

NATIONAL QUALITY FORUM

+ + + + +

RESOURCE USE STEERING COMMITTEE

+ + + + +

WEDNESDAY
AUGUST 31, 2011

+ + + + +

The Steering Committee met at the Venable LLP Conference Center, 575 7th Street, N.W., Washington, D.C., at 8:30 a.m., Bruce Steinwald and Tom Rosenthal, Co-Chairs, presiding.

PRESENT:

THOMAS ROSENTHAL, MD, Co-chair
BRUCE STEINWALD, MBA, Co-chair
PAUL BARNETT, PhD, VA Palo Alto Health Care System
JACK BOWHAN, Wisconsin Collaborative for Healthcare Quality
KURTIS ELWARD, MD, MPH, FAAFP, Family Medicine of Albemarle
LISA GRABERT, MPH, American Hospital Association
JACK NEEDLEMAN, PhD, FAAN, University of California Los Angeles School of Public Health
DORIS PETER, PhD, Consumers Union*
STEVE PHILLIPS, MPA, Ortho-McNeill-Janssen Pharmaceutical, Inc.
DAVID REDFEARN, PhD, WellPoint
BARBARA RUDOLPH, PhD, MSSW, The Leapfrog Group
JOSEPH STEPHANSKY, PhD, Michigan Health and Hospital Association
DOLORES YANAGIHARA, MPH, Integrated Healthcare Association

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

NQF STAFF:

CARLOS ALZOLA, Consultant
TAROON AMIN
HELEN BURSTIN, MD, MPH
LAURALEI DORIAN
SARAH FANTA
KAREN PACE
SALLY TURBYVILLE, Consultant
ASHLIE WILBON

* Participating by teleconference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

TABLE OF CONTENTS

| <u>AGENDA ITEM</u> | <u>PAGE</u> |
|--|-------------|
| Project Overview: Objectives and Goals Mr. Amin; Ms. Wilbon | 4 |
| Data Protocol and Logic Modules | 20 |
| Construction Logic and Comparability Modules | 117 |
| Measure Reporting, Reliability and Validity Testing Modules | 183 |
| Usability and Efficiency Modules | 218 |
| Next Steps and Recommendations: Overarching Resource Use Measurement and Evaluation Issues | 251 |
| Wrap Up/Next Steps | 271 |
| NQF Member/Public Comment | 281 |
| Adjourn | 281 |

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 8:46 a.m.

3 MR. AMIN: As we get started this
4 morning, just some logistics. We sent out --
5 Sarah sent out this morning -- or Lauralei
6 sent out this morning the updated PowerPoint.

7 That was based on some of our discussion
8 yesterday. So we wanted to have the most
9 updated PowerPoint for everybody to see --
10 Just this morning, maybe 10 minutes ago. I
11 think we also have some printed versions
12 coming for everybody.

13 So there are some cheat sheets
14 that are in the NQF folder that you received
15 yesterday that will probably help us through
16 this discussion.

17 So the first one is labeled
18 Resource Use Measure Specifications. It looks
19 like this document with the five modules and
20 the submission -- or the overall topics that
21 are included in each of the five modules.

22 This will be the format of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion today. So it might be helpful if
2 you have this document out, just to see where
3 potential topics will be discussed later on in
4 the afternoon.

5 DR. PETER: Hi. This is Doris.
6 Could you email that to me?

7 MS. WILBON: We will email it out,
8 all the paper attachments that we have. Thank
9 you.

10 DR. PETER: Thank you.

11 CO-CHAIR ROSENTHAL: And, Ashlie
12 and Taroon, I wonder if it would be helpful,
13 or maybe it would be helpful to me at least,
14 if we tried to lay out what is the goal, what
15 are we trying to accomplish generally. That
16 will help, I think, all of us, and maybe even
17 Helen could weigh in on this, of what is our
18 goal overall about this. What are we trying
19 to do?

20 MR. AMIN: Okay. So there's a few
21 objectives -- and, Ashlie, please feel free to
22 jump in, as you see fit. There's a few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 objectives for today's discussion.

2 The first is to look at the
3 criteria in the way that we have set up the
4 modules to assure that, as we move forward in
5 the next evaluation of these types of
6 individual measures, whether or not the
7 submission items were sufficient and the
8 criteria we use to evaluate the submission
9 items were sufficient.

10 The second is also to look at, as
11 we look forward -- so a second piece of this
12 exercise, which is quite linked -- is to look
13 at the next phase of work, which really will
14 be to evaluate episode groupers and to see
15 some of the challenges and potential guidance
16 moving forward in evaluating groupers.

17 Now, granted, this exercise that
18 we have gone through over the last few months
19 has not been to evaluate groupers, although
20 the TAPs and the Steering Committee has been
21 very close to a product that is essentially a
22 grouper system, and we have had some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 challenges of looking at that product in the
2 lens of an individual measure.

3 So to get some additional guidance
4 along these different modules for potentially
5 additional materials that we would need to
6 evaluate a grouper or just -- I wouldn't say
7 that we might need additional criteria,
8 because I think the criteria would probably be
9 sufficient to evaluate a grouper, but
10 additional guidance on how to really evaluate
11 a grouper.

12 So to summarize, there are two
13 specific objectives for today: First, to
14 evaluate our overall -- as we move forward in
15 evaluating individual measures, do the
16 submission items and the criteria that we have
17 to evaluate individual measures -- are they
18 sufficient based on our first run at this; and
19 secondly, as we are looking at the next phase
20 of work, which is really looking at episode
21 groupers, is there additional guidance along
22 the lines of the modules and additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 criteria that would the group would offer.

2 CO-CHAIR STEINWALD: Isn't there -
3 - trying to think of putting the work that we
4 are doing here in context. You know, we are
5 coming up with recommendations for a small
6 number of measures, and yet we think that the
7 process that we have gone through might be
8 illuminating to a broader audience than those
9 that have developed those measures or those
10 that developed some and didn't get them
11 approved-- sort of along the lines of
12 advancing the state of the art of resource
13 measurement -- and also getting us at least
14 partway down the road to where, in our first
15 face to face meeting, we discussed that we
16 wanted to be, which is to develop real
17 efficiency measures, measures that bring
18 resource use and quality/outcomes together.
19 Right?

20 I saw some of that reflected in
21 the materials you distributed. So we have
22 this -- I am adding this as a third objective,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if others think it is reasonable: That at
2 some point in our report, maybe the more
3 reflective part of the report, we will depart
4 from talking about specific criteria and
5 certainly depart from talking about grouper
6 evaluation, to talking about the state of the
7 art of resource and efficiency measurement and
8 how it could be advanced, what we have learned
9 from the process we have been through for over
10 a year that could advance that state of the
11 art.

12 MR. AMIN: Definitely in
13 agreement. We will see some -- There's a few
14 slides toward the middle of the day that
15 present the patient-centered episode of care
16 framework that NQF has engaged upon, and
17 thinking through some of these questions of
18 how we would link potentially quality measures
19 to the measures that we have been looking at
20 on resource use. What components of the
21 measures would have to be aligned to truly
22 develop efficiency measures in the future --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 so those types of discussions. Please, Helen.

2 DR. BURSTIN: In addition to that
3 broad comment, I think that is exactly where
4 we want to go. I think, because this is one
5 of the first times we have actually taken the
6 criteria and kind of morphed them a bit to fit
7 an emerging area of measurement, it would also
8 be helpful for us to reflect back about are
9 the criteria as they stand really, for the
10 most part, seem fairly applicable; and we go
11 through this exercise in the future, how much
12 of this sort of intensely customized criteria
13 building do we need to do?

14 We are about to do population
15 health, for example, going through very
16 similar kinds of issues. So what is the
17 testing of a measure that compares counties or
18 communities as opposed to a provider? So
19 there are different angles on this, but I
20 guess my goal would be: We went, I think, to
21 the nth degree of being very detail oriented,
22 every single module, every single component

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of these measures.

2 As we reflect on those criteria
3 and how many of them mattered, it would also
4 be helpful to think as we sort of go
5 prospectively, is there a way maybe to
6 simplify some of this for the next time we do
7 this, especially if we bring in quality
8 measures, and it gets even more complex.

9 So we would really like your good
10 thinking there.

11 MR. AMIN: To take that and go
12 back a few steps, in the NQF folder, the
13 second material that I would suggest that you
14 refer back to is the side-by-side table that
15 is titled "Evaluating Resource Use Measures."

16 So the goal of this two-by-two
17 table is to look at the criteria and describe
18 the specific elements in the measure
19 submission form, just to bring us all back.

20 It might be helpful to just spend
21 a few minutes here. I don't want to take up
22 too much time, but I think it will help in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 framing of this discussion.

2 So again, it looks like -- It is a
3 two-by-two table like this. If anybody
4 doesn't have it, please let us know, because
5 it is going to be quite a particularly
6 important piece of reference material.

7 MS. WILBON: I think it is at the
8 very back. I think it is the last.

9 MR. AMIN: Yes, the last, last but
10 not least.

11 DR. PETER: Can you email that to
12 me, too?

13 MR. AMIN: Yes. So as we are
14 thinking through the evaluation criteria is on
15 the left. The evaluation criteria is on the
16 left, and the submission items are on the
17 right, and I will move down to scientific
18 acceptability, because this is really where
19 the modules interact with the criteria.

20 So as we are looking at 2(a)(1),
21 the measures precisely specify: That includes
22 the measure specifications as to the general

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approach; as (s)(2), the general approach;
2 (s)(3) the type of resource use measure;
3 (s)(4) the target population; and (s)(5) the
4 data dictionary.

5 It also includes the data protocol
6 module, which we will spend a good part of --
7 or we will spend the first session reviewing
8 the data protocol module, which includes all
9 of the data inclusion criteria, the
10 preparation for analysis, the data exclusion
11 criteria, how to handle missing data, which
12 was a robust discussion we had yesterday with
13 pharmacy claims and behavioral health issues,
14 and the data source.

15 The clinical -- The logic module
16 fits into this criteria, including the
17 clinical framework, comorbidities and
18 interactions, the clinical hierarchies, and
19 then also the construction logic, which is
20 Module 3, which looks at the construction
21 logic, the trigger and end mechanisms,
22 complementary services. The risk adjustment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 model, stratification approach and costing
2 methods, also includes the reporting module
3 which is the attribution approach, which --
4 the reporting module, and then the measure
5 score.

6 Then we have the reliability
7 testing, which then looks at the testing
8 results and how the reliability testing was
9 done. Carlos will be joining us later on this
10 morning to offer some of his insights as part
11 of this process, as he has been part of the
12 process helping us think through this.

13 So 2(b)(1): Also the measure
14 specifications are consistent with the measure
15 focus. So a lot of these modules are repeated
16 for this criteria to see whether it is
17 consistent and -- consistent with the measure
18 focus and intent. This is in contrast to
19 2(a)(1) which asks whether or not the measure
20 is precisely specified for these different
21 modules. So that is how it approaches both of
22 the different modules that we were addressed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here.

2 The validity testing is 2(b)(2),
3 and 2(b)(3) looks at the exclusions, how the
4 exclusions are handled. 2(b)(4) looks at the
5 risk adjustment approach, whether or not it is
6 based on clinical factors. So again, this is
7 a discussion that Paul brought up yesterday on
8 whether or not issues that are occurring
9 within the measurement period should be
10 allowed to be part of the risk adjustment
11 approach.

12 2(b)(5) looks at the scoring, the
13 scoring approaches, whether or not they
14 address clinically and statistically
15 significant differences in performance, and
16 this would address some of the larger issues
17 that we have discussed of whether or not the O
18 to E ratio offers tangible, actionable results
19 for providers on the front line, which also
20 begs the question of who the intended audience
21 is.

22 We will get to a lot of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bigger questions as we move along. Then the
2 multiple data sources, which was not
3 necessarily a major issue for resource use
4 measures, considering the fact that they use
5 the administrative data sources; and 2(c)
6 looking at stratification.

7 Then usability really addresses a
8 lot of the issues of the current use,
9 interpretability and transparency, and then we
10 will go into some more discussion around
11 feasibility.

12 So as a framing device, this was
13 really sort of how we thought it would help
14 frame the discussion. As the course of the day
15 goes, what we will do is we will take each of
16 these individual modules and explore some of
17 the larger questions that were part of the
18 discussion for the TAPs and the Steering
19 committee.

20 DR. REDFEARN: Is this all in the
21 context of episodes, episode groupers, or
22 generalized?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. AMIN: We should think about
2 it broadly.

3 MS. WILBON: And to piggyback on
4 Taroon and Helen, what you will notice from
5 the table is that currently we only have two
6 criteria evaluating the modules and the
7 specifications. So I think it would be
8 helpful to think about, kind of to Helen's
9 point, are those two criteria sufficient or
10 are there additional criteria that would be
11 focused specifically on things within those
12 modules that we discuss that need to be
13 evaluated specifically.

14 So I think, as we got into some of
15 the discussions, you know, there is a lot
16 jammed in to resource these measures, and the
17 specifications are so expansive that you jam a
18 lot into those two criteria.

19 So kind of as we are going through
20 this, think about how we might be able to
21 either reframe the criteria or potentially add
22 or whatever that may be to address those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 modules in a better way. I don't know. It is
2 just something to think about.

3 MR. AMIN: And before Ashlie goes
4 into some of the overarching discussion around
5 the resource use measures, there was an
6 additional component that I want to throw out
7 there, and it is a little squishy, but it is
8 the sense of the interactive nature of some of
9 the criteria, which we have talked about in
10 many ways, where the level of analysis is at
11 the individual provider level, what that means
12 to the risk adjustment model.

13 So I will just keep those -- I
14 will just throw that out there, the
15 interactive nature of some of these different
16 criteria and the submission items and how well
17 that is articulated through the way that we
18 have set up the evaluation process.

19 So maybe we can just go through
20 some of the overview of just how we have
21 structured these sorts of things.

22 MS. WILBON: Sure. I think you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guys are pretty familiar with a lot of this
2 stuff. We have shown it multiple times, our
3 definition of resource use measures.

4 It might be helpful, too, I think,
5 for those of you that are able to bring up the
6 slides on your computer that we sent out, to
7 kind of be framing your thoughts through some
8 of the principles that we came up with for
9 resource use evaluation.

10 This was, obviously, a year ago,
11 but as we focus this discussion, if there are
12 additional principles that we think need to be
13 added to this list or maybe they need to be
14 changed -- I think they are pretty broad and
15 still applicable, but just to kind of help
16 frame that discussion as well.

17 I won't spend time on this, and we
18 can work on getting copies for everyone, for
19 those of you that don't -- can't bring it up
20 on your computer.

21 I think, actually, we will go
22 ahead and kind of jump right into some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussions that we had outlined. The
2 questions that we are going to discuss for
3 each of the modules are also listed on the
4 updated agenda that I sent out on Friday, and
5 I think it is also printed as a document in
6 your folders. So you have the questions in
7 front of you as well that we will have for
8 each section.

9 So for the data protocol module,
10 if you recall, it is not showing up very well
11 on the slide, but we have got the different
12 components of the data protocol module in the
13 blue bubble here at the bottom.

14 What we have done for each of the
15 modules, similar to how we set up the draft
16 report, is we pulled out some of the
17 overarching themes that we heard through the
18 discussion of the measures across the TAPs and
19 the Steering Committee, and to come up with
20 some -- have a discussion about how these
21 issues might be addressed in the future by
22 developers and how we might want to approach

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the evaluation of those items as well in the
2 future.

3 So the two things that we pulled
4 out from the data protocol module, which
5 includes the data preparation, the data
6 inclusion criteria, data exclusion criteria
7 and missing data, was obviously the
8 implications of using administrative data.

9 All these measures use
10 administrative data, and there are certain
11 limitations in that in itself. So sometimes
12 the measure seems to be limited, because it
13 used administrative data, but it is really
14 more so limitation of the data that limits the
15 measure's -- the ability of the measure to
16 measure certain things.

17 DR. REDFEARN: What alternatives
18 are there? What other data sources are there
19 other than administrative claims data for
20 this?

21 MR. AMIN: Let me just -- So the
22 point of this -- I mean, there may not be, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is the current state of where we are
2 right now. One of the challenges, though, as
3 we are evaluating measures is are we holding
4 the measure -- When we are evaluating the
5 measures, how can we not hold the measure
6 responsible for some of the limitations of the
7 underlying data? So the ability to risk
8 stratify when the administrative data is not -
9 - doesn't allow that level of risk
10 stratification.

11 So it is not to say that -- It is
12 just something that was discussed. I mean, it
13 is not to say that we have an option here, but
14 it is a limitation and some of the
15 implications of using the administrative data
16 that was discussed at length through multiple
17 TAPs.

18 MR. REDFEARN: The question, I
19 think, it boils down to is, if you know you
20 have significant issues with the only data you
21 have available -- say, administrative data --
22 do you proceed to produce a measure that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 incorporates that imperfection, and
2 acknowledge it, or don't you do the measure?

3 Somebody has to make a decision.
4 If the data is so crappy that you really can't
5 get any measure produced using that data is
6 going to be potentially misleading and not
7 informative, then I think the decision you
8 have made is you don't do the measure until
9 the data improves. But you could also argue,
10 well, it is imperfect; we know it is
11 imperfect.

12 For example, all risk adjustment
13 we are doing right now at best accounts for 25
14 percent of the variation in cost. It is, by
15 definition, hugely imperfect. Yet we do it
16 all the time.

17 So I think the consensus,
18 basically, is you do the best you can. You
19 label the limitations, and you proceed. You
20 could decide not to do it at all.

21 CO-CHAIR ROSENTHAL: I think that
22 is spot on point and, obviously, we made those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adjustments here on the fly. Perhaps if the
2 next group could be a smidge more explicit
3 about it, it might be easier.

4 I, for example, was impressed with
5 the NCQA presentations about the attempts on
6 their part to be as thorough and complete and
7 accurate about collecting the stuff as
8 possible, and I had a much greater sense of
9 that than I did on a couple of the other
10 groups that presented where it felt a little
11 less clear-cut as to what was what, but I
12 would offer up one option of a non-
13 administrative data set.

14 It as interesting to me to watch
15 this, in that much of the public focus, both
16 on quality and a variety of things, ends up
17 being at the hospital level. Now maybe it is
18 a good thing that we have moved past that and
19 that we are talking about ACOs, etcetera, to
20 the extent that such a thing exists in the
21 universe.

22 You could imagine a hospital

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comparator that was not based on claims data,
2 because we have the hospital reports that are
3 at a very high level and actually CPA
4 certified statements, and it might be possible
5 to dissect hospital costs in a way that
6 doesn't rely on claims based data.

7 So that is at least one thing to
8 offer up, although we saw absolutely no
9 measures put forward that attempted to compare
10 hospitals, and maybe that is a good thing or
11 maybe that is not a good thing.

12 CO-CHAIR STEINWALD: We saw no
13 measures based on electronic health records,
14 even though the prospect of having them is
15 before us, and we know a lot of organizations
16 are developing them. One would hope that not
17 too distant future that someone would come
18 forward with a combined claims and patient
19 record based analysis.

20 The other alternative is external
21 data collection. I was thinking of what Jack
22 said yesterday about, you know, we are limited

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in our analysis to what claims data have, but
2 then again in a research setting you can often
3 supplement claims data with independently
4 collected data like, let's say -- We talk
5 about comparing entities. You can use claims
6 data to determine the rate of infection, let's
7 say, in different institutions.

8 You can use external data to
9 determine whether those institutions have
10 installed programs to reduce infections or
11 not, that sort of thing; but this data -- that
12 art, I think, is pretty limited as well as
13 electronic health records are.

14 CO-CHAIR ROSENTHAL: Other
15 thoughts from the group?

16 MS. WILBON: So the other issue,
17 obviously, that we have talked about a lot is
18 this issue about carve-outs and outsourcing of
19 mental health, mental behavior health, and
20 pharmacy data or pharmacy coverage
21 arrangements and so forth.

22 I think our main question for that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is -- We have talked about it a lot, and maybe
2 we have exhausted all discussion about that.
3 I don't want to beat a dead horse here, and we
4 developed these slides before our discussion
5 yesterday, but do we have guidelines or
6 suggestions for developers on what ideally
7 would be a good way to address this in
8 measurement or are there certain kind of
9 principles about how to address this,
10 particularly with the missing data issue when
11 they are developing measures?

12 CO-CHAIR STEINWALD: Jack, you are
13 up.

14 DR. NEEDLEMAN: I think this
15 actually relates very much back to the
16 discussion we were just having about
17 administrative data. I think part of the
18 issue is what -- on both of these, is what is
19 the responsibility, obligation, expectation
20 for the measure developers, for the measure
21 implementers, for organizations like NQF, to
22 be pushing to get the data better?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Who has the responsibility for
2 pushing to make sure the data are more
3 complete, more complete in terms of collecting
4 everything that is already being collected,
5 that is relevant for what you are doing, like
6 the pharmacy data, complete in the sense of
7 identifying important resource elements that
8 we are not currently collecting data on, and
9 figuring out how to build information about
10 them into routinely collected data?

11 So I think those are some of the
12 general issues that go beyond is this measure
13 a good one, is this measure an adequate one,
14 that somebody needs to think about and, I
15 think, becomes part of the broader context
16 that the report needs to discuss.

17 A question I would ask is: We are
18 trying to do resource measures, and Kaiser
19 Permanente, for example, has many regions.
20 Are any of these measures relevant to them,
21 given the way their data is collected?

22 The VA, another major integrated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 health care system, has many regions, has many
2 individual provider groups within those
3 regions that are trying to deliver integrated
4 care. Are any of the measures that we have
5 talked about over the last few days
6 implementable, given the VA data resources?

7 How would either of those systems
8 go about trying to figure out whether the
9 resource use across all the units within them
10 are comparable or different? What resources
11 do they have for doing that in terms of their
12 data and data collection?

13 That, I think, is relevant to
14 thinking about where the data collection needs
15 to go and what are short term limits of what
16 we collect versus things that could be
17 collected, and long term limits to what we
18 collect in the sense that we have to build new
19 data collection systems. I think all that is
20 part of the administrative data issue.

21 On the carve-out, I think it is
22 particularly relevant, because it gets to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 David Redfearn's issue of do we have enough
2 data here that we are confident that we have
3 got a good measure of the resources that are
4 being used, if we are trying to measure
5 resources?

6 I think you could ignore the
7 mental health or the pharmacy carve-outs for
8 services where either those are truly minimal
9 de minimis elements of the care we expect the
10 population receiving that we are looking at
11 for a specific episode, like knee, or that the
12 care is so standard that the cross-differences
13 that we would expect to see if we have the
14 data are narrow, so they are not going to
15 explain very much resource variation -- you
16 know, post-surgical antibiotic treatment
17 regimes, for example.

18 I am making this up. Remember, I
19 am not a clinician. But where there are
20 substantial parts of the expected costs, and
21 we expect to see variation, you can't have a
22 measure that purports to have present

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 resources without having those in, and that
2 was my concern about the COPD measure and the
3 asthma measures that we were discussing
4 yesterday from Ingenix.

5 So Ingenix is a measure developer,
6 but they are also a data aggregator. Right?
7 They collect data from all the insurance
8 companies that are basically subscribing to
9 their service.

10 NCQA is also a data aggregator,
11 but as a data measure developer and as a data
12 aggregator, they have been far more aggressive
13 in saying there are data elements like
14 pharmacy we need to get in here, and we won't
15 certify you unless we have got them.

16 So the insurance companies which
17 are looking at carve-outs, I think, ultimately
18 need to figure out a way to call that data
19 back in some way to enable resource use
20 measurement, if we are serious about doing
21 resource use measurement.

22 The question is who creates the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pressure -- the incentives and the pressure on
2 them to do that call-back of the data? Is it
3 payers? They are the payers. So is it the
4 folks who are subscribing to the service who
5 say we want full resource measure use; we are
6 not contracting with WellPoint unless we can
7 get that. So you go deal with the PBMs and
8 get the carve-out data. Not sure that is
9 realistic.

10 Is it NQF? Is it CMS? Is it --
11 You know, where is the pressure going to come
12 to encourage the core insurers that are the
13 sources of the data for places like the
14 Ingenix measures to actually go about having
15 responsibility and feeling pressure to get the
16 data that is often other places about resource
17 use, like the PBMs, like the mental health
18 carve-outs, to get back. I think that is the
19 challenge here.

20 Once we have got the data, we know
21 how to incorporate it into these measures.
22 Even with standardized -- Even if we do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 standardized pricing on pharmacy, because the
2 PBMs don't want to share with you how much
3 they have negotiated to pay for each drug that
4 is in their formulary, that's fine. But NCQA
5 isn't getting that. What they are getting are
6 the counts of different drugs, and then they
7 are using standardized pricing.

8 I think that is good enough for
9 what we are trying to do here, but you have to
10 have the data. So where does the pressure
11 come for the call-back?

12 DR. REDFEARN: It is actually
13 something the reverse in our case. There is
14 one entity you didn't mention. The PBM
15 problem -- The carve-out for drugs, the
16 biggest problem for us is ASO groups, large
17 ASO groups.

18 So the employer groups themselves
19 have to do this, and I will tell you, I was
20 struck by the NCQA guy yesterday saying, oh,
21 they put pressure on people, and they find the
22 data, and they submit the data. Boy, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe been doing a crappy job of it in
2 California, but we have a hell of a time to
3 get that data.

4 We go to the PBMs, and they say,
5 no, you can't have it; it is proprietary. The
6 only way to get the data out of them is go to
7 their customer, the ASO group, and have the
8 ASO group insist that they provide at least
9 the NDC codes to us to do that, but it is
10 really hard. It is really hard.

11 CO-CHAIR ROSENTHAL: Well, I think
12 there is a public policy obvious question
13 here, and the other issue is none of the stuff
14 is comparable and, if you take the macro, for
15 example, the macro costs on the commercial
16 side and the macro costs on Medicare side
17 don't always match up by geographies and,
18 frankly, you would want a kind of all payer
19 system, I think.

20 The only way you are going to get
21 at this is through a public policy thing, and
22 I don't think the commercial world is going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have the same sort of ultimate imperative to
2 get comparable information. I think this
3 becomes a public policy thing.

4 For me, the disappointment about
5 the process -- and I think each of us, and I
6 know Barbara has had her disappointments about
7 what we have done or haven't done, but to me
8 the disappointment is we can't answer the
9 question you pose.

10 I was reading in a magazine the
11 other day somebody being interviewed about
12 medical policy, basically saying the VA is
13 clearly and unequivocally the least expensive
14 health care delivery in the entire country
15 with the best outcomes and the highest
16 quality. I asked myself how could anybody --
17 I mean, maybe it is true, but how could
18 anybody actually know it for sure, because you
19 can't -- There is no basis for comparison, and
20 we haven't accomplished anything at all, I
21 don't believe, in the measures that we have
22 produced, because we basically endorsed by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 necessity sort of fairly proprietary oriented
2 measures, and it would sure be nice to see
3 some measure that was much more like one that
4 you would say by necessity you would be
5 measuring every hospital in the country, every
6 physician in the country, every somebody in
7 the country about something.

8 CO-CHAIR STEINWALD: Paul, do you
9 want to weigh in?

10 CO-CHAIR ROSENTHAL: That wasn't
11 an anti-VA thing, by the way.

12 DR. BARNETT: Maybe we just have
13 better publicists.

14 CO-CHAIR ROSENTHAL: That's good.
15 There is nothing wrong with that.

16 DR. BARNETT: No, but the issue of
17 how do you compare -- you know, how do you
18 benchmark -- is a big one. So VA has the
19 data. We are not comparable in many ways,
20 because our benefit package is quite different
21 from other folks.

22 I think the other issue, one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the things that makes us seem very low cost is
2 that many of our members have dual coverage
3 with Medicare and get part of their services
4 from Medicare. So that is a little bit --
5 That may explain some of the efficiency,
6 something not widely acknowledged, I think.
7 But that question of how would we compare
8 across systems -- it is a difficult one.

9 I like your idea of having at
10 least one global measure.

11 DR. ELWARD: Yes, I agree. One
12 other thing, I would agree with you about one
13 of the opportunities being employer groups. I
14 know in Virginia the Chamber of Commerce has
15 finally said, we really want to be involved in
16 this, much more active than I have ever seen
17 them before.

18 Essentially, if they are self-
19 insured or if they are paying the premiums,
20 ultimately they can get the data or they can
21 go someplace else. But I don't think they
22 have been utilized as effectively as they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could be.

2 CO-CHAIR STEINWALD: So what I
3 heard Tom saying, to put it in economist
4 terms, is that a comprehensive database that
5 is at least adequate for developing the kinds
6 of measures that we want should be seen as a
7 public good. Right?

8 CO-CHAIR STEINWALD: A lot of
9 states have them.

10 CO-CHAIR ROSENTHAL: Yes, but I
11 guess at some point, for the benefit of the
12 staff, we are going to have to come to a
13 principle or conclusions that they can write
14 up. Barbara?

15 DR. RUDOLPH: Yes. I had a couple
16 of comments about the last thing you
17 mentioned, the all payer claims databases. If
18 you look at New Hampshire, New Hampshire has
19 done a really nice job of putting out costing
20 of health care services on their website. I
21 can't find it, for some reason, today, but I
22 have seen some of their work.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You know, they didn't come -- None
2 of the states have come forward who have
3 these, because they really don't have the
4 resources to go through the NQF process. It
5 is really very time consuming, and the staffs
6 are small, and they don't have the resources
7 that a NCQA or Ingenix has.

8 So I think some of the more
9 creative work being done now is out in those
10 smaller kinds of arenas where there just
11 aren't the resources to do this process.

12 For those of you who have never
13 gone through the measure endorsement process,
14 it is very time consuming. So that is one
15 avenue of, you know, maybe there is a way
16 other parties could go out and actually do the
17 work to write up the measures and things like
18 that. I don't know, but it is an issue.

19 The other thing that I wanted to
20 bring up that sort of happened yesterday, and
21 I am wondering if it is the idea of the
22 measure developer's role and the end user's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 role, and whether or not like that piece of
2 auditing is really part of the measure or is
3 it part of the user's responsibility.

4 Auditing is very expensive.
5 Again, there is another -- Unless you are a
6 membership organization like NCQA who can
7 charge for the services like that as part of
8 either -- That one wasn't part of
9 accreditation but it probably will be -- you
10 do not have the resources to do that kind of
11 auditing, of sending -- you know, having a
12 cadre of trained auditors who go out to 480
13 plans or whatever to get that information to
14 assure it.

15 I am just thinking that we need to
16 be specific. If that is actually going to be
17 a requirement, then it needs to be stated as
18 such, that don't come forward unless you have
19 an auditing piece in your measure, because to
20 hold other people sort of at -- You know, it
21 is kind of not have it specifically mentioned,
22 but then to not approve measures where it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not available. It is a problem. So it has
2 never been part of the requirement.

3 CO-CHAIR STEINWALD: I was going
4 to suggest holding that thought until we
5 resolve the issues that we discussed earlier.

6 Okay, you guys are good with that?

7 So just to see if we can close the
8 loop on the issue as the staff originally
9 raised it to us, what I heard on the issue of
10 carve-outs and missing information was, first
11 of all, to strive to make the databases as
12 comprehensive as possible and not be satisfied
13 with the usual administrative reasons why we
14 don't have pharmacy data or we don't have
15 behavioral health data, if there, in fact,
16 ways with a some additional effort to obtain
17 those data.

18 Second, we don't make comparisons
19 of entities with and without data. That could
20 be a hard and firm principle. Then the third
21 one, and I think Jack has really been helpful
22 in elucidating this principle, is you are also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not satisfied in comparing with to without
2 when there is a good reason to believe that
3 that comparison could be biased by the absence
4 of the missing data.

5 MR. AMIN: Let me just clarify on
6 the last point, I think, a question that, I
7 think, was clarified for us in this process
8 from Jack's point yesterday, and I will offer
9 this as a question again.

10 I think the clarification from the
11 first meeting was that we don't do comparisons
12 with and without, but I think where we got to
13 yesterday was that, if a measure is intending
14 to measure a clinical condition that has a
15 predominant portion of its costs in pharmacy
16 claims -- so asthma was the example yesterday
17 -- then is it fair to even look to measure
18 asthma resource use without pharmacy claims?

19 CO-CHAIR STEINWALD: Well, but it
20 is the responsibility of the measure developer
21 to justify that the comparison is still valid.

22 DR. PETER: But you have to look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the variation in the cost of the pharmacy.

2 If there is not a lot of variation in that
3 cost, then it really doesn't matter. Right?

4 CO-CHAIR STEINWALD: Well, but the
5 point is that the pharmacy is missing, and
6 maybe the upshot of it is that they would need
7 to do an independent analysis to show that the
8 fact that the pharmacy is missing really
9 doesn't invalidate the comparison.

10 CO-CHAIR ROSENTHAL: She was
11 giving a counter-example. The pharmacy costs
12 don't matter. Therefore, it could be valid to
13 have it without the pharmacy.

14 CO-CHAIR STEINWALD: Right, but
15 you have to have a way of determining that the
16 pharmacy --

17 DR. PETER: That they prove that
18 that is the case.

19 MR. AMIN: As a quick point of --

20 DR. PETER: With other databases
21 or other knowledge that was by utilization of
22 the pharmacy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. AMIN: As a quick point of
2 introduction, Carlos arrived, just so
3 everybody knows, our statistician, and Karen
4 Pace, our NQF methodologist, also joined us
5 for the day.

6 DR. BARNETT: Well, the issue of
7 data: So we have good national hospital
8 datasets, and what we lack is the pharmacy and
9 the outpatient claims database. I think we
10 can wring our hands and say, gee, wouldn't it
11 be great if we had comprehensive national
12 data.

13 I think there are lots of reasons
14 to think it is not going to happen anytime
15 soon. First is the whole issue of patient
16 confidentiality in HIPAA. The payer's groups,
17 providers, everybody has an interest in
18 keeping things secret that is proprietary to
19 rates they negotiate, secrets to their
20 efficiency. It is going to be very hard to
21 create such a dataset.

22 So it is great to put that on your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wish list, but I actually think, kind of back
2 to where we started, which was we were
3 thinking about how do we link efficiency with
4 quality and that we really ought to be
5 thinking about some small but more specific
6 measures that have to do with appropriateness
7 of care and quality of care that are HEDIS-
8 like, one by one, that people can look at.

9 So some of the quality measures
10 that we have now like readmission rates are
11 implicitly resource measures. Right? If you
12 avoid inappropriate readmissions, if you avoid
13 central line infections, you are going to
14 save money, and you could actually talk about
15 how much money you save.

16 In a larger sense, there are a lot
17 of things that we do, maybe 30 percent of
18 care, according to some estimates, that is
19 inappropriate. We ought to have metrics of
20 appropriateness and try to apply those. A
21 very hard thing to do, I realize, and there
22 are like maybe 10,000 things on that list, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are such lists.

2 NQF, in fact, has in the National
3 Priorities Partnership created such a list.
4 The Institute of Health Care Improvement has a
5 list of inappropriate stuff. The NICE in the
6 United Kingdom, the National Institute on
7 Clinical Effectiveness, has a Do Not Use list
8 that has about 600 items on it.

9 The advantage of that approach, I
10 think, rather than these observed to expected,
11 is it is actually something very actionable.
12 If you are doing too much low back imaging,
13 well, you know exactly what it is to tell the
14 clinicians what they shouldn't be doing.

15 So I think we ought to think about
16 these alternate ways of going, rather than
17 putting -- You know, wishing for something
18 that we are not likely to get, I think it
19 would be better to focus on measures like the
20 quality measures that have already been
21 developed that are about appropriateness or
22 about quality where efficiency is implicit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR STEINWALD: Jack, then
2 Dolores, then Kurt.

3 MR. BOWHAN: I was going to
4 respond to the idea about the carve-outs and
5 these large data aggregators that have tons of
6 data. They could maybe provide some guidance
7 in standardizing a process to how to find
8 within your own data with an organization that
9 something is wrong, and here is a red flag,
10 and here is the algorithm you use to find out,
11 so they could set some minimum thresholds on
12 things where you know there should be lots of
13 behavioral health or drug data.

14 MS. YANAGIHARA: I wasn't going to
15 say this, but I echo that. I mean, just in
16 our work, aggregating data across seven health
17 plans, the data aggregators have access to
18 lots of data and can give you benchmarks in
19 terms of data completeness and things like
20 that.

21 So I don't know if there is room
22 there for setting standards for data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 completeness and things, but I know that we do
2 a lot of data quality checks before we even
3 use the data.

4 I was going to comment on the
5 submission of measures. I know that the
6 measure developers are supposed to put in
7 there what data is required, but it doesn't
8 seem like in our current format it is very
9 clear, like we had to kind of dig through to
10 see if pharmacy data was actually required for
11 Ingenix measures, and then it was sort of the
12 worry was kind of like, well, it is
13 recommended that you don't use -- you know.

14 So I think it just needs to be
15 explicit: Does this measure require pharmacy
16 data to be a valid measure, or whatever kind
17 of data, just to be really clear on what is
18 required and what is not required, or could it
19 be done without it, but it has to be either
20 everything with compared or everything without
21 compared, and not trying to compare the two.
22 So I think that it just needs to be really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explicit.

2 Then secondly, I think that, if it
3 is okay to be with or without, we just need to
4 have a standard way that, when users are using
5 the measures, that they can check off was it
6 included or was it not included, so that it is
7 very clear which ones you can compare and
8 which ones you can't compare, so that it is
9 not sort of like hidden in some line of a
10 description of methodology, but it is very
11 explicit -- here is what is included in this
12 measure.

13 DR. ELWARD: I just had a comment
14 about groupers, if it is the appropriate time
15 to do that or if we can do it later. Okay.

16 One of the things that -- I sent
17 some information about this. This is a really
18 challenging field, to be able to look at
19 episodes of care, and that is a huge
20 challenge.

21 One thing we might use for
22 guidance is what they have used in Europe for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about 20-some years, which is ICPC, which is
2 the International Classification of Primary
3 Care. They use that as their ICD-9 in
4 Europe, and it actually crosswalks to ICD-10.

5 I am not suggesting we use that
6 as-- It wasn't designed as a resource tool,
7 but there is good data in a number of studies
8 that show how you could track episodes of care
9 over time, which is, in fact, how they do it
10 in a lot of the world.

11 So I would suggest perhaps what we
12 could do is look at that methodology and how
13 they structure that to get a leg up on how we
14 would approach our own measures and what we
15 are expecting.

16 There are a number of people in
17 the United States who know how to do this,
18 Larry Green, Mike Klinkman, Wilson Pace, a
19 number of good people who have actually done
20 that. Mike actually uses a cross-walked ICD-9
21 at University of Michigan to do some of their
22 internal analyses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So in terms of not reinventing the
2 wheel or be able to refine one that is already
3 there, we might want to consider that.

4 MR. AMIN: I just wanted to also
5 throw out the question, which is actually the
6 first one, as we are talking through this,
7 which goes to Dolores' point, and I think it
8 was brought up a number of times.

9 The question of this module can be
10 submitted as guidelines or specifications, and
11 this may be some of what is going on, but let
12 me just clarify the difference between
13 guidelines and specifications.

14 So specifications allow for user
15 options, but must be specifically adhered to;
16 and guidelines are well thought out guidance
17 that allow user flexibility.

18 So these components allow that
19 degree of flexibility in guidelines and
20 specifications. So it continues to -- So one
21 of the questions is posed as we are discussing
22 this is: Is the option of guidelines and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specifications appropriate for these four
2 submission components, which would be the
3 preparation for analysis, the inclusion
4 criteria, the exclusion criteria, and missing
5 data.

6 CO-CHAIR ROSENTHAL: Well, I think
7 the experience would suggest that that is what
8 got us into trouble in several areas. So we
9 could go through them one at a time and make a
10 determination that sort of guidelines would
11 still be okay, but to the extent possible,
12 they ought to be specified, because that is
13 what got us into trouble in at least several
14 of those areas through the course of the
15 thing.

16 CO-CHAIR STEINWALD: I don't
17 remember being -- What trouble did we get
18 into?

19 CO-CHAIR ROSENTHAL: Well, where
20 we had to reconsider the whole Ingenix ones,
21 because the original go-through had been a
22 guideline and not specified. I think there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were a couple -- and I don't mean we got in
2 trouble, but I mean we had to go back and
3 reconsider something, because several people
4 thought the thing had been specified, and then
5 we found out that it hadn't been specified.

6 CO-CHAIR STEINWALD: Right. That
7 was troubling.

8 CO-CHAIR ROSENTHAL: It created
9 trouble for us, and that is all I care about.

10 DR. REDFEARN: The contrast I
11 thought was really dramatic between the way
12 NCQA approached this and Ingenix approached
13 it: NCQA has all these standards and rules
14 and formalities, which I think fit in what we
15 were trying to do beautifully.

16 Ingenix presented this -- and I
17 can speak as a customer. They presented it as
18 though we were some sort of general customer
19 of their solution, and it just was rife with,
20 well, you can do this if you want, you can do
21 that, and the system supports this, and it
22 supports that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That was not what we needed to
2 hear, and I think Tom is right. It caused
3 problems, because then we had to spend extra
4 effort: Well, what is your recommendation?
5 What do you really think we should be doing?

6 So I think the way -- The
7 developers should be told to be specific. I
8 think that is really important.

9 DR. BURSTIN: And, actually, just
10 to build on that, that is exactly right, and I
11 was smiling at Karen, because we have faced
12 this in the past around some other sort of
13 measurement systems, measures that emerge from
14 broad based measurement systems in the past.

15 It is probably just a broader
16 issue we need to talk through about when there
17 are sort of customizable measure options in a
18 broader measure system, and we are trying to
19 get a standardized measure for NQF. There is
20 sort of an inherent tension there that it
21 would be helpful for us to think about as
22 well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. BOWHAN: This may be a repeat,
2 but it sounds a little bit -- It depends on
3 what the intent of NQF is. Is to have a
4 measure that allows organizations to compare
5 themselves across the country or is it just
6 something else?

7 To the extent that it is
8 comparable across the country, then it should
9 be specified.

10 CO-CHAIR STEINWALD: Just to play
11 devil's advocate a little bit here, I
12 appreciate the point that was made, and
13 especially that it fits into the NQF process.
14 The other organization that you don't actually
15 compete with has a process that is more
16 consistent.

17 On the other hand, don't we value
18 flexibility? If the Ingenix approach is one
19 that really is better tailored to meet the
20 needs of their customers, what is to do?

21 DR. BURSTIN: I will try one
22 volley back, and Karen may want to engage on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this.

2 So I would argue that
3 customization is perfect for internal quality
4 improvement, and that is ideal, and they
5 should continue to do that. God bless them,
6 you want to make it work for your individual
7 system, but at the end of the day, if you
8 really want to be able to compare apples and
9 oranges, we kind of need some standardization
10 that allows us to do the comparisons. But I
11 agree, you could still do whatever you need to
12 do for internal QI, but it is probably not
13 what we are talking about in terms of
14 standardized measures.

15 CO-CHAIR ROSENTHAL: And I think
16 we meant well when we put the specifications
17 out, because nobody really had done this kind
18 of work in this space before, and I think we
19 opened it up with just that idea of let's, in
20 fact, allow a little bit of inclusivity,
21 because if we were too specific in the first
22 round, maybe we would "scare people off,"

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because there is no frame of reference against
2 which to evaluate the specificity. But now we
3 have one round, and again the outcome was
4 allowing the flexibility, I think, created
5 more difficulty for us in evaluating the
6 measures than it did by helping move the thing
7 along.

8 CO-CHAIR STEINWALD: Jack?

9 MR. BOWHAN: I think you can do
10 both. There is no reason that you can't have
11 a very specific measure that we can use
12 nationally, but Ingenix still has tons of
13 flexibility in it, and the user can use it the
14 way they want.

15 CO-CHAIR ROSENTHAL: Yes, but the
16 question is what are we going to put in the
17 directions to measure developers? Are we
18 going to say, dealer's choice, or are we going
19 to say, you know, you have got to specify
20 these things, and it has to be precise? My
21 vote, based on the experience, would be more
22 specificity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR STEINWALD: That sounds
2 like a principle or something you guys could
3 work with.

4 MS. WILBON: Yes. I just wanted
5 to add, another reason why we ended up having
6 this module and the last reporting module as
7 guidelines or specifications is those are
8 things that NQF has not typically required as
9 specifications on the quality side, in terms
10 of how you aggregate your -- how you collect
11 your data, how you clean it.

12 So in terms of trying to be
13 consistent across the organization -- but I
14 think that is also something we probably need
15 to talk about internally on what we tend to
16 require, and I don't know if you want to --

17 CO-CHAIR STEINWALD: I think this
18 might be -- Go ahead.

19 MS. PACE: I just want to say -- I
20 mean, just because you call it something
21 different doesn't mean we don't have
22 comparable things on the quality side. There

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are very specific identification of cases for
2 the denominator and numerator and the measure
3 logic.

4 MS. WILBON: So this module that
5 we are talking about is actually before you
6 even -- So when you have like a database of
7 data, how do you figure out which data even
8 gets pushed into the measure. So it is a
9 little bit even before that. Right?

10 MS. PACE: It is still comparable.
11 It is still identification of the data that
12 you are going to use in the measure. So I
13 think you -- I would consider that part of it.

14 CO-CHAIR STEINWALD: Steve, and
15 then Jack.

16 MR. PHILLIPS: Yes. I was just
17 going to make a comment that I think, and
18 tying it back, I guess, to the conversation
19 preceding this about the issues around getting
20 pharmaceutical data, and that there we need a
21 lot of flexible thinking about how to get some
22 of this data in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think it is a process in
2 thinking about, well, what do we need to be
3 specific about. Personally, I was willing to
4 live with more flexibility around the pricing,
5 but be that as it may. So I think that we
6 just need to hash out, or NQF folks need to
7 has out, I guess, what areas is it really
8 important to have specificity around, and
9 where can there be some benefit for seeing
10 some framework allowing for flexibility to
11 have innovative thinking.

12 CO-CHAIR STEINWALD: Jack?

13 MR. NEEDLEMAN: Yes. I think the
14 conversation we are having underscores in part
15 the dual nature of the NQF process here. On
16 the one hand, there is a measure endorsement
17 process, and that is, I think, an inherently
18 conservative one. We don't want to endorse
19 measures that still have ambiguity, that we
20 are not quite sure work.

21 On the other hand, we want the
22 measure development process, and we want NQF

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 basically creating -- I think, creating a
2 vision of where we need the measurement world
3 to go and, in that sense, to be supportive of
4 innovation, to be supportive of identifying
5 the directions that things have to move in,
6 and how those two roles play out in the work
7 of a committee like this or the Board as it
8 reviews measures, I think, is a challenge for
9 the organization.

10 To take one concrete example, I
11 know a number of people in the room voted
12 against a lot of measures, because they didn't
13 trust the attribution module down to the
14 individual provider level on a number of the
15 measures that were promoted. That is fine.
16 If we don't think the attributions are right
17 yet, people haven't solved the problem of
18 doing that correctly, it shouldn't be
19 endorsed.

20 On the other hand, folks like
21 Dolores' members, folks like UCLA Health
22 System as they analyze their resources are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trying to think about which of our doctors are
2 delivering care efficiently, which do we need
3 to have some conversations and some education
4 with and maybe pair up with some of the folks
5 who -- and collectively, as an organization,
6 what do we have to do to figure out how to
7 learn to use resources more efficiently.

8 That does require some degree of
9 attribution, and people are going to be
10 experimenting with how to do that, how to deal
11 with the weaknesses and limits of attribution
12 as they think about what conversations are
13 taking place internally, and how the data is
14 presented and how the data is used, and how
15 the conversations around the data are
16 structured.

17 If we don't believe the
18 attribution algorithms work yet, they
19 shouldn't be endorsed, and some of us feel
20 that way. But NQF needs to think about how it
21 creates a vision of the agenda for further
22 development, which is not a conservative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agenda -- it is a very aggressive agenda --
2 and how that balances with a very conservative
3 what are we going to endorse agenda.

4 Those two, I think, have come up
5 repeatedly in the discussions we have had, and
6 has been a tension in the Steering Committee.

7 It would be nice to have had a little
8 guidance on how to balance those, but that is
9 part of the conversation we need to have about
10 any set of measures that are being approached.

11 CO-CHAIR STEINWALD: I would like
12 to pursue that a little bit, but first, Sally,
13 you wanted to say? No?

14 MR. AMIN: A lot of this
15 discussion is -- I don't want to stifle it
16 because of the nature of the day, but it falls
17 into the reporting module section that we will
18 be discussing this afternoon. We can keep
19 going with it, but I know that issues of
20 attribution and sample size could take over.

21 CO-CHAIR STEINWALD: Well,
22 actually, with the prerogative of the Chair, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would like to -- Since Jack raised it, it was
2 something that was on my mind as well. I
3 think we have this kind of unfortunate cul de
4 sac in the logic of the specifications as they
5 exist, and I think it is largely on reporting,
6 which is a discomfort with reporting at the
7 individual provider level.

8 Yet as Jack points out, if you
9 develop a measure, and let's say it is at a
10 higher level of 400 providers, you as an
11 organization want to know within that 400 what
12 are the performance variations.

13 You may not have any desire to
14 report, but you have a desire to drill down
15 and discover what the data can permit you to
16 discover.

17 So I was often very uncomfortable
18 with what I described as a cul de sac, and I
19 know you pointed out repeatedly that, well, we
20 can't do public reporting at this level, but
21 that doesn't mean we can't do analysis and
22 feedback and things of that nature.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR ROSENTHAL: This tension
2 goes all the way back to the very first
3 meeting we had, and I think, Bruce, you and I
4 were both -- We even posited the idea that
5 could there be measures that we would sort of
6 semi-endorse, and the answer we got was
7 absolutely not. In fact, I think we took it
8 on about three times before we both finally
9 got the message of stop it.

10 Yet at the tail end, it comes up
11 again, that tension. And, yes, when
12 something is going to be a nationally endorsed
13 measure, I personally believe it has got to
14 meet all of the criteria, which is why so few
15 of these in the first round got through, and
16 yet I am with Jack.

17 There needs to be a way for us to
18 make a statement about the necessity of moving
19 some of these forward and figuring some of
20 these things out, so that the next round is
21 more successful, and I don't know what that
22 is, but maybe it is the white paper. Maybe it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is the report. I don't know what other
2 options are available to us.

3 CO-CHAIR STEINWALD: I think it
4 also relates to the issue that Barbara raised.
5 What is the responsibility of the measure
6 developer and what is the responsibility of
7 the user, and does the measure developer have
8 to take on some of the user's responsibility?
9 Maybe you have a different issue.

10 DR. RUDOLPH: I just think we
11 should in the submission actually clarify what
12 the standard is going to be, because it is
13 really a lot of work to go through and submit
14 measures, and information in white papers,
15 briefing papers doesn't really get translated
16 when you are out in the field into what should
17 be actually included in your submission and
18 what the measure should or shouldn't do.

19 These are very concrete decisions
20 by the measure developers to go one way or
21 another, and if they don't -- If they haven't
22 gone through the process before, if whatever,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they may not know sort of the fact that we
2 want people who do auditing or we want this or
3 that.

4 So I just think it really has to
5 be very clear. That way, the measure
6 developers know up front that their measure is
7 going to stand a good chance of being passed.

8 It is too much work to go through.

9 If sort of the attitudes and
10 beliefs and values of the Steering Committees
11 are in a certain direction, we should
12 acknowledge that and say this is -- you know,
13 in order to get passed, this is what you have
14 to do.

15 CO-CHAIR STEINWALD: Why don't you
16 give us some guidance on what to talk about
17 next?

18 MR. AMIN: Oh, okay. We will move
19 to clinical logic. I know everybody wants to
20 get there.

21 CO-CHAIR ROSENTHAL: We have
22 hardly figured out the agenda yet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. AMIN: Yes, sorry. We will
2 keep reporting for the afternoon, so we get
3 everybody's thinking around. That was a joke.

4 So for Module 2, the clinical
5 logic, looking at the overall issues: The
6 clinical logic includes the steps of
7 identifying the condition or event of
8 interest, the comorbidities and disease
9 interactions, the clinical hierarchies, the
10 clinical severity levels, and the concurrency
11 of clinical events.

12 At this point, we are also going
13 to have a little bit of discussion -- I mean,
14 clearly, we will have discussion around the
15 same concepts, but I think this will be an
16 introduction into how we could start to think
17 about this for the Medicare population,
18 because all of these steps become infinitely
19 more complex when dealing with that
20 population.

21 So the two major issues that were
22 discussed, that were brought up in the TAP and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Steering Committee were along the lines of
2 exclusions and clinical severity levels, and
3 specifically for exclusions it was a question
4 of ensuring -- what we have heard from the
5 TAPs, ensuring that exclusions weren't --
6 patients weren't driven out of measurement by
7 care that could be potentially related to poor
8 -- that could be related to poor care.

9 For example, creating risk
10 stratification approaches on subsequent
11 revascularization for patients with PAD post-
12 revascularization had the potential -- if that
13 was the criteria that drove patients into
14 higher risk strata, it had the potential for
15 creating unintended adverse consequences or
16 potentially having these patients removed from
17 the measurement was a concern that was brought
18 up many times.

19 Secondly, the issue of clinical
20 severity levels was around the complexity of
21 the methodology of linking patients to the
22 severity level. For example, in the Ingenix

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measures we heard many, many times around the
2 complexity and lack of clarity.

3 While detail was provided after
4 asking -- after requests on additional
5 information from the TAPs, there is still lack
6 of clarity on how these patients were actually
7 assigned.

8 I don't know if there is
9 additional information or additional --
10 definitely, this was part of Carlos'
11 evaluation in many of the measures. So
12 specifically, some of the questions that are
13 posed here: What are the appropriate
14 characteristics to exclude patients out of
15 measurement populations?

16 Again, another example that was
17 used in some of the TAPs were excluding
18 patients with AMI who were discharged to a
19 skilled nursing facility or exclusions of
20 patients that died during hospitalization.
21 That, clearly, has the potential for bias in
22 the measure score.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Then the second question that we
2 will pose here and that will continue to be a
3 theme in the rest of the discussion is: What
4 special considerations should be made for
5 considering the clinical logic for patients
6 who are over 65 with multiple co-occurring
7 conditions?

8 This could be thought of in two
9 different frames, first when looking at
10 individual measures, but also as we are
11 looking in the future to actually evaluating
12 groupers. What other information might we
13 need to actually start to evaluate this?

14 I recognize that is a big question
15 to be asking, but we can break it up into
16 chunks as we sort of think about this, and we
17 could think about each of them individually,
18 but I sort of pose that to the group and the
19 Chairs, and there may not be answers to this,
20 so to give everybody that out also.

21 CO-CHAIR STEINWALD: Steve has an
22 answer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. PHILLIPS: I just had a
2 comment, that what I would have found helpful,
3 I think, looking back on kind of how the
4 request for information was laid out, would be
5 to actually have the requester include some
6 information about kind of this under 65/over
7 65 break within the data, so that it is kind
8 of clear and up front, I guess.

9 Particularly since CMS is such a
10 big user and looking to use this data, I think
11 that would be a good way of kind of informing,
12 okay, is this a measure that can be adopted in
13 the Medicare population or is it based on
14 private pay patients primarily in terms of the
15 analysis that has been done.

16 MS. YANAGIHARA: I think one of
17 the things that, I think, is really
18 challenging is, when you have measurement
19 systems that are developed to parse every
20 claim into a particular bucket so that then
21 you can roll up all of those different buckets
22 to get an overall, when you are trying to look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at a measure for a particular area using that
2 kind of a system, it may not be complete;
3 because you may have parsed some of the costs
4 or some of the resources that were used for
5 this particular thing into something else,
6 because it also applied to something else, and
7 their logic applied it to something else more
8 than this other thing.

9 So it is a tension of these
10 systems that are created to do one thing being
11 applied in a different way in an individual
12 measure. I think that that kind of plays into
13 this whole multiple co-occurring conditions.

14 It is like, if you have all these
15 different conditions and you are trying to
16 just measure one of them, if you are using a
17 system that parses everything into just one
18 bucket, you are not going to be getting
19 everything that has to do with that particular
20 condition.

21 So I don't know what the answer
22 is. It is just something that I have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 struggling with as we go through this. It is
2 like this is not a complete measure on its
3 own. This is a complete measure when you are
4 looking at in the context of the whole thing,
5 but not necessarily on its own.

6 CO-CHAIR ROSENTHAL: Well, what
7 struck me is what is the definition of an
8 episode, because we had episode grouper
9 people, and some of the episodes -- Frankly,
10 even Ingenix has got four or five hundred or
11 several hundred for sure definable episodes,
12 and yet they only chose to bring a dozen
13 forward. Then when we looked at it, only a
14 small number, at least to some of us, then
15 made sense to carry on.

16 So, really, what is an episode,
17 and which one of the episodes actually works
18 for this kind of comparative thing seems to me
19 to be an unanswered question. I think we are
20 in the process of answering it.

21 I wanted to follow on Steve's
22 point just for a second, because I thought

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is a really interesting notion of the
2 episodes that we saw that were in commercial
3 populations, we never asked the question, I
4 don't think, which ones of them should
5 Medicare also ought to be considering, because
6 they would easily and clearly work in the
7 Medicare population.

8 Ultimately, you want this thing
9 across the whole commercial Medicaid/Medical
10 spectrum for completeness sake, and I don't
11 think we ever posed that question.

12 MS. WILBON: There was an item on
13 the submission form for checkboxes where we
14 asked them to identify which population they
15 tested the measure in, and it was commercial,
16 Medicare -- I don't remember what the other --
17 Medicaid, and then Other option. But that was
18 only for what they actually tested it in
19 versus -- I don't know -- I see what you are
20 asking for. It is what they tested in, which
21 is versus what it could be used in.

22 DR. REDFEARN: I am really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerned about how you are going to deal with
2 the complexity of the episode grouper models.

3 For example, just to give you another
4 example, Thompson and Medstat wasn't here
5 doing any proposals, but if you wanted to try
6 to understand the Medstat severity adjustment,
7 you have to understand their disease staging
8 model, which is pretty deep and complicated.

9 That just kind of brings up
10 another point to me, that I thought the forms
11 that were used for the measure developers to
12 use to submit worked better for NCQA and their
13 kind of an approach, and didn't work very well
14 for the episode models.

15 Frankly, I got really tired of
16 reading that stuff. It was a lot of repeated
17 stuff, a lot of complexity that didn't really
18 illuminate what I wanted to know. I know the
19 Ingenix stuff pretty well. I was hunting to
20 try to find things. I didn't think that way
21 of submitting it worked.

22 Frankly, what I expected Ingenix

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to say was, go to our transparency and read
2 all the documentation we have about our
3 clinical model, because that is where the
4 details of the clinical model is, and it
5 didn't -- That is the legitimate way to
6 evaluate Ingenix clinical models, is to look
7 at that level of detail, not what they put in
8 that form.

9 So I really don't know what you
10 are going to do when you go forward and start
11 comparing clinical groupers. Let's say you
12 are looking at something that Thompson
13 proposes and something in Ingenix proposals
14 and try to make sense of them. These forms
15 are not going to -- It is not going to work.
16 They are going to be confusing. They are not
17 going to contain the data details that you
18 need.

19 So I think that way of submitting
20 those proposals is not going to work very well
21 for that model. That is my concern.

22 CO-CHAIR STEINWALD: Do you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 advice on how they should be advised? Maybe
2 later?

3 DR. REDFEARN: Maybe later.
4 Frankly, I don't think Ingenix did a very good
5 job of dealing with the way it was said, and
6 so that is part of this. But I don't think
7 the model fits episode methodologies very well
8 because of the inherent underlying complexity.

9 Related to that is, if they had
10 said, well, go to our transparency site and
11 read it -- I mean, who here on the committee
12 has time to do that? That is the other thing.

13 Even the TAP -- I don't think the TAP members
14 have enough time to go through that.

15 It is literally a matter of months
16 of studying that kind of stuff to try to
17 understand it, and so the inherent complexity
18 of the measure is so deep that how can a
19 committee that meets, you know, five or six
20 times a year for maybe a total of 20 hours
21 going to deal with that and discuss is? That
22 is my concern.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR STEINWALD: Jack, and
2 then Steve. Barbara, do you want to respond
3 to this specific thing first?

4 DR. RUDOLPH: No.

5 MR. NEEDLEMAN: The issues that
6 David raises -- we are looking at a major
7 issue here, and what we get are the end
8 results of, frankly, a lot more hours of a lot
9 of very talented people trying to solve the
10 problem in the measure development.

11 I think it is helpful to go back
12 to what problem we expect to solve here and to
13 think about the clinical experience of the
14 patient and the clinician, and to see how well
15 the treatment of the multiple conditions is
16 doing.

17 It strikes me that we have got two
18 issues with multiple -- patients with multiple
19 conditions. One is, if we are dealing with a
20 specific condition, we are trying to
21 understand how much it costs to treat, what
22 the resources are that are being used to treat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 coronary artery disease, CHF, diabetes.

2 There are some of these
3 comorbidities that directly add to change the
4 way the treatment is delivered and, therefore,
5 affect the resource use. If you got a
6 patient with dementia, what you do and what is
7 prescribed, what you do, who else you have to
8 deal with is very different than if the
9 patient is fully competent.

10 If the diabetes already has
11 vascular complications associated with it, the
12 way you -- what you are going to do as a
13 clinician when that patient is in is going to
14 be very different than if they are relatively
15 -- if the disease has been relatively
16 complication free in terms of how far advanced
17 it is.

18 All those are direct factors that
19 affect the treatment of the disease, because
20 they are directly related to the treatment of
21 the disease and the treatment decisions of the
22 clinicians involved.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There is another group of things
2 that we have got with these comorbidities
3 which are to ask a very different question,
4 which is: If you have got a patient with
5 diabetes who also has asthma, and they have
6 come into your office, Helen, what are our
7 expectations about the time and attention you
8 are going to give to the other things on their
9 problem list beyond the disease and the
10 immediate complications and factors associated
11 with that, and what do we expect there,
12 because those are also going to affect
13 resources that are used?

14 We need to understand how well the
15 risk adjustment and the dealing of the
16 comorbidities and the complications, how the
17 clinical logic translates to what we expect in
18 terms of resource use.

19 Right now, people are basically
20 being very crude empiricists. They have got
21 their list of things, and they are running
22 regressions or their equivalent, producing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 groups that they think are similar, and then
2 doing -- How much does this add to the cost of
3 treatment, and that is how much we are going
4 to risk adjust.

5 It seems to me that to get closer
6 to the heart of what we are trying to do here
7 and to be comfortable with it, we need to
8 think about these comorbidities and
9 complications in terms of these two issues:
10 How much do we expect the direct complications
11 or the disease staging, whatever, to affect
12 the resources that are used and, therefore,
13 the costs of treatment; and what else about
14 this patient with multiple comorbidities,
15 multiple chronic conditions, do we expect to
16 also be taking care of when they are in there
17 for their diabetes treatment or their asthma
18 treatment, and how will that affect the
19 resources used and the cost of care?

20 If we go back to those core
21 visions of what resources we expect the system
22 to consume, then we can think about how well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the grouper or the resource use analyzer is
2 doing in effectively taking those into
3 consideration when it tries to answer the
4 question, how many resources we use for this
5 patient.

6 MR. PHILLIPS: I have just a
7 general comment following up on some of the
8 ideas about kind of helping up front kind of
9 spell out the application. So I apologize. I
10 am not answering your questions directly.

11 For me as a reviewer, I think
12 having -- You know, we have got a brief
13 description of the measure, a brief
14 description of the clinical logic. I think
15 understanding the episode clearly up front and
16 some of these key issues that we have focused
17 on about attribution -- having those up front,
18 I think, would help. Then as you are going
19 through the details really, okay, I want to
20 dig into this a little bit more, but just to
21 frame kind of the -- what the proposal is, I
22 think at least those two would be something we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 might consider putting up in the description
2 section.

3 MS. YANAGIHARA: I will second
4 that. I think, just like a two or three page,
5 whatever, summary overview of all the key
6 things, and then where to refer for the
7 detailed information might be helpful, because
8 I found, like I read the Health Partners
9 description that was like a three-pager. Wow,
10 I understand the measure way better than like
11 digging through these 45 pages of submission,
12 just because you have to have that framework.
13 So I think that is a great idea.

14 My comment was going to build on
15 David's -- my second comment, I guess, was
16 going to build on David's comment. This is
17 something I know that we weren't out to
18 endorse a measurement system, but in reality,
19 to try and -- to endorse one measure for a
20 measurement system is basically endorsing the
21 system, because you have to buy the whole
22 system in order to do that one measure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I just -- I know that there is
2 a next piece of work looking at public
3 groupers and things like that, and maybe that
4 is where all of this -- I think it really does
5 make sense to look at which -- I mean, compare
6 the systems, and pick a system, because
7 otherwise you are really telling people you
8 have got to buy all these multiple systems to
9 get these individual measures.

10 So I think that is just something
11 to keep in mind. Then you can dig into the
12 clinical -- the underpinnings of how this was
13 developed, and what are the differences
14 between the different grouper methodologies,
15 and which one seems to really fit and make the
16 most sense, and there is an assessment of
17 that, instead of trying to do it in the
18 context of one measure.

19 DR. RUDOLPH: I wanted to just
20 talk for a minute about the clinical severity
21 levels part of this, and Jack was talking
22 about that as well, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One of the things that we saw at
2 Leapfrog in looking at the length of stay
3 measure was that some of the things that
4 individual clinicians thought increased length
5 of stay actually, when you put it into the
6 models -- and this is maybe -- maybe these
7 were cheap models -- that they really didn't
8 have any contribution to length of stay.

9 So each time -- I am a little
10 distraught about the fact that you assign a
11 severity level, and that severity level goes
12 into every measure, whether or not it is
13 really contributing to the outcome.

14 So particularly in additive models
15 where you just add up the number of points for
16 that severity, you end up giving sort of --
17 giving the power of the measure away in the
18 sense that people -- the measure is being kind
19 of risk adjusted. Perhaps the errors or the
20 problems are being risk adjusted away by these
21 sort of additive models of clinical severity
22 levels when, in fact, that particular item

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 might not be a contributor to the end outcome.

2 Does that make any sense?

3 CO-CHAIR STEINWALD: How does that
4 translate into something that the staff might
5 write about or modify the requirements to the
6 specifications?

7 DR. RUDOLPH: I think it is what
8 steps have you taken to assure that some of
9 the items in the risk adjustment models are
10 actually contributing to the outcome. In
11 other words, particularly if you have additive
12 models of risk factors -- I just feel like I
13 know that was the case, I think, in the NCQA
14 model -- or Ingenix. One of them had it where
15 you just add up, in essence, the number of
16 times if this particular diagnosis pops up,
17 you count it for whatever measure you are
18 doing.

19 So it just seems like there ought
20 to be some specificity about the way that that
21 works, that the method that they have used
22 actually includes only those conditions that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 truly contribute to the outcome that they are
2 measuring, in this case resource use.

3 DR. ELWARD: Ingenix did a little
4 bit of that for the pulmonary TAP, although it
5 could have been better, and it was very
6 helpful for them to say here is an example of
7 how it would work, for example, if somebody
8 has COPD and CHF. Give me an example of how
9 you differentiate. Shortens breath. You
10 know, the resource use associated with that.

11 You can lump them altogether and
12 say, boy, if you have both of them, you are
13 going to use more money, but we know that,
14 but some specific examples of saying here is
15 how it actually works in this situation, so we
16 can tease those kind of questions out.

17 If they can't provide that, then I
18 don't think they should even bother to submit
19 something.

20 CO-CHAIR ROSENTHAL: Well, in the
21 quality world I think how we would try to
22 grapple with this is that we would look back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the literature, and particular the peer
2 reviewed literature, and at least that is
3 often my guidepost, and you go back and you
4 look at what they wrote in the Annals of
5 Internal Medicine, and you go, oh, and here is
6 the p value, and somebody has studied this,
7 and you learn.

8 The challenge here is, with a few
9 exceptions, there is very little peer reviewed
10 literature about this stuff, and that is sort
11 of a complaint. But I think, to the extent --
12 and the exception to that, actually, from my
13 experience about this is the NCQA folks using
14 the HCC methodology is well described in the
15 literature, and you can go back and you can
16 read that literature, and you can make sense
17 out of, oh, I see the limitations, it has been
18 well studied, and I know the people who have
19 studied it independently, and now I can draw
20 some conclusions.

21 With the stuff that is solely
22 proprietary, they have had very little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incentive to publish and, therefore, we are
2 left with a real paucity in relationship to
3 the way we would normally evaluate stuff like
4 this.

5 I am not quite sure what my point
6 is, other than it is missing, but we should
7 ask for it where it exists, because at least
8 you would put some additional pressure on: So
9 what is the basis of your saying that, when
10 you count up these things, that that ends up
11 with a risk adjusting that is adequate other
12 than, as David has suggested, well, go back
13 and read our entire website, and you will find
14 it there somehow, if you are really very
15 skillful.

16 DR. REDFEARN: Actually, to
17 Barbara's point, one of the advantages of the
18 episode methodologies is that the risk
19 adjustment built into it is episode specific.

20 So Ingenix risk adjusts that episode as very
21 specific as opposed to NCQA using HCC which is
22 the patient risk.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So the point about the relevancy
2 of the risk factors to the condition we are
3 looking at is implicitly a criticism of the
4 NCQA approach, not the Ingenix approach.
5 Medstat does it the same way. They risk
6 adjust inside the episode. They don't use the
7 overall patient, although Medstat occasionally
8 uses the patient stuff things, both.

9 MR. ALZOLA: I agree quite a bit
10 with Barbara's and Tom's points. One of my
11 complaints, I would say, is that how little
12 detail they put into the description of their
13 risk adjustment models.

14 That went for all the submissions,
15 and nobody really presented any technical
16 detail on how they arrived at their models,
17 what kind of models they were, any
18 descriptions of how good the models were. So
19 that will value for making these things part
20 of a specification.

21 I am not -- and, really, it is not
22 something that I like to do, because I like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 allow people creativity, and they can do
2 things in a different way, and they don't have
3 to evaluate it the way I would do it, but if
4 we don't do that, it seems that we won't have
5 the information to say, well, this is a good
6 risk adjustment methodology or not.

7 CO-CHAIR STEINWALD: Across the
8 board, you are not singling out any developer.

9 You think that the submissions were
10 inadequate in the extent to which they
11 described their risk adjustment methodology
12 and supported it through any sort of their own
13 analysis or external analysis.

14 So does this sound like a group,
15 something that we might, going forward, want
16 to suggest could be an improvement in the
17 future, that if there is risk adjustment, it
18 needs to be described?

19 CO-CHAIR ROSENTHAL: Yes. The
20 only reason I spoke positively about the NCQA
21 one, though, is that they did use a risk
22 adjustment methodology that was, in effect, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the public domain. So in fact, their
2 specification could have been an opinion:
3 Here are the three articles on the HCC
4 methodology, and anybody could look it up
5 without them having to necessarily reprint all
6 of it.

7 So I would say, if it is not in
8 the public domain where it is independently
9 verifiable, the methodologies were lacking.
10 One or the other would seem to me to be
11 acceptable, but I agree with Carlos. They
12 weren't really there to look at.

13 CO-CHAIR STEINWALD: Paul.

14 DR. BARNETT: Yes, I agree with
15 Carlos, too. That is exactly right, that we
16 didn't really have that sort of good table of
17 evidence showing how the models work.

18 I also think it is a little bit
19 naive to think that we are going to be able to
20 look at a submission like this and really
21 evaluate what is going on with a system like
22 this. What it is going to take is some study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where somebody takes one dataset, and they run
2 the different groupers on them and compare
3 them.

4 In fact, some of those studies
5 have been done by McCurdy and Thomas and
6 others, and seems like we ought to be looking
7 at the evaluation that neutral third parties
8 have done of these different methods and see
9 what their findings are, because that is going
10 to be stronger and more impartial information.

11 In terms of the presentation, I
12 think it is worth noting that -- and this is
13 something that I have learned from
14 participating in this, is that the methods
15 seem to fall into two broad categories, and I
16 am not sure if anyone knows which is superior.

17 One is this idea where we take the
18 claims data, group them into episodes, and
19 then compare costs of episodes, and the other
20 is where we are taking all data and trying to
21 use the risk adjustment to predict all costs.

22 So each has its strength, and each

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has its limitations, and I think the
2 complexity of assigning care to episodes is
3 going to be very difficult to look into how
4 that is done.

5 One concern I have about that is
6 kind of this joint cost problem where
7 ultimately -- So every visit gets assigned to
8 one episode or another episode, as I
9 understand it, and yet multiple episodes are
10 being managed in a single visit. Right? So
11 somebody is getting their diabetes care and
12 their hypertension care and their hip pain all
13 dealt with in the same visit.

14 So how do you assign that visit?
15 So I always worry about when people have a
16 joint problem, and they try to assign the cost
17 to mutually exclusive categories that they are
18 engaged in an undoable activity, a fool's
19 errand.

20 So I believe in econometrics. So
21 we try to parcel that out with regression. So
22 that tells you the nature of my bias, but in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any case, there are these two different
2 approaches, and it is worth noting that and
3 understanding that as a part of submissions.

4 DR. REDFEARN: You guys are
5 probably really sick of hearing about Ingenix
6 methodology, but I have to -- That just
7 brings up a point which, I think, is really
8 fun.

9 They have a concept such -- they
10 call it phantom episode. When you have
11 multiple diagnoses being rendered in a
12 particular physician-patient contact, they do
13 the best they can to assign that contact to an
14 episode, but if there's multiple diagnoses and
15 they think something else is going on, they
16 create a phantom episode, and the phantom
17 episode sits there waiting, looking at more
18 administrative claims data until it thinks it
19 finds a service that matches that phantom
20 episode, and starts another episode. But
21 phantom episodes can exist in your data and
22 never get brought back into reality. They sit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 out there, and they account for some
2 diagnoses.

3 So this business of -- This is a
4 critical issue in episodes, is how do you
5 parcel what goes on in those contacts when you
6 have multiple conditions going on, and every
7 one of the groupers have a different clinical
8 rule for how they do that.

9 I am just afraid -- I think the
10 benchmarking idea is a great idea in terms of
11 doing this, but I am very much afraid you do
12 the benchmarking, and the conclusion is they
13 are different.

14 CO-CHAIR STEINWALD: Good luck on
15 writing up that comment.

16 So, Paul, the issue you raised --
17 do you think that is an issue that the
18 committee needs to kind of address and take
19 sides on?

20 DR. BARNETT: Well, I think the
21 first issue is that we can't ignore the
22 literature that is out there and the reports

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that have been commissioned by CMS that have
2 compared these methods. And of course, we
3 have only -- So I know that Thomas and McCurdy
4 have done these evaluations, and there are
5 some reports out there on these products that
6 are comparing them head to head.

7 We haven't looked at that
8 literature, and that seems like we should
9 have. Of course, there is this all historical
10 accident that some of the people submit to us
11 because they are submitting directly to CMS
12 and all that going on, too. So I understand
13 the comments there.

14 CO-CHAIR STEINWALD: I understand
15 it.

16 DR. BARNETT: We rely on whoever
17 submitted. That is who we are going to
18 evaluate. So the evaluations they did include
19 groupers that weren't submitted to us.

20 CO-CHAIR STEINWALD: To make sure
21 I understand the issue, it is the are you
22 inclusive about all of the resources that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 utilized by a patient in a given episode, and
2 then try to use regression to identify the
3 costs associated with that diagnosis, or are
4 you less inclusive at the outset. You try to
5 eliminate resources that you don't think are
6 connected with the episode at the outset.

7 DR. BARNETT: Right. So I am just
8 noticing, there is a kind of a broad taxonomy
9 approach. That is a separate kind of
10 disjointed, entirely independent comment that
11 I made. But, yes. So there is this taxonomy,
12 whether you episode group or not or look at
13 all costs.

14 CO-CHAIR STEINWALD: Okay. Kurt,
15 and then Jack.

16 DR. ELWARD: Yes. Two comments.
17 One is that, again, I think the idea of these
18 phantom episodes are what -- is a headache a
19 headache or is a shortness of breath,
20 shortness of breath, and for what, are very
21 important.

22 Again, I would make a plug for us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at how other people have done it
2 throughout the world. But also I do want to
3 reiterate what -- or support what David was
4 saying.

5 One of the things that did come
6 through in Ingenix was the concept of how they
7 handled that question, which I think is very
8 well done. While there are other problems
9 with Ingenix, I think they came across with at
10 least one very solid approach of how to keep
11 from having everything piled onto one
12 diagnosis, and the phantom concept is really -
13 - It sounds a little weird when you first read
14 it. You go -- you know, supposed to see the
15 Green Hornet next. But they handled it pretty
16 well.

17 DR. BARNETT: If I may, it doesn't
18 entirely solve the problem, because the
19 phantom can pick up, yes, so you know this lab
20 test is really about diabetes, because it is a
21 hemoglobin Alc. So that is not the
22 hypertension episode. So, yes, you can add

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that on, but ultimately that visit is only
2 being assigned to one or the other.

3 Maybe it works out. I mean, it is
4 an empirical question whether it works out,
5 but whenever you produce two products
6 simultaneously, in this case a diabetes
7 episode and a hypertension episode, you have
8 to make some rule about how to divide the
9 costs, and this one is where we are going to
10 assign this visit to one or the other.

11 I am not sure how it works out
12 with hospital stays. That could be a pretty
13 profound effect on what you think an episode
14 costs. So if that episode gets entirely
15 assigned to diabetes or it gets entirely
16 assigned to hypertension, that is going to
17 markedly affect your results. In fact, both
18 products are being produced simultaneously,
19 diabetes care and hypertension care. So that
20 is the joint products problem. There is a lot
21 of literature on it in economics.

22 The classic one is hides and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tallow, as I recall from my undergraduate
2 course.

3 DR. NEEDLEMAN: So a joint
4 problem. So a patient walks into a doctor's
5 office, and the patient has COPD and diabetes
6 and a bad knee. Okay? This is the joint
7 production problem. So if they walk into
8 their primary care doc's office or an internal
9 medicine office and they have COPD and
10 diabetes, we don't expect the hip to be a
11 large portion of that visit, but Paul's
12 question is which of those conditions is that
13 visit being applied to? Is it the COPD
14 episode? Is it the diabetes episode?

15 Well, if they have walked into
16 their primary care doc's office, they are
17 probably getting both problems discussed. So
18 suddenly that short visit, because it is
19 routine, turns into an intermediate visit or
20 the intermediate visit turns into a long
21 visit, perfectly appropriate for discussing
22 both.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The risk adjustment methodology
2 basically says, yeah, we expect longer visits
3 in a COPD case if the patient also has
4 diabetes, because we expect something else to
5 be going on in that visit. So the risk
6 adjustment says more resources are
7 appropriate.

8 If we have thrown that patient
9 into the diabetes episode grouper, because
10 they had come in for diabetes, we also expect
11 more time because of the COPD. So more
12 resources we would expect to use.

13 The issue Paul is raising is do we
14 expect that visit to go into only one of those
15 groupers, into only one of those episodes, or
16 is the visit really about both of those
17 conditions and when we throw them into the
18 COPD category, that visit should be counted in
19 the COPD grouper; and when we throw them into
20 the diabetes episode, that visit should also
21 be in the diabetes episode?

22 So we got this issue of are we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saying only -- that visit only goes into one
2 episode or not? That is one issue that Paul
3 raised. The other is, given the comorbidity,
4 do we expect them to go into the -- do we
5 expect more resources to be used, because we
6 expect those other things to be treated? That
7 is a matter of risk adjustment to the resource
8 use, and that is a different issue than are we
9 only counting it in one episode or are we
10 counting it in multiple episodes.

11 If that same patient takes their
12 bad knee, talking about joint production
13 problems -- takes their bad knee into an
14 orthopedist's office or into an
15 anesthesiologist's office and they got the
16 COPD, do we expect that to be affecting the
17 way in which the discussion of treatments for
18 the bad knee is taking place? Orthopedist, I
19 don't know, but for sure the anesthesiologist
20 is going to want to take into account the COPD
21 as they think about anesthesia options.

22 So we've got all -- So when do we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 expect the -- But is that a risk adjustment
2 model? It is clearly not the same problem of
3 attribution. This is a visit about the knee.

4 It is not a visit about the COPD per se, but
5 there is a potential need for risk adjustment
6 as the orthoped or the anesthesiologist deals
7 with a more complex patient.

8 So we have got two different
9 issues here, and it is important to understand
10 how the groupers deal with them. Do you count
11 the same visit in multiple buckets or do you
12 try to arbitrarily assign it to one bucket and
13 not the other, and do you risk adjust to the
14 complexity of the patient where we expect
15 other problems to be dealt with in a visit
16 with a primary care doctor or an internist,
17 and how does that logic apply when they are
18 going to see a specialist about something like
19 a knee or, frankly, a specialist about the
20 pulmonary problems?

21 Those, I think, are two different
22 issues. We need to understand how the logic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 deals with it, and then the committee should
2 be thinking about whether there is some logic
3 that we prefer in dealing with those two
4 separate problems over others or whether, as
5 long as the logic is convincing, we will let
6 the grouper deal with it and let the customer
7 decide which of the logics they prefer.

8 DR. BURSTIN: Yes. As I say, in
9 addition to the work Taroon is going to show
10 you shortly the patient focused episode work
11 that we have been trying to conceive over the
12 last few years of not being so episode grouper
13 specific, but really in a patient centered
14 context, what does an episode look like.

15 We have actually got a group now
16 working as well on a multiple chronic
17 conditions framework, just recognizing the
18 reality that this is such an artificial
19 distinction of figuring out -- I mean, well,
20 Kurt, I live this all the time in practice.

21 I mean, my patient routinely walks
22 in with five to seven comorbidities. So even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one to two to three isn't relevant for most of
2 the -- as a general internist. It doesn't
3 compute at all.

4 So I think the other thing that
5 might be interesting is, as that framework
6 emerges, we will share it back with this
7 group, and perhaps you can reflection how you
8 are able to think about episode based cost
9 measures. How does that fit in that multiple
10 chronic conditions framework?

11 MR. AMIN: There is -- This is
12 very helpful. So there is a lot of very
13 important things that are being discussed
14 right now. So I just have a few different
15 topics.

16 Before I go on, I do feel the
17 inherent need as a disclosure to say that,
18 before I joined NQF, I was working on the
19 public sector episode grouper work with
20 Brandeis and Prometheus.

21 So some of my sort of orientation
22 comes form that background. But I think this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue, Paul, that you are bringing up around
2 how the claims are attributed to an individual
3 episode -- there are different methodologies
4 out there that can actually, as Jack is
5 pointing out, attribute that claim to multiple
6 episodes occurring at the same time.

7 So this idea that it needs to be
8 assigned to a specific is, I think, a residual
9 of the fact that that is one approach that we
10 saw through this process, but there are other
11 approaches out there that are, as Helen
12 pointed out, trying to conceive the unit of
13 analysis as the patient, looking at it across
14 the patient centered episode of care, not
15 necessarily creating these episodes as forcing
16 binary decisions in some way, and better
17 understanding how that works, I think, is a
18 clear take-away that there is a level of
19 specification that we really need to think
20 about as we sort of look at the Medicare
21 population.

22 Additionally, the question that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Carlos brought up around the risk model: We
2 do have some submission questions in our
3 current submission form that asks the question
4 of defining risk adjustment and variables and
5 describing conceptual, statistical and
6 relevant aspects of the model.

7 The question I would ask the
8 group, and Carlos also specifically: What
9 other characteristics are we looking for?
10 From what we have heard through the TAPs and
11 the Steering Committee, it seemed like,
12 clearly, the R-squared or the goodness of fit
13 of the actual final risk model was really
14 important, but also the question of how
15 specific variables were included into the risk
16 model, whether they were just based on
17 statistical significance or if they actually
18 had some question of clinical validity in
19 inclusion into the variable seemed to be
20 another of specification that was needed. But
21 is there additional information that we think
22 we need to evaluate the appropriateness of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 risk model would be a question that I would
2 again frame to the work group?

3 CO-CHAIR STEINWALD: We are due
4 for a break. Could we ponder that question
5 for 15 minutes, and then reconvene? All
6 right, 15 minutes, and it is about -- So 10:45
7 reconvene? Okay.

8 (Whereupon, the foregoing matter
9 went off the record at 10:33 a.m. and went
10 back on the record at 10:49 a.m.)

11 MR. AMIN: So I will just reframe
12 the question that I sort of posed to the group
13 also. I don't want to break the flow of other
14 conversation that may need to occur in this
15 area.

16 We talked a little bit about the
17 risk adjustment model of what other
18 information would potentially be needed, and
19 basically what I heard from the group was that
20 some justification of the variables that are
21 used in the risk adjustment model need either
22 clinical evidence based on literature or some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 justification of how they were entered into
2 the model, not just that they were
3 statistically significant, but they have some
4 clinical relevance.

5 Additionally, all models should
6 provide goodness of fit information through R-
7 squared, but if there was any other additional
8 information for the risk adjustment model --
9 and this will be discussed again in another
10 module -- and also, if there is some guidance
11 -- and this is a totally different topic, but
12 if there is guidance on how claims for
13 patients with multiple co-occurring conditions
14 should be assigned to an episode, if this
15 issue of the binary logic that it has to fit
16 into one particular episode is limiting, and
17 the committee feels that this is not an
18 appropriate approach, I think that is another
19 area of guidance for when we are looking at
20 Medicare populations, it would be helpful.

21 CO-CHAIR STEINWALD: I encourage
22 you to continue doing what you are doing now,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is repeat what you think you heard. The
2 committee should think of what the staff say
3 as being in print and being representative of
4 our views, not the staff's. So please keep
5 doing that.

6 MR. ALZOLA: With respect to the
7 question of what are things we should require
8 in terms of evaluating the models, one thing
9 that I think is crucial, actually, is the
10 calibration of the model. That means how well
11 the model predicts at different ranges.

12 So for patients who have low
13 resources, they would have a low prediction.
14 Same for the middle and for the extremes, the
15 ones with high resource use, they would
16 predict a high resource use.

17 That is usually pretty difficult
18 to do, but most models already would have
19 predicted means, but the real interesting
20 cases are the outliers, the ones who are very
21 expensive to treat, because you could have a
22 situation where we -- For the very low, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 predict very high. So for the very high, you
2 predict very low. So you have something --
3 and they predict perfectly for the mean.

4 So you have a situation that
5 connects like this. So although on average
6 the model is going to do very well, but at the
7 specific cases where we are interested, it
8 will not. So that information is really
9 important to have.

10 DR. BARNETT: I think what Carl
11 has said, we should underscore that whole
12 idea, and it is especially important in costs,
13 that usually where most cost models fail is in
14 predicting the top decile, and I am very
15 uncomfortable with the idea of eliminating the
16 high outlier costs, which I have seen
17 everybody does. I wonder if it is just me,
18 but I don't understand this.

19 I understand, you know, data has
20 got problems with etcetera, but you worry
21 that, if providers or plans are going to --
22 you know, are the results sensitive? Rankings

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of providers and plans, are they sensitive to
2 the threshold of where you are doing this
3 truncating of the high cost outliers, because
4 it is those train wrecks that we care about,
5 and maybe it is outside the provider or the
6 plan's control, but maybe not.

7 So I sorry about that. There
8 should be some sort of sensitivity analysis
9 about that outlier trimming.

10 MR. ALZOLA: Yes. Sensitivity
11 analysis was missing by a lot in all the
12 submissions. So that is something we should
13 ask for.

14 CO-CHAIR STEINWALD: You wanted to
15 say something?

16 MS. PACE: I was going to say, I
17 don't know if it got on the resource use form,
18 but in our general measure submission form, we
19 do ask for risk model metrics in terms of
20 discrimination and calibration, and
21 specifically ask for the risk decile plots or
22 risk decile information. So I think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be comparable.

2 MR. AMIN: Yes. It was asked for,
3 but whether or not it was -- I think that some
4 of the take-away is there is a translation
5 issue, and we will have to think about that
6 internally at NQF about how we are able to
7 garner that information.

8 DR. REDFEARN: Maybe you need --
9 When you have your specs and you get an
10 initial submission from the measure
11 developers, take a quick look at it and say,
12 sorry, folks, you missed it; you are not
13 doing what we are asking, and give them
14 another chance before we see it?

15 You can obviously do these
16 reviews. If you ask for something, risk
17 deciles or something, and it is not submitted,
18 you immediately go back and say, you forgot
19 this.

20 CO-CHAIR STEINWALD: I wonder if
21 we are headed in the direction of asking for
22 more information, and knowing, as we have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 told many times, it is very hard to prepare
2 these submissions, are we going to be
3 considering any ways in which we can make it
4 easier for the developers to submit? It is
5 just a global question.

6 MR. AMIN: I mean, I think the --
7 The answer is yes. I mean, the question is
8 how, and I think we will have to figure that
9 out over time. I think that is -- and I think
10 there is a serious question here of developer
11 burden. I mean, as we are sort of asking for
12 this level of information, we also have to
13 recognize that there are organizations out
14 there that need to provide this information to
15 us at a level that we are able to assess it,
16 but at the same time we are not asking for
17 undue burden.

18 So I think this is all a balancing
19 game, and we will have to think about this as
20 it goes along, but I can sort of outline maybe
21 modules three and four, if we are ready for
22 that. I don't want to push people too far.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 They overlap with the conversation.

2 CO-CHAIR STEINWALD: We are
3 hearing voices from above.

4 Why don't you forge ahead?

5 MR. AMIN: Okay. So moving to
6 Module 3 -- and Dolores really set this up for
7 us already, but we just want to pull it out as
8 an additional consideration as we are thinking
9 about this.

10 The way this evaluation process
11 was set up was to evaluate individual
12 measures, and some of the true challenges that
13 we saw in the TAPs and, to a certain extent,
14 in the Steering Committees were it is
15 extremely difficult to evaluate some of the
16 components of the measures, since they were
17 functions of the episode grouper that were
18 behind the actual measure.

19 Some of these includes methods of
20 claim assignments to the episode,
21 comorbidities, clinical hierarchies, and the
22 handling of concurrent clinical events, as we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 described, and a major issue, at least to me,
2 during this evaluation was understanding this
3 tie breaker logic when evaluating single
4 measures.

5 So specifically, what this is
6 referred to in a lot of the submission forms
7 were individual and how they were assigned and
8 their relative weightings or -- there was
9 another term that was used -- their relative
10 association to various episodes, which when
11 you are evaluating a specific measure is very
12 difficult to assess.

13 So some of the questions here are
14 a little bit more overarching, but how can we
15 better evaluate these individual measures when
16 the select measure attributes are part of a
17 grouper, and are we, in effect, just simply
18 evaluating the grouper; and are there
19 additional criteria that should be explored if
20 we are going to evaluate the groupers
21 themselves and, potentially, if we are looking
22 at entire sets of measures, how they interact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with each other.

2 So there is a question of the
3 actual episodes interacting, but then how do
4 the measures interact, in some sense? I know
5 it is a little bit conceptual, up in the sky,
6 but bear with me.

7 Then Module 4 is looking at the
8 adjustments for comparability. So one of the
9 questions that was brought up in the TAPs was
10 the appropriateness of various risk adjustment
11 methodologies.

12 So a lot of the discussions relied
13 on the Societies of Actuaries report of the
14 appropriateness of various risk adjustment
15 methodologies, and there is a legitimate --
16 There is a question of whether or not, if
17 there should be additional evidence beyond
18 that Society of Actuaries report of the
19 appropriateness of various risk adjustment
20 methodologies for various approaches, and
21 should there be a way to assess the risk
22 adjustment methodologies for the proposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 application.

2 I guess the question that we are
3 asking here is: In what context -- Well, one
4 of the questions here is are the risk
5 adjustment methodologies specific to
6 individual populations?

7 So we saw in at least one of the
8 submissions -- this was ABMS prior to maybe
9 even getting to the Steering Committee here --
10 was is it appropriate to use the HCC
11 methodology in a population that is outside of
12 Medicare.

13 So it was brought up many times
14 that it is good that HCCs are used, because
15 they are -- HCCs are used because they are
16 peer reviewed, and there is a great deal of
17 literature out there on the appropriateness of
18 HCCs, but the question is: Are HCCs actually
19 appropriate for the intended population within
20 the measure?

21 So should there be some guidance
22 here about not only appropriateness of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk adjustment methodology for its intended
2 use, but also its intended population?

3 So I will summarize by saying
4 there is just a general bucket of questions on
5 the appropriateness of the risk adjustment
6 methodology which goes beyond the type of
7 detail that we would need to evaluate the
8 measure -- or you would need to evaluate the
9 measure.

10 Then a question of, really,
11 evaluating the individual measures that are
12 within overall groupers, and whether or not
13 some of these aspects within the grouper maybe
14 are outside of the evaluation. I don't
15 propose that, but it is a question, or whether
16 or not we really should be doing -- really
17 evaluating measure episode groupers at all as
18 measures.

19 CO-CHAIR STEINWALD: Don't all
20 speak up at once. Make sure you raise your
21 card. The last question as you posed it,
22 whether we should be evaluating episode

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 groupers at all --

2 MR. AMIN: Let me clarify.
3 Individual measures that are part of an
4 episode grouper system, whether they should be
5 considered as an individual measure, whether
6 they should go through an endorsement process
7 for individual measures at all.

8 DR. BARNETT: I think Dolores has
9 said what I feel about it, is that it is kind
10 of -- you know, they are trying to sneak
11 something else into the tent, which is the
12 whole -- you know, you have got to buy the
13 whole product. Right? You got to spend a
14 million dollars, basically, to get this
15 product in order to do one little thing. It
16 doesn't make sense.

17 DR. REDFEARN: Did you really say
18 that?

19 DR. BARNETT: But you did say that
20 it was -- you know, you have to buy the whole
21 product to do one measure, and I am just
22 observing that it was -- you know, if I look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at my health plan for a three-year contract,
2 sounds like it is about a million bucks. So
3 that is a lot to just figure out one outcome.

4 DR. REDFEARN: Well, I can tell
5 you, HCC models developed by Verisk DxCG, and
6 DxCG offers about 60 different flavors of the
7 risk models, and a lot of the variation of the
8 risk models they offer is the population that
9 they are aimed for.

10 So at least in the opinion of
11 Verisk, it makes a difference which model you
12 use for which population. I can tell you
13 informally, one of the things we are
14 struggling with is: For some of the Medicare
15 business that they say you have to risk adjust
16 using HCC, and then we run some of the other
17 DxCG models on the same population, and we get
18 a different number, and we don't get a really
19 good explanation back from Verisk about why
20 that is happening, but we are certainly seeing
21 that on an empirical basis.

22 So I think the issue of matching

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the risk methodology, risk adjustment
2 methodology, that you are using in this to the
3 population of interest is a relevant question.

4 I don't know if there is any published
5 evidence that would tell you one would help
6 you make the recommendation, but I think it
7 is a legitimate issue. We have seen it
8 empirically.

9 DR. BARNETT: I will just -- So,
10 David, does that mean that what we are trying
11 to do here is somewhat impossible? I
12 understand what you are saying, and I think it
13 is right, which is that, you know, one risk
14 model doesn't fit all populations. So does
15 that mean that we are never going to come up
16 with one measure that is going to cover all
17 possible cases?

18 DR. REDFEARN: I think it comes
19 back to the question, is it good enough. My
20 opinion tends to be it is good enough, and
21 this variation, which I actually believe
22 exists, I think, in general is low enough that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you can tolerate it in doing this kind of
2 work. That is my personal opinion.

3 MR. AMIN: I want to take this
4 question of good enough a little further. And
5 it is okay. It is a question of our level of
6 specificity in how we are analyzing this.

7 So are we saying as a committee --
8 or are you saying as a committee that it is
9 good enough that these risk adjustment
10 approaches that were outlined in the Society
11 of Actuaries report that submitted -- state
12 that they all perform equally as well for the
13 populations that are under evaluation for the
14 committee is good enough? So we will use
15 these -- any one of these risk adjustment
16 methodologies in application of these measures
17 that are evaluation is good enough, or what
18 other information would then be required in
19 order to assess that, actually, is available?

20 CO-CHAIR STEINWALD: You wanted to
21 say? Finish this? Okay. Then David, and
22 then Joe and then back to Paul.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. REDFEARN: I am kind of amused
2 at how everybody cites the Society of
3 Actuaries papers. There have been a couple of
4 them, and it serves a kind of a nice purpose,
5 but it is very limited in terms of what they
6 evaluated.

7 They didn't really think about any
8 of the kind of issues that we are interested
9 in, like what population are you running them
10 on, because they are basically saying I am
11 going to run it on a commercial population.

12 Basically, you can read those
13 papers, and it boils down to R-squared, and I
14 don't think that is -- and the conclusion was
15 they all give you about the same R-squared,
16 and if that is sufficient information for what
17 we need, then fine, but I don't think it is.

18 I think we are interested in a lot
19 more than just the basic R-squareds, and if
20 you look at the papers really closely,
21 particularly the second paper, they did a lot
22 of phutzing around with the models and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data that, if you go back and talk to the
2 vendors that are involved, not a lot of the
3 vendors were terribly happy about what they
4 did to the data.

5 The one conclusion you can draw
6 from the papers is all the models produce
7 about the same power in terms of R-squared,
8 but they don't address any of the other really
9 interesting issues that I think we are
10 struggling with.

11 CO-CHAIR STEINWALD: You can write
12 that the committee is amused. Joe.

13 DR. STEPHANSKY: I am not amused.
14 I am not amused. That Society of Actuaries
15 paper, I think, has done us kind of a
16 disservice. I think, when you consider the
17 dollars that are at stake coming up in the
18 next five to 10 years and all the risk
19 adjustments that have to be done for, say,
20 contracting for a population through an ACO
21 and so on, all of these dollars -- there is a
22 lot of work going on right now -- personally,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I know some of the work at University of
2 Michigan -- in developing new risk adjustment
3 methodologies for specific purposes.

4 I expect in the next five to 10
5 years a committee like this is going to be
6 looking at a lot of new ones, and a lot of the
7 ones that we have already started to use are
8 going to be just abandoned. So we are going
9 to have to learn to take a closer look at
10 these things and not accept good enough.

11 CO-CHAIR STEINWALD: Paul, and
12 then Jack.

13 DR. BARNETT: So the good enough
14 is good enough for what, and the real question
15 is, if you change your risk adjustment model,
16 does it change the ranking of plans or
17 providers? Is it sensitive to what risk
18 adjustment method you use? And I don't think
19 the Society of Actuaries addresses that issue
20 at all -- their study addresses that issue at
21 all. They are asking an entirely different
22 question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That is why -- you know, back to
2 the people who have done some head to head
3 comparison of some of these different methods,
4 those are the studies that we need to read and
5 probably need to commission some more.

6 CO-CHAIR STEINWALD: Good. Jack.

7 DR. NEEDLEMAN: Yes. Paul said a
8 fair amount of what I wanted to say, that the
9 issue with the risk adjustment is not per se
10 what the R-square is. It is does it change
11 your relative rankings? Does it change your
12 absolute judgments about whether the resource
13 use for a given provider, a given plan, is
14 high or low, and that is the criteria against
15 which things should be evaluated.

16 The other point I would make about
17 the risk adjustment is it is driven by the
18 data you have, and we've got two issues. One
19 is we've got limitations on the data we have.

20 So if you create enough categories and you
21 tailor the weights to the problem you are
22 using -- so you've got the basic categories,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether it is the HCCs or for hospital stuff
2 we have got Elixhauser comorbidities.

3 Whatever way you group the data
4 you have, if you basically making -- using
5 basically the same data to create groups, and
6 then you are tailoring the weights that are
7 assigned to that based upon the data you are
8 going to get, you are going to wind up with
9 about the same R-Square.

10 You may or may not wind up with
11 the same rankings, but you are going to wind
12 up with about the same R-Square, because you
13 are using the same data, and you are tailoring
14 the analysis to the actual cost or the actual
15 resource use you are looking at.

16 So we need to think about things
17 beyond R-Square and rankings. We also need to
18 think about the data, that we are tending to
19 think of this as a technical issue of analysis
20 when it is a data issue. Do we have the right
21 data to risk adjust effectively for the
22 differences in resources?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We were talking during the break
2 about the patient who has a spouse is going to
3 get sent home with a bag of drugs and a spouse
4 who supposedly knows how to handle that and
5 the dressing changes and whatever else is
6 taking place after the hospitalization, and
7 the patient without a spouse is going to have
8 a prescription for a home health agency, a
9 visiting nurse of some kind who is going to
10 come, and that is a difference in resource
11 use.

12 You know, we come back to the
13 data. Have we captured those resources, but
14 the explanation for why one patient is having
15 those resources consumed had nothing to do
16 with what we see in the standard reports of
17 the medical condition, the comorbidities or
18 anything else. It has to do with the fact
19 that they either have somebody at home to help
20 them or they don't. That is a data problem.
21 That is not an analytic problem.

22 So we need to think about it. If

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we want to think about effective risk
2 adjustment, are we using the data that we have
3 accordingly?

4 Do we get different results if we
5 use different models, in which case we need to
6 worry about which model in terms of the
7 rankings, but also what data do we want to see
8 for making appropriate judgments about what
9 level of resource use is appropriate for a
10 given patient, and do we have that right now
11 or do we need to start collecting it? Those
12 are the issues with risk adjustment.

13 CO-CHAIR STEINWALD: Tom and then
14 Paul and then Barbara.

15 CO-CHAIR ROSENTHAL: Well, two
16 comments. One is the fundamental question is
17 good enough for what? I think that has been
18 stated, but we should say it again, and I
19 would submit that, if it gets to shifting
20 major dollars around, to follow up on Joe's
21 point, clearly, what we got now ain't good
22 enough.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The companion comment to that is
2 that -- and it is sort of obvious, but it is a
3 tradeoff between feasibility and specificity.

4 But if you look at a couple of areas where
5 provider entities have taken this on -- and
6 the two that I can speak to pretty straight up
7 are the transplant world and cardiac
8 surgery/cardiology.

9 What those worlds would consider
10 adequate risk adjusting goes well beyond
11 administrative claims data -- well beyond
12 administrative claims data. But that is
13 expensive, and it is questionably feasible on
14 any large scale, but I would submit, it really
15 -- and this becomes a political statement, not
16 a -- because I am sure people paying the bills
17 would say, hey, we got plenty enough
18 information today to switch the money around,
19 but I don't think the provider world is that
20 accepting of it, and the basis of that
21 statement is looking at least a couple of the
22 condition specific areas where the risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 adjusting is substantially more powerful.

2 I know the transplant one really
3 well, because I was a transplant surgeon, but
4 the tradeoff is that I don't believe there is
5 -- There is hardly anybody in the transplant
6 world who is a provider level who challenges
7 the accountability they are held to against
8 that risk adjusting. They look at that and
9 go, yep, it is what it is, and I am not going
10 to debate that extensively. But those are the
11 tradeoffs.

12 DR. BARNETT: Just we were talking
13 about adequacy of risk adjustment, and I
14 supported what Carlos said about the extremes,
15 and only mention the top of the extremes. I
16 think the other place that risk adjustment is
17 very problematic, and it is largely a data
18 problem when you rely on claims data, is at
19 the bottom about people's engagement of care.

20 So the models -- usually, there
21 are very few risk categories of people who are
22 not engaged in care, and those are real

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 deficiencies in the risk adjustment models. I
2 think that is a big problem, because that is
3 probably where we can make big gains in
4 efficiency, people who get very little care
5 now, and it is especially worrisome when you
6 have underserved populations or people with
7 limited access, and we know very little about
8 what engages them in care, who is at risk and
9 doesn't get care, those sorts of questions in
10 places where we could make efficiency gains.

11 So I think one practical thing to
12 think about how you could improve that
13 modeling is if we had multiple year data and
14 data that crosses plans or providers.

15 So we throw out the people who
16 switch plans. Right? Because we don't have
17 enough data on them. So they are looked as --
18 So those are some of the people that are at
19 risk, and they are people who we don't know
20 much about their care. So that is a practical
21 thing about thinking about modeling the risk
22 at the low end.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. RUDOLPH: Yes. I want to
2 respond to Jack's quest for more data.
3 There's a number of different pilots going on
4 about enhancing administrative data, whether
5 it is with pharmacy data or lab values, then
6 also some efforts that Nado and actually the
7 CEC are making to enhance the data elements
8 that are actually collected, which includes
9 living with another person.

10 So we are doing a lot of work on
11 those kinds of things to make the data better.

12 However, we really need support in doing
13 that, because providers don't necessarily want
14 to provide that extra detail, because it is,
15 you know, a burden on them.

16 So -- and the whole issue with
17 race and ethnicity and administrative data is
18 another area where there are fields for it for
19 electronic transactions, but providers are not
20 particularly thrilled about collecting that
21 information, just because of some of the
22 issues related with the type of data it is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So at any rate, there's a lot of
2 efforts going on to enhance the data. So I
3 think the new models, risk models, are going
4 to have better data to actually use in the
5 future, but it takes a long time to get it
6 around the country.

7 CO-CHAIR STEINWALD: So do you
8 think that you've got enough content? I would
9 say this. If you are going to write about
10 this in a sort of a forward looking way, you
11 might say that the expectations of -- not this
12 committee, because we will be replaced by
13 another one, but we expect that those
14 expectations would be elevated somewhat
15 compared to what we saw in the submissions in
16 this round.

17 MR. AMIN: Right. I mean, to just
18 highlight a little bit of what I heard here
19 during our discussion was that the question of
20 -- that the question that was posed, I think,
21 is very clear, that the risk adjustment model
22 should be relevant to the intended population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think that was a question where we started,
2 but I think we, clearly, landed somewhere, and
3 additional research potentially, not for a
4 group like this but potential research for the
5 field would think through comparing these risk
6 adjustment models not only on R-Squareds but
7 also how it changes the rating of providers,
8 and looking into the future, additional data
9 elements such as clinically enhanced
10 administrative data, could potentially not
11 only help with the measure scores but actually
12 help in changing the risk adjustment variables
13 -- not variables, actually. The risk
14 adjustment weights, I should say.

15 The only other additional question
16 that was posed here -- and this was in terms
17 of our large discussion yesterday around
18 costing -- is that in what context should cost
19 measures be used compared to resource use
20 measures?

21 So there was this large debate
22 that occurred. Now the question is: As we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look forward into informing the field, I think
2 as we have debated the merits of each of the
3 cost and resource use measures, but in which
4 context should each of them be used, I think,
5 is also a question that still remains, which
6 arose from the costing methodology that was
7 submitted between actual prices and
8 standardized prices.

9 So before we move on from
10 adjustments to comparability, this question
11 still is outstanding in some sense.

12 CO-CHAIR STEINWALD: You are
13 asking us to discuss that issue here? Does it
14 fit here?

15 MR. AMIN; Yes.

16 CO-CHAIR STEINWALD: You know, I
17 thought that from time to time we have been a
18 little bit careless about the use of the word
19 prices versus costs versus -- so what we
20 really mean by standardization, for example.

21 It also bears on the issue of what
22 is the measure developer's responsibility, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what is the customer's or user's
2 responsibility, because I think our discussion
3 came to the point where we acknowledged that
4 there are some legitimate uses for actual
5 dollars, and typically what we are talking
6 about is paid amounts when we talk about
7 dollars in that context, versus standardized.

8 Then standardized, to me, means
9 you are adjusting for the underlying cost of
10 inputs and, therefore, you are standardizing
11 with costs, not prices. Now price of labor
12 can be used as a price, but when it is put
13 into a production system, it is a cost.

14 MR. AMIN; Right. Okay. So let
15 me just clarify the question, I think, in what
16 we are intending to get at here.

17 So we have talked about different
18 costing approaches in the measure, some that
19 use standardized pricing and some that use
20 actual prices paid, and those actual prices
21 paid we have termed cost of care measures, and
22 those that use standardized pricing approaches

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we have termed resource use measures, in some
2 sense.

3 So while we have gone along this
4 continuum of discussing cost of care measures
5 that use actual prices paid, there has been a
6 large discussion around potential unintended
7 consequences of such a measure in the
8 inability to -- or the lack of comparability
9 potentially between -- We have had the
10 discussion in the first meeting between
11 Minneapolis and Memphis. We have moved to
12 another example during this meeting.

13 So the question is that should the
14 question of unintended consequence potentially
15 be relegated to the user or is this something
16 that should be discussed as part of the
17 appropriateness of the measure as it is
18 constructed?

19 We have discussed it in both ways.

20 We have discussed it in that it -- as a
21 measure of cost, actual prices paid, it has
22 the ability for unintended consequences for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the user, which also interacts with the level
2 of analysis. But we haven't clarified whether
3 or not this would be a way that we are
4 evaluating individual measures themselves.

5 CO-CHAIR STEINWALD: Who has a
6 view? Jack does?

7 DR. NEEDLEMAN: First of all, even
8 before we get to review, one of the things we
9 need to do is get our language very cleaned
10 up. Prices are ambiguous. Costs are
11 ambiguous. So what we can talk about are
12 charges. We can talk about payments, and we
13 can talk about standardized prices which are
14 something else.

15 When we start talking about
16 prices, it is never clear whether we are
17 talking about what is being charged or what is
18 being paid, and each of those have problems
19 right now in the current health care system.

20 We see pricing for folks at levels
21 that are totally unrelated, both to the
22 underlying cost of production, but also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 totally unrelated to what they expect to get
2 paid.

3 When you see hospitals whose
4 charges are now three times what their costs
5 are, and nobody pays that except the poor
6 uninsured patient who wanders in, and even
7 they negotiate it down if they know what they
8 are doing, charges are not particularly
9 useful, but payments also have a problem when
10 you've got payers with very unequal payment
11 levels. You know, what Medicaid pays for a
12 given dentist is very different from what
13 Delta Dental pays the same dentist for the
14 same service.

15 So we've got problems with
16 payment, and we've got problems with charges,
17 and that is part of the reason for
18 standardization to understand resource use.
19 But we need to make sure the language is
20 clear.

21 We need to know what is actually
22 being counted when somebody is counting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resources. Is it the payments? Is it the
2 charges or is it some standardized measure
3 which is an attempt to get the underlying cost
4 of production, and is that adjusted for --
5 see, that standardized cost of production --
6 across different areas with very different
7 input costs?

8 All that language needs to be
9 clarified, and what people are presenting and,
10 therefore, what the measure tells us is going
11 to be very different depending upon which of
12 those things are being used as the basis for
13 measuring resources.

14 So there is no right answer here,
15 but we ought to at least be clear about what,
16 in fact, we are measuring as opposed to the
17 language we are using.

18 CO-CHAIR STEINWALD: Just a -- I
19 agree with that, and maybe there should be a
20 box in the report entitled "Watch your
21 language," something like that. But on the
22 issue as you raised it, just to throw it out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there for someone to disagree with, I don't
2 think that the -- I think the measure
3 developer needs to be clear about whether the
4 resource measure is measured in terms of
5 payment dollars or counts or whatever. That
6 has to be clear.

7 As to whether the evaluation of
8 the measure builds in the potential for
9 unintended consequences, that, to me, sounds
10 like it smacks of paternalism and ought to be
11 an issue between the developer and the
12 developer's users.

13 You would like to believe that, if
14 the users are going to fork over \$100,000 or
15 more, that they understand what they are
16 getting and they use it appropriately,
17 acknowledging that there may be instances
18 where that is not going to be the case, but
19 that is a risk I would be willing to live
20 with.

21 Tom, do you disagree?

22 CO-CHAIR ROSENTHAL: Well, no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Well, mine is a little tangential, but I think
2 it would come back, which is I don't have any
3 problem with the articulation just the way you
4 have said it, that at the end of the day some
5 of this is between, quote, "the developer and
6 their users."

7 I do begin to have a problem when
8 one of these measurements might be developing
9 as a national standard. I was actually going
10 to pose the question to Helen or the staff,
11 because I am not as familiar within the
12 quality world, how some measures became
13 national measures and others remain what got
14 described variously as, well, there is a one-
15 off registry and, you know, if you are a
16 registry user, it is an NQF endorsed measure,
17 but there are now hundreds of NQF endorsed
18 measures or quite a number, which is all good
19 and fine. But a few of them lurk up and
20 become national.

21 I don't know. I have a problem
22 within the articulation of, well, it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 between that developer and their little user
2 community, and I actually -- Then the
3 potential for misuse for some of these that
4 are what I would call dollar denominated as
5 opposed to standardized pricing -- the
6 potential for misuse there seems to me
7 profound, because we will have provider A
8 being accused of being inefficient because the
9 payments to them are substantially higher than
10 the payment to some provider B through
11 absolutely nothing that is in their control.

12 I don't have a problem with that,
13 again if it is in this little micro climate,
14 but I also don't have a sense of how certain
15 of these measures -- so we actually have three
16 layers of NQF issues, one of which is national
17 standard NQF, you know, just the hoi polloi
18 NQF, and then this idea of, well, how do we
19 encourage the world NQF.

20 I hope somebody will answer my
21 question.

22 CO-CHAIR STEINWALD: Dolores.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. YANAGIHARA: So, yes, there is
2 no easy answers on that, because I think you
3 are right. I mean, there is a potential for
4 misuse. I don't think it is NQF's role to be
5 monitoring that, but there could be some sort
6 of -- in the endorsement, sort of these are
7 the intended uses of this kind of measure. I
8 don't know what those would be, but I mean to
9 just sort of clarify this, it would be
10 appropriate for certain situations or wouldn't
11 be. It is hard to define that, because every
12 situation is so different.

13 I think, coming back to -- Some of
14 the issues are around reliability of the
15 measurements, and that I think we can address
16 and have standards for reliability of a
17 measurement. Some of them more around just
18 the uses, and I think that is harder to
19 manage, but there may be, like I said, some
20 things like these would be the intended uses
21 of this kind of a measurement.

22 My other point was just around the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 standardized pricing. I am wondering. It
2 seems to me that that is something that could
3 be truly standardized, like instead of asking
4 each measure developer what is the
5 standardized pricing methodology you used --
6 it is something that I don't think is really
7 situation specific. I mean, it just is you
8 choose some sort of a standard price for each
9 thing, and you apply it.

10 So it seems like that is an
11 opportunity to have a truly national standard
12 for standardized pricing that doesn't have to
13 be developer -- measure developer specific. I
14 don't know if that is something that in the
15 future NQF could work on. It seems like it
16 would be a great role.

17 CO-CHAIR STEINWALD: Paul and then
18 Barbara.

19 DR. BARNETT: So I want to make
20 sure we have the conventional wisdom on health
21 care cost determination, and so that the what
22 is the cost depends on your perspective of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your analysis.

2 So if the perspective is that of
3 the payer, then the payer amount, the amount
4 that the payer pays, is the cost that is
5 important, but if it is the societal
6 perspective, then we want to know the
7 opportunity cost of producing the service,
8 something we almost never know in health care,
9 although some of the costing systems at
10 various hospitals are vested and may
11 approximate that.

12 What we are usually stuck with in
13 terms of that is, if we are looking for a
14 standard cost and use that as a proxy for some
15 societal costs, we are trying to get rid of
16 payer discount. We are trying to get rid of
17 the geographic variation of costs, and so we
18 are using some other charge schedule other
19 than the payer's charge schedule, one that we
20 pull from the sky.

21 Actually, there is essentially a
22 national standard from the RBRVS which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably not a very good measure of the
2 opportunity cost, and there is an amazing
3 amount of politics that went into determining
4 that fee schedule based on the leverage that
5 the various specialty organizations had at the
6 time. So that is a concern. It is not very
7 objective, actually, and rewards training and
8 risk and all these, stress and all these other
9 things it is intended to reflect. That was
10 the theory.

11 So there is one practical thing,
12 if we look toward a standard cost vector, as
13 it were, or charge schedule, a practical
14 matter of implementing it.

15 So we do this with a VA dataset.
16 So we throw all 600,000 hospital stays and 80
17 million outpatient visits. We apply the
18 RBRVS. So we know there is a lot of gaps, and
19 so we buy a commercial charge schedule to fill
20 the gaps for things that Medicare doesn't
21 reimburse for, a lot of important stuff that
22 have either HCPCS codes or CPT codes, but are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not reimbursable by Medicare.

2 Then the very important assumption
3 has to be made about the facility component.
4 So this is -- There are some services that can
5 only be delivered in a facility, and the
6 facility gets reimbursed. So the provider
7 gets reimbursed. The facility gets
8 reimbursed.

9 So ambulatory surgery, half the
10 payment goes to the provider; half to the
11 surgical center. There's a lot of services
12 that don't need to be provided in a facility,
13 but are. So if you use a standard cost and
14 you say, okay, that specialty outpatient visit
15 occurred in a hospital, so we give the
16 facility, the hospital, this cost that is
17 basically this payment that is equal to what
18 we give to the provider.

19 Had that same specialty service
20 been delivered off-site in a freestanding
21 specialty clinic, the cost would have been --
22 oh, I don't know, 5/8th as much. So we you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 apply that standard across schedule, you may
2 be regarded as paying too much. It is an
3 interesting question.

4 So that has been a practical issue
5 for us. It is a non-trivial issue, especially
6 hospital based services, whether you include
7 that facility payment. So it is not so easy
8 to build that standard cost schedule.

9 That is kind of one of the crucial
10 -- You kind of have to accept RBRVS and the
11 gap schedules that are out there, because
12 there is not really any good substitute before
13 them, but for the facility payment we struggle
14 with that all the time.

15 So you could offer perverse
16 incentives if you consider someone -- I don't
17 know. I guess you would consider them
18 inefficient, if it were facility based. I
19 think there is an unintended consequence there
20 in applying that schedule.

21 DR. RUDOLPH: I was going to
22 suggest something, but now that I have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 listened to Paul, I am not sure it is a good
2 idea. There's just a lot of different issues
3 in this area that can really change what gets
4 put out and what doesn't.

5 I am thinking about perhaps some
6 type of geographic indicator. Is this a
7 measure that allows comparability across the
8 nation, across a state, across the region, and
9 not necessarily -- Again, it would be more of
10 a guideline, I think, than an actual
11 requirement, but that it would be useful for
12 the end user to know what the issues would be
13 if you were to try to do this nationally, if
14 you were doing it statewide or in a region.

15 So it could be something that they
16 should include sort of a statement of
17 applicability across the country.

18 MS. PACE: I just was going to
19 respond to your question about NQF
20 endorsement. Basically, we say we endorse
21 measures, and they become national voluntary
22 consensus standards, and we endorse measures

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that are intended to be used for
2 accountability. Up to now, it has been
3 primarily focused on public reporting, and
4 performance improvement.

5 So, basically, when we say we
6 endorse a measure, it is considered
7 appropriate to use in a national
8 accountability program.

9 CO-CHAIR ROSENTHAL: Yes, I am
10 aware of that, and that is part of why I had
11 trouble with some of the measures that were
12 dollar denominated, because the imprimaturship
13 is there right from the get-go.

14 I would say, though, I resonate a
15 little bit with the suggestion Barbara makes
16 about some notion of guidance about the thing.

17 The one analogy that I am aware of is the
18 various AHRQ measures that got developed all
19 had sort of guidance about use at the bottom.

20 Now they have violated their own guidance
21 recently, but that is a different question.
22 Nonetheless, they did say, you know, this one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is appropriate for, you know, cross-regional
2 comparisons, this one is not ready for public
3 reporting, this one is useful and appropriate
4 for quality improvement. But again, I don't
5 know whether this --

6 DR. BURSTIN: That is interesting,
7 because AHRQ only submitted a subset of
8 measures to NQF that had already gone through
9 and were validated as part of the reliability
10 and validity testing. So a good number of the
11 measures never came to NQF that they didn't
12 think met that threshold.

13 CO-CHAIR ROSENTHAL: No, I
14 appreciate that, but the fact is, if you look
15 at their entire set of things on their
16 websites, they would have some guidance around
17 what they felt was appropriateness for use or
18 limitations around use of the various measures
19 that they developed. But again, it may not be
20 consistent with the NQF way.

21 CO-CHAIR STEINWALD: Yes. So just
22 to follow up on Barbara's question or hope

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that there would be some way to deal with the
2 geographic variation in health care costs. So
3 each September, CMS issues a regulation that
4 includes a geographic index for hospital
5 wages, which is a very powerful predictor of
6 regional variation in health care costs.

7 It has some political issues of
8 its own, how the districts get drawn and when
9 certain hospitals get put into a higher cost
10 area so their reimbursement will be greater.
11 There is also a component for the RBRVS that
12 is a geographic factor, and I think that is
13 determined separately as a separate issue.

14 So that could be used to help
15 people understand the geographic effects. You
16 know, if someone is shown as a high cost
17 outlier, but you could control for the
18 geography using one of those sources, the
19 effect of geographic wage pressures.

20 MS. PACE: I was just going to
21 say, if a measure -- if the measure developer
22 thinks that a measure is not valid at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point in time for comparison, making valid
2 comparisons, then it is probably not ready for
3 NQF endorsement and, as Helen said that AHRQ
4 only brought those measures that they thought
5 would be suitable for those kinds of
6 comparisons.

7 So it is an interesting -- I mean,
8 we have never -- I don't think we have
9 measures that we have endorsed that say, you
10 know, they are limited to a particular
11 geographic area or geographic comparisons.

12 CO-CHAIR STEINWALD: Dolores.

13 DR. BURSTIN: Nothing geographic,
14 although interestingly, we have had this
15 debate recently about whether we are going to
16 start bringing in measures that, in fact, are
17 only ready for EHRs. So I mean, there are --
18 If we are trying to satisfy the needs of the
19 nation, there may be more advanced users, and
20 I guess that is the question, is that over
21 time you may have a capacity and others won't,
22 but maybe we need to move toward where the pop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 will be.

2 CO-CHAIR STEINWALD: Oh, Wayne
3 Gretzky had a lot of -- Go ahead.

4 CO-CHAIR ROSENTHAL: And again, I
5 think some of us have been cognizant of that
6 we are operating in a little different space
7 than we have been operating in all of the
8 quality measures.

9 There are some, I think -- no pun
10 intended -- quantitatively different aspects
11 to the resource use issues than there ever
12 have been in any of the -- I mean, a pressure
13 officer is a pressure officer, and once you
14 have adjusted for it, blah, blah, blah. But
15 here we have this issue that is sort of --
16 There are, I think, clearly, two views of this
17 which are both valid, i.e., the one that
18 knowing the denominated or the dollar cost is
19 of value, but where, if applied across
20 geographies where we know there are wage and
21 other differences even though there may be
22 politics in the scales that got created to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 try to account for it, at least some
2 accounting was attempted.

3 Otherwise, you are likely -- and I
4 know the differences in the wage price indexes
5 are 20-30 percent apart. They can dwarf the
6 utilization differences. But we have endorsed
7 measures today, or yesterday, that don't take
8 any of that into account, and we did it. So
9 we have, in fact, said, those are ready for
10 prime time. We were the judge and jury on the
11 thing.

12 CO-CHAIR STEINWALD: You know, I
13 wanted to respond a little bit to Dolores,
14 talking about standards for standardization, I
15 guess. And it is true that the national
16 assistance that exists are largely Medicares,
17 and Medicares are highly politicized. There
18 is 441 areas for adjustments for hospital, but
19 there are ceilings, there are floors, there
20 are special payments for frontier states,
21 whatever in hell they are.

22 CO-CHAIR ROSENTHAL: Nevada.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR STEINWALD: Well -- And
2 then on the physician side, there is only 79
3 areas, even though -- and some of them are
4 statewide, even though there is huge
5 variations in the cost of doing business
6 within states.

7 So there is that level of problem.

8 I call that political, but then there are
9 some important technical problems, too. If
10 you standardize, for example, by geography,
11 how do you draw the geographic unit? You
12 would like to -- If prevailing wages are
13 higher in Boston than they are in Memphis,
14 then a hospitalization that costs \$5,000 in
15 Boston and \$4,000 in Memphis may be equivalent
16 when you adjust for those wages. But you
17 can't really adjust for the difference between
18 North Boston and South Boston or, if you try
19 to, then you run into all sorts of problems.

20 So the standardization -- As a
21 concept, it makes perfect sense in many
22 contexts. How to do it is subject to both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 political and technical problems.

2 CO-CHAIR ROSENTHAL: Does that
3 need to be commented on at the very least in
4 the report, as a compromise, since we didn't
5 exactly reach agreement here, but to elaborate
6 on it in the way that you just did would seem
7 to me sort of the bare minimum that we ought
8 to be doing in relationship to this question.

9 CO-CHAIR STEINWALD: I think it
10 makes sense to, but I am still -- You know, I
11 think back to some of our discussion before
12 where, let's say, you have a firm that has
13 multi-site locations, and does it want
14 standardization across those multiple sites?

15 Well, in some cases we are told,
16 they don't. They want to know what the actual
17 paid amount costs are in different locations,
18 even understanding that there may be different
19 costs of doing business. They still want to
20 know those dollar denominator amounts, and I
21 can't argue that they are wrong to want to
22 know that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR ROSENTHAL: I am way off
2 the insistence that that never be an approved
3 measure of a dollar denominated one. It
4 depends again upon what the use is, and I
5 don't dispute in the slightest that using your
6 metaphor of when an entity or when a health
7 plan or when the Federal government or when
8 somebody wants to know the dollar denominated,
9 that there is value in that in some regard,
10 even though they may not be able to control
11 the wages in one of their sites versus
12 another.

13 It is different than holding the
14 provider end accountable for what we are
15 calling efficiency. Again, as I recall, the
16 charge to this group was around efficiency,
17 and efficiency being a component of value,
18 meaning cost and quality, and holding
19 providers accountable.

20 I personally fail to see how you
21 can hold providers accountable for the dollar
22 component of this unless you have made some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 attempt, however imperfect, to account for the
2 differences in uncontrolled inputs, and at
3 least to the extent that this is a problem
4 that we didn't resolve in this go-round, that
5 we articulate the challenge and the problem
6 around this and the potential unintended
7 consequences, if one of these dollar
8 denominated measurements is attempted to be
9 used to rank order providers around their
10 efficiency. I think it is the least that we
11 should be doing in relationship to this.

12 I am not suggesting to go back and
13 undo what we did the last few days.

14 MR. AMIN: I have a clarifying
15 question based on those remarks. So as we set
16 up the discussion today, I wanted to focus a
17 little bit on the interactive nature of some
18 of the way the measures are specified in the
19 different components.

20 So a question I would pose to the
21 group is -- Let's make a little controversial.

22 At an individual provider level, a level of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measurement, which is separate from the level
2 -- or the attribution approach, the level of
3 measurement -- If a measure is reliable and
4 valid in producing reliable estimates at an
5 individual provider level, do we still believe
6 a measure that uses actual prices is still not
7 appropriate?

8 So is this question of actual
9 prices versus standardized prices changed when
10 we are dealing with a level of measurement
11 that is at the individual provider level?

12 DR. BARNETT: I was worried that
13 he was going to change the subject and that
14 what I was going to say was going to become
15 irrelevant or past, but it was exactly on this
16 point.

17 I think that each are actually --
18 I wouldn't use those words, prices, though, in
19 the way you phrase the question, but is the
20 cost from the payer perspective has an
21 appropriate use and that the cost, the
22 standardized cost has an appropriate use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Really, one could go through and articulate
2 what the appropriate uses of each are.

3 So if a plan negotiates a good
4 discount and sends all its elective hip
5 surgeries to a low cost hospital, and you are
6 an employee wanting to evaluate that plan, you
7 would want to include that efficiency that
8 they have achieved by being a clever
9 negotiator and finding the best hospital to
10 send those surgeries to. You would want to
11 include when you are evaluating their
12 efficiency.

13 Now there would be other
14 situations where you want to know about the
15 mix of services that someone has ordered and
16 the propensity to get patients in the hospital
17 or keep them out of the hospital, and where
18 the standard costing approach would be -- I
19 almost said prices -- would be more
20 appropriate.

21 So I think, you know, one could go
22 through and sharpen your pencil and really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think of all the different situations where
2 each is appropriate, and I think that would be
3 a good thing to put in the report. I am not
4 sure I could do it all here on the fly. Maybe
5 the group could.

6 DR. ELWARD: Yes. I mean, it just
7 raised a couple of questions. What if you
8 have just as efficient provider use where the
9 physicians are really doing well, but they are
10 just horrible negotiators? You know, you have
11 got an efficient process, but you don't have a
12 great contracting team.

13 Either way, it is still -- On the
14 one hand, yes, the people who are better
15 negotiators can provide the less costly care,
16 and then you could be given credit for that.
17 I am not sure how you tease that out, but I
18 think you could build in some -- looking at
19 each process as you go through and build that
20 in. I would make an argument against using
21 overall prices as, I think, Jack said, even at
22 the individual provider level, because I know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our fee schedules are really designed to get
2 paid for the people who will, for some reason,
3 pay us a lot for a given procedure, even if we
4 know we are going to write off something.
5 That is just the way you do it.

6 If somebody out there is paying --
7 DR. BARNETT: You mean charges,
8 right?

9 DR. ELWARD: Charges, yes. Yes.
10 But I don't know whether there is that much
11 difference between -- Yes, I don't know how
12 you factor in prices either. Prices, I think,
13 are almost as much of a problem, because --

14 DR. BARNETT: If I might -- So,
15 Jack -- or do you want to say it, Jack? So we
16 don't see prices in health care, really,
17 because there is not an open market. What we
18 see is payments, and we see charge schedules.

19 So the standard price is -- What
20 people use as the standard price is the
21 Medicare reimbursement level. That somehow
22 seems to make people feel comfortable with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, because it is this national plan and
2 covers most stuff.

3 DR. NEEDLEMAN: Yes. Just to
4 reinforce this issue of charges and some of
5 the irrationality -- By the way, it is not the
6 matter of the uninsured patient. There are a
7 lot of folks whose insurance companies have
8 not contracted with individual providers, and
9 when patients from those providers get called
10 in by ambulance, for example, the issue is
11 what level of payment should be there, and the
12 providers frequently say these are our
13 charges. We don't have a contract with you or
14 your insurer to pay anything other than that;
15 this is what you need to pay, and we see that
16 a lot. It has been a major issue in
17 California with some legislation. It is a
18 major issue of litigation in some states,
19 including Florida.

20 So it just reinforces that the
21 standardization is not obvious. Well, what
22 the level of standardization is, I think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have tended to default to the Medicare fee
2 schedules -- sorry, the Medicare payment
3 schedules as the default for thinking about
4 standardization.

5 Clearly, the geographic unit is
6 relevant for thinking about whether we need
7 standardization or not, but it also has to do
8 with the decision making. Medical tourism
9 creates an interest in actual payment levels
10 as opposed to standardized efficiency
11 measures.

12 So if we are comparing UCLA and
13 the Mayo Clinic or UCLA and the Cleveland
14 Clinic or someplace else, you know, the
15 differences in costs in those places that
16 influence what are reasonable payments may
17 make sense, and standardizing for that in some
18 way may make sense to understand resource use,
19 but if you are a health plan that is thinking
20 about do I want to send somebody to Cleveland
21 or UCLA or Delhi, and the only differences
22 there are about the wage levels, you want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be looking at the actual amount that you are
2 going to be paying, not simply the amount that
3 is a standardized measure of control that
4 adjusts away the differences in wages across
5 those places.

6 So the geographic unit plays a
7 critical role in thinking about whether we
8 need to standardize or not, but only in some
9 cases and not others. If we are trying to
10 understand resources and efficiency, then
11 standardization makes sense. If we are trying
12 to understand decision making about where do I
13 send my patients, then the actual payment
14 levels are what are going to be relevant; and
15 both of those are what we are trying to
16 understand with these measures and, therefore,
17 both of them, in some sense, should be
18 incorporated into the analysis that we are
19 getting out of the measure.

20 CO-CHAIR ROSENTHAL: I just want
21 to add one thing. I was just realizing that I
22 probably overstated the case in saying that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the Medicare reimbursement is widely accepted
2 as the standardized payment amount, because I
3 don't think that is true for inpatient
4 services.

5 If you look at the DRG
6 reimbursement, you are squeezing out a lot of
7 the variants there, and we really want
8 something else that reflects high cost
9 outliers or length of stay, that sort of
10 thing, because if you are just taking the
11 average -- take the DRG payment, then you are
12 ignoring a lot of the variants, and what
13 drives a lot of health care costs.

14 So I am not sure that that is very
15 well developed, what the standardized price
16 should be or standardized cost -- excuse me --
17 should be for inpatient services, whether that
18 is widely agreed exactly how that should be
19 done. I can tell you how we do it, but it
20 involves regressions with Medicare data, but
21 it is not the DRG amount, because you are
22 throwing out so much information.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BARNETT: Jack, I would
2 quibble only with one little aspect of your
3 articulation of the thing, which is if Saudi
4 Arabia or Blue Cross needs to decide whether
5 or not to send a patient to Mayo Clinic or to,
6 say, UCSF, they've got plenty of mechanisms to
7 do that and to ascertain what that cost is
8 going to be, without relying on some NQF
9 publicly reported data element.

10 I think it is entirely
11 unnecessary, and again I don't know why we
12 would necessarily be doing that. I think
13 there are plenty of mechanisms for doing that.

14 But the non-quibble, or the monkey wrench,
15 actually, though, Paul, from the idea of again
16 Blue Cross making that decision and basing it
17 on sort of, in effect, what are prices -- what
18 are prices, because there is a notion of
19 price; it may not be the charge, but it is
20 what somebody is willing to do the thing for --
21 -- there is the whole factor of who can cost
22 shift and in what settings.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 So what, in fact, Blue Cross can
2 suck out of a contracted relationship with
3 provider X in community Y may be entirely
4 dependent upon what percentage of that entity
5 or that physician indigent care is and a whole
6 variety of other factors, have nothing
7 whatsoever to do with how efficient they are,
8 and efficient meaning, again, their resource
9 utilization for either provision of care or
10 the avoidance of doing the unnecessary things
11 that you have described are driving the costs.

12 CO-CHAIR ROSENTHAL: But if I am
13 an employer, and I am choosing between Plan A
14 and Plan B, the fact that Plan B has figured
15 something out about negotiating low payments
16 is important to me, and I regard Plan B as
17 more efficient.

18 If I am trying to gauge whether a
19 Plan B provider practices in a style that
20 makes the most efficient use of health care
21 resources by minimizing hospitalization,
22 minimizing laboratory tests, that sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thing, I don't really care about all those
2 negotiated discounts. I want to use a
3 standardized price.

4 DR. BARNETT: Well, exactly, and
5 my argument is that, in point of fact, there
6 are 50 ways for the health plan to understand
7 what price they are being asked to do, and I
8 think we are confusing the public realm now
9 with the private realm.

10 The private realm has every
11 ability in the world to understand and to know
12 which provider -- I am absolutely certain
13 that the payers in our community know what
14 prices, know what costs there are being
15 extracted from which hospitals and which
16 systems are viewed as more expensive and which
17 ones they can get better negotiated deals
18 with, and all of that stuff. Again, they
19 don't need an NQF validated price listing to
20 make those judgments. Maybe I am wrong about
21 that.

22 CO-CHAIR ROSENTHAL: I would think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that would be true if the products were
2 homogeneous, and they knew that they were
3 actually just buying this stay or that
4 procedure, but the problem is it is a bundle
5 of stuff, and it has got to be case mix
6 adjusted, and I think it is everything about
7 what we are talking about.

8 I don't know if there is somebody
9 that could represent that perspective that is
10 in the room right now, but that employer
11 perspective -- they really do want to know
12 case mix adjusted for the population, which is
13 the low cost, and that it is not just simply a
14 matter of knowing what the negotiated rates
15 are, but it is more complicated, has to do
16 with how much services are being used, given
17 the patient characteristics.

18 DR. BARNETT: And if that were
19 true, you would want standardized prices.

20 CO-CHAIR ROSENTHAL: I think there
21 is a place for the actual payments. I think
22 the actual payments have a ton of other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 factors associated with them that are not
2 going to be teased out by the measures that we
3 are in the process of approving.

4 DR. NEEDLEMAN: I think the
5 conversation we are having -- First of all, I
6 don't think we are going to resolve the
7 debate, but I think it is important to get it
8 up there. But it also underscores the issue of
9 how do we expect these measures to be used,
10 who is going to be using them, for what
11 purpose.

12 I wanted to run an analogy to the
13 quality measures and then come back to the
14 resource use measures. In the quality
15 measures, we've got two models of how quality
16 is going to get improved.

17 We have got the J.D. Powers,
18 Consumers Report -- I assume Doris is still on
19 the line -- model of we report the differences
20 in quality and then consumers choose, and that
21 works for some kinds of things, and it doesn't
22 work for others. I don't want to be, frankly,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 choosing which hospital I am going to based
2 upon the perception of the relative quality of
3 the nursing.

4 So we have got a second model,
5 which is what I would characterize as the
6 Underwriters Lab model, which says you buy
7 this toaster oven. If it has got the
8 Underwriters Lab certification, we are pretty
9 sure it is not going to electrocute you.
10 Right? You buy the toaster. It is not going
11 to electrocute you.

12 To some extent, what we are doing
13 with the quality measurement is saying that
14 ought to be the way the health care system
15 functions. Shouldn't matter which hospital we
16 go to. The care you get should be safe and
17 reliable, and we expect the quality
18 measurement and the differences in the quality
19 measurement not to drive consumer behavior per
20 se, but to drive a professional commitment to
21 improving quality where it is shown to be not
22 as good as other places. That is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Underwriters Lab model.

2 I think we have got the same issue
3 as we think about these resource use measures.

4 We have been talking about it right now in
5 the context of consumer purchasing, but an
6 awful lot of the places where we are going to
7 see these resource use measures used are the
8 internal efforts to improve efficiency,
9 improve resource use, while maintaining or
10 improving quality.

11 So do we have some providers
12 within our community of providers at the Mayo
13 Clinic, at the Cleveland Clinic, at Banner
14 Health, that seem to do a better job of
15 effectively using resources while producing
16 high quality outcomes than others? Can we
17 learn from one another?

18 Can I learn from looking at the
19 experience of the other providers of the other
20 health plans or the other physician groups in
21 my community to create a standard which says I
22 can do better, because I am seeing others can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do better? But where the fundamental work in
2 improving the efficiency is going to be
3 internal to the group driven by internal
4 commitments, not by consumers deciding where
5 to go buy.

6 Part of the argument about how
7 important it is to do standardized pricing
8 versus the raw how much does it cost consumers
9 is about whether we expect these decisions to
10 be driven by consumer behavior, consumers
11 choosing where they can get the cheapest care
12 that is of high quality, or whether we think
13 it is going to be driven by the internal
14 decisions of can I look at my experience
15 compared to others in terms of how much
16 resources we use and see opportunities for
17 improvement, even as we try to improve the
18 quality of care.

19 That, I would argue, argues for
20 more use of standardized pricing to understand
21 what the actual resource use differences are,
22 rather than how much I am paying or how much I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 am getting paid for the care I am providing.

2 CO-CHAIR ROSENTHAL: And If that
3 was a good summary, then it must mean it is
4 lunchtime, because we are at about that time.
5 Is that enough?

6 MR. AMIN: Yes. It definitely is.
7 There is a lot of complexity here, and we
8 will try to boil it down and get it in the
9 report in the way we discussed it.

10 CO-CHAIR STEINWALD: The agenda
11 says working lunch. How should we interpret
12 that? How hard working should we be?

13 MS. WILBON: What we were thinking
14 was that we would take like a 15 or 20 minute
15 break and time for people to get food and then
16 just come back and bring your food back to the
17 table, and then kind of talk and eat for the
18 rest of the afternoon.

19 I know some people have to leave
20 early. So it would be nice to kind of have as
21 much discussion while we have the majority of
22 the people here for the afternoon.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR ROSENTHAL: Okay. We
2 will do that.

3 MS. WILBON: So we will reconvene
4 at about 12:20, 12:25. Thank you.

5 (Whereupon, the foregoing matter
6 went off the record at 12:05 p.m. and resumed
7 at 12:32 p.m.)
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1

2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be submitted as specifications or guidelines.

2 Again, that is a question that we are posing
3 on whether or not that continues to be
4 appropriate.

5 Additionally, a question that
6 arose a number of times was around the sample
7 size and whether or not there was an
8 appropriate sample size for a reliable and
9 valid measure, and on the attribution
10 approach.

11 One of the examples that I will
12 use for the attribution approach was along the
13 lines of the level of measurement, which I am
14 sure we will go into in much more detail,
15 whether it was attributed to an individual
16 provider, was guidance on some temporal logic
17 potentially with the attribution approach.

18 For example, when we were
19 reviewing the HealthPartners measure, there
20 was an attribution approach that allowed the
21 resource use to be attributed to a primary
22 care provider.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It allowed the potential for a
2 primary care provider to be attributed a
3 patient post-hospitalization, so even before
4 they have actually had their first visit to a
5 PCP, to that PCP, they could have been
6 attributed the actual cost of that resource
7 use, the cost of the provider.

8 Okay, so reliability testing: So
9 the question there is really around the
10 appropriateness of specification and
11 guidelines, and then a general issue around
12 sample size.

13 The reliability: I just want to
14 go over a little bit the definition that is
15 used here. It demonstrates that the measure
16 results are repeatable, producing the same
17 results in a high proportion of the time, in
18 the same population, in the same time period,
19 and that the measure score is precise.

20 So there is really a broad
21 question here of whether or not this
22 construction of reliability is appropriate for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resource use measures, and how well we felt
2 that this was evaluated through the evaluation
3 process.

4 As part of Carlos' evaluation, he
5 suggested potentially other additional
6 reliability approaches, reliability testing
7 approaches, that might be considered,
8 including the stability of the O to E ratio
9 and the accountable entity over time, and
10 potentially other approaches, including
11 signal-to-noise ratios using ANOVA or intra-
12 class correlation coefficient. But many of
13 the developers used -- They used a parallel
14 development of the episode software and SAS
15 software as their measure of reliability. So
16 it is a question of whether that is
17 sufficiently adequate to our definition of
18 reliability.

19 Finally, the validity testing:
20 The question of validity looks at the NQF
21 definition as demonstrates the measure data
22 elements are correct, and the measure score

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correctly reflects the cost of care and
2 resources provided, adequately distinguishing
3 between high and low resource use, with face
4 validity being the minimum threshold.

5 So one of the questions here is:
6 Is this adequate for resource use measures,
7 and what considerations should be made by
8 developers when selecting a testing database?

9 A lot of times -- specifically, I
10 will use the example of Ingenix -- some of the
11 TAPs had difficulty, because Ingenix was
12 testing on a very large dataset that could
13 represent more than one health plan.

14 So the question of whether or not
15 you really needed to have multiple datasets to
16 adequately assess validity; and also how to
17 assess the data element validity in the
18 context of resource use measures, defining the
19 data element and also the issue that Tom
20 brought up of whether or not there is enough
21 literature to actually demonstrate the
22 appropriateness of each of the data elements.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I will sort of leave it there.
2 Again, there is a lot that I asked, so we
3 will sort of leave the discussion open to
4 areas that you all felt were the most
5 important and resonate most with your thinking
6 as we are reviewing these measures.

7 CO-CHAIR ROSENTHAL: Well, I will
8 start. I think the reliability questions are
9 interesting. If I reflect back, though, on
10 our decision making, I think we largely
11 accepted the reliability, but when you posed
12 the question the way you did, I don't think we
13 applied a very high standard either, because
14 most of them had not been tested, really, in
15 real life and multiple settings.

16 We just kind of accepted that the
17 computer cranked the thing the same way, it
18 was all computer based and, therefore -- and I
19 don't think, actually, most of our decisions
20 around yes or no on scientific acceptability
21 were driven by the validity side of the
22 equation, but I suspect -- and Carlos might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 our guide on this -- we could be more rigorous
2 -- could have been more rigorous or should be
3 more rigorous in the future on reliability.

4 I would say, with regard to
5 validity, my own -- The one observation I had
6 from this of why I think I had some struggle
7 with the thing is I am used to looking at data
8 less in theory than in practice. What I found
9 problematic was -- I don't remember, except
10 with one exception and it was, I think, the
11 HealthPartners where they actually showed a
12 chart of how they actually arrayed the data.
13 I don't recall seeing a data element arrayed
14 for any of them.

15 At least what I would normally do
16 is, if somebody says, well, here is
17 measurement X and it is purporting to measure
18 something or other, and here is the condition,
19 and here is the -- I would expect to see -- I
20 would want to see the data arrayed. I would
21 want to see the confidence intervals. I would
22 want to see how many outliers there were on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the upside and on the downside.

2 Then in my own mind I would array
3 that against what I knew about that disease
4 state, about how much variation I at least
5 intuitively thought existed in the world, and
6 that would be the basis of my ability to even
7 discern face validity, and I don't think we
8 had that on any of these or with the one
9 exception, and the one exception had, I think,
10 three health medical groups, primary care
11 groups from the HealthPartners. I don't think
12 we ever saw it on Ingenix, etcetera.

13 So I think that asking to see a
14 sample array of the data in actuality as it
15 was applied would have been extremely helpful
16 for me, and I don't think that is -- again,
17 back to the question of, well, are we just
18 piling on the onerousness of this thing with
19 these developers. I don't think that is a
20 ridiculous kind of request. They surely must
21 have that.

22 Maybe I was the only one who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suffered from that, but I have a feeling that
2 others were similarly impacted.

3 DR. STEPHANSKY: Actually, that
4 was some of my concerns about what was in the
5 paper, though. It was describing how we were
6 dealing with the reliability and validity
7 issues. We were kind of missing this piece.

8 MR. BOWHAN: I guess I will make a
9 comment and then ask Carlos to respond to
10 that.

11 What struck me particularly in the
12 Ingenix descriptions -- and I think they came
13 mostly the same from what Carlos thought about
14 the validity -- is that, basically, it seemed
15 like the -- what I was getting out of it, that
16 there really wasn't enough information to make
17 an evaluation or a judgment of the validity,
18 but that didn't stop us from going ahead and
19 voting on it anyway, even though we didn't
20 seem to have enough information.

21 So either we shouldn't ask for it
22 or expect it, or we just go back, the way Dave

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggested on the previous thing. If they
2 didn't have what we need to make the
3 evaluation, we don't move forward until we get
4 it.

5 CO-CHAIR STEINWALD: Now isn't
6 much of the discussion we had this morning
7 about risk adjustment and some of the related
8 -- aren't those validity issues? Right. And
9 some of that, you have got notes on and are
10 prepared.

11 I think the way of dealing with
12 the now versus the future, though, is
13 acknowledging that we were the first out of
14 the chute, at least on measure, and we did
15 what we could with what we were submitted.
16 The developers did what they could. Maybe
17 they could have done better, but looking
18 forward, I think we should make it clear that
19 the expectation -- the bar would be raised,
20 and try to be somewhat specific about in which
21 ways we expected it to be raised.

22 DR. REDFEARN: In terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reliability, to go back to that, I am a little
2 concerned about the issue of trying to do sort
3 of test/retest or repeating across time. That
4 is a problem we struggled with when we did
5 provider profiling, because the question we
6 always get is, well, you did this analysis
7 last year; is the ranking of the providers or
8 your evaluation of providers the same when you
9 run it again this year.

10 The reason that is a little
11 problematic is that the mix of patients that
12 the doctors see changes across time, and the
13 practice of medicine changes across time. So
14 you have built into it some variability that
15 makes it harder to do.

16 What I would suggest and what I
17 think developers could do is they could do
18 sort of split half-tests, which divide their
19 sample in half and see whether the efficiency
20 scores come up the same when they cut their
21 data, or bootstrap it or something. There's a
22 whole bunch of things they can do with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 single sample.

2 Then at least you know that these
3 other things are not changing underneath you.

4 It is the same time. It is the same group of
5 providers, but you could certainly split the
6 sample and do that kind of stuff. I think
7 that would be useful. That would tell you
8 something about how stable the scores are.

9 MR. ALZOLA: Okay. Let's stay
10 with the reliability thing. All we really
11 asked for was -- in terms of reliability was
12 to show that the measure is repeatable. It
13 was the minimum standard that we could ask
14 for.

15 It kind of -- There are two ways
16 you can look at this. You can look at the
17 repeatability of the algorithms. Is anybody
18 doing -- that has the same information, a
19 different program, going to be able to
20 replicate these datasets in these measures,
21 and the answer is, in principle, yes, because
22 the real variability there is how the data are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 input at the source.

2 If two coders look at the same
3 patient and they assign a different diagnosis,
4 okay, that is where the variability can be.
5 Once you have an algorithm that is going to
6 put them in a database and to use this code,
7 everything will be the same.

8 Having said that, that is very
9 true for Ingenix, but in measures such as the
10 AVMS, they only gave instructions on how to do
11 it. They didn't give a real algorithm and say
12 this is how you have to do it.

13 So, yes, it is repeatable, but the
14 devil is in the details. So it may not -- I
15 think we probably have to be very more strict
16 in saying you have to provide enough detail in
17 the algorithm that any program can reproduce -
18 - will obtain the same results, sort of like
19 Joint Commission does.

20 DR. BARNETT: I think all of these
21 comments are important. I was struck -- Two
22 things: In terms of the message for assessing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reliability and validity, I was thinking in
2 reviewing them that they wouldn't be
3 sufficient to get past muster in a peer review
4 journal, that it just wasn't that high a
5 quality, and that is a bit disturbing, really,
6 when you think about what importance could be
7 attached to the endorsement.

8 The other thing to reflect upon is
9 the evaluation is being done by oftentimes the
10 same commercial interest that is proposing the
11 measure, and so they have an inherent conflict
12 of interest, which is always a problem in
13 evaluating something, and it is too bad we
14 don't have unlimited resources where we could
15 commission independent assessments. But so
16 given that we don't, then it seems like we
17 have to have a pretty high bar regarding
18 things, meeting these tests of reliability and
19 validity.

20 I know that, during the meeting,
21 there was some tension about just how high
22 that bar should be. There was some sentiment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we didn't want to make the perfect the
2 enemy of the good, if I said that right, but I
3 do think that it is important that we get it
4 right, because the concerns about efficiency
5 and health care costs are such a political
6 lightning rod that we don't want to endorse a
7 measure that is not well thought out and then
8 be accused of rationing or convening a death
9 panel or something like that.

10 CO-CHAIR STEINWALD: Karen, you
11 would like to say? I'm sorry, rationing or?

12 DR. BARNETT: OR convening a death
13 panel.

14 CO-CHAIR STEINWALD: Oh, the death
15 panel, of course.

16 MS. PACE: Yes. I just wanted to
17 provide some clarification about the NQF
18 criteria on reliability and validity, and we
19 had two task forces, a task force last year
20 that spent quite a bit of time looking at
21 reliability and validity and developing some
22 recommendations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Currently, our criteria -- and
2 that task force was very clear that they want
3 to see empirical data on reliability and
4 validity. Our criteria allow for analysis at
5 either the data element level, which is the
6 repeatability or reproducibility, or at the
7 measure score level, which is more about
8 precision and how much error there is in that
9 computed score.

10 Regarding electronic sources, they
11 specifically talked about electronic health
12 records, but I think the issue of reliability
13 or repeatability when you are doing a computer
14 programming with claims is applicable. You
15 are going to get the same result. It is going
16 to be repeatable, and we are not really so
17 interested in reliability of a computer
18 program.

19 What the task force recommended is
20 that -- They acknowledge that that is going to
21 be repeatable and that you wouldn't have to do
22 reliability testing at the data element level,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but you would need to do validity testing at
2 the data element level, which is about the
3 accuracy.

4 So if you are relying on claims
5 for a particular diagnosis, is that something
6 that claims data is really a valid source of
7 data for that? I am sure you all are more
8 aware of it than me, but the advice we have
9 gotten from the task force and other
10 committees is that it depends on the
11 particular diagnosis, how valid claims are for
12 identifying patients with particular
13 diagnoses.

14 We have provided a lot of
15 flexibility, so that, if there have been, for
16 example published studies about the validity
17 of claims data for particular diagnoses and
18 that is what is being used in a measure, that
19 can be cited.

20 So at the data element level, you
21 know, reliability is about repeatability;
22 validity is about the accuracy. Is it right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data? At the measure score level, which is
2 what we are ultimately interested in -- As you
3 know, we are endorsing these measures for
4 accountability purposes and being able to make
5 valid conclusions about differences among
6 providers.

7 At the measure score level for
8 reliability, we are really interested -- or
9 one type of analysis is signal-to-noise. How
10 much of the difference among those measured
11 entities is actually true difference versus
12 error and noise in the measurement?

13 Validity at the measure score
14 level -- We do at this point in time allow
15 face validity, which we ask that that be
16 systematically assessed, but if there are
17 other kinds of conceptual relationships that
18 can be tested, if there are other measures of
19 cost or resource use that it can be correlated
20 with, those are certainly things that the
21 measure developer should consider in terms of
22 submitting evidence of reliability and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 validity.

2 CO-CHAIR STEINWALD: You know, on
3 three different occasions that I can think of,
4 I asked a question of the developer: Is this
5 measure in widespread use? And the answer was
6 always, oh, yes, and for years, numbers of
7 clients and so forth.

8 I would ask, has the measure ever
9 been used in a study that has been published
10 in a peer review journal? The answer was
11 either no or not that we know of. In a way,
12 that is kind of surprising, given the fairly
13 substantial organizations.

14 I know the argument is, well, who
15 has the time or resources to do that. On the
16 other hand, I wonder if there is a way that we
17 could encourage the developers to get -- I
18 think Dolores said, these are systems; they
19 are not just individual measures. They are
20 systems -- get some public exposure to their
21 systems, either through generating
22 publications or some other posters at meetings

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or something like that, more than apparently
2 what they have been able to do so far.

3 MS. PACE: I think that is not too
4 different from the quality measures either.
5 The developers are not -- unless they have
6 come initially from some academic background
7 or academic setting. We find the same thing
8 on the quality measures.

9 CO-CHAIR STEINWALD: I would think
10 that -- You know, there is a local university
11 that has graduate students. They are looking
12 for data and topics to publish on. It seems
13 like it would be a natural.

14 CO-CHAIR ROSENTHAL: I guess it
15 doesn't fit their business model, sadly.
16 Sadly, because I made the comment earlier
17 about the lack of peer review stuff, and that
18 is typically where many of us would go to kind
19 of get an imprimaturship.

20 One of the observations that I had
21 in my head about the validity question is --
22 and a little of this was partly the way we set

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 up the topics, but we had attribution over
2 here, and we talked about attribution in one
3 place. We had sort of what is the disease
4 state and the logic around it kind of over
5 here, and we had the risk adjusting thing all
6 in kind of a separate conversation. But it
7 really is the intersection of those three
8 elements that enable you to draw a picture,
9 again if you are a visual learner, and decide
10 whether that combo of features together passes
11 the validity hurdle.

12 The way we set up our own review
13 logic didn't tee that up quite so well, and
14 there were times -- and the pieces didn't feel
15 like they fit together. So I think that is
16 something we could do better the next go-
17 round.

18 MS. PACE: And the current -- The
19 rating scale that the Measure Testing Task
20 Force set up, we really have grouped together
21 validity -- not just the overall validity
22 testing, but the issues that are threats to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 validity. So risk adjustment for outcome or
2 resource use measures factors into their
3 validity rating.

4 Exclusions: Because how you deal
5 with exclusions can affect validity. Missing
6 data, those kinds of things affect ultimately
7 the validity of the conclusion you can make
8 based on that score. So we have moved to
9 grouping those things under the validity
10 category.

11 I would be interested if you all
12 have seen -- I know you mentioned that these
13 particular measures that you reviewed weren't
14 necessarily published in the literature, but
15 have you seen things in the literature that we
16 could provide as examples of what would be
17 reliability -- a good reliability and validity
18 testing or point us to some publication that
19 we may take a look at? If you don't know
20 today, certainly, send it on to us.

21 DR. BARNETT: I was just doing
22 some PubMed work here, but there are three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 papers by J.L. Adams and McGlynn. One of them
2 was provided to us at the outset, and they
3 have something new in one of the electronic
4 journals about the statistical methods for
5 assessing the groupers.

6 MS. PACE: Right, and actually, I
7 don't know if it is the same paper or a
8 different paper, but they also did something
9 that we have been looking at for just quality
10 performance measures. They have done some
11 work on the signal-to-noise analysis for
12 precision of measurement, which applies to
13 quality measures as well as resource use
14 measures.

15 DR. BARNETT: And then I know, and
16 I wish I had read, but I haven't yet -- I have
17 it in the stack -- of the stuff by McCurdy and
18 Thomas, et al. Some of that you gave us, and
19 some of that I got independently. Yes, but
20 they have a contract with CMMS to do
21 evaluations. I know that I have read the
22 abstract and thought this is important stuff,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I need to read this; but I don't know anything
2 more about it than that.

3 CO-CHAIR ROSENTHAL: But the irony
4 on one of those McGlynn articles was that, in
5 fact, I think their critique of the grouper
6 was that, in fact, it re-rank ordered the
7 physicians from one period to the next, just
8 on my recollection of that.

9 DR. BARNETT: But I think that not
10 only to read that, but also there are five or
11 six letters that were in the New England
12 Journal in response to that particular one
13 that are also very insightful, I think, about
14 the issues.

15 MS. PACE: One other thing about
16 the comment about looking at scores over time.
17 Again, we have seen that submitted for
18 quality measures, and it is really not what we
19 would expect for reliability testing,
20 especially in the context of performance
21 improvement. Someone already mentioned it is
22 a different time, that it could be different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients in the calculation of that score, but
2 also if you are thinking of performance
3 improvement, you know, what is the basis for
4 assuming it should be the same?

5 So anyway, it is something that we
6 are working through gradually with our
7 committees and measure developers, of really
8 looking at what are some of the real things we
9 are interested in.

10 DR. BARNETT: If you apply that
11 same method to the split sample, like David
12 suggested, then you don't have that problem.
13 Right? And you should get somewhat similar
14 classification. If your dataset is large
15 enough, then you really could get at the
16 issues. Right?

17 CO-CHAIR ROSENTHAL: Well, and
18 just not to quibble on the thing, but there is
19 some element of which it is a reflection of
20 how good the risk adjusting methodology is,
21 and the signal-to-noise ratio, because I
22 think, if you looked at this the same way we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would look at control charts within an
2 organization, there is a certain amount of
3 this that is just within two standard
4 deviations, and what you are seeing year over
5 year is, in effect, the normal variation that
6 you would expect to see, and yet we are
7 attributing in one snapshot in time as a
8 difference A and B as being some profound
9 statistically significant difference.

10 So it goes back to the notion of
11 even something that is less than p less than
12 .05 has a one in 20 chance of being no
13 different, and that is what we may be seeing.

14 MR. ALZOLA: I think the
15 developers were rather confused about what we
16 were expecting of them. As I look at the
17 submissions and the answers they gave to the
18 reliability questions, in most cases they
19 didn't answer the questions. I had to look
20 hard for evidences of reproducibility.

21 So I think it would help if we
22 could provide the concrete examples. In that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respect, what HealthPartners did was the best
2 example I saw for signal-to-noise ratio.

3 CO-CHAIR ROSENTHAL: Carlos, were
4 we unclear in the way we asked or were they
5 unclear in interpreting it, or both?

6 MR. ALZOLA: It is probably an
7 issue of both. To me, the question was clear
8 enough, but they didn't get it.

9 DR. PETER: Do they get -- Do
10 measure developers get a sample measure that
11 has like a model for them to look at?

12 MS. TURBYVILLE: My recollection
13 was that we did point them, since we knew the
14 developers so well, to the Testing Task Force
15 report.

16 MS. WILBON: But we didn't have
17 any sample measures, because we just didn't
18 have measures before us. So we did our best
19 to try to give them examples from the --
20 pulling from what we knew from quality already
21 to draw attention.

22 DR. PETER: Right. Maybe now that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we have -- I don't know if you can de-identify
2 them. I guess they are in the public, but
3 maybe you can provide them with a sample. It
4 is appropriate to point them to one of the
5 best ones that you have received.

6 MS. WILBON: Yes, absolutely.

7 MR. AMIN: One other quick
8 observation from what I am hearing from this
9 conversation is: Some of the elements,
10 specifically, that were in what is termed
11 right now Module 5 in the reporting is clearly
12 part of the reliability and validity of the
13 measure. So this "adequately demonstrating
14 with certain sample size and the level of
15 analysis that is articulated in the measure"
16 needs to be more precisely defined.

17 So allowing this level of
18 flexibility through submitting guidelines may
19 not be appropriate in the next phase of work.

20 So we will take that. I just want to make
21 sure I have heard that right. Okay. So we
22 will take that, Dolores.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. YANAGIHARA: I was just going
2 to comment on that. I think -- I wonder if
3 there is any thought in terms of reporting of
4 having -- I mean, there are industry standards
5 for reliability of measurement. So I am just
6 wondering if it would make sense to have
7 demonstration of that kind of reliability.

8 That sort of gets to the sample
9 size issue and getting down to individual
10 provider level and things like that. As long
11 as the measurement is reliable, I mean there
12 still may be reasons why you would or wouldn't
13 use it, but at least technically it is ready
14 to be -- or meets the standard, so to speak.

15 So I don't know if there is some
16 way to work that kind of reliability
17 requirement or industry standard. A .7
18 reliability, I think, is often used. There
19 are different ways to measure reliability, but
20 it might be something to think about.

21 Sample size is part of it, but it
22 is only part of the equation, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BURSTIN: Just one thought,
2 and it is spawned by several of these
3 comments. It also seems like it would be
4 useful, especially as Barb has reminded us
5 repeatedly of measure developer burden on this
6 as well, is as we take maybe a retrospective
7 look at what we ask for on the form, what we
8 ask for that we actually didn't use perhaps,
9 that maybe they jumped through a lot of hoops
10 to provide, and maybe what we asked for that
11 they didn't answer, maybe we could be a bit
12 more focused, because we asked for a whole lot
13 of stuff.

14 It would be really helpful to go
15 back and look to see what really added value
16 into our decision making and what didn't. So
17 your input and thoughts, particularly you,
18 Carlos, as we look at that section, could be
19 very useful.

20 CO-CHAIR STEINWALD: I think there
21 will be bad news and good news for developers.

22 The bad news is that some of the bars are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to be set higher. The good news should
2 be of two kinds. One is there is certain
3 information that we decide we don't need and
4 we are not going to ask for, and then the
5 second is along the lines of being specific
6 about what we want and, if you give us what we
7 want, then the chances of you getting what you
8 want are improved compared to the first time
9 around.

10 MS. YANAGIHARA: I actually also
11 think that it would be good -- and maybe it
12 was in the instructions, but brevity, I think,
13 is really good, and not putting in the whole
14 PR spiel. I mean, like some of this stuff was
15 just so -- I was just like cut pages out of
16 this stuff. There is just like the same stuff
17 over and over that wasn't even relevant. I
18 was like, oh -- So just focusing on just
19 provide the information asked for. It can be
20 brief. It doesn't have to be volumes and
21 volumes, and just really emphasizing that,
22 because some of the stuff was just --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. WILBON: I think some of that
2 was a product of different developers and
3 being new to the process, and there was an
4 effort of the staff to try to -- What you guys
5 got, believe it or not, staff actually had
6 done some back and forth with the developers
7 before you got it.

8 At some point, we just have to be
9 like, okay, we have to move on with the
10 process, and we just have to move the measures
11 forward, but I think you could probably see a
12 different level of how the questions were
13 approached by the different -- and I think
14 that is just a matter of experience. Anyway,
15 there is an effort to help. No, it's a great
16 point, and we completely agree.

17 DR. REDFEARN: Perhaps when you
18 have a measure developer submitting a number
19 of different measures, all of which rest on
20 the same foundational logic, they could
21 present it with one description of the
22 underlying logic and then the specifics of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 each measure separately. That would bring the
2 volume down, and we only have to read the
3 garbage once.

4 That actually -- I was thinking in
5 terms of Ingenix, because this is all
6 fundamental, but it applies to NCQA, too. It
7 is like when we went to COPD, it's like, oh,
8 same as asthma except different definition.
9 So all of the fundamentals of the data
10 cleaning and stuff like that can be presented
11 one time, and then the specifics of each
12 measure, and that would be a lot easier for
13 us, and probably easier for the developers,
14 too, because then they could develop it a
15 little bit better.

16 MS. WILBON: Right. So we try to
17 do that. I am not sure it came across that
18 way. So that general methods document or that
19 item in the submission that we allowed them to
20 submit -- we asked them two or three pages;
21 for some of them, it was actually much longer
22 than that -- a just broad overview of how they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -- what their approach is, and then for the
2 measure submission form to be specific to that
3 measure.

4 There was an attempt to do that.
5 If you have suggestions on ways that we could
6 maybe communicate that better. IF you
7 remember, in the beginning we did that one
8 webinar in the beginning that was supposed to
9 be like a general methods webinar where each
10 developer kind of presented their general --
11 So there was an attempt to try to go with that
12 approach, but obviously, it being our first
13 time, it wasn't completely successful,
14 obviously.

15 So any suggestions you have on how
16 we might be able to narrow that or make it --

17 DR. REDFEARN: Make the
18 methodology -- Make a methodology section that
19 forces them to do that and then the specifics
20 of the measures, and just label it separately.
21 That might help.

22 CO-CHAIR STEINWALD: Jack.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NEEDLEMAN: Along that line,
2 if they are told to create an appendix with
3 the details of their general methodology and
4 it is attached to the specifics, but we know
5 it is general, then we know we have seen it
6 before. The first time you are reading it,
7 you know you have to read the appendix. After
8 that, you are looking for the exceptions, the
9 tailoring of the method, and that may work as
10 a vehicle for both allowing them to present
11 the stuff, but also allowing them to quickly
12 attach it to a whole bunch of measures
13 simultaneously without saying there is a whole
14 separate thing we have given you which has
15 this. You need it there, if you need to refer
16 to it, but it doesn't have to be 10 pages of
17 text in the middle of every application.

18 So that is a possible strategy for
19 just helping them organize what is generic and
20 what is unique.

21 DR. BARNETT: Just to observe that
22 we -- So the areas that the measures were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chosen were pre-defined -- right? -- as
2 diabetes and COPD, etcetera, because it was
3 thought they were high value. So we almost
4 never use that value section.

5 Sometimes interesting to read
6 their take on it, but it almost never had
7 anything to do with the measure that we were
8 reviewing. It was something about the larger
9 literature.

10 So I think that whole section,
11 actually, could be dropped, since we already
12 asked them to submit something in an area that
13 we knew was going to have high value.

14 MR. AMIN: Okay. Just in the
15 interest of time, because I know a lot of
16 people have to leave, I am just going to go
17 over usability quickly, and then we can move
18 into some additional conversations around
19 efficiency measures.

20 So the current usability criteria
21 are whether the measure performance results
22 are reported to the public at large in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 national or community reporting programs, and
2 has the measure demonstrated results that are
3 meaningful, understandable, and useful for
4 information for public accountability and
5 process of performance improvement, and can
6 the measure be deconstructed to facilitate
7 transparency in understanding.

8 So one of the questions here is
9 that how do we assess the usefulness versus
10 whether the measure is in use, as we have
11 discussed this many times where we fell back
12 on the principle of, well, it is in use, maybe
13 not necessarily addressing whether it is
14 useful in the way it is currently expressed.

15 Also, this larger conceptual issue
16 of balancing the need for transparency with
17 the potentially inherent complexity of the
18 measure. So can there really be transparency
19 when the measures are this complex for the
20 intended use, and who is the intended
21 audience, which we started to address?

22 MS. WILBON: So, Karen, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to put you on the spot. We started to
2 try to bring in some of the ideas from the NQF
3 Task Force. So NQF has a task force that is
4 in place right now that is looking at this
5 particular criteria and trying to see how it
6 can be reframed and kind of revamped to
7 address exactly this issue.

8 So would you mind kind of
9 summarizing a little bit? Sorry to put you on
10 the spot.

11 MS. PACE: No, that is okay. We
12 actually have a conference call next week, but
13 I will just say that the -- So we have trouble
14 really evaluating usability, not just for
15 resource use, and really wanted to have a
16 group take a look at it, also because NQF to
17 date has focused a lot on public reporting,
18 but given the current environment and other
19 accountability applications, we wanted to make
20 sure that that was also encompassed, though
21 the ultimate transparency is for public
22 reporting, and we want to still encourage

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measures to be publicly reported.

2 Basically, I can just tell you
3 this is in process, but the way the task force
4 is going is that for measures on initial
5 endorsement to really look at usability as
6 kind of a hypothetical construct, and that
7 asking for a rationale of how it could be used
8 for both accountability and performance
9 improvement, to give a rationale for that, and
10 also because ultimately these measures won't
11 have any impact on performance unless they are
12 actually used, to again in a hypothetical way
13 talk about -- or probably even more concrete,
14 what is the plan for getting these measures to
15 be used in an accountability application.

16 You know, what is the plan? do
17 they have any commitments, and what is the
18 timeline, because ultimately then, by the time
19 of endorsement maintenance, what we are going
20 to be -- What the task force is talking about
21 is then actually asking about, is the measure
22 in use? Is the measure in use for what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 accountability application? Is that national,
2 regional, less so? Is it public reporting or
3 is it some other less transparent use in an
4 accountability function? Then also what
5 impact is it having on quality or, in this
6 case, efficiency?

7 So it is really kind of two-
8 tiered: At the initial endorsement, to look
9 at the potential use for performance, impact
10 on performance improvement, and a plan to
11 actually get this measure into use; and then
12 on endorsement maintenance, is it in use, and
13 what impact is it having?

14 So that is kind of the basics of
15 what that task force is recommending, but it
16 is still under discussion.

17 CO-CHAIR ROSENTHAL: I am going to
18 re-raise this concept of the cul de sac that I
19 mentioned this morning. So we saw a number of
20 measures, and they are all in use, as I
21 understand it. They are in use for private
22 purposes, but the users are willing to fork

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over substantial amounts of money, and
2 presumably they are using the information, but
3 they are using it in a different way than
4 these criteria suggest, and I see you are well
5 aware of that.

6 Switch over to the Medicare
7 program for a second. It is our nation's
8 largest insurer, in the world, I guess. The
9 U.S. Congress requires the Medicare program to
10 have what in essence is a physician profiling
11 purpose prohibiting any public reporting.

12 So we have our largest national
13 program using resource measures for the
14 purpose of providing private feedback to
15 physicians -- a lot of states, no public. So
16 I am thinking we are a little bit at odds here
17 with how these measures are actually being
18 used.

19 MS. PACE: So just a couple of
20 things. In terms of use, we are defining that
21 as use in an accountability application, not
22 jus use in a private application, because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 again, if it is just going to be used in a
2 private application, there is really no need
3 for NQF endorsement. I mean in terms of what
4 NQF's mission is.

5 So -- but in terms of the public
6 reporting, you are right. Not all
7 accountability applications result in public
8 reporting, but one principle of NQF is as much
9 transparency and openness as possible. So we
10 would see -- you know, again if it is being
11 used in an accountability application such as
12 required reporting to CMS or perhaps for a
13 payment incentive program as moving along that
14 line of transparency and, hopefully,
15 eventually measures will be publicly reported
16 or at least publicly available, or perhaps in
17 some cases there will be evidence or data
18 suggesting that it is not useful for public
19 reporting applications.

20 So we are still working on that,
21 but I think the idea is that NQF's mission is
22 to improve health care for the American

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 public, and quality also includes efficiency.

2 If they are not going to be used in that
3 context, then it is going to be a specific
4 question of why do we need to continue
5 endorsement of a measure that is not being
6 used out to actually facilitate that goal.

7 CO-CHAIR ROSENTHAL: I think, had
8 we had to apply that standard, we might not
9 have approved any of the measures, because --

10 MS. PACE: They are not required
11 to be in use at the time of initial
12 endorsement.

13 CO-CHAIR ROSENTHAL: No, I
14 understand, but it is also not necessarily
15 clear how any of them really will be available
16 for national public reporting either. But
17 that is okay. I mean, again I am not second
18 guessing our decisions, because I think we did
19 some things to move the ball down the field.

20 The observation I was going to
21 make, though, is that we did, I think, assess
22 the usability or how they had been used, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from my own reflection on that, the NCQA one -
2 - you know, 300 members have used this. They
3 go out of their way to provide the
4 information. They pay for the privilege of
5 doing so. They accept the fact that these
6 reports are there. There was quite a lot of
7 detail around that.

8 Again, I would contrast that, that
9 I felt like there was somewhat less robustness
10 of what we heard back from, say, Ingenix, and
11 of course, from the American Board of Internal
12 Medicine there was none, which I think was one
13 of the things that queered that up. But my
14 observation would be perhaps there is an
15 efficient way to ask that, because I don't
16 think we asked it in an efficient way, and
17 that perhaps is something we could contemplate
18 on how to do. I think we grappled with it,
19 but we didn't ask it in an efficient way.

20 DR. BARNETT: Yes, just to pile on
21 that comment, I think the things that Karen
22 said were very clear in terms of what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 criteria are, and I think the instructions --
2 In retrospect, we were not specific enough in
3 what we were seeking, and that would be
4 helpful.

5 So the first section says current
6 use -- semicolon -- or colon, excuse me. So
7 that gives people a lot of wiggle room, and we
8 didn't really say exactly what we wanted. I
9 think, if we were more specific about what we
10 exactly wanted, we would get more useful
11 information out of the process.

12 DR. REDFEARN: I would like to
13 resurrect the issue of inviting commercial
14 grouper vendors, expensive software vendors
15 into this, which is a decision that I think
16 NQF made on sort of an experimental basis.

17 This is directly relevant to
18 usability, I think, because my personal
19 feeling is, when you get into products that
20 are going to cost hundreds of thousands of
21 dollars to implement, it reduces usability
22 dramatically. Frankly, I don't think there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to be any commercial carrier that would
2 want to use the Ingenix methods unless they
3 already licensed the ETG software.

4 All the commercial carriers are
5 doing something. They might be using another
6 tool. If they are paying Thompson a million
7 dollars a year for the Medstat system, why
8 would they want to go out and pay two or three
9 hundred thousand dollars for ETG's?

10 So I guess this is a question for
11 NQF. Was this experiment successful or is
12 this something you might want to rethink?

13 DR. BURSTIN: It is a great
14 question, David. This has been an ongoing
15 issue for us for several years now: Should we
16 bring in proprietary systems?

17 In fact, we got criticism
18 initially saying, but you are leaving out a
19 lot of the innovation and where a lot of this
20 work is happening, and particularly in this
21 area, there were so few developers who didn't
22 live in that private space that we didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think we had a choice. However, there are
2 ongoing discussions.

3 The NQF Board of Directors is
4 going to be dealing with this for the next
5 couple of months as we revise our measure
6 steward agreement, and one of the issues we
7 are going to have to decide is does NQF bring
8 in measures that are associated with charges?

9 To date, we have allowed that corridor. It
10 has been almost never used except for this
11 project and one other project we did on
12 readmissions.

13 So I would be curious from your
14 perspective. It would be very useful input.
15 Do you think it is something we should
16 continue with or was really kind of the juice
17 not worth the squeeze at the end of the day,
18 given the efforts involved for them in terms
19 of getting the costing data, the information
20 and the number of measures that came out?

21 I am not sure it would have been
22 terribly different, David -- and I would like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your perspective; it would be interesting --
2 if the other vendors had come forward. I
3 suspect we probably would have tripped onto
4 some of the same issues over and over again
5 with all of them.

6 DR. NEEDLEMAN: I think we are
7 going to keep ping-ponging questions back and
8 forth between us and NQF, because I think this
9 has to do as much with the philosophy of what
10 you are about, and what your certification of
11 a measure communicates.

12 So part of it is a question for
13 David in some sense, which is: Do you need an
14 NQF reviewing measures from these vendors to
15 help you decide or for other payers or other
16 groups to decide whether they should buy it,
17 basically, or is that something that -- If
18 there is a value there for folks who are going
19 to use this for private purposes, never going
20 to get to public reporting, but clearly are
21 going to use it in a whole variety of
22 accountability ways privately or internally,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but it is a large and growing vendor
2 community, and I am new in this field. Is
3 there a value to the potential purchasers of a
4 commercial product of having you vet it or can
5 we assume that the purchasers are sufficiently
6 sophisticated, have enough both financial
7 capacity and technical capacity, to evaluate
8 the value of an Ingenix measure, an NCQA
9 measure or a Prometheus measure if they
10 commercialize that without it going through
11 your process and getting your imprimatur?

12 That, seems to me, to be part of
13 the issue for the discussion here, and I don't
14 have any clear insight into that.

15 DR. REDFEARN: Tom and I were
16 arguing a little bit yesterday about whether
17 there was any value to Ingenix from being
18 certified, and I think there certainly is some
19 value to have one of their measures certified.

20 But my comment was, when you are looking at a
21 million dollar product, I doubt if that would
22 make very much difference in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 purchase decisions by companies.

2 I think there is value there, and
3 that is probably one of the reasons that
4 Ingenix is interested in participating in this
5 process, but I wonder how much difference it
6 is going to make to them selling the product.

7 I don't know.

8 I think companies make decisions
9 about these products based on there are some
10 internal business needs and what they think
11 will work and what they need to do. If there
12 is some external -- powerful external force
13 that tells the large carriers like WellPoint,
14 Aetna, CIGNA, United, that you have to do this
15 if you want to compete in the market, then it
16 will happen, even at these price points, I
17 think, but I don't see that. I don't see any
18 entity having the power to do that.

19 The only one is CMS. I mean, if
20 CMS certifies an episode grouper, I think that
21 is going to send a lot of -- a huge message to
22 the community, but these individual measures,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I don't see that having that much influence.
2 But that is my guess.

3 CO-CHAIR ROSENTHAL: The only --
4 Back to the comment about what the currently
5 stated NQF goals are in having approved
6 measures being nationwide public reporting for
7 driving both improvements and transparency,
8 unfortunately, these groupers don't pass the
9 big test, in my opinion.

10 They may pass some small tests,
11 and it gets back to a little of the dialogue
12 we had yesterday around are there quality
13 measures that correspond to these things, and
14 the answer was, yeah, there are some where
15 they are more registry based, etcetera, and if
16 you part of the registry, you can reap the
17 value of them. But that doesn't pass the big
18 test either, quite frankly, it doesn't seem to
19 me, and the big test is the one that you
20 posited as the major goal for this, which is
21 transparency, driving improvement, etcetera,
22 etcetera.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think the jury is out on the
2 answer to is this pursuable.

3 MS. PACE: One question that Helen
4 posed is, in this particular space, are there
5 any non-proprietary measures that you all were
6 aware of? Did we? Oh, okay.

7 MR. AMIN: Yes, the ABMS measures
8 and the Prometheus measures would be two large
9 players.

10 MS. YANAGIHARA: We have some non-
11 episode based measures that we just didn't
12 have the resources to put through the process,
13 but I was just going to comment on -- I think
14 most of the measures, with the exception, I
15 think, of the HealthPartners measures, are in
16 use for quality improvement, really only,
17 pretty much.

18 There may be a few users of the
19 Ingenix that are using them for accountability
20 purposes. I don't know of anyone except from
21 HealthPartners that are using for public
22 reporting. So I think that this criteria was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 always a struggle for me, because I am like it
2 doesn't meet that public accountability or
3 even any plans for public accountability, as
4 far as I could tell at this point.

5 I take that back. NCQA, and that
6 is publicly reported, the relative resources
7 measure. But I think that is a struggle, and
8 I think, if it really is only for internal
9 quality improvement, we don't need this whole
10 process. It really is when it is for public
11 accountability that I think that this
12 endorsement process becomes really important.

13 So I do think it is important to
14 keep that in mind and, when measures are being
15 evaluated, to really take that into
16 consideration, because I feel like we were
17 kind of lax on that, and maybe for good
18 reason, because we are early in this process.

19 But I think it is really important going
20 forward to make sure that it is not just for
21 internal quality improvement, but really for
22 that public accountability/transparency.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BURSTIN: This has been an
2 interesting issue we have been dealing with a
3 lot in the usability task force as well, is
4 that it has been for quality improvement and
5 public reporting, and before that it said for
6 public accountability, and I think we have now
7 moved toward a broader set of accountability
8 functions.

9 So I think a lot of docs would
10 argue that, if health plans are using these
11 measures to tier docs and pay them
12 differentially, that they should be in the
13 mix. So I think there is a difference of
14 saying you are using internally for QI, and
15 whether there is still some public facing way
16 that -- Barbara is probably going to say
17 something along these lines.

18 DR. RUDOLPH: Yes. I mean, I am
19 thinking of the court case in New York where
20 the plan was sued about the appropriateness of
21 the tiering and so forth.

22 So I think these things -- their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 getting endorsed is probably going to lead to
2 a path eventually of public reporting, just
3 because there is enough health plans and
4 others who probably already have access to
5 many of these Ingenix groupers, etcetera, and
6 other proprietary groupers that will
7 eventually become publicly reported, and the
8 endorsement helps them in a sense that it
9 legitimizes what it is that they have done
10 and, therefore, they would be doing standard
11 practice, like in the medical terms -- you
12 know, the customary practice.

13 So I think it provides protection
14 for them.

15 CO-CHAIR STEINWALD: Your Wayne
16 Gretzky metaphor -- your only sports metaphor?

17 So I would say this. You know, if you viewed
18 this experiment as one whose sole output was a
19 handful of endorsed measures -- maybe not, but
20 if you are going to skate to where the puck is
21 going to be, more public accountability, more
22 public reporting, more system-wide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 accountability measures as opposed to small
2 groups and providers, but then that begs the
3 question of what do you do next? Right?

4 So what does skating to where the
5 puck is going to be mean for NQF? That is not
6 for us to decide. Right? That is up to you.

7 DR. BURSTIN: Although I think
8 that -- you know, and one of the things we
9 haven't talked about yet is where we really
10 want to go. Right? It is efficiency
11 measures. So we kept stumbling on the fact
12 that, hey, it is pretty hard to do efficiency
13 measures if you don't have anything on cost
14 and resource use.

15 So we feel like this is our foray,
16 but that is -- The puck, I think, is
17 efficiency and value.

18 MS. PACE: And I should -- I think
19 that is a good reminder, that I don't think
20 NQF would really advocate that just these
21 resource use measures be publicly reported,
22 because what does it tell you other than cost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is different. It doesn't tell you whether
2 high cost is associated with better outcomes
3 or worse outcomes. So it is really not
4 information that people can rally act on.

5 Also, as Helen mentioned, although
6 public reporting and maximum transparency is
7 going to continue to be the goal that we are
8 really recognizing and trying to work into the
9 criteria, and how that will be evaluated is
10 progress along that goal, and certainly other
11 accountability functions would count and, for
12 some measures, it may not be appropriate for
13 public reporting.

14 CO-CHAIR STEINWALD: This is a
15 good segue into our last topic or
16 conversation. Tom, and then Dolores, and then
17 Paul.

18 CO-CHAIR ROSENTHAL: Well, I think
19 one of the -- To the point of, quote, "where
20 should we," again one of my disappointments is
21 we really spent most of the time here
22 grappling with the groupers, and gosh -- and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 coming back to something Paul alluded to at
2 the beginning of this conversation which is,
3 there have got to be 50 or 100 other sort of,
4 kind of metrics that would be
5 efficiency/resource utilization ones, and I
6 jotted a few down that would get at the issue
7 of that 30 percent that is arguably, quote
8 "unnecessary care" that we are not capturing
9 even in this sphere, because with the
10 exception of the population based things, none
11 of these things grapple with the
12 appropriateness part of the equation. But you
13 can get the things that Dartmouth just did on
14 use of cancer drugs in the last week of Life.

15 You've got bed days per 1,000 in
16 the HMO world. You have got ER visits per
17 1,000. You got MRI measurements. You got a
18 ton of things, and for whatever set of
19 reasons, we ended up spending virtually all
20 our time grappling with grouper methodologies,
21 but I would hope that in some fashion in the
22 report we might solicit a wider variety of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 submissions, and perhaps even offer up the
2 lure that there could be -- I don't want to
3 say a fast track piece, because that implies
4 there is some shortcut to it, but that it
5 would not be necessarily some hideous, onerous
6 process to get one of those kinds of things
7 approved, and it clearly would expand the
8 world in which we are grappling, and there has
9 got to be dozens of those things out there.

10 MS. TURBYVILLE: Could I quickly
11 just jump in? A lot of the measures that came
12 through, including the NCQA measures, included
13 ER visits, discharges, and I think the
14 committees and the TAPs did focus mainly on
15 the costing part, but including the Ingenix
16 measure, though they were built into the
17 grouper. They did include these utilization
18 metrics within the -- not to disagree with
19 your statement that we can't cast a broader
20 net, but within the conditions we did see
21 those coming through.

22 CO-CHAIR ROSENTHAL: Well, yes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there is no doubt within the conditions, it is
2 all costs of those things. So it would
3 include -- It clearly includes the drugs, but
4 there are population based metrics around
5 those things that are independent of the
6 specific condition that again casts a narrower
7 net of the thing being measured, and that
8 again are widely accepted measures around the
9 country.

10 Again, there are other kinds of
11 things related to the end of life use,
12 etcetera. I won't belabor the point.

13 DR. ELWARD: This is Kurt.

14 CO-CHAIR STEINWALD: Kurt? Okay,
15 go ahead.

16 DR. ELWARD: Yes, this is Kurt.
17 Is it okay if I make a comment?

18 CO-CHAIR ROSENTHAL: Sure, go
19 ahead.

20 DR. ELWARD: I think Tom's point
21 is very well taken. It strikes me, though,
22 that some type of grouping episodes of care is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to become increasingly important as
2 things like ACOs, patient centered home
3 development -- you know, the public is going
4 to be given a lot of information, true or not
5 true, about how well this care is provided and
6 at what cost, particularly since, I think, no
7 matter where we go with reform or not, we are
8 going to be dealing with significant cost
9 challenges.

10 So I think that there is -- As
11 more specialty care gets done as outpatients
12 and you start centering again moving toward
13 patient care models, I think that thinking
14 about some type of episodes of care model is
15 going to be, actually, very appropriate, and
16 to keep the playing field level, I think the
17 next things you have to focus on -- you know,
18 the things that are out there will really
19 prevent a lot of -- I think, will serve the
20 public safety a lot better. Thanks.

21 MS. YANAGIHARA: Two points. One
22 is I think the HealthPartners total cost of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 care and resource use index actually does, at
2 a population level, break down into all those
3 different kind of cost categories. So that
4 is, I think, a kind of format measure.

5 Then my other point is on, really,
6 the kind of discussion that was going on a
7 little earlier. The physician and hospital
8 quality certification program that NCQA has
9 actually has a number of standards that I
10 think are applicable and may be useful in
11 terms of guides.

12 They give you credit for having a
13 majority of your measures that are either NQF
14 endorsed or by a national accreditor or by the
15 government. So that is one of their
16 standards.

17 You can only use cost in
18 conjunction with quality. You are not
19 supposed to use it for action, -- and I will
20 define action in just a minute -- you know,
21 cost on its own or resources on its own.

22 Then the three definitions of kind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of using it for action are public reporting,
2 payment or benefit design. So that might be a
3 way to kind of frame that accountability --
4 you know, the aspect that we are trying to get
5 at. It is not necessarily just public
6 reporting, but for payment purposes or for
7 benefit design purposes. That all would fall
8 into that category.

9 MS. PACE: And, actually, some
10 prior work has been done in terms of
11 identifying those accountability applications,
12 certainly payment. Different incentives could
13 be accreditation or certification, all of
14 those kinds of things.

15 DR. BARNETT: Those were NQF?

16 MS. YANAGIHARA: NCQA physician
17 and hospital quality certification. Actually,
18 it was in response to the whole Attorney
19 General case in New York, and they came up
20 with certification standards for health plans
21 and other organizations, and we actually just
22 went through that on behalf of our health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 plans for the stuff that we do for them.

2 DR. BARNETT: I was just going to
3 follow up on what John said, and appreciate
4 his thoughts and ideas about what some
5 specific appropriateness criteria might be.

6 So in our health care system, we
7 have had for more than 10 years now a quality
8 enhancement research initiative. There's 10
9 centers funded to do implementation of
10 guideline concordant care, quality improvement
11 projects, and it has been done pretty much
12 without regard to cost, although we have done
13 some economic evaluation.

14 So these 10 centers each -- you
15 know, they have at any given time a half-dozen
16 projects that are quality improvement efforts.

17 So our national director of the Quality
18 Enhancement Researcher Query Initiative, David
19 Atkins, has asked each of the queries now to
20 come up with a de-implementation or a
21 disinvestment program.

22 So if doing inappropriate things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is clearly not high quality care, so doing
2 less of the inappropriate things is a kind of
3 quality improvement and, obviously, it has
4 economic implications.

5 CO-CHAIR ROSENTHAL: One other
6 thing that occurred to me just in the moment
7 is that one aspect of this is to try to change
8 the whole public dynamic, but the other
9 imperative, it seems to me and to some others,
10 is the idea of encouraging, if not promoting,
11 integration.

12 In some way, the ETG methodologies
13 that tried to get down to the individual
14 physician level, obviously, have their
15 purposes. It is not clear to me, though, that
16 they promote integration. In fact, you might
17 argue that they don't promote integration at
18 all, because the goal is to get -- you could
19 have a doc out completely on his own and, if
20 you have attributed the patients correctly, he
21 or she doesn't have to be involved in any
22 organized entity whatsoever.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So one of the things that might be
2 where the puck ought to go is to say that
3 measures that specifically encourage
4 integrated behavior would be desirable and,
5 like it or not, one of the integrators in this
6 country are hospitals, ironically, and it has
7 been demonstrated pretty substantially that,
8 if you hold hospitals accountable, somehow
9 they manage to figure out how to get their
10 physicians engaged, even if they don't own the
11 physicians.

12 So it was surprising, again, not
13 to see any hospital oriented measures, but if
14 there were hospital oriented measures, I
15 believe it would have the additional payoff of
16 creating an imperative toward integrating;
17 whereas, maybe somebody could argue or debate
18 with me on this, but I am not sure most of the
19 ones we saw have that as a specific outcome of
20 the measure.

21 DR. NEEDLEMAN: I have been
22 thinking about the nature of the measures we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have been looking at, and what we mean by
2 efficiency is going to be -- differs across
3 the different kinds of measures.

4 We have got some measures that are
5 measuring acute episodes, often surgical
6 episodes, the hip/knee sort of thing, and
7 there the resource use concept, I think, is
8 clearer. You know, patient comes in -- The
9 patient starts into treatment. Something
10 happens. They get done with treatment.

11 Then we have got -- So the concept
12 of an episode there, I think, makes a lot of
13 sense. When we start looking at some of the
14 care that represents primary care, coordinated
15 care, long term care for patients, we begin
16 looking at these concepts of episodes around
17 diseases, and that just increasingly feels
18 wrong.

19 You know, Helen has made the point
20 in several ways that all of her patients are
21 coming in with multiple conditions, and that
22 is increasingly what we are seeing. If we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thinking about Medicare episode groupers, that
2 is extensively what we are going to see. The
3 20 percent of the patients that are 80 percent
4 of the costs are all very complex patients or
5 they have extremely -- you know, half of them
6 are very complex patients that are in the
7 system for the long period.

8 So perhaps we need to -- For that
9 set of patients, if we are trying to
10 understand resource use, we need to perhaps
11 encourage the developers to be thinking about
12 patient centered definitions of who these are,
13 so we stop thinking about COPD as a disease
14 that needs treatment, and we think about
15 patients who have COPD.

16 We saw a little bit of this with
17 the NCQA measures, but really think about the
18 fact that that patient may also be a patient
19 with -- and add to the problem list. We need
20 to think about dealing with complex patients,
21 and maybe some of these things are together
22 sufficiently we can think about what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resource use is for patients with this cluster
2 of diseases.

3 Then we can begin tying the
4 resource use to measures of outcome or
5 measures of specific outcomes or levels of
6 maintenance of disease progression that will
7 tie back to the measure of quality. But the
8 core question here is, when this patient comes
9 to you, how many resources are being applied
10 to their care, and how much bang for the buck
11 are we getting for that? Are there ways to
12 get the same bang with fewer resources? But
13 it is about the patient, and it is about the
14 complexity of the patient.

15 We need to think about how the
16 grouper methodologies or the episode
17 methodologies fully capture the complexity of
18 the patients that are there, and what kinds of
19 resource levels are needed to care for them.

20 MR. AMIN: Jack, that was very
21 well said. As we are sort of thinking about
22 the last 15 minutes here, one of the things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we really wanted to focus on was, as we
2 are sort of thinking about the NQF endorsed
3 measuring framework for efficiency, we have
4 really had a large discussion around making
5 sure that the measure -- these types of cost
6 measures and resource use measures are not
7 pursued individually or in isolation, but
8 rather as an essential subcomponent of the
9 larger groups of measures.

10 I wanted to bring us back to our
11 sort of evolving conceptual model, the patient
12 centered episode of care, which really is
13 getting to what you are speaking about, what
14 you just spent about five minutes talking
15 about. But I just wanted to make sure that we
16 are not in the framework of thinking about
17 episodes in the way that we have gotten
18 measures from.

19 Let's think about this, really, in
20 the sense of understanding the patient through
21 their trajectory of care over their care
22 continuum, and also in a sense of having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 multiple co-occurring conditions, so this
2 issue that we have been discussing around how
3 to deal with multiple comorbidities, and how
4 the clinical hierarchies would work for a
5 patient through a patient centered episode of
6 care framework, and also thinking about even
7 the questions that Paul had raised earlier
8 about what types of costs we are thinking
9 about when we are thinking about it from a
10 patient centered episode of care framework.

11 As we are sort of thinking through
12 the way forward of where the puck should be,
13 how do we start to give guidance to the field
14 of developers of how to really construct these
15 measures in a way that are truly patient
16 centered, patient centered episode of care
17 that captures a care trajectory that not only
18 groups them according to the underlying
19 conditions, but captures the patients and
20 their inherent complexities?

21 It also begs the question of how
22 do we actually start to think about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alignments of these resource use measures with
2 their appropriate outcomes. Do we expect that
3 the way that the measures are paired have
4 aligned denominators or have paired
5 populations that we are measuring, has
6 alignments on risk adjustment?

7 How does this actually -- How do
8 we actually think through how these efficiency
9 measures are developed? And as I framed the
10 first question, how do we start to integrate
11 this into the patient centered episode of care
12 model in a way that is truly patient centered,
13 as we are thinking through the future of these
14 types of measures?

15 CO-CHAIR STEINWALD: It seems to
16 me -- Actually, David stepped out, but others
17 can answer this, too -- that most resource
18 measurement, especially of the kind that the
19 developers who sent us some measures have
20 developed, are used in conjunction with
21 quality measures, but they are not combined
22 into composite efficiency measures. Right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Like if it is an episode of care
2 for congestive heart failure, there are some
3 quality measures, and then they have a
4 resource measure, and they are trying to see
5 separately if they re meeting certain
6 standards of both quality and -- they probably
7 call it efficiency.

8 I am not personally very familiar
9 myself with any composite measures like, you
10 know, at the conceptual level it is the cost
11 for a given level of quality. I think it is
12 cost provided that certain standards of
13 quality or measures of quality are satisfied.

14 So I am, in part, raising this to
15 ask the people who are more familiar, have I
16 got that right or has the field gone further
17 than I had supposed?

18 DR. BARNETT: So there is a Kindig
19 book on purchasing population health, which
20 talks about this. A lot of people talk about
21 this. So when we do cost effectiveness
22 analysis, we think of looking at an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intervention and its impact on cost per
2 quality adjusted life year.

3 So there is a standard way of
4 valuing health outcomes in terms of morbidity
5 adjusted survival or qualities, and the U.S.
6 Public Health Service Task Force kind of
7 enunciated the standard method of doing cost
8 effectiveness analysis.

9 There are recommendations they
10 published in 1996. Tufts has a cost
11 effectiveness registry that Peter Newman and
12 the people in his shop have created, which is
13 basically what they call a league table, but
14 a list of all the cost effectiveness findings
15 for every intervention that has been
16 published, and he has, amazingly, been able to
17 try to keep this up. so there's thousands of
18 entries in this.

19 So peter also published a paper a
20 while ago, another "do not do" list, which
21 were things that were disseminated that have
22 very high cost for quality, in other words,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 low value/high cost care.

2 So far we have nibbled at the
3 margins, thinking of things that we shouldn't
4 do, and interventions that we have evaluated
5 with cost effectiveness studies, and so things
6 that are clearly cost effective, things that
7 are clearly ruled out, some stuff that is kind
8 of in the middle, because they are so close to
9 that threshold, we think that the health care
10 payers -- well, various estimates, started out
11 being \$50,000 per quality is as much as we
12 would pay for any intervention at U.S. Health
13 Care.

14 There have been a lot of
15 publications about what is the appropriate
16 value now, international studies that say it
17 should be about the per capita income for
18 quality. Where they got that from -- it just
19 seems to be what the health plans in various
20 countries will pay.

21 So the problem of using that
22 approach -- and you know what Kindig's whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thing about the limitation is -- is we don't
2 have cost effectiveness analysis on
3 everything. Heck, we don't even know whether
4 a lot of the stuff we do is effective at all,
5 whether there is any marginal benefit, let
6 alone what is the size of the marginal
7 benefit. Right? That is the comparative
8 effectiveness gap, research gap. We know it
9 works compared to placebo, but we don't know
10 whether it works compared to the alternative
11 treatment.

12 So that is like the Holy Grail, is
13 to know, everything we do, exactly what the
14 payoff is going to be, or at least what the
15 probability of the payoff is. So all we can
16 really do is know about the things that are
17 extreme outliers. Gets back to this
18 disinvestment idea.

19 I think it is interesting to note
20 that Peter Turk said, hey, here's a lot of
21 things that are really not -- we shouldn't be
22 doing, because they are so low value, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saying this is -- and actually, what his paper
2 says is this could be the basis of designing a
3 low cost health plan. If we just say we are
4 not going to give you these low value -- and
5 our health plan just won't offer these low
6 value, low payoff things, you could save a
7 tremendous amount of money and offer people a
8 health plan that would deliver a lot of value.

9 MS. YANAGIHARA: I think at a kind
10 of higher level, I think what I have seen most
11 frequently, and what NCQA does with their
12 relative resources measures is looks at kind
13 of a quadrant, and so you look at -- One axis
14 is cost of resources, and the other one is
15 quality, and you see kind of which quadrant
16 different organizations fall into.

17 So I think that is what I have
18 seen most commonly. We have been grappling
19 with trying to figure out some kind of a value
20 calculation, bringing our total cost of care
21 measure and our quality composite together
22 somehow.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 What we have been advised by
2 different people is that, when you start
3 combining it into a composite, you lose sort
4 of -- Everything kind of could wash out. So
5 you kind of lose the high cost, low quality or
6 low cost, high quality. I mean, it kind of
7 all comes out average.

8 So it is really tricky trying to
9 figure out how to combine them together. I
10 think that is why people end up doing the
11 quadrant, and I am just seeing where people
12 fall on that graph.

13 You could then just make a
14 judgment and say, okay, we are only going to
15 look at the high quality, low cost group. So
16 they are going to be some kind of
17 differentially paid or something than these
18 other ones, but it is tricky, and I don't know
19 that there is a lot of work around actually
20 combining it into a composite.

21 I can't remember now, to be
22 honest, who gave us that advice. I think it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was actually Wisconsin, to be honest. I'm
2 trying to think of who it was, but anyway --

3 MR. BOWHAN: John is definitely on
4 the quadrant. There is no question about
5 that. We played around with it, but just what
6 you said, you don't know what is driving that
7 actual measure, that score, if it is quality
8 or cost. So your quadrant makes it evident.

9 CO-CHAIR STEINWALD: Just as an
10 observation, it is just remarkable to me how
11 much mistrust there is of the concept of cost
12 effectiveness, going way back to the Office of
13 Technology Assessment and its demise and all
14 the things that have happened since this, and
15 we saddled with this term comparative effect
16 in this, because it is like saying Voldemort,
17 you know, to say cost effective, and the very
18 idea that we would use something like quality
19 adjusted -- cost for quality adjusted life
20 here is sort of like -- Isn't that what those
21 Socialist countries across the Atlantic Ocean
22 do?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I think it is a shame, but if
2 NQF could kind of advance that ball a little
3 bit, I think that would be extremely useful.

4 MS. YANAGIHARA: That is kind more
5 to the very granular service level, though. I
6 think, if we are talking about the kind of
7 measures that are a little bit more global --
8 I mean, maybe for some utilization kind of
9 measures or something, it might -- you might
10 have those kind of direct comparisons, but at
11 the higher level, I am not sure that you
12 would.

13 MR. AMIN: Just to frame a little
14 bit of, I think, where this question and where
15 this concept is coming from is that, you know,
16 in essence we recognize that efficiency
17 measures really need to -- maybe link is not
18 the right word, but resource use measures and
19 cost measures need to be reported in the
20 context of quality measures in a fairly robust
21 fashion in the way that they are used,
22 recognizing that the resource use or cost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure needs to be scientifically acceptable
2 in its own right. But as we sort of think
3 through in the future of the guidance on how
4 we are starting to think through measures of
5 efficiency, how do these measures come
6 together in a way that is representative of
7 the efficiency of the care system, recognizing
8 that we still want to keep it in the context
9 of the patient, that it is not just looking at
10 in some sense a disease specific model, but it
11 is actually patient centered in some way.

12 Again, it may be just a question
13 for thought, more or less, than an actual
14 answer, but is it that the measures in some
15 way need to be constructed so that -- or not
16 constructed, but evaluated in the way that
17 they systematically have the same denominator
18 populations or appropriate risk adjustment
19 models that span both the quality and the
20 resource use measure, or is that we just sort
21 of evaluate the same construct?

22 I am not sure. I am just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 throwing that out as a question to the group
2 as we are thinking through that.

3 DR. NEEDLEMAN: I think this --
4 You come back to how these measures are likely
5 to be used, and where the shoals are in the
6 stream bed as we try to go down the river.
7 God, that's a horrible metaphor. I apologize
8 for that.

9 I think there is a perception that
10 we are spending a lot of money with no value,
11 that we can find examples of people that are
12 getting better outcomes and using less --
13 fewer resources to do them, or getting high
14 performance and using fewer resources to
15 achieve it, and we want to move the system to
16 look like that.

17 The issue of is it worth paying
18 this to get this additional stuff, which is
19 implicit in the quality measurement issue, is
20 one that can be deferred until we get
21 everybody doing at least as well as we now
22 know how to without -- you know, just by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 squeezing the waste out.

2 So if I am looking at where we are
3 in terms of where the public is, we don't want
4 death panels. We don't want to say it is not
5 worth saving your life, if it is going to cost
6 \$10 million to do that, even though we make
7 those decisions all the time in reality. But
8 the place we are is, if this provider produces
9 high out, high, good outcomes, and is spending
10 a lot less, how do we get people to look like
11 them, and how do we get to understand what
12 they are doing and how they deploy the
13 resources they use?

14 That is where I think these
15 measures are going to have their immediate
16 impact and where the immediate use is going to
17 be. So I do think the quadrant is valid, and
18 we are trying to move people to the high
19 value, low cost quadrant, and ultimately the
20 question is how are these measures going to
21 feed into our ability to do that.

22 That is the place we ought to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 starting, and I think, to some extent, we
2 ought to defer the issue of asking is this
3 care worth it, in the sense of spending more
4 to get more. That is a different debate, and
5 that is a different forum than I think we are
6 going to be seeing these measures used in.

7 MR. PHILLIPS: Just in thinking
8 back over where we were, I started in
9 thinking, entering this effort, from the
10 perspective of, okay, we are looking to merge
11 resource use with outcomes measures and come
12 up with efficiency for various episodes, to
13 where we have kind of focused more in on
14 resource use and then, I guess, coming into
15 thinking as we are headed to the end, you
16 know, the real tough work around developing
17 appropriate quality measures that will tie to
18 these and how far away we are from that.

19 Thinking about that, the thought
20 occurs that -- I mean, we have had DRGs and
21 prospective payment systems for other
22 providers, but generally accepted is -- you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, have their problems that people are
2 tinkering with, but generally function pretty
3 well. They pretty much rely on an accepted
4 case mix measure that pays based on the
5 average within a case mix, without actual
6 outcomes measures to show that the average is
7 good or bad. People have kind of worked with
8 that.

9 I guess it has brought me to the
10 place of thinking, you know, this effort is a
11 very good first start in trying to identify
12 some of the issues that can at least get us to
13 the place where we can hopefully get to where
14 we can come up with averages that people are
15 comfortable with, based on there is good case
16 mix adjustment.

17 Hopefully, we need to still
18 develop the outcomes measures so that we were
19 able to be confident that the averages get
20 enough or is good, but I guess I am maybe more
21 confident at this point than I was a little
22 while back as far as this first step moving us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 down the road.

2 CO-CHAIR STEINWALD: Paul.

3 DR. BARNETT: Yes, just to follow
4 up on what Dolores said about thinking about
5 the world as a two-space of cost on the y axis
6 and quality on the x axis, and we want to be
7 in the right -- the corridor where the costs
8 are lower and the quality is higher.

9 Actually, there is subtle
10 variations on that, but you can divide the
11 world in half based on your -- that space in
12 half based on your judgment of how much
13 quality is worth. But rather than get lost in
14 explaining that too much, I think the issue is
15 whether you can do that at the level of an
16 organization or whether you do that at the
17 level of a specific intervention.

18 The problem with doing it at the
19 level of a specific intervention is there's
20 just too many darn interventions to evaluate
21 to provide advice on every possible thing.

22 The problem with doing it at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 organization level is that the organization
2 can't take your analysis saying that they are,
3 say, high cost and delivering low value, and
4 turn around and have any specific actionable
5 item, because they don't know which
6 interventions they are doing are wrong.

7 I have also heard a lot of, I got
8 to say, more rhetoric than proof that
9 improving quality saves cost. So there is
10 some thought that, if you avoid the bad events
11 and you don't have the central infections and
12 you do the right stuff that you are going to
13 save cost.

14 I think it is also -- So I will
15 observe that most of the quality improvement
16 efforts that we have engaged in have been
17 costly and may be cost effective. Maybe they
18 are adding cost at less than \$50,000 of
19 quality, but it is hard to change provider
20 behavior. Even when you have a very specific
21 guideline recommended car that everyone agrees
22 needs to be done, it is very hard to get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people to change their patterns, and it takes
2 sustained and expensive effort to achieve
3 that.

4 So I think the way to move
5 organizations is you figure out some specific
6 measure, and then you manage to a performance
7 measure. I am just saying we need some that
8 are performance measures based on the tradeoff
9 between quality and cost or value and cost,
10 and that you can hold up organizations and say
11 this is how you perform, but I don't think it
12 gives them enough to actually manage to make a
13 change.

14 CO-CHAIR STEINWALD: We are
15 getting close to the time that we had hoped to
16 adjourn. This is our last face to face
17 meeting. Right? No applause either.

18 So I wonder if anybody would want
19 to take the opportunity to suggest anything
20 related to pairing cost and quality outcomes
21 or any other thing for the benefit of NQF and,
22 in particular, the preparation of the report

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that still lies in front of you.

2 MS. WILBON: Right. As you guys
3 are thinking about that, we just have this one
4 last slide to kind of reiterate where we are
5 going.

6 We are anticipating evaluating the
7 public sector episode grouper sometime next
8 year, and in that same space, hopefully, we
9 will be taking another look at the criteria
10 based on your feedback today and throughout
11 the process, seeing how we might refine that a
12 little bit for any future efforts we have on
13 evaluating individual resources measures.

14 Then to Bruce's suggestion, if you
15 have any other suggestions on things we should
16 consider as we move forward on those and other
17 things you think we should be looking at or
18 process suggestions. The suggestions you made
19 on the submission form are extremely helpful.

20 So any other thing like that, we
21 are open to that. So thank you all. It has
22 been a really amazing, extremely rewarding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 learning experience for me, too, because
2 actually, this was my first foray into
3 resources measurement. It has been really
4 working with you guys.

5 So thank you for your efforts. We
6 realize it was a tremendous undertaking, and
7 it has been quite an extended project, too.
8 So we appreciate your time.

9 On that note, there will be two
10 more conference calls. I know you guys
11 thought you were done with this meeting. When
12 the comment period ends for this draft report,
13 we will have a call. Sheila, our
14 administrative coordinator, will be sending
15 out an email to you guys to schedule a call to
16 discuss the comments that come in from the
17 first draft report, and then we will have
18 another call after the second draft report,
19 and then that will conclude the Steering
20 Committee calls at that point, but we would
21 like also to get your input on the comments
22 that come in from the report and see if there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are any ways we need to revise it or if there
2 are things that came up that, for some reason,
3 you guys didn't consider. You did a pretty
4 thorough job, but there are often things that
5 come up from the public and the membership
6 that sometimes either weren't discussed or --

7 CO-CHAIR STEINWALD: So there will
8 be comments on the report that has already
9 been sent out.

10 MS. WILBON: Yes.

11 CO-CHAIR STEINWALD: Then you are
12 going to draft a second report that
13 incorporates a lot of new information, in
14 addition to those public comments, and then
15 you will send that to us in draft.

16 MS. WILBON: Yes.

17 CO-CHAIR STEINWALD: Seeking our
18 comments on that. Then following that, a
19 final conference call. Then do we get a
20 little -- We get a letter from -- Yes, Paul?

21 DR. BARNETT: I just had one
22 question, and perhaps I was napping or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 otherwise distracted when it was announced,
2 but what happened with the cancer measures in
3 the cancer TAP?

4 MS. WILBON: They were all ABMS
5 measures. There were four. It was two breast
6 cancer, two colon cancer measures, and they
7 were all submitted from ABMS, and those were
8 all withdrawn. So the cancer TAP actually did
9 an amazing job, too. It is unfortunate that
10 we didn't get a chance to move those forward,
11 but those kind of dropped out of the process
12 with the ABMS withdrawal.

13 MR. AMIN: The only thing I also
14 would add is that I truly -- you know, from
15 all of our project team, truly appreciate all
16 of the hard work that each of you have done,
17 and I sincerely appreciate the leadership from
18 Bruce and Tom and all of the TAP chairs that
19 have really taken the time to review all these
20 very extensive measures. Really appreciate
21 your leadership on this, and I hope that our
22 work as we sort of redraft the report is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reflective of the quality thinking and quality
2 effort that you have put into this process.
3 So we really appreciate that.

4 CO-CHAIR STEINWALD: Sure. Well,
5 Helen, any final?

6 DR. BURSTIN: Thank you for
7 learning with us. I think we have gotten a
8 little bit further down the ice, my only first
9 run on this. Take it to the end.

10 I think, while this project will
11 end, it is clear this is not the last time
12 that we will encounter many of you. I just
13 really thank you. We have learned an amazing
14 amount.

15 I have always said at the outset
16 that I thought this project had a heavy dose
17 of learning and, if we got some measures out
18 of it, that would be nice, too. But I do
19 think we have learned a tremendous amount.
20 You guys have been wonderful on getting us
21 there. So thank you.

22 CO-CHAIR STEINWALD: Certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 true for me. Anything final? All right.
2 Yes, Jack?

3 DR. NEEDLEMAN: A couple or three
4 things. First of all, as one of the more
5 vocal folks complaining about how the hell
6 could you schedule a meeting at the end of
7 August in Washington, D.C., I want to thank
8 the staff for arranging gorgeous weather for
9 us while we were here.

10 More to the point, I've got to
11 compliment the staff and say how lucky Helen
12 is. It has been an extraordinary group of
13 people, an extraordinary group of materials
14 that you have been able to pull together. So
15 we are -- AS a committee member, I am deeply
16 in your debt for the work you have done that
17 enabled us to do the work you have asked of
18 us.

19 I want to second Taroon's comment
20 about Bruce and Tom and the work they did,
21 which was also extraordinary, given the
22 complexity and the details.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thinking about the work ahead, we
2 have spent a lot of time talking about
3 methodology and how the measures are
4 constructed, and all that has assumed the data
5 that whoever is proposing the measure says
6 that should be used. But I think our
7 conversations have repeatedly underscored the
8 challenges with data for doing this work in at
9 least two ways.

10 The carve-out stuff looks like
11 carve-out stuff, but it is really about
12 integrating data from multiple places that
13 have a piece of how much has been spent on
14 different kinds of care, and when you begin
15 looking at the public grouper work, and
16 particularly thinking about getting resource
17 measures out from Medicare, you are going to
18 encounter the same issues in spades.

19 We have got Medicare Part D for
20 drug data, but that ain't the only place drug
21 data sits. You have got all the Medicare
22 Advantage plans, some of which have internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 billing systems. So the billing data is sort
2 of there, if you can get it back from them --
3 that are also doing some drug stuff and some
4 other stuff.

5 You have got some Medicare
6 Advantage and care plans like Kaiser which do
7 not have good encounter data or where it is
8 just encounter data, because people are
9 capitated, and we know the encounter data has
10 been crap, because there has been no incentive
11 for doing it.

12 So we have got all kinds. As you
13 look at these public groupers, you really do
14 need to think about whether the data will
15 support what the groupers intend it to
16 support, and what kinds of things are going to
17 be needed to get the data that actually enable
18 you to measure how many resources are being
19 used for different kinds of Medicare
20 clients/beneficiaries. They go beyond the
21 issue of does the grouper get an interesting
22 number out if the data are there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I don't see how the data issue
2 is separate from the measure construction
3 issues, and that, I think, is one of the key
4 lessons of the work we have done.

5 The second thing is the point that
6 I have said and try to say nearly every
7 meeting, which is billing data does not
8 include all the resources that are used in
9 care or all the things that make care
10 effective.

11 If we want to understand why a
12 Kaiser or a UCLA group have or if somebody
13 else has better performance than others, we
14 need to look at some of the things that aren't
15 showing up in the billing, like do they use
16 nurse educators for the patients? Do they use
17 diabetes educators? Have they got other kinds
18 of specialized staff that are not being billed
19 that they use to make sure care is effective?

20 If we are going to the health plan
21 area, we have got this issue of what kinds of
22 health education activities and resources are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the plans making available to their
2 beneficiaries, at what cost, and how are those
3 being used with what effect?

4 So we have got all kinds of
5 services that are in the system that are not
6 being billed for and, therefore, invisible to
7 this enterprise, and one of the long term
8 goals, if we want to understand the resources
9 and how to be effective in delivering care, is
10 figuring out how to make those resources
11 visible and understand which of them are worth
12 doing, and which strategies for doing them are
13 more effective, so they are really worth
14 doing.

15 That is a second long term
16 challenge and, even as you begin getting into
17 the details of we got all this billing data,
18 how do we organize it, you need to keep that
19 second agenda in mind as you try to move the
20 larger agenda of understanding what resources
21 are worth investing in care to deliver the
22 outcomes we want for patients, as the long

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 term goal for all this work.

2 CO-CHAIR STEINWALD: We do have
3 public comments.

4 MS. WILBON: Operator, is there
5 anyone on the participant line from the
6 public?

7 OPERATOR: Yes, we do have people
8 on the public line. Would you like me to open
9 their line?

10 MS. WILBON: Yes. Could we open
11 if there are comments.

12 OPERATOR: Again, our lines are
13 open.

14 MR. AMIN: If anyone has a
15 comment, feel free to go ahead and make your
16 comment at this time. Okay, thank you very
17 much. You can go ahead and close the line.

18 CO-CHAIR STEINWALD: All right.
19 The meeting is adjourned.

20 (Whereupon, the foregoing matter
21 went off the record at 2:16 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701