

NATIONAL QUALITY FORUM

+ + + + +

CARDIOVASCULAR MEASURE ENDORSEMENT PROJECT  
STANDING COMMITTEE MEETING

+ + + + +

TUESDAY  
APRIL 22, 2014

+ + + + +

The Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 8:00 a.m., Mary George and Thomas Kottke, Co-Chairs, presiding.

PRESENT:

MARY GEORGE, MD, MSPH, FACS, FAHA (Co-Chair),  
Centers for Disease Control and  
Prevention,

Division for Heart Disease and Stroke  
Prevention

THOMAS KOTTKE, MD, MSPH (Co-Chair), Medical  
Director for Population Health,  
Consulting

Cardiologist, HealthPartners

SANA AL-KHATIB, MD, MHS, Duke University  
Medical

Center

LINDA BRIGGS, DNP, George Washington  
University,

School of Nursing

JEFFREY BURTON, RN, Clinical Performance  
Improvement Specialist, United  
Physicians\*

LESLIE CHO, MD, Cleveland Clinic

JOSEPH CLEVELAND, MD, University of Colorado  
Denver

MICHAEL CROUCH, MD, MSPH, FAAFP, Texas A&M  
University School of Medicine

ELIZABETH DeLONG, PhD, Duke University Medical  
Center

TED GIBBONS, MD FACC FACP FASE, Harborview  
Medical Center; University of Washington  
School of Medicine\*

ELLEN HILLEGASS, PT, EdD, CCS, FAACVPR, FAPTA,  
American Physical Therapy Association

JUDD HOLLANDER, MD, FACEP, The University of  
Pennsylvania

THOMAS JAMES, MD, AmeriHealth Caritas Family  
of Companies

JOEL MARRS, PharmD, FNLA, BCPS (AQ  
Cardiology), CLS, Skaggs School of  
Pharmacy and

Pharmaceutical Sciences, University of  
Colorado Anschutz Medical Campus;  
American

Society of Health-System Pharmacists  
KRISTI MITCHELL, MPH, Senior Vice President,  
Avalere Health, LLC

GEORGE PHILIPPIDES, MD, Boston  
University/Boston  
Medical Center\*

NICHOLAS RUGGIERO, II, MD, FACP, FACC, FSCAI,  
FSVM, FCPP, Thomas Jefferson University  
Hospital

JASON SPANGLER, MD, MPH, FACPM, Amgen, Inc.

CHRISTINE STEARNS, JD, MS, NJ Business &  
Industry Association

HENRY TING, MD, MBA, Mayo Clinic

MARK VALENTINE, MBA, The Heart Hospital Baylor  
Plano, Baylor Health Care System

MLADEN VIDOVICH, MD, Jesse Brown VA Medical  
Center

**NQF STAFF:**

WUNMI ISIJOLA, MPH, Project Manager

VY LUONG, Project Analyst

CHRIS MILLET, Senior Project Manager, Health  
IT

LINDSEY TIGHE, Senior Project Manager,  
Performance Measurement

REVA WINKLER, MD, MPH, Senior Director

ALSO PRESENT:

SUSANNAH BERNHEIM, MD, MHS, Center for  
Outcomes

Research & Evaluation

DALE BRATZLER, DO, MPH, University of Oklahoma  
Health Sciences Center\*

KEZIAH COOK, Acumen LLC\*

JEPHTHA P. CURTIS, MD, American College of  
Cardiology

JENSEN CHIU, MHA, American College of  
Cardiology

DEBORAH DEITZ, RN, Abt Associates

LEIN HAN, PhD, Centers for Medicare & Medicaid  
Services

WANDA JOHNSON, RN, Centers for Medicare &  
Medicaid Services\*

JAMIE JOUZA, MBA, American Medical Association  
Physician Consortium for Performance  
Improvement\*

STEVEN LICHTMAN, PhD, EdD, American  
Association

of Cardiovascular and Pulmonary  
Rehabilitation

FRED MASOUDI, MD, MSPH, FACC, American College  
of Cardiology\*

ROBERT McNAMARA, MD, MHS, Yale School of  
Medicine

ILEANA PINA, MD, MPH, Albert Einstein College  
of Medicine

RANDAL THOMAS, MD, FAACVPR, American  
Association

of Cardiovascular and Pulmonary  
Rehabilitation

\* present by teleconference

## TABLE OF CONTENTS

Welcome, Recap of Day 1	6
Mary George, MD (co-chair)	
Thomas Kottke, MD (co-chair)	
Consideration of Candidate Measures (Continued)	
0286: Aspirin at Arrival (CMS)	8
Primary Discussant: Mary George	
Secondary Discussant: Joseph Cleveland	
0289: Median Time to ECG (CMS)	21
Primary Discussant: Judd Hollander	
Secondary Discussant: Nicholas Ruggiero	
2377: Defect Free Care for AMI (ACC)	30
Primary Discussant: Elizabeth DeLong	
Secondary Discussant: Henry Ting	
0642: Cardiac Rehabilitation Patient Referral From an Inpatient Setting (ACC)	100
Primary Discussant: Leslie Cho	
Secondary Discussant: Ellen Hillegass	
0643: Cardiac Rehabilitation Patient From an Outpatient Setting (ACC)	147
Primary Discussant: Thomas Kottke	
Secondary Discussant: Carol Allred	
2473: Hospital 30-Day Risk-Standardized Acute Myocardial Infarction (AMI) Mortality eMeasure (CMS)	183
Primary Discussant: Kristi Mitchell	
Secondary Discussant: Elizabeth DeLong	
NQF Member and Public Comment	224
Consideration of Candidate Measures (Continued)	
2455: Heart Failure: Post-Discharge Appointment for Heart Failure Patients (ACC)	226
Primary Discussant: Jason Spangler	
Secondary Discussant: Thomas James	
0521: Heart Failure Symptoms Assessed and Addressed (CMS)	258
Primary Discussant: Mark Valentine	
Secondary Discussant: Christine Stearns	

2450: Heart Failure: Symptom and Activity Assessment (ACC)	279
Primary Discussant: Joel Marrs	
Secondary Discussant: Mladen Vidovich	
NQF Member and Public Comment	326
Adjourn	329

1 P-R-O-C-E-E-D-I-N-G-S

2 8:00 a.m.

3 DR. KOTTKE: Thank you, everybody,  
4 for ending on time yesterday and being very  
5 concise in your efforts. It's a beautiful day  
6 out there.

7 We'll start with Measure 0286.  
8 Mary George is the first discussant and Joe  
9 Cleveland is the secondary.

10 MS. TIGHE: Do we have our  
11 colleagues from CMS for Measure 286?

12 Operator, is there a Dale Bratzler  
13 on the line?

14 OPERATOR: I don't see that he has  
15 joined yet.

16 MS. TIGHE: Okay.

17 DR. KOTTKE: Mary will give a  
18 recap of yesterday.

19 DR. GEORGE: So we started the day  
20 with a couple of measures that were intended  
21 to be harmonized at the facility level and the  
22 provider level. These were the two composite

1 measures for aspirin and statin and P2Y  
2 inhibitors following PCI. And we  
3 overwhelmingly approved the measure at the  
4 facility level and we did not reach consensus  
5 at the provider level.

6 We went on to the measure on  
7 medication adherence to antiplatelet therapy.  
8 There was some concerns with this measure  
9 about applying it to ACO and provider group  
10 level, but there was more consensus with it  
11 being done at the health plan level, but  
12 ultimately there were also concerns about  
13 applying this to both types of stents, so that  
14 we did not approve that measure.

15 There was a measure on the  
16 appropriate use criteria for PCI. There was  
17 some discussion about whether this was a  
18 documentation measure or whether the word  
19 'documentation' in the title was actually  
20 somewhat of a misnomer, but we did approve  
21 that. We discussed the risk-adjusted rate of  
22 bleeding following PCI. There was some

1 concern about lack of excluding for more than  
2 just bypass surgery and whether other  
3 procedures should also be reason for  
4 exclusion.

5 Then we discussed the three post-  
6 PCI mortality measures: the in-hospital  
7 mortality, the 30-day mortality for NSTEMI,  
8 and 30-day mortality for STEMI. We did  
9 approve all of those.

10 Anything you want to add?

11 DR. KOTTKE: No, that's very nice.  
12 Thank you.

13 MS. TIGHE: Operator, did Dale  
14 Bratzler join us?

15 OPERATOR: I still don't see him  
16 on the line.

17 MS. TIGHE: Okay, thank you. I  
18 guess we'll go ahead and begin discussion of  
19 0286.

20 DR. GEORGE: So this measure is  
21 for aspirin at arrival in the ED or within 24  
22 hours of arrival and prior to transfer. So



1     this is for patients that show up in the ED  
2     and then are then transferred to another  
3     facility, but not admitted at the facility  
4     where this is being measured. CMS is the  
5     measure steward.

6             The evidence for this was based on  
7     ACC/AHA 2012 and 2013 guidelines, Class 1,  
8     Level A Recommendations. They cited five RCTs  
9     plus two meta-analyses for unstable angina and  
10    NSTEMI, as well as two other RCTs for STEMI.  
11    So based on that evidence and the systematic  
12    reviews, I rated this as high on the evidence.

13            DR. KOTTKE: Joe, did you want to

14    --

15            DR. CLEVELAND: Nothing to add.

16            DR. KOTTKE: Any other comments?

17    Okay. Vote on the evidence.

18            (Pause.)

19            MS. LUONG: Timer starts now. One  
20    is for high. Two is for moderate. Three is  
21    for low. Four is for insufficient evidence  
22    with exception, and five is for insufficient

1 evidence.

2 (Pause.)

3 DR. WINKLER: Lindsey is doing  
4 three from on the phone.

5 MS. LUONG: For evidence, 15 voted  
6 high and 6 voted for moderate.

7 DR. KOTTKE: Opportunity for  
8 improvement.

9 DR. GEORGE: This measure had --  
10 even down to the 25th percentile, it was still  
11 at 100 percent adherence, then dropped down to  
12 87 percent adherence at the 10th percentile.  
13 So there's some, but little, room for  
14 improvement.

15 Data on disparities showed  
16 adherence at 97 percent for whites, 96 percent  
17 for blacks, and 95 percent for Hispanics. So  
18 when I was considering this, I saw this as  
19 having little room for improvement and  
20 certainly a question of whether this measure  
21 is topped out.

22 DR. KOTTKE: Joe, any --

1 DR. CLEVELAND: I had the same  
2 exact thoughts. In fact, I think that's kind  
3 of the crux of this measure is my review.

4 DR. KOTTKE: Tom?

5 DR. JAMES: Yes, I thought this  
6 one had been retired. If it weren't through  
7 NQF, it would have been one of the other  
8 agencies.

9 DR. WINKLER: I'll clarify. There  
10 are two measures. One is for patients that  
11 are coming in to the -- admitted to the same  
12 hospital. Then there is this one that is the  
13 transfer.

14 The other measure, which had been  
15 NQF-endorsed, was topped out the last go  
16 around and we put it on reserve status. Since  
17 then, CMS has suspended it from the IQR and  
18 they are not seeking continued endorsement of  
19 the measure. So it's fallen out of the  
20 portfolio, but that's the one for the patients  
21 being admitted to the hospital. This is the  
22 companion measure for transfers, so this

1       measure is in the OQR.

2                   DR. KOTTKE:   Judd?   Sana?

3                   DR. AL-KHATIB:   Do we know how  
4       they came up with these numbers in terms of  
5       the percentiles?   Did they exclude patients  
6       who had contraindications to aspirin?

7                   DR. GEORGE:   They exclude those  
8       with a documented contraindication.   They also  
9       exclude those that are on anticoagulants.

10                  DR. AL-KHATIB:   Thank you.

11                  DR. KOTTKE:   Further discussion?  
12       Oh yes, Linda.

13                  MS. BRIGGS:   I apologize.   I can't  
14       pull up the measures that we're looking at  
15       right now.   You were talking about disparities  
16       and my question is about women and whether  
17       there was any notation for disparities for the  
18       women that were in the study so far?

19                  DR. GEORGE:   I don't recall that  
20       they looked at gender for disparities.

21                  DR. KOTTKE:   Further discussion?  
22       Time to vote.

1 MS. LUONG: Timer starts now. One  
2 is for high, two for moderate. Three is for  
3 low and four is for insufficient.

4 (Pause.)

5 So for performance, 2 voted high,  
6 7 voted moderate, and 13 voted low.

7 DR. WINKLER: We are in a  
8 situation which is not unusual in these  
9 measures. They've been around for a while and  
10 actually are probably victims of their own  
11 success, similar to the inpatient measure  
12 we're seeing and topping out.

13 We can either at this point  
14 basically you have the choice of not  
15 recommending the measure for continued  
16 endorsement, or there is the option of putting  
17 it in a reserve status which means we finish  
18 evaluating the measure, but it would carry  
19 that hey guys, you're going to get very little  
20 mileage out of using this measure. It's a  
21 good measure, but nonetheless it's fairly  
22 topped out. That's what reserve status means.

1 That's the pathway that the companion  
2 inpatient measure took and you can probably  
3 foresee a similar future for it. So it's  
4 truly up to you all if you feel that this is  
5 a good measure. It's going to meet all of the  
6 other criteria very highly, except for being  
7 topped out. That makes it a candidate for  
8 reserve status.

9 MS. DeLONG: I have it on. Can  
10 you not hear me? I frequently forget, but  
11 this time I haven't. What about when they're  
12 wrapped into some other all-or-none type  
13 measure? Do we not consider that?

14 DR. WINKLER: An all-or-none uses  
15 the same concept of a measure, but isn't  
16 necessarily -- they're not using the results  
17 of this measure to calculate the result of  
18 that composite, so having concepts alike is  
19 not a problem. Also, if indeed there was a  
20 composite actually used the results of this  
21 exact measure and aggregated it with others  
22 and somehow formed the composite, that would

1 be perfectly fine as part of the composite,  
2 but perhaps it doesn't have as much value as  
3 a stand-alone.

4 So those are the types and  
5 questions you would be asking.

6 DR. KOTTKE: So if we have a  
7 consensus that it goes to reserve, do we vote  
8 on everything else?

9 DR. WINKLER: Yes.

10 DR. KOTTKE: Okay. Priority? We  
11 have to do all the voting anyway, right?

12 DR. WINKLER: I think the question  
13 is, do you all think that this is a good,  
14 strong, solid measure that really its only  
15 problem is that it's topped out and then it  
16 would be a candidate for a reserve status? If  
17 that's the case, then we would proceed. If  
18 you feel that really there's very little  
19 purpose in that and that it's topped out and  
20 you don't want to recommend it go forward, we  
21 just stop right now. So I think perhaps we  
22 ought to see what the committee thinks about

1       that.

2                   DR. TING:  Can you share more  
3       about the tangible value to have a reserve  
4       status for one that's not being used?

5                   DR. WINKLER:  Well, this measure  
6       is being used and the reserve status sends a  
7       signal that the measure really has very little  
8       opportunity for improvement.  It's topped out  
9       and as I say, it was this committee, actually  
10      the last go around, that created the whole  
11      concept of the reserve status because we had  
12      so many of these measures topping out.

13                   And so what has happened is  
14      frequently those measures over the subsequent  
15      years either get retired or suspended from use  
16      or ultimately retired.  So it really does send  
17      a signal saying the value of this measure is  
18      not so great because it's topped out, not  
19      because it's not a good measure.  Some people  
20      feel very strongly that you don't want to send  
21      the signal it's a bad measure.  It's not a bad  
22      measure.  It's just right now, with being



1 topped out, you don't have as much value.

2 DR. AL-KHATIB: I just have a  
3 quick question about the data source that  
4 they're proposing to use. They list  
5 administrative claims and then electronic  
6 clinical data, what have you. And I'm not  
7 sure how you would capture whether aspirin was  
8 given on arrival through administrative claims  
9 data and although there's a lot of potential  
10 in terms of using EMR to capture those data,  
11 we're very far from being able to use EMR to  
12 capture this level of detail, if you will. So  
13 I'm not sure how this would be put into work.

14 DR. WINKLER: Do we have anybody  
15 from CMS join us? Dale, are you on the line?

16 MS. JOHNSON: Dale's not on the  
17 line, but this is Wanda Johnson.

18 DR. WINKLER: Oh great, Wanda.  
19 Did you hear Sana's question?

20 MS. JOHNSON: Yes. When we say  
21 there's a combination of administrative  
22 claims, that means that we use the ICD-9

1 diagnosis code to put them into the  
2 population, but the information really is  
3 abstracted from the paper-based medical  
4 record.

5 Some facilities have electronic  
6 health records and you could pick up aspirin  
7 administration on the EMR. It's a little more  
8 difficult to pick up contraindications, but  
9 that's what we -- when we select that it's  
10 administrative claims and paper medical record  
11 plus EHR, it means that it's a combination of  
12 the information.

13 DR. BRATZLER: Wanda, this is  
14 Dale. I'm here.

15 MS. JOHNSON: Thanks, Dale.

16 DR. KOTTKE: Mladen.

17 DR. VIDOVICH: Just to clarify,  
18 this will continue to be followed, aspirin on  
19 arrival, right? We are not recommending we  
20 don't want to follow this metric.

21 DR. WINKLER: What do you mean by  
22 following?

1 DR. VIDOVIICH: Meaning measuring  
2 this.

3 DR. WINKLER: This is the kind of  
4 reason for the signal is whether people will  
5 continue using the measure or not really  
6 depends on the value they perceive in it. But  
7 this measure, again, has such high performance  
8 that it may very well cease to be used going  
9 forward because of that. And we certainly  
10 have seen that happen with some of the other  
11 measures.

12 DR. VIDOVIICH: Because it's a  
13 widely accepted and recommended -- okay.

14 DR. KOTTKE: Judd?

15 DR. HOLLANDER: You know, I'm  
16 sitting here for two days and we're adding a  
17 lot of measures and we have one that has done  
18 its job. And so I think it's a good signal to  
19 the world to say let's stop measuring things  
20 that don't need to be measured at the same  
21 time.

22 First of all, this is a subset,

1 right, because it's only in the transfer  
2 patients, so it's a subset of a measure that's  
3 already been retired because it was good  
4 enough. We see the trend and as we're adding  
5 things I think we do a service to everybody if  
6 we can eliminate things that no longer need to  
7 be measured. And I personally prefer the  
8 retirement because it's a real decision.

9 Like we seem clear on what we  
10 think the relevance is here, and it seems to  
11 me the reserve is almost a copout for not  
12 being willing to say okay, it worked.

13 DR. KOTTKE: Other comment?

14 DR. WINKLER: Essentially, your  
15 vote on the opportunity for improvement where  
16 the lows predominated means it doesn't pass  
17 the subcriteria. So if you're comfortable  
18 with that, then the measure stops right here  
19 because it has to pass all three of these  
20 subcriteria to pass the importance criteria.

21 The question would be is there  
22 enough feeling among you all that you would

1 want to consider a reserve status and we would  
2 then continue to be able to qualify it for  
3 that. But if not, if you feel that you're  
4 content with letting it stop right here,  
5 that's all we have to do.

6 DR. KOTTKE: If you raise your  
7 hand, you are voting to put it in reserve. So  
8 we could see a show of hands?

9 If you raise your hand, you are  
10 voting to put it in reserve and we would  
11 continue to vote on the other elements. If  
12 you don't raise your hand now, we will stop.  
13 And of course, CMS will get the information  
14 that we stopped because we thought there was  
15 no room for improvement.

16 So show of hands, people want to  
17 put it in reserve. Three, four. Four. Mary  
18 is over here. So, we'll stop. Thank you,  
19 Mary.

20 DR. GEORGE: So we're moving on to  
21 0289, median time to ECG. Judd?

22 DR. HOLLANDER: So this is

1 actually an interesting measure that's in  
2 existence. It's also only for the transfer  
3 patients, but it's a downstream process  
4 measure that involves more than the patients  
5 it's targeted to get.

6 So this is time from emergency  
7 department arrival to initial EKG. And the  
8 evidence here is for STEMI patients. So if  
9 you're a patient with an ST segment elevation  
10 MI, getting a quicker door to balloon time or  
11 door to lytic time has been shown to reduce  
12 your mortality. Getting an EKG faster is  
13 something in that process, but there is no  
14 real evidence that getting the EKG faster in  
15 the broad cohort of chest pain patients where  
16 it's being measured in that broad cohort here  
17 improves your outcome.

18 So this is a big catchment of all  
19 the patients who come in the ED with chest  
20 pain so we can find not really the needle in  
21 the haystack, but the really important patient  
22 who has ST segment elevation MI, where this is

1 one stop along the pathway to reducing their  
2 mortality.

3 MS. TIGHE: I'm sorry, I'm going  
4 to jump in. I just realized we do have Wanda  
5 and Dale with us now, so I'd like to give an  
6 intro to Measure 0289.

7 Wanda or Dale, would you like to  
8 give an introduce to 0289?

9 DR. BRATZLER: This is Dale. I  
10 think really, the presenter already gave  
11 pretty much the background. This was a  
12 measure step that was originally developed as  
13 part of a rule measure step and then became  
14 part of a transfer measure within the hospital  
15 outpatient quality reporting program. It  
16 looks at the first important time stamp in a  
17 patient who may have STEMI that needs to be  
18 transferred to another facility for potential  
19 intervention or kept at the original facility  
20 for fibrinolytic therapy. So I don't have too  
21 much other background, but it was part of our  
22 original rule measure set.

1 DR. HOLLANDER: I think I covered  
2 the evidence already.

3 DR. GEORGE: Any discussion on the  
4 evidence?

5 DR. WINKLER: Judd, how would you  
6 rate it based on the evidence algorithm?

7 DR. HOLLANDER: I think the  
8 evidence isn't directly applicable and I was  
9 going to get to it later on, so I would rate  
10 it low. But part of it is a bigger picture  
11 concern. And so my bigger picture concern is  
12 that chest pain is the second most common  
13 thing we see in the emergency department and  
14 the inclusion/exclusion criteria here are age  
15 greater than 18.

16 So I can tell you anecdotally,  
17 we've all over the last decade because of  
18 these types of measures put in really good  
19 care processes to get EKGs early. But now  
20 like if you fall down and hit your chest and  
21 you're 19, you jump ahead of all the septic  
22 patients and patients with pneumonia and get



1     your EKG. So when we would get to the  
2     unintended consequences, there's huge  
3     unintended consequences because a nurse doing  
4     A in the ED means they're not doing B, C, D,  
5     and E.

6                     And so my biggest concern with  
7     this measure is that we're doing a lot of  
8     stuff on 98 percent of patients that it  
9     doesn't pertain to. At HUP, we send 30  
10    patients a year to get primary PCI with STEMI.  
11    We see 5,000 patients a year with chest pain  
12    and we're already measuring the true outcomes.  
13    We have door to balloon time. We have door to  
14    needle time as a measure and we have mortality  
15    as a measure. So this is one early step where  
16    we are now expending a lot of energy, but  
17    we're already measuring what really happens to  
18    those patients.

19                    So to me, if you're measuring the  
20    outcome, I don't see why we individually need  
21    to measure the process that may or may not be  
22    directly related to the outcome. So again,

1 sticking to the evidence part of the  
2 component, there's no evidence that knowing  
3 the EKG times after you know the door to  
4 needle time makes a difference -- or door to  
5 balloon time.

6 DR. VIDOVICH: I actually like  
7 your point. If your door to balloon time is  
8 less than 90 minutes or less than 60 minutes  
9 and you achieve your goal of early  
10 reperfusion, then perhaps you should measure  
11 multiple components of the process. In a time  
12 to page, or time to ED, time to call the  
13 cardiologist perhaps. I actually don't  
14 disagree. I think it's a good point.

15 DR. KOTTKE: So I think, on the  
16 other side, is that this measure I think was  
17 designed to pick up the clean miss, where  
18 somebody never even thought -- now, I don't  
19 work in the ER -- my question is, I think you  
20 believe those are extremely rare or  
21 nonexistence.

22 DR. HOLLANDER: I can only speak

1     anecdotal about the clean misses in our ER  
2     and we have them. They got their EKG in the  
3     time. Someone misread their EKG and so this  
4     doesn't do that. But I think most  
5     institutions now have a STEMI committee or  
6     whatever you want to call it. And when  
7     they're not meeting the door to balloon time,  
8     they're looking at why and this is one of the  
9     seven or eight steps in the process that  
10    people talk about. So it's going to be found  
11    without this being a measure.

12               The other thing that's a little  
13    unusual here is that it's a transfer measure  
14    only, but you get the EKG before you know  
15    you're transferring the patient. You might  
16    not actually give the aspirin in the last  
17    measure before you know you're transferring  
18    the patient, but there's no reason to  
19    inherently think the EKG time is different in  
20    transfer patients and nontransfer patients  
21    because it's actually what would determine  
22    whether or not a patient got transferred. So

1     there's a separate carve-out for transfer  
2     patients. I realize they get treated  
3     differently because they may have an option of  
4     thrombolytics at the first hospital and  
5     primary PCI at the second hospital, but it's  
6     not clear that the EKG is going to drive that  
7     decision.

8                   DR. AL-KHATIB: I agree with what  
9     Judd said. The other thing that I would add is  
10    if you look at the evidence that they provided  
11    yesterday, cited the guidelines, but at the  
12    same time when you look at the EKG has to be  
13    done within ten minutes, this is actually  
14    based on expert consensus, expert opinion,  
15    rather than any solid data.

16                   If you look at the opportunity for  
17    improvement where they provided some data,  
18    75th percentile was 13 minutes. And so the  
19    question that I would ask is do we have any  
20    data to say that if you do the EKG within 10  
21    minutes versus at 13 minutes, that you  
22    actually change outcomes. And I'm not aware

1 of any data. I actually would suspect that  
2 there shouldn't really be any significant  
3 difference. And that's why I don't think that  
4 the evidence is there.

5 DR. VIDOVICH: I can't think of it  
6 off the top of my head, but I think there was  
7 a paper a few years ago that looked at several  
8 components how to decrease door to balloon  
9 time and one of them was EKG, one was direct  
10 paging to the operator sitting there. I think  
11 they actually did several components, but  
12 there's no direct evidence, I agree.

13 DR. JAMES: The paper itself says  
14 the level of evidence is C. Writing clinical  
15 policies for a Medicaid company, I wouldn't  
16 accept that level of evidence. Secondly,  
17 we have putting in more and more measures in  
18 one area, which is really becoming a process,  
19 or an intermediate process measure doesn't get  
20 to the outcome. It seems to me diluting our  
21 ability to put emphasis on the proper  
22 measures. So I'm not happy with this one.

1 DR. GEORGE: Are we ready to vote  
2 on the evidence?

3 MS. LUONG: The timer starts now.  
4 One is for high. Two is for moderate. Three  
5 is for low. Four for is for insufficient  
6 evidence with exception and five is for  
7 insufficient evidence.

8 (Pause.)

9 The evidence criteria, two voted  
10 moderate. Twelve voted low. One voted  
11 insufficient evidence with exception and seven  
12 voted for insufficient evidence.

13 DR. WINKLER: So essentially, the  
14 measure stops here because you feel it does  
15 not pass the evidence criteria. All agree?  
16 Great.

17 MS. TIGHE: Thank you, Wanda and  
18 Dale. We have 2377 up next. Do we have our  
19 colleagues from ACC to join us?

20 DR. KOTTKE: The next measure is  
21 2377, Defect Free Care for AMI.

22 Welcome. So if you would like to

1 give a brief discussion of the measure, and  
2 then.

3 DR. CURTIS: Sure. My name is  
4 Jephtha Curtis. I'm from Yale University  
5 working with the American College of  
6 Cardiology to develop and test this measure.  
7 I know many people in the room, so good  
8 morning to you all.

9 So this measure is emerging from  
10 the action Get With The Guidelines registry  
11 which most, if not all, of you are familiar  
12 with, but it is the nation's largest registry  
13 of acute myocardial infarction. It's a  
14 voluntary hospital-based registry which tracks  
15 the inpatient care and outcomes of patients  
16 admitted with myocardial infarction.

17 The primary goal of the registry  
18 is to improve the quality of care delivered to  
19 patients with myocardial infarction.  
20 Hospitals that elect to participate in the  
21 registry commit by contract to submitting data  
22 on all cases admitted with MI. So there's no

1 cherry-picking of cases for submission. The  
2 registry is audited as you've heard, I think  
3 extensively, yesterday. And in keeping with  
4 the goals of improving quality of care, there  
5 are benchmark reports that are provided by the  
6 registry on a quarterly basis. And in each of  
7 these key elements, process measures, are fed  
8 back to hospitals so that they can improve.

9           One of the process measures that  
10 is currently being used and is up for  
11 endorsement for today for public reporting is  
12 the composite measure, process measure. And  
13 in that and I'm not sure what documents you  
14 all have, but in that there's a -- this  
15 composite consists of 11 different individual  
16 processes, all of which have previously been  
17 shown to be important and affect the outcome  
18 of patients with myocardial infarction. They  
19 all have strong recommendations from the  
20 current guidelines for the care of patients  
21 with MI and on that basis are evidence-based.

22           What we have shown through our



1 testing is that if you roll up all these  
2 individual process measures together and if  
3 you construct it as a perfect care, or defect  
4 free care would be the alternative name for  
5 it, you're still seeing significant  
6 variations.

7 Now many of the individual  
8 components of the measure are topped out as  
9 you guys have just been discussing, the  
10 aspirin on arrival and aspirin on discharge,  
11 for instance, is relatively high; more than 99  
12 percent of patients are getting that. But if  
13 you roll up all the individual components, you  
14 are seeing variations, such that the median  
15 was 66 percent. In the inter-hospital  
16 quartiles, I believe it ranged from about 55  
17 percent to 75 percent. So you have meaningful  
18 variation in this concept of defect free care.

19 And when you're considering this,  
20 I think it's important to consider that this  
21 is really the minimum that we owe the patients  
22 who are being treated for myocardial

1 infarction, right? Every one of these is  
2 necessary and if it's not being provided to  
3 patients, represents a significant death. I  
4 think that's why even though individual  
5 components of it are topped out, it is the  
6 summary of care, next to outcomes probably,  
7 the most comprehensive way we have of  
8 evaluating the care of patients with MI.

9 So I'll stop there, thanks.

10 DR. KOTTKE: Liz?

11 MS. DeLONG: Okay. This measure,  
12 as he said, has 11 components. I think it  
13 might have been very difficult for the  
14 developer to substantiate the evidence and  
15 validity, et cetera, for all 11. I'm afraid  
16 they may have gotten a little confused by  
17 repeating something about aspirin after every  
18 component. So I got confused when I was  
19 reading it. I can tell you what the statement  
20 was.

21 Evidence -- well, I don't have it  
22 here. At any rate, the evidence varies, but

1     it's mostly 1A. I think they've gathered a  
2     huge amount of evidence. My one worry is  
3     smoking cessation. They claim a high level of  
4     evidence for smoking cessation, but their  
5     measure is smoking cessation counseling. And  
6     I do wonder whether there's a lot of  
7     variability in the importance of 11 measures.

8             And in particular, I would have  
9     trouble rating smoking cessation along with  
10    statin at discharge. There's no weighting  
11    algorithm here. They're all treated with the  
12    same weight. So in terms of evidence, I think  
13    the evidence is very high for all but smoking  
14    cessation counseling.

15            DR. KOTTKE: Just to note, three  
16    Public Health Service Task Forces have  
17    concluded that there's Level A evidence that  
18    smoking cessation constantly increases smoking  
19    cessation.

20            DR. SPANGLER: That's also  
21    specific type of counseling, too. I mean,  
22    it's the 5As is what they recommend, so I

1       didn't see that mentioned here, but yes, it's  
2       a pretty specific type of counseling that has  
3       that high evidence, so.

4               MS. DeLONG:  So is that embedded  
5       in this measure, that counseling has to be  
6       specific?

7               DR. CURTIS:  Sorry, could you  
8       repeat the question?  It's really not -- the  
9       information the registry captures is whether  
10      or not any smoking cessation counseling which  
11      was provided, doesn't specify the type.  I do,  
12      however, believe this measure, this component  
13      to the measure is consistent with other  
14      recommendations.  And I think there's other  
15      NQF-endorsed measures for smoking cessation  
16      counseling prior to discharge.  So I think  
17      it's consistent with that, but to that  
18      component, we think it's an important piece of  
19      this and may not have the same level of  
20      evidence or specificity that the other  
21      components do have.

22              We would note though, that waiving

1 individual components to a measure always  
2 seems to get bogged down in arbitrary  
3 decisions, so we have opted not to try to do  
4 any weighting, but rather again say in  
5 totality, this is a measure that captures  
6 important components of care and each of these  
7 needs to be addressed in the delivery of care  
8 to patients.

9 DR. KOTTKE: In fact, on the --  
10 looks like page two, where they describe the  
11 smoking cessation, it is really the 5As plus  
12 prescription of pharmacotherapy. So I would  
13 say it's state-of-the-art.

14 DR. SPANGLER: Yes, I see it on  
15 page 96 too, they mention the 5As as well.

16 DR. KOTTKE: Henry, any comment on  
17 evidence? Any further comments before we vote  
18 on evidence? So let's vote.

19 MS. LUONG: The timer starts now.  
20 One is for high. Two is for moderate. Three  
21 is for low. Four is for insufficient evidence  
22 with exception. And five is for insufficient

1 evidence.

2 (Pause.)

3 So the evidence criteria, 11 voted  
4 high and 11 voted moderate.

5 DR. KOTTKE: Liz, opportunity for  
6 improvement?

7 MS. DeLONG: There are a couple of  
8 areas where the opportunity for improvement is  
9 discussed. I wasn't clear on how many of the  
10 hospitals actually participated in their  
11 tabulation, but their tabulation gives a mean  
12 of around 70 percent and a median that's a  
13 little lower, I think. I thought there was a  
14 significant gap for improvement.

15 DR. KOTTKE: Henry?

16 DR. TING: I think for the all or  
17 none measure, there's probably a significant  
18 gap. Some of the individual components may be  
19 topped out as we discussed.

20 DR. SPANGLER: I just had a  
21 process question for Reva about this type of  
22 measure. Because it's so comprehensive as a

1 composite, do we then look back at -- because  
2 there are individual measures here. And do we  
3 discuss whether if we think this should be a  
4 measure that we eliminate the other -- I mean  
5 how do we --

6 DR. WINKLER: No, these composite  
7 measures are measures that need to stand on  
8 their own. Because they're components, you  
9 want to be sure the components are evidence  
10 based. But when it comes to the actual  
11 scientific acceptability, reliability,  
12 validity, you're talking about how that  
13 measure is aggregated and how the data is put  
14 together. And this is -- all or none is a  
15 commonly used construct for a composite.

16 So you want to look at that -- the  
17 resulting reliability and validity for that  
18 way of combining the different components. So  
19 you don't need to break it down with the  
20 exception of the evidence, you don't need to  
21 break it in its bits and pieces, but really  
22 you want to see how the whole thing works

1 together.

2 DR. SPANGLER: I understand that.  
3 My question is there are individual NQF-  
4 endorsed measures from these components.  
5 Would we ever look at those and say well, we  
6 have a composite. We don't need these  
7 individual measures any more.

8 DR. WINKLER: Actually, if you  
9 recall the portfolio review I talked about  
10 yesterday, we actually do have pretty much all  
11 of them as individual measures at some point  
12 in time. It's just they're not up for review  
13 in this particular meeting. So it will be one  
14 of those things to consider when those  
15 measures come up in their turn.

16 DR. KOTTKE: Any further  
17 discussion?

18 DR. JAMES: Yes, I could just  
19 comment for Jason. Outside of the government,  
20 health plans represents the largest user of  
21 measures. So there is utility for health  
22 plans and being able to have a composite as



1 well as having individual ones. And my wife  
2 works for a hospital. She doesn't want me to  
3 use composites.

4 DR. WINKLER: Jephtha, if you can  
5 clarify Liz's question. How many hospitals  
6 are in the registry?

7 DR. CURTIS: It's a moving target.  
8 There are more than 900 hospitals. I think  
9 950 are currently participating. In the  
10 sample that we were using for testing, there  
11 were 839 hospitals that were available that  
12 were actually included in the defect measure  
13 after we applied our kind of data quality  
14 checks and things like that. 553 were used  
15 for the evaluation. So there was a drop off  
16 based on whether or not the hospital's  
17 submission had past data for this time frame.

18 DR. KOTTKE: Any other discussion?  
19 So vote on opportunity for improvement.

20 MS. LUONG: Timer starts now. One  
21 is for high. Two is for moderate. Three is  
22 for low. And four is for insufficient.

1 (Pause.)

2 Can everyone just point to me  
3 again? Twelve voted for high. Seven voted  
4 for moderate. And two voted for low.

5 DR. KOTTKE: Priority? Liz?

6 MS. DeLONG: Pardon?

7 DR. KOTTKE: Priority?

8 MS. DeLONG: Well, they don't tend  
9 to give any estimates of benefit, but MI care  
10 is clearly important. I'm not sure whether  
11 each one of these elements needs to be  
12 included. Whether that creates more burden  
13 than is necessary. If you separate out the  
14 importance of MI care from the individual  
15 components, are they all important to include  
16 in the importance, given that there are no  
17 estimates of benefit?

18 And by the way, the sentence that  
19 keeps repeating is "estimates of the benefit  
20 of aspirin therapy across the body of evidence  
21 are not reported." And that's in there  
22 several times. You might want to scan that

1 document.

2 DR. CURTIS: I will speak candidly  
3 about -- I would use the word chaos of trying  
4 to get these applications. You said we're  
5 trying to pull 11 elements into an application  
6 --

7 MS. DeLONG: I know, I know.

8 DR. CURTIS: -- with the evidence,  
9 with the gaps in care, with the importance.  
10 And I think the system did not do the  
11 reviewers justice, so my apologies for that.

12 That said, it's hard to evaluate  
13 the importance for each component to it in  
14 that we do know, I would say at a high level,  
15 we know each of these pieces of the composite  
16 are individually important, I would say based  
17 on the evidence that we have. I would say for  
18 the majority of them there is some evidence  
19 that there is variation in care around the  
20 individual components to it, but there are  
21 ones where the gaps are larger. I'm not sure  
22 if I'm answering your question specifically

1       though.

2                   MS. DeLONG: I'm not sure either.

3                   DR. CURTIS: Repeat the question  
4       for me and I'll try to reframe it.

5                   MS. DeLONG: Well, I'm not sure  
6       that if you did a marginal look at each of the  
7       components over the rest would there actually  
8       be benefit there? For example, if they had  
9       ten would having the other one make a  
10      substantial difference?

11                  DR. CURTIS: I guess it depends on  
12      the perspective that you're using. So a  
13      difference in what I would ask you?

14                  MS. DeLONG: In an outcome,  
15      presumably mortality or survival time.

16                  DR. CURTIS: I think that's a  
17      broader question than can really be addressed  
18      with the evidence that we have here. I think  
19      that's where you sort of started this  
20      question. Would it make a difference in the  
21      assessment of hospital quality if we change  
22      the components of things that were making up

1     this composite measure?  Yes, it would  
2     certainly change it.  If we took out  
3     evaluation of ACE/ARB for patients with left  
4     ventricular systolic dysfunction, your  
5     hospital estimates would change a little bit.  
6     Whether or not -- because we don't have the  
7     data for empirical analysis to say okay, this  
8     is most strongly associated with one-year  
9     outcomes, we don't have that information  
10    readily available for us to be able to test  
11    whether or not --

12                 MS. DeLONG:  You actually do,  
13    don't you?  I mean you have the data and  
14    haven't you merged it with the CMS?

15                 DR. CURTIS:  We are working on  
16    that.  I think the problem that we ran into on  
17    those analyses is that the data that we had  
18    for analysis for 2011, the 2012 data had not  
19    been released and we're still waiting for  
20    that.  So if you do it at 2011 data, a single  
21    year of data and after you merge the data,  
22    generally you get about a 60 to 70 percent

1 merge rate of Medicare fee-for-service  
2 patients. So your population at the  
3 individual hospital level is shrinking  
4 rapidly. And so from that perspective, we  
5 thought it would be better to wait for the  
6 2012 so we'd have at least two years of data  
7 and get more stable estimates.

8 But I think that's still missing  
9 the point. The point is that each of these is  
10 a Level 1 recommendation for the care of  
11 patients with MI. I don't care, honestly, if  
12 it's not as strongly associated with one-year  
13 mortality in our analyses because I know from  
14 clinical trials and from task forces and from  
15 all the weight of evidence that we have is  
16 that every component in here is important and  
17 worthy of measurement and I think worthy of  
18 reporting.

19 DR. AL-KHATIB: Actually, what I  
20 wanted to say is we had this discussion  
21 yesterday, Jephtha. You weren't here where we  
22 were talking about the incremental value of

1 adding one intervention on top of other  
2 interventions. But I completely agree. These  
3 are all evidence-based guideline recommended  
4 interventions for patients with myocardial  
5 infarction and yes, maybe we don't know the  
6 incremental value of adding the ninth  
7 intervention on top of the other eight, but we  
8 will never have studies that will look at the  
9 incremental value of every intervention. I  
10 would hope that this argument that Liz is  
11 using won't be taken or perceived as something  
12 negative against this measure.

13 DR. KOTTKE: My feeling is -- let  
14 me just make a comment that to tell a patient  
15 you got eight, you don't need the ninth.

16 Leslie?

17 DR. CHO: There is actually data  
18 from the Get With the Guidelines. There's a  
19 couple of papers, that if you meet some of  
20 their -- as hospitals, as you know, there are  
21 hospitals that participate. There are some  
22 don't meet Get With the Guidelines as much as

1 others. And there is a mortality difference.  
2 I mean I think that's a well-known, well-  
3 accepted, sort of it's published in JACC and  
4 there's been a bunch of papers that if you  
5 have hospitals and there's like 3,000  
6 hospitals that participate, I think, in Get  
7 With the Guidelines. Correct me if I'm wrong.

8 DR. CURTIS: So not for this  
9 particular version of the registry, but I  
10 think there are -- there have been analyses  
11 that have supported the link between in-  
12 hospital process measures and in-hospital  
13 mortality. I think what we were trying to do  
14 is assess its effect on long-term. Because  
15 some of the components, quite frankly, could  
16 have no direct effect on in-hospital mortality  
17 whether or not you were referred for cardiac  
18 rehabilitation, for instance. It may be a  
19 marker of quality of care delivered, but it's  
20 not directly linked to the outcome and that's  
21 why we thought we'd probably have to wait for  
22 the longer term outcomes to meet the criteria



1 of empiric -- the empiric analysis  
2 requirement.

3 DR. KOTTKE: Sir.

4 DR. SPANGLER: I want to back to  
5 Liz' point because my only concern -- there's  
6 two issues. One is the weighting, because not  
7 all of the evidence here is equal. So there  
8 are some that the evidence is stronger than  
9 others. The other thing is because it's all  
10 or none, if you have consistently a facility  
11 that has 7 out of -- let's say 9 out of 11, 10  
12 out of 11, 9 out of 11, 10 out of 11, they're  
13 going to get zeros across the board. When  
14 you're measuring that, it's the same as a  
15 facility that's getting 2 out of 11, 3 out of  
16 11, 2 out of 11. Those are equal in this  
17 measure when I would say there's very  
18 different quality of care being provided in  
19 those two instances. So I'm not sure how --  
20 it's -- I think those are two different  
21 issues.

22 And Reva, correct me if I'm wrong,

1 but not all composite measures are all or  
2 none.

3 DR. WINKLER: No, they are  
4 multiple different types of constructs. This  
5 is one type.

6 DR. SPANGLER: And I am not sure  
7 if that was thought of when you guys were  
8 developing the measure about not doing it all  
9 for none and what the rationale was for doing  
10 it all for none versus doing kind of a step-  
11 wise approach.

12 MR. CHIU: I just want to chime in  
13 here real quickly here. So thanks for  
14 allowing us to be here. So I think to your  
15 comment we did ask this group to develop the  
16 measure, think about all or none, equal,  
17 latent opportunity and all the various ways of  
18 composite scoring.

19 I think actually having used NQF's  
20 own composite methodology, we decided all or  
21 none, but a fact that I think Jephtha Curtis  
22 articulated really well and just emphasizing

1     again all these things are class 1As. And we  
2     realize some of them are kind of topped out  
3     individually, some of these are cardiac rehab  
4     is one, I think it's 70 percent, kind of one  
5     that does bring it down.

6                 But I think to your point, looking  
7     at the 12, if someone is missing 10, that is  
8     kind of 10 to the measures that they just fail  
9     because the idea is you have to get all  
10    eligible. You have to get them all to  
11    achieve. And so I hear your points, yours and  
12    Dr. DeLong's points. I think well taken, but  
13    the empirical analysis, unfortunately, we just  
14    don't have at this time to really determine  
15    which parts and which elements truly are  
16    getting to the end point, but we realize  
17    overall all of these components make up the  
18    whole thing. But not knowing the empirical  
19    analysis it's a little hard to start judging  
20    which element should be taken out per se, at  
21    this juncture, but we are willing to update it  
22    as needed.

1 DR. CURTIS: Let me just follow up  
2 on that. As Jensen was alluding to, the ACC  
3 has measure developments and all sorts of  
4 committees that are evaluating this. Actually  
5 in what's reported back to sites that  
6 participate in this registry, they get it both  
7 ways. And so there is sort of a defect-free  
8 care which this is the construct that they  
9 elected to submit, but they also get the  
10 proportion of opportunities that are met which  
11 is another way of constructing -- we thought  
12 it would be kind of duplicative to put both of  
13 them forward, so we opted to put this one  
14 forward, simply because we thought that it set  
15 the bar higher and that we should it would  
16 really provide a little bit more of an impetus  
17 for hospitals to try and be perfect or defect  
18 free.

19 DR. SPANGLER: I like setting the  
20 bar high. I guess the issue becomes when --  
21 because measures are being tied to payment and  
22 penalties related to payment, a couple of

1 things are going to happen. Some are going to  
2 be penalized similarly to low or much lower  
3 quality.

4 What I'm also concerned about is  
5 what sometimes happens because it's tied to  
6 payment is people try to fill these measures  
7 or try to qualify these measures in not honest  
8 ways, let's put it that way, just so that they  
9 can meet the criteria because of the payment  
10 issues and reimbursement issues that are  
11 related to it.

12 DR. KOTTKE: I don't think that  
13 it's isolated to payment. I mean it's  
14 bragging rights and other things. I mean any  
15 time you have any measures, some people will  
16 lie. People lie.

17 MS. DeLONG: And the more measures  
18 you have, the more likely you're going to  
19 encounter gaming.

20 DR. KOTTKE: Yes, but the question  
21 is which Class A measure are you going to take  
22 out? They're all evidence based.

1 Henry? Henry has the urge to  
2 speak.

3 DR. TING: I'm listening to all of  
4 this and I understand that these are all Class  
5 1A, very important measures. I think part of  
6 the problem this committee is having which is  
7 maybe the problem I'm having is how do we  
8 approach these composite measures? Because  
9 each one of these individual measures are  
10 important. In fact, some of them are so  
11 important they've topped out. We decided to  
12 retire the aspirin measure.

13 And I'm personally not completely,  
14 to be honest, sure exactly how we're supposed  
15 to evaluate a composite in the setting of  
16 these individual measures which are all  
17 important and should be done as Jephtha pointed  
18 out. No one is going to argue the evidence  
19 for any of these measures. These are all  
20 Class 1A. We all believe them.

21 But as far as a composite, what's  
22 the right approach for us to say we should do

1     this composite? And then how does that  
2     reflect or how do we harmonize with the others  
3     that are stand alone, that are already out  
4     there and what time cycle does that get done?  
5     It's one thing to say we're going to  
6     harmonize, but this is redundant potentially  
7     if we don't harmonize today or in the next  
8     year.

9                     DR. MASSOUDI: This is Fred  
10    Massoudi. Can I make a comment?

11                    DR. KOTTKE: Yes, Fred, go ahead.

12                    DR. MASSOUDI: Thanks. I'm sorry  
13    that I can't be there in person today. You  
14    know, I appreciate the issues raised about  
15    gaming. I don't think that a composite  
16    necessarily makes a measure more prone to  
17    gaming than anything else necessarily. I  
18    think gaming is a concern with any measure at  
19    all that could be used for the purposes of  
20    accountability. So I don't know that that's  
21    necessarily a specific criticism of this  
22    measure as much as it is the use of measures

1 at all for the purposes of accountability.

2 Secondly, you know, in our  
3 experience having worked with NQF, as you  
4 recall from the history of the cath-PCI  
5 measure which we developed as an all or none  
6 composite, we are following the approach that  
7 has generally been recommended by NQF in terms  
8 of generating an all or none composite that  
9 puts together a number of processes of care  
10 per the specific conditions. So in some  
11 respects this has been responsive to guidance  
12 we've received in the past for NQF.

13 DR. KOTTKE: Thanks, Fred. Kristi  
14 just took her thing down.

15 Tom?

16 DR. JAMES: This time I am  
17 speaking from the perspective of working with  
18 the AQA's Public Reporting Work Group. This  
19 is a kind of measure that really flies well  
20 within the multi-stakeholder group of that  
21 particular body in that (1) it represents  
22 importance, what we're discussing right now.



1      Secondarily, it creates a wide variation in  
2      reported outcomes. Those measures where there  
3      are small differences in results are ones that  
4      are really not very useful for the consumer.  
5      So this one, I think, is terrific.

6                   DR. KOTTKE: If I were  
7      hospitalized and someone said well, that's  
8      good enough for the patient, eight out of ten.  
9      You've got eight. You don't need the other  
10     three. I'd be a little disappointed in the  
11     care I were receiving.

12                   Mary?

13                   DR. GEORGE: Yes. I know several  
14     years ago when IHI first developed the white  
15     paper on bundling measures and composites,  
16     they really stressed not putting too many in  
17     one bundle and sometimes breaking that big  
18     bundle up into things that might happen by one  
19     care team in the hospital versus that your  
20     discharge measures might be happening with one  
21     care team, whereas the ED early care processes  
22     may be happening with a different care team

1 and whether there was any thought in terms of  
2 maybe having rather than 11 measures in a  
3 composite to maybe breaking that down into  
4 something more along the lines of where that  
5 care was actually taking place in the  
6 hospital.

7 DR. CURTIS: We have not tried to  
8 explore whether or not it's more useful for  
9 the other set of consumers, the providers to  
10 break it into that. We certainly think that  
11 there's added value for the composite versus  
12 the individuals.

13 I will say that the care of MI  
14 patients in general is pretty well cordoned  
15 off in most places. It's generally a care  
16 team that's caring for you once you get out of  
17 the emergency room and on the in-patient  
18 services. Now you might be switching from the  
19 CCU to a step-down floor or something like  
20 that, but it's generally a group that's  
21 cohesive, that's been working together for a  
22 long time that has their patterns of practice

1     pretty well established.

2                     I will say the other piece of that  
3     is that the action Get With the Guidelines  
4     registry per se provides the existing  
5     community that is used to looking at this and  
6     used to evaluating the full component of the  
7     measure. So it's not that we're necessarily  
8     adding a new burden. What we're really  
9     looking for is the endorsement of this  
10    organization to say you can use this measure  
11    for public reporting sort of the logical  
12    extension of the internal quality improvement  
13    efforts that the registry has been  
14    facilitating for years.

15                    DR. KOTTKE: Linda.

16                    MS. BRIGGS: I was going to  
17    reserve my comments for the feasibility  
18    section, but since we're talking about the  
19    number of indicators within this composite  
20    measure, I think that part of the opportunity  
21    for improvement piece that we're seeing is the  
22    variability that's caused by this very high

1 bar of 11 things to get to. And while I think  
2 it's really important for us to have very  
3 holistic care for the MI patient, that  
4 measuring these 11 things when maybe 5 of  
5 them, at least aspirin we've decided no, we've  
6 kind of topped out on.

7           The burden of actually measuring  
8 something that you've already topped out on,  
9 you're going to keep topping out on that  
10 particular agent probably in most of the high-  
11 performing hospitals. So you're really not  
12 for most places not measuring anything that's  
13 contributing to a change in the quality of  
14 care for most institutions. The things that  
15 you care about within that 11 are the things  
16 that people tend to miss.

17           So we have apparently other free-  
18 standing indicators that have to do with  
19 things like fibrinolytics or time to PCI and  
20 all the pieces that go in here. I really  
21 think that while it would be nice to look at  
22 this composite index for all of those things,

1     that you're creating a lot of work for people  
2     in data collection that isn't necessary  
3     overall.  Yes, people that are doing the Get  
4     With the Guidelines registry, they're already  
5     collecting that data.  But if we approve this  
6     measure and it goes forward, then other people  
7     are going to be expected to collect that data,  
8     too, probably.  And while some of that is  
9     good, we're also probably creating a lot of  
10    work for people that may be unnecessary.

11                   DR. KOTTKE:  Judd.

12                   DR. HOLLANDER:  So I am wondering  
13    if it's possible to not have the best of both  
14    worlds on one data form, right?  Like why  
15    can't the composite be reported with all the  
16    individual elements from one place?  So -- and  
17    then you get everything.  Because if you're  
18    going to collect all 11 of these things  
19    individually, and it's 11 different data  
20    forms, well, you're repeating a lot of  
21    information and if you're going to collect a  
22    composite, you're repeating or people are

1     filling out other data forms that have a lot  
2     of information.

3                   And so if the measure, I'm going  
4     to say harmonized, for lack of a better term,  
5     or consolidated is probably the right word, if  
6     we have multiple measures that get at the same  
7     or redundant data, why can't it be  
8     consolidated so there's one reporting system  
9     that provides all that relevant information?  
10    And so in essence, this one is collecting each  
11    of the 11 subcategories, but there's another  
12    one door to needle or door to lytics and it's  
13    all on different data forms, presumably. So  
14    unless everything is coming through the same  
15    registry for every one of these measures, and  
16    so it would behoove us to find the best  
17    repository of all that information and have it  
18    all completed at once, rather than fill out  
19    Form A for this measure, Form B for this  
20    measure, and send it to a different place.

21                   DR. WINKLER: Judd, I think you're  
22    sort of describing why people would really

1 truly love to see in an ideal world, but our  
2 world is far from ideal. And what we have are  
3 multiple implementers. And I think many of  
4 these measures are hospital-based measures.  
5 They've been in play a long time by CMS. The  
6 data collection system are sort of  
7 established. This, I think, is -- this  
8 registry is a parallel effort as well.

9 I don't know that just by  
10 endorsing measures we're going to have a way  
11 of any sort of forcing function to move to a  
12 consolidated data collection platform which  
13 probably would be really nice, but I think  
14 we're not there yet and one of our problems  
15 with these measures is we endorse them, but  
16 then those various implementing organizations  
17 do their thing.

18 DR. KOTTKE: If I can just make a  
19 comment before going on to Joe, our experience  
20 abstracting paper records is the cost is  
21 getting your hands on the record. It's not  
22 the additional data element. It's actually

1 culling the record.

2 Joe?

3 DR. CLEVELAND: I just want to  
4 echo as I hear the discussion. I think that  
5 I interpret this as really the totality of  
6 care for the patient and therefore I realize  
7 it's a little unwieldy. I really think that's  
8 what we should be about. And again, I'm  
9 thinking if I go and do a bypass operation,  
10 do seven of ten steps, right but three are  
11 not, the outcome may not be great. I mean  
12 maybe that's too -- it's not quite the  
13 appropriate analogy, but it really says you've  
14 got to -- we've got to set bar high. I think  
15 that totality is important.

16 DR. KOTTKE: Sir?

17 DR. VIDOVICH: I just have a  
18 little comment as I was going back and forward  
19 in looking. We did some research on the  
20 impact of insurance status with Get With the  
21 Guidelines and I was looking at the paper. It  
22 was a few years ago. And the measure actually



1 very nicely discriminated between different  
2 insurance carriers, Medicare, Medicaid,  
3 private insurance and that was refreshing my  
4 memory and while all the components were  
5 different between various insurances, the  
6 measure actually captured it very nicely. And  
7 then even after multiple adjustments, it  
8 turned out to be a good indicator of  
9 differences of care.

10 So looking back, again, it's been  
11 a while since I thought about this paper, but  
12 I think it does nicely describe a composite  
13 outcome of complete MI care. That would be my  
14 take on this. I found it quite valuable.

15 DR. KOTTKE: Thank you. Liz, did  
16 you have another comment?

17 MS. DeLONG: I just wanted to pick  
18 up on what Linda said because it's not only  
19 coding whether they did it, there's a lot of  
20 overhead in eligibility for each one of these  
21 that has to go into the composite because you  
22 have to calculate how many of these things was

1 the patient eligible for in order to calculate  
2 whether they got it all right. And that's  
3 variable.

4 DR. KOTTKE: Further comments?

5 DR. CURTIS: So I guess one of the  
6 struggles here that the NQF endorses measures  
7 that are agnostic as to who is applying them.  
8 In this case, the measure is developed and  
9 implemented currently for quality improvement  
10 purposes at the level of an individual  
11 specific registry. So from that perspective  
12 there is no incremental demand on hospitals.  
13 They've already made that investment in  
14 quality improvement. They've already paying  
15 the fees which are minimal compared to the  
16 amount of effort it takes for the personnel to  
17 abstract these charts so that we can provide  
18 this data back to them.

19 So it gets to the larger point of  
20 what if this were applied to a different  
21 population or a broader population? I can't  
22 speak to whether or not that's feasible and it

1       probably would be. There would be overhead  
2       and expenses associated with that. But for  
3       the target population in which this was  
4       developed and currently applied, there is  
5       minimal incremental efforts required, in fact,  
6       none.

7                   MS. DeLONG: I guess my concern  
8       would be standardization across all of the  
9       different entities that decide to capture.

10                  DR. KOTTKE: Are we ready to vote?

11                  MS. TIGHE: I'll just jump in  
12       because we've talked about a lot of things  
13       that are not what we're voting on right now.

14                  (Laughter.)

15                  I think the one comment was made  
16       related to high priorities that MI care is  
17       clearly important. Everything else has really  
18       dabbled in the construct of the composite, the  
19       validity of that construct, and the  
20       feasibility which we'll vote on next.

21                  DR. KOTTKE: So we are voting on  
22       priority.

1 MS. LUONG: The timer starts now.  
2 One is for high. Two is for moderate. Three  
3 is for low. And four is for insufficient.

4 (Pause.)

5 Priority, 15 voted high and 7  
6 voted moderate.

7 DR. KOTTKE: Thank you.  
8 Scientific acceptability --

9 DR. WINKLER: This is one of the  
10 important criteria about composite measures  
11 and it really was what you all have been  
12 talking about. And that is the construct, how  
13 this measure was conceptualized and put  
14 together and what's included, what's not, all  
15 the things you've been talking about is what's  
16 in 1D. So that's the criteria for this  
17 composite that you're addressing in your next  
18 vote. You can see it talks about the  
19 construct, the rationale, and the aggregation  
20 and weighting. You've all talked about all of  
21 that stuff.

22 DR. AL-KHATIB: I was actually

1     reserving these couple of questions until we  
2     delved into the specifications of the measure,  
3     but my questions are actually directed to the  
4     developer.

5                     In terms of, for example, like  
6     people -- I know Liz mentioned the issue of  
7     patients not being eligible for one of these  
8     interventions, so how do you handle  
9     contraindications, like if a patient has a  
10    contraindication to one of these medicines,  
11    for example? That's one.

12                    The second thing that I want to  
13    ask is you mentioned evaluation of LV systolic  
14    function and we all know that sometimes you  
15    have patients where the troponin is just  
16    slightly elevated. They just had an  
17    echocardiogram done two months ago. Now for  
18    this particular encounter, maybe we as  
19    clinicians decide that repeating the  
20    echocardiogram is really not necessary. So  
21    how do you handle that if the patient does not  
22    get an LV assessment during this encounter.

1                   And then finally, the issue of  
2                   time to reperfusion or to PCI is really key.  
3                   Why is it that we have redundancy? I think at  
4                   least this is the way I see it when you talk  
5                   about consideration of reperfusion therapy.  
6                   I mean aren't those redundant? Why not do  
7                   away with the consideration of reperfusion if  
8                   you do have time to either primary PCI or  
9                   lytic therapy?

10                  DR. CURTIS: So I can try and  
11                  address those and of course try to keep the  
12                  way that each of these 11 components are  
13                  calculated in my head is a little much. But  
14                  I think the composite was constructed in a way  
15                  that we tried to be as fair to hospitals as  
16                  possible. So for each one, we tried to apply  
17                  sort of a standard of reasonability to say  
18                  okay, if there is documentation that you  
19                  considered whether or not to perform an  
20                  assessment of left ventricular ejection  
21                  fraction but you had the information or  
22                  otherwise thought it was unnecessary, as long

1 as we documented that rationale you would be,  
2 I believe, given credit for that. Okay?

3 For the reperfusion question, it's  
4 a component -- there's two components to  
5 reperfusion, right? There's the decision of  
6 whether or not someone gets reperfused. And  
7 then there's the timeliness of the  
8 reperfusion.

9 So the D to B and the D to needle  
10 or dirty needle are both assessing the  
11 timeliness of that reperfusion. But both of  
12 those actually kind of miss the question of  
13 whether or not all patients are getting  
14 reperfused. So from that standpoint, I think  
15 they are capturing distinct domains. One is  
16 the speed. One is whether or not they got  
17 reperfused at all. It's probably one of the  
18 more controversial components of this  
19 particular measure, but we find one that's  
20 important. Actually, has very little  
21 variation at the individual hospital level.  
22 Most patients are getting reperfused most of

1 the time and the ones aren't there, there's  
2 usually good justifications for that.

3 DR. KOTTKE: We are ready to vote  
4 unless someone raises their name tag. We're  
5 ready to vote on the composite.

6 MS. LUONG: The timer starts now.  
7 One is for high. Two is for moderate. Three  
8 is for low. And four is for insufficient.

9 (Pause.)

10 Seven voted for high. 11 voted  
11 for moderate; two for low and one for  
12 insufficient.

13 DR. KOTTKE: Okay. Acceptability  
14 and reliability.

15 MS. DeLONG: For reliability, they  
16 produced one of those plots where they did a  
17 split sample and they looked at the percent  
18 from one sample versus the percent from the  
19 other sample. As I said yesterday, I prefer  
20 to see percent agreement when you approach it  
21 from two different directions, but the worry  
22 about this chart is that there are



1     discrepancies between one take on the random  
2     sample and another take on the random sample.  
3     I'll try to find the page that's on.

4             DR. CURTIS:   So I think this  
5     reflects sort of the difficulty working with  
6     some of these documents.   So we have a  
7     beautiful figure which shows the correlation  
8     of the random split sample; one versus random  
9     split sample two.   And it is a line.   This is  
10    the highest correlation I have ever seen for  
11    a random split sample.   It's .97 something.

12            So there are differences in any  
13    random sample that you choose.   There may be  
14    a few more defects in one than the other.   We  
15    only apply a minimum threshold of 25 cases and  
16    so if you had one defect in one place, you'll  
17    see some variation around it.   But it seems to  
18    be a pretty consistent, and I would say  
19    reliable indicator of the care that's being  
20    delivered at these hospitals that is  
21    reproducible in two different random samples  
22    in the same time frame.

1 MS. TIGHE: The figure that they  
2 are referencing that shows that is figure two.  
3 It's on page 117 of the packet that you all  
4 have.

5 MS. DeLONG: So there is a great  
6 distinct trend there, but you do have some  
7 that are at maybe 45 versus 35; 40 versus 25.  
8 I mean that --

9 DR. CURTIS: Right, but I guess  
10 how much of that -- so if we dug in on that,  
11 right, I mean 35 versus 45 is pretty good. If  
12 you actually look at -- not to sell the  
13 outcomes measures short, but if you look at  
14 the correlation of random split sample for the  
15 outcomes measures, that's much more of a  
16 shocker. And what you'll see, some indication  
17 that there's a quality signal that the ICCs  
18 are acceptable, but much lower level of what  
19 I would call reliability in this.

20 If you dug in on these where there  
21 is more difference and we have not done that  
22 and maybe we should have, I would speculate

1     that this would probably be due to hospitals  
2     at the lower range of volume. And so that's  
3     probably the ones we're seeing a little bit  
4     more --

5                   MS. DeLONG: But they're going get  
6     dinged, right? I mean where they come out in  
7     the spectrum is dependent on this measure and  
8     if they're going to be paid based on this  
9     measure, and the take on this measure for that  
10    site is that variable, that's bothersome for  
11    them.

12                   DR. CURTIS: I would argue this is  
13    the least amount of noise I've ever seen for  
14    any measure that's been evaluated. This is  
15    perfect correlation, near perfect, number one.  
16    Number two, there's no plan or mechanism that  
17    I could see this being turned into financial  
18    penalties at this point. I don't see a  
19    pathway for that. I can't speak for what the  
20    ACC is trying to do in this regard. This is  
21    at this point purely a quality improvement  
22    effort. And it's trying to leverage the

1 effect of public reporting of this to further  
2 enhance hospitals' quality improvement  
3 initiative. So I would try and divorce this  
4 from the consideration of possible financial  
5 penalties.

6 If I were a hospital that's 35 in  
7 1, and 45 in the other, I have things that I  
8 need to do to improve. It doesn't matter if  
9 it's 35 to 100 or 45 to 100. There's an equal  
10 opportunity there. I mean there's nobody  
11 that's going from zero to 100. There's nobody  
12 that's going -- maybe looking at it right now,  
13 maybe 10 to 20 at most on the edges of the  
14 spectrum here in terms of the performance in  
15 sample one versus sample two. But I mean it's  
16 a pretty reliable signal of quality in my  
17 opinion.

18 MS. DeLONG: I will say that the  
19 components, you did a chart review versus the  
20 components and they turned out very well. I  
21 think the Get With the Guidelines database  
22 itself is capturing those components very

1 accurately. But that does lead to some  
2 concern on my part about this plot and the  
3 discrepancy that you can see in the lower  
4 volume hospitals.

5 This didn't -- by the way, you  
6 didn't say what time period this is.

7 DR. CURTIS: This is 2011-2012, we  
8 took all the cases --

9 MS. DeLONG: So two years of data  
10 for each site?

11 DR. CURTIS: Two years of data  
12 that were then -- yes, correct. And then  
13 split.

14 DR. KOTTKE: Other comments? So  
15 we've had the discussion on reliability. Is  
16 that correct? Are we ready to vote? Seeing  
17 no -- oh, Sana.

18 DR. AL-KHATIB: I want to make one  
19 comment that I think was not very clearly  
20 stated is that when they did the reliability  
21 testing, they did it both at the data element  
22 level and at the measure of score level. I

1 think that's something important to keep in  
2 mind as we vote, if we have to stick to the  
3 algorithm here. So just something to keep in  
4 mind.

5 MS. DeLONG: That's what I was  
6 saying, when they did it at the data element,  
7 it was very good.

8 DR. KOTTKE: Let's vote.

9 MS. LUONG: Timer starts now. One  
10 is for high. Two is for moderate. Three is  
11 for low. Four is for insufficient.

12 (Pause.)

13 For reliability, 16 voted high and  
14 6 voted for moderate.

15 DR. KOTTKE: Thank you. Validity.  
16 Liz?

17 MS. DeLONG: There is no empiric  
18 evidence of validity, but once again, the  
19 individual components seem to be accurately  
20 constructed. The overall component, there  
21 wasn't evidence given.

22 DR. KOTTKE: Any other comments?

1 Are we ready to vote on validity? Seeing no  
2 objections, we'll vote on validity.

3 MS. LUONG: The timer starts now.  
4 One is for high. Two is for moderate. Three  
5 is for low. And four is for insufficient.

6 (Pause.)

7 Can everyone just point to me  
8 again? Six voted for high; 15 for moderate;  
9 and one for low.

10 DR. KOTTKE: Feasibility.  
11 Validity of the composite.

12 MS. BRIGGS: Under the STEMI  
13 population, you have time to fibrinolytic  
14 therapy and time to PCI. Is there a choice  
15 within those to say not applicable for those  
16 particular things?

17 DR. CURTIS: Yes, I'm sorry, just  
18 to clarify. So the denominator of opportunity  
19 changes for each patient and changes for  
20 whether or not you're a STEMI or a non-STEMI.  
21 And certainly if you receive lytic therapy you  
22 would not be eligible for a long time.

1 MS. BRIGGS: That wasn't entirely  
2 clear from what the denominator statement was.  
3 It was STEMI versus non-STEMI, but nothing in  
4 terms of if the patient received fibrinolytic  
5 therapy versus PCI.

6 DR. CURTIS: Right, and the other  
7 analogy for that would be for the patients  
8 with a low ejection fraction. Not all  
9 patients will have a low ejection fraction, so  
10 again there's that evaluation of whether or  
11 not they're eligible and that's true for every  
12 component of measure. Again, for transfers  
13 in, they're not being held accountable for  
14 whether or not a patient received aspirin at  
15 the referring hospital.

16 MS. DeLONG: So what happens when  
17 ejection fraction is missing? My experience  
18 is that that is missing a lot in some of these  
19 databases.

20 DR. KOTTKE: You get a zero.

21 DR. CURTIS: No, in this case, you  
22 drop out of the numerator and the denominator



1     for that particular component of the  
2     composite. And so you're still dinged because  
3     assessment of left ventricular ejection  
4     fraction is still one of the components. So  
5     in a defect-free care construction you would  
6     be a zero as opposed to a one. Is that clear?

7                 MS. DeLONG: You said drop out of  
8     the --

9                 DR. CURTIS: No, you drop out of  
10    both if you're not eligible -- for left  
11    ventricular ejection fraction specifically  
12    refer ACE/ARB, in patients with reduced LVEF,  
13    you drop out of numerator and denominator if  
14    you don't know what their EF is. You can't be  
15    in the denominator if you don't know what  
16    their EF is. You can't assume that they have  
17    a low EF because 60 percent of patients do not  
18    or 75 percent do not have a low EF.

19                So for that particular component,  
20    yes, you have to have an EF that's assessed.  
21    It has to be low. You have to have no  
22    contraindications to an ACE/ARB and then if

1     you meet all these conclusion criteria, then  
2     you assess whether or not they actually  
3     receive this treatment.

4                 MS. DeLONG: By and large, they  
5     get a zero anyway.

6                 DR. CURTIS: Correct.

7                 DR. KOTTKE: If they don't measure  
8     the EF and document it, they don't meet  
9     optimal care. Other comments on the composite  
10    validity? Seeing no movement, we'll vote.

11                MS. LUONG: Timer starts now. One  
12    for high. Two for moderate. Three for low.  
13    And four for insufficient.

14                (Pause.)

15                Can you just point towards me  
16    again? Thanks. Thank you. For this, four  
17    voted high. 16 voted moderate. One for low  
18    and one for insufficient.

19                DR. KOTTKE: Liz, feasibility.

20                MS. DeLONG: I think we've trod  
21    that ground as well. My worry is the coding  
22    that is necessary and the recipe feeling of

1     this whole thing that is it really something  
2     that will actually be implemented given the  
3     complexities?

4                   DR. KOTTKE:   Sana?

5                   DR. AL-KHATIB:  I think within the  
6     realm of the action Get With the Guidelines  
7     database, this is certainly feasible and  
8     doable.  Could you give us a sense of what  
9     percentage of patients who present with AMI  
10    are being captured by this registry?

11                  DR. CURTIS:  It is very hard to  
12    get a sense of who's not.  The auditing that  
13    they do and they do do auditing which is where  
14    we got the agreement for the individual  
15    components, does not address, does not scour  
16    hospital records and develop -- did you send  
17    us everybody with a MI?

18                  The contract that the hospitals  
19    sign when they agree to participate in the  
20    registry says that they have to agree to  
21    submit every patient with MI.  That's very  
22    hard beyond sort of assuming that hospitals

1 are trying to do the right thing and not game  
2 things. I don't know why they would be paying  
3 these fees and participating if they're not  
4 going to plan on participating wholeheartedly.  
5 But again, I don't have a response for that.

6 DR. KOTTKE: Henry.

7 DR. TING: So this is a question  
8 for Tom, actually. Just warning here. Tom,  
9 on a personal level, it is my opinion, I like  
10 component measures when you think about  
11 perfect care for AMI, diabetes, PCI. I think  
12 they're a good thing. They tell us about  
13 whether the patient got everything we think we  
14 should be doing in terms of level 1 the  
15 evidence.

16 And we had the one, the door to  
17 ECG. We like door to balloon time, the whole  
18 process, not just component to the process.

19 But you had mentioned that, I  
20 think earlier on in this committee meeting,  
21 that certain people like individual component  
22 measures. And you said something about your

1 wife or something -- who likes individual  
2 component measures as opposed to the entire  
3 composite, what we think is perfect care for  
4 diabetes or AMI or PCI.

5 DR. JAMES: This gets right into  
6 usability as well opposed to feasibility, but  
7 it's -- when we start looking at processes for  
8 hospitals or physicians when they're doing  
9 transparency work or developing pay-for-  
10 performance payment for value programs, there  
11 may be times when the bar may be too high for  
12 certain elements and so you say let's do it in  
13 segments and work our way up.

14 When I'm dealing with consumers,  
15 with the AQA, they want to have something  
16 which sounds like Atul Gawande wrote it and  
17 that is it's really a checklist they know that  
18 a facility is going through every step all the  
19 way and that they know the perfect should not  
20 be the enemy of the good. But it's how things  
21 are being used in different circumstances.  
22 That's why it's good to have a tool kit of a

1       variety of measures.

2                   DR. TING: I'm sorry, so what  
3       you're suggesting though is that at the  
4       measurement level there is probably a need for  
5       both the individual components of the  
6       composite as separate measures, is that what  
7       you're advocating?

8                   DR. JAMES: That's right. In  
9       fact, when you look at what NQF says how  
10      measures are being used, two thirds of all  
11      measures adopted are being used in some sense  
12      or another. Very few of them are being used  
13      universally, except the aspirin on arrival.  
14      It's because there are different  
15      opportunities.

16                  DR. KOTTKE: Liz.

17                  MS. DeLONG: One concern about the  
18      usability of this is that unless you have all  
19      the individual components as well, a site  
20      doesn't really know where they're being  
21      dinged. You know you got an 80, let's say.  
22      You don't know whether there's a particular

1     measure you're failing or whether you're  
2     across the board, not doing well.

3             DR. CURTIS: I think that goes to  
4     how public reporting and internal quality  
5     improvement efforts complement one another and  
6     you can't have one without the other, right?

7             From a consumer standpoint, I  
8     think it's useful to have this all or nothing  
9     composite defect free care. They know,  
10    whoever the consumer is that this hospital,  
11    this proportion of patients receive defect  
12    free care.

13            For the site, for the institution,  
14    from their perspective, they need to know  
15    where they're falling down if they are falling  
16    down. The action registry does provide all  
17    this information in great deal to the  
18    participating hospitals. So again, you can't  
19    have one without the other. So there has to  
20    be a mechanism by which that information is  
21    fed back to sites. However, on a consumer  
22    level, I don't necessarily, I wouldn't expect

1     that I would have the information and  
2     expertise to evaluate okay, they're really bad  
3     on door to needle, but they're okay on aspirin  
4     on arrival. Right? So I think they have to  
5     go hand in hand. I completely agree with  
6     that. From a public reporting standpoint, I  
7     think you really only need the composite.

8                     DR. KOTTKE: Linda, then Judd,  
9     then Tom.

10                    MS. BRIGGS: If we're making the  
11     leap to public reporting on this, one might  
12     also assume that insurance carriers, et  
13     cetera, might decide to adopt this measure  
14     beyond what the American College of Cardiology  
15     is monitoring with the Get With The  
16     Guidelines.

17                    So say CMS wanted to pick this up  
18     and say all right, you need to give us defect  
19     free AMI care. So anybody who is not  
20     currently using the Get With The Guidelines  
21     database will now have to look and obtain the  
22     data for each of those 11 elements as they've



1     been approved theoretically by us and then be  
2     able to report in this very complex algorithm  
3     as we've been talking about, if this person  
4     meets this contraindication, then they fall  
5     out of the numerator, they fall out of the  
6     denominator. It becomes very difficult for  
7     someone who is not a member of the particular  
8     registry if again, this gets applied at  
9     another level.

10           DR. CURTIS: I don't think there's  
11     a reason why it could not be adopted by an  
12     organization like CMS or another interested  
13     party. It would have to be that they use  
14     similar comparable definitions and details and  
15     attention to fairness and equity as they do  
16     so.

17           MS. BRIGGS: But there are costs  
18     with this.

19           DR. CURTIS: Let me continue. The  
20     other piece is that the individual components  
21     are already being collected for most measures,  
22     right? So aspirin on arrival, aspirin on

1 discharge, beta blockers on discharge, all  
2 these sound familiar because they're JCAHO  
3 measures, right? So relatively few of them  
4 are new or novel or stand alone as opposed to  
5 what hospitals are already doing. So I guess  
6 I can't speak beyond that, but I think that  
7 it's again, all of them are C, components to  
8 the care of this patient population and if an  
9 organization wanted to make that investment  
10 and they wanted to do it fairly and equitably,  
11 I don't see why that should be a barrier.

12 DR. KOTTKE: Putting my insurance  
13 company hat on, I don't see why an insurance  
14 company that acts on behalf of a patient  
15 shouldn't expect that a hospital provides good  
16 care.

17 Judd?

18 DR. HOLLANDER: My spine tingles  
19 every time I hear the word defect free care.  
20 And I almost want to vote against the measure  
21 just for its name because you could rupture  
22 the heart in a cath. lab. You could get a

1 groin hematoma. You could actually die and  
2 you could be getting defect free care. So I  
3 urge the developers to change the name. It's  
4 really guideline compliant care and it's  
5 really 2014 guideline compliant care because  
6 in a year or two there will be new data and  
7 something else will be added and you're not  
8 even attacking everything. But it is not  
9 defect free care. It is just a composite of  
10 these 11 measures.

11 And so I think consumers will  
12 totally misinterpret it.

13 DR. KOTTKE: Tom?

14 DR. JAMES: Let me just start off  
15 by just complimenting your organization.  
16 George told me about with composite measures  
17 in diabetes that the all or none phenomena  
18 with HealthPartners in Minnesota was that very  
19 few physicians, internists, were able to  
20 achieve all elements of their composite  
21 measure until the insurance companies started  
22 saying this is what it is. The ACP got behind

1     it. Other state organizations got behind the  
2     quality measures and guess what? Minnesota  
3     proved to the rest of us that composite  
4     measures really do serve as a lever to improve  
5     care. After all, the point of all of this is  
6     people. It's caring for people. It's not  
7     just an academic exercise.

8                     I have some strong feelings here.

9                     (Laughter.)

10                    DR. KOTTKE: How do you really  
11     feel, Tom?

12                    Any other comments or can we vote  
13     on feasibility of the composite? Let's vote  
14     on feasibility of the composite.

15                    MS. LUONG: Timer starts now. One  
16     is for high. Two is for moderate. Three is  
17     for low. And four is for insufficient.

18                    Seven voted for high. Twelve for  
19     moderate. And two for low.

20                    DR. KOTTKE: Usability and use.  
21     Liz?

22                    MS. DeLONG: Well, it is obviously

1     being used in Get With The Guidelines. As a  
2     follow up to Sana's comment, I'm not sure what  
3     percent of patients are actually being  
4     evaluated under those circumstances.

5     Apparently, there has been an improvement in  
6     not only the measure, but an accompanying  
7     improvement in mortality. So it apparently  
8     works despite the complexity.

9             DR. CURTIS: Just to clarify. I  
10    don't think we have evidence that improving on  
11    this measure improves your mortality. What we  
12    have is an association between a hospital's  
13    performance on this composite measure and  
14    their in-hospital mortality. So it's an  
15    association. It's not causality, but yes,  
16    there is some evidence to suggest that if you  
17    do well, your patients do better.

18            Yes, Christine.

19            MS. STEARNS: There's been a lot  
20    of comments previously about the benefit to  
21    consumers who are looking for information, the  
22    quality of care at a particular hospital.

1     Could you just comment about how this might be  
2     used for public reporting.  It's mentioned  
3     that that's something that's planned.  Could  
4     you just comment a little bit about that?

5                     DR. CURTIS:  So I think the  
6     American College of Cardiology and again, if  
7     I go off track somebody correct me, but they  
8     have a true commitment to increasing their  
9     investment in public reporting as a lever to  
10    get hospitals to focus even more efforts on  
11    improving quality of care.

12                    So they are in the process of  
13    creating a mechanism, a pathway by which  
14    public reporting can be achieved and there has  
15    been a pilot and specifically PCI 30-day  
16    readmission rates was publicly reported in a  
17    voluntary session among hospitals that were  
18    participating in the CathPCI Registry and they  
19    had the option of opting in or out of  
20    voluntary public reporting that was on both  
21    hospital compare as well as internal American  
22    College of Cardiology website.  So I wouldn't

1 say the pathway is well demarcated at this  
2 point, but there is a pathway and I would  
3 imagine that this would follow a similar path.

4 MR. CHIU: The only thing I would  
5 add to your comment, Curtis, is that work  
6 group is kind of deciding the measures of the  
7 public reporting initiative, actually  
8 partnered, ACC has partnered with HRS and STI  
9 as well, so we have kind of partnerships, it  
10 isn't just ACC kind of going at it alone. So  
11 you'll see obviously PCI, that's our more  
12 robust registry and has been here for over ten  
13 years as yesterday we alluded to the 133, the  
14 mortality measures that gone through three or  
15 four cycles. So hopefully, the actionable  
16 ones, hopefully, this gets endorsed. This  
17 will be kind of a similar thing. But action,  
18 this measures specifically is planned to be  
19 potentially in the future, sooner rather than  
20 later, we hope in that portfolio. But I can't  
21 speak for that committee and jump the gun on  
22 that, but they wanted to see it gets endorsed

1 at NQF first and then get that in the program,  
2 in the public reporting initiatives.

3 DR. KOTTKE: Further comments on  
4 usability and use? Seeing no movement, let's  
5 vote.

6 MS. LUONG: Timer starts now. One  
7 is for high. Two is for moderate. Three is  
8 for low. And four is for insufficient  
9 information.

10 Can everyone just point to me and  
11 vote one more time? Thank you. Keep pushing  
12 it.

13 Six voted for high, 14 for  
14 moderate; and 1 for insufficient information.

15 DR. KOTTKE: Okay, now we'll vote  
16 on overall.

17 MS. LUONG: Timer starts now. One  
18 is for yes. Two is for no.

19 Nineteen voted for endorsement.  
20 Three voted no.

21 DR. KOTTKE: Congratulations.  
22 Thank you for your time.



1                   Reva, break until 10:00? Okay,  
2                   we'll break until 10:00. Thank you everybody  
3                   for your thoughtful comments.

4                   (Whereupon the above-entitled  
5                   matter went off the record at 9:44 a.m. and  
6                   resumed at 10:00 a.m.)

7                   DR. KOTTKE: This is for people to  
8                   draw terms. I'll -- Lindsey will tell us how  
9                   this is going to work since I don't know.

10                  MS. TIGHE: As you all may recall  
11                  when you initially signed up for this, there  
12                  was an option of a two-year or a three-year  
13                  term for the Standing Committee. So we're  
14                  going to split it 50-50 just so everybody  
15                  isn't recycling at the same point in time.  
16                  But I will caveat that if you are interested  
17                  and you draw either a two-year or a three-year  
18                  term, our policy does allow for you to serve  
19                  two consecutive terms. So even if you draw a  
20                  two-year term, at the end of the two years you  
21                  could reapply and nominate yourself again to  
22                  participate. So you could be on this for a

1       very long time if you wanted to be. But Wunmi  
2       is going to come around and let you draw  
3       either a two- or three-year term. And Vy will  
4       record the results of that.

5                 DR. GEORGE: Mary George, three-  
6       year term.

7                 MS. TIGHE: And I will jump in,  
8       Jeff, Ted, and George, I'll be drawing for you  
9       as your proxy just like I've been voting for  
10      you.

11                DR. KOTTKE: Tom Kottke, three  
12      years.

13                MS. TIGHE: Jeff Burton, two-year  
14      term. George Philippides, two-year term. And  
15      Ted Givens, three-year term.

16                MS. STEARNS: Christine Stearns,  
17      two-year term.

18                DR. HOLLANDER: Judd Hollander,  
19      two years.

20                MS. STEARNS: Two-year term.

21                DR. HOLLANDER: Judd is two.

22                DR. CLEVELAND: Joe Cleveland,

1 three-year sentence.

2 (Laughter.)

3 DR. JAMES: Tom James, two-year  
4 term.

5 MS. HILLEGASS: Joe, I'm serving  
6 with you for three years.

7 (Laughter.)

8 DR. VIDOVICH: Mladen Vidovich,  
9 three-year term.

10 MS. DeLONG: Liz, three-year term.

11 DR. RUGGIERO: Nick Ruggiero, two-  
12 year term.

13 MS. BRIGGS: Linda Briggs, three-  
14 year term.

15 DR. CROUCH: Michael Crouch,  
16 three-year term.

17 MR. MARRS: Joel Marrs, two-year  
18 term.

19 DR. SPANGLER: Jason Spangler,  
20 three-year term.

21 MS. MITCHELL: Kristi Mitchell,  
22 three-year term.

1 DR. CHO: Leslie Cho, three-year  
2 term.

3 DR. AL-KHATIB: Sana Al-Khatib,  
4 two-year term.

5 DR. TING: Henry Ting, two-year  
6 term.

7 DR. KOTTKE: Thank you, everybody.

8 MS. ISIOJOLA: Wait, Carol Allred,  
9 two-year term.

10 DR. SPANGLER: Sorry, quick  
11 question. The terms started January 1 or  
12 before that? I'm trying to remember.  
13 November?

14 DR. WINKLER: Essentially, the  
15 beginning of the year.

16 (Pause.)

17 DR. GEORGE: We will go ahead with  
18 Measure 0642, cardiac rehab. Do we have our  
19 measure developer representatives for these  
20 measures? Go ahead, yes.

21 MR. LICHTMAN: Good morning. I am  
22 a rookie. Good morning. It's my pleasure to

1 be here and I thank you for inviting me and my  
2 colleagues up above on the telephone. I'm  
3 Steve Lichtman. I work at Helen Hayes  
4 Hospital in New York and I've been doing  
5 cardiac rehab. as the director of the program  
6 there for 22 years and I'm also the ex-  
7 president of the American Association of  
8 Cardiovascular and Pulmonary Rehab., one of  
9 the organizations developing this measure.

10 We also have on my team or on the  
11 team, Randy Thomas, a cardiologist from Mayo  
12 Clinic; Marge King, a cardiologist from my  
13 hospital, Helen Hayes Hospital; and Karen  
14 Louie, from GRQ, who is a legislative expert  
15 on cardiac rehab and all three of them are  
16 also ex-presidents of AACVPR.

17 So I thank you for looking over  
18 this measure that we're presenting. The  
19 primary goal of our measure is very simply  
20 put. It's to get as many individuals into  
21 cardiac rehab with the appropriate diagnoses  
22 as possible. And the basis of the measure

1 looking at referral is that referral is the  
2 first step in the process that drives  
3 enrollment. And without referral, there's no  
4 enrollment. So the committee decided many,  
5 many years ago to concentrate on referral as  
6 that is the primary driving force to  
7 enrollment or the only driving force to  
8 enrollment and cardiac rehab.

9 Just as a quick overview of  
10 cardiac rehab, it's a multi-disciplinary  
11 approach to the healthcare of a patient with  
12 cardiac disease. It's a very low cost, very  
13 highly effective method of treating patients  
14 with cardiovascular disease. It impacts  
15 significantly on the mortality, the morbidity  
16 and the quality of life of the patient. There  
17 is tremendous amount of literature on the  
18 benefits of cardiac rehab. It's rated as a 1A  
19 recommendation for most patient populations,  
20 so there's an extreme benefit and need for  
21 patients post-cardiovascular event to get into  
22 cardiac rehabilitation.

1                   However, there's a tremendous gap  
2                   in referral and enrollment. Despite the  
3                   numerous and documented benefits of cardiac  
4                   rehab, back when we started this in 2007, and  
5                   I jumped in on the committee around 2010, the  
6                   enrollment rate in cardiac rehab was  
7                   nationally somewhere, depending on the  
8                   articles that you read, somewhere between 18  
9                   and 35 percent. CC adaptation of this  
10                  measure by NQF with its endorsement previously  
11                  that has increased, but it's still short of  
12                  anything that we would want in the cardiac  
13                  rehab field. We would look for enrollment and  
14                  referral rates upwards of 80 and 90 percent is  
15                  what we would want, probably never reaching  
16                  100 percent because not every patient is  
17                  eligible. There are medical conditions that  
18                  are exceptions, insurance exceptions, et  
19                  cetera.

20                  These gaps in referral have been  
21                  documented in numerous articles, so there's a  
22                  clear need for a driving force nationally to

1 get patients referred to cardiac  
2 rehabilitation.

3 We see a clear increase in  
4 enrollment in cardiac rehab from increases in  
5 referral. There have been studies by Sheri  
6 Grace up in Canada and also Phil Ades here in  
7 the United States where when they put in  
8 automated systems to increase referral, they  
9 get a tremendous and dose response and an  
10 increase in enrollment.

11 These measures are what I consider  
12 point of contact measures. This measure is a  
13 point of contact in the in-patient setting  
14 that every patient with an eligible diagnosis  
15 should be leaving in-patient settings with the  
16 appropriate cardiac diagnosis with a referral  
17 to cardiac rehab, and the measure points out  
18 it's not just referral. Referral alone is not  
19 sufficient. And that's clear in some of the  
20 Grace articles where referral systems alone  
21 don't work. You also need communication  
22 systems.



1                   And the measure states that an  
2                   appropriate referral includes the referral,  
3                   but it also includes the communication from  
4                   the in-patient setting to the cardiac rehab  
5                   setting such that the cardiac rehab setting  
6                   receives the referral, but it also receives  
7                   the referral and patient information so that  
8                   they can take the ball at that point and  
9                   enroll the patient.

10                  Physicians have little control  
11                  over enrollment. They have control over  
12                  referral. And that's really what this measure  
13                  is concentrating on. Getting the physicians  
14                  to refer patients to a low-cost, highly-  
15                  effective method of treating cardiac patients  
16                  in terms of mortality, morbidity, and quality  
17                  of life.

18                  DR. GEORGE: Thank you for that  
19                  introduction.

20                  Leslie.

21                  DR. CHO: So thank you so much and  
22                  I think that no one disputes the benefit of

1 cardiac rehab, the great improvement in  
2 patient care when patients are enrolled into  
3 a cardiac rehab. Now this is a process  
4 measure and I think that one of the key things  
5 about this measure that I struggle with is  
6 that I know doubt the great benefit of cardiac  
7 rehab, but the enrollment referral does not  
8 equal in moment and I read through the -- and  
9 thank you for providing all the back  
10 documentation. I read through the Grace  
11 articles and I read through some of the other  
12 components that are included in the background  
13 for this. And that is one of my biggest  
14 problem with this measure.

15 And I think when we vote for  
16 evidence, the evidence that we're voting for  
17 is actually the evidence -- what we really  
18 want to get at is enrollment. But what we're  
19 voting for is referral because you can't get  
20 to enrollment until you get -- until you have  
21 referral. And I think that if we follow the  
22 NQF algorithm for the strength of evidence,

1     it's moderate because referral is not one-to-  
2     one with enrollment, even though it's the  
3     first process in the enrollment.

4                   MR. LICHTMAN:  I can appreciate  
5     that point of view because they are two  
6     separate and distinct processes and the  
7     committee took a purposeful stance way back  
8     when on concentrating on referral because  
9     that's really what the physician can control  
10    at the point of contact.  It's very difficult  
11    to control enrollment once it's in the  
12    patient's hands.  So by concentrating on  
13    referral, we felt that we would really have  
14    the physician responsible for what they're in  
15    control for and they are clearly in control  
16    for referral.

17                   DR. CHO:  I totally understand.  
18    We understand, but based on the algorithm,  
19    based on the NQF algorithm, because referral  
20    is not one-to-one with enrollment, it is a  
21    moderate based on the evidence --

22                   MR. LICHTMAN:  I agree, it's

1 clearly not one-for-one, but also I think if  
2 you look at the articles which you did, there  
3 is a dose response that there's a relationship  
4 between increase -- understood.

5 DR. GEORGE: Ellen.

6 MS. HILLEGASS: I, too, am a  
7 strong proponent of cardiac rehab. And I have  
8 to tell you that I don't think there's a  
9 person in this room that doubts the evidence  
10 or doubts the indications for cardiac rehab or  
11 doubts the outcomes from cardiac rehab. That  
12 said, we have to look at the question. And  
13 the question is does the evidence show a  
14 relationship and the problem is the evidence  
15 is related to the outcome of cardiac rehab.  
16 And so when we're voting, I think we need to  
17 keep that in mind, as Leslie said, because  
18 we're not voting on referral. The evidence is  
19 on outcome, I'm sorry, long morning. The  
20 evidence is on outcome, but it's not on  
21 referral. So the weakness is the referral.

22 And we had this discussion

1       yesterday when it came to adherence with  
2       medications. You can hand the prescription  
3       over. You can actually send it in to the  
4       Rite-Aid or whatever, and the person can pick  
5       it up. But then you don't know if they  
6       actually take it. So we did have this similar  
7       discussion yesterday of adherence to  
8       medication.

9                       But keep in mind that the evidence  
10       is really strong for the outcome. So part of  
11       my question is that is the evidence strong  
12       enough at the level of moderate showing that  
13       there's not strong evidence for referral, but  
14       there is very strong evidence for outcome.

15                      DR. THOMAS: This is Randy Thomas.  
16       I'm on the line. Do you mind if I just say  
17       something really quickly?

18                      DR. KOTTKE: Go ahead, Randy.

19                      DR. THOMAS: Can you hear me okay?  
20       I apologize. I'm seeing patients and I'm just  
21       kind of on for a couple of minutes. I just  
22       wanted to make a quick statement.

1 I can understand the discussion  
2 points and concerns about direct evidence on  
3 enrollment and completion of rehabilitation  
4 and there's -- I guess what I would say is if  
5 you look at the strength of the evidence from  
6 the perspective of okay, if you take a patient  
7 in the hospital and someone is referred to a  
8 rehabilitation program and someone is not,  
9 where is the strength of evidence showing that  
10 the person referred to the rehab program is  
11 going to have a better outcome than the  
12 patient who is not referred?

13 And the evidence, I would say, is  
14 above moderate that the person who is referred  
15 to a program is going to have a much better  
16 outcome than the patient who doesn't get  
17 referred. And so although if you look for  
18 specific studies looking at the correlation  
19 between referral and enrollment and  
20 completion, and just like you're saying  
21 medication is the same thing. You're not  
22 going to find a complete correlation. But if

1 the question is taking a step back at the  
2 level of the hospital, if you compare a  
3 patient who doesn't get referred the outcome  
4 is much, much worse than those who don't get  
5 referred. And that's really the key point to  
6 this measure. It's the first step. It's the  
7 key step. It's probably 70, 80 percent of the  
8 battle to get them referred to the program.  
9 Now they may not go for various reasons.  
10 Sometimes because of the patient or for other  
11 reasons, but the referral is the key thing  
12 that the provider has control over and that's  
13 the reason why the focus of this measure was  
14 on referral.

15 DR. KOTTKE: If I could just jump  
16 in and remind people even if it is only  
17 moderate evidence that we would still go ahead  
18 and can endorse.

19 DR. GEORGE: Other discussion?

20 DR. CHO: I say we vote.

21 DR. GEORGE: We'll vote on the  
22 evidence.

1 MS. LUONG: Timer starts now. One  
2 is for high. Two is for moderate. Three is  
3 for low. Four is for insufficient evidence  
4 with exception. And five is insufficient  
5 evidence.

6 For the evidence criteria, 19  
7 voted moderate and 3 voted low.

8 DR. CHO: Next is the opportunity  
9 aspect and the performance gap in the measure  
10 and clearly cardiac rehab is only being  
11 utilized in less than 15 percent of our PCI  
12 patients and 30 percent of our CABG patients  
13 and clearly there's an incredible need for  
14 performance gap narrowing. There is a  
15 disparity among minorities and among women and  
16 I think that in regards to opportunities for  
17 improvement it's quite high. I mean we have  
18 huge room for improvement.

19 DR. GEORGE: Liz?

20 MS. DeLONG: How does that relate  
21 to insurance status? Are we talking about  
22 improving insurance as well?



1 DR. CHO: No, no, no. You are  
2 absolutely right. There's data out there from  
3 the rehab literature saying that patients  
4 obviously, insurance is a big factor. Where  
5 they live is a big factor. How close they are  
6 to a rehab center is a big factor. There's a  
7 lot of issues. But I think the problem is is  
8 that traditionally if you look at all the  
9 rehab studies, women and minorities always  
10 have less enrollment even if they have similar  
11 insurance. Women, because they are maybe  
12 taking care of their family or there is some  
13 transportation issue, women tend to be older  
14 when they have their MI. There's some other  
15 issues and I think regardless of that, I think  
16 the performance gap for cardiac rehab is  
17 significant.

18 MS. DeLONG: Just concerned about  
19 once again public reporting and if a hospital  
20 sees primarily patients who don't have  
21 adequate insurance, is that a problem?

22 DR. CHO: So at the Cleveland

1 Clinic, I can speak for the Clinic. We give  
2 a referral to our cardiac rehab patients  
3 regardless of their insurance status. And so  
4 they come to the Cleveland Clinic and if they  
5 have no insurance, we will provide free  
6 cardiac rehab.

7 MR. LICHTMAN: Just to follow up  
8 on that and I wish I could have summarized  
9 that as well as you did, the diagnoses  
10 included in the measure are almost universally  
11 accepted by insurance companies. Medicare  
12 covers all of them. The private insurances  
13 are rare that won't cover all of the  
14 diagnoses. It's more if the hospital  
15 participates in the insurance as opposed to  
16 the insurance coverage. So as long as the  
17 hospital makes contracts with the private  
18 insurers, they tend to cover all of these  
19 diagnoses.

20 It's really people with no  
21 insurance where the issue would lie and  
22 hopefully with healthcare reform that will

1     become less and less of an issue as we move  
2     along and many programs do provide  
3     scholarships, as we call them in the business,  
4     for patients who are uninsured or under  
5     insured. So insurance, while it is one of the  
6     documented barriers to cardiac rehab, is  
7     really in this instance not a major barrier to  
8     cardiac rehab. It's a surmountable barrier in  
9     the cases that we see.

10                 DR. GEORGE: Ellen, I didn't know  
11     if you had anything to add?

12                 MS. HILLEGASS: I would just say  
13     that I agree with what Steve said and that  
14     insurance is definitely not a problem. I  
15     would say one of the problems is probably  
16     distance and location of the cardiac rehab.  
17     And so that is a limitation, but that's not in  
18     this problem right now.

19                 DR. GEORGE: Any other comments on  
20     the gap and disparities? All right, we'll  
21     vote.

22                 MS. LUONG: The voting starts now.

1 One is high. Two is moderate. Three is low.  
2 And four is insufficient. For performance  
3 gap, 17 voted high and 5 voted for moderate.

4 DR. CHO: Next is priority. To  
5 summarize George who was here yesterday, it's  
6 CAD, bypass, PCI, acute MI, cardiac vas.  
7 surgery, cardiac transplant, so a very high  
8 priority in terms of prevalence and the type  
9 of patients that we want to serve using these  
10 quality metrics.

11 DR. GEORGE: Any discussion on the  
12 priority?

13 DR. KOTTKE: I would only add that  
14 also change in outcomes is large.

15 MS. TIGHE: George, thank you for  
16 quoting me. He feels honored.

17 (Laughter.)

18 DR. GEORGE: If not, we'll vote on  
19 the priority.

20 MS. LUONG: Voting starts now.  
21 One is high. Two is moderate. Three is low.  
22 And four is insufficient. For priority, 20

1       voted high and 2 for moderate.

2                   DR. CHO:  It's not a composite  
3       measure.  Yay.

4                   (Laughter.)

5                   So the next comes scientific  
6       acceptability.  So the measure is -- the  
7       numerator of the measure is people who have  
8       had MI, unstable angina, we'll talk about some  
9       of these components which are a little bit  
10      problematic.  People who have had bypass, PCI,  
11      valve surgery, cardiac transplant, who is  
12      referred to cardiac rehab.  And the  
13      denominator is the -- all of these people.

14                  My three big issues with this  
15      besides going through the exclusion criteria,  
16      my big three issues is that number one,  
17      predominantly this was based on the ACC PCI  
18      database as well as Get With The Guidelines  
19      database and not with the STS and not with the  
20      surgical database.  So there is a component of  
21      the patient population that is missing.  And  
22      the small amount of patients with 234 patients

1 in the ACC/AHA, APCVVR database -- something  
2 like that -- probably included the valve  
3 surgery and the transplant and what not, but  
4 the majority of this data comes to us from a  
5 PCI database. That's my number one problem.

6 Number two problem, is that  
7 chronic stable angina is very difficult to get  
8 at regardless of which database you use.  
9 That's my other sort of big problem. And I  
10 think that in terms of the -- how the PCI and  
11 the bypass patients or patients with MI, I  
12 think the scientific acceptability is quite  
13 high because those are easy patients to get.  
14 It's the other patients that are a little bit  
15 problematic.

16 MR. LICHTMAN: We actually broke  
17 down the data a little bit and looked at that  
18 and we saw that we did have significant  
19 amounts of PCI in MI patients, but we also had  
20 a representative sample of coronary artery  
21 bypass graft patients. I think this is  
22 somewhat reflecting the national trend where

1 we're seeing less bypass patients and more PCI  
2 patients overall.

3 I agree, very difficult to get the  
4 stable angina patients. That's a very small  
5 number of our database. But I think we do  
6 have a fairly good representative sample of  
7 the CABG patients. Heart transplants are very  
8 low because they're very low nationally, so I  
9 don't think we could get any more of those.

10 I really think we have a good,  
11 overall view of this with the exception  
12 perhaps of the stable angina patients.

13 DR. CHO: And they're outpatients?

14 MR. LICHTMAN: They're also less  
15 represented, I think, overall in the inpatient  
16 setting. You don't see them as often in the  
17 inpatient setting.

18 DR. KOTTKE: Why would you admit  
19 somebody with stable angina unless they're  
20 having like a hip transplant?

21 DR. CHO: What about valves?

22 MR. LICHTMAN: We have far less

1 valve surgery patients, but still some, far  
2 less in this database. I think just because  
3 of the nature of the reporting of that. But  
4 overall, when you look at the reliability  
5 overall, I think it's very good and rates very  
6 highly.

7 DR. CHO: It's a reliability based  
8 on the majority of your data is based on the  
9 ACC/PCI database and Get With The Guidelines.  
10 That's where the majority of your data comes  
11 from. So actually there are a huge number of  
12 patients actually that do not fall into the  
13 ACC/PCI database, nor the Get With The  
14 Guidelines database that go for valve surgery  
15 and whatnot, you don't get those patients.

16 MR. LICHTMAN: I think valve is  
17 probably the one that's lacking. I don't want  
18 to jump ahead to another measure, but stable  
19 angina would fall more into another measure  
20 than this measure. We really don't see those  
21 coming from the inpatient setting. I agree we  
22 need more valve.



1 DR. CHO: In the ideal world, the  
2 way this data would be captured is through  
3 electronic medical record, maybe CMS or  
4 whatever, but the way this is currently stated  
5 in the measure is basically to get it at it  
6 from the ACC/PCI database and Get With The  
7 Guidelines database. Thus, eliminating other  
8 set of patient population that would benefit  
9 from cardiac rehab as well, but that don't get  
10 captured into those two databases.

11 MR. LICHTMAN: Yes, on the other  
12 hand, if you extrapolate from what we have, I  
13 don't see a rationale for coming up with a  
14 theory that would say valve patients, the  
15 reliability for those patients in terms of the  
16 measure would be any different from anybody  
17 else.

18 DR. CHO: It's not the  
19 reliability. That's what I'm asking. I think  
20 reliability is clear for your PCI and your  
21 bypass patients. That's clear. I guess my  
22 concern is is that who said the unknown

1 unknown yesterday? Who was it that said it?  
2 Anyway -- was it George again? It's the  
3 unknown unknown that you don't know how many  
4 valve patients that you're missing because the  
5 database that you're extrapolating your --  
6 that is being reported to the measure -- to  
7 NQF, is using only the two -- two of those  
8 databases.

9 MR. LICHTMAN: All I can comment  
10 on is that we're not without them and I really  
11 don't see a rationale. I understand the data  
12 is on all the patients that you would want,  
13 but looking at the reliability of the measure  
14 within specific patient populations should be  
15 similar.

16 DR. GEORGE: Ellen and then Liz.

17 MS. HILLEGASS: The point I wanted  
18 to bring is on the phone we discussed this and  
19 we also talked about the fact that just  
20 because they're given the referral and even  
21 though you quote here referral is defined as  
22 an official communication between the

1 healthcare provider and the patient to  
2 recommend and carry out a referral order to an  
3 early outpatient cardiac rehab program, many  
4 of the patients may have their procedures for  
5 their admissions in a tertiary center and go  
6 home to their private physician and then that  
7 physician may not refer them. They may have  
8 gotten their referral at the other place, but  
9 their primary physician may not refer them.  
10 So we're not picking up -- your data hasn't  
11 picked up losses in that data.

12 And I think that's a problem and  
13 maybe Kristi can address this as a patient.  
14 Patients are more likely to follow a referral  
15 from their private physician versus the  
16 tertiary center, but the tertiary center may  
17 actually give the referral, so you could check  
18 that off, they've been referred. And so --  
19 but actually, they aren't by their primary  
20 physician, so they don't actually go to  
21 cardiac rehab.

22 And so how reliable is referral

1     when you're talking about one physician may  
2     refer them, but the private physician may not,  
3     so the patient wouldn't go. Does that make  
4     sense or did I get you all confused?

5             DR. KOTTKE: I believe that is  
6     covered with the next measure 0643 which is  
7     referral from an outpatient center.

8             MR. CHIU: If I can step in real  
9     quickly. I think it's a great point you bring  
10    up. There are missed opportunities in  
11    inpatient settings. So the thought is again  
12    not to jump to 0643, but you kind of have to  
13    discuss the others in some kind of paired way  
14    to the discussion.

15            I think we discussed yesterday and  
16    the pair would be -- I think our thought was  
17    the first opportunity, heart attack or CABG  
18    procedure you go in as an inpatient 0642. You  
19    would hope the doctor would send a referral.  
20    If they don't, then we would assume they would  
21    go to their private doctor or something in the  
22    suburbs or something else.

1                   And 0643 then would actually  
2                   capture that patient. If they're already  
3                   referred, then that's captured. They don't  
4                   have to do it again. They don't have to refer  
5                   it again, but if they've not been referred  
6                   there's an opportunity again to then refer.

7                   If I can circle back to Dr. Cho's  
8                   point real quick about STS. I think that's a  
9                   really good point. I think that is a  
10                  limitation because we're kind of -- we're kind  
11                  of centric to ACC, Get With The Guidelines.  
12                  I think that's a great point you're bringing  
13                  up. If I'm correct, I can't speak for STS.  
14                  I do think STS has this inpatient measure in  
15                  the registry, so I can't speak to their  
16                  numbers, but we could as an action item follow  
17                  up with STS then to see for the valve surgery.

18                  Now the other pieces we would have  
19                  and they might have that other piece then to  
20                  get at their liability, I think that's the  
21                  point that you're trying to bring up. That  
22                  would be that point.

1 MS. MITCHELL: Jensen, you stole  
2 my thunder. That's exactly what I was going  
3 to recommend.

4 MR. CHIU: I'm sorry about that.

5 MS. MITCHELL: I mean I'm almost  
6 positive that STS has that information in the  
7 database. And we know that the TAVR registry  
8 has it.

9 MR. CHIU: Right, right.

10 MS. MITCHELL: I would presume  
11 that it would be in there. So could you, as  
12 an action item, taking the calculation  
13 algorithm and applying it to a broader set of  
14 data.

15 MR. CHIU: We can take a look at  
16 that, yes.

17 DR. CHO: And Jensen, I just want  
18 to ask so I understand the piece of paper that  
19 the patient gets for referral, but how do you  
20 validate when if the hospital center referral  
21 to the cardiac rehab facility, how is that  
22 coded in the NCDR? We participate in the

1 NCDR. And I'm not sure how that circles back.

2 MR. CHIU: I think, unfortunately,  
3 I think the reliability is a little bit  
4 weaker. It's just a challenge in trying to  
5 connect the dots per se. There's a challenge  
6 I think kind of circling back. I think our  
7 old kind of 0642 is simply you're referred and  
8 there's like no questions asked. That's not  
9 really valid. The doctor says well, is the  
10 referring site ready to get the paperwork and  
11 all that?

12 Now getting to that next part,  
13 we've actually built that into all their data  
14 dictionaries about jumping to 0643, the  
15 PINNACLE outpatient and action and the path  
16 PCI. In terms of auditing that, I do admit  
17 that is a weaker element. This is the  
18 communication piece. I mean we realize going  
19 into this that we constantly get dinged in  
20 that. We would rather have not had our  
21 original measure. You just simply refer then  
22 it's higher rates, but that's kind of

1       meaningless, because --

2                   DR. CHO: Now that, you know, like  
3       Get With The Guidelines has shown us that  
4       initially when we started it was 56 percent  
5       referral and now you are at 75. Has the  
6       enrollment also increased? Is there data for  
7       that?

8                   MR. CHIU: I don't believe we have  
9       data for that unfortunately. It's hard to --  
10      I guess the point is we prefer to have  
11      enrollment.

12                  DR. CHO: Yes, yes. And you know,  
13      one of the things I was actually last night I  
14      was trying to look through the Internet, Mr.  
15      Google, on whether the Get With The Guidelines  
16      increase in referral has translated into  
17      increase in enrollment and actually all I  
18      could find is pretty steady rehab enrollment  
19      rate across the country. And I think that  
20      would be another sort of interesting thing.  
21      ACC has this great amount of information and  
22      it would be great, I think to have that in the



1       measure to sort of validate.

2                   MR. LICHTMAN: I completely agree.  
3       The ultimate goal is enrollment. But I don't  
4       think it belongs in this measure. I think  
5       that may be a separate measure in the future.  
6       If you have referral and enrollment in the  
7       same measure, there's going to be a lot of  
8       confusion on the point of contact, we believe.  
9       I agree 100 percent. That's the ultimate  
10      measure and we need to move on to that  
11      eventually.

12                  MR. CHIU: Like a paired measure.  
13      I think what you were trying to bring up is  
14      you have one measure and you don't know if  
15      they're enrolled they would they even get a  
16      referral, so you're dinging the doctor because  
17      they never even got referred.

18                  MR. LICHTMAN: Right.

19                  MR. CHIU: That's to Dr. Cho's  
20      point, if we have a paired measure, then the  
21      doctor knows locus control, referral,  
22      enrollment for the payers and purchasers at

1 the site.

2 DR. AL-KHATIB: I am actually  
3 struggling with the construct of this  
4 performance measure for several reasons. The  
5 first concern I have is I see this as being  
6 somewhat far removed from the outcome that  
7 we're hoping to achieve and this is just a  
8 check box that somebody says yes, we referred  
9 and to me, perhaps coupling that at least, at  
10 a minimum I would like to see that being  
11 coupled by maybe counseling the patient about  
12 the value of rehab. I mean you could refer  
13 and you could check a box a say yes, we  
14 communicated to the patient the value of  
15 rehab, but I don't know that that really gets  
16 to core of what you're trying to achieve here  
17 because part of the thing and I was looking at  
18 the exclusions that you list here and

19 I completely agree with if the  
20 patient died, you can't refer them. But I  
21 also think that you really need to probably  
22 exclude patients who refuse to go to rehab

1     because how many times do we talk to the  
2     patient and some patients are not motivated to  
3     go. And this is not something that I should  
4     be penalized if the patient decides after you  
5     give them all the information that they need  
6     about the value of rehab and everything else,  
7     if they decide they don't want to go, it is a  
8     commitment, and the patient has to be sold.

9             MR. CHIU: Refusal exclusion to  
10    that point. When this measure was first  
11    submitted in the care coordination project,  
12    actually that steering committee actually  
13    thought patient refusal should not be a way to  
14    game the system. So we originally actually  
15    had the measure where patient refusal was an  
16    exclusion, that we actually just changed  
17    because that steering committee felt really  
18    strongly that patient refusal should actually  
19    not be "an excuse" of that --

20            We hear your point, so we actually  
21    switched our -- we can switch it again back to  
22    this -- a few votes on that. But the vote was

1     that patient refusal was -- it was out of the  
2     locus of control. That's, you know, we first  
3     argued, then we switched it back. So we  
4     figured -- still that's kind of a binding  
5     thing and we can switch it back. Care  
6     coordination at the time felt strongly that  
7     patient refusal, if you have patient refusal  
8     you should at very least still refer them.  
9     It's up to them if they want to enroll,  
10    basically.

11               DR. KOTTKE: I think that's the  
12    point that this is about referral and you can  
13    still refer the patient even though the  
14    patient says I'm not going.

15               MR. LICHTMAN: And also when you  
16    dig down and you look at why we're doing this,  
17    this is really behavior modification. This is  
18    to get increased referrals from physicians and  
19    if they see nationally eventually when if this  
20    measure is accepted, they're on the low end,  
21    hopefully that will drive them to do exactly  
22    what you're saying. To not only just say

1     okay, I referred my patient. My job as a  
2     physician is done. And we don't want that.  
3     We do want people to understand why they're  
4     going into cardiac rehab.

5                 I'm not a physician. I am an EdD,  
6     but I do the intakes for our cardiac rehab  
7     patients and when they do come in, I spend -  
8     -they're there already. They've already  
9     committed to one visit. I spend at least 15  
10    or 20 minutes going over the benefits of  
11    cardiac rehab. I don't think a physician  
12    would have the time to do that, but certainly  
13    a little bit of education goes a long way with  
14    this patient population.

15                DR. AL-KHATIB: I completely agree  
16    with you. It doesn't have to be done by the  
17    physician, but there are many members on the  
18    team that could potentially play that and I  
19    wonder if this is something that we could  
20    request in terms of modifying the performance  
21    measure to say referral and counseling.

22                DR. GEORGE: I'm going to take

1       them in the order I saw them. I think Ellen  
2       and then Liz and Judd.

3                   MS. HILLEGASS: The one thing I  
4       did want the group to talk to, we also spoke  
5       about the exclusion criteria and particularly  
6       where it says the healthcare system factor the  
7       program is within 60 minutes. Personally,  
8       having run cardiac rehab, 60 minutes is a long  
9       time to drive two to three times a week. So  
10      I have trouble with that in the denominator.  
11      I'd like to know your data on that and your --

12                  DR. CHO: So I read through their  
13      data and it comes to us via Canada, I think.  
14      Canada has the best data for that. And there  
15      is a sharp decline after the 60 minute cutoff  
16      to their credit. And I agree with you,  
17      practically, because truly the number of  
18      patients that were enrolled who live 60  
19      minutes away was like in single digits. But  
20      just the way murky statistics worked out, 60  
21      minutes was the cutoff. But I think  
22      traditionally, 30 minutes has been used in the

1 past. And I think that for all intents and  
2 purposes I think 30 minutes for patients,  
3 especially elderly patients and what not, I  
4 think that's a reasonable thing.

5 I think that just to talk about  
6 patient refusal, it's like smoking cessation.  
7 We give smoking cessation counseling and they  
8 refuse, but we still have to do it. So it's  
9 like that.

10 MS. DeLONG: I am now totally  
11 confused. I would have expected absolutely no  
12 discussion on this measure because it is  
13 exactly one of the measures that was  
14 incorporated in what was called defect free  
15 care and that generated no discussion.

16 DR. CHO: Well, the defect free  
17 care, if you look at it, it just says referral  
18 to a cardiac rehab and it's only for AMI.  
19 It's only for patients with MI. Not if you  
20 had an elected PCI, not if you had an elected  
21 bypass surgery. You know what I mean? Not if  
22 you had elected valve surgery. So that is a

1       small --

2                       MS. DeLONG:   That's a small  
3       population that is a subset of this.

4                       DR. CHO:    Correct.

5                       MS. DeLONG:   The issues that are  
6       coming up are related to referral versus  
7       actually enrolling.

8                       DR. HOLLANDER:   I am with Sana and  
9       others on the counseling component, not just  
10      the referral component because we're in the  
11      world of electronic medical records.  So  
12      what's the first thing that's going to happen?  
13      Everybody goes home with a diagnosis of AMI.  
14      It's just going to be an automatic pop up that  
15      says cardiac rehab.  We already have them in  
16      the ER.  If the nurses check smoking box, it  
17      says stop smoking.  It meets the criteria.  It  
18      does nothing.  There is really good evidence  
19      no one reads their discharge instructions or  
20      has any idea what's in them.

21                       So I think the measure where in  
22      the EMR world it is so easy to game this as a



1     single thing.  It's really important that  
2     there be documentation within the record of  
3     counseling or I think we're not accomplishing  
4     the really important goals.

5                   MR. LICHTMAN:  I agree with that  
6     100 percent.  As a small part of that, just to  
7     address a small part of that, as opposed to  
8     just yes, giving the prescription and checking  
9     off that they've been referred, I think it's  
10    key for the committee to remember there's also  
11    communication involved.  And when  
12    communication goes to a cardiac rehab center  
13    and for those of us who's run them, I think  
14    you know this, every patient is a jewel and  
15    when you get documented records from an acute  
16    care facility that that patient not only has  
17    the prescription, but you have their  
18    information, the contact then is going to come  
19    from the cardiac rehab center.  That happens  
20    automatically, I can tell you.  We call our  
21    patients automatically once we have the  
22    referral.  In our world, that's a given.

1 DR. KOTTKE: I'd like to ask Judd  
2 a philosophical question whether it's tougher  
3 to check two boxes than one box. Referred and  
4 counseled. I mean is it any tougher to check  
5 the counseled box than the referred box?

6 DR. HOLLANDER: I guess it's a  
7 rhetorical question, but the answer is what  
8 documentation needs to be there? So if it  
9 just says I have counseled the patient, no.  
10 If it actually talks about the discussion,  
11 then it has some elements that need to be  
12 included, then maybe yes. But I'm actually  
13 happy with his answer that there's  
14 communication going forward in this seminal  
15 reach out. I think that's a great closed  
16 loop.

17 MS. MITCHELL: I have a question  
18 about this measure and the care coordination  
19 measurement set. Is this measure in it? Was  
20 it in there? Is it out?

21 DR. WINKLER: It's in the NQF  
22 portfolio, so it really depends on the

1 opportunities of various NQF projects, how  
2 things came through. I think care  
3 coordination was the opportunity at the time  
4 they were ready to submit it. But as things  
5 get sorted through it was more appropriate to  
6 say the cardiovascular.

7 DR. GEORGE: Are we ready to  
8 consider reliability? We'll go ahead and vote  
9 on reliability.

10 MS. LUONG: The timer starts now.  
11 One for high, two for moderate, three for low,  
12 and four for insufficient.

13 For reliability, 16 voted for  
14 moderate; four for low; and two for  
15 insufficient.

16 DR. CHO: Next is the validity and  
17 I think we've touched upon the validity,  
18 feasibility, and the usability. But the  
19 validity, I think because it does not have STS  
20 and because it only uses the two databases  
21 that are primarily focused on coronary  
22 revascularization, I think the validity is

1 moderate.

2 DR. GEORGE: Any further comments  
3 on validity? All right, we'll go ahead and  
4 vote on validity -- oh, Linda.

5 MS. BRIGGS: I guess I have a  
6 concern about the communication piece between  
7 the provider and the rehab and exactly how we  
8 have some concrete measure of that. Yes, you  
9 could make a new check box or whatever, but  
10 how are we capturing that necessarily? How  
11 reliable is that? How valid is that?

12 DR. CHO: Jensen, I think, spoke  
13 to that point earlier when he said that the  
14 PINNACLE registry will include that as a  
15 feedback loop and they don't have the data  
16 currently because it's something that they're  
17 going to start.

18 And I think that that is critical,  
19 clearly. Because I think that's what -- it  
20 will be wonderful to see how this measure  
21 looks and what the performance improvement is,  
22 or what not in a year or two from now.

1                   You know, Reva, can I ask just a  
2                   hypothetical question? Do you guys routinely,  
3                   like for measures like this, where there is  
4                   continuing sort of moving gap and not  
5                   something simple like aspirin in the ER, do  
6                   you guys have or does the committee know what  
7                   the performance is each year?

8                   DR. WINKLER: That is the purpose  
9                   of asking the question under 1B, opportunity  
10                  for improvement is we are really looking for  
11                  data for use of the measure also,  
12                  alternatively, under whatever section for  
13                  meaningful differences. So the information we  
14                  get is from the developer.

15                  DR. CHO: What about -- so let's  
16                  say we approve a measure, like this measure  
17                  for instance. And it comes up for review in  
18                  three years or whenever. But between that  
19                  time, between when we approve to three years,  
20                  do we have any --

21                  DR. WINKLER: Not at this point  
22                  because we're essentially evaluating it for

1 endorsement. Clearly, one of the most  
2 important pieces of information developers can  
3 provide to us is how the measure is being  
4 used, how it's working, what's the impact, you  
5 know. Is it doing what you expect it to do?  
6 But we do completely rely on the developer for  
7 providing that information to us. It's not  
8 something NQF tracks independently.

9 MR. LICHTMAN: We would clearly  
10 keep track of that. That's what we do as a  
11 committee. I mean we're constantly updating  
12 our databases, looking where it's included and  
13 new databases, so that's something that's an  
14 on-going continuous process of our committee.

15 DR. GEORGE: We'll go to a vote on  
16 validity.

17 MS. LUONG: Timer starts now. One  
18 for high; two for moderate; three for low; and  
19 four for insufficient.

20 For validity, 16 voted moderate  
21 and six voted for low.

22 DR. CHO: Next is feasibility. I

1 think it's very feasible also for -- we need  
2 to add the STS database component to it. It's  
3 a hospital-based system, it's a hospital-based  
4 metric, not at the clinician or at the  
5 delivery system level, but I think it's very  
6 feasible.

7 DR. GEORGE: Any comments on  
8 feasibility? All right. We'll go to a vote  
9 on feasibility.

10 MS. LUONG: Voting starts now.  
11 One for high; two for moderate; three for low;  
12 and four for insufficient.

13 Can everyone just point at me  
14 again? Seven voted for high; 14 for moderate;  
15 and one for low.

16 DR. CHO: Usability, the  
17 accountability, and the improvement and also  
18 public reporting, I think it's very usable  
19 data. I think that again, the action item,  
20 notwithstanding, it's a very usable data.

21 DR. GEORGE: Any comments on  
22 usability?

1 Henry?

2 DR. TING: Mary, I just have one  
3 comment with regard to what Judd and Linda, I  
4 think, have said. It's actually beyond  
5 counseling. If you think about all these  
6 things about referral, or writing a  
7 prescription, it actually goes beyond  
8 counseling. It's really moving into the world  
9 of shared decision making. None of these  
10 interventions that we're talking about will  
11 make a patient live forever. And if they  
12 don't do it, they'll die. It's not a 1-0  
13 phenomena. It's all sort of relative benefit,  
14 relative risk.

15 At the end of the day, all we can  
16 do is discuss with the patients the benefits  
17 and risks and have them make a choice. So  
18 actually I think what Sana had said, the  
19 patient refusal or decline to do this because  
20 they don't see the benefit worth whatever it  
21 is, the hassle, the risk, and everything else,  
22 it's actually within the realm of the patient



1 choice to say I want to do this because it is  
2 something I want to do and it's a choice I  
3 want to make or it's something I don't want to  
4 do. But we don't have a measure that I've  
5 seen yet that involves measuring shared  
6 decision making or that it occurred beyond  
7 informed consent.

8 I don't think patient refusal or  
9 patient choice is a gaming thing. So just as  
10 to usability.

11 DR. VIDOVIK: I would agree, it's  
12 similar to contraindication for statin or  
13 contraindication for ACE. That doesn't count,  
14 that does count. So I think refusal should be  
15 entered.

16 DR. HOLLANDER: I'd add to that  
17 we're taking all of this as dichotomous and  
18 there's no reason it can't be got it, refused  
19 it, didn't get it and be reported. And if an  
20 institution reports an 80 percent refusal  
21 rate, well, then there's either a problem with  
22 communication or they're lying. And so maybe

1 the third thing is to peel out refusals  
2 because if you're going someplace and no one  
3 is listening to the recommendation, I would  
4 think consumers want to know that. Either  
5 they don't trust the doctors or the doctors  
6 are lying.

7 DR. GEORGE: I was going to say  
8 you know another alternative is to record the  
9 counseling and we talked about that earlier.  
10 We see this all the time in stroke education.  
11 Give the education but -- and we've talked  
12 about it in smoking as well. But we give it.

13 MR. LICHTMAN: The reason I am  
14 smiling is this is the discussion we had for  
15 years as a committee and as Jensen said we  
16 have ourselves gone back and forth. So I  
17 don't think there's a clear-cut answer here.  
18 Either way, we still need to increase  
19 referrals. That's how we looked at it as a  
20 committee.

21 DR. GEORGE: All right, we'll vote  
22 on usability.

1 MS. LUONG: Timer starts now. One  
2 for high; two for moderate; three for low; and  
3 four for insufficient information.

4 The responses are three for high,  
5 15 for moderate, and three for low.

6 DR. GEORGE: So any last comments  
7 before we move on to an up or down vote? A  
8 good discussion already. All right, we'll  
9 vote.

10 MS. LUONG: The timer starts now.  
11 One for yes and two for no for endorsement.

12 Twenty voted yes for endorsement,  
13 two for no.

14 DR. GEORGE: So at this point,  
15 we'll be moving on to the sister measure.

16 DR. KOTTKE: So 0643 is the  
17 outpatient measure and it reads percentage of  
18 patients evaluated in outpatient setting who  
19 in the previous 12 months have experienced an  
20 acute myocardial infarction or chronic stable  
21 angina or who have undergone cardiac surgery,  
22 PTCA, valve surgery, transplantation and who

1 have not already participated in an early  
2 outpatient cardiac rehab program for the  
3 qualifying event. And who are referred to an  
4 outpatient cardiac rehab intervention program.

5 And so it's exactly the same as  
6 the prior measure, except for it's from the  
7 outpatient setting for people who have not --  
8 who have had an event but have not  
9 participated. I don't know that we want to  
10 revisit exactly the same discussion. I see a  
11 no shake over there.

12 DR. WINKLER: I think there might  
13 be some of these criteria that are somewhat  
14 different because of the outpatient you may  
15 want to talk about. So let's just go through  
16 them. But there's some that are identical.

17 MR. LICHTMAN: Just one quick  
18 general statement. The way we looked at these  
19 is twofold on why we wanted an outpatient  
20 separate measure, but a complementary measure.  
21 One is as was stated around the table, people  
22 are going to fall through the cracks in the

1       inpatient setting and we want to make sure  
2       everybody gets a referral.

3               But also, it's a slightly  
4       different patient mix as I pointed out  
5       earlier. We're going to pick up the chronic  
6       stable angina patients who you're not going to  
7       pick up from an inpatient setting.

8               Also, in the future, I would  
9       anticipate that my committee, our committee,  
10      is going to include heart failure patients  
11      because on February 28th, CMS approved heart  
12      failure for reimbursement. So I feel the  
13      third-party payers are going to fall into  
14      step. And they put in an interesting proviso  
15      on that, that the patient could not have been  
16      hospitalized for the last six weeks, which is  
17      not what we wanted in their approval, but you  
18      know, that's what they said. So there are  
19      going to be heart failure patients who are not  
20      hospitalized who are going to be picked up in  
21      the outpatient setting. That's all.

22              DR. KOTTKE: The way this reads is

1     that somebody with chronic stable angina would  
2     need to be referred once a year for cardiac  
3     rehab. Is that's what it's intended to read?

4             MR. LICHTMAN: This says once a  
5     year?

6             DR. KOTTKE: No, it says  
7     percentage of patients evaluated in an  
8     outpatient setting who in the previous 12  
9     months have experienced chronic stable angina  
10    or -- not and -- or who have had a procedure.

11            MR. LICHTMAN: Correct.

12            DR. KOTTKE: So basically, that  
13    says to me that what you're saying is they  
14    have chronic stable angina. They haven't  
15    participated in cardiac rehab in the prior 12  
16    months and need to be referred.

17            MR. LICHTMAN: Chronic stable  
18    angina traditionally are referred if it  
19    worsens. So I don't think that was our intent  
20    to have them come in every year. That would  
21    be something that we would not encourage in  
22    the cardiac rehab world, even though they

1 would benefit from it. We know that, but  
2 insurance coverage-wise and event coverage-  
3 wise, generally physicians are referring when  
4 they worsen.

5 DR. THOMAS: This is Randy Thomas.  
6 If I could just state quickly, I agree with  
7 what Steve said. For an episode of stable  
8 angina, I guess you could say, that's what  
9 would be considered an indication for  
10 referral. If they've had an episode of stable  
11 angina or a worsening of previously more  
12 stable angina, then they'd be eligible for an  
13 amount of rehabilitation. But that was the  
14 intent, like Steve said.

15 DR. KOTTKE: So I think the  
16 evidence is the same, basically the same as --

17 DR. TING: I would ask you a  
18 question about that because a lot of patients  
19 have chronic stable angina and are on medical  
20 therapy. So to require referral to cardiac  
21 rehabilitation every 12 months for those  
22 groups of patients, which is what the

1 denominator states right now. So it's just a  
2 numerator statement as you may, Tom. I think  
3 that evidence doesn't exist at 12 months  
4 versus 11 or 13 months makes any difference at  
5 all for referral to cardiac rehabilitation and  
6 the denominator.

7 You would refer someone at 13  
8 months, but you don't need to refer them at 11  
9 months. I don't think there's evidence.

10 DR. KOTTKE: I guess my objection  
11 is to the use of the word chronic stable  
12 angina. Is it progressive angina or I don't  
13 think you want to say unstable because that  
14 implies you're going to hospitalize them, but  
15 with that little diddle with the terminology  
16 there, I would -- I think the evidence is  
17 moderate.

18 DR. GEORGE: Any other concerns or  
19 discussion on that? All right, we'll vote on  
20 the evidence.

21 MS. LUONG: The timer starts now  
22 for evidence. One is high; two is moderate;



1 three is low; four is insufficient evidence  
2 with exception; and five is insufficient  
3 evidence.

4 Can everyone just point to me one  
5 more time? Thank you. For evidence, one  
6 voted for high; 15 for moderate; four for low;  
7 and two for insufficient evidence.

8 DR. KOTTKE: Performance gap, I  
9 won't go through the numbers. It's the same.  
10 It's a huge performance gap.

11 DR. GEORGE: Any discussion on the  
12 gap? All right, we'll vote on the performance  
13 gap.

14 MS. LUONG: The timer starts now.  
15 One is high; three is moderate; three is low;  
16 four is insufficient. For performance gap, 19  
17 voted for high; one for moderate; one for low;  
18 and one for insufficient.

19 DR. KOTTKE: Priority, this is on  
20 par with -- the impact of cardiac  
21 rehabilitation is on par with other procedures  
22 and things we do for our patients with heart

1 disease. So I would say it's high priority.

2 DR. GEORGE: Any discussion? All  
3 right, we'll vote on priority.

4 MS. LUONG: The timer starts now.  
5 One for high; two for moderate; three for low;  
6 and four for insufficient. For high priority,  
7 16 voted high; four for moderate; one for low;  
8 and one for insufficient.

9 DR. KOTTKE: For scientific  
10 acceptability specifications and reliability,  
11 it's exactly like Leslie said. There are some  
12 gaps in what they assessed, but with the  
13 exception of my objection about the  
14 implication that you need to refer chronic  
15 stable angina once a year, I think the  
16 reliability is moderate.

17 MS. TIGHE: And just to confirm,  
18 that is a change that you're willing to make  
19 to the measure to clarify his points about the  
20 chronic stable angina that's the change you'd  
21 make to the measure.

22 MR. LICHTMAN: Not only did Dr.

1 Kottke point that out, but it's something  
2 we've missed in seven years in the wording.  
3 I think it's just the wording issue and it's  
4 not our intent and we will change that. We  
5 will clarify that. Thank you for the  
6 opportunity.

7 DR. KOTTKE: So I would say that  
8 reliability is moderate.

9 MS. MITCHELL: So in looking at  
10 the scientific acceptability that was actually  
11 submitted, it talks about using or the metric  
12 calculation was using the PINNACLE registry  
13 and it's not clear to me how many sites this  
14 represents. And so I think this is different.  
15 We're talking about a totally different set of  
16 data used to derive measures. I kind of just  
17 want to take a moment and just talk a bit more  
18 concretely about use of PINNACLE. Just more  
19 information about it.

20 MR. CHIU: I see what you're  
21 saying, great. When this was submitted, it  
22 was roughly about 150 practices. I think

1 about 1500 of providers for the PINNACLE side  
2 of this measure was being reviewed. We would  
3 assume, of course, a description, Dr. Kottke  
4 was saying. We're hoping that people reviewed  
5 it so you're not coming once every year.  
6 That's an issue that we'll have to figure out.

7 We assume -- our intent was to  
8 have everybody come in once a year to be doing  
9 the chronic stable angina, but that might be  
10 kind of a shortfall there, but we have about  
11 150 practices and I think currently about  
12 definitely 2000 to 2500 providers in the  
13 PINNACLE registry, so it's still growing.  
14 That was originally four or five years ago.  
15 You're aware, Kristi, very much so. And I  
16 think we have over 800 locations.

17 DR. CHO: So that was one of my  
18 biggest concerns about this measure, that it's  
19 different from the previous measure is that  
20 the PINNACLE Registry is a very small subset  
21 of the American cardiology practices out  
22 there. And many of these patients may go back

1 to their primary care doctor and not to their  
2 cardiologist and what not.

3 Of all those sort of issues,  
4 that's my biggest, overwhelming issue with  
5 this measure.

6 MR. CHIU: I agree. That is  
7 definitely a shortfall, I mean actions have  
8 much bigger market penetration. I think this  
9 measure, like the inpatient one, corollary,  
10 hypothetically can be used in other -- if  
11 there other registries that go live, we can't  
12 say that because ACC already has one and we're  
13 not going to create another one. But if AHA  
14 or others create one, this type of measure we  
15 would think would be pretty easy for them to  
16 implement and we can't speak for other groups,  
17 but this measure is created such that unlike  
18 the risk models with the proprietary  
19 calculations, simply you see the numerator and  
20 denominator, they can then apply it elsewhere  
21 and we'd be perfectly -- actually, others use  
22 this, unlike the risk models.

1 DR. CHO: The other thing, this  
2 came up in our group discussion is that my  
3 fear was and I know Tom disagrees, but if you  
4 get dinged twice, so let's say I have a  
5 patient, I did a drug-eluting stent. I refer  
6 them to cardiac rehab and they whatever, went  
7 to cardiac rehab, didn't go to cardiac rehab.  
8 They come back and see me in clinic and I  
9 didn't put in there that I refer them to  
10 cardiac rehab because I had already referred  
11 them to inpatient, do I get dinged?

12 So I refer them to cardiac rehab  
13 when they were inpatient. They come back and  
14 see me a month later as an outpatient.

15 MR. CHIU: You wouldn't get  
16 dinged.

17 DR. CHO: I would not get dinged.

18 MR. CHIU: You would not because  
19 you're already in an inpatient setting, it's  
20 the same patient.

21 DR. CHO: It's the same patient.

22 MR. CHIU: If it's an outpatient

1       you wouldn't get dinged.

2                     DR. CHO:   Okay.

3                     MR. CHIU:   So this would make more  
4       sense probably as a pair of inpatient  
5       outpatient, but we didn't do that because the  
6       registries were different so we couldn't  
7       capture them longitudinally as the same  
8       patient, but yeah, that's a good point.

9                     DR. KOTTKE:   That's not what the  
10      measure says.   It says who have not already  
11      participated in an early -- so, in fact, you  
12      would get dinged, but the -- the reason --  
13      it's not too tough to refer again and it's  
14      like with smoking, asking repeatedly, they may  
15      have changed their mind in a month and said  
16      yeah, I thought about it and I might as well,  
17      I guess I will go.

18                     It's not like doing a second echo  
19      or -- and you kind of set up two scenarios  
20      there.   One is you're the inpatient physician.  
21      You refer the patient.   They go to cardiac  
22      rehab.   You will not be dinged if you don't

1 ask them or refer them again. But when you  
2 read the measure, it really requires the  
3 physician to ask the simple question when you  
4 first see the patient, it requires the  
5 physician to say have you attended cardiac  
6 rehab? Because it's who have not already  
7 participated, not referred, but have not  
8 participated in an early outpatient cardiac  
9 rehab setting.

10 So it's really a measure designed  
11 to really not just increase referral, but  
12 enrollment because you're not asking them if  
13 they've been referred. You're asking them if  
14 they've participated which is really a key  
15 question. And then if they say no, that  
16 should lead to further discussion, just like  
17 the inpatient measure with the communication.  
18 This is communication that we're trying to  
19 encourage, behavior modification, we're trying  
20 to encourage from the outpatient physician and  
21 the patient.

22 So one scenario, you won't get



1       dinged. In the other if you refer them to  
2       cardiac rehab and they don't participate and  
3       then you don't ask them that simple question,  
4       you could get dinged for that one.

5               MS. HILLEGASS: I just wanted you  
6       to speak to also the AACVPR/ACCF/AHA/CR3 data.  
7       It appears there's only six sites, six  
8       outpatient centers that provided data?

9               MR. CHIU: I can speak to that  
10      briefly. So we did that project  
11      AACVPR/ACCF/AHA collaborative. There are  
12      actually 13 sites. That is correct. So we  
13      did actually pretty intense retrospective  
14      trans-extraction project, 13 sites both in and  
15      outpatient settings. I memorized all the  
16      names, but there's a lot of rural settings and  
17      large settings as well. We can send that  
18      document over, the findings of all that. But  
19      that was separate from the testing that we did  
20      from the registry itself.

21              So, as a AACVPR/ACC/AHA  
22      collaborative, those groups weren't

1 necessarily, you know, were involved in ACC's  
2 work or ACPR's work. It was meant to test the  
3 reliability of the measure. Is this a  
4 reliable measure? Is it a feasible measure?

5 So, we polled 13 sites. It was  
6 pretty intensive, because we basically did the  
7 test-retest method, inter-rater reliability  
8 and intra-rater reliability with the site  
9 itself.

10 So, that was, I think, easily a  
11 nine-month endeavor. And we actually used  
12 that testing to move at the care  
13 coordination, this was a time-limited endorsed  
14 measure. We used that to basically become  
15 fully endorsed last year.

16 MR. LICHTMAN: Yeah, and there were  
17 six outpatient, seven inpatient. And we  
18 required the facility to do an enormous amount  
19 of work or asked them to do an enormous amount  
20 of work.

21 They had to pull 35 charts, there  
22 had to be a site supervisor, there had to be

1 two reviewers to look at intra and inter-rater  
2 reliability.

3 I thought it was a little longer  
4 than nine months, actually. I thought it  
5 lasted a year.

6 And this data is actually being  
7 published or been published. Excuse me. I  
8 keep forgetting it's been published. So, we  
9 conducted that like a research study.

10 And that's why the N on that was  
11 so small as opposed to the big, big  
12 registries, but that was really an intensive  
13 look at different types of reliability and  
14 validity that we well, we would have liked  
15 to have more, but it was such intensive work  
16 that we could only ask a limited number of  
17 dedicated sites who really, really wanted to  
18 help.

19 But the data was the outcomes  
20 were excellent. They were really high Kappa  
21 and percent agreement coefficients on that.

22 DR. TING: So, just two questions,

1       Jensen.

2                       So, if we take chronic stable  
3       angina out, what are you going to replace it  
4       with?

5                       And the second question is on  
6       reliability, how reliable is the PINNACLE  
7       registry able to detect patients who have had  
8       whatever you replace the words "chronic stable  
9       angina" with?

10                      Like, someone who has worsening  
11       angina, do you have any reliability data about  
12       your ability to detect if someone has had  
13       worsening angina in the PINNACLE registry?

14                      MR. LICHTMAN: Well, first of all,  
15       I don't want this committee to think we're  
16       taking angina out, which we're not. We're  
17       just going to clarify and redefine it.

18                      In terms of frequency of  
19       enrollment, it's not the purpose of any  
20       cardiac rehab program to continually enroll  
21       any patient. We want to promote patients to  
22       the highest level of independence and a

1 healthy lifestyle, et cetera, et cetera, so  
2 that they don't have a reoccurrence.

3 Our goal with stable angina  
4 patients is to raise the anginal threshold,  
5 get them more functional, halt disease  
6 progress.

7 And if that's what we accomplish  
8 in our patients with angina, we're not going  
9 to see them again and we don't want to see  
10 them again.

11 So, we simply have to clarify what  
12 are the criteria for referral back into a  
13 cardiac rehab program, but everybody with  
14 stable chronic angina should come at least  
15 once because with the lifestyle modifications,  
16 the behavior modifications, the exercise  
17 modifications, there are tremendous benefits.

18 DR. TING: I understand that, but  
19 the current measure that's being presented and  
20 that we're discussing voting says, patients  
21 with chronic stable angina should be referred  
22 every 12 months.

1                   And then the comment was made by  
2                   you and Randy Thomas that only patients with  
3                   worsening angina or change in anginal status  
4                   will require referral in the last 12 months.

5                   So, I'm asking for clarification  
6                   as to what are you going to replace the words  
7                   "chronic stable angina" with, because that's  
8                   everybody with coronary artery disease on  
9                   medical therapy and what's the reliability  
10                  testing you have in the PINNACLE registry to  
11                  detect that someone has a change from chronic  
12                  stable angina?

13                  DR. GEORGE: I think the  
14                  clarification was that had had an in-episode  
15                  and that the wording around the 12 months was  
16                  to be cleared.

17                  MR. LICHTMAN: I agree. The  
18                  clarification is not around the diagnosis.  
19                  The insurance companies actually delineate a  
20                  very, very specific diagnosis. It's not just  
21                  the patient coming in who complains of chest  
22                  pain.

1                   You have to have documentation as  
2                   to a positive stress test supported either by  
3                   a stress echo or a cath or a nuclear stress  
4                   test to go along with symptomology.

5                   So, I don't think we're going to  
6                   change the definition of "chronic stable  
7                   angina." I just think we need to clarify the  
8                   frequency of attendance or referral rather  
9                   referral to a cardiac rehab program.

10                  And I right off the top of my  
11                  head, I'm not sure.

12                  DR. TING: Well, without clarifying  
13                  that, I'm not sure that I personally can  
14                  approve a measure that I'm not sure what I'm  
15                  voting on, right, with chronic stable angina  
16                  in there and requirement for referral every 12  
17                  months, which is what this measure says.

18                  DR. KOTTKE: My interpretation,  
19                  either new angina or progressive angina, I  
20                  mean, I think that would be acceptable to me.

21                  DR. TING: Can't vote on I need  
22                  clarification about the measure.

1 DR. GEORGE: I think we have an  
2 option where we could ask the developers to go  
3 back and clarify this and then delay our vote  
4 on it.

5 Would that be acceptable?

6 DR. AL-KHATIB: Could I ask a  
7 question about feasibility? Because I would  
8 hate for them to put too much work into this  
9 if we're going to decide not to advance this  
10 measure.

11 Because I am concerned about the  
12 fact that this actually uses just PINNACLE and  
13 we and that's a major point that was raised  
14 here.

15 And I'm not sure, like, how are  
16 you going to overcome that big challenge,  
17 because very few practices participate in  
18 PINNACLE and, you know, beyond PINNACLE I  
19 don't know how this is feasible.

20 DR. KOTTKE: Well, I don't  
21 feasibility is about the measure, not about  
22 coverage of the population, right?



1 DR. AL-KHATIB: Well, no, in terms  
2 of feasibility of how practices are going to  
3 be able to report on this measure.

4 DR. KOTTKE: But if some practices  
5 can do it, I would assume that all practices  
6

7 DR. AL-KHATIB: But if they only  
8 well, no, it's only the practices that  
9 participate in PINNACLE, is what they told us.  
10 I'm not sure what

11 DR. KOTTKE: How are they  
12 systematically different from other practices?

13 DR. AL-KHATIB: Well, because  
14 there's a way of an electronic way of  
15 capturing what they're doing in other like,  
16 unless we have EMR, which again we're very far  
17 from EMR at this point, that's the only other  
18 way you can capture that electronically.

19 DR. KOTTKE: Right, but you can  
20 capture it manually. So, if the American  
21 Academy of Family Physicians decided to do  
22 this, they could say you have to riffle your

1 charts, but this isn't about can we assess  
2 this in all patients in the United States with  
3 chronic stable angina.

4 It's about if an organization  
5 wants to use this as a measure, can they  
6 collect the data?

7 MR. CHIU: The CR3 initiative  
8 actually is your point. So, we agree  
9 completely with PINNACLE. That is the  
10 shortcoming is that this a lot of data is  
11 coming from PINNACLE, but the CR3 Initiative  
12 we can send a document around, a published  
13 document.

14 None of those sites use PINNACLE  
15 at all and they basically would say we would  
16 say, here is the measure, you tell us if we  
17 can come back, and then we show the scores  
18 that, you know, all the statistic inter-rater  
19 and intra-rater and show that we can get the  
20 measure both not just referral, but the  
21 communication piece and everything.

22 And those sites use both some of

1       them used EHR, but some of them did use paper.  
2       And not just big centers, but also rural  
3       centers as well, geographically across  
4       America.

5               So, we only picked 14, because it  
6       was very intensive. We wanted to pick more,  
7       I really wanted to pick more, but we basically  
8       gave, you know, a small kind of token of  
9       appreciation of 200, \$300 for all the work  
10      they did for, I guess, over a year.

11             But your point, yeah, I mean, the  
12      testing we're showing right here a lot right  
13      now for this fold is PINNACLE, but this  
14      measure hypothetically could be used in other  
15      settings and we can send it around, the CR3  
16      document as well.

17             DR. WINKLER: If others feel  
18      strongly that you really want to see the  
19      rewording clarification before you proceed, I  
20      think we've got a post-meeting conference call  
21      scheduled.

22             I forget the date exactly, but we

1     could bring it back and you all could look at  
2     the clarification and proceed with your  
3     evaluation.

4             DR. KOTTKE: If I can just jump in  
5     once more to make sure that the proposers  
6     understand my issue, and I think it's Henry's  
7     issue, too, is that the way it reads right  
8     now, it suggests than an individual with  
9     chronic stable angina has to be referred to  
10    cardiac rehab once a year.

11            And I would accept a new episode  
12    of angina or new angina or progressive angina,  
13    some of those words, but I    it's about those  
14    three words, "chronic stable angina."

15            MR. LICHTMAN: I agree a hundred  
16    percent. It reads incorrectly.

17            DR. HOLLANDER: Can I make the  
18    proposal that we take a provisional vote based  
19    on the change that clarifies this issue? And  
20    that way, you know, we know what we're  
21    thinking about it now.

22            It's easier to me to run through

1 the process while it's clear in my head than  
2 start over in a couple weeks and try and  
3 remember the conversation.

4 And then, you know, by email they  
5 can just send us the new wording and we can  
6 say, that sounds good. And I just find that  
7 easier, personally, for me.

8 DR. TING: Judd, I'm sorry. I  
9 agree with that, but the issue here is going  
10 to be what is a change in angina and how are  
11 you going to reliably detect that in a  
12 registry or any EMR?

13 Is it a change from Class I to  
14 Class II angina? Is it a change from  
15 frequency? Duration?

16 There's a lot of nuances to this,  
17 and I'm not sure I can just sort of know  
18 what's going to be changed so we can vote yes  
19 or no. That's personally.

20 DR. KOTTKE: Ellen.

21 MS. HILLEGASS: I just want to say  
22 one thing in relation to what Sana talked

1       about getting the data.

2                   If you look at the CR3 data that  
3       you collected and you just said you paid them  
4       200 to \$300 to even do this, how are we  
5       realistically going to get the data from  
6       people who are not on EMR?

7                   You only collected it from six  
8       sites. And so, realistically how are we going  
9       to get that information if we're not paying  
10      people 200 to 300 to collect it and it is very  
11      cumbersome when you don't have an EMR?

12                  DR. KOTTKE: Well, PINNACLE and  
13      others, they actually pay to participate. I  
14      mean, it's    feasibility is about can you do  
15      it, not what you have to incent them to do or  
16      anything else.

17                  In many of these registries, the  
18      groups actually pay to participate and not get  
19      paid themselves.

20                  MR. LICHTMAN: Yeah. And the only  
21      reason there was a gift, I mean, if you worked  
22      it out, it was probably two cents an hour.

1           The only reason we felt we had to  
2       do that was because these were sites who were  
3       dedicating a lot of resources not just to  
4       doing the measure, but rather to doing  
5       intra-rater reliability, inter-rater  
6       reliability, retesting, percent agreement.

7           They    to do this measure on any  
8       one individual patient takes moments.  What  
9       they did took weeks and weeks and weeks of  
10      effort.

11           And that was just our idea of just  
12      giving them something back.  That's all.  That  
13      had nothing to do with the measure.

14           MS. HILLEGASS: But it's still six  
15      out of 45.  Only six met the criteria of the  
16      45 that you saw.

17           According to your data here, only  
18      six facilities met the criteria to collect the  
19      reliability.

20           MR. LICHTMAN: No, no, no, they  
21      didn't meet the criteria.  These were the only  
22      six facilities willing to put a year's worth

1 of effort into something which at the time was  
2 not even envisioned as a publication, but  
3 rather just as a justification to this  
4 measure.

5 We contacted many, many  
6 facilities. We had other facilities that were  
7 eligible. We even had facilities overseas  
8 contact us.

9 But when we outlined exactly what  
10 we wanted to do, only six centers could put in  
11 the time, the effort and the personnel to  
12 testing this, not for the measure, but to test  
13 the measure. It was really tremendously  
14 labor-intensive for a center.

15 Had I not been on the Committee  
16 and been excluded because of that, my center  
17 couldn't have done it. We could not have put  
18 the personnel to do this testing over a year's  
19 time.

20 DR. KOTTKE: Henry, let me ask you  
21 if rather than chronic stable angina if it  
22 said "changing anginal symptoms," would that



1 be acceptable?

2 DR. TING: I just think that there  
3 are a lot of things that are acceptable, but  
4 what can be detected in a registry, an  
5 outpatient registry that a patient has had a  
6 modifiable, measurable, significant change in  
7 duration/frequency of angina that would  
8 justify a referral to cardiac rehabilitation  
9 every 12 months in an outpatient setting.

10 I mean, I think that's

11 DR. KOTTKE: Well, I think the word  
12 "change," I mean, if the word "change" is in  
13 the record, that's what I'd accept.

14 DR. WINKLER: Guys, I just caution  
15 you it's not our job to do this. It's theirs.

16 DR. TING: Okay.

17 DR. WINKLER: And so, that's the  
18 question is

19 DR. TING: I'm not trying to  
20 what's in front of me I can't vote on.

21 DR. WINKLER: Okay.

22 DR. GEORGE: Do we have any sort of

1 consensus?

2 Linda.

3 MS. BRIGGS: I would agree with  
4 Henry that we have to vote on what's in front  
5 of us. And we can recommend that it come back  
6 to us and we can look at the new definition of  
7 whatever we're going to put for stable angina,  
8 but I also want to make a comment about  
9 feasibility.

10 And feasibility is more than is it  
11 possible, period, to do this? Feasibility has  
12 to do with is this reasonable for people to do  
13 across all the facilities that we're talking  
14 about?

15 You have to yes, you can collect  
16 any amount of data anywhere, anytime. It's  
17 possible to do that, but is it something that  
18 most facilities can accomplish?

19 That is an important piece of  
20 feasibility and usability. So, I just want to  
21 caution to say you have to go beyond is it  
22 possible. You have to look at the amount of

1 time, effort, money spent, personnel involved.

2 And having done data collection  
3 for studies, I can tell you that each one of  
4 these elements, yes, it's in the paper chart,  
5 maybe it's in an electronic health record, but  
6 is it in a retrievable format so that you can  
7 actually get at it easily?

8 So, every time you add an element,  
9 you add an amount of time that somebody is  
10 looking for another piece of data.

11 If it's actually in a registry  
12 like the CathPCI Registry, that makes it much  
13 easier. If it's actually in PINNACLE, that's  
14 easier, but you only have a certain number of  
15 sites in PINNACLE.

16 And, you know, to say that this is  
17 going to be something that potentially could  
18 be used beyond PINNACLE means that if we  
19 decide on that word change, how do you search  
20 for that in a paper chart?

21 DR. GEORGE: Is there a code that  
22 would indicate

1 MS. BRIGGS: No.

2 DR. GEORGE: a change or  
3 nothing.

4 DR. KOTTKE: 200 years ago they  
5 didn't have sinks in operating rooms. I mean,  
6 you know, these the impact of cardiac rehab  
7 is on par with other things we do that

8 MS. BRIGGS: I don't disagree with  
9 that. I used to work in a cardiac rehab  
10 center. I'm very pro cardiac rehab.

11 I'm just talking about when you  
12 look at the practicality of the measurement  
13 and what you're asking people to do and record  
14 and be rated upon, pay-for-performance, et  
15 cetera, these things we're talking about have  
16 impact.

17 When you look at is there  
18 unintentional consequences, they do have  
19 unintentional consequences for certain people.

20 And I'm, like I said, I'm a  
21 proponent of cardiac rehab. That's not the  
22 issue.

1 MS. TIGHE: I'm going to jump in,  
2 actually. It sounds like you're raising some  
3 feasibility concerns that relate to the change  
4 that the developers are potentially  
5 considering making in the measure.

6 So, it sounds as though we're  
7 going to be unable to vote through the measure  
8 at this point.

9 Just in the interest of time, we  
10 do have one more measure we'd like to get to  
11 before lunch. If we could wrap this  
12 conversation up, I would ask you to vote on  
13 the measure in front of us knowing that the  
14 developers can use the time during the comment  
15 period to address these issues and potentially  
16 bring back new information for you to  
17 consider.

18 DR. HOLLANDER: Can I destroy your  
19 plea and say one thing first, because I think  
20 I have an easy fix.

21 An acceleration in symptoms from  
22 chronic stable angina is unstable angina. And

1     then if you look at everything else on this  
2     list, everything else on this list gets done  
3     in a hospital, okay.

4             You don't get any of this stuff  
5     done as an outpatient. And, in fact, if you  
6     have unstable angina, you get hospitalized.

7             So, I'm wondering if the wording,  
8     and this is a recommendation to you guys,  
9     can't be changed, who have been previously  
10    hospitalized in the prior 12 months for one of  
11    these things, and change the chronic stable  
12    angina to unstable angina, and then you're  
13    covered.

14            I know it can't be changed on the  
15    vote now    no, no, no, I'm just saying as a  
16    recommendation for when they come back, it  
17    might clarify

18            MS. TIGHE: Yeah, and I think at  
19    this point they've heard many recommendations.  
20    They have a lot to consider.

21            So, I'm going to, again, insist  
22    that we cut this off and vote on the

1 reliability as the measure is specified now  
2 knowing that we can bring it back later.

3 MS. LUONG: So, voting starts now.  
4 One is high, two is moderate, three is low and  
5 four is insufficient.

6 Six voted moderate, 10 voted low  
7 and five voted insufficient.

8 DR. KOTTKE: Okay. Thank you very  
9 much. We'll move on to 2473, Hospital 30-day  
10 Risk-Standardized Acute Myocardial Infarction  
11 Mortality.

12 CMS is the steward. Discussant is  
13 Kristi.

14 DR. WINKLER: Do we have somebody  
15 from CMS on the line?

16 MS. KHAN: Yes, this is Rabia Khan.

17 DR. WINKLER: Okay. Hi, Rabia.  
18 Hold on. I guess we do have people in the  
19 room. Hello there. Hey, how are you?

20 DR. McNAMARA: Hi. Can you hear  
21 me? Yeah, I'm Bob McNamara from Yale. And  
22 Susannah Bernheim also from Yale. And Johan

1 from CMS is here.

2 So, the overall aim of this  
3 measure is to start to realize the potential  
4 of EHR data to build in these measures, the  
5 rich clinical data that's very difficult to  
6 obtain on the current setting and be able to  
7 put it into an outcome measure.

8 So, we're not going to go through  
9 the whole thing. There was already a publicly  
10 reported NQF-endorsed AMI mortality measure.  
11 So, I just want to highlight a few points that  
12 are novel to the EHR aspect of this.

13 First, is we developed this model  
14 de novo rather than just starting from the  
15 prior model and trying to retool that, the  
16 second we looked at this model in terms of the  
17 current clinical capabilities and the current  
18 EHR environment rather than putting undue  
19 burden on clinicians to add onto the measure  
20 or to be dependent upon EHR development.

21 Another thing that I definitely  
22 want to emphasize that came up on the call, we



1     used the action Get With the Guidelines  
2     clinical registry, the ARG that you've heard  
3     about multiple times, which is a clinical  
4     registry. It's not an EHR, but this is  
5     intended to be used for EHR.

6             So, we looked at this, the data  
7     elements within the ARG Registry for  
8     feasibility. We developed three specific  
9     criteria for the feasibility for each of the  
10    data elements to ensure that the elements will  
11    be able to be retained reliably across sites.

12            We wanted to stick with the 30-day  
13    outcome. So, we linked the data with the CMS.  
14    And we ended out with a very parsimonious  
15    model of five risk factors for risk adjustment  
16    that are very objective. Age; two vital  
17    signs, systolic blood pressure and heart rate;  
18    and two laboratory values, creatinine and  
19    troponin ratio, which is the troponin value on  
20    the first troponin obtained divided by the  
21    hospital upper limit of normal and came up  
22    with a very     a model that performed very

1 well.

2 Had a C statistic of 0.78, which  
3 is well in line with previous mortality  
4 measures.

5 The measure performance using this  
6 model showed a variability across hospitals.  
7 At least the 280 hospitals within the ARG data  
8 set. And we would anticipate the variability  
9 even higher once you took that to a larger  
10 data set.

11 We eSpecified it which essentially  
12 is just translating from a human readable form  
13 to a machine readable form. And we had  
14 various levels of feasibility, reliability and  
15 validity testing, both traditional for the  
16 model, as well as in an EHR environment.

17 So, with that, we can open it up  
18 to any questions.

19 DR. KOTTKE: Kristi.

20 MS. MITCHELL: So, as we talked  
21 about this yesterday, this is our first  
22 eMeasure, if I'm not mistaken.

1 DR. WINKLER: It's the first  
2 outcome eMeasure.

3 MS. MITCHELL: The measure  
4 developers sufficiently stated the rationale  
5 supporting the relationship between AMI  
6 mortality and at least one healthcare action.

7 Specifically, developers showcased  
8 the link between AMI mortality and complex  
9 critical aspects of care such as communication  
10 between providers, patient safety and  
11 coordinated transitions to the outpatient  
12 environment.

13 I also thought that they provided  
14 contemporary which was very helpful for us,  
15 contemporary references to further demonstrate  
16 the relationship between hospital  
17 organizational factors and performance on the  
18 MI mortality measure.

19 And as such using the Algorithm 1,  
20 I would submit that this outcome measure  
21 passes the evidence criteria.

22 Any discussion?

1 DR. KOTTKE: Elizabeth?

2 MS. DeLONG: Nothing.

3 DR. KOTTKE: Any other discussion  
4 on Linda, on we're ready for the vote.

5 MS. LUONG: Voting starts now. One  
6 for yes. Two for no.

7 (Voting.)

8 (Pause in the proceedings.)

9 MS. LUONG: Can everyone just point  
10 to me again? Thank you.

11 (Pause in the proceedings.)

12 MS. LUONG: 19 voted yes. One  
13 voted no.

14 MS. MITCHELL: Okay. Moving on to  
15 performance gap. The measure developer  
16 provided data reflecting performance  
17 measurement scores calculated from a cohort MI  
18 discharges for patients age 65 and older from  
19 January 1 through December 31st, 2009.

20 They merged that data set with  
21 data from Medicare Part A claims data and it  
22 resulted in 20,000 admissions from 280

1 participating hospitals.

2 The risk-standardized mortality  
3 rate derived from this registry data ranged  
4 from 9.6 percent to 13.1 percent with a mean  
5 of 10.8 percent.

6 The developer provided other  
7 rationale including doing a claims-based MI  
8 mortality using publicly reported CMS data for  
9 the same time period.

10 And then they also identified  
11 additional studies in the literature that  
12 further demonstrate the ability of hospitals  
13 to implement strategies to achieve low  
14 risk-standardized 30 day mortality rates. And  
15 so with that, I think that it's high  
16 performance gap.

17 As it relates to disparities, the  
18 developer investigates well, actually did do  
19 an analysis looking at race and SES and  
20 demonstrates that there was little influence  
21 of these factors on the risk-standardized  
22 mortality.

1 DR. KOTTKE: Further discussion?

2 No further discussion. Let's  
3 vote.

4 MS. LUONG: The timer starts now.  
5 One for high, two for moderate, three for low  
6 and four for insufficient.

7 (Voting.)

8 (Pause in the proceedings.)

9 MS. LUONG: For performance gap, 16  
10 voted high, three voted moderate, one for low  
11 and one for insufficient.

12 DR. KOTTKE: Priority.

13 MS. MITCHELL: For the reasons  
14 discussed at length yesterday and I guess we  
15 can also call upon George thank you very  
16 much AMI mortality is high priority in terms  
17 of prevalence, severity and cost.

18 The measure developer provided an  
19 extensive list of citations in case you were  
20 concerned that there wasn't such priority, to  
21 demonstrate that this measure addresses a  
22 high-priority need within healthcare.

1 DR. KOTTKE: Liz, nada?

2 Any other discussion? Seeing no  
3 action, we're ready to vote.

4 MS. LUONG: Timing starts now. One  
5 for high, two for moderate, three for low and  
6 four for insufficient.

7 (Voting.)

8 (Pause in the proceedings.)

9 MS. LUONG: For high priority, 19  
10 voted high. Two voted for moderate.

11 DR. KOTTKE: Acceptability science  
12 and reliability.

13 MS. MITCHELL: Great. So, Bob  
14 provided a wonderful overview of the measure  
15 in terms of its scientific acceptability.

16 I would like to ask Reva just to  
17 kind of step in for a second around the  
18 eMeasure technical review, because I am  
19 actually not familiar with that process.

20 DR. WINKLER: And it's a wonderful  
21 thing to see my colleague. Chris is here as  
22 our sort of our in-house expert on HIT and

1 the eMeasure. So, I'm going to let Chris  
2 Millet answer that one for you, Kristi.

3 MR. MILLET: Sure. I can provide  
4 us a little overview for the kinds of things  
5 we look for when we do this eMeasure technical  
6 review.

7 As the gentleman from Yale  
8 mentioned, what's kind of unique about  
9 eMeasures for the EHR environment is that  
10 they're actually specified to be human  
11 readable and machine readable.

12 So, we wanted to be to consider  
13 electronic data sources, but we also want  
14 electronic systems to be able to do to  
15 interpret the measure so that we can calculate  
16 it and get to where it's an automated way of  
17 reporting the measure.

18 So, there are specific things we  
19 look for to aid with that. Some of them are  
20 technical standards that are used within the  
21 format with the measure specification itself.

22 So, we look at that which was for



1     this measure it uses acceptable standards that  
2     are out there from HL7.

3                 We look for the codes used in the  
4     measure. So, we want to make sure that they  
5     are vetted to some degree.

6                 And the National Library of  
7     Medicine provides pretty robust vetting of  
8     codes used in measures. And all measures need  
9     their codes to be vetted to some degree, but  
10    in eMeasures it's even more important.

11                So, you know, we talked to the  
12    measure developers and they have worked with  
13    the National Library of Medicine to utilize  
14    some of the resources they have to evaluate  
15    the codes that they use and that it follows  
16    current best practices and how a code should  
17    be used in eMeasure specifications.

18                Feasibility is really important.  
19    I mean, there was a pretty good discussion on  
20    feasibility just in the last measure.

21                So, a lot of these issues impact  
22    feasibility. So, we wanted to make sure we

1 take a really conscious look at feasibility  
2 especially for eMeasures.

3 And, you know, this measure was  
4 the feasibility assessment for this measure  
5 came before some of the work NQF has done on,  
6 you know, kind of relooking at feasibility and  
7 how that applies to eMeasures.

8 But a lot of what the measure  
9 developer did in this measure's feasibility  
10 assessment, which I guess we'll get into more  
11 later when we talk about feasibility, but a  
12 lot of that follows a lot of the findings and  
13 the things you recommend in our own  
14 feasibility assessment.

15 So, that's kind of an overview for  
16 what we look for and what we found.

17 MS. MITCHELL: The TEP provided a  
18 favorable review of this eMeasure as currently  
19 drafted?

20 MR. MILLET: I'm sorry, can you

21 MS. MITCHELL: The eMeasure  
22 Technical Review Panel.

1                   MR. MILLET: So, there's not an  
2                   eMeasure review panel. We kind of do like a  
3                   staff technical review, which I have done we  
4                   worked with the measure developer on any  
5                   questions that come up during that review.  
6                   And we were able to talk through any issues  
7                   there. And we didn't find any issues.

8                   MS. MITCHELL: Okay. I'm going to  
9                   move on if you don't have any more questions  
10                  about eMeasures, but I have some more about  
11                  the specs themselves.

12                  So, what we heard was that this is  
13                  again an outcomes measure, 30-day all-cause  
14                  mortality.

15                  Mortality was defined as death  
16                  from any cause from 30 days of the index  
17                  admission.

18                  The developer noted that  
19                  ascertaining mortality would occur by linking  
20                  to an external data source such as Medicare  
21                  enrollment database, the National Death Index.

22                  The denominator statement included

1 inpatient admissions from patients 65 and  
2 older who were discharged from short-term  
3 acute hospital with a principal diagnosis of  
4 AMI. They went through the exclusion  
5 criteria.

6 As it relates to actual  
7 specifications, the codes were provided to  
8 identify AMI discharge, date of birth and so  
9 on and so forth.

10 What I felt was important was that  
11 they also took note that ICD-10 is coming  
12 around the corner. And so, they took the  
13 necessary means to provide the crosswalk  
14 between these measure specifications.

15 Since this measure is  
16 risk-adjusted, the measure developer took the  
17 time to describe how the RSMR would be  
18 calculated. And this is really, I think, the  
19 part that's going to require some discussion.

20 I know that we talked about in  
21 terms of the spirit of parsimony we get down  
22 to five different elements, but various other

1 models that I've seen use, you know, upwards  
2 13 elements to adequately risk adjust for this  
3 patient population.

4 And so, I think that there is room  
5 for sort of the discussion in and around how  
6 13 elements or eight or whatever were culled  
7 down to five and was it really sort of a  
8 reaction to what you can collect in the EMR.

9 And I'll pause and see if anyone  
10 else wants to add something.

11 DR. KOTTKE: Liz.

12 MS. DeLONG: That was going to be  
13 my main point as well. I would like to know  
14 how if you were to apply this model to the  
15 same data that different models have been  
16 applied to, how would they agree?

17 I think the harmonization with  
18 other more elaborate models should be shown.

19 DR. BERNHEIM: Hi. This is  
20 Susannah. So, a couple of things to that  
21 point.

22 First, just conceptually why be

1 parsimonious? I think it's obvious, but I  
2 want to be really clear.

3 We didn't know what we would find  
4 doing this work, but our first pass was that  
5 to get eMeasures out the door, you have to  
6 have pretty strict criteria for anything you  
7 put in them.

8 We wanted to be sure that any  
9 variable that was in this model, we had a lot  
10 of confidence would be defined the same across  
11 hospitals, would be in structured fields,  
12 would be extractable and that any EHR should  
13 feasibly do that.

14 I mean, when you look at the  
15 variables that are in the risk models just by  
16 face validity of this group, you can say, you  
17 know, I'm pretty confident that systolic blood  
18 pressure and heart rate and troponin and  
19 creatinine and age are going to be reliably  
20 consistently found and we did a bunch of other  
21 testing to be sure that they're feasibly  
22 extracted from the EHRs.

1                   We then    we originally judged the  
2                   variables and considered a wider set.  Things  
3                   like history of heart failure.

4                   And unfortunately right now when  
5                   you talk to experts in the field and you look  
6                   in the EHRs, you can't reliably pull history  
7                   of heart failure out of an EHR and have  
8                   confidence in it across all spaces.

9                   So, we had to say if we stick with  
10                  our original goal, which is to find something  
11                  that could go out the door, can we do it?  Can  
12                  we build a good model with what is less than  
13                  other people have?

14                  And here's what sort of made us  
15                  feel confident in what we found.  The first is  
16                  that the discriminative ability is quite good.  
17                  It's better than some clinical models that  
18                  have more variables.  So, that made us    that  
19                  took a first step towards making us confident  
20                  this was going to be useful.

21                  The next thing we did was we have  
22                  a claims-based model that's been NQF endorsed,

1     that's been in use for a long time that's  
2     showing improvement, continues to show  
3     variation, has scientific acceptability and we  
4     said, does this tell us something really  
5     different about hospitals?

6             This isn't classic validity  
7     testing, but it was very reassuring to us that  
8     the performance of hospitals when you match  
9     the same     when you look at the same group of  
10    patients and the same outcome and you use our  
11    new EHR-based model and the familiar  
12    claims-based model, we find very similar  
13    results for hospitals.  So, that was  
14    reassuring to us.

15            And then finally, the one  
16    advantage of using a data source that was  
17    broader than what you could find in the EHR,  
18    was that we could test the importance of some  
19    variables that people thought were critical.

20            And so, the final thing we did was  
21    we said, let's choose something that the  
22    clinicians feel like is really going to make



1 a big difference that we don't think we can  
2 yet get out of an EHR, and ask whether or not  
3 it adds so much to this model that the current  
4 model isn't viable.

5 And so, we looked at EKG findings,  
6 which I hope we're not too far from being able  
7 to pull that out from EHR, but we can't do  
8 right now. And we put those in and looked at  
9 how much it improved the model, and the answer  
10 was not very much.

11 So, the sum of those three things  
12 made us confident in this parsimonious model.  
13 Confident enough to bring it forward to all of  
14 you, but that was the approach we took to  
15 answer those questions.

16 DR. KOTTKE: Judd.

17 DR. HOLLANDER: So, I want to sort  
18 of hit the problems with troponin, which from  
19 a 10,000 foot view seem really obvious. It's  
20 just a number and there is an upper limit of  
21 normal for your reference lab.

22 But the IFCC task force says you

1     should use the 99th percentile and half the  
2     labs in the country are using the 95th  
3     percentile, which means in some labs their  
4     upper limit of normal is falsely elevated.

5             By the end of the year, there will  
6     be more high-sensitivity troponins on the  
7     market, which means 50 percent of people by  
8     definition will have a measurable troponin.

9             And using the     let's just say the  
10    upper limit of my assay right now is 0.04,  
11    which it is at Penn, but the 99th percentile  
12    of that assay is 0.026. That dramatically  
13    changes the ratio.

14            As we get to high-sensitivity  
15    troponins and that drills down to 0.006 as the  
16    upper limit of normal, that 0.04 is  
17    astronomically elevated.

18            And in this model as best I can  
19    tell, you don't adjust for the assays or  
20    standardize what the 99th percentile value for  
21    that assay should be. You leave it to a local  
22    determination.

1                   So, I think, you know, something  
2                   that seems incredibly standardized which  
3                   probably accounts for a large proportion of  
4                   your model, isn't. And it's going to get more  
5                   disparate and less reliable over the next  
6                   year.

7                   And since the ratio of, you know,  
8                   troponin value to your upper limit probably  
9                   drives the model, it's one of the things most  
10                  related to outcomes and acute MI, I see that  
11                  as being a real problem getting worse over the  
12                  next year.

13                  So, I agree you can get it easily,  
14                  but I think you need to know what it is you're  
15                  getting besides the two numbers.

16                  DR. McNAMARA: Sure. I think  
17                  that's a great point. And that's one of the  
18                  reasons that there are as you alluded to,  
19                  there are many different assays for troponin.

20                  And that's why the troponin ratio  
21                  is used. Because if you just use a regular  
22                  troponin value, some troponin I, some troponin

1 T, high sensitivity, low sensitivity. So, it  
2 should be normalized to what your upper limit  
3 of normal is.

4 And as with any, you know,  
5 performance measure of deciding, a hospital,  
6 yes, can say, oh, our upper limit of normal is  
7 something different and can change it, but  
8 there should be an upper limit of normal for  
9 that assay that they use and that should be  
10 standardized.

11 Whether a hospital uses that  
12 standard or not I guess is something that can  
13 be assessed in implementation.

14 But, and as you said, as things  
15 change, right, I mean, troponin level changes  
16 over or the troponin assays have changed  
17 over the last five or ten years and they're  
18 probably going to change over the next five or  
19 ten years that this ability to normalize it or  
20 index it, I think, is very important.

21 And as far as its value, yes, I'm  
22 a cardiologist. The troponin is incredibly

1     valuable, but it actually was not as valuable  
2     as some of the other ones. It was only five.

3             So, it is important. Each one of  
4     the elements are important. So, it's of  
5     value, but maybe saying that it's driving the  
6     model is overemphasis.

7             DR. KOTTKE: Sana, and then Tom and  
8     then Liz.

9             DR. AL-KHATIB: So, I appreciate  
10    the challenges that you face when you're  
11    trying to create an electronically-based  
12    performance measure. Because as was pointed  
13    out, we have different EHR systems and a lot  
14    of the data elements have not been  
15    standardized, if you will, across those  
16    systems.

17            But it seems like you use that  
18    probably somewhat to your detriment, because  
19    you ended up, you know, focusing this model  
20    that you're proposing here to things that you  
21    felt would be pretty reliable in terms of how  
22    standard they are across the different

1 systems, but excluded several of the other  
2 clinical factors that have been proven time  
3 and again to be associated with mortality in  
4 this patient population.

5           You know, one very well-vetted and  
6 validated model is the one that came, for  
7 example, from the GUSTO trial that Kerry Lee  
8 actually was the first author on hard to push  
9 against that importance of those clinical  
10 data.

11           I also would, you know, would echo  
12 what Judd said with regard to some of the  
13 accuracy of these factors that you're  
14 including in the model. And I would actually  
15 even make it simpler than the troponin, heart  
16 rate and blood pressure.

17           I mean, who is measuring those?  
18 Are they accurate? What numbers are you  
19 looking at? The patient may present in atrial  
20 fibrillation and you may have a nurse who's  
21 checking the heart rate, you know, using the  
22 radial pulse and that's invariably not an

1 accurate measure unless you go like  
2 precordially over one minute in people with  
3 atrial fibrillation, which is a common rhythm  
4 in these patients.

5 So, I really would question even  
6 the accuracy of the data that you are getting  
7 when you are looking at simple things like  
8 vital signs.

9 DR. BERNHEIM: So, these are great  
10 and important questions and I'm going to let  
11 Bob weigh in as well, but, you know, we face  
12 this every time we build an outcome measure.

13 No data source is perfect, right?  
14 I mean, there's no questions that things  
15 aren't in. And when we look deeply at the  
16 registry data which we've worked with, we find  
17 inaccuracies there, too.

18 So, there's no question this is  
19 not a perfect measure. We do think the  
20 variables here are about as good as you'll get  
21 in a measure in terms of being accurate.

22 One clarification I think is

1 important is that throughout these measures  
2 it's very important that we're assessing the  
3 patient status on arrival, that we don't want  
4 to look three days later because the patient  
5 who is in atrial fibrillation, it's a very  
6 different status and a very different  
7 question. So, we do specify that it's the  
8 first recorded value.

9 And that was one of the things we  
10 did feasibility testing on was to ensure that  
11 hospitals were able to not only identify those  
12 first variable, but identify the first on  
13 presentation.

14 And as to sort of there being  
15 other good models out there, it's true. I  
16 mean, there are also other published models  
17 that are quite close to this in terms of being  
18 parsimonious and have been found to be  
19 successful.

20 So, there will be important  
21 variables on a patient level and important  
22 models that exist, but our test was to see how



1     good a model we could. And we found one that  
2     works as well as those models not to  
3     disregard, you know, important literature and  
4     trials that have shown other variables.

5             I mean, one thing I will say that  
6     we find consistently as we develop outcomes  
7     measures is that there is a difference between  
8     what it takes to have a good model predicting  
9     an individual patient's outcome, in which case  
10    we sometimes need more information, than to  
11    assess in aggregate the risk of the patients  
12    that are entering a hospital.

13            So, one of the key things about  
14    these measures is that we are trying to  
15    understand how Hospital A versus Hospital B  
16    differ in terms of the aggregate risk of their  
17    patients when they present with AMI. And that  
18    makes these models a little bit more forgiving  
19    than an individual patient predicting model  
20    and have found that they can, like this one,  
21    perform very strongly even when they don't  
22    have as many variables as in other models.

1       So, again, that's given us confidence in it.

2               DR. KOTTKE: Tom, and then Leslie.

3               DR. JAMES: I'm glad to see the  
4       movement towards eMeasures. They do have  
5       strengths and they do have weaknesses.

6               One of the issues that I would  
7       like to understand a little better in the  
8       field of reliability has to do with the impact  
9       on the denominator exclusions particularly  
10      that about unknown death, Number 5.

11              It depends on how many deaths, the  
12      percentage of unknown deaths versus those that  
13      are picked up as to how much that's going to  
14      impact the scoring.

15              What's your experience?

16              DR. BERNHEIM: So, for this we're  
17      using the CMS data, which is pretty  
18      comprehensive. I'm just flipping to the page  
19      where I have the actual number so I can  
20      yeah, we have it in here and I will find it  
21      for you if you give me one second.

22              It's in the testing section. So,

1 I'm looking in our testing section here where  
2 we talk about exclusions.

3 So, unknown death was zero in this  
4 case. We put it in as a because we put it  
5 in all of our measures in case there is  
6 missing. But as it turns out, we had no  
7 unknown deaths in this one.

8 DR. KOTTKE: Leslie.

9 DR. CHO: So, we have a measure  
10 similar to this. It's the 30-day  
11 risk-adjusted mortality that the Yale group  
12 has developed.

13 Have you tested your model with  
14 this one and what's the

15 DR. BERNHEIM: Yeah, sorry. So,  
16 we're the same team, same group. And, yeah.  
17 So, what we did was we looked both at the  
18 performance of the models and this performs  
19 better than the claims-based models, but then  
20 also at how differently it profiles hospitals.

21 And that's also in here in it's  
22 under the Validity section. We have a scatter

1 plot that shows how hospitals perform in the  
2 final model for the eMeasure versus the  
3 current administrative claims model.

4 DR. KOTTKE: Okay. Any further  
5 comments on reliability? Liz has her hand up.

6 MS. DeLONG: I have a question and  
7 a comment. The question being, are you saying  
8 that the upper limit of normal will be  
9 standardized, or a site can actually change  
10 their upper limit of normal?

11 We talked about this on the phone  
12 call, actually, that if a site changes their  
13 upper limit of normal at will, they can  
14 dramatically change their assessment.

15 The comment is, all of this  
16 comparison is again against administrative  
17 data. You haven't, as Sana pointed out, your  
18 comparison does not include any model that was  
19 developed on clinical characteristics other  
20 than what you've captured in the  
21 administrative data.

22 DR. McNAMARA: Right. Well,

1     regarding the troponin, yes, the troponins  
2     will be on     the troponin upper limit on  
3     normal will be determined based upon the assay  
4     that a hospital uses.

5                 Can a hospital report whatever  
6     upper limit of troponin they want to? They  
7     can, but I suppose anybody could do that on  
8     any measure. They could change the blood  
9     pressures and everything they want to in a  
10    medical record.

11                But each assay, as you know,  
12    should have an upper limit of normal and to be  
13    able to apply that to be able to use troponin  
14    across the different sites that it should be  
15    like that.

16                As far as the value, as Susannah  
17    mentioned, there's been many other models out  
18    there certainly on the individual level. And  
19    there was one actually on the ARG data set  
20    that we used many of the same risk factors  
21    involved. And our C statistic is generally  
22    very good and is in line with all the other

1       ones.

2                       We didn't use all the elements  
3       that they had due to this criteria, you know,  
4       history of peripheral vascular disease, for  
5       instance.

6                       On certain levels, it should be an  
7       easy thing. You would think the patient  
8       either has it or doesn't. But as you probably  
9       all know how well that's recorded, how well a  
10      physician assesses whether somebody has  
11      peripheral vascular disease or not can be done  
12      a lot more reliably in a clinical registry  
13      where, you know, they have specific criteria,  
14      but how much of that works in a day-to-day  
15      clinical practice can be very different.

16                      So, the short answer is that it  
17      operates reasonably well compared to other  
18      risk models. And as Susannah says, the main  
19      issue on the hospital level we feel that it  
20      we're confident that it's functioning well  
21      enough.

22                      DR. KOTTKE: Other discussion.

1 Tom, are you still Tom James, are you  
2 okay. Are we ready to vote on reliability?  
3 Looks like we're ready to vote on reliability.

4 MS. LUONG: The timer starts now  
5 for reliability voting. One is high, two is  
6 moderate, three is low and four is  
7 insufficient.

8 (Voting.)

9 MS. LUONG: All right. Two voted  
10 for high for reliability, 13 for moderate,  
11 four for low and two for insufficient.

12 DR. KOTTKE: Validity.

13 MS. MITCHELL: So, in terms of  
14 validity testing, the developer indicated both  
15 critical data elements and performance measure  
16 scores were tested during this process.

17 We talked a lot about validity  
18 already, to be honest with you. It was  
19 demonstrated in terms of applying the  
20 claims-based model versus the  
21 eMeasure-specific model correlation  
22 coefficient of 0.86. We saw the pictures on

1       Page 28, I believe.

2                   And then in terms of the C  
3       statistic relative to the five risk factors it  
4       was 0.78. And, again, that was considered  
5       acceptable. So, any other comments about  
6       validity?

7                   DR. KOTTKE: Other comments?  
8       Seeing none, let's vote on validity.

9                   MS. LUONG: The timer starts now.  
10      One for high, two for moderate, three for low  
11      and four for insufficient.

12                   (Voting.)

13                   MS. LUONG: Four voted high, 14  
14      moderate, three low, and one insufficient.

15                   DR. KOTTKE: Feasibility.

16                   MS. MITCHELL: So, we've also been  
17      talking about this as well. By and large, all  
18      the data that's been discussed today can be  
19      routinely collected and delivered through care  
20      except for this troponin issue.

21                   Interestingly, the EHR survey that  
22      you guys did which we have not talked about



1 suggested that the data could be captured  
2 manually. Just how feasible that is across  
3 the board is a whole other question, I think,  
4 but it's possible. It's a possibility issue.

5 And so, I think overall the  
6 feasibility of capturing the elements that you  
7 described needed for this model seem quite  
8 reasonable.

9 DR. KOTTKE: Other discussion?  
10 Seeing no other oh, Reva.

11 DR. WINKLER: I just want to make a  
12 comment about the feasibility assessment that  
13 is part of eMeasure evaluation. And that is  
14 really looking up front during measure  
15 development on the feasibility of collecting  
16 data elements and having them be present in a  
17 standardized fashion across.

18 And so, this is sort of one of the  
19 earliest uses of it. And, in fact, they got  
20 there before NQF did the work we did on  
21 feasibility assessment last year, but they  
22 essentially ended up in the same place.

1                   And so, that feasibility  
2                   assessment is something we expect to see as a  
3                   large part of eMeasure evaluations as we see  
4                   new eMeasures coming down the road.

5                   DR. KOTTKE: Any further  
6                   discussion? Seeing no further discussion,  
7                   let's vote on feasibility.

8                   MS. LUONG: The timer for  
9                   feasibility starts now. One for high, two for  
10                  moderate, three for low and four for  
11                  insufficient.

12                  (Voting.)

13                  MS. LUONG: For feasibility, ten  
14                  voted high and 12 voted for moderate.

15                  DR. KOTTKE: Usability and use.

16                  MS. MITCHELL: The measure is  
17                  currently not being publicly reported, but my  
18                  understanding is that CMS may consider  
19                  including it in future IQI programs.

20                  DR. KOTTKE: Any further     oh,  
21                  Henry has a comment.

22                  DR. TING: A question. So, you

1 know, a 30-day RSMR has been publicly reported  
2 part of value-based purchasing developed by  
3 your team.

4 Is this measure potentially it's  
5 the same measure almost except using different  
6 models to adjust clinically adjust for  
7 mortality.

8 Is the intent of this measure to  
9 replace the other measure, or are we going to  
10 have two measures looking at the exact same  
11 thing with different models, one from claims,  
12 one from a clinical registry? It's just a  
13 question.

14 MS. HAN: Your question is whether  
15 CMS will implement two measure simultaneously,  
16 or will select one?

17 DR. TING: Part of it is NQF. So,  
18 we approve this measure.

19 MS. HAN: Yes.

20 DR. TING: It's exactly the same  
21 measure as the other one, which is a  
22 claims-based RSMR that's actually part of

1 value-based purchasing.

2 So, we approve this measure. This  
3 is the same measure except using a different  
4 model clinical registry adjusted.

5 So, I mean, is the intent to have  
6 how do we feel about having two exactly the  
7 same measures looking at the same outcome for  
8 the same population of patients, and how is,  
9 you know, NQF and CMS thinking about this?

10 MS. HAN: Okay. Well, CMS is  
11 developing and continues developing these EHR  
12 measures. Especially outcomes in and the  
13 goal is that in the future we would like to  
14 move from claim-based measure to the EHR  
15 measures. And that's our goal.

16 DR. WINKLER: Yeah, I think we  
17 realize that we're in a transitional phase.  
18 And so, certainly we are seeing within our  
19 portfolio measures that are often pretty much  
20 the same measure, one EHR-based and one that's  
21 some other data source and we'll live with  
22 that duality for a while.

1                   But at some point I think we will  
2                   want to either, you know, it will be one or  
3                   the other and I think that we will always have  
4                   some claims-based measures, some, you know,  
5                   eMeasures.

6                   But for right now as we're in  
7                   transition, you know, this is the very first  
8                   eMeasure that's an outcome measure. And so,  
9                   we're moving into, you know, relatively  
10                  unchartered waters to understand, but we  
11                  certainly, I think, have the support of  
12                  everyone wanting to continue this development  
13                  and push forward.

14                 DR. KOTTKE: Thank you. Any  
15                 further comment? Seeing no further comment,  
16                 let's vote on    oh.

17                 DR. HOLLANDER: So, if we're trying  
18                 to standardize everything and make it  
19                 reproducible, then I don't understand why you  
20                 wouldn't just take what the FDA approved as  
21                 the manufacturer's 99th percentile for each  
22                 assay.

1                   There's only, you know, 10 or 15  
2                   of them on the market and just plug that in at  
3                   each institution and do the math rather than  
4                   let each institution pick a somewhat arbitrary  
5                   cutoff.

6                   And I know that's not what's  
7                   proposed right now, but I would urge you to go  
8                   back and relook at that because I just think  
9                   it's a more standard, reliable, reproducible  
10                  way to measure the troponins.

11                  And then as assays change, I mean,  
12                  right now the FDA testing for troponin is  
13                  unbelievable to define what the 99th  
14                  percentile of normal is.

15                  So, it's in the package insert.  
16                  It seems easy to take that and you know that's  
17                  the most accurate value you could get to  
18                  compare across institutions, because it's the  
19                  same assay across institutions using that  
20                  assay.

21                  DR. McNAMARA: Right. No, I think  
22                  that's a great idea. I mean, I would look at

1     this in terms of the measure says to normalize  
2     the troponin obtained to the upper limit of  
3     normal at that hospital.

4             And if you want to define the  
5     measure obtained at the upper limit of normal  
6     at that hospital, will be the hospital just  
7     puts in which assay they use and there will be  
8     a standardized set of upper limit of normals  
9     from the implementation, I think that's fine.

10            That, I think, is well within both  
11     the spirit and the functionality of this  
12     measure. So, I think that that could be a  
13     very good idea.

14            DR. KOTTKE: Further comment?  
15     Seeing no further comment, let's vote on  
16     usability and use.

17            MS. LUONG: The timer starts now  
18     for voting. One for high, two for moderate,  
19     three for low and four for insufficient  
20     information.

21            (Voting.)

22            (Pause in the proceedings.)

1 MR. KOTTKE: George, you have 22  
2 seconds.

3 (Laughter.)

4 MS. LUONG: For usability and use,  
5 eight voted high, 11 for moderate and two for  
6 low.

7 DR. KOTTKE: Any further discussion  
8 before we have final vote up or down? Seeing  
9 no movement, we'll vote for approval or  
10 endorsement or not.

11 MS. LUONG: The timer starts now.  
12 One for yes and two for no for endorsement.

13 (Voting.)

14 MS. LUONG: 21 voted yes, and one  
15 voted no for endorsement.

16 DR. WINKLER: Thank you very much.

17 DR. KOTTKE: Thank you. Thank you.  
18 Time for public comment.

19 MS. TIGHE: Operator, if you can  
20 check and see if anyone on the line has a  
21 comment and anyone in the room?

22 THE OPERATOR: Okay. To make a



1 public comment, please press star then the  
2 number one.

3 There are no public comments from  
4 the phone lines.

5 MS. TIGHE: And none in the room.  
6 And we are right at the lunch break, 12:15  
7 exactly.

8 DR. WINKLER: Just as you're going  
9 to lunch, we know Henry is leaving relatively  
10 early.

11 Anybody else? When are you  
12 leaving, Michael?

13 Okay. When you say "after lunch,"  
14 are you saying 12:30? Because we do have to  
15 worry about our quorum.

16 Okay. All righty. Lunch is  
17 ready.

18 (Whereupon, the proceedings went  
19 off the record as 12:13 p.m. for a lunch  
20 recess and went back on the record at 12:44  
21 p.m.)  
22

A F T E R N O O N   S E S S I O N

12:44 p.m.

DR. KOTTKE: So, we're discussing  
Measure 2455, Heart Failure: Post-Discharge  
Appointment for Heart Failure Patients.

Jason Spangler and Tom James are  
the discussants, but the we will ask the  
American College of Cardiology representatives  
to give us a brief description.

(Comment off mic.)

DR. KOTTKE: 2458 has been  
withdrawn. No? They didn't withdraw it  
because of you. So, you don't have to  
apologize.

Okay. Go ahead, please.

DR. PINA: I'm Ileana Pina. I'm a  
heart failure transplant cardiologist and  
associate chief of cardiology at Albert  
Einstein, Montefiore New York.

Hello, Sana. How are you?

And I've been asked by the  
American College of Cardiology to talk about

1 the Post-Discharge Appointment for Heart  
2 Failure Patients measure. I was on the  
3 original Performance Measures Committee for  
4 PCPI.

5 In 2002, Stephen Jencks, which  
6 many of you know, published a paper in the New  
7 England Journal sort of alerting the country  
8 that 20 percent of patients with heart failure  
9 who had been admitted for a decompensation of  
10 heart failure were coming back within 30 days  
11 with tremendous variabilities in states and  
12 tremendous variabilities even within a state.

13 But in that same paper when he  
14 linked it to the administrative Medicare data,  
15 he reported that almost 50 percent of the  
16 patients were never seen by a provider within  
17 30 days. And yet, we continue to lower our  
18 length of stay.

19 If you look at the Europeans, the  
20 Europeans who have a much longer length of  
21 stay, have a better 30-day readmission. So,  
22 whether it's omission or commission, it's

1 actually a fact.

2 Get With the Guidelines has been  
3 collecting data on this for quite a long time.  
4 And we actually had a paper that was chaired  
5 by Hernandez from Duke that showed that the  
6 hospitals there weren't that many of them  
7 there was about 35 percent that actually had  
8 a seven to 10-day clinic. But the patients  
9 who did attend a seven to 10-day clinic had a  
10 significantly lower rate of readmission.

11 That 20 percent that Stephen  
12 Jencks is actually we knew about this  
13 earlier from another registry called ADHERE  
14 that we had been collecting. So, that's sort  
15 of the clinical reasons for it.

16 DR. KOTTKE: Thank you.

17 Jason.

18 DR. SPANGLER: Thanks. I thought  
19 that was a great description. I mean, this is  
20 basically a readmission measure looking at  
21 readmission in a different way. It's a  
22 process measure at the facility level.

1                   My biggest issue, and we'll go  
2                   through obviously everything else, but my  
3                   biggest issue actually was about the evidence,  
4                   because of the evidence that's provided and  
5                   what we're looking at.

6                   And I know     and it may be  
7                   technicalities and this came up, you know, in  
8                   our workgroup call, but having an actual  
9                   appointment, scheduling an appointment and  
10                  what happens at the appointment are very  
11                  different things.

12                  And what I don't see as evidence  
13                  that scheduling appointments changes anything,  
14                  because we don't necessarily know even if they  
15                  have the appointment.

16                  We know that there is, you know,  
17                  there is evidence and they provided the  
18                  Cochrane data around post-discharge, you know,  
19                  a lot of post-discharge management including  
20                  scheduling, you know, can change things.

21                  And even the evidence around from  
22                  the guidelines was not very strong evidence

1 and it was only based on, you know, basically  
2 two studies, but it was kind of I would even  
3 that say, you know, but that was actually  
4 having a follow-up appointment and it was, you  
5 know, even the wording are reasonable things  
6 to do.

7 So, my biggest thing was that  
8 was with the evidence. And I know during our  
9 workgroup some people kind of disagreed with  
10 that and thought, you know, it wasn't strong  
11 evidence, but there was evidence for this.

12 So, you know, I don't know if you  
13 want to address that, but that was kind of my  
14 biggest concern.

15 DR. PINA: No, I'd be happy to  
16 address that. I can tell you the data around  
17 the country is that for every five patients  
18 that actually get the appointment, three show  
19 up and two do not. And the main reasons at  
20 least at our place, is transportation.

21 But without a measure, what has  
22 been going on is that the patients are told,

1 call this number on Monday, make your  
2 appointment.

3 And if you think that, you know,  
4 just putting it in the chart and not making  
5 sure that they're there, if you don't even  
6 write it in the chart, it's certainly not  
7 going to happen.

8 And, first of all, finding out who  
9 is going to do that follow-up? Because that's  
10 equally important. Who's going to do that  
11 10-day, seven-day follow-up?

12 So, I fully agree with you that  
13 writing it in the chart is good, but not  
14 sufficient. You would want to see the actual  
15 schedule and the patient actually attending,  
16 but we haven't done much of this at all.

17 So, this would be, to me, a first  
18 step to really get people to think about it  
19 and do it before the patient goes home.

20 DR. KOTTKE: Thanks. Other  
21 discussions? Sana.

22 DR. AL-KHATIB: The only thing that

1 I would point out is to remind ourselves as a  
2 committee of the discussion that we had with  
3 regard to referral, you know, for rehab, to a  
4 rehab program.

5 Because the same we raise the  
6 same concerns, the same arguments, but then we  
7 ended up, you know, agreeing that there is  
8 still value in doing that. And I just want to  
9 caution us against holding this measure to a  
10 higher standard than the referral for rehab.

11 DR. SPANGLER: Because it's my  
12 measure, I want to hold it to a higher  
13 standard.

14 (Laughter.)

15 DR. SPANGLER: Just kidding. No, I  
16 agree, I mean, and not just the rehab. I  
17 thought this conversation has come up  
18 several times with several measures about, you  
19 know.

20 And that's why I think having the  
21 algorithm in the chart that I think NQF calls  
22 for about how this leads to the, you know,



1 sometimes it's not as clear, but I agree with  
2 you.

3 DR. KOTTKE: Tom.

4 DR. JAMES: As the second on this  
5 one, I can say that the concept I believe is  
6 a very valid one. And I think the evidence is  
7 there for having the readmission or for the  
8 follow-up appointment.

9 This is very similar to what's  
10 going the measure that we have in mental  
11 health for follow-up efforts. Psychiatric  
12 hospitalization that has clearly demonstrated  
13 a reduction in readmission.

14 This is what goes on in the ACOs.  
15 That was part of the Brookings ACO development  
16 that demonstrated the same kind of anomaly.

17 The problem here, and this is what  
18 I'd like to get your thoughts on this, is that  
19 the ACC recommendations indicates that people  
20 with heart failure should be seen within seven  
21 to 10 days, but there is no time frame listed  
22 within this.

1                   This could be an appointment three  
2                   months from now, and that, I think, is the  
3                   problem. This is not like a good care  
4                   coordination measure that NQF is also pushing,  
5                   until we can input time frames.

6                   DR. PINA: Right. So, again, I can  
7                   tell you what I've seen in my place is that in  
8                   the electronic health record you must have the  
9                   date of the appointment and it must be given  
10                  to the patient before they walk out the door.

11                  As a matter of fact, if the  
12                  patient is going home on a Friday, I charge my  
13                  house staff for them to make the appointment  
14                  on Monday morning if they can't get into the  
15                  clinic schedule and call that patient Monday  
16                  morning.

17                  So, I agree with you, but it has  
18                  to be documented in the chart with a date so  
19                  that we can actually calculate.

20                  You're right. Three months from  
21                  now isn't going to help anybody.

22                  DR. KOTTKE: So, in the measure, is

1       there a     I don't see any     okay.

2                   DR. GEORGE: We've looked at this a  
3       little bit in the stroke population and  
4       several of our stroke hospitals did a small  
5       pilot last year. Baseline data really low  
6       rates of patients having appointments after  
7       they leave the hospital and they did track  
8       appointments kept both before and after.

9                   And doing this process really can  
10      make a difference in getting the patient to  
11      follow up.

12                  It's not easy, it takes a lot of  
13      process change at the hospital level with  
14      who's in charge of making these appointments,  
15      but it does make a difference and I think you  
16      have to start somewhere.

17                  DR. KOTTKE: Ellen, and then Judd.

18                  MS. HILLEGASS: And I wanted to  
19      reiterate what Tom said. In the COPD  
20      population, the same thing. It's actually  
21      documented that by seeing the patient within  
22      seven days, that made a difference in

1       rehospitalization.

2                   They actually have a pilot where  
3       they are sending RTs paid by the hospital to  
4       go out within 48 hours to see the COPD  
5       patients.

6                   So, there's some pilots out there  
7       for doing -- two. So, there is data in other  
8       populations.

9                   I'm not familiar with heart  
10      failure whether it's seven days, but it does  
11      work the same way.

12                  DR. PINA: I have my own internal  
13      data which I have not published yet. In our  
14      seven to 10-day clinic, the readmission rate  
15      is eight percent for the patients who actually  
16      do show up and come back. And there's  
17      actually a physiologic reason for the  
18      worsening within two weeks.

19                  What happens in a hospitalization  
20      with heart failure is usually diuretics are  
21      given. And if nothing else is done, I  
22      guarantee you that patient will be back

1     because the neurohormonal cascade just takes  
2     off.

3                 In about two weeks they all become  
4     avid absorbers and reabsorbers and now their  
5     diuretics don't work anymore.

6                 So, there's actually a physiologic  
7     reason even for two weeks if they get worse,  
8     they get worse within a week. It doesn't take  
9     long.

10                DR. SPANGLER: So, is there a  
11     reason why that wasn't put in the measure  
12     itself like schedule within two weeks?

13                DR. PINA: Well, when we did the  
14     measure, we weren't thinking necessarily about  
15     the physiologic basis, but more of a process  
16     of care of having that patient who was sick  
17     enough, first of all, sick enough to be in the  
18     hospital needs to be seen, you know.

19                We say seven to 10 days, because  
20     we know physiologically that they start to get  
21     worse.

22                DR. SPANGLER: But the measure

1 doesn't

2 DR. PINA: The measure doesn't talk  
3 about the physiologic

4 DR. SPANGLER: No, I'm just saying  
5 it doesn't talk about a date. Because the  
6 guidelines say seven to 14, and you're saying  
7 physiologically 14, I'm just wondering, well,  
8 that seems to make sense.

9 DR. PINA: And of course it's going  
10 to vary from patient to patient. Not every  
11 patient is going to be the same like any COPD  
12 or any stroke patient. There's going to be a  
13 lot of variability.

14 DR. HOLLANDER: So, what I love  
15 about this is you include observation. So,  
16 it's not just hospital discharge. And so, I  
17 think that's really important.

18 And I would say maybe you should  
19 even think about including emergency  
20 department visits, because we're talking about  
21 care transitions for heart failure patients  
22 and they only send 10 to 15 percent home from

1 the emergency department. And the main reason  
2 is that we can't do care coordination.

3 So, if you look at it and reframe  
4 it as when they have an acute decompensation  
5 which includes the ED, you need to schedule an  
6 appointment with their, you know, heart  
7 failure specialist or primary care provider  
8 that would fit.

9 I know that's not in the measure  
10 before us, but I just throw that out there to  
11 think about it.

12 DR. PINA: As a matter of fact,  
13 many of our clinical trials will use not just  
14 a calendar date change of an inpatient  
15 hospitalization, but a time in the ED where  
16 the patient was, say, given an IV diuretic,  
17 watched for a few hours and then sent out as  
18 an event, as a heart failure event.

19 DR. KOTTKE: Further discussion?  
20 So, are we ready oh, I'm sorry, Linda.

21 MS. BRIGGS: I just have a question  
22 about the definition of "inpatient facility,"

1     because sometimes patients go to a subacute  
2     facility.

3                     And so, that patient would be seen  
4     by someone in the subacute facility most  
5     likely, but it still would be good to know if  
6     that would be considered an inpatient facility  
7     or not.

8                     DR. PINA: I would favor  
9     considering that, because that would be very  
10    similar to an ED visit that doesn't get  
11    admitted. That gets treated and gets sent  
12    out.

13                    And I think we are going to be  
14    seeing more hospitals doing that, not actually  
15    admitting the patients, just putting them  
16    under the Medicare observation status and  
17    sending them home.

18                    MS. BRIGGS: Let me clarify what I  
19    meant, actually, because I saw this in     I  
20    worked for a while as a hospitalist as part of  
21    an internal medicine team.

22                    And one of the things that would



1     happen if someone wasn't able     they were  
2     admitted for heart failure and we were  
3     concerned that they couldn't go home by  
4     themselves or whatever.

5             We would then refer them from the  
6     hospital then to subacute care. And they  
7     might be there for however long their benefits  
8     lasted. Maybe two weeks, maybe four. And  
9     then the next thing we would see is they'd be  
10    back in the hospital again, that they never  
11    actually ended up being seen by somebody on  
12    the other side of that.

13            So, if this is just inpatient  
14    facility as in hospital admission or  
15    observation status at a hospital, it wouldn't  
16    necessarily capture those patients who move  
17    then to another level of care and then out to  
18    the outpatient area.

19            DR. KOTTKE: Further discussion on  
20    evidence? Are we ready to vote on evidence?

21            (Pause.)

22            DR. KOTTKE: I think we're ready to

1 vote on evidence.

2 MS. LUONG: The timer starts now.  
3 One is for high, two is for moderate, three is  
4 for low, four is for insufficient evidence  
5 with exception, and five is for insufficient  
6 evidence.

7 (Pause.)

8 MS. LUONG: Can everyone just point  
9 at me again? Okay. We lost one.

10 (Pause.)

11 MS. LUONG: So, three voted for  
12 high evidence, 13 for moderate, one for low  
13 and one for insufficient evidence with  
14 exception.

15 DR. KOTTKE: Opportunity for  
16 improvement.

17 DR. SPANGLER: So I think this is  
18 the first time we're talking about heart  
19 failure, but similar to our previous  
20 discussions, there is a performance gap.

21 They talk about -- there's a mean  
22 of less than even as an improvement from the

1 data from 2011-2012 that's still less than 50  
2 percent of CHF patients on the post-discharge  
3 scheduled follow-up appointments. So, there  
4 is a big gap there.

5 Additionally, there are  
6 disparities that exist across races. And  
7 interestingly, I found out that there were  
8 disparities between Medicare and Medicaid  
9 patients as well. So, I think there's a high  
10 performance gap.

11 DR. KOTTKE: Any further discussion  
12 on performance gap? Let's vote on performance  
13 gap.

14 MS. LUONG: The timer starts now.  
15 And it's one for high, two for moderate, three  
16 for low and four for insufficient.

17 (Pause.)

18 MS. LUONG: We have 17 for high and  
19 one for moderate.

20 DR. KOTTKE: Priority.

21 DR. SPANGLER: So again, similar to  
22 previous discussions, CHF leading cause of

1 morbidity and mortality; reducing both of  
2 those and readmissions has been a national  
3 priority affected by this. And then for data  
4 that they provide regarding costs, the costs  
5 are pretty substantial. They noted \$30  
6 billion annually, so I would say it's a high  
7 priority.

8 DR. KOTTKE: Further discussion?  
9 Tom.

10 DR. JAMES: And just to follow up  
11 with what Jason said, yesterday it was  
12 reported that heart failure readmissions are  
13 the most costly readmission type for Medicaid.

14 This is also, as I think Jason is  
15 saying, is part of the whole national quality  
16 strategy on heart disease. This is a priority  
17 measure.

18 DR. KOTTKE: Any further  
19 discussion? Seeing no further discussion,  
20 let's vote on priority.

21 MS. LUONG: The timer starts now.  
22 One for high, two for moderate, three for low

1       and four for insufficient.

2                       (Pause.)

3                       MS. LUONG: I think we're supposed  
4       to have 19, so we're missing two. Can  
5       everyone just point over to me, just to make  
6       sure?

7                       Yes, we have 23. 19 for high.  
8       That's a hundred percent.

9                       DR. KOTTKE: Scientific  
10      acceptability and reliability.

11                      DR. SPANGLER: So, the measure  
12      specifications are clearly defined. They have  
13      a good calculation algorithm. I thought the  
14      exclusions and exceptions were well-detailed.  
15      So, I think it's going to be implemented  
16      consistently.

17                      Talking about testing here as  
18      well? You know, they did empiric reliability  
19      testing signal to noise and I thought the  
20      results demonstrated high reliability.

21                      DR. KOTTKE: Any further  
22      discussion? Seeing none, let's vote on - oh,

1       sorry, Tom.

2                   DR. JAMES: Again, the issue with  
3       reliability has to do with the absence of  
4       having a hard time deadline as far as when an  
5       appointment should be made.

6                   This means in my estimation, this  
7       is a low-bar measure.

8                   DR. KOTTKE: Anybody else care to  
9       comment on the open-endedness of the time  
10      frame?

11                  Seeing nobody who wants to, let's  
12      vote on reliability.

13                  MS. LUONG: The timer for  
14      reliability starts now. One for high, two for  
15      moderate, three for low and four for  
16      insufficient.

17                  (Pause.)

18                  MS. LUONG: Six voted for high, 11  
19      for moderate and one for low.

20                  DR. KOTTKE: Validity.

21                  DR. SPANGLER: So, only face  
22      validity was done with three separate

1 committees. The results showed a 69 percent  
2 either agree or strongly agree that you can  
3 distinguish between good and poor quality.

4 So, the highest would be a  
5 moderate validity and I think it probably is  
6 about moderate, but it could be higher than  
7 that. So, that's the recommendation.

8 They mentioned - sorry. I just  
9 want to note, there was a mention and maybe  
10 I'm getting confused in terms of - there was  
11 a mention of content validity in the  
12 application, but they didn't produce any  
13 results. They didn't demonstrate what that  
14 was, so I didn't know if there was additional  
15 validity they had done but didn't give the  
16 results, or they were just referring to what  
17 they had already done.

18 MR. CHIU: That was just referring  
19 to what we've done. Basically, we considered  
20 that, you know, the group experts creating the  
21 measure and then reviewing it for the content  
22 validity, but I think we already discussed

1     that. We realize it's probably at best to be  
2     moderate.

3             DR. WINKLER: One comment on  
4     criteria for validity is the - whether the  
5     specifications are consistent with the  
6     evidence. And this is perhaps where your time  
7     or lack thereof time and the specifications  
8     may enter into criteria.

9             DR. KOTTKE: Anybody else need to  
10    make a comment? Let's vote on validity.

11            MS. LUONG: The timer starts now  
12    for validity. One for high, two for moderate,  
13    three for low and four for insufficient.

14            (Pause.)

15            MS. LUONG: 15 voted for moderate  
16    and four for low.

17            DR. KOTTKE: Feasibility.

18            DR. SPANGLER: So the data is  
19    collected through a registry, which is the Get  
20    With the Guidelines Heart Failure Patient  
21    Management Tool. So they describe kind of how  
22    much this is used. It seems to - I don't



1     have any experience with this, but it seems to  
2     be something that is used pretty  
3     substantially. And there's, you know, it's an  
4     electronic form that's readily available.

5                 So, I didn't see any concerns. I  
6     thought there was a high feasibility.

7                 DR. KOTTKE: Yes.

8                 DR. PINA: It is the hospitals that  
9     have Get With the Guidelines really use it a  
10    lot not only to bring up sort of the water  
11    rising that everybody is aware that we are  
12    collecting this information, but they're  
13    giving it back to the staff so that they can  
14    see what they're actually doing comparing to  
15    other hospitals like us. And then you can  
16    actually if you win an award, you can actually  
17    use that in advertising in your city as an  
18    award for quality.

19                So, there's a lot of    a lot of  
20    bonuses for using Get With the Guidelines,  
21    which the hospitals use. And by the time  
22    they're in there, the numbers do go up.

1 DR. KOTTKE: Sana.

2 DR. AL-KHATIB: Just a quick  
3 question. I'm actually very familiar with the  
4 Get With the Guidelines Heart Failure  
5 database, but what is the total number of  
6 hospitals participating in this database now?

7 DR. PINA: I think it's about 541  
8 distributed all over the country. Small  
9 hospitals, big hospitals.

10 MS. MITCHELL: Was the intent for  
11 this measure to be applied only to a Get With  
12 the Guidelines hospital?

13 DR. PINA: No, I think this measure  
14 should be applied all the way around. It's  
15 just that because we've been collecting the  
16 data so consistently, it's our best proof of  
17 what can be done in a hospital.

18 If the hospital decides to do  
19 quality, they may decide to do it some other  
20 way. And we do have literature on this from  
21 the H2H program of the ACC, that hospitals  
22 that have three or four different tactics to

1     lower their readmission rates whether a visit  
2     or whether working with clinicians, the rates  
3     dropped.

4                     So that getting involved, just  
5     that alone, works.

6                     MS. MITCHELL: And H2H is hospital  
7     to home?

8                     DR. PINA: H2H was hospital to  
9     home, which was the ACC initiative with the  
10    IHI.

11                    DR. KOTTKE: Anybody have - need  
12    any other comment on feasibility? Seeing  
13    none, let's vote on feasibility.

14                    MS. LUONG: The timer starts now.  
15    One for high, two for moderate, three for low  
16    and four for insufficient.

17                    (Pause.)

18                    MS. LUONG: Nine voted high and ten  
19    for moderate.

20                    DR. KOTTKE: Usability and use.

21                    DR. SPANGLER: So, the measure is  
22    currently used in two programs. Both with Get

1 With the Guidelines, one the heart failure and  
2 I think what you described in the heart  
3 failure recognition program.

4 It's not publicly reported, but  
5 there are plans for public reporting  
6 incorporation into CMS' PQRS program.

7 My only concern is that no time  
8 frame was given for when that was going to be  
9 done. I'd be pretty confident it probably is  
10 going to occur within six years, which I think  
11 is what is called for, but it would be nice to  
12 actually have a time frame.

13 DR. PINA: So, the Joint Commission  
14 has a certification for heart failure for  
15 hospitals. And in order to get that  
16 certification, the hospital has to prove that  
17 they have entered into a quality program like  
18 Get With the Guidelines and that they have an  
19 award. So already, the bar is raised.

20 DR. KOTTKE: Further discussion?  
21 Seeing no action -- you don't need to feel the  
22 need to comment, do you, even though your name

1 is called.

2 (Laughter.)

3 DR. KOTTKE: Let's vote on  
4 usability and use.

5 MS. LUONG: The timer starts now.  
6 One for high, two for moderate, three for low  
7 and four for insufficient information.

8 (Pause.)

9 MS. LUONG: Ten voted for high for  
10 usability and use, and nine for moderate.

11 DR. KOTTKE: Any further discussion  
12 before we take a final vote?

13 Tom.

14 DR. JAMES: Just to try to  
15 reiterate it again that while this may be one  
16 that we want to allow in now, this is such a  
17 low-bar measure and does need to be harmonized  
18 with the care coordination measures and this  
19 and normally ACC is out in front, but I  
20 think we're lagging on this one. I just don't  
21 want you to be embarrassed.

22 (Laughter.)

1 DR. KOTTKE: You want to embarrass  
2 them up front.

3 I would agree with Tom that I'm a  
4 little surprised about the lack of pace, but  
5 I think you'll probably fix that.

6 DR. SPANGLER: Yes. I would just  
7 also kind of reiterate what Tom is saying  
8 about the harmonization.

9 I mean, there's a bunch of  
10 competing measures or possibly competing  
11 measures here and trying to make sure those  
12 are all, you know, harmonized would be ideal.

13 DR. KOTTKE: So, final vote. Yes  
14 or no.

15 MS. LUONG: The timer starts now.  
16 One for yes and two for no for NQF  
17 endorsement.

18 (Pause.)

19 MS. LUONG: Thank you. 18 voted  
20 yes for endorsement. One no.

21 DR. KOTTKE: Okay. Thank you very  
22 much. We do have - you mentioned competing

1 measures. Do we really have any?

2 DR. WINKLER: Not -- I mean, there  
3 are other measures around heart failure, not  
4 so much around appointments.

5 And so, there are some care  
6 coordination measures in terms of follow-up  
7 after hospitalization and -

8 DR. SPANGLER: Not necessarily  
9 competing, but definitely kind of  
10 harmonization, making sure there's not overlap  
11 or anything like that.

12 DR. PINA: Yes, most of the CMS  
13 measures up to recently have included what's  
14 done in hospital to the patient. In other  
15 words, the EF measure, the ACE inhibitor  
16 given, et cetera.

17 Care coordination is a super  
18 important measure, I think. The majority of  
19 patients out there with heart failure are  
20 unfortunately not seen by us, the heart  
21 failure community. They're primarily seen in  
22 primary care practices, where the whole team

1 approach may just not be available to these  
2 practitioners. So this is really a whole  
3 change in mentality.

4 DR. SPANGLER: I'm curious, and  
5 maybe Jensen, you're the best person to answer  
6 this, but has there been a consideration by  
7 ACC of a composite heart failure measure  
8 similar to like - because it seems, that  
9 actually to me seems to be something that  
10 would be easier done.

11 (Laughter.)

12 DR. PINA: It certainly makes sense  
13 because now we have enough of the little  
14 pieces that we can probably put a composite  
15 together.

16 When we were doing the performance  
17 measures, we didn't think at that point that  
18 we had enough information to really go out.  
19 And you'll see it in the next one coming out,  
20 too.

21 MS. DeLONG: On this measure, I  
22 didn't really hear any killer comments. And



1     yet, somebody voted against it. It would be  
2     helpful to me if I had all the reasons out  
3     there before I vote.

4                    MS. HILLEGASS: This is a little  
5     bit off the topic, but in the sense of I  
6     really liked this because it said prior to  
7     discharge and appointment. And the cardiac  
8     rehab one talks about referral, and I didn't  
9     like referral. I wondered if there's any way  
10    - I know we can't change them, if we could  
11    have, on the post-inpatient, an appointment.

12                   Instead of checking a box for  
13    referral and checking a box for counseling,  
14    you will have had to talk to them about  
15    cardiac rehab if you've made an appointment  
16    with a cardiac rehab. And I    that's what I  
17    really like about this. I had no problems  
18    with this whatsoever, but the referral to me  
19    just seems like just out there, but maybe  
20    that's just me.

21                   DR. KOTTKE: Thank you.    0521.

22                   DR. WINKLER: Do we have someone

1 from CMS, a measure developer for 0521?

2 MS. DEITZ: Yes, this is Deborah  
3 Deitz. I'm a nurse researcher with Abt  
4 Associates. Hi. And we've been the  
5 contractor helping CMS with this measure.

6 MS. GALLAGHER: Deb, hi. This is  
7 Caroline Gallagher. I am the lead at CMS for  
8 the Home Health Quality Reporting as well, but  
9 I'm going to let Deb take the lead on this  
10 discussion.

11 MR. HITTEL: And David Hittel from  
12 University of Colorado is also on the line, or  
13 also part of the team.

14 DR. WINKLER: Great. Thanks very  
15 much.

16 Deb, why don't you give us a brief  
17 introduction to the measure?

18 MS. DEITZ: Okay. This is heart  
19 failure symptoms addressed. And it's a  
20 process measure designed to reduce the need  
21 for urgent care and readmissions for heart  
22 failure patients who are in the home health

1       setting.

2                       And the idea is that by early  
3       identification of heart failure symptoms and  
4       coordination with physicians and other  
5       providers to intervene if the patient is  
6       experiencing heart failure exacerbation, we  
7       can reduce the readmissions.

8                       This measure has been endorsed by  
9       NQF and reported on Medicare's home health  
10      compare website since 2011. As it's currently  
11      specified, it assesses whether the clinician  
12      addressed the patient's symptoms of heart  
13      failure, if the patient is exhibiting symptoms  
14      of heart failure.

15                      We have proposed to revise this  
16      measure at this time so that agencies will now  
17      be held accountable for assessing heart  
18      failure symptoms in all patients with a  
19      diagnosis of heart failure, not just ones who  
20      showed symptoms of heart failure and that they  
21      address those symptoms when they're present.

22                      In addition, there's one other

1 change. The measure now applies to both  
2 short-term and long-term home healthcare  
3 episodes. In the past, the long-term home  
4 healthcare episodes were excluded. And now,  
5 they're no longer excluded.

6 I think Acumen, who has been doing  
7 the a lot of the statistical analysis  
8 conducted some testing to ensure that removing  
9 that long-term episode exclusion doesn't  
10 distort the results of the measure. The mean  
11 agency performance stays pretty much the same  
12 as a result of the change. And also, removing  
13 that long-term episode exclusion increased the  
14 number of agencies eligible for reporting the  
15 measure.

16 So, I think that pretty much gives  
17 you an overview of where we're at.

18 MS. GEORGE: Thank you. Mark.

19 MR. VALENTINE: Yes. This is an  
20 existing process that's been happening for  
21 been going on for the last five years.  
22 There's 888 or 8,800 home health agencies

1       that are currently using this.

2               There are no studies, though, that  
3       show the use of this process specifically,  
4       that the outcomes are impacted, but the  
5       measure ties directly to the consensus-based  
6       guidelines. There is no evidence of QCC  
7       included. The highest possible rating would  
8       most likely be a moderate.

9               The developer does site guidelines  
10       from the Heart Failure Society specific to  
11       patients and family education for self-care  
12       and the recognition of heart failure symptoms  
13       when they call the provider.

14              And the developer does not include  
15       any guidelines for clinical assessment or  
16       failure symptoms.

17              So, you know, the goal is really  
18       to provide this assessment using the OASIS  
19       tool. But at the same time, making sure that  
20       the patients, they'll go into the acute  
21       setting.

22              So, they're being assessed, then

1       cared for from an outpatient perspective and  
2       not into an acute care setting perspective and  
3       it's over a long period of time.

4               DR. GEORGE: Any comments?

5               Judd.

6               DR. HOLLANDER: Yeah, maybe I'm  
7       missing this, but I'm kind of unenthused about  
8       this one.

9               (Laughter.)

10              DR. HOLLANDER: I mean, I  
11       understand the importance of the problem.  
12       Don't get me wrong, but it's a relatively  
13       narrow difference between the 75th and 25th  
14       percentile.

15              MR. VALENTINE: Right.

16              DR. HOLLANDER: And it's about  
17       assessing symptoms and then doing appropriate  
18       care.

19              MR. VALENTINE: Doing something  
20       about it.

21              DR. HOLLANDER: I don't know what  
22       appropriate care is. To me, if I'm sending a

1 provider into the home, I want one thing. I  
2 want them to keep that patient out of the  
3 hospital.

4 MR. VALENTINE: Right.

5 DR. HOLLANDER: And so, this is too  
6 vague for me. I want to know of the percent  
7 of time they go into the home, what percent do  
8 they end up sending the patient to the  
9 hospital, you know, or can they really keep  
10 the patient out of the hospital.

11 Because if they just go there,  
12 record a bunch of symptoms, give somebody a  
13 dose of lasix and send them to the hospital,  
14 they'll meet this measure, but they haven't  
15 done anything for the patient.

16 And so, I'm not sure I see how  
17 this is helpful to measure it. There has to  
18 be an intervention that occurs as a result of  
19 that home visit that keeps somebody from  
20 getting worse. And I don't see that embedded  
21 in here.

22 And I don't see any evidence such

1 as documenting you have signs and symptoms and  
2 giving you an extra dose of lasix or saying,  
3 don't eat salt, which might actually meet this  
4 improves outcomes.

5 DR. GEORGE: Liz.

6 MS. DeLONG: (Speaking off mic.)

7 THE REPORTER: Microphone, please.

8 MS. DeLONG: Sorry. It says that  
9 the number of home health visits in the  
10 numerator statement that were assessed for  
11 symptoms of heart failure and appropriate  
12 actions were taken when the patient exhibited  
13 symptoms or heart failure for heart failure.

14 I don't I don't understand the  
15 denominator, actually, but it claims when  
16 appropriate actions were taken. Whether  
17 that's specific, I don't know.

18 MS. COOK: Would you like us to  
19 clarify the types of actions that are  
20 documented by the OASIS tool?

21 DR. GEORGE: Please.

22 MS. COOK: Sure. This is Keziah



1 Cook from Acumen. The item on the OASIS tool  
2 that the numerator of this measure is captured  
3 using is called Heart Failure Follow-Up.

4 And there's a screening question  
5 that identifies any patients with symptoms of  
6 heart failure.

7 Patients identified as having  
8 symptoms, the home health staff is also asked  
9 to indicate what action was taken.

10 The actions they can choose are no  
11 action taken, which would result in failing  
12 this measure, or they can indicate the  
13 patient's physician or other primary care  
14 practitioner was contacted the same day, the  
15 patient was advised to get emergency  
16 treatment, the home health agency implemented  
17 the physician-ordered patient-specific  
18 parameters for treatment, they provided  
19 patient education or other clinical  
20 interventions, or they obtained a change in  
21 care plan order.

22 So, for instance, increased

1 monitoring, a change in the visit frequency,  
2 orders for Telehealth or so forth.

3 So, those are the that's the  
4 level of specificity that the OASIS tool  
5 documents the type of action taken by the home  
6 health agency.

7 For the purposes of this measure,  
8 taking any of those actions is considered to  
9 meet the denominator of the measure, whereas  
10 taking no action in response to the symptoms  
11 or failing to identify that a patient with  
12 heart failure had symptoms at all, failing to  
13 assess the patient results in failing the  
14 measure.

15 DR. GEORGE: So, assessment is  
16 required, as well as the action; is that  
17 right?

18 MS. COOK: That's right.

19 DR. GEORGE: Judd.

20 DR. HOLLANDER: So, it allows both  
21 ends of the spectrum to be a positive result.  
22 Calling the doctor and then doing nothing

1 would count, or sending the patient to the  
2 emergency department, which is exactly what  
3 we're trying to avoid, would count.

4 So, if all you have to do is like  
5 contact somebody and do something at either  
6 end of the spectrum, we're not really solving  
7 the problem though.

8 The problem is we want to improve  
9 home care, and we're not necessarily doing  
10 that because we're sending patients back to  
11 the hospital or leaving them at home doing  
12 nothing different. And both of those meet the  
13 criteria in this measure.

14 So, you know, I guess my  
15 perspective is not changed after hearing  
16 what's included in the OASIS tool, because it  
17 includes effectively everything besides  
18 ignoring the patient.

19 DR. GEORGE: Sana.

20 DR. AL-KHATIB: I just have a quick  
21 question. I actually share the concerns that  
22 Judd just mentioned, but I also have a

1 question because I'm having difficulty  
2 visualizing how this will be, you know, will  
3 work in terms of, I mean, is this a database  
4 that we're talking about that captures all the  
5 home health encounters within a health system  
6 or how is this going to work in terms of like  
7 what are the who are the participants and  
8 how many people are we capturing through the  
9 system that you are proposing?

10 Sorry. I mean, having reviewed  
11 all these measures, now some of these measures  
12 are blending together especially when it comes  
13 to the source of data.

14 MS. COOK: Sure. This is Keziah  
15 from Acumen again. I'm happy to clarify on  
16 that point.

17 This home health measure and is  
18 based on the OASIS assessment. OASIS is  
19 required for all home health patients who are  
20 receiving care covered by Medicare or  
21 Medicaid. So, it's part of the conditions of  
22 participation in the Medicare program. So,

1       this is a mandatory assessment.

2                   It's conducted at the start of  
3       care and again at patient discharge or  
4       transfer.

5                   And specifically for this measure  
6       the patient is eligible for the measure in  
7       terms of those patients with a diagnosis of  
8       heart failure are identified based on the  
9       initial assessment, and then whether or not  
10      the patient was assessed and interventions  
11      appropriate actions taken is assessed based on  
12      the end-of-care, the discharge or transfer  
13      OASIS assessment.

14                  So, this measure is currently  
15      being collected. Has been collected since  
16      2010 for all home health patients whose care  
17      is covered by Medicare or Medicaid.

18                  DR. GEORGE: Liz.

19                  MS. DeLONG: So, I still don't  
20      understand who the population is in the  
21      denominator, because the denominator statement  
22      says the number of home health episodes of

1 care ending with a discharge or transfer to  
2 inpatient facility.

3 Does "discharge" mean discharged  
4 from home health care? I don't understand the  
5 terminology. Sorry.

6 MS. COOK: Right. Okay. And our  
7 apologies. I know this is not as specific to  
8 the setting.

9 So, a patient can exit home health  
10 in a couple of different ways. They can be  
11 discharged to the community, which usually  
12 means they're either no longer home or they no  
13 longer have a need for skilled care in their  
14 home. So, they would remain in their home,  
15 but they are no longer receiving home health  
16 services. So, that's considered a discharge  
17 to the community.

18 They can also be discharged to an  
19 inpatient setting such as a skilled nursing  
20 facility or a hospital.

21 And then finally there is an OASIS  
22 assessment type for a transfer to an inpatient

1 facility. And this assessment type is  
2 conducted when there's an expectation that the  
3 patient will be returning home and will resume  
4 home health care once they return home.

5 So, the patients in the  
6 denominator of this measure are all home  
7 health patients with a diagnosis of heart  
8 failure or symptoms of heart failure whose  
9 home health episode ends during a rolling  
10 12-month reporting period.

11 DR. GEORGE: Tom.

12 DR. JAMES: Just to put this into  
13 some other context, this is a measure that I  
14 believe is part of or would be part of the  
15 nursing home assessment on the Medicare  
16 webpage. Home health, yes.

17 And, frankly, there are very few  
18 measures out there. And yet, patients when  
19 they're being discharged from the hospital  
20 should be given a choice of three separate  
21 home health agencies from which to choose.  
22 And having some reliable measures that are

1 based in evidence could help them make better  
2 choices than which one has the highest  
3 alphabet letter.

4 So, there's a real reason for  
5 this, but by the same token I am concerned  
6 about the level of evidence here. I'd like to  
7 see a tighter measure.

8 DR. GEORGE: Any other comments or  
9 discussion on the evidence?

10 Linda.

11 MS. BRIGGS: I'm still having  
12 trouble as Liz was with the denominator here,  
13 because basically it's talking about the  
14 episode of home health care ending in either  
15 discharge or transfer to an inpatient  
16 facility.

17 So, it kind of, to me, it's like,  
18 okay, are these people that ended up in the  
19 hospital and now we're looking back at them?  
20 Is that what we're looking at?

21 MS. COOK: And you know what? I'm  
22 sorry. I think I think probably our



1 sentence structure is a little confusing  
2 there.

3 They are episodes that either end  
4 in discharge that can be discharged to the  
5 community, or can be discharged to an  
6 inpatient facility, or they end in transfer.

7 So, it's actually all home health  
8 episodes that end via any means other than the  
9 patient's death at home.

10 DR. VIDOVICH: I'm just asking for  
11 clarification. It says "endorsement  
12 maintenance." So, this had been previously  
13 endorsed, this measure?

14 MS. COOK: That's right. Yes.

15 DR. VIDOVICH: So, then so, this  
16 has been endorsed as is, right?

17 DR. WINKLER: Well, not as is.  
18 Actually, they've made significant revisions  
19 to the measure for this particular evaluation  
20 to enlarge the denominator for all patients  
21 with heart failure.

22 Previously it was just the

1 patients with heart failure with symptoms.  
2 So, they are revising as part of the  
3 maintenance process. But this measure, yes,  
4 has been endorsed by NQF for quite a few  
5 years.

6 DR. VIDOVICH: With the same  
7 wording symptoms assessed and addressed,  
8 right, which we have a little bit of a problem  
9 writing.

10 DR. WINKLER: I'm sorry?

11 MS. COOK: The previous title, I  
12 believe, was Heart Failure Symptoms Addressed  
13 as our denominator expansion was to also  
14 require an assessment of symptoms.

15 DR. VIDOVICH: Okay.

16 MS. COOK: Previously, if a home  
17 health agency failed to identify that a  
18 patient had heart failure symptoms, that  
19 patient was not included in the measure and we  
20 felt that was a shortcoming.

21 DR. GEORGE: Leslie.

22 DR. CHO: So, does the measure

1 developer have any data that doing this  
2 measure has improved patients' outcome somehow  
3 in the last four years?

4 MS. COOK: You know what? I think  
5 what we can say is that as agencies became  
6 more comfortable with the OASIS, the  
7 instrument, the overall performance on this  
8 measure did increase somewhat.

9 That was also part of why it  
10 seemed important to expand the denominator to  
11 include both addressing symptoms and also  
12 assessing symptoms.

13 DR. CHO: I appreciate that the  
14 yeah, it was surveyed, but I want to know it  
15 improved patients' outcome. Like, were did  
16 you have these patients' heart failure  
17 symptoms assessed more and did that translate  
18 into less rehospitalization or whatever? You  
19 know what I mean?

20 I would like to it's all good  
21 and fine for us to assess these symptoms, but  
22 I want to know what they led to. And you have

1 four years of data now.

2 MS. COOK: Sure. So, that's not  
3 something that's really feasible directly with  
4 the OASIS data, you know.

5 What we have seen is that there  
6 has been a fairly stable trend in terms of  
7 hospitalization and ED use rates.

8 The other thing we've seen,  
9 though, is that the actual rate of patients  
10 with heart failure at home health agencies has  
11 declined over the time period.

12 I believe there was and, Deb,  
13 Deb Deitz, if you're able to jump in here, I  
14 believe there were some changes in the home  
15 health payment system that may have changed  
16 when home health agencies identified patients  
17 as having heart failure.

18 So, the reason why we're not able  
19 to conclusively say that over this time period  
20 conducting the assessment and addressing of  
21 symptoms led to a change in outcomes, is we  
22 don't know that our population of patients has

1       been stable at that time.

2                   There is some evidence to suggest  
3       that the patients identified as having heart  
4       failure currently the heart failure likely  
5       represents a more significant component of  
6       their care needs than patients who could have  
7       been identified having heart failure back in  
8       2010.

9                   DR. AL-KHATIB: So, I completely  
10      agree with

11                  MS. COOK: Yeah, we see a trend as  
12      the number of patients with heart failure in  
13      home health drops a bit. We see roughly  
14      stability in the rate of emergency room use or  
15      in the rate of hospitalization, but it's just  
16      hard to determine if we're really comparing  
17      apples to apples there.

18                  DR. AL-KHATIB: Well, so I  
19      completely agree with the comment that was  
20      made by Leslie that, you know, we really need  
21      to have some data on the impact of the  
22      performance measures.

1                   And if you don't have that in  
2                   place assuming that this gets endorsed, I  
3                   don't know what the outcome of this measure  
4                   will be today, but if it gets endorsed, I  
5                   think you need to have a plan in place as to  
6                   how you intend to study the impact of this  
7                   measure on patient outcomes.

8                   DR. GEORGE: Any further  
9                   discussion? All right. We'll vote on the  
10                  evidence.

11                  MS. LUONG: The timer starts now  
12                  for voting. One is for high, two is for  
13                  moderate, three is for low, four is for  
14                  insufficient evidence with exception, and five  
15                  is for insufficient evidence.

16                  (Voting.)

17                  (Pause in the proceedings.)

18                  MS. LUANG: So, for evidence, four  
19                  voted moderate, nine for low, one for  
20                  insufficient evidence with exception, and six  
21                  for insufficient evidence.

22                  MS. TIGHE: So, the measure did not

1 meet the importance criteria of the  
2 Subcriterion 1a for evidence. Thank you  
3 everyone from CMS who joined us for that  
4 measure.

5 And then moving on, it's the last  
6 measure of the day, 2450, the ACC measure.

7 DR. KOTTKE: Okay. While the ACC  
8 comes back, it's 2450, Heart Failure: Symptom  
9 and Activity Assessment.

10 Primary Discussant is Joel Marrs  
11 and secondary discussant is Mladen Vidovich.

12 MS. TIGHE: And we did receive an  
13 email request. There seems to have been an  
14 after-lunch slump. So, if you can just lean  
15 in a little bit more and speak up into your  
16 microphones, people on the phone are having  
17 trouble hearing.

18 DR. KOTTKE: Okay. Welcome again.

19 DR. PINA: Thank you again for  
20 letting us make this presentation.

21 So, this measure combines symptom  
22 and activity assessment and it's something

1       that we really pained over.

2                   You heard this when we had our  
3       phone conference a couple weeks ago when we  
4       were doing the performance measures, because  
5       activity is absolutely directly related to  
6       prognosis.

7                   And it would be wonderful if we  
8       could put everybody on the treadmill and do a  
9       cardiopulmonary test and get their actual  
10      prognosis right off of their VO2, but nobody  
11      is going to do that.

12                  So, we have to ratchet it down  
13      some and we said, okay, well, what about a  
14      questionnaire?

15                  And we have some wonderful  
16      instruments that I use all the time in my  
17      clinic, but the primary care practitioners  
18      many times don't even know that it exists.

19                  So, we have to start somewhere to  
20      get physicians to think about activity level  
21      in the heart failure patients, which is  
22      directly related to mortality.



1                   And of course the New York Heart  
2                   class takes into consideration the symptoms  
3                   and the activity level. The two sort of go  
4                   together. And it's a classification that  
5                   everybody is aware of.

6                   They're not writing them down in  
7                   the charts. I know that for a fact, because  
8                   I look at charts all the time, but and it is  
9                   highly subjective.

10                  However, when you look at the  
11                  literature, there is a breakdown of patients  
12                  with Class 4 by description who have a 50  
13                  percent mortality in six months. Patients who  
14                  are Class 3 are below that, and one or two are  
15                  below that.

16                  So, it has value in that it's  
17                  getting the physician to think about the  
18                  symptoms as it relates to activity level and  
19                  then putting that in the prognostic category  
20                  where it belongs.

21                  And so, we came up without getting  
22                  again, it's one of these got to start

1 somewhere, because they're not really thinking  
2 about it and they're not documenting it.

3 MS. MARRS: All right. So, to  
4 start off with the evidence assessment, there  
5 was no QQC submitted. And so, highest level  
6 could be moderate.

7 And a lot of the evidence  
8 background is driven by poor recommendations  
9 both in ACCF AHA guidelines, as well as HFSA  
10 guidelines was kind of a primary driver for  
11 evidence

12 DR. VIDOVICH: My comment would be  
13 this is dissimilar to the measure we discussed  
14 yesterday for indications for PCI. I think  
15 it's an important part of documentation to  
16 have in the chart.

17 DR. KOTTKE: Judd.

18 DR. HOLLANDER: So, I guess my  
19 question is how this changes anything. So, I  
20 think from a patient-centered approach it's  
21 nice to address the patient's symptoms and try  
22 and improve them, but maybe the last thing I

1       need is another prognostic tool.

2                       I mean, we have BNP, we have LV  
3       function, we have troponin. And now if the  
4       sails for this is symptoms helps with  
5       prognosis, I don't know that I need it unless  
6       you're going to tell me that if I use Drug A  
7       or Drug B or do cardiac rehab it's going to  
8       change their outcome.

9                       DR. PINA: So, yeah. You're  
10       absolutely correct. You don't need another  
11       prognostic tool, but you don't have the  
12       perfect prognostic tool, because pro BNP in  
13       many instances has a lot of prognosis.

14                      But if you're in the clinic, you  
15       may not have that pro BNP for prognosis where  
16       an activity assessment it's pretty easy to do  
17       within your history.

18                      The second thing is that if the  
19       patient is truly Class 3 or 4, you would go to  
20       another level of drug. You may think about a  
21       device where you've now cataloged that patient  
22       as a different New York Heart class or refer

1     that patient earlier to a specialist or to  
2     advanced cardiac therapies care.

3                 So, it does much more than just  
4     say, oh, okay, here's my other prognostic  
5     tool. We haven't got the perfect prognostic  
6     tool. But if I could put them on the  
7     treadmill, I'd give them the prognostic tool  
8     except you can't do that on everybody.

9                 DR. KOTTKE: Yes, Sana.

10                DR. AL-KHATIB: Yeah, I completely  
11     agree with that comment especially as an  
12     electrophysiologist looking at patients with  
13     heart failure trying to understand what their,  
14     you know, level of heart failure symptoms and  
15     functional capacity is.

16                It's very critical for me  
17     sometimes to get some more objective data, if  
18     you will, to decide do they need a cardiac  
19     resynchronization therapy, what, you know, if  
20     they get cardiac resynchronization therapy,  
21     are they actually responding? Is there  
22     anything that we could do to optimize their

1 response? So, certainly there are a lot of  
2 applications there clinically.

3 The one question that I want to  
4 ask you is in terms of like looking at the  
5 quantitative evaluation of someone's, you  
6 know, level of activity and their symptoms, I  
7 didn't see anywhere here, and please correct  
8 me if I'm wrong, as to what tests you would  
9 count in terms of, you know, what tools, what  
10 tests would count or would any test or tool  
11 that any clinician, you know, count.

12 DR. PINA: But as I said, the  
13 clinicians normally aren't doing any type of  
14 testing in their office.

15 Certainly if a six-minute walk  
16 were documented on the chart, I'd be quite  
17 happy with it because it's a simple test done  
18 in the office and it's very inexpensive.

19 If somebody gave the patient a  
20 questionnaire like the Minnesota Living With  
21 Heart Failure or the Kansas City, which is a  
22 very low patient burden, it takes eight

1 minutes to fill out, that would make me very  
2 happy because I would have domains of  
3 Leslie, you know this of activity and of  
4 symptoms altogether in one questionnaire.

5 But the physicians are not doing  
6 that and we would love for them to do that.  
7 So, to me, this is the first step and that's  
8 how the Performance Measures Committee  
9 discussed it. We have to start somewhere to  
10 get people to note down and to think about the  
11 activity of that patient.

12 DR. KOTTKE: Jason, did you have  
13 something?

14 DR. SPANGLER: I just had a  
15 follow-up on that. Are you worried though  
16 without listing any type of tool that there  
17 are going to be poor tools used?

18 And there may be documentation,  
19 but it may not be good documentation.

20 DR. PINA: So, by it's very nature,  
21 New York Heart Class is highly subjective,  
22 because it's based on the patient's assessment

1 of what they think they can do, which is not  
2 often, as you know, correct, and our  
3 assessment of their assessment.

4 However, as bad as it is, when you  
5 start to look at clinical trials the numbers  
6 do kind of break down.

7 I'm not afraid that they're going  
8 to use other tools, because right now they're  
9 doing nothing.

10 And in the eight minutes that they  
11 have to see the patients, this may be the best  
12 we can expect right now, you know, as the time  
13 with the patient keeps shortening, you know.

14 And again in the care standards,  
15 you know, coordinated care measures, this is  
16 perfect for the same reason.

17 MR. CHIU: If I can just add  
18 something really quickly, you know, in our  
19 measure algorithm this is used in Pinnacle  
20 outpatient Hughes and many others, but the New  
21 York one is definitely the predominant one if  
22 there's anything documented.

1                   But simply any tool currently  
2                   that's constructed can be so long as it's  
3                   embedded, but there is another Minnesota one.  
4                   There's a few others that we've actually  
5                   listed.

6                   It isn't in this description here,  
7                   but in the details I believe it is listed as  
8                   realizing the New York Heart Class is  
9                   probably the predominant one if anybody  
10                  documents it.

11                 DR. KOTTKE: Joe, and then Ellen.

12                 DR. CLEVELAND: Yeah, I really just  
13                 want to make a comment to amplify what Sana  
14                 said, which I really think that while this may  
15                 not be perfect to start, as we start looking  
16                 towards trying to figure out who is going to  
17                 need advance therapies whether it be  
18                 transplant beds, other things like that,  
19                 cardiac resynchronization, we've got to start  
20                 somewhere with some activity level because it  
21                 does correlate, I think.

22                 And I think the body of evidence



1 here suggests that's robust enough. And so,  
2 I think that there is precedent for trying to  
3 establish at least some marker.

4 MS. HILLEGASS: And I wanted to say  
5 that there's very strong evidence with the  
6 six-minute walk for multiple disabilities from  
7 COPD, to heart failure, to LVRS, to transplant  
8 and there's criteria.

9 Now, it does take a while. So,  
10 the other thing that we're using in therapy is  
11 we're using gait speed. And gait speed is  
12 highly correlated with function. And gait  
13 speed takes a maximum of two minutes.

14 And we're using gait speed across  
15 the board. There's so much data on gait speed  
16 out now besides six-minute walk that I would  
17 highly recommend you look at these kind of  
18 functional tests.

19 DR. KOTTKE: Yeah, there's a very  
20 interesting BMJ paper. The title is something  
21 like Outwalking the Grim Reaper. It's very  
22 close to that that if you can't walk a mile in

1 half an hour, you're going to die.

2 But my question is, I mean, we  
3 say, well, a six-minute walk only, you know,  
4 but a six-minute walk probably really takes  
5 ten minutes in an eight-minute visit.

6 Is this designed for cardiology  
7 groups or is it designed for primary care? My  
8 primary care colleagues tell me that on  
9 average they have to deal with seven and a  
10 half topics in ten minutes. And, you know,  
11 you're going two minutes is allotted.

12 And what about what about  
13 patient desire? I mean, I'm just a general  
14 cardiologist, but I see a lot of old patients  
15 who are pretty satisfied not being able to do  
16 much.

17 And I think a big question is, are  
18 you dissatisfied with what you can do? I  
19 don't want to ride a double century even if  
20 somebody thinks I ought to be able to.

21 DR. PINA: But that's the  
22 difference between quality of life and

1 functional assessment. So, they may be  
2 functioning at a New York Heart Class 3, but  
3 be perfectly comfortable with it.

4 So, that's where, great, if we  
5 have the quality of life instrument, we would  
6 have that piece of information in there, but  
7 we don't.

8 DR. KOTTKE: Yeah, Ellen.

9 MS. HILLEGASS: Just to go back to  
10 the gait speed or the six-minute walk, the  
11 gait speed could be done by another staff  
12 personnel.

13 And Barry Make out of Denver  
14 Jewish gave a great presentation to docs at a  
15 Chest meeting and said, look, look at your  
16 patient. Can they stand up out of the chair?  
17 If they can't get up, they're not going to be  
18 active, A.

19 And then he said; B, look at how  
20 they walk. How slow are they versus so, you  
21 may not have a specific gait speed, but you  
22 might say to yourself and that's not going

1 to take you six minutes or eight minutes.

2 Just ask them to stand up, and  
3 then ask them to just take a little bit of  
4 walk and that's what we're talking about  
5 basically. That's what the physicians need to  
6 be doing.

7 And if they eyeball that they are  
8 not able to stand up, then they aren't going  
9 to be active. And if they can stand up, but  
10 they're just barely beating the grim reaper,  
11 as you said, then that's another one. Then  
12 you need to refer these people or realize that  
13 these are the people that are going to be  
14 rehospitalized.

15 And there's very good data coming  
16 out about this as far as rehospitalization and  
17 gait speed.

18 DR. KOTTKE: Well, that's true, but  
19 are they avoidable rehospitalizations?

20 Tom and Liz.

21 DR. JAMES: Two things real  
22 quickly. One is to follow up on exactly what

1     you said, and that is ask if there is a  
2     parallel patient-reported outcomes measure  
3     that's in the works or is being considered.

4             Second thing just being a country  
5     primary doctor, you know, who doesn't have  
6     access to all that fancy equipment where you  
7     can get your stuff done, I walk patients in  
8     the hallway. You get a lot of information  
9     while you're doing that.

10            I learned this from the  
11     orthopedists. It's about time I learned it  
12     from the cardiologists, too. There is a lot  
13     of information just from listening and that is  
14     much more of a primary care type of measure  
15     that we don't have that much of for heart  
16     assessments in primary care cardiologists have  
17     a ton of.

18            DR. PINA: I still walk them in the  
19     hallways. Matter of fact, I won't let them go  
20     into the exam room so that I can watch them  
21     walk into the exam room and get on the table.  
22     I find out a lot about that simple     yeah.

1 DR. KOTTKE: Liz.

2 MS. DeLONG: So, for clarification,  
3 is this any patient with existing heart  
4 failure? Because my worry has always been  
5 unintended consequences.

6 The patient comes in with an acute  
7 problem that is not a heart failure problem.  
8 And you're using your ten minutes to have the  
9 patient walk instead of treating the problem.

10 DR. PINA: Right. So, this is for  
11 patients either in their initial evaluation  
12 for heart failure and in every follow-up  
13 appointment for heart failure.

14 Is that not correct, Jensen?

15 DR. KOTTKE: Sana.

16 DR. AL-KHATIB: Just two questions.  
17 The first question is for you, Jensen.

18 Did I hear you correctly that you  
19 said that an assessment of the New York Heart  
20 Association class would count, would fulfill  
21 this measure?

22 MR. CHIU: That is correct.

1 DR. AL-KHATIB: Because to me that  
2 makes it a bit less appealing because of the  
3 very well-stated concerns about how subjective  
4 the New York Heart Association Class I would  
5 want to shoot higher, you know, for something  
6 that's more objective that's going to tell me  
7 more than the New York Heart Association  
8 Class.

9 DR. PINA: Sana, we spent about  
10 three hours discussing this very thing at the  
11 performance measures meeting and we were not  
12 very enthusiastic that if we put something  
13 else in there they would do it, because even  
14 now the New York Heart Class is missing from  
15 most of the charts that I see. So, something  
16 as basic as that is just not even being  
17 recorded.

18 And the other benefit to this, by  
19 the way, is if you're in a multi-specialty  
20 group or multiple physicians of the same  
21 specialty who see the patient sequentially, if  
22 I see a New York Heart Class 3 that a

1       colleague wrote down, I know what that patient  
2       looked like at the last visit.

3               So, it's important for  
4       patient-centered follow-up.

5               DR. AL-KHATIB: And then the second  
6       question I have for you in terms of  
7       implementation, are you expecting the  
8       healthcare provider to make this assessment  
9       every time they see the heart failure patient  
10      even if the     like they just assessed the  
11      patient two weeks ago, nothing has changed.

12              Could they then document that  
13      nothing has changed, or do they need to go  
14      through the same     especially of like  
15      quantitative assessment?

16              DR. PINA: I expect the same at  
17      every single visit. Because most of the times  
18      after you've talked to the patient and you do  
19      the eyeball test, you know what it is.

20              MS. TIGHE: Sorry to interrupt.  
21      Operator, if you could see if the AMA PCPI  
22      staff have an open line, they're colleagues of



1 the developer, to make a comment.

2 OPERATOR: So, we have Jamie's line  
3 open.

4 MS. JOUZA: Hi. Thank you. Yes,  
5 this is Jamie Jouza. I was part of the  
6 developer for the specifications for this  
7 measure. And I just wanted to highlight that  
8 the specifications actually list the four  
9 tools that are included in this measure.

10 There is not the six-minute  
11 walking test option to meet the numerator for  
12 this measure.

13 And I believe you would find  
14 within the measure, language of the numerator  
15 statement that actually includes this as well.

16 So, there are a couple different  
17 places within the measure documentation  
18 specifications that it details what would  
19 sufficiently meet an assessment of the  
20 symptoms and activities of heart failure.

21 MS. DeLONG: So, I just want to  
22 clarify that, as written, the denominator says

1     that it's any patient age 18 or older with a  
2     diagnosis of heart failure. And it doesn't  
3     exclude those who aren't there for a heart  
4     failure visit.

5                 Sorry to be nitpicky, but that's  
6     what the denominator says.

7                 DR. PINA: No, I appreciate to be  
8     nitpicky. I think that's why we're all here.  
9     I think if the diagnosis appears anywhere in  
10    that patient's history, someone should make  
11    that assessment even if it's the fourth or the  
12    fifth.

13                If you're talking to the patient  
14    and they come in with a bellyache for  
15    something totally different and the New York  
16    Heart Class is two, that's fine. It's New  
17    York Heart Class 2.

18                I think it should be documented  
19    either way.

20                DR. KOTTKE: Further discussion? I  
21    didn't see where those four     what the four  
22    acceptable tests were.

1 Can somebody list those?

2 MS. JOUZA: Yes. So, it's in the  
3 numerator and it includes the New York Heart  
4 Association Class or completion of the Kansas  
5 City Cardiomyopathy Questionnaire, Minnesota  
6 Living with Heart Failure Questionnaire or  
7 Chronic Heart Failure Questionnaire.

8 DR. KOTTKE: My concern with this,  
9 it doesn't strike me as being very  
10 patient-oriented. I mean, it doesn't ask how  
11 satisfied are you with your current situation.

12 And, I mean, I ask my patients,  
13 you know, has anything changed? Are you  
14 stable? And if they say nothing has changed,  
15 I'm happy.

16 Even though they are shuffling  
17 down the hall with a walker, which they are,  
18 I don't I don't stir the pot too deep.

19 DR. PINA: I think in reality we  
20 need another measure somewhere along the way  
21 that discusses health status, which is what  
22 you're implying including the quality of life.

1                   But a true health status  
2                   assessment I fully agree, because they are a  
3                   little bit different.

4                   DR. KOTTKE: Leslie.

5                   DR. CHO: I think, you know, this  
6                   is kind of like the Afib CHADS score, CHADS  
7                   Vasc score. You have to have something in the  
8                   chart to begin with.

9                   And they might be happy with a  
10                  CHADS score of four. I don't know, but you  
11                  still need the CHADS score, I think, in the  
12                  chart.

13                  And I think for that purpose  
14                  because it's a beginning to the heart-- you  
15                  know, the way we think about and treat and do  
16                  quality metrics. I still think it's a good  
17                  measure.

18                  DR. KOTTKE: Mladen.

19                  DR. VIDOVICH: Yeah, I would add, I  
20                  mean, simply to what Leslie mentioned is these  
21                  are these simplified classes like an ASA  
22                  airway classification or, you know, chest pain

1 specification, Canadian.

2           They're not great, but the  
3 extremes work really well, you know, one and  
4 four work well. Two and three there's always  
5 some contention. People may not agree. But  
6 as you said, it does help you.

7           And, you know, as an  
8 interventionialist, I ask about angina every  
9 time and I document some sort of form,  
10 episodes of angina, whatever.

11           So, I think it has tremendous  
12 value. It may be oversimplified, it may be  
13 not perfect, but it's withstood the test of  
14 time for sure.

15           DR. KOTTKE: So, are we ready to  
16 vote on evidence? Some people think so.  
17 Okay.

18           MS. LUONG: So, the timer for  
19 evidence starts now. One for high, two for  
20 moderate, three for low, four for insufficient  
21 evidence with exception and five for  
22 insufficient evidence.

1 (Voting.)

2 MS. LUONG: For evidence we have  
3 one for high, 14 for moderate, three for low  
4 and one for insufficient evidence.

5 DR. KOTTKE: Okay. Opportunity for  
6 improvement.

7 MR. MARRS: All right. So, like  
8 what was mentioned before, they used the  
9 PINNACLE registry to evaluate performance  
10 gaps.

11 And based on that, they looked at  
12 2011-2012 data, about 1200 providers in the  
13 PINNACLE registry. And just 36 percent one  
14 year and 35 percent the second year were  
15 actually meeting documentation standards for  
16 one of those four either New York Heart  
17 Association class, Kansas City, Minnesota or  
18 the Heart Failure questionnaire form.

19 And so, pretty big performance gap  
20 standpoint from a documentation standpoint  
21 just in that registry itself.

22 From a disparity standpoint they

1 did look at different ethnicities and gender  
2 and all those and there was no real disparity  
3 that stuck out between any of the  
4 subpopulations.

5 DR. KOTTKE: Other comments? We  
6 will vote.

7 MS. LUONG: The voting starts now.  
8 One for high, two for moderate, three for low  
9 and four for insufficient.

10 (Voting.)

11 MS. LUONG: For performance gap, 16  
12 voted high and three for moderate.

13 DR. KOTTKE: Priority.

14 MR. MARRS: I think based on the  
15 conversation that we've had round this topic,  
16 I think it shows that it is a high priority  
17 that we do need a better way to assess or  
18 better kind of accountability of documenting  
19 some sort of assessment of clinical activity  
20 or clinical system function.

21 And so, based on that, evaluate it  
22 as a high priority.

1 DR. KOTTKE: Further discussion?

2 Let's vote.

3 MS. LUONG: Voting starts now. One  
4 for high, two for moderate, three for low and  
5 four for insufficient.

6 (Voting.)

7 MS. LUONG: So, for high priority,  
8 16 voted high, two for moderate and two for  
9 low.

10 DR. KOTTKE: Scientific  
11 acceptability and specifications and  
12 reliability.

13 MR. MARRS: I think it was  
14 clarified earlier that it is any visit not  
15 necessarily just for heart failure itself.  
16 Having a diagnosis of heart failure was in the  
17 denominator and so I think that clarified  
18 things a bit.

19 In regards to reliability, the  
20 PINNACLE registry that they utilized, it is  
21 only 1200 providers, about a half million  
22 patients in that.



1                   And so, a fairly decent sample  
2                   size to evaluate and so felt met reliability  
3                   standards there, but there was no necessarily  
4                   empiric testing of performance scores.

5                   DR. KOTTKE: Further discussion?

6                   MS. MITCHELL: I just have a  
7                   question on

8                   DR. KOTTKE: Yes, ma'am.

9                   MS. MITCHELL: Yes. So, in the  
10                  improved heart failure study it was made up of  
11                  167 offices, right?

12                  So, how many separate practices  
13                  were looked at using PINNACLE registry? I  
14                  think you mentioned 1200 physicians, but I  
15                  don't have a sense of how many practices.

16                  DR. PINA: I know they optimized  
17                  and improved data very well. I don't know how  
18                  many practices here.

19                  MR. MARRS: I thought it was in the  
20                  150 range, maybe.

21                  DR. PINA: Maybe.

22                  DR. WINKLER: I just wanted to

1 point out you mentioned no empiric reliability  
2 testing, but that's what this is, is a signal  
3 to noise results with the reliability testing.

4 So, there is empiric testing in  
5 the measure score.

6 MR. MARRS: Right. Yeah. Sorry, I  
7 misquoted. So, yeah, it was 0.99. So, high  
8 reliability score.

9 DR. KOTTKE: Okay. Any further  
10 discussion?

11 DR. PINA: We're trying to find  
12 those numbers for you.

13 DR. KOTTKE: Seeing nobody who is  
14 asking for further discussion, let's vote on  
15 reliability.

16 MS. LUONG: The timer starts now.  
17 One for high, two for moderate, three for low  
18 and four for insufficient.

19 (Voting.)

20 MS. LUONG: For reliability, 11  
21 voted high and nine for moderate.

22 DR. KOTTKE: Validity.

1                   MR. MARRS: The primary analysis  
2                   for validity was based on face validity of the  
3                   data from the PINNACLE registry.

4                   DR. WINKLER: I think just to be  
5                   fair, you had raised a comment on the previous  
6                   measure about what do we know about the  
7                   impact. And that's a validity question.

8                   And so, you know, what information  
9                   we have on this, because certainly that was a  
10                  big point I think Sana raised on the previous  
11                  measure. So, in all fairness, we want to hold  
12                  all the measures to the same standard.

13                  So, if there's any information  
14                  about that, that would be important.

15                  DR. KOTTKE: Anybody have any  
16                  questions? Liz.

17                  MS. DeLONG: Was this measure  
18                  endorsed so that it's been in, I mean, the  
19                  other one had been endorsed and should have  
20                  reliability and validity.

21                  DR. WINKLER: Okay. This one is a  
22                  new measure.

1 DR. KOTTKE: Any further comments  
2 on validity? Ready to vote? Vote.

3 MS. LUONG: The timer starts now.  
4 One for high, two for moderate, three for low  
5 and four for insufficient.

6 (Voting.)

7 MS. LUONG: For validity, one voted  
8 high, 16 for moderate, two for low and one for  
9 insufficient.

10 DR. KOTTKE: Feasibility.

11 MR. MARRS: The main issue on  
12 feasibility which came up on our conference  
13 call as well was kind of just the standard  
14 documentation piece in the medical record and  
15 kind of standard extraction piece from a  
16 consistency standpoint with, you know, many,  
17 many different EHRs out there and trying to  
18 have a standard process to abstract was the  
19 main concern, I think, from a feasibility  
20 standpoint.

21 DR. KOTTKE: Did feasibility of a  
22 six-minute walk in an eight-minute visit come

1 up?

2 MR. MARRS: I don't remember that  
3 specifically being discussed.

4 DR. KOTTKE: Yes, sir.

5 DR. HOLLANDER: Along similar  
6 lines, some of these surveys are not quick not  
7 easy and not for the uneducated. And they  
8 can't be done with the physician sitting at  
9 the bedside telling them how to do it in that  
10 time frame.

11 Handing it to them in the waiting  
12 room, I'm not sure how well that works. And  
13 so, I think New York Heart Association Class,  
14 you know, it might be nice to have that  
15 documented, but I don't think that's changing  
16 the world, you know, as far as outcomes.

17 The other measures that are, I  
18 believe, a little more patient-centered and  
19 get to what they actually can do and more  
20 useful, but I think there are potential  
21 feasibility issues and then can you apply it  
22 broadly within your practice.

1 DR. KOTTKE: So, I have a question.  
2 How many people would send the patient to the  
3 cath lab if you thought they were Class 3, and  
4 your colleague had written down Class 2, and  
5 you asked the patient if anything had changed  
6 and they said no?

7 Any other discussion? Sana.

8 DR. AL-KHATIB: Just a quick  
9 question. Is there any outpatient-based heart  
10 failure database or registry? I mean,  
11 PINNACLE is not specific to heart failure and  
12 you have wonderful databases capturing  
13 inpatients, you know, heart failure patients  
14 who are hospitalized.

15 Are there any databases in  
16 existence or that are being plan designed for  
17 outpatient heart failure patients?

18 DR. PINA: Right. So, the  
19 optimized and the improved HF databases were  
20 mentioned. Some of those have been truncated.

21 The Get With the Guidelines now  
22 collects a 30-day tool to see where that

1 patient has been within 30 days.

2 I don't remember if we put in  
3 there a six-minute walk or a KCCQ, but it's  
4 certainly something I can take back and we may  
5 think of modifying it.

6 Certainly on an EHR it's very easy  
7 to put in a place for if a six-minute walk is  
8 there, you check it. But it's not only check,  
9 you have to have a number, you know. And the  
10 same with an exercise test. You have to have  
11 a number.

12 DR. GEORGE: Ileana, do you know if  
13 or any if Joint Commission is looking to  
14 develop an outpatient measure accreditation?

15 DR. PINA: As far as I know, no. I  
16 mean, years ago CMS, who is here, had thought  
17 about some outpatient measures, but it never  
18 and the QIOs were handling them internally,  
19 but nothing happened.

20 DR. KOTTKE: Further discussion?  
21 Seeing no movement, let's vote on feasibility.

22 MS. LUONG: The timer starts now

1     for feasibility. One for high, two for  
2     moderate, three for low and four for  
3     insufficient.

4                     (Voting.)

5                     MS. LUONG: For feasibility, two  
6     voted high, 12 for moderate and five for low.

7                     DR. KOTTKE: Usability and use.

8                     MR. MARRS: The main issues around  
9     usability I think came up with the allowing  
10    the four different measures to assess activity  
11    level and clinical symptoms, I think, came  
12    across as flexible, but also kind of a  
13    limitation, I think, in usability from kind of  
14    standardizing of how you're going to assess  
15    patients across multiple providers.

16                    DR. KOTTKE: How about use, prior  
17    use, somebody using it outside of heart  
18    failure clinics.

19                    MR. MARRS: What was the question?

20                    DR. KOTTKE: Is anybody using it  
21    outside of heart failure clinics?

22                    MR. MARRS: Not that I'm aware.



1 DR. KOTTKE: Is it being used?

2 That's a no.

3 Further discussions?

4 DR. SPANGLER: You know, public  
5 reporting is also an issue. And this is a  
6 little bit different because the assumption,  
7 which I think is a good one, but just to keep  
8 in mind is that PINNACLE is going to be a  
9 qualified clinical data registry, you know,  
10 within PQRS. And we'll probably know that in  
11 the next few months, but, I mean, it should  
12 most likely happen, but it's a possibility it  
13 may not happen.

14 DR. KOTTKE: Further comments.  
15 Let's vote on feasibility or usability and  
16 use.

17 MS. LUONG: The timer starts now.  
18 One for high, two for moderate, three for low  
19 and four for insufficient information.

20 (Voting.)

21 MS. LUONG: For usability and use,  
22 two voted high, 13 for moderate and five for

1 a low.

2 DR. KOTTKE: Any other comments  
3 before we vote to approve or endorse?

4 DR. HOLLANDER: So, I just want to  
5 sort of restate my comments from earlier  
6 having, you know, tried to step back and  
7 listen to the conversation.

8 So, I agree this is phenomenally  
9 important so that we can better understand how  
10 to risk stratify patients.

11 I'm not sure that makes it a  
12 measure. That makes it a research project  
13 using the PINNACLE database.

14 And so, my real issue with this,  
15 it's hugely important, but it's not time as a  
16 measure because we don't know what to do with  
17 the information to change care.

18 And so, I'm, you know, in my head  
19 I'm having a hard time getting my hands around  
20 what's important information and what actually  
21 should be a measure.

22 And so, I think I fall out on the

1       scientific side. I'd love to see the  
2       publication. I'm not sure I want to report it  
3       to get the data.

4               DR. CHO: Judd, Let me ask you a  
5       question. So, let's say you are seeing a  
6       patient continuously for heart failure. So,  
7       the patient is coming in again and again for  
8       heart failure.

9               You don't get the sort of activity  
10       measures or whatnot and so you just kind of,  
11       you know, like one day you look like your  
12       fluid overloaded, next day you don't,  
13       whatever, and you're kind of like, well, maybe  
14       we'll give you another diuretic, we'll lower  
15       this, we'll lower that without any objective  
16       clinical assessment.

17              I mean, I think that's a measure  
18       of poor quality of care, don't you?

19              DR. HOLLANDER: Well, I wouldn't  
20       necessarily agree with that, but remember this  
21       is a little bit about documentation.

22              And I think if the measure said

1     when I do the assessment, I sort of hate to  
2     say it, I respond with something useful to  
3     improve the care of the patient based on my  
4     activity level assessment.

5             And if I don't get an activity  
6     level assessment, that counts as a zero. Then  
7     that would be a measure that ties to something  
8     that's an outcome, but right now it's just  
9     getting an activity level.

10            And there's no data that  
11     formalizing the activity level leads to a  
12     better intervention at that visit than saying,  
13     are you doing better or are you doing worse.

14            DR. CHO: There's data out there  
15     that if you have, you know, a poor activity,  
16     your morbidity and mortality dramatically  
17     changes.

18            And I agree that New York Heart  
19     Association may not be one-to-one linked with  
20     that, but currently under the current the  
21     way we practice medicine, we don't have that  
22     perfect tool.

1                   So, until we get there, things  
2                   like Kansas City and things like Minnesota  
3                   Heart and whatever, these are surrogate tools  
4                   for us to eventually get there.

5                   DR. KOTTKE: Do we know that's  
6                   cause and effect?

7                   DR. HOLLANDER: Yeah, that's what  
8                   I'm saying. So, do we know so, one side of  
9                   the coin, and I'm playing devil's advocate,  
10                  I'm not saying this is what I believe, is at  
11                  some point you're doing so crappy your  
12                  activity level is horrible. Your prognosis is  
13                  horrible.

14                  Do I know that I can do something  
15                  to change your prognosis based on that  
16                  activity level, or is that, as Ileana said, a  
17                  prognostic tool that says, you know,  
18                  effectively you have Stage 4 cancer?

19                  DR. PINA: Actually, if you're  
20                  using it correctly and somebody let's say was  
21                  Class 2 and now they're a Class 3, you should  
22                  be thinking about what is the next thing that

1       you need to do for that patient.

2                   If the patient is an  
3       African-American, then they qualify for a  
4       vasodilator combination. If they haven't had  
5       a CRT and their QRS is widened and they're a  
6       Class 3, they definitely are candidates for  
7       CRT.

8                   If they are still symptomatic and  
9       you haven't started them on an aldosterone  
10      blockade, those are all for the Class 3  
11      patients that we know improve symptoms,  
12      improve outcomes, including hospitalizations  
13      and mortality.

14                  So, there is sort of the next  
15      thing to do, which I think what's you're  
16      getting to, appropriately so.

17                  DR. HOLLANDER: So, I guess my  
18      question summarizing that, I agree with all  
19      that, but maybe this should be the next thing  
20      that you need to document the care pathway is  
21      right based on symptoms and maybe we don't  
22      need a measure that's just based on symptoms.

1       So, I'm just throwing it out there.

2                   DR. KOTTKE: Joe, you're wagging  
3       your head about something.

4                   DR. CLEVELAND: Yeah, I think that  
5       I support the idea of the measure, but I have  
6       to agree with Judd's comments.

7                   I think that maybe we're just not  
8       there yet with what are evidence-based, i.e.,  
9       is this really something that can be of  
10      performance, or do we need to collect a little  
11      more information first?

12                  DR. AL-KHATIB: I think it would be  
13      ideal to have data that show that if you  
14      assess and you intervene, you improve patient  
15      outcomes. We're not quite there.

16                  But as clinicians, I think we all  
17      know how often patients, you know, underplay,  
18      if you will, their symptoms and they come to  
19      you like, oh, yeah, I'm okay, I'm okay.

20                  But if you have some sort of  
21      objective assessment and that's the part that  
22      really appeals to me, it makes you think,

1 well, what else could I be doing in terms of  
2 optimizing their medications, in terms of  
3 considering procedures like cardiac  
4 resynchronization therapy.

5 And I can't tell you how many  
6 times, you know, if I just go by what, you  
7 know, the person who saw the patient first who  
8 said, oh, the patient is okay, they're doing  
9 fine, and I don't take the extra step of  
10 saying, well, let me see if I can get a more  
11 objective assessment of how fine they are.

12 I would have just not done  
13 anything, but, you know, based on those  
14 objective, you know, assessments and  
15 interventions, you have the potential to  
16 improve the quality of that patient greatly.

17 Yes, we need evidence, but I  
18 definitely see value in this as a first step  
19 toward doing that.

20 DR. WINKLER: I'm just a little bit  
21 concerned. We've gotten through the whole  
22 evaluation down to the last question and we're



1 going back and questioning the evidence.

2 So, I just would like you to, you  
3 know, kind of tell me where you're at there.

4 DR. CHO: Well, I kind of think  
5 it's kind of like the cardiac rehab referral  
6 and enrollment.

7 You know how like optimistically I  
8 hope that referral will improve enrollment.  
9 Like this, I hope that by endorsing this, we  
10 will improve patient -- quality of care for  
11 heart failure patients.

12 It may be optimistic. I may just  
13 need to go and, you know, go walk around and,  
14 you know, have some realism, you know,  
15 whatever, but I just hope that, you know,  
16 measures like this are a step towards what I  
17 hope to see later on, which is, you know,  
18 asking the patient's quality assessment and  
19 then, you know, rewarding physicians for good  
20 quality delivered.

21 DR. VIDOVICH: See, and the way I  
22 look at it is like we talked about the

1       indication for PCI and AUC criteria.

2                   I could hope also if we do  
3       document that the FFR was 0.73, it will more  
4       likely end up with appropriate PCI.

5                   Or if you say it was, whatever,  
6       daily angina, it's more likely that the  
7       patient should receive a stent then if it's  
8       really unstable, unchanged angina.

9                   So, again, this indication is not  
10      ideal either, but it seems that the PCI world  
11      is maybe closer to this at AUC than the heart  
12      failure work. So, it's a good step in the  
13      right direction, but it's not perfect.

14                  DR. KOTTKE: I don't think it's  
15      like cardiac rehab, because it's irrefutable  
16      that failure to refer to cardiac rehab is a  
17      barrier to cardiac rehab.

18                  And it's not irrefutable that  
19      failure to write down class is a barrier to  
20      good heart failure care.

21                  And there is potential for harm,  
22      you know, if you're taking six minutes of the

1 eight or ten-minute visit or whatever it is to  
2 document this every time and you expect  
3 primary care docs to do this, that and  
4 patients do object to forms and we can say,  
5 why don't you get the nurse to do it, but I  
6 don't, I mean, at Health Partners we've got to  
7 pay our nurses.

8 I mean, they're expensive, you  
9 know. And they've got a lot of work to do.  
10 And they, you know, come and punch you in the  
11 eye when you give them too much work, you  
12 know. They're not free.

13 DR. PINA: I disagree that the  
14 patients mind doing this. We hand it to them  
15 in the waiting room. We have the clerk at the  
16 front desk hand it, because we don't want to  
17 taint their assessment.

18 And it takes them eight minutes.  
19 And I've been doing this for over ten years.  
20 Haven't had anybody refuse, because we tell  
21 them very clearly this is all about how you  
22 feel. I'm interested in how you feel, period.

1                   And they do it. And they fill it  
2 out very well. So, I don't think that the  
3 patients and the nurses don't do it. You  
4 can't have the nurses do it, actually. It's  
5 not the right

6                   DR. HOLLANDER: I echo, you know,  
7 that sort of anecdotal experience and have no  
8 doubt your patients could do it at Montefiore,  
9 but across town at Jacoby they probably can't.

10                  DR. PINA: We actually see those  
11 collected at Jacoby. Hate to tell you.

12                  DR. KOTTKE: We have 80 different  
13 languages spoken as our first language in St.  
14 Paul.

15                  Okay. Are we ready to vote oh,  
16 no, no, no. Thomas. This is the last

17                  DR. JAMES: Realizing that Dr.  
18 Crouch is not here, I guess I'm the only  
19 primary care doctor left and standing.

20                  I just keep wondering what Osler  
21 and Cushing would say about this measure.  
22 There is a lot to physiology that comes from

1     this kind of a physical assessment and I don't  
2     have the tools that you all as cardiologists  
3     have, but I do have this.

4                 So, it may be who's being  
5     measured, that definition of "clinician," but  
6     I think this is     this is something that I  
7     could adjust my schedule to when I'm seeing  
8     patients.

9                 DR. KOTTKE: Go ahead and vote.

10                MS. LUONG: All right. The timer  
11     starts now. Vote one for yes, and two for no.

12                (Voting.)

13                MS. LUONG: 16 voted for yes, and  
14     three for no. And that concludes the voting  
15     for today. Thank you, everyone.

16                DR. KOTTKE: Okay. Thank you,  
17     everybody. We're done 35 minutes early.

18                MS. TIGHE: We're not done.

19                (laughter.)

20                DR. KOTTKE: If Lindsey talks  
21     quickly.

22                MS. TIGHE: We do need to pause for

1 public and member comment. Operator, if you  
2 could check if anyone on the phone has a  
3 comment and

4 THE OPERATOR: At this time if you  
5 would like to ask a question, please press  
6 star and the number one.

7 THE OPERATOR: There are no public  
8 comments from the phone.

9 MS. TIGHE: All right. Thank you,  
10 operator.

11 DR. WINKLER: In terms of follow-up  
12 activities, we will be putting these  
13 recommendations together for a report to go  
14 out for public comment.

15 We are talking about a follow-up  
16 call May 5th to tidy up some of the things  
17 that got left over from your conversation the  
18 last two days. And that would go that would  
19 be prior to this draft report we'll write.

20 The draft report is scheduled to  
21 go out for comment the end of May. It's a  
22 30-day comment period. We get comments from

1 all sorts of folks and then we will meet with  
2 you by conference call in July to respond to  
3 those comments before they go to NQF's members  
4 for voting and ultimately through CSAC and the  
5 Board for final endorsement.

6 Now, in terms of the fact you're a  
7 standing committee and we don't end things at  
8 the end of that process, I can't we can't  
9 give you time frame, but we have every  
10 expectation that we will be reconvening you  
11 probably early in 2015 most likely at an  
12 in-person meeting.

13 However, as a standing committee,  
14 there may be issues that come up. Requests  
15 for ad hoc reviews of existing measures, you  
16 know, those sorts of things that we may call  
17 on you and need to schedule a call to ask for  
18 your input and decision-making.

19 And that's really one of the  
20 from our perspectives, one of the major  
21 advantages of having a standing committee. We  
22 can always go to you guys. You're there and

1       so, other things may come up that we just  
2       don't know about right now.

3               So, there will be a series of  
4       phone calls to finish this work up, as well as  
5       we truly expect that we'll do a similar set of  
6       measures early next year and at least  
7       annually.

8               But if you notice, we only got  
9       through 18 measures. And, you know, if we did  
10      that every three years, we still wouldn't get  
11      through the entire portfolio of 80 measures.

12              So, you know, we're working on the  
13      best logistics to get through and keep the  
14      portfolio updated and maintained over our  
15      three-year time frame.

16              This one is one of the big  
17      portfolios. It does have its challenges. So,  
18      we really do appreciate all the effort you  
19      have put in, the work and the time, and we  
20      definitely will be in touch going forward.

21              Lindsey, anything from you?

22              MS. TIGHE: No.



1 DR. WINKLER: No? Tom, Mary.

2 DR. KOTTKE: Well, thank you,  
3 everybody, for your hard work and your  
4 diligent thoughts and paying attention and  
5 getting done on time and happy travels.

6 DR. GEORGE: Right. And I just  
7 want to thank you all also. I know it's a big  
8 job.

9 DR. KOTTKE: Good job to our  
10 chairs, to our co-chairs.

11 (Whereupon, at 2:26 o'clock p.m.  
12 the meeting was concluded.)

13

14

15

16

17

18

19

20

21

22

A				
<b>\$30</b> 244:5	<b>ACC/PCI</b> 120:9,13	189:13	145:16 179:8,9	127:16
<b>\$300</b> 171:9 174:4	121:6	<b>achieved</b> 94:14	184:19 197:10	<b>admitted</b> 9:3 11:11
<b>A&amp;M</b> 1:22	<b>acceleration</b> 181:21	<b>ACO</b> 7:9 233:15	287:17 300:19	11:21 31:16,22
<b>a.m</b> 1:9 6:2 97:5,6	<b>accept</b> 29:16	<b>ACOs</b> 233:14	<b>added</b> 58:11 91:7	227:9 240:11
<b>AACVPR</b> 101:16	172:11 177:13	<b>ACP</b> 91:22	<b>adding</b> 19:16 20:4	241:2
<b>AACVPR/ACCF...</b>	<b>acceptability</b> 39:11	<b>ACPR's</b> 162:2	47:1,6 59:8	<b>admitting</b> 240:15
161:11	68:8 72:13 117:6	<b>action</b> 31:10 59:3	<b>addition</b> 259:22	<b>adopt</b> 88:13
<b>AACVPR/ACCF...</b>	118:12 154:10	83:6 87:16 95:17	<b>additional</b> 63:22	<b>adopted</b> 86:11
161:6	155:10 191:11,15	125:16 126:12	189:11 247:14	89:11
<b>ability</b> 29:21	200:3 245:10	127:15 143:19	<b>Additionally</b> 243:5	<b>advance</b> 168:9
164:12 189:12	304:11	185:1 187:6 191:3	<b>address</b> 70:11	288:17
199:16 204:19	<b>acceptable</b> 74:18	252:21 265:9,11	83:15 123:13	<b>advanced</b> 284:2
<b>able</b> 17:11 21:2	167:20 168:5	266:5,10,16	137:7 181:15	<b>advantage</b> 200:16
40:22 45:10 89:2	177:1,3 193:1	<b>actionable</b> 95:15	230:13,16 259:21	<b>advantages</b> 327:21
91:19 164:7 169:3	216:5 298:22	<b>actions</b> 157:7	282:21	<b>advertising</b> 249:17
184:6 185:11	<b>accepted</b> 19:13	264:12,16,19	<b>addressed</b> 4:21	<b>advised</b> 265:15
192:14 195:6	48:3 114:11	265:10 266:8	37:7 44:17 258:19	<b>advocate</b> 317:9
201:6 208:11	132:20	269:11	259:12 274:7,12	<b>advocating</b> 86:7
213:13,13 241:1	<b>access</b> 293:6	<b>active</b> 291:18 292:9	<b>addresses</b> 190:21	<b>affect</b> 32:17
276:13,18 290:15	<b>ACCF</b> 282:9	<b>activities</b> 297:20	<b>addressing</b> 68:17	<b>Afib</b> 300:6
290:20 292:8	<b>accompanying</b>	326:12	275:11 276:20	<b>afraid</b> 34:15 287:7
<b>above-entitled</b> 97:4	93:6	<b>activity</b> 5:10 279:9	<b>adds</b> 201:3	<b>African-American</b>
<b>absence</b> 246:3	<b>accomplish</b> 165:7	279:22 280:5,20	<b>adequate</b> 113:21	318:3
<b>absolutely</b> 113:2	178:18	281:3,18 283:16	<b>adequately</b> 197:2	<b>after-lunch</b> 279:14
135:11 280:5	<b>accomplishing</b>	285:6 286:3,11	<b>Ades</b> 104:6	<b>age</b> 24:14 185:16
283:10	137:3	288:20 303:19	<b>ADHERE</b> 228:13	188:18 198:19
<b>absorbers</b> 237:4	<b>accountability</b>	312:10 315:9	<b>adherence</b> 7:7	298:1
<b>abstract</b> 66:17	55:20 56:1 143:17	316:4,5,9,11,15	10:11,12,16 109:1	<b>agencies</b> 11:8
308:18	303:18	317:12,16	109:7	259:16 260:14,22
<b>abstracted</b> 18:3	<b>accountable</b> 80:13	<b>acts</b> 90:14	<b>Adjourn</b> 5:17	271:21 275:5
<b>abstracting</b> 63:20	259:17	<b>actual</b> 39:10 196:6	<b>adjust</b> 197:2	276:10,16
<b>Abt</b> 3:6 258:3	<b>accounts</b> 203:3	210:19 229:8	202:19 219:6,6	<b>agency</b> 260:11
<b>academic</b> 92:7	<b>accreditation</b>	231:14 276:9	325:7	265:16 266:6
<b>Academy</b> 169:21	311:14	280:9	<b>adjusted</b> 220:4	274:17
<b>ACC</b> 4:8,10,12,19	<b>accuracy</b> 206:13	<b>Acumen</b> 3:4 260:6	<b>adjustment</b> 185:15	<b>agent</b> 60:10
5:11 30:19 52:2	207:6	265:1 268:15	<b>adjustments</b> 65:7	<b>aggregate</b> 209:11
75:20 95:8,10	<b>accurate</b> 206:18	<b>acute</b> 4:13 31:13	<b>administration</b>	209:16
117:17 125:11	207:1,21 222:17	116:6 137:15	18:7	<b>aggregated</b> 14:21
128:21 157:12	<b>accurately</b> 77:1	147:20 183:10	<b>administrative</b>	39:13
233:19 250:21	78:19	196:3 203:10	17:5,8,21 18:10	<b>aggregation</b> 68:19
251:9 253:19	<b>ACCVPR/ACC/...</b>	239:4 261:20	212:3,16,21	<b>agnostic</b> 66:7
256:7 279:6,7	161:21	262:2 294:6	227:14	<b>ago</b> 29:7 57:14
<b>ACC's</b> 162:1	<b>ACE</b> 145:13 255:15	<b>ad</b> 327:15	<b>admission</b> 195:17	64:22 69:17 102:5
<b>ACC/AHA</b> 9:7	<b>ACE/ARB</b> 45:3	<b>adaptation</b> 103:9	241:14	156:14 180:4
118:1	81:12,22	<b>add</b> 8:10 9:15 28:9	<b>admissions</b> 123:5	280:3 296:11
	<b>achieve</b> 26:9 51:11	95:5 115:11	188:22 196:1	311:16
	91:20 130:7,16	116:13 143:2	<b>admit</b> 119:18	<b>agree</b> 28:8 29:12

30:15 47:2 83:19 83:20 88:5 107:22 115:13 119:3 120:21 129:2,9 130:19 133:15 134:16 137:5 145:11 151:6 157:6 166:17 170:8 172:15 173:9 178:3 197:16 203:13 231:12 232:16 233:1 234:17 247:2,2 254:3 277:10,19 284:11 300:2 301:5 314:8 315:20 316:18 318:18 319:6 <b>agreeing</b> 232:7 <b>agreement</b> 72:20 83:14 163:21 175:6 <b>AHA</b> 157:13 282:9 <b>ahead</b> 8:18 24:21 55:11 100:17,20 109:18 111:17 120:18 139:8 140:3 226:15 325:9 <b>aid</b> 192:19 <b>aim</b> 184:2 <b>airway</b> 300:22 <b>Al-Khatib</b> 1:16 12:3,10 17:2 28:8 46:19 68:22 77:18 83:5 100:3,3 130:2 133:15 168:6 169:1,7,13 205:9 231:22 250:2 267:20 277:9,18 284:10 294:16 295:1 296:5 310:8 319:12 <b>Albert</b> 3:14 226:18 <b>aldosterone</b> 318:9 <b>alerting</b> 227:7	<b>algorithm</b> 24:6 35:11 78:3 89:2 106:22 107:18,19 126:13 187:19 232:21 245:13 287:19 <b>alike</b> 14:18 <b>all-cause</b> 195:13 <b>all-or-none</b> 14:12 14:14 <b>allotted</b> 290:11 <b>allow</b> 97:18 253:16 <b>allowing</b> 50:14 312:9 <b>allows</b> 266:20 <b>Allred</b> 4:13 100:8 <b>alluded</b> 95:13 203:18 <b>alluding</b> 52:2 <b>alphabet</b> 272:3 <b>alternative</b> 33:4 146:8 <b>alternatively</b> 141:12 <b>altogether</b> 286:4 <b>AMA</b> 296:21 <b>America</b> 171:4 <b>American</b> 2:4,9 3:4 3:5,9,10,12,15 31:5 88:14 94:6 94:21 101:7 156:21 169:20 226:8,22 <b>AmeriHealth</b> 2:5 <b>Amgen</b> 2:14 <b>AMI</b> 4:8,14 30:21 83:9 84:11 85:4 88:19 135:18 136:13 184:10 187:5,8 190:16 196:4,8 209:17 <b>amount</b> 35:2 66:16 75:13 102:17 117:22 128:21 151:13 162:18,19 178:16,22 179:9 <b>amounts</b> 118:19	<b>amplify</b> 288:13 <b>analogy</b> 64:13 80:7 <b>analyses</b> 45:17 46:13 48:10 <b>analysis</b> 45:7,18 49:1 51:13,19 189:19 260:7 307:1 <b>Analyst</b> 2:19 <b>anecdotal</b> 324:7 <b>anecdotaly</b> 24:16 <b>anectdotally</b> 27:1 <b>angina</b> 9:9 117:8 118:7 119:4,12,19 120:19 147:21 149:6 150:1,9,14 150:18 151:8,11 151:12,19 152:12 152:12 154:15,20 156:9 164:3,9,11 164:13,16 165:3,8 165:14,21 166:3,7 166:12 167:7,15 167:19,19 170:3 172:9,12,12,12,14 173:10,14 176:21 177:7 178:7 181:22,22 182:6 182:12,12 301:8 301:10 322:6,8 <b>anginal</b> 165:4 166:3 176:22 <b>annually</b> 244:6 328:7 <b>anomaly</b> 233:16 <b>Anschutz</b> 2:8 <b>answer</b> 138:7,13 146:17 192:2 201:9,15 214:16 256:5 <b>answering</b> 43:22 <b>anticipate</b> 149:9 186:8 <b>anticoagulants</b> 12:9 <b>antiplatelet</b> 7:7 <b>anybody</b> 17:14	88:19 121:16 213:7 225:11 234:21 246:8 248:9 251:11 288:9 307:15 312:20 323:20 <b>anymore</b> 237:5 <b>anytime</b> 178:16 <b>anyway</b> 15:11 82:5 122:2 <b>APCVVR</b> 118:1 <b>apologies</b> 43:11 270:7 <b>apologize</b> 12:13 109:20 226:14 <b>apparently</b> 60:17 93:5,7 <b>appealing</b> 295:2 <b>appeals</b> 319:22 <b>appears</b> 161:7 298:9 <b>apples</b> 277:17,17 <b>applicable</b> 24:8 79:15 <b>application</b> 43:5 247:12 <b>applications</b> 43:4 285:2 <b>applied</b> 41:13 66:20 67:4 89:8 197:16 250:11,14 <b>applies</b> 194:7 260:1 <b>apply</b> 70:16 73:15 157:20 197:14 213:13 309:21 <b>applying</b> 7:9,13 66:7 126:13 215:19 <b>appointment</b> 4:19 226:5 227:1 229:9 229:9,10,15 230:4 230:18 231:2 233:8 234:1,9,13 239:6 246:5 257:7 257:11,15 294:13 <b>appointments</b> 229:13 235:6,8,14	243:3 255:4 <b>appreciate</b> 55:14 107:4 205:9 275:13 298:7 328:18 <b>appreciation</b> 171:9 <b>approach</b> 50:11 54:8,22 56:6 72:20 102:11 201:14 256:1 282:20 <b>appropriate</b> 7:16 64:13 101:21 104:16 105:2 139:5 262:17,22 264:11,16 269:11 322:4 <b>appropriately</b> 318:16 <b>approval</b> 149:17 224:9 <b>approve</b> 7:14,20 8:9 61:5 141:16 141:19 167:14 219:18 220:2 314:3 <b>approved</b> 7:3 89:1 149:11 221:20 <b>APRIL</b> 1:6 <b>AQ</b> 2:6 <b>AQA</b> 85:15 <b>AQA's</b> 56:18 <b>arbitrary</b> 37:2 222:4 <b>area</b> 29:18 241:18 <b>areas</b> 38:8 <b>ARG</b> 185:2,7 186:7 213:19 <b>argue</b> 54:18 75:12 <b>argued</b> 132:3 <b>argument</b> 47:10 <b>arguments</b> 232:6 <b>arrival</b> 4:5 8:21,22 17:8 18:19 22:7 33:10 86:13 88:4 89:22 208:3 <b>artery</b> 118:20
---	---	---	---	---

166:8	262:17 275:12	<b>attending</b> 231:15	277:7 279:8 291:9	<b>becoming</b> 29:18
<b>articles</b> 103:8,21	<b>assessment</b> 5:11	<b>attention</b> 89:15	311:4 314:6 321:1	<b>beds</b> 288:18
104:20 106:11	44:21 69:22 70:20	329:4	<b>background</b> 23:11	<b>bedside</b> 309:9
108:2	81:3 194:4,10,14	<b>Atul</b> 85:16	23:21 106:12	<b>beginning</b> 100:15
<b>articulated</b> 50:22	212:14 217:12,21	<b>AUC</b> 322:1,11	282:8	300:14
<b>ASA</b> 300:21	218:2 261:15,18	<b>audited</b> 32:2	<b>bad</b> 16:21,21 88:2	<b>behalf</b> 90:14
<b>ascertaining</b>	266:15 268:18	<b>auditing</b> 83:12,13	287:4	<b>behavior</b> 132:17
195:19	269:1,9,13 270:22	127:16	<b>ball</b> 105:8	160:19 165:16
<b>asked</b> 127:8 162:19	271:1,15 274:14	<b>author</b> 206:8	<b>balloon</b> 22:10	<b>behoove</b> 62:16
226:21 265:8	276:20 279:9,22	<b>automated</b> 104:8	25:13 26:5,7 27:7	<b>believe</b> 26:20 33:16
310:5	282:4 283:16	192:16	29:8 84:17	36:12 54:20 71:2
<b>asking</b> 15:5 121:19	286:22 287:3,3	<b>automatic</b> 136:14	<b>bar</b> 52:15,20 60:1	124:5 128:8 129:8
141:9 159:14	291:1 294:19	<b>automatically</b>	64:14 85:11	216:1 233:5
160:12,13 166:5	296:8,15 297:19	137:20,21	252:19	271:14 274:12
180:13 273:10	298:11 300:2	<b>available</b> 41:11	<b>barely</b> 292:10	276:12,14 288:7
306:14 321:18	303:19 315:16	45:10 249:4 256:1	<b>barrier</b> 90:11 115:7	297:13 309:18
<b>aspect</b> 112:9	316:1,4,6 319:21	<b>Avalere</b> 2:10	115:8 322:17,19	317:10
184:12	320:11 321:18	<b>average</b> 290:9	<b>barriers</b> 115:6	<b>bellyache</b> 298:14
<b>aspects</b> 187:9	323:17 325:1	<b>avid</b> 237:4	<b>Barry</b> 291:13	<b>belongs</b> 129:4
<b>aspirin</b> 4:5 7:1 8:21	<b>assessments</b> 293:16	<b>avoid</b> 267:3	<b>based</b> 9:6,11 24:6	281:20
12:6 17:7 18:6,18	320:14	<b>avoidable</b> 292:19	28:14 39:10 41:16	<b>benchmark</b> 32:5
27:16 33:10,10	<b>associate</b> 226:18	<b>award</b> 249:16,18	43:16 53:22 75:8	<b>benefit</b> 42:9,17,19
34:17 42:20 54:12	<b>associated</b> 45:8	252:19	107:18,19,21	44:8 93:20 102:20
60:5 80:14 86:13	46:12 67:2 206:3	<b>aware</b> 28:22 156:15	117:17 120:7,8	105:22 106:6
88:3 89:22,22	<b>Associates</b> 3:6	249:11 281:5	172:18 213:3	121:8 144:13,20
141:5	258:4	312:22	230:1 268:18	151:1 295:18
<b>assay</b> 202:10,12,21	<b>association</b> 2:4,15	<hr/> <b>B</b> <hr/>	269:8,11 272:1	<b>benefits</b> 102:18
204:9 213:3,11	3:9,11,16 93:12	<b>B</b> 25:4 62:19 71:9	286:22 302:11	103:3 133:10
221:22 222:19,20	93:15 101:7	209:15 283:7	303:14,21 307:2	144:16 165:17
223:7	294:20 295:4,7	291:19	316:3 317:15	241:7
<b>assays</b> 202:19	299:4 302:17	<b>back</b> 32:8 39:1 49:4	318:21,22 320:13	<b>Bernheim</b> 3:1
203:19 204:16	309:13 316:19	52:5 64:18 65:10	<b>Baseline</b> 235:5	183:22 197:19
222:11	<b>assume</b> 81:16 88:12	66:18 87:21 103:4	<b>basic</b> 295:16	207:9 210:16
<b>assess</b> 48:14 82:2	124:20 156:3,7	106:9 107:7 111:1	<b>basically</b> 13:14	211:15
170:1 209:11	169:5	125:7 127:1,6	121:5 132:10	<b>best</b> 61:13 62:16
266:13 275:21	<b>assuming</b> 83:22	131:21 132:3,5	150:12 151:16	134:14 193:16
303:17 312:10,14	278:2	146:16 156:22	162:6,14 170:15	202:18 248:1
319:14	<b>assumption</b> 313:6	158:8,13 165:12	171:7 228:20	250:16 256:5
<b>assessed</b> 4:21 81:20	<b>astronomically</b>	168:3 170:17	230:1 247:19	287:11 328:13
154:12 204:13	202:17	172:1 175:12	272:13 292:5	<b>beta</b> 90:1
261:22 264:10	<b>atrial</b> 206:19 207:3	178:5 181:16	<b>basis</b> 32:6,21	<b>better</b> 46:5 62:4
269:10,11 274:7	208:5	182:16 183:2	101:22 237:15	93:17 110:11,15
275:17 296:10	<b>attack</b> 124:17	222:8 225:20	<b>battle</b> 111:8	199:17 210:7
<b>assesses</b> 214:10	<b>attacking</b> 91:8	227:10 236:16,22	<b>Baylor</b> 2:16,16	211:19 227:21
259:11	<b>attend</b> 228:9	241:10 249:13	<b>BCPS</b> 2:6	272:1 303:17,18
<b>assessing</b> 71:10	<b>attendance</b> 167:8	267:10 272:19	<b>beating</b> 292:10	314:9 316:12,13
208:2 259:17	<b>attended</b> 160:5		<b>beautiful</b> 6:5 73:7	<b>beyond</b> 83:22 88:14

90:6 144:4,7 145:6 168:18 178:21 179:18 <b>big</b> 22:18 57:17 113:4,5,6 117:14 117:16 118:9 163:11,11 168:16 171:2 201:1 243:4 250:9 290:17 302:19 307:10 328:16 329:7 <b>bigger</b> 24:10,11 157:8 <b>biggest</b> 25:6 106:13 156:18 157:4 229:1,3 230:7,14 <b>billion</b> 244:6 <b>binding</b> 132:4 <b>birth</b> 196:8 <b>bit</b> 45:5 52:16 75:3 94:4 117:9 118:14 118:17 127:3 133:13 155:17 209:18 235:3 257:5 274:8 277:13 279:15 292:3 295:2 300:3 304:18 313:6 315:21 320:20 <b>bits</b> 39:21 <b>blacks</b> 10:17 <b>bleeding</b> 7:22 <b>blending</b> 268:12 <b>blockade</b> 318:10 <b>blockers</b> 90:1 <b>blood</b> 185:17 198:17 206:16 213:8 <b>BMJ</b> 289:20 <b>BNP</b> 283:2,12,15 <b>board</b> 49:13 87:2 217:3 289:15 327:5 <b>Bob</b> 183:21 191:13 207:11 <b>body</b> 42:20 56:21 288:22	<b>bogged</b> 37:2 <b>bonuses</b> 249:20 <b>Boston</b> 2:11 <b>bothersome</b> 75:10 <b>box</b> 130:8,13 136:16 138:3,5,5 140:9 257:12,13 <b>boxes</b> 138:3 <b>bragging</b> 53:14 <b>Bratzler</b> 3:3 6:12 8:14 18:13 23:9 <b>break</b> 39:19,21 58:10 97:1,2 225:6 287:6 <b>breakdown</b> 281:11 <b>breaking</b> 57:17 58:3 <b>brief</b> 31:1 226:9 258:16 <b>briefly</b> 161:10 <b>Briggs</b> 1:17 12:13 59:16 79:12 80:1 88:10 89:17 99:13 99:13 140:5 178:3 180:1,8 239:21 240:18 272:11 <b>bring</b> 51:5 122:18 124:9 125:21 129:13 172:1 181:16 183:2 201:13 249:10 <b>bringing</b> 125:12 <b>broad</b> 22:15,16 <b>broaden</b> 44:17 66:21 126:13 200:17 <b>broadly</b> 309:22 <b>broke</b> 118:16 <b>Brookings</b> 233:15 <b>Brown</b> 2:17 <b>build</b> 184:4 199:12 207:12 <b>built</b> 127:13 <b>bunch</b> 48:4 198:20 254:9 263:12 <b>bundle</b> 57:17,18 <b>bundling</b> 57:15	<b>burden</b> 42:12 59:8 60:7 184:19 285:22 <b>Burton</b> 1:19 98:13 <b>business</b> 2:14 115:3 <b>bypass</b> 8:2 64:9 116:6 117:10 118:11,21 119:1 121:21 135:21 <hr/> <b>C</b> <hr/> <b>C</b> 25:4 29:14 90:7 186:2 213:21 216:2 <b>CABG</b> 112:12 119:7 124:17 <b>CAD</b> 116:6 <b>calculate</b> 14:17 65:22 66:1 192:15 234:19 <b>calculated</b> 70:13 188:17 196:18 <b>calculation</b> 126:12 155:12 245:13 <b>calculations</b> 157:19 <b>calendar</b> 239:14 <b>call</b> 26:12 27:6 74:19 115:3 137:20 171:20 184:22 190:15 212:12 229:8 231:1 234:15 261:13 308:13 326:16 327:2,16 327:17 <b>called</b> 135:14 228:13 252:11 253:1 265:3 <b>Calling</b> 266:22 <b>calls</b> 232:21 328:4 <b>Campus</b> 2:8 <b>Canada</b> 104:6 134:13,14 <b>Canadian</b> 301:1 <b>cancer</b> 317:18 <b>candidate</b> 4:4,17 14:7 15:16	<b>candidates</b> 318:6 <b>candidly</b> 43:2 <b>capabilities</b> 184:17 <b>capacity</b> 284:15 <b>capture</b> 17:7,10,12 67:9 125:2 159:7 169:18,20 241:16 <b>captured</b> 65:6 83:10 121:2,10 125:3 212:20 217:1 265:2 <b>captures</b> 36:9 37:5 268:4 <b>capturing</b> 71:15 76:22 140:10 169:15 217:6 268:8 310:12 <b>cardiac</b> 4:9,11 48:17 51:3 100:18 101:5,15,21 102:8 102:10,12,18,22 103:3,6,12 104:1 104:4,16,17 105:4 105:5,15 106:1,3 106:6 108:7,10,11 108:15 112:10 113:16 114:2,6 115:6,8,16 116:6 116:7 117:11,12 121:9 123:3,21 126:21 133:4,6,11 134:8 135:18 136:15 137:12,19 147:21 148:2,4 150:2,15,22 151:20 152:5 153:20 158:6,7,7 158:10,12 159:21 160:5,8 161:2 164:20 165:13 167:9 172:10 177:8 180:6,9,10 180:21 257:7,15 257:16 283:7 284:2,18,20 288:19 320:3 321:5 322:15,16	322:17 <b>cardiologist</b> 1:15 26:13 101:11,12 157:2 204:22 226:17 290:14 <b>cardiologists</b> 293:12,16 325:2 <b>cardiology</b> 2:7 3:5 3:6,13 31:6 88:14 94:6,22 156:21 226:8,18,22 290:6 <b>Cardiomyopathy</b> 299:5 <b>cardiopulmonary</b> 280:9 <b>cardiovascular</b> 1:3 3:11,16 101:8 102:14 139:6 <b>care</b> 2:16 4:8 24:19 30:21 31:15,18 32:4,20 33:3,4,18 34:6,8 37:6,7 42:9 42:14 43:9,19 46:10,11 48:19 49:18 52:8 56:9 57:11,19,21,21,22 58:5,13,15 60:3 60:14,15 64:6 65:9,13 67:16 73:19 81:5 82:9 84:11 85:3 87:9 87:12 88:19 90:8 90:16,19 91:2,4,5 91:9 92:5 93:22 94:11 106:2 113:12 131:11 132:5 135:15,17 137:16 138:18 139:2 157:1 162:12 187:9 216:19 234:3 237:16 238:21 239:2,7 241:6,17 246:8 253:18 255:5,17,22 258:21 262:2,18 262:22 265:13,21
---	--	--	--	--

267:9 268:20	124:7 126:20	212:14 213:8	166:21 291:15	150:1,9,14,17
269:3,16 270:1,4	137:12,19 176:14	222:11 229:20	300:22	151:19 152:11
270:13 271:4	176:16 180:10	235:13 239:14	<b>CHF</b> 243:2,22	154:14,20 156:9
272:14 277:6	<b>centers</b> 1:12 3:7,8	256:3 257:10	<b>chief</b> 226:18	164:2,8 165:14,21
280:17 284:2	161:8 171:2,3	260:1,12 265:20	<b>chime</b> 50:12	166:7,11 167:6,15
287:14,15 290:7,8	176:10	266:1 276:21	<b>CHIU</b> 3:5 50:12	170:3 172:9,14
293:14,16 314:17	<b>centric</b> 125:11	283:8 314:17	95:4 124:8 126:4	176:21 181:22
315:18 316:3	<b>cents</b> 174:22	317:15	126:9,15 127:2	182:11 299:7
318:20 321:10	<b>century</b> 290:19	<b>changed</b> 131:16	128:8 129:12,19	<b>circle</b> 125:7
322:20 323:3	<b>certain</b> 84:21 85:12	159:15 173:18	131:9 155:20	<b>circles</b> 127:1
324:19	179:14 180:19	182:9,14 204:16	157:6 158:15,18	<b>circling</b> 127:6
<b>cared</b> 262:1	214:6	267:15 276:15	158:22 159:3	<b>circumstances</b>
<b>caring</b> 58:16 92:6	<b>certainly</b> 10:20	296:11,13 299:13	161:9 170:7	85:21 93:4
<b>Caritas</b> 2:5	19:9 45:2 58:10	299:14 310:5	247:18 287:17	<b>citations</b> 190:19
<b>Carol</b> 4:13 100:8	79:21 83:7 133:12	<b>changes</b> 79:19,19	294:22	<b>cited</b> 9:8 28:11
<b>Caroline</b> 258:7	213:18 220:18	202:13 204:15	<b>Cho</b> 1:20 4:10	<b>city</b> 249:17 285:21
<b>carriers</b> 65:2 88:12	221:11 231:6	212:12 229:13	47:17 100:1,1	299:5 302:17
<b>carry</b> 13:18 123:2	256:12 285:1,15	276:14 282:19	105:21 107:17	317:2
<b>carve-out</b> 28:1	307:9 311:4,6	316:17	111:20 112:8	<b>claim</b> 35:3
<b>cascade</b> 237:1	<b>certification</b>	<b>changing</b> 176:22	113:1,22 116:4	<b>claim-based</b> 220:14
<b>case</b> 15:17 66:8	252:14,16	309:15	117:2 119:13,21	<b>claims</b> 17:5,8,22
80:21 190:19	<b>cessation</b> 35:3,4,5,9	<b>chaos</b> 43:3	120:7 121:1,18	18:10 188:21
209:9 211:4,5	35:14,18,19 36:10	<b>characteristics</b>	126:17 128:2,12	212:3 219:11
<b>cases</b> 31:22 32:1	36:15 37:11 135:6	212:19	134:12 135:16	264:15
73:15 77:8 115:9	135:7	<b>charge</b> 234:12	136:4 139:16	<b>claims-based</b> 189:7
<b>cataloged</b> 283:21	<b>cetera</b> 34:15 88:13	235:14	140:12 141:15	199:22 200:12
<b>catchment</b> 22:18	103:19 165:1,1	<b>chart</b> 72:22 76:19	142:22 143:16	211:19 215:20
<b>category</b> 281:19	180:15 255:16	179:4,20 231:4,6	156:17 158:1,17	219:22 221:4
<b>cath</b> 90:22 167:3	<b>CHADS</b> 300:6,6,10	231:13 232:21	158:21 159:2	<b>clarification</b> 166:5
310:3	300:11	234:18 282:16	211:9 274:22	166:14,18 167:22
<b>cath-PCI</b> 56:4	<b>chair</b> 291:16	285:16 300:8,12	275:13 300:5	171:19 172:2
<b>CathPCI</b> 94:18	<b>chaired</b> 228:4	<b>charts</b> 66:17	315:4 316:14	207:22 273:11
179:12	<b>chairs</b> 1:10 329:10	162:21 170:1	321:4	294:2
<b>causality</b> 93:15	<b>challenge</b> 127:4,5	281:7,8 295:15	<b>Cho's</b> 125:7 129:19	<b>clarified</b> 304:14,17
<b>cause</b> 195:16	168:16	<b>check</b> 123:17 130:8	<b>choice</b> 13:14 79:14	<b>clarifies</b> 172:19
243:22 317:6	<b>challenges</b> 205:10	130:13 136:16	144:17 145:1,2,9	<b>clarify</b> 11:9 18:17
<b>caused</b> 59:22	328:17	138:3,4 140:9	271:20	41:5 79:18 93:9
<b>caution</b> 177:14	<b>change</b> 28:22 44:21	224:20 311:8,8	<b>choices</b> 272:2	154:19 155:5
178:21 232:9	45:2,5 60:13 91:3	326:2	<b>choose</b> 73:13	164:17 165:11
<b>caveat</b> 97:16	116:14 154:18,20	<b>checking</b> 137:8	200:21 265:10	167:7 168:3
<b>CC</b> 103:9	155:4 166:3,11	206:21 257:12,13	271:21	182:17 240:18
<b>CCS</b> 2:3	167:6 172:19	<b>checklist</b> 85:17	<b>Chris</b> 2:20 191:21	264:19 268:15
<b>CCU</b> 58:19	173:10,13,14	<b>checks</b> 41:14	192:1	297:22
<b>cease</b> 19:8	177:6,12,12	<b>cherry-picking</b>	<b>Christine</b> 2:14 4:22	<b>clarifying</b> 167:12
<b>center</b> 1:17 2:1,2	179:19 180:2	32:1	93:18 98:16	<b>class</b> 9:7 51:1 53:21
2:12,17 3:1,3	181:3 182:11	<b>chest</b> 22:15,19	<b>chronic</b> 118:7	54:4,20 173:13,14
113:6 123:5,16,16	204:7,15,18 212:9	24:12,20 25:11	147:20 149:5	281:2,12,14

283:19,22 286:21 288:8 291:2 294:20 295:4,8,14 295:22 298:16,17 299:4 302:17 309:13 310:3,4 317:21,21 318:6 318:10 322:19 <b>classes</b> 300:21 <b>classic</b> 200:6 <b>classification</b> 281:4 300:22 <b>clean</b> 26:17 27:1 <b>clear</b> 20:9 28:6 38:9 80:2 81:6 103:22 104:3,19 121:20,21 155:13 173:1 198:2 233:1 <b>clear-cut</b> 146:17 <b>cleared</b> 166:16 <b>clearly</b> 42:10 67:17 77:19 107:15 108:1 112:10,13 140:19 142:1,9 233:12 245:12 323:21 <b>clerk</b> 323:15 <b>Cleveland</b> 1:20,21 4:6 6:9 9:15 11:1 64:3 98:22,22 113:22 114:4 288:12 319:4 <b>clinic</b> 1:20 2:15 101:12 114:1,1,4 158:8 228:8,9 234:15 236:14 280:17 283:14 <b>clinical</b> 1:19 17:6 29:14 46:14 184:5 184:17 185:2,3 199:17 206:2,9 212:19 214:12,15 219:12 220:4 228:15 239:13 261:15 265:19 287:5 303:19,20 312:11 313:9	315:16 <b>clinically</b> 219:6 285:2 <b>clinician</b> 143:4 259:11 285:11 325:5 <b>clinicians</b> 69:19 184:19 200:22 251:2 285:13 319:16 <b>clinics</b> 312:18,21 <b>close</b> 113:5 208:17 289:22 <b>closed</b> 138:15 <b>closer</b> 322:11 <b>CLS</b> 2:7 <b>CMS</b> 4:5,6,14,21 6:11 9:4 11:17 17:15 21:13 45:14 63:5 88:17 89:12 121:3 149:11 183:12,15 184:1 185:13 189:8 210:17 218:18 219:15 220:9,10 252:6 255:12 258:1,5,7 279:3 311:16 <b>co-chair</b> 1:11,14 4:2,3 <b>co-chairs</b> 329:10 <b>Cochrane</b> 229:18 <b>code</b> 18:1 179:21 193:16 <b>coded</b> 126:22 <b>codes</b> 193:3,8,9,15 196:7 <b>coding</b> 65:19 82:21 <b>coefficient</b> 215:22 <b>coefficients</b> 163:21 <b>cohesive</b> 58:21 <b>cohort</b> 22:15,16 188:17 <b>coin</b> 317:9 <b>collaborative</b> 161:11,22 <b>colleague</b> 191:21	296:1 310:4 <b>colleagues</b> 6:11 30:19 101:2 290:8 296:22 <b>collect</b> 61:7,18,21 170:6 174:10 175:18 178:15 197:8 319:10 <b>collected</b> 89:21 174:3,7 216:19 248:19 269:15,15 324:11 <b>collecting</b> 61:5 62:10 217:15 228:3,14 249:12 250:15 <b>collection</b> 61:2 63:6 63:12 179:2 <b>collects</b> 310:22 <b>College</b> 3:4,5,12,14 31:5 88:14 94:6 94:22 226:8,22 <b>Colorado</b> 1:21 2:8 258:12 <b>combination</b> 17:21 18:11 318:4 <b>combines</b> 279:21 <b>combining</b> 39:18 <b>come</b> 22:19 40:15 75:6 98:2 114:4 133:7 137:18 150:20 156:8 158:8,13 165:14 170:17 178:5 182:16 195:5 232:17 236:16 298:14 308:22 319:18 323:10 327:14 328:1 <b>comes</b> 39:10 117:5 118:4 120:10 134:13 141:17 268:12 279:8 294:6 324:22 <b>comfortable</b> 20:17 275:6 291:3 <b>coming</b> 11:11 62:14	120:21 121:13 136:6 156:5 166:21 170:11 196:11 218:4 227:10 256:19 292:15 315:7 <b>comment</b> 4:16 5:15 20:13 37:16 40:19 47:14 50:15 55:10 63:19 64:18 65:16 67:15 77:19 93:2 94:1,4 95:5 122:9 144:3 166:1 178:8 181:14 212:7,15 217:12 218:21 221:15,15 223:14 223:15 224:18,21 225:1 226:10 246:9 248:3,10 251:12 252:22 277:19 282:12 284:11 288:13 297:1 307:5 326:1 326:3,14,21,22 <b>comments</b> 9:16 37:17 59:17 66:4 77:14 78:22 82:9 92:12 93:20 96:3 97:3 115:19 140:2 143:7,21 147:6 212:5 216:5,7 225:3 256:22 262:4 272:8 303:5 308:1 313:14 314:2,5 319:6 326:8,22 327:3 <b>commission</b> 227:22 252:13 311:13 <b>commit</b> 31:21 <b>commitment</b> 94:8 131:8 <b>committed</b> 133:9 <b>committee</b> 1:3,8 15:22 16:9 27:5 54:6 84:20 95:21 97:13 102:4 103:5 107:7 131:12,17	137:10 141:6 142:11,14 146:15 146:20 149:9,9 164:15 176:15 227:3 232:2 286:8 327:7,13,21 <b>committees</b> 52:4 247:1 <b>common</b> 24:12 207:3 <b>commonly</b> 39:15 <b>communicated</b> 130:14 <b>communication</b> 104:21 105:3 122:22 127:18 137:11,12 138:14 140:6 145:22 160:17,18 170:21 187:9 <b>community</b> 59:5 255:21 270:11,17 273:5 <b>companies</b> 2:6 91:21 114:11 166:19 <b>companion</b> 11:22 14:1 <b>company</b> 29:15 90:13,14 <b>comparable</b> 89:14 <b>compare</b> 94:21 111:2 222:18 259:10 <b>compared</b> 66:15 214:17 <b>comparing</b> 249:14 277:16 <b>comparison</b> 212:16 212:18 <b>competing</b> 254:10 254:10,22 255:9 <b>complains</b> 166:21 <b>complement</b> 87:5 <b>complementary</b> 148:20 <b>complete</b> 65:13
---	---	--	---	---

110:22 <b>completed</b> 62:18 <b>completely</b> 47:2 54:13 88:5 129:2 130:19 133:15 142:6 170:9 277:9 277:19 284:10 <b>completion</b> 110:3 110:20 299:4 <b>complex</b> 89:2 187:8 <b>complexities</b> 83:3 <b>complexity</b> 93:8 <b>compliant</b> 91:4,5 <b>complimenting</b> 91:15 <b>component</b> 26:2 34:18 36:12,18 43:13 46:16 59:6 71:4 78:20 80:12 81:1,19 84:10,18 84:21 85:2 117:20 136:9,10 143:2 277:5 <b>components</b> 26:11 29:8,11 33:8,13 34:5,12 36:21 37:1,6 38:18 39:8 39:9,18 40:4 42:15 43:20 44:7 44:22 48:15 51:17 65:4 70:12 71:4 71:18 76:19,20,22 78:19 81:4 83:15 86:5,19 89:20 90:7 106:12 117:9 <b>composite</b> 6:22 14:18,20,22 15:1 32:12,15 39:1,6 39:15 40:6,22 43:15 45:1 50:1 50:18,20 54:8,15 54:21 55:1,15 56:6,8 58:3,11 59:19 60:22 61:15 61:22 65:12,21 67:18 68:10,17 70:14 72:5 79:11	81:2 82:9 85:3 86:6 87:9 88:7 91:9,16,20 92:3 92:13,14 93:13 117:2 256:7,14 <b>composites</b> 41:3 57:15 <b>comprehensive</b> 34:7 38:22 210:18 <b>concentrate</b> 102:5 <b>concentrating</b> 105:13 107:8,12 <b>concept</b> 14:15 16:11 33:18 233:5 <b>concepts</b> 14:18 <b>conceptualized</b> 68:13 <b>conceptually</b> 197:22 <b>concern</b> 8:1 24:11 24:11 25:6 49:5 55:18 67:7 77:2 86:17 121:22 130:5 140:6 230:14 252:7 299:8 308:19 <b>concerned</b> 53:4 113:18 168:11 190:20 241:3 272:5 320:21 <b>concerns</b> 7:8,12 110:2 152:18 156:18 181:3 232:6 249:5 267:21 295:3 <b>concise</b> 6:5 <b>concluded</b> 35:17 329:12 <b>concludes</b> 325:14 <b>conclusion</b> 82:1 <b>conclusively</b> 276:19 <b>concrete</b> 140:8 <b>concretely</b> 155:18 <b>conditions</b> 56:10 103:17 268:21 <b>conducted</b> 163:9	260:8 269:2 271:2 <b>conducting</b> 276:20 <b>conference</b> 1:8 171:20 280:3 308:12 327:2 <b>confidence</b> 198:10 199:8 210:1 <b>confident</b> 198:17 199:15,19 201:12 201:13 214:20 252:9 <b>confirm</b> 154:17 <b>confused</b> 34:16,18 124:4 135:11 247:10 <b>confusing</b> 273:1 <b>confusion</b> 129:8 <b>Congratulations</b> 96:21 <b>connect</b> 127:5 <b>conscious</b> 194:1 <b>consecutive</b> 97:19 <b>consensus</b> 7:4,10 15:7 28:14 178:1 <b>consensus-based</b> 261:5 <b>consent</b> 145:7 <b>consequences</b> 25:2 25:3 180:18,19 294:5 <b>consider</b> 14:13 21:1 33:20 40:14 104:11 139:8 181:17 182:20 192:12 218:18 <b>consideration</b> 4:4 4:17 70:5,7 76:4 256:6 281:2 <b>considered</b> 70:19 151:9 199:2 216:4 240:6 247:19 266:8 270:16 293:3 <b>considering</b> 10:18 33:19 181:5 240:9 320:3 <b>consistency</b> 308:16	<b>consistent</b> 36:13,17 73:18 248:5 <b>consistently</b> 49:10 198:20 209:6 245:16 250:16 <b>consists</b> 32:15 <b>consolidated</b> 62:5,8 63:12 <b>Consortium</b> 3:9 <b>constantly</b> 35:18 127:19 142:11 <b>construct</b> 33:3 39:15 52:8 67:18 67:19 68:12,19 130:3 <b>constructed</b> 70:14 78:20 288:2 <b>constructing</b> 52:11 <b>construction</b> 81:5 <b>constructs</b> 50:4 <b>Consulting</b> 1:15 <b>consumer</b> 57:4 87:7,10,21 <b>consumers</b> 58:9 85:14 91:11 93:21 146:4 <b>contact</b> 104:12,13 107:10 129:8 137:18 176:8 267:5 <b>contacted</b> 176:5 265:14 <b>contemporary</b> 187:14,15 <b>content</b> 21:4 247:11,21 <b>contention</b> 301:5 <b>CONTENTS</b> 4:1 <b>context</b> 271:13 <b>continually</b> 164:20 <b>continue</b> 18:18 19:5 21:2,11 89:19 221:12 227:17 <b>continued</b> 4:4,18 11:18 13:15 <b>continues</b> 200:2	220:11 <b>continuing</b> 141:4 <b>continuous</b> 142:14 <b>continuously</b> 315:6 <b>contract</b> 31:21 83:18 <b>contractor</b> 258:5 <b>contracts</b> 114:17 <b>contraindication</b> 12:8 69:10 89:4 145:12,13 <b>contraindications</b> 12:6 18:8 69:9 81:22 <b>contributing</b> 60:13 <b>control</b> 1:12 105:10 105:11 107:9,11 107:15,15 111:12 129:21 132:2 <b>controversial</b> 71:18 <b>conversation</b> 173:3 181:12 232:17 303:15 314:7 326:17 <b>Cook</b> 3:4 264:18,22 265:1 266:18 268:14 270:6 272:21 273:14 274:11,16 275:4 276:2 277:11 <b>coordinated</b> 187:11 287:15 <b>coordination</b> 131:11 132:6 138:18 139:3 162:13 234:4 239:2 253:18 255:6,17 259:4 <b>COPD</b> 235:19 236:4 238:11 289:7 <b>copout</b> 20:11 <b>cordoned</b> 58:14 <b>core</b> 130:16 <b>corner</b> 196:12 <b>corollary</b> 157:9 <b>coronary</b> 118:20
---	--	---	---	--



139:21 166:8 <b>correct</b> 48:7 49:22 77:12,16 82:6 94:7 125:13 136:4 150:11 161:12 283:10 285:7 287:2 294:14,22 <b>correctly</b> 294:18 317:20 <b>correlate</b> 288:21 <b>correlated</b> 289:12 <b>correlation</b> 73:7,10 74:14 75:15 110:18,22 215:21 <b>cost</b> 63:20 102:12 190:17 <b>costly</b> 244:13 <b>costs</b> 89:17 244:4,4 <b>counseled</b> 138:4,5 138:9 <b>counseling</b> 35:5,14 35:21 36:2,5,10 36:16 130:11 133:21 135:7 136:9 137:3 144:5 144:8 146:9 257:13 <b>count</b> 145:13,14 267:1,3 285:9,10 285:11 294:20 <b>country</b> 128:19 202:2 227:7 230:17 250:8 293:4 <b>counts</b> 316:6 <b>couple</b> 6:20 38:7 47:19 52:22 69:1 109:21 173:2 197:20 270:10 280:3 297:16 <b>coupled</b> 130:11 <b>coupling</b> 130:9 <b>course</b> 21:13 70:11 156:3 238:9 281:1 <b>cover</b> 114:13,18 <b>coverage</b> 114:16 151:2 168:22	<b>coverage-wise</b> 151:2 <b>covered</b> 24:1 124:6 182:13 268:20 269:17 <b>covers</b> 114:12 <b>CR3</b> 170:7,11 171:15 174:2 <b>cracks</b> 148:22 <b>crappy</b> 317:11 <b>create</b> 157:13,14 205:11 <b>created</b> 16:10 157:17 <b>creates</b> 42:12 57:1 <b>creating</b> 61:1,9 94:13 247:20 <b>creatinine</b> 185:18 198:19 <b>credit</b> 71:2 134:16 <b>criteria</b> 7:16 14:6 20:20 24:14 30:9 30:15 38:3 48:22 53:9 68:10,16 82:1 112:6 117:15 134:5 136:17 148:13 165:12 175:15,18,21 185:9 187:21 196:5 198:6 214:3 214:13 248:4,8 267:13 279:1 289:8 322:1 <b>critical</b> 140:18 187:9 200:19 215:15 284:16 <b>criticism</b> 55:21 <b>crosswalk</b> 196:13 <b>Crouch</b> 1:22 99:15 99:15 324:18 <b>CRT</b> 318:5,7 <b>crux</b> 11:3 <b>CSAC</b> 327:4 <b>culled</b> 197:6 <b>culling</b> 64:1 <b>cumbersome</b> 174:11	<b>curious</b> 256:4 <b>current</b> 32:20 165:19 184:6,17 184:17 193:16 201:3 212:3 299:11 316:20 <b>currently</b> 32:10 41:9 66:9 67:4 88:20 121:4 140:16 156:11 194:18 218:17 251:22 259:10 261:1 269:14 277:4 288:1 316:20 <b>Curtis</b> 3:4 31:3,4 36:7 41:7 43:2,8 44:3,11,16 45:15 48:8 50:21 52:1 58:7 66:5 70:10 73:4 74:9 75:12 77:7,11 79:17 80:6,21 81:9 82:6 83:11 87:3 89:10 89:19 93:9 94:5 95:5 <b>Cushing</b> 324:21 <b>cut</b> 182:22 <b>cutoff</b> 134:15,21 222:5 <b>cycle</b> 55:4 <b>cycles</b> 95:15	46:6 47:17 61:2,5 61:7,14,19 62:1,7 62:13 63:6,12,22 66:18 77:9,11,21 78:6 88:22 91:6 113:2 118:4,17 120:8,10 121:2 122:11 123:10,11 126:14 127:13 128:6,9 134:11,13 134:14 140:15 141:11 143:19,20 155:16 161:6,8 163:6,19 164:11 170:6,10 174:1,2 174:5 175:17 178:16 179:2,10 184:4,5 185:6,10 185:13 186:7,10 188:16,20,21,21 189:3,8 192:13 195:20 197:15 200:16 205:14 206:10 207:6,13 207:16 210:17 212:17,21 213:19 215:15 216:18 217:1,16 220:21 227:14 228:3 229:18 230:16 235:5 236:7,13 243:1 244:3 248:18 250:16 268:13 275:1 276:1,4 277:21 284:17 289:15 292:15 302:12 305:17 307:3 313:9 315:3 316:10,14 319:13 <b>database</b> 76:21 83:7 88:21 117:18 117:19,20 118:1,5 118:8 119:5 120:2 120:9,13,14 121:6 121:7 122:5 126:7 143:2 195:21	250:5,6 268:3 310:10 314:13 <b>databases</b> 80:19 121:10 122:8 139:20 142:12,13 310:12,15,19 <b>date</b> 171:22 196:8 234:9,18 238:5 239:14 <b>David</b> 258:11 <b>day</b> 4:2 6:5,19 144:15 189:14 265:14 279:6 315:11,12 <b>day-to-day</b> 214:14 <b>days</b> 19:16 195:16 208:4 227:10,17 233:21 235:22 236:10 237:19 311:1 326:18 <b>de</b> 184:14 <b>deadline</b> 246:4 <b>deal</b> 87:17 290:9 <b>dealing</b> 85:14 <b>death</b> 34:3 195:15 195:21 210:10 211:3 273:9 <b>deaths</b> 210:11,12 211:7 <b>Deb</b> 258:6,9,16 276:12,13 <b>Deborah</b> 3:6 258:2 <b>decade</b> 24:17 <b>December</b> 188:19 <b>decent</b> 305:1 <b>decide</b> 67:9 69:19 88:13 131:7 168:9 179:19 250:19 284:18 <b>decided</b> 50:20 54:11 60:5 102:4 169:21 <b>decides</b> 131:4 250:18 <b>deciding</b> 95:6 204:5 <b>decision</b> 20:8 28:7 71:5 144:9 145:6
--	---	---	--	--

<b>decision-making</b> 327:18	45:12 53:17 65:17 67:7 72:15 74:5	<b>describe</b> 37:10 65:12 196:17	181:14 187:4,7 193:12	169:12 196:22 197:15 200:5
<b>decisions</b> 37:3	75:5 76:18 77:9	248:21	<b>developing</b> 50:8	203:19 204:7
<b>decline</b> 134:15	78:5,17 80:16	<b>described</b> 217:7	85:9 101:9 220:11	205:13,22 208:6,6
144:19	81:7 82:4,20	252:2	220:11	213:14 214:15
<b>declined</b> 276:11	86:17 92:22 99:10	<b>describing</b> 62:22	<b>development</b>	219:5,11 220:3
<b>decompensation</b>	112:20 113:18	<b>description</b> 156:3	184:20 217:15	228:21 229:11
227:9 239:4	135:10 136:2,5	226:9 228:19	221:12 233:15	250:22 267:12
<b>decrease</b> 29:8	188:2 197:12	281:12 288:6	<b>developments</b> 52:3	270:10 283:22
<b>dedicated</b> 163:17	212:6 256:21	<b>designed</b> 26:17	<b>device</b> 283:21	297:16 298:15
<b>dedicating</b> 175:3	264:6,8 269:19	160:10 258:20	<b>devil's</b> 317:9	300:3 303:1
<b>deep</b> 299:18	294:2 297:21	290:6,7 310:16	<b>diabetes</b> 84:11 85:4	308:17 312:10
<b>deeply</b> 207:15	307:17	<b>desire</b> 290:13	91:17	313:6 324:12
<b>defect</b> 4:8 30:21	<b>DeLong's</b> 51:12	<b>desk</b> 323:16	<b>diagnoses</b> 101:21	<b>differently</b> 28:3
33:3,18 41:12	<b>delved</b> 69:2	<b>despite</b> 93:8 103:2	114:9,14,19	211:20
52:17 73:16 87:9	<b>demand</b> 66:12	<b>destroy</b> 181:18	<b>diagnosis</b> 18:1	<b>difficult</b> 18:8 34:13
87:11 88:18 90:19	<b>demarcated</b> 95:1	<b>detail</b> 17:12	104:14,16 136:13	89:6 107:10 118:7
91:2,9 135:14,16	<b>demonstrate</b>	<b>details</b> 89:14 288:7	166:18,20 196:3	119:3 184:5
<b>defect-free</b> 52:7	187:15 189:12	297:18	259:19 269:7	<b>difficulty</b> 73:5
81:5	190:21 247:13	<b>detect</b> 164:7,12	271:7 298:2,9	268:1
<b>defects</b> 73:14	<b>demonstrated</b>	166:11 173:11	304:16	<b>dig</b> 132:16
<b>define</b> 222:13 223:4	215:19 233:12,16	<b>detected</b> 177:4	<b>dichotomous</b>	<b>digits</b> 134:19
<b>defined</b> 122:21	245:20	<b>determination</b>	145:17	<b>diligent</b> 329:4
195:15 198:10	<b>demonstrates</b>	202:22	<b>dictionaries</b> 127:14	<b>diluting</b> 29:20
245:12	189:20	<b>determine</b> 27:21	<b>diddle</b> 152:15	<b>dinged</b> 75:6 81:2
<b>definitely</b> 115:14	<b>denominator</b> 79:18	51:14 277:16	<b>die</b> 91:1 144:12	86:21 127:19
156:12 157:7	80:2,22 81:13,15	<b>determined</b> 213:3	290:1	158:4,11,16,17
184:21 255:9	89:6 117:13	<b>detriment</b> 205:18	<b>died</b> 130:20	159:1,12,22 161:1
287:21 318:6	134:10 152:1,6	<b>develop</b> 31:6 50:15	<b>differ</b> 209:16	161:4
320:18 328:20	157:20 195:22	83:16 209:6	<b>difference</b> 26:4	<b>dinging</b> 129:16
<b>definition</b> 167:6	210:9 264:15	311:14	29:3 44:10,13,20	<b>direct</b> 29:9,12
178:6 202:8	266:9 269:21,21	<b>developed</b> 23:12	48:1 74:21 152:4	48:16 110:2
239:22 325:5	271:6 272:12	56:5 57:14 66:8	201:1 209:7	<b>directed</b> 69:3
<b>definitions</b> 89:14	273:20 274:13	67:4 184:13 185:8	235:10,15,22	<b>direction</b> 322:13
<b>degree</b> 193:5,9	275:10 297:22	211:12 212:19	262:13 290:22	<b>directions</b> 72:21
<b>Deitz</b> 3:6 258:2,3	298:6 304:17	219:2	<b>differences</b> 57:3	<b>directly</b> 24:8 25:22
258:18 276:13	<b>Denver</b> 1:21 291:13	<b>developer</b> 34:14	65:9 73:12 141:13	48:20 261:5 276:3
<b>delay</b> 168:3	<b>department</b> 22:7	69:4 100:19	<b>different</b> 27:19	280:5,22
<b>delineate</b> 166:19	24:13 238:20	141:14 142:6	32:15 39:18 49:18	<b>director</b> 1:14 2:22
<b>delivered</b> 31:18	239:1 267:2	188:15 189:6,18	49:20 50:4 57:22	101:5
48:19 73:20	<b>dependent</b> 75:7	190:18 194:9	61:19 62:13,20	<b>dirty</b> 71:10
216:19 321:20	184:20	195:4,18 196:16	65:1,5 66:20 67:9	<b>disabilities</b> 289:6
<b>delivery</b> 37:7 143:5	<b>depending</b> 103:7	215:14 258:1	72:21 73:21 85:21	<b>disagree</b> 26:14
<b>DeLONG</b> 2:1 4:8	<b>depends</b> 19:6 44:11	261:9,14 275:1	86:14 121:16	180:8 323:13
4:15 14:9 34:11	138:22 210:11	297:1,6	148:14 149:4	<b>disagreed</b> 230:9
36:4 38:7 42:6,8	<b>derive</b> 155:16	<b>developers</b> 91:3	155:14,15 156:19	<b>disagrees</b> 158:3
43:7 44:2,5,14	<b>derived</b> 189:3	142:2 168:2 181:4	159:6 163:13	<b>disappointed</b> 57:10

<b>discharge</b> 33:10 35:10 36:16 57:20 90:1,1 136:19 196:8 238:16 257:7 269:3,12 270:1,3,16 272:15 273:4	188:3 190:1,2 191:2 193:19 196:19 197:5 214:22 217:9 218:6,6 224:7 232:2 239:19 241:19 243:11 244:8,19,19 245:22 252:20 253:11 258:10 272:9 278:9 298:20 304:1 305:5 306:10,14 310:7 311:20	<b>docs</b> 291:14 323:3 <b>doctor</b> 124:19,21 127:9 129:16,21 157:1 266:22 293:5 324:19 <b>doctors</b> 146:5,5 <b>document</b> 43:1 82:8 161:18 170:12,13 171:16 296:12 301:9 318:20 322:3 323:2	323:19 <b>domains</b> 71:15 286:2 <b>door</b> 22:10,11 25:13,13 26:3,4,7 27:7 29:8 62:12 62:12 84:16,17 88:3 198:5 199:11 234:10 <b>dose</b> 104:9 108:3 263:13 264:2 <b>dots</b> 127:5 <b>double</b> 290:19 <b>doubt</b> 106:6 324:8 <b>doubts</b> 108:9,10,11 <b>downstream</b> 22:3 <b>Dr</b> 6:3,17,19 8:11 8:20 9:13,15,16 10:3,7,9,22 11:1,4 11:5,9 12:2,3,7,10 12:11,19,21 13:7 14:14 15:6,9,10 15:12 16:2,5 17:2 17:14,18 18:13,16 18:17,21 19:1,3 19:12,14,15 20:13 20:14 21:6,20,22 23:9 24:1,3,5,7 26:6,15,22 28:8 29:5,13 30:1,13 30:20 31:3 34:10 35:15,20 36:7 37:9,14,16 38:5 38:15,16,20 39:6 40:2,8,16,18 41:4 41:7,18 42:5,7 43:2,8 44:3,11,16 45:15 46:19 47:13 47:17 48:8 49:3,4 50:3,6 51:12 52:1 52:19 53:12,20 54:3 55:9,11,12 56:13,16 57:6,13 58:7 59:15 61:11 61:12 62:21 63:18 64:3,16,17 65:15 66:4,5 67:10,21	68:7,9,22 70:10 72:3,13 73:4 74:9 75:12 77:7,11,14 77:18 78:8,15,22 79:10,17 80:6,20 80:21 81:9 82:6,7 82:19 83:4,5,11 84:6,7 85:5 86:2,8 86:16 87:3 88:8 89:10,19 90:12,18 91:13,14 92:10,20 93:9 94:5 96:3,15 96:21 97:7 98:5 98:11,18,21,22 99:3,8,11,15,19 100:1,3,5,7,10,14 100:17 105:18,21 107:17 108:5 109:15,18,19 111:15,19,20,21 112:8,19 113:1,22 115:10,19 116:4 116:11,13,18 117:2 119:13,18 119:21 120:7 121:1,18 122:16 124:5 125:7 126:17 128:2,12 129:19 130:2 132:11 133:15,22 134:12 135:16 136:4,8 138:1,6 138:21 139:7,16 140:2,12 141:8,15 141:21 142:15,22 143:7,16,21 144:2 145:11,16 146:7 146:21 147:6,14 147:16 148:12 149:22 150:6,12 151:5,15,17 152:10,18 153:8 153:11,19 154:2,9 154:22 155:7 156:3,17 158:1,17 158:21 159:2,9 163:22 165:18			
<b>discharged</b> 196:2 270:3,11,18 271:19 273:4,5	232:2 239:19 241:19 243:11 244:8,19,19 245:22 252:20 253:11 258:10 272:9 278:9 298:20 304:1 305:5 306:10,14 310:7 311:20	<b>documentation</b> 7:18,19 70:18 106:10 137:2 138:8 167:1 282:15 286:18,19 297:17 302:15,20 308:14 315:21	<b>doubt</b> 106:6 324:8 <b>doubts</b> 108:9,10,11 <b>downstream</b> 22:3 <b>Dr</b> 6:3,17,19 8:11 8:20 9:13,15,16 10:3,7,9,22 11:1,4 11:5,9 12:2,3,7,10 12:11,19,21 13:7 14:14 15:6,9,10 15:12 16:2,5 17:2 17:14,18 18:13,16 18:17,21 19:1,3 19:12,14,15 20:13 20:14 21:6,20,22 23:9 24:1,3,5,7 26:6,15,22 28:8 29:5,13 30:1,13 30:20 31:3 34:10 35:15,20 36:7 37:9,14,16 38:5 38:15,16,20 39:6 40:2,8,16,18 41:4 41:7,18 42:5,7 43:2,8 44:3,11,16 45:15 46:19 47:13 47:17 48:8 49:3,4 50:3,6 51:12 52:1 52:19 53:12,20 54:3 55:9,11,12 56:13,16 57:6,13 58:7 59:15 61:11 61:12 62:21 63:18 64:3,16,17 65:15 66:4,5 67:10,21	<b>discriminated</b> 65:1	232:2 239:19 241:19 243:11 244:8,19,19 245:22 252:20 253:11 258:10 272:9 278:9 298:20 304:1 305:5 306:10,14 310:7 311:20	<b>documented</b> 12:8 71:1 103:3,21 115:6 137:15 234:18 235:21 264:20 285:16 287:22 298:18 309:15	68:7,9,22 70:10 72:3,13 73:4 74:9 75:12 77:7,11,14 77:18 78:8,15,22 79:10,17 80:6,20 80:21 81:9 82:6,7 82:19 83:4,5,11 84:6,7 85:5 86:2,8 86:16 87:3 88:8 89:10,19 90:12,18 91:13,14 92:10,20 93:9 94:5 96:3,15 96:21 97:7 98:5 98:11,18,21,22 99:3,8,11,15,19 100:1,3,5,7,10,14 100:17 105:18,21 107:17 108:5 109:15,18,19 111:15,19,20,21 112:8,19 113:1,22 115:10,19 116:4 116:11,13,18 117:2 119:13,18 119:21 120:7 121:1,18 122:16 124:5 125:7 126:17 128:2,12 129:19 130:2 132:11 133:15,22 134:12 135:16 136:4,8 138:1,6 138:21 139:7,16 140:2,12 141:8,15 141:21 142:15,22 143:7,16,21 144:2 145:11,16 146:7 146:21 147:6,14 147:16 148:12 149:22 150:6,12 151:5,15,17 152:10,18 153:8 153:11,19 154:2,9 154:22 155:7 156:3,17 158:1,17 158:21 159:2,9 163:22 165:18
<b>discriminative</b> 199:16	<b>discussions</b> 231:21 242:20 243:22 313:3	<b>documenting</b> 264:1 282:2 303:18	<b>double</b> 290:19 <b>doubt</b> 106:6 324:8 <b>doubts</b> 108:9,10,11 <b>downstream</b> 22:3 <b>Dr</b> 6:3,17,19 8:11 8:20 9:13,15,16 10:3,7,9,22 11:1,4 11:5,9 12:2,3,7,10 12:11,19,21 13:7 14:14 15:6,9,10 15:12 16:2,5 17:2 17:14,18 18:13,16 18:17,21 19:1,3 19:12,14,15 20:13 20:14 21:6,20,22 23:9 24:1,3,5,7 26:6,15,22 28:8 29:5,13 30:1,13 30:20 31:3 34:10 35:15,20 36:7 37:9,14,16 38:5 38:15,16,20 39:6 40:2,8,16,18 41:4 41:7,18 42:5,7 43:2,8 44:3,11,16 45:15 46:19 47:13 47:17 48:8 49:3,4 50:3,6 51:12 52:1 52:19 53:12,20 54:3 55:9,11,12 56:13,16 57:6,13 58:7 59:15 61:11 61:12 62:21 63:18 64:3,16,17 65:15 66:4,5 67:10,21	<b>discuss</b> 39:3 124:13 144:16	<b>disease</b> 1:12,13 102:12,14 154:1 165:5 166:8 214:4 214:11 244:16	<b>documents</b> 32:13 73:6 266:5 288:10	68:7,9,22 70:10 72:3,13 73:4 74:9 75:12 77:7,11,14 77:18 78:8,15,22 79:10,17 80:6,20 80:21 81:9 82:6,7 82:19 83:4,5,11 84:6,7 85:5 86:2,8 86:16 87:3 88:8 89:10,19 90:12,18 91:13,14 92:10,20 93:9 94:5 96:3,15 96:21 97:7 98:5 98:11,18,21,22 99:3,8,11,15,19 100:1,3,5,7,10,14 100:17 105:18,21 107:17 108:5 109:15,18,19 111:15,19,20,21 112:8,19 113:1,22 115:10,19 116:4 116:11,13,18 117:2 119:13,18 119:21 120:7 121:1,18 122:16 124:5 125:7 126:17 128:2,12 129:19 130:2 132:11 133:15,22 134:12 135:16 136:4,8 138:1,6 138:21 139:7,16 140:2,12 141:8,15 141:21 142:15,22 143:7,16,21 144:2 145:11,16 146:7 146:21 147:6,14 147:16 148:12 149:22 150:6,12 151:5,15,17 152:10,18 153:8 153:11,19 154:2,9 154:22 155:7 156:3,17 158:1,17 158:21 159:2,9 163:22 165:18
<b>discussant</b> 4:5,6,7,7 4:8,9,10,11,12,13 4:15,15,20,20,22 4:22 5:12,13 6:8 183:12 279:10,11	<b>disparities</b> 10:15 12:15,17,20 115:20 189:17 243:6,8	<b>doing</b> 10:3 25:3,4,7 50:8,9,10 61:3 84:14 85:8 87:2 90:5 101:4 132:16 142:5 156:8 159:18 169:15 175:4,4 189:7 198:4 232:8 235:9 236:7 240:14 249:14 256:16 260:6 262:17,19 266:22 267:9,11 275:1 280:4 285:13 286:5 287:9 292:6 293:9 316:13,13 317:11 320:1,8,19 323:14	<b>discussants</b> 226:7 <b>discussed</b> 7:21 8:5 38:9,19 122:18 124:15 190:14 216:18 247:22 282:13 286:9 309:3	<b>discuss</b> 39:3 124:13 144:16	<b>disparity</b> 112:15 302:22 303:2	<b>doing</b> 10:3 25:3,4,7 50:8,9,10 61:3 84:14 85:8 87:2 90:5 101:4 132:16 142:5 156:8 159:18 169:15 175:4,4 189:7 198:4 232:8 235:9 236:7 240:14 249:14 256:16 260:6 262:17,19 266:22 267:9,11 275:1 280:4 285:13 286:5 287:9 292:6 293:9 316:13,13 317:11 320:1,8,19 323:14	68:7,9,22 70:10 72:3,13 73:4 74:9 75:12 77:7,11,14 77:18 78:8,15,22 79:10,17 80:6,20 80:21 81:9 82:6,7 82:19 83:4,5,11 84:6,7 85:5 86:2,8 86:16 87:3 88:8 89:10,19 90:12,18 91:13,14 92:10,20 93:9 94:5 96:3,15 96:21 97:7 98:5 98:11,18,21,22 99:3,8,11,15,19 100:1,3,5,7,10,14 100:17 105:18,21 107:17 108:5 109:15,18,19 111:15,19,20,21 112:8,19 113:1,22 115:10,19 116:4 116:11,13,18 117:2 119:13,18 119:21 120:7 121:1,18 122:16 124:5 125:7 126:17 128:2,12 129:19 130:2 132:11 133:15,22 134:12 135:16 136:4,8 138:1,6 138:21 139:7,16 140:2,12 141:8,15 141:21 142:15,22 143:7,16,21 144:2 145:11,16 146:7 146:21 147:6,14 147:16 148:12 149:22 150:6,12 151:5,15,17 152:10,18 153:8 153:11,19 154:2,9 154:22 155:7 156:3,17 158:1,17 158:21 159:2,9 163:22 165:18
<b>discussants</b> 226:7 <b>discussed</b> 7:21 8:5 38:9,19 122:18 124:15 190:14 216:18 247:22 282:13 286:9 309:3	<b>disparities</b> 10:15 12:15,17,20 115:20 189:17 243:6,8	<b>documenting</b> 264:1 282:2 303:18	<b>discussants</b> 226:7 <b>discussed</b> 7:21 8:5 38:9,19 122:18 124:15 190:14 216:18 247:22 282:13 286:9 309:3	<b>discuss</b> 39:3 124:13 144:16	<b>disparity</b> 112:15 302:22 303:2	<b>documenting</b> 264:1 282:2 303:18	68:7,9,22 70:10 72:3,13 73:4 74:9 75:12 77:7,11,14 77:18 78:8,15,22 79:10,17 80:6,20 80:21 81:9 82:6,7 82:19 83:4,5,11 84:6,7 85:5 86:2,8 86:16 87:3 88:8 89:10,19 90:12,18 91:13,14 92:10,20 93:9 94:5 96:3,15 96:21 97:7 98:5 98:11,18,21,22 99:3,8,11,15,19 100:1,3,5,7,10,14 100:17 105:18,21 107:17 108:5 109:15,18,19 111:15,19,20,21 112:8,19 113:1,22 115:10,19 116:4 116:11,13,18 117:2 119:13,18 119:21 120:7 121:1,18 122:16 124:5 125:7 126:17 128:2,12 129:19 130:2 132:11 133:15,22 134:12 135:16 136:4,8 138:1,6 138:21 139:7,16 140:2,12 141:8,15 141:21 142:15,22 143:7,16,21 144:2 145:11,16 146:7 146:21 147:6,14 147:16 148:12 149:22 150:6,12 151:5,15,17 152:10,18 153:8 153:11,19 154:2,9 154:22 155:7 156:3,17 158:1,17 158:21 159:2,9 163:22 165:18
<b>discusses</b> 299:21 <b>discussing</b> 33:9 56:22 165:20 226:3 295:10	<b>disparities</b> 10:15 12:15,17,20 115:20 189:17 243:6,8	<b>documents</b> 32:13 73:6 266:5 288:10	<b>discussants</b> 226:7 <b>discussed</b> 7:21 8:5 38:9,19 122:18 124:15 190:14 216:18 247:22 282:13 286:9 309:3	<b>discuss</b> 39:3 124:13 144:16	<b>disparity</b> 112:15 302:22 303:2	<b>documents</b> 32:13 73:6 266:5 288:10	68:7,9,22 70:10 72:3,13 73:4 74:9 75:12 77:7,11,14 77:18 78:8,15,22 79:10,17 80:6,20 80:21 81:9 82:6,7 82:19 83:4,5,11 84:6,7 85:5 86:2,8 86:16 87:3 88:8 89:10,19 90:12,18 91:13,14 92:10,20 93:9 94:5 96:3,15 96:21 97:7 98:5 98:11,18,21,22 99:3,8,11,15,19 100:1,3,5,7,10,14 100:17 105:18,21 107:17 108:5 109:15,18,19 111:15,19,20,21 112:8,19 113:1,22 115:10,19 116:4 116:11,13,18 117:2 119:13,18 119:21 120:7 121:1,18 122:16 124:5 125:7 126:17 128:2,12 129:19 130:2 132:11 133:15,22 134:12 135:16 136:4,8 138:1,6 138:21 139:7,16 140:2,12 141:8,15 141:21 142:15,22 143:7,16,21 144:2 145:11,16 146:7 146:21 147:6,14 147:16 148:12 149:22 150:6,12 151:5,15,17 152:10,18 153:8 153:11,19 154:2,9 154:22 155:7 156:3,17 158:1,17 158:21 159:2,9 163:22 165:18
<b>discussion</b> 7:17 8:18 12:11,21 24:3 31:1 40:17 41:18 46:20 64:4 77:15 108:22 109:7 110:1 111:19 116:11 124:14 135:12,15 138:10 146:14 147:8 148:10 152:19 153:11 154:2 158:2 160:16 187:22	<b>disparities</b> 10:15 12:15,17,20 115:20 189:17 243:6,8	<b>documents</b> 32:13 73:6 266:5 288:10	<b>discussants</b> 226:7 <b>discussed</b> 7:21 8:5 38:9,19 122:18 124:15 190:14 216:18 247:22 282:13 286:9 309:3	<b>discuss</b> 39:3 124:13 144:16	<b>disparity</b> 112:15 302:22 303:2	<b>documents</b> 32:13 73:6 266:5 288:10	68:7,9,22 70:10 72:3,13 73:4 74:9 75:12 77:7,11,14 77:18 78:8,15,22 79:10,17 80:6,20 80:21 81:9 82:6,7 82:19 83:4,5,11 84:6,7 85:5 86:2,8 86:16 87:3 88:8 89:10,19 90:12,18 91:13,14 92:10,20 93:9 94:5 96:3,15 96:21 97:7 98:5 98:11,18,21,22 99:3,8,11,15,19 100:1,3,5,7,10,14 100:17 105:18,21 107:17 108:5 109:15,18,19 111:15,19,20,21 112:8,19 113:1,22 115:10,19 116:4 116:11,13,18 117:2 119:13,18 119:21 120:7 121:1,18 122:16 124:5 125:7 126:17 128:2,12 129:19 130:2 132:11 133:15,22 134:12 135:16 136:4,8 138:1,6 138:21 139:7,16 140:2,12 141:8,15 141:21 142:15,22 143:7,16,21 144:2 145:11,16 146:7 146:21 147:6,14 147:16 148:12 149:22 150:6,12 151:5,15,17 152:10,18 153:8 153:11,19 154:2,9 154:22 155:7 156:3,17 158:1,17 158:21 159:2,9 163:22 165:18
<b>discussion</b> 7:17 8:18 12:11,21 24:3 31:1 40:17 41:18 46:20 64:4 77:15 108:22 109:7 110:1 111:19 116:11 124:14 135:12,15 138:10 146:14 147:8 148:10 152:19 153:11 154:2 158:2 160:16 187:22	<b>disparities</b> 10:15 12:15,17,20 115:20 189:17 243:6,8	<b>documents</b> 32:13 73:6 266:5 288:10	<b>discussants</b> 226:7 <b>discussed</b> 7:21 8:5 38:9,19 122:18 124:15 190:14 216:18 247:22 282:13 286:9 309:3	<b>discuss</b> 39:3 124:13 144:16	<b>disparity</b> 112:15 302:22 303:2	<b>documents</b> 32:13 73:6 266:5 288:10	68:7,9,22 70:10 72:3,13 73:4 74:9 75:12 77:7,11,14 77:18 78:8,15,22 79:10,17 80:6,20 80:21 81:9 82:6,7 82:19 83:4,5,11 84:6,7 85:5 86:2,8 86:16 87:3 88:8 89:10,19 90:12,18 91:13,14 92:10,20 93:9 94:5 96:3,15 96:21 97:7 98:5 98:11,18,21,22 99:3,8,11,15,19 100:1,3,5,7,10,14 100:17 105:18,21 107:17 108:5 109:15,18,19 111:15,19,20,21 112:8,19 113:1,22 115:10,19 116:4 116:11,13,18 117:2 119:13,18 119:21 120:7 121:1,18 122:16 124:5 125:7 126:17 128:2,12 129:19 130:2 132:11 133:15,22 134:12 135:16 136:4,8 138:1,6 138:21 139:7,16 140:2,12 141:8,15 141:21 142:15,22 143:7,16,21 144:2 145:11,16 146:7 146:21 147:6,14 147:16 148:12 149:22 150:6,12 151:5,15,17 152:10,18 153:8 153:11,19 15

166:13 167:12,18 167:21 168:1,6,20 169:1,4,7,11,13 169:19 171:17 172:4,17 173:8,20 174:12 176:20 177:2,11,14,16,17 177:19,21,22 179:21 180:2,4 181:18 183:8,14 183:17,20 186:19 187:1 188:1,3 190:1,12 191:1,11 191:20 197:11,19 201:16,17 203:16 205:7,9 207:9 210:2,3,16 211:8 211:9,15 212:4,22 214:22 215:12 216:7,15 217:9,11 218:5,15,20,22 219:17,20 220:16 221:14,17 222:21 223:14 224:7,16 224:17 225:8 226:3,11,16 228:16,18 230:15 231:20,22 232:11 232:15 233:3,4 234:6,22 235:2,17 236:12 237:10,13 237:22 238:2,4,9 238:14 239:12,19 240:8 241:19,22 242:15,17 243:11 243:20,21 244:8 244:10,18 245:9 245:11,21 246:2,8 246:20,21 248:3,9 248:17,18 249:7,8 250:1,2,7,13 251:8,11,20,21 252:13,20 253:3 253:11,14 254:1,6 254:13,21 255:2,8 255:12 256:4,12 257:21,22 258:14	262:4,6,10,16,21 263:5 264:5,21 266:15,19,20 267:19,20 269:18 271:11,12 272:8 273:10,15,17 274:6,10,15,21,22 275:13 277:9,18 278:8 279:7,18,19 282:12,17,18 283:9 284:9,10 285:12 286:12,14 286:20 288:11,12 289:19 290:21 291:8 292:18,21 293:18 294:1,10 294:15,16 295:1,9 296:5,16 298:7,20 299:8,19 300:4,5 300:18,19 301:15 302:5 303:5,13 304:1,10 305:5,8 305:16,21,22 306:9,11,13,22 307:4,15,21 308:1 308:10,21 309:4,5 310:1,8,18 311:12 311:15,20 312:7 312:16,20 313:1,4 313:14 314:2,4 315:4,19 316:14 317:5,7,19 318:17 319:2,4,12 320:20 321:4,21 322:14 323:13 324:6,10 324:12,17,17 325:9,16,20 326:11 329:1,2,6 329:9 <b>draft</b> 326:19,20 <b>drafted</b> 194:19 <b>dramatically</b> 202:12 212:14 316:16 <b>draw</b> 97:8,17,19 98:2 <b>drawing</b> 98:8	<b>drills</b> 202:15 <b>drive</b> 28:6 132:21 134:9 <b>driven</b> 282:8 <b>driver</b> 282:10 <b>drives</b> 102:2 203:9 <b>driving</b> 102:6,7 103:22 205:5 <b>drop</b> 41:15 80:22 81:7,9,13 <b>dropped</b> 10:11 251:3 <b>drops</b> 277:13 <b>drug</b> 283:6,7,20 <b>drug-eluting</b> 158:5 <b>duality</b> 220:22 <b>due</b> 75:1 214:3 <b>dug</b> 74:10,20 <b>Duke</b> 1:16 2:1 228:5 <b>uplicative</b> 52:12 <b>Duration</b> 173:15 <b>duration/frequen...</b> 177:7 <b>dysfunction</b> 45:4	235:12 283:16 309:7 311:6 <b>eat</b> 264:3 <b>ECG</b> 4:6 21:21 84:17 <b>echo</b> 64:4 159:18 167:3 206:11 324:6 <b>echocardiogram</b> 69:17,20 <b>ED</b> 8:21 9:1 22:19 25:4 26:12 57:21 239:5,15 240:10 276:7 <b>EdD</b> 2:3 3:10 133:5 <b>edges</b> 76:13 <b>education</b> 133:13 146:10,11 261:11 265:19 <b>EF</b> 81:14,16,17,18 81:20 82:8 255:15 <b>effect</b> 48:14,16 76:1 317:6 <b>effective</b> 102:13 105:15 <b>effectively</b> 267:17 317:18 <b>effort</b> 63:8 66:16 75:22 175:10 176:1,11 179:1 328:18 <b>efforts</b> 6:5 59:13 67:5 87:5 94:10 233:11 <b>EHR</b> 18:11 171:1 184:4,12,18,20 185:4,5 186:16 192:9 198:12 199:7 200:17 201:2,7 205:13 216:21 220:11,14 311:6 <b>EHR-based</b> 200:11 220:20 <b>EHRs</b> 198:22 199:6 308:17 <b>eight</b> 27:9 47:7,15	57:8,9 197:6 224:5 236:15 285:22 287:10 292:1 323:1,18 <b>eight-minute</b> 290:5 308:22 <b>Einstein</b> 3:14 226:19 <b>either</b> 13:13 16:15 44:2 70:8 97:17 98:3 145:21 146:4 146:18 167:2,19 214:8 221:2 247:2 267:5 270:12 272:14 273:3 294:11 298:19 302:16 322:10 <b>ejection</b> 70:20 80:8 80:9,17 81:3,11 <b>EKG</b> 22:7,12,14 25:1 26:3 27:2,3 27:14,19 28:6,12 28:20 29:9 201:5 <b>EKGs</b> 24:19 <b>elaborate</b> 197:18 <b>elderly</b> 135:3 <b>elect</b> 31:20 <b>elected</b> 52:9 135:20 135:20,22 <b>electronic</b> 17:5 18:5 121:3 136:11 169:14 179:5 192:13,14 234:8 249:4 <b>electronically</b> 169:18 <b>electronically-ba...</b> 205:11 <b>electrophysiologist</b> 284:12 <b>element</b> 51:20 63:22 77:21 78:6 127:17 179:8 <b>elements</b> 21:11 32:7 42:11 43:5 51:15 61:16 85:12 88:22 91:20
--	---	---	---	---

138:11 179:4 185:7,10,10 196:22 197:2,6 205:4,14 214:2 215:15 217:6,16 <b>elevated</b> 69:16 202:4,17 <b>elevation</b> 22:9,22 <b>eligibility</b> 65:20 <b>eligible</b> 51:10 66:1 69:7 79:22 80:11 81:10 103:17 104:14 151:12 176:7 260:14 269:6 <b>eliminate</b> 20:6 39:4 <b>eliminating</b> 121:7 <b>Elizabeth</b> 2:1 4:8 4:15 188:1 <b>Ellen</b> 2:3 4:11 108:5 115:10 122:16 134:1 173:20 235:17 288:11 291:8 <b>email</b> 173:4 279:13 <b>embarrass</b> 254:1 <b>embarrassed</b> 253:21 <b>embedded</b> 36:4 263:20 288:3 <b>eMeasure</b> 4:14 186:22 187:2 191:18 192:1,5 193:17 194:18,21 195:2 212:2 217:13 218:3 221:8 <b>eMeasure-specific</b> 215:21 <b>eMeasures</b> 192:9 193:10 194:2,7 195:10 198:5 210:4 218:4 221:5 <b>emergency</b> 22:6 24:13 58:17 238:19 239:1 265:15 267:2	277:14 <b>emerging</b> 31:9 <b>emphasis</b> 29:21 <b>emphasize</b> 184:22 <b>emphasizing</b> 50:22 <b>empiric</b> 49:1,1 78:17 245:18 305:4 306:1,4 <b>empirical</b> 45:7 51:13,18 <b>EMR</b> 17:10,11 18:7 136:22 169:16,17 173:12 174:6,11 197:8 <b>encounter</b> 53:19 69:18,22 <b>encounters</b> 268:5 <b>encourage</b> 150:21 160:19,20 <b>end-of-care</b> 269:12 <b>endeavor</b> 162:11 <b>ended</b> 185:14 205:19 217:22 232:7 241:11 272:18 <b>endorse</b> 63:15 111:18 314:3 <b>endorsed</b> 40:4 95:16,22 162:13 162:15 199:22 259:8 273:13,16 274:4 278:2,4 307:18,19 <b>endorsement</b> 1:3 11:18 13:16 32:11 59:9 96:19 103:10 142:1 147:11,12 224:10,12,15 254:17,20 273:11 327:5 <b>endorses</b> 66:6 <b>endorsing</b> 63:10 321:9 <b>ends</b> 266:21 271:9 <b>enemy</b> 85:20 <b>energy</b> 25:16 <b>England</b> 227:7	<b>enhance</b> 76:2 <b>enlarge</b> 273:20 <b>enormous</b> 162:18 162:19 <b>enroll</b> 105:9 132:9 164:20 <b>enrolled</b> 106:2 129:15 134:18 <b>enrolling</b> 136:7 <b>enrollment</b> 102:3,4 102:7,8 103:2,6 103:13 104:4,10 105:11 106:7,18 106:20 107:2,3,11 107:20 110:3,19 113:10 128:6,11 128:17,18 129:3,6 129:22 160:12 164:19 195:21 321:6,8 <b>ensure</b> 185:10 208:10 260:8 <b>enter</b> 248:8 <b>entered</b> 145:15 252:17 <b>entering</b> 209:12 <b>enthusiastic</b> 295:12 <b>entire</b> 85:2 328:11 <b>entirely</b> 80:1 <b>entities</b> 67:9 <b>environment</b> 184:18 186:16 187:12 192:9 <b>envisioned</b> 176:2 <b>episode</b> 151:7,10 172:11 260:9,13 271:9 272:14 <b>episodes</b> 260:3,4 269:22 273:3,8 301:10 <b>equal</b> 49:7,16 50:16 76:9 106:8 <b>equally</b> 231:10 <b>equipment</b> 293:6 <b>equitably</b> 90:10 <b>equity</b> 89:15 <b>ER</b> 26:19 27:1	136:16 141:5 <b>especially</b> 135:3 194:2 220:12 268:12 284:11 296:14 <b>eSpecified</b> 186:11 <b>essence</b> 62:10 <b>essentially</b> 20:14 30:13 100:14 141:22 186:11 217:22 <b>establish</b> 289:3 <b>established</b> 59:1 63:7 <b>estimates</b> 42:9,17 42:19 45:5 46:7 <b>estimation</b> 246:6 <b>et</b> 34:15 88:12 103:18 165:1,1 180:14 255:16 <b>ethnicities</b> 303:1 <b>Europeans</b> 227:19 227:20 <b>evaluate</b> 43:12 54:15 88:2 193:14 302:9 303:21 305:2 <b>evaluated</b> 75:14 93:4 147:18 150:7 <b>evaluating</b> 13:18 34:8 52:4 59:6 141:22 <b>evaluation</b> 3:2 41:15 45:3 69:13 80:10 172:3 217:13 273:19 285:5 294:11 320:22 <b>evaluations</b> 218:3 <b>event</b> 102:21 148:3 148:8 151:2 239:18,18 <b>eventually</b> 129:11 132:19 317:4 <b>everybody</b> 6:3 20:5 83:17 97:2,14 100:7 136:13	149:2 156:8 165:13 166:8 249:11 280:8 281:5 284:8 325:17 329:3 <b>evidence</b> 9:6,11,12 9:17,21 10:1,5 22:8,14 24:2,4,6,8 26:1,2 28:10 29:4 29:12,14,16 30:2 30:6,7,9,11,12,15 34:14,21,22 35:2 35:4,12,13,17 36:3,20 37:17,18 37:21 38:1,3 39:9 39:20 42:20 43:8 43:17,18 44:18 46:15 49:7,8 53:22 54:18 78:18 78:21 84:15 93:10 93:16 106:16,16 106:17,22 107:21 108:9,13,14,18,20 109:9,11,13,14 110:2,5,9,13 111:17,22 112:3,5 112:6 136:18 151:16 152:3,9,16 152:20,22 153:1,3 153:5,7 187:21 229:3,4,12,17,21 229:22 230:8,11 230:11 233:6 241:20,20 242:1,4 242:6,12,13 248:6 261:6 263:22 272:1,6,9 277:2 278:10,14,15,18 278:20,21 279:2 282:4,7,11 288:22 289:5 301:16,19 301:21,22 302:2,4 320:17 321:1 <b>evidence-based</b> 32:21 47:3 319:8 <b>ex</b> 101:6 <b>ex-presidents</b>
---	--	---	--	---

101:16 <b>exacerbation</b> 259:6 <b>exact</b> 11:2 14:21 219:10 <b>exactly</b> 54:14 126:2 132:21 135:13 140:7 148:5,10 154:11 171:22 176:9 219:20 220:6 225:7 267:2 292:22 <b>exam</b> 293:20,21 <b>example</b> 44:8 69:5 69:11 206:7 <b>excellent</b> 163:20 <b>exception</b> 9:22 30:6 30:11 37:22 39:20 112:4 119:11 153:2 154:13 242:5,14 278:14 278:20 301:21 <b>exceptions</b> 103:18 103:18 245:14 <b>exclude</b> 12:5,7,9 130:22 298:3 <b>excluded</b> 176:16 206:1 260:4,5 <b>excluding</b> 8:1 <b>exclusion</b> 8:4 117:15 131:9,16 134:5 196:4 260:9 260:13 <b>exclusions</b> 130:18 210:9 211:2 245:14 <b>excuse</b> 131:19 163:7 <b>exercise</b> 92:7 165:16 311:10 <b>exhibited</b> 264:12 <b>exhibiting</b> 259:13 <b>exist</b> 152:3 208:22 243:6 <b>existence</b> 22:2 310:16 <b>existing</b> 59:4 260:20 294:3	327:15 <b>exists</b> 280:18 <b>exit</b> 270:9 <b>expand</b> 275:10 <b>expansion</b> 274:13 <b>expect</b> 87:22 90:15 142:5 218:2 287:12 296:16 323:2 328:5 <b>expectation</b> 271:2 327:10 <b>expected</b> 61:7 135:11 <b>expecting</b> 296:7 <b>expending</b> 25:16 <b>expenses</b> 67:2 <b>expensive</b> 323:8 <b>experience</b> 56:3 63:19 80:17 210:15 249:1 324:7 <b>experienced</b> 147:19 150:9 <b>experiencing</b> 259:6 <b>expert</b> 28:14,14 101:14 191:22 <b>expertise</b> 88:2 <b>experts</b> 199:5 247:20 <b>explore</b> 58:8 <b>extension</b> 59:12 <b>extensive</b> 190:19 <b>extensively</b> 32:3 <b>external</b> 195:20 <b>extra</b> 264:2 320:9 <b>extractable</b> 198:12 <b>extracted</b> 198:22 <b>extraction</b> 308:15 <b>extrapolate</b> 121:12 <b>extrapolating</b> 122:5 <b>extreme</b> 102:20 <b>extremely</b> 26:20 <b>extremes</b> 301:3 <b>eye</b> 323:11 <b>eyeball</b> 292:7 296:19	<b>F</b> <b>F</b> 226:1 <b>FAACVPR</b> 2:3 3:15 <b>FAAFP</b> 1:22 <b>FACC</b> 2:2,12 3:12 <b>face</b> 198:16 205:10 207:11 246:21 307:2 <b>FACEP</b> 2:4 <b>facilitating</b> 59:14 <b>facilities</b> 18:5 175:18,22 176:6,6 176:7 178:13,18 <b>facility</b> 6:21 7:4 9:3 9:3 23:18,19 49:10,15 85:18 126:21 137:16 162:18 228:22 239:22 240:2,4,6 241:14 270:2,20 271:1 272:16 273:6 <b>FACP</b> 2:2,12 <b>FACPM</b> 2:14 <b>FACS</b> 1:11 <b>fact</b> 11:2 37:9 50:21 54:10 67:5 86:9 122:19 159:11 168:12 182:5 217:19 228:1 234:11 239:12 281:7 293:19 327:6 <b>factor</b> 113:4,5,6 134:6 <b>factors</b> 185:15 187:17 189:21 206:2,13 213:20 216:3 <b>FAHA</b> 1:11 <b>fail</b> 51:8 <b>failed</b> 274:17 <b>failing</b> 87:1 265:11 266:11,12,13 <b>failure</b> 4:18,19,21	5:10 149:10,12,19 199:3,7 226:4,5 226:17 227:2,8,10 233:20 236:10,20 238:21 239:7,18 241:2 242:19 244:12 248:20 250:4 252:1,3,14 255:3,19,21 256:7 258:19,22 259:3,6 259:13,14,18,19 259:20 261:10,12 261:16 264:11,13 264:13 265:3,6 266:12 269:8 271:8,8 273:21 274:1,12,18 275:16 276:10,17 277:4,4,7,12 279:8 280:21 284:13,14 285:21 289:7 294:4,7,12 294:13 296:9 297:20 298:2,4 299:6,7 302:18 304:15,16 305:10 310:10,11,13,17 312:18,21 315:6,8 321:11 322:12,16 322:19,20 <b>fair</b> 70:15 307:5 <b>fairly</b> 13:21 90:10 119:6 276:6 305:1 <b>fairness</b> 89:15 307:11 <b>fall</b> 24:20 89:4,5 120:12,19 148:22 149:13 314:22 <b>fallen</b> 11:19 <b>falling</b> 87:15,15 <b>falsely</b> 202:4 <b>familiar</b> 31:11 90:2 191:19 200:11 236:9 250:3 <b>family</b> 2:5 113:12 169:21 261:11 <b>fancy</b> 293:6	<b>FAPTA</b> 2:3 <b>far</b> 12:18 17:11 54:21 63:2 119:22 120:1 130:6 169:16 201:6 204:21 213:16 246:4 292:16 309:16 311:15 <b>FASE</b> 2:2 <b>fashion</b> 217:17 <b>faster</b> 22:12,14 <b>favor</b> 240:8 <b>favorable</b> 194:18 <b>FCPP</b> 2:13 <b>FDA</b> 221:20 222:12 <b>fear</b> 158:3 <b>feasibility</b> 59:17 67:20 79:10 82:19 85:6 92:13,14 139:18 142:22 143:8,9 168:7,21 169:2 174:14 178:9,10,11,20 181:3 185:8,9 186:14 193:18,20 193:22 194:1,4,6 194:9,11,14 208:10 216:15 217:6,12,15,21 218:1,7,9,13 248:17 249:6 251:12,13 308:10 308:12,19,21 309:21 311:21 312:1,5 313:15 <b>feasible</b> 66:22 83:7 143:1,6 162:4 168:19 217:2 276:3 <b>feasibly</b> 198:13,21 <b>February</b> 149:11 <b>fed</b> 32:7 87:21 <b>fee-for-service</b> 46:1 <b>feedback</b> 140:15 <b>feel</b> 14:4 15:18 16:20 21:3 30:14 92:11 149:12
---	---	---	--	--

171:17 199:15 200:22 214:19 220:6 252:21 323:22,22 <b>feeling</b> 20:22 47:13 82:22 <b>feelings</b> 92:8 <b>feels</b> 116:16 <b>fees</b> 66:15 84:3 <b>felt</b> 107:13 131:17 132:6 175:1 196:10 205:21 274:20 305:2 <b>FFR</b> 322:3 <b>fibrillation</b> 206:20 207:3 208:5 <b>fibrinolytic</b> 23:20 79:13 80:4 <b>fibrinolytics</b> 60:19 <b>field</b> 103:13 199:5 210:8 <b>fields</b> 198:11 <b>fifth</b> 298:12 <b>figure</b> 73:7 74:1,2 156:6 288:16 <b>figured</b> 132:4 <b>fill</b> 53:6 62:18 286:1 324:1 <b>filling</b> 62:1 <b>final</b> 200:20 212:2 224:8 253:12 254:13 327:5 <b>finally</b> 70:1 200:15 270:21 <b>financial</b> 75:17 76:4 <b>find</b> 22:20 62:16 71:19 73:3 110:22 128:18 173:6 195:7 198:3 199:10 200:12,17 207:16 209:6 210:20 293:22 297:13 306:11 <b>finding</b> 231:8 <b>findings</b> 161:18 194:12 201:5	<b>fine</b> 15:1 223:9 275:21 298:16 320:9,11 <b>finish</b> 13:17 328:4 <b>first</b> 6:8 19:22 23:16 28:4 57:14 96:1 102:2 107:3 111:6 124:17 130:5 131:10 132:2 136:12 160:4 164:14 181:19 184:13 185:20 186:21 187:1 197:22 198:4 199:15,19 206:8 208:8,12,12 221:7 231:8,17 237:17 242:18 286:7 294:17 319:11 320:7,18 324:13 <b>fit</b> 239:8 <b>five</b> 9:8,22 30:6 37:22 112:4 153:2 156:14 183:7 185:15 196:22 197:7 204:17,18 205:2 216:3 230:17 242:5 260:21 278:14 301:21 312:6 313:22 <b>fix</b> 181:20 254:5 <b>flexible</b> 312:12 <b>flies</b> 56:19 <b>flipping</b> 210:18 <b>floor</b> 1:8 58:19 <b>fluid</b> 315:12 <b>FNLA</b> 2:6 <b>focus</b> 94:10 111:13 <b>focused</b> 139:21 <b>focusing</b> 205:19 <b>fold</b> 171:13 <b>folks</b> 327:1 <b>follow</b> 18:20 52:1 93:2 95:3 106:21 114:7 123:14	125:16 235:11 244:10 292:22 <b>follow-up</b> 230:4 231:9,11 233:8,11 243:3 255:6 265:3 286:15 294:12 296:4 326:11,15 <b>followed</b> 18:18 <b>following</b> 7:2,22 18:22 56:6 <b>follows</b> 193:15 194:12 <b>foot</b> 201:19 <b>force</b> 102:6,7 103:22 201:22 <b>forces</b> 35:16 46:14 <b>forcing</b> 63:11 <b>foresee</b> 14:3 <b>forever</b> 144:11 <b>forget</b> 14:10 171:22 <b>forgetting</b> 163:8 <b>forgiving</b> 209:18 <b>form</b> 61:14 62:19 62:19 186:12,13 249:4 301:9 302:18 <b>formalizing</b> 316:11 <b>format</b> 179:6 192:21 <b>formed</b> 14:22 <b>forms</b> 61:20 62:1 62:13 323:4 <b>forth</b> 146:16 196:9 266:2 <b>Forum</b> 1:1,8 <b>forward</b> 15:20 19:9 52:13,14 61:6 64:18 138:14 201:13 221:13 328:20 <b>found</b> 27:10 65:14 194:16 198:20 199:15 208:18 209:1,20 243:7 <b>four</b> 9:21 13:3 21:17,17 30:5 37:21 41:22 68:3	72:8 78:11 79:5 82:13,16 92:17 95:15 96:8 112:3 116:2,22 139:12 139:14 142:19 143:12 147:3 153:1,6,16 154:6 154:7 156:14 183:5 190:6 191:6 215:6,11 216:11 216:13 218:10 223:19 241:8 242:4 243:16 245:1 246:15 248:13,16 250:22 251:16 253:7 275:3 276:1 278:13,18 297:8 298:21,21 300:10 301:4,20 302:16 303:9 304:5 306:18 308:5 312:2,10 313:19 <b>fourth</b> 298:11 <b>fraction</b> 70:21 80:8 80:9,17 81:4,11 <b>frame</b> 41:17 73:22 233:21 246:10 252:8,12 309:10 327:9 328:15 <b>frames</b> 234:5 <b>frankly</b> 48:15 271:17 <b>Fred</b> 3:12 55:9,11 56:13 <b>free</b> 4:8 30:21 33:4 33:18 52:18 60:17 87:9,12 88:19 90:19 91:2,9 114:5 135:14,16 323:12 <b>frequency</b> 164:18 167:8 173:15 266:1 <b>frequently</b> 14:10 16:14 <b>Friday</b> 234:12	<b>front</b> 177:20 178:4 181:13 217:14 253:19 254:2 323:16 <b>FSCAI</b> 2:12 <b>FSVM</b> 2:13 <b>fulfill</b> 294:20 <b>full</b> 59:6 <b>fully</b> 162:15 231:12 300:2 <b>function</b> 63:11 69:14 283:3 289:12 303:20 <b>functional</b> 165:5 284:15 289:18 291:1 <b>functionality</b> 223:11 <b>functioning</b> 214:20 291:2 <b>further</b> 12:11,21 37:17 40:16 66:4 76:1 96:3 140:2 160:16 187:15 189:12 190:1,2 212:4 218:5,6,20 221:15,15 223:14 223:15 224:7 239:19 241:19 243:11 244:8,18 244:19 245:21 252:20 253:11 278:8 298:20 304:1 305:5 306:9 306:14 308:1 311:20 313:3,14 <b>future</b> 14:3 95:19 129:5 149:8 218:19 220:13
<hr/>				
<b>G</b>				
<hr/>				
<b>gait</b> 289:11,11,12 289:14,15 291:10 291:11,21 292:17 <b>Gallagher</b> 258:6,7 <b>game</b> 84:1 131:14 136:22				

<b>gaming</b> 53:19 55:15,17,18 145:9 <b>gap</b> 38:14,18 103:1 112:9,14 113:16 115:20 116:3 141:4 153:8,10,12 153:13,16 188:15 189:16 190:9 242:20 243:4,10 243:12,13 302:19 303:11 <b>gaps</b> 43:9,21 103:20 154:12 302:10 <b>gathered</b> 35:1 <b>Gawande</b> 85:16 <b>gender</b> 12:20 303:1 <b>general</b> 58:14 148:18 290:13 <b>generally</b> 45:22 56:7 58:15,20 151:3 213:21 <b>generated</b> 135:15 <b>generating</b> 56:8 <b>gentleman</b> 192:7 <b>geographically</b> 171:3 <b>George</b> 1:9,11,17 2:11 4:2,5 6:8,19 8:20 10:9 12:7,19 21:20 24:3 30:1 57:13 91:16 98:5 98:5,8,14 100:17 105:18 108:5 111:19,21 112:19 115:10,19 116:5 116:11,15,18 122:2,16 133:22 139:7 140:2 142:15 143:7,21 146:7,21 147:6,14 152:18 153:11 154:2 166:13 168:1 177:22 179:21 180:2 190:15 224:1 235:2 260:18	262:4 264:5,21 266:15,19 267:19 269:18 271:11 272:8 274:21 278:8 311:12 329:6 <b>getting</b> 22:10,12,14 33:12 49:15 51:16 63:21 71:13,22 91:2 105:13 127:12 174:1 203:11,15 207:6 235:10 247:10 251:4 263:20 281:17,21 314:19 316:9 318:16 329:5 <b>GIBBONS</b> 2:2 <b>gift</b> 174:21 <b>give</b> 6:17 23:5,8 27:16 31:1 42:9 83:8 88:18 114:1 123:17 131:5 135:7 146:11,12 210:21 226:9 247:15 258:16 263:12 284:7 315:14 323:11 327:9 <b>given</b> 17:8 42:16 71:2 78:21 83:2 122:20 137:22 210:1 234:9 236:21 239:16 252:8 255:16 271:20 <b>Givens</b> 98:15 <b>gives</b> 38:11 260:16 <b>giving</b> 137:8 175:12 249:13 264:2 <b>glad</b> 210:3 <b>go</b> 8:18 11:15 15:20 16:10 55:11 60:20 64:9 65:21 88:5 94:7 100:17,20 109:18 111:9,17 120:14 123:5,20	124:3,18,21 130:22 131:3,7 139:8 140:3 142:15 143:8 148:15 153:9 156:22 157:11 158:7 159:17,21 167:4 168:2 178:21 184:8 199:11 207:1 222:7 226:15 229:1 236:4 240:1 241:3 249:22 256:18 261:20 263:7,11 281:3 283:19 291:9 293:19 296:13 320:6 321:13,13 325:9 326:13,18 326:21 327:3,22 <b>goal</b> 26:9 31:17 101:19 129:3 165:3 199:10 220:13,15 261:17 <b>goals</b> 32:4 137:4 <b>goes</b> 15:7 61:6 87:3 133:13 136:13 137:12 144:7 231:19 233:14 <b>going</b> 13:19 14:5 19:8 23:3 24:9 27:10 28:6 49:13 53:1,1,18,21 54:18 55:5 59:16 60:9 61:7,18,21 62:3 63:10,19 64:18 75:5,8 76:11,12 84:4 85:18 95:10 97:9 97:14 98:2 110:11 110:15,22 117:15 126:2 127:18 129:7 132:14 133:4,10,22 136:12,14 137:18 138:14 140:17 146:2,7 148:22	149:5,6,10,13,19 149:20 152:14 157:13 164:3,17 165:8 166:6 167:5 168:9,16 169:2 173:9,11,18 174:5 174:8 178:7 179:17 181:1,7 182:21 184:8 192:1 195:8 196:19 197:12 198:19 199:20 200:22 203:4 204:18 207:10 210:13 219:9 225:8 230:22 231:7,9,10 233:10 234:12,21 238:9 238:11,12 240:13 245:15 252:8,10 258:9 260:21 268:6 280:11 283:6,7 286:17 287:7 288:16 290:1,11 291:17 291:22 292:8,13 295:6 312:14 313:8 321:1 328:20 <b>good</b> 13:21 14:5 15:13 16:19 19:18 20:3 24:18 26:14 31:7 57:8 61:9 65:8 72:2 74:11 78:7 84:12 85:20 85:22 90:15 100:21,22 119:6 119:10 120:5 125:9 136:18 147:8 159:8 173:6 193:19 199:12,16 207:20 208:15 209:1,8 213:22 223:13 231:13 234:3 240:5 245:13 247:3 275:20 286:19	292:15 300:16 313:7 321:19 322:12,20 329:9 <b>Google</b> 128:15 <b>gotten</b> 34:16 123:8 320:21 <b>government</b> 40:19 <b>Grace</b> 104:6,20 106:10 <b>graft</b> 118:21 <b>great</b> 16:18 17:18 30:16 64:11 74:5 87:17 106:1,6 124:9 125:12 128:21,22 138:15 155:21 191:13 203:17 207:9 222:22 228:19 258:14 291:4,14 301:2 <b>greater</b> 24:15 <b>greatly</b> 320:16 <b>grim</b> 289:21 292:10 <b>groin</b> 91:1 <b>ground</b> 82:21 <b>group</b> 7:9 50:15 56:18,20 58:20 95:6 134:4 158:2 198:16 200:9 211:11,16 247:20 295:20 <b>groups</b> 151:22 157:16 161:22 174:18 290:7 <b>growing</b> 156:13 <b>GRQ</b> 101:14 <b>guarantee</b> 236:22 <b>guess</b> 8:18 44:11 52:20 66:5 67:7 74:9 90:5 92:2 110:4 121:21 128:10 138:6 140:5 151:8 152:10 159:17 171:10 183:18 190:14 194:10 204:12 267:14
--	---	---	--	--



282:18 318:17 324:18 <b>guidance</b> 56:11 <b>guideline</b> 47:3 91:4 91:5 <b>guidelines</b> 9:7 28:11 31:10 32:20 47:18,22 48:7 59:3 61:4 64:21 76:21 83:6 88:16 88:20 93:1 117:18 120:9,14 121:7 125:11 128:3,15 185:1 228:2 229:22 238:6 248:20 249:9,20 250:4,12 252:1,18 261:6,9,15 282:9 282:10 310:21 <b>gun</b> 95:21 <b>GUSTO</b> 206:7 <b>guys</b> 13:19 33:9 50:7 141:2,6 177:14 182:8 216:22 327:22	<b>happen</b> 19:10 53:1 57:18 136:12 231:7 241:1 313:12,13 <b>happened</b> 16:13 311:19 <b>happening</b> 57:20 57:22 260:20 <b>happens</b> 25:17 53:5 80:16 137:19 229:10 236:19 <b>happy</b> 29:22 138:13 230:15 268:15 285:17 286:2 299:15 300:9 329:5 <b>Harborview</b> 2:2 <b>hard</b> 43:12 51:19 83:11,22 128:9 206:8 246:4 277:16 314:19 329:3 <b>harm</b> 322:21 <b>harmonization</b> 197:17 254:8 255:10 <b>harmonize</b> 55:2,6,7 <b>harmonized</b> 6:21 62:4 253:17 254:12 <b>hassle</b> 144:21 <b>hat</b> 90:13 <b>hate</b> 168:8 316:1 324:11 <b>Hayes</b> 101:3,13 <b>haystack</b> 22:21 <b>head</b> 29:6 70:13 167:11 173:1 314:18 319:3 <b>health</b> 1:14 2:10,16 2:20 3:3 7:11 18:6 35:16 40:20 40:21 179:5 233:11 234:8 258:8,22 259:9 260:22 264:9 265:8,16 266:6	268:5,5,17,19 269:16,22 270:4,9 270:15 271:4,7,9 271:16,21 272:14 273:7 274:17 276:10,15,16 277:13 299:21 300:1 323:6 <b>Health-System</b> 2:9 <b>healthcare</b> 102:11 114:22 123:1 134:6 187:6 190:22 260:2,4 296:8 <b>HealthPartners</b> 1:15 91:18 <b>healthy</b> 165:1 <b>hear</b> 14:10 17:19 51:11 64:4 90:19 109:19 131:20 183:20 256:22 294:18 <b>heard</b> 32:2 182:19 185:2 195:12 280:2 <b>hearing</b> 267:15 279:17 <b>heart</b> 1:13 2:16 4:18,19,21 5:10 90:22 119:7 124:17 149:10,11 149:19 153:22 185:17 198:18 199:3,7 206:15,21 226:4,5,17 227:1 227:8,10 233:20 236:9,20 238:21 239:6,18 241:2 242:18 244:12,16 248:20 250:4 252:1,2,14 255:3 255:19,20 256:7 258:18,21 259:3,6 259:12,14,17,19 259:20 261:10,12 264:11,13,13 265:3,6 266:12	269:8 271:7,8 273:21 274:1,12 274:18 275:16 276:10,17 277:3,4 277:7,12 279:8 280:21 281:1 283:22 284:13,14 285:21 286:21 288:8 289:7 291:2 293:15 294:3,7,12 294:13,19 295:4,7 295:14,22 296:9 297:20 298:2,3,16 298:17 299:3,6,7 300:14 302:16,18 304:15,16 305:10 309:13 310:9,11 310:13,17 312:17 312:21 315:6,8 316:18 317:3 321:11 322:11,20 <b>held</b> 80:13 259:17 <b>Helen</b> 101:3,13 <b>Hello</b> 183:19 226:20 <b>help</b> 163:18 234:21 272:1 301:6 <b>helpful</b> 187:14 257:2 263:17 <b>helping</b> 258:5 <b>helps</b> 283:4 <b>hematoma</b> 91:1 <b>Henry</b> 2:15 4:9 37:16 38:15 54:1 54:1 84:6 100:5 144:1 176:20 178:4 218:21 225:9 <b>Henry's</b> 172:6 <b>Hernandez</b> 228:5 <b>hey</b> 13:19 183:19 <b>HF</b> 310:19 <b>HFSA</b> 282:9 <b>hi</b> 183:17,20 197:19 258:4,6 297:4 <b>high</b> 9:12,20 10:6 13:2,5 19:7 30:4	33:11 35:3,13 36:3 37:20 38:4 41:21 42:3 43:14 52:20 59:22 60:10 64:14 67:16 68:2 68:5 72:7,10 78:10,13 79:4,8 82:12,17 85:11 92:16,18 96:7,13 112:2,17 116:1,3 116:7,21 117:1 118:13 139:11 142:18 143:11,14 147:2,4 152:22 153:6,15,17 154:1 154:5,6,7 163:20 183:4 189:15 190:5,10,16 191:5 191:9,10 204:1 215:5,10 216:10 216:13 218:9,14 223:18 224:5 242:3,12 243:9,15 243:18 244:6,22 245:7,20 246:14 246:18 248:12 249:6 251:15,18 253:6,9 278:12 301:19 302:3 303:8,12,16,22 304:4,7,8 306:7 306:17,21 308:4,8 312:1,6 313:18,22 <b>high-priority</b> 190:22 <b>high-sensitivity</b> 202:6,14 <b>higher</b> 52:15 127:22 186:9 232:10,12 247:6 295:5 <b>highest</b> 73:10 164:22 247:4 261:7 272:2 282:5 <b>highlight</b> 184:11 297:7 <b>highly</b> 14:6 102:13
---	--	--	--	---

105:14 120:6 281:9 286:21 289:12,17 <b>Hillegass</b> 2:3 4:11 99:5 108:6 115:12 122:17 134:3 161:5 173:21 175:14 235:18 257:4 289:4 291:9 <b>hip</b> 119:20 <b>Hispanics</b> 10:17 <b>history</b> 56:4 199:3 199:6 214:4 283:17 298:10 <b>hit</b> 24:20 191:22 201:18 <b>Hittel</b> 258:11,11 <b>HL7</b> 193:2 <b>hoc</b> 327:15 <b>hold</b> 183:18 232:12 307:11 <b>holding</b> 232:9 <b>holistic</b> 60:3 <b>Hollander</b> 2:4 4:7 19:15 21:22 24:1 24:7 26:22 61:12 90:18 98:18,18,21 136:8 138:6 145:16 172:17 181:18 201:17 221:17 238:14 262:6,10,16,21 263:5 266:20 282:18 309:5 314:4 315:19 317:7 318:17 324:6 <b>home</b> 123:6 136:13 231:19 234:12 238:22 240:17 241:3 251:7,9 258:8,22 259:9 260:2,3,22 263:1 263:7,19 264:9 265:8,16 266:5 267:9,11 268:5,17 268:19 269:16,22	270:4,9,12,14,14 270:15 271:3,4,4 271:6,9,15,16,21 272:14 273:7,9 274:16 276:10,14 276:16 277:13 <b>honest</b> 53:7 54:14 215:18 <b>honestly</b> 46:11 <b>honored</b> 116:16 <b>hope</b> 47:10 95:20 124:19 201:6 321:8,9,15,17 322:2 <b>hopefully</b> 95:15,16 114:22 132:21 <b>hoping</b> 130:7 156:4 <b>horrible</b> 317:12,13 <b>hospital</b> 2:13,16 4:13 11:12,21 23:14 28:4,5 41:2 44:21 45:5 46:3 48:12 57:19 58:6 71:21 76:6 80:15 83:16 87:10 90:15 93:22 94:21 101:4 101:13,13 110:7 111:2 113:19 114:14,17 126:20 182:3 183:9 185:21 187:16 196:3 204:5,11 209:12,15,15 213:4,5 214:19 223:3,6,6 235:7 235:13 236:3 237:18 238:16 241:6,10,14,15 250:12,17,18 251:6,8 252:16 255:14 263:3,9,10 263:13 267:11 270:20 271:19 272:19 <b>hospital's</b> 41:16 93:12 <b>hospital-based</b>	31:14 63:4 143:3 143:3 <b>hospitalist</b> 240:20 <b>hospitalization</b> 233:12 236:19 239:15 255:7 276:7 277:15 <b>hospitalizations</b> 318:12 <b>hospitalize</b> 152:14 <b>hospitalized</b> 57:7 149:16,20 182:6 182:10 310:14 <b>hospitals</b> 31:20 32:8 38:10 41:5,8 41:11 47:20,21 48:5,6 52:17 60:11 66:12 70:15 73:20 75:1 76:2 77:4 83:18,22 85:8 87:18 90:5 94:10,17 186:6,7 189:1,12 198:11 200:5,8,13 208:11 211:20 212:1 228:6 235:4 240:14 249:8,15 249:21 250:6,9,9 250:21 252:15 <b>hour</b> 174:22 290:1 <b>hours</b> 8:22 236:4 239:17 295:10 <b>house</b> 234:13 <b>HRS</b> 95:8 <b>huge</b> 25:2 35:2 112:18 120:11 153:10 <b>hugely</b> 314:15 <b>Hughes</b> 287:20 <b>human</b> 186:12 192:10 <b>hundred</b> 172:15 245:8 <b>HUP</b> 25:9 <b>hypothetical</b> 141:2 <b>hypothetically</b> 157:10 171:14	<b>I</b> <b>ie</b> 319:8 <b>ICCs</b> 74:17 <b>ICD-10</b> 196:11 <b>ICD-9</b> 17:22 <b>idea</b> 51:9 136:20 175:11 222:22 223:13 259:2 319:5 <b>ideal</b> 63:1,2 121:1 254:12 319:13 322:10 <b>identical</b> 148:16 <b>identification</b> 259:3 <b>identified</b> 189:10 265:7 269:8 276:16 277:3,7 <b>identifies</b> 265:5 <b>identify</b> 196:8 208:11,12 266:11 274:17 <b>IFCC</b> 201:22 <b>ignoring</b> 267:18 <b>IHI</b> 57:14 251:10 <b>II</b> 2:12 173:14 <b>Ileana</b> 3:14 226:16 311:12 317:16 <b>imagine</b> 95:3 <b>impact</b> 64:20 142:4 153:20 180:6,16 193:21 210:8,14 277:21 278:6 307:7 <b>impacted</b> 261:4 <b>impacts</b> 102:14 <b>impetus</b> 52:16 <b>implement</b> 157:16 189:13 219:15 <b>implementation</b> 204:13 223:9 296:7 <b>implemented</b> 66:9 83:2 245:15 265:16 <b>implementers</b> 63:3	<b>implementing</b> 63:16 <b>implication</b> 154:14 <b>implies</b> 152:14 <b>implying</b> 299:22 <b>importance</b> 20:20 35:7 42:14,16 43:9,13 56:22 200:18 206:9 262:11 279:1 <b>important</b> 22:21 23:16 32:17 33:20 36:18 37:6 42:10 42:15 43:16 46:16 54:5,10,11,17 60:2 64:15 67:17 68:10 71:20 78:1 137:1,4 142:2 178:19 193:10,18 196:10 204:20 205:3,4 207:10 208:1,2,20,21 209:3 231:10 238:17 255:18 275:10 282:15 296:3 307:14 314:9,15,20 <b>improve</b> 31:18 32:8 76:8 92:4 267:8 282:22 316:3 318:11,12 319:14 320:16 321:8,10 <b>improved</b> 201:9 275:2,15 305:10 305:17 310:19 <b>improvement</b> 1:19 3:10 10:8,14,19 16:8 20:15 21:15 28:17 38:6,8,14 41:19 59:12,21 66:9,14 75:21 76:2 87:5 93:5,7 106:1 112:17,18 140:21 141:10 143:17 200:2 242:16,22 302:6 <b>improves</b> 22:17
---	---	--	--	--

93:11 264:4	<b>increases</b> 35:18 104:4	<b>influence</b> 189:20	141:17 214:5	<b>insurers</b> 114:18
<b>improving</b> 32:4	<b>increasing</b> 94:8	<b>information</b> 18:2	265:22	<b>intakes</b> 133:6
93:10 94:11	<b>incredible</b> 112:13	18:12 21:13 36:9	<b>instances</b> 49:19	<b>intend</b> 278:6
112:22	<b>incredibly</b> 203:2	45:9 61:21 62:2,9	283:13	<b>intended</b> 6:20
<b>in-episode</b> 166:14	204:22	62:17 70:21 87:17	<b>institution</b> 87:13	150:3 185:5
<b>in-hospital</b> 8:6	<b>incremental</b> 46:22	87:20 88:1 93:21	145:20 222:3,4	<b>intense</b> 161:13
48:12,16 93:14	47:6,9 66:12 67:5	96:9,14 105:7	<b>institutions</b> 27:5	<b>intensive</b> 162:6
<b>in-house</b> 191:22	<b>independence</b>	126:6 128:21	60:14 222:18,19	163:12,15 171:6
<b>in-patient</b> 58:17	164:22	131:5 137:18	<b>instructions</b> 136:19	<b>intent</b> 150:19
104:13,15 105:4	<b>independently</b>	141:13 142:2,7	<b>instrument</b> 275:7	151:14 155:4
<b>in-person</b> 327:12	142:8	147:3 155:19	291:5	156:7 219:8 220:5
<b>inaccuracies</b>	<b>index</b> 60:22 195:16	174:9 181:16	<b>instruments</b> 280:16	250:10
207:17	195:21 204:20	209:10 223:20	<b>insufficient</b> 9:21,22	<b>intents</b> 135:1
<b>incent</b> 174:15	<b>indicate</b> 179:22	249:12 253:7	13:3 30:5,7,11,12	<b>inter-hospital</b>
<b>include</b> 42:15	265:9,12	256:18 291:6	37:21,22 41:22	33:15
140:14 149:10	<b>indicated</b> 215:14	293:8,13 307:8,13	68:3 72:8,12	<b>inter-rater</b> 162:7
212:18 238:15	<b>indicates</b> 233:19	313:19 314:17,20	78:11 79:5 82:13	163:1 170:18
261:14 275:11	<b>indication</b> 74:16	319:11	82:18 92:17 96:8	175:5
<b>included</b> 41:12	151:9 322:1,9	<b>informed</b> 145:7	96:14 112:3,4	<b>interest</b> 181:9
42:12 68:14	<b>indications</b> 108:10	<b>inherently</b> 27:19	116:2,22 139:12	<b>interested</b> 89:12
106:12 114:10	282:14	<b>inhibitor</b> 255:15	139:15 142:19	97:16 323:22
118:2 138:12	<b>indicator</b> 65:8	<b>inhibitors</b> 7:2	143:12 147:3	<b>interesting</b> 22:1
142:12 195:22	73:19	<b>initial</b> 22:7 269:9	153:1,2,7,16,18	128:20 149:14
255:13 261:7	<b>indicators</b> 59:19	294:11	154:6,8 183:5,7	289:20
267:16 274:19	60:18	<b>initially</b> 97:11	190:6,11 191:6	<b>interestingly</b>
297:9	<b>individual</b> 32:15	128:4	215:7,11 216:11	216:21 243:7
<b>includes</b> 105:2,3	33:2,7,13 34:4	<b>initiative</b> 76:3 95:7	216:14 218:11	<b>intermediate</b> 29:19
239:5 267:17	37:1 38:18 39:2	170:7,11 251:9	223:19 242:4,5,13	<b>internal</b> 59:12 87:4
297:15 299:3	40:3,7,11 41:1	<b>initiatives</b> 96:2	243:16 245:1	94:21 236:12
<b>including</b> 189:7	42:14 43:20 46:3	<b>inpatient</b> 4:10	246:16 248:13	240:21
206:14 218:19	54:9,16 61:16	13:11 14:2 31:15	251:16 253:7	<b>internally</b> 311:18
229:19 238:19	66:10 71:21 78:19	119:15,17 120:21	278:14,15,20,21	<b>Internet</b> 128:14
299:22 318:12	83:14 84:21 85:1	124:11,18 125:14	301:20,22 302:4	<b>internists</b> 91:19
<b>inclusion/exclusion</b>	86:5,19 89:20	149:1,7 157:9	303:9 304:5	<b>interpret</b> 64:5
24:14	172:8 175:8 209:9	158:11,13,19	306:18 308:5,9	192:15
<b>incorporated</b>	209:19 213:18	159:4,20 160:17	312:3 313:19	<b>interpretation</b>
135:14	<b>individually</b> 25:20	162:17 196:1	<b>insurance</b> 64:20	167:18
<b>incorporation</b>	43:16 51:3 61:19	239:14,22 240:6	65:2,3 88:12	<b>interrupt</b> 296:20
252:6	<b>individuals</b> 58:12	241:13 270:2,19	90:12,13 91:21	<b>intervene</b> 259:5
<b>incorrectly</b> 172:16	101:20	270:22 272:15	103:18 112:21,22	319:14
<b>increase</b> 104:3,8,10	<b>Industry</b> 2:15	273:6	113:4,11,21 114:3	<b>intervention</b> 23:19
108:4 128:16,17	<b>inexpensive</b> 285:18	<b>inpatients</b> 310:13	114:5,11,15,16,21	47:1,7,9 148:4
146:18 160:11	<b>infarction</b> 4:14	<b>input</b> 234:5 327:18	115:5,14 151:2	263:18 316:12
275:8	31:13,16,19 32:18	<b>insert</b> 222:15	166:19	<b>interventionalist</b>
<b>increased</b> 103:11	34:1 47:5 147:20	<b>insist</b> 182:21	<b>insurances</b> 65:5	301:8
128:6 132:18	183:10	<b>instance</b> 33:11	114:12	<b>interventions</b> 47:2
260:13 265:22		48:18 115:7	<b>insured</b> 115:5	47:4 69:8 144:10

265:20 269:10 320:15 <b>intra</b> 163:1 <b>intra-rater</b> 162:8 170:19 175:5 <b>intro</b> 23:6 <b>introduce</b> 23:8 <b>introduction</b> 105:19 258:17 <b>invariably</b> 206:22 <b>investigates</b> 189:18 <b>investment</b> 66:13 90:9 94:9 <b>inviting</b> 101:1 <b>involved</b> 137:11 162:1 179:1 213:21 251:4 <b>involves</b> 22:4 145:5 <b>IQI</b> 218:19 <b>IQR</b> 11:17 <b>irrefutable</b> 322:15 322:18 <b>ISIJOLA</b> 2:19 <b>ISIOJOLA</b> 100:8 <b>isolated</b> 53:13 <b>issue</b> 52:20 69:6 70:1 113:13 114:21 115:1 155:3 156:6 157:4 172:6,7,19 173:9 180:22 214:19 216:20 217:4 229:1,3 246:2 308:11 313:5 314:14 <b>issues</b> 49:6,21 53:10,10 55:14 113:7,15 117:14 117:16 136:5 157:3 181:15 193:21 195:6,7 210:6 309:21 312:8 327:14 <b>item</b> 125:16 126:12 143:19 265:1 <b>IV</b> 239:16	<hr/> <b>J</b> <hr/> <b>JACC</b> 48:3 <b>Jacoby</b> 324:9,11 <b>James</b> 2:5 4:20 11:5 29:13 40:18 56:16 85:5 86:8 91:14 99:3,3 210:3 215:1 226:6 233:4 244:10 246:2 253:14 271:12 292:21 324:17 <b>Jamie</b> 3:9 297:5 <b>Jamie's</b> 297:2 <b>January</b> 100:11 188:19 <b>Jason</b> 2:14 4:20 40:19 99:19 226:6 228:17 244:11,14 286:12 <b>JCAHO</b> 90:2 <b>JD</b> 2:14 <b>Jeff</b> 98:8,13 <b>Jefferson</b> 2:13 <b>JEFFREY</b> 1:19 <b>Jencks</b> 227:5 228:12 <b>Jensen</b> 3:5 52:2 126:1,17 140:12 146:15 164:1 256:5 294:14,17 <b>Jeptha</b> 3:4 31:4 41:4 46:21 50:21 54:17 <b>Jesse</b> 2:17 <b>jewel</b> 137:14 <b>Jewish</b> 291:14 <b>job</b> 19:18 133:1 177:15 329:8,9 <b>Joe</b> 6:8 9:13 10:22 63:19 64:2 98:22 99:5 288:11 319:2 <b>Joel</b> 2:6 5:12 99:17 279:10 <b>Johan</b> 183:22 <b>Johnson</b> 3:8 17:16	17:17,20 18:15 <b>join</b> 8:14 17:15 30:19 <b>joined</b> 6:15 279:3 <b>Joint</b> 252:13 311:13 <b>Joseph</b> 1:21 4:6 <b>Journal</b> 227:7 <b>Jouza</b> 3:9 297:4,5 299:2 <b>Judd</b> 2:4 4:7 12:2 19:14 21:21 24:5 28:9 61:11 62:21 88:8 90:17 98:18 98:21 134:2 138:1 144:3 173:8 201:16 206:12 235:17 262:5 266:19 267:22 282:17 315:4 <b>Judd's</b> 319:6 <b>judged</b> 199:1 <b>judging</b> 51:19 <b>July</b> 327:2 <b>jump</b> 23:4 24:21 67:11 95:21 98:7 111:15 120:18 124:12 172:4 181:1 276:13 <b>jumped</b> 103:5 <b>jumping</b> 127:14 <b>juncture</b> 51:21 <b>justice</b> 43:11 <b>justification</b> 176:3 <b>justifications</b> 72:2 <b>justify</b> 177:8 <hr/> <b>K</b> <hr/> <b>Kansas</b> 285:21 299:4 302:17 317:2 <b>Kappa</b> 163:20 <b>Karen</b> 101:13 <b>KCCQ</b> 311:3 <b>keep</b> 60:9 70:11 78:1,3 96:11 108:17 109:9	142:10 163:8 263:2,9 313:7 324:20 328:13 <b>keeping</b> 32:3 <b>keeps</b> 42:19 263:19 287:13 <b>kept</b> 23:19 235:8 <b>Kerry</b> 206:7 <b>key</b> 32:7 70:2 106:4 111:5,7,11 137:10 160:14 209:13 <b>Keziah</b> 3:4 264:22 268:14 <b>Khan</b> 183:16,16 <b>kidding</b> 232:15 <b>killer</b> 256:22 <b>kind</b> 11:2 19:3 41:13 50:10 51:2 51:4,8 52:12 56:19 60:6 71:12 95:6,9,10,17 109:21 124:12,13 125:10,10 127:6,7 127:22 132:4 155:16 156:10 159:19 171:8 191:17 192:8 194:6,15 195:2 230:2,9,13 233:16 248:21 254:7 255:9 262:7 272:17 282:10 287:6 289:17 300:6 303:18 308:13,15 312:12 312:13 315:10,13 321:3,4,5 325:1 <b>kinds</b> 192:4 <b>King</b> 101:12 <b>kit</b> 85:22 <b>knew</b> 228:12 <b>know</b> 12:3 19:15 26:3 27:14,17 31:7 43:7,7,14,15 46:13 47:5,20 55:14,20 56:2 57:13 63:9 69:6	69:14 81:14,15 84:2 85:17,19 86:20,21,22 87:9 87:14 97:9 106:6 109:5 115:10 122:3 126:7 128:2 128:12 129:14 130:15 132:2 134:11 135:21 137:14 141:1,6 142:5 146:4,8 148:9 149:18 151:1 158:3 162:1 168:18,19 170:18 171:8 172:20,20 173:4,17 179:16 180:6 182:14 193:11 194:3,6 196:20 197:1,13 198:3,17 203:1,7 203:14 204:4 205:19 206:5,11 206:21 207:11 209:3 213:11 214:3,9,13 219:1 220:9 221:2,4,7,9 222:1,6,16 225:9 227:6 229:6,7,14 229:16,16,18,20 230:1,3,5,8,10,12 230:12 231:3 232:3,7,19,22 237:18,20 239:6,9 240:5 245:18 247:14,20 249:3 254:12 257:10 261:17 262:21 263:6,9 264:17 267:14 268:2 270:7 272:21 275:4,14,19,22 276:4,22 277:20 278:3 280:18 281:7 283:5 284:14,19 285:6,9 285:11 286:3 287:2,12,13,15,18
--	--	--	---	---

290:3,10 293:5 295:5 296:1,19 299:13 300:5,10 300:15,22 301:3,7 305:16,17 307:6,8 308:16 309:14,16 310:13 311:9,12 311:15 313:4,9,10 314:6,16,18 315:11 316:15 317:5,8,14,17 318:11 319:17,17 320:6,7,13,14 321:3,7,13,14,14 321:15,17,19 322:22 323:9,10 323:12 324:6 327:16 328:2,9,12 329:7 <b>knowing</b> 26:2 51:18 181:13 183:2 <b>knows</b> 129:21 <b>Kottke</b> 1:9,14 4:3 4:12 6:3,17 8:11 9:13,16 10:7,22 11:4 12:2,11,21 15:6,10 18:16 19:14 20:13 21:6 26:15 30:20 34:10 35:15 37:9,16 38:5,15 40:16 41:18 42:5,7 47:13 49:3 53:12 53:20 55:11 56:13 57:6 59:15 61:11 63:18 64:16 65:15 66:4 67:10,21 68:7 72:3,13 77:14 78:8,15,22 79:10 80:20 82:7 82:19 83:4 84:6 86:16 88:8 90:12 91:13 92:10,20 96:3,15,21 97:7 98:11,11 100:7 109:18 111:15	116:13 119:18 124:5 132:11 138:1 147:16 149:22 150:6,12 151:15 152:10 153:8,19 154:9 155:1,7 156:3 159:9 167:18 168:20 169:4,11 169:19 172:4 173:20 174:12 176:20 177:11 180:4 183:8 186:19 188:1,3 190:1,12 191:1,11 197:11 201:16 205:7 210:2 211:8 212:4 214:22 215:12 216:7,15 217:9 218:5,15,20 221:14 223:14 224:1,7,17 226:3 226:11 228:16 231:20 233:3 234:22 235:17 239:19 241:19,22 242:15 243:11,20 244:8,18 245:9,21 246:8,20 248:9,17 249:7 250:1 251:11,20 252:20 253:3,11 254:1,13 254:21 257:21 279:7,18 282:17 284:9 286:12 288:11 289:19 291:8 292:18 294:1,15 298:20 299:8 300:4,18 301:15 302:5 303:5,13 304:1,10 305:5,8 306:9,13 306:22 307:15 308:1,10,21 309:4 310:1 311:20 312:7,16,20 313:1 313:14 314:2	317:5 319:2 322:14 324:12 325:9,16,20 329:2 329:9 <b>Kristi</b> 2:10 4:15 56:13 99:21 123:13 156:15 183:13 186:19 192:2 <hr/> <b>L</b> <hr/> <b>lab</b> 90:22 201:21 310:3 <b>labor-intensive</b> 176:14 <b>laboratory</b> 185:18 <b>labs</b> 202:2,3 <b>lack</b> 8:1 62:4 248:7 254:4 <b>lacking</b> 120:17 <b>lagging</b> 253:20 <b>language</b> 297:14 324:13 <b>languages</b> 324:13 <b>large</b> 82:4 116:14 161:17 203:3 216:17 218:3 <b>larger</b> 43:21 66:19 186:9 <b>largest</b> 31:12 40:20 <b>lasix</b> 263:13 264:2 <b>lasted</b> 163:5 241:8 <b>latent</b> 50:17 <b>laughter</b> 67:14 92:9 99:2,7 116:17 117:4 224:3 232:14 253:2,22 256:11 262:9 325:19 <b>lead</b> 77:1 160:16 258:7,9 <b>leading</b> 243:22 <b>leads</b> 232:22 316:11 <b>lean</b> 279:14 <b>leap</b> 88:11 <b>learned</b> 293:10,11	<b>leave</b> 202:21 235:7 <b>leaving</b> 104:15 225:9,12 267:11 <b>led</b> 275:22 276:21 <b>Lee</b> 206:7 <b>left</b> 45:3 70:20 81:3 81:10 324:19 326:17 <b>legislative</b> 101:14 <b>LEIN</b> 3:7 <b>length</b> 190:14 227:18,20 <b>Leslie</b> 1:20 4:10 47:16 100:1 105:20 108:17 154:11 210:2 211:8 274:21 277:20 286:3 300:4,20 <b>let's</b> 19:19 37:18 49:11 53:8 78:8 85:12 86:21 92:13 96:4 141:15 148:15 158:4 190:2 200:21 202:9 216:8 218:7 221:16 223:15 243:12 244:20 245:22 246:11 248:10 251:13 253:3 304:2 306:14 311:21 313:15 315:5 317:20 <b>letter</b> 272:3 <b>letting</b> 21:4 279:20 <b>level</b> 6:21,22 7:4,5 7:10,11 9:8 17:12 29:14,16 35:3,17 36:19 43:14 46:3 46:10 66:10 71:21 74:18 77:22,22 84:9,14 86:4 87:22 89:9 109:12 111:2 143:5 164:22 204:15 208:21 213:18	214:19 228:22 235:13 241:17 266:4 272:6 280:20 281:3,18 282:5 283:20 284:14 285:6 288:20 312:11 316:4,6,9,11 317:12,16 <b>levels</b> 186:14 214:6 <b>lever</b> 92:4 94:9 <b>leverage</b> 75:22 <b>liability</b> 125:20 <b>Library</b> 193:6,13 <b>Lichtman</b> 3:10 100:21 101:3 107:4,22 114:7 118:16 119:14,22 120:16 121:11 122:9 129:2,18 132:15 137:5 142:9 146:13 148:17 150:4,11 150:17 154:22 162:16 164:14 166:17 172:15 174:20 175:20 <b>lie</b> 53:16,16 114:21 <b>life</b> 102:16 105:17 290:22 291:5 299:22 <b>lifestyle</b> 165:1,15 <b>liked</b> 163:14 257:6 <b>likes</b> 85:1 <b>limit</b> 185:21 201:20 202:4,10,16 203:8 204:2,6,8 212:8 212:10,13 213:2,6 213:12 223:2,5,8 <b>limitation</b> 115:17 125:10 312:13 <b>limited</b> 163:16 <b>Linda</b> 1:17 12:12 59:15 65:18 88:8 99:13 140:4 144:3 178:2 188:4 239:20 272:10
---	--	--	---	--

<b>Lindsey</b> 2:21 10:3 97:8 325:20 328:21 <b>line</b> 6:13 8:16 17:15 17:17 73:9 109:16 183:15 186:3 213:22 224:20 258:12 296:22 297:2 <b>lines</b> 58:4 225:4 309:6 <b>link</b> 48:11 187:8 <b>linked</b> 48:20 185:13 227:14 316:19 <b>linking</b> 195:19 <b>list</b> 17:4 130:18 182:2,2 190:19 297:8 299:1 <b>listed</b> 233:21 288:5 288:7 <b>listen</b> 314:7 <b>listening</b> 54:3 146:3 293:13 <b>listing</b> 286:16 <b>literature</b> 102:17 113:3 189:11 209:3 250:20 281:11 <b>little</b> 10:13,19 13:19 15:18 16:7 18:7 27:12 34:16 38:13 45:5 51:19 52:16 57:10 64:7 64:18 70:13 71:20 75:3 94:4 105:10 117:9 118:14,17 127:3 133:13 152:15 163:3 189:20 192:4 209:18 210:7 235:3 254:4 256:13 257:4 273:1 274:8 279:15 292:3 300:3 309:18 313:6 315:21	319:10 320:20 <b>live</b> 113:5 134:18 144:11 157:11 220:21 <b>Living</b> 285:20 299:6 <b>Liz</b> 34:10 38:5 42:5 47:10 49:5 65:15 69:6 78:16 82:19 86:16 92:21 99:10 112:19 122:16 134:2 191:1 197:11 205:8 212:5 264:5 269:18 272:12 292:20 294:1 307:16 <b>Liz's</b> 41:5 <b>LLC</b> 2:10 3:4 <b>local</b> 202:21 <b>location</b> 115:16 <b>locations</b> 156:16 <b>locus</b> 129:21 132:2 <b>logical</b> 59:11 <b>logistics</b> 328:13 <b>long</b> 58:22 63:5 70:22 79:22 98:1 108:19 114:16 133:13 134:8 200:1 228:3 237:9 241:7 262:3 288:2 <b>long-term</b> 48:14 260:2,3,9,13 <b>longer</b> 20:6 48:22 163:3 227:20 260:5 270:12,13 270:15 <b>longitudinally</b> 159:7 <b>look</b> 28:10,12,16 39:1,16 40:5 44:6 47:8 60:21 74:12 74:13 86:9 88:21 103:13 108:2,12 110:5,17 113:8 120:4 126:15 128:14 132:16	135:17 163:1,13 172:1 174:2 178:6 178:22 180:12,17 182:1 192:5,19,22 193:3 194:1,16 198:14 199:5 200:9 207:15 208:4 222:22 227:19 239:3 281:8,10 287:5 289:17 291:15,15 291:19 303:1 315:11 321:22 <b>looked</b> 12:20 29:7 72:17 118:17 146:19 148:18 184:16 185:6 201:5,8 211:17 235:2 296:2 302:11 305:13 <b>looking</b> 12:14 27:8 51:6 59:5,9 64:19 64:21 65:10 76:12 85:7 93:21 101:17 102:1 110:18 122:13 130:17 141:10 142:12 155:9 179:10 189:19 206:19 207:7 211:1 217:14 219:10 220:7 228:20 229:5 272:19,20 284:12 285:4 288:15 311:13 <b>looks</b> 23:16 37:10 140:21 215:3 <b>loop</b> 138:16 140:15 <b>losses</b> 123:11 <b>lost</b> 242:9 <b>lot</b> 17:9 19:17 25:7 25:16 35:6 61:1,9 61:20 62:1 65:19 67:12 80:18 93:19 113:7 129:7 151:18 161:16 170:10 171:12	173:16 175:3 177:3 182:20 193:21 194:8,12 194:12 198:9 205:13 214:12 215:17 229:19 235:12 238:13 249:10,19,19 260:7 282:7 283:13 285:1 290:14 293:8,12 293:22 323:9 324:22 <b>Louie</b> 101:14 <b>love</b> 63:1 238:14 286:6 315:1 <b>low</b> 9:21 13:3,6 24:10 30:5,10 37:21 41:22 42:4 53:2 68:3 72:8,11 78:11 79:5,9 80:8 80:9 81:17,18,21 82:12,17 92:17,19 96:8 102:12 112:3 112:7 116:1,21 119:8,8 132:20 139:11,14 142:18 142:21 143:11,15 147:2,5 153:1,6 153:15,17 154:5,7 183:4,6 189:13 190:5,10 191:5 204:1 215:6,11 216:10,14 218:10 223:19 224:6 235:5 242:4,12 243:16 244:22 246:15,19 248:13 248:16 251:15 253:6 278:13,19 285:22 301:20 302:3 303:8 304:4 304:9 306:17 308:4,8 312:2,6 313:18 314:1 <b>low-bar</b> 246:7 253:17	<b>low-cost</b> 105:14 <b>lower</b> 38:13 53:2 74:18 75:2 77:3 227:17 228:10 251:1 315:14,15 <b>lows</b> 20:16 <b>LUANG</b> 278:18 <b>lunch</b> 181:11 225:6 225:9,13,16,19 <b>LUONG</b> 2:19 9:19 10:5 13:1 30:3 37:19 41:20 68:1 72:6 78:9 79:3 82:11 92:15 96:6 96:17 112:1 115:22 116:20 139:10 142:17 143:10 147:1,10 152:21 153:14 154:4 183:3 188:5 188:9,12 190:4,9 191:4,9 215:4,9 216:9,13 218:8,13 223:17 224:4,11 224:14 242:2,8,11 243:14,18 244:21 245:3 246:13,18 248:11,15 251:14 251:18 253:5,9 254:15,19 278:11 301:18 302:2 303:7,11 304:3,7 306:16,20 308:3,7 311:22 312:5 313:17,21 325:10 325:13 <b>LV</b> 69:13,22 283:2 <b>LVEF</b> 81:12 <b>LVRs</b> 289:7 <b>lying</b> 145:22 146:6 <b>lytic</b> 22:11 70:9 79:21 <b>lytics</b> 62:12 <hr/> <b>M</b> <hr/> <b>ma'am</b> 305:8 <b>machine</b> 186:13
--	--	---	--	--

192:11	<b>Massoudi</b> 55:9,10	141:13	111:6,13 112:9	239:9 244:17
<b>main</b> 197:13	55:12	<b>meaningless</b> 128:1	114:10 117:3,6,7	245:11 246:7
214:18 230:19	<b>match</b> 200:8	<b>means</b> 13:17,22	120:18,19,20	247:21 250:11,13
239:1 308:11,19	<b>math</b> 222:3	17:22 18:11 20:16	121:5,16 122:6,13	251:21 253:17
312:8	<b>matter</b> 76:8 97:5	25:4 179:18	124:6 125:14	255:15,18 256:7
<b>maintained</b> 328:14	234:11 239:12	196:13 202:3,7	127:21 129:1,4,5	256:21 258:1,5,17
<b>maintenance</b>	293:19	246:6 270:12	129:7,10,12,14,20	258:20 259:8,16
273:12 274:3	<b>maximum</b> 289:13	273:8	130:4 131:10,15	260:1,10,15 261:5
<b>major</b> 115:7 168:13	<b>Mayo</b> 2:15 101:11	<b>meant</b> 162:2	132:20 133:21	263:14,17 265:2
327:20	<b>MBA</b> 2:15,16 3:9	240:19	135:12 136:21	265:12 266:7,9,14
<b>majority</b> 43:18	<b>McNAMARA</b> 3:13	<b>measurable</b> 177:6	138:18,19 140:8	267:13 268:17
118:4 120:8,10	183:20,21 203:16	202:8	140:20 141:11,16	269:5,6,14 271:6
255:18	212:22 222:21	<b>measure</b> 1:3 6:7,11	141:16 142:3	271:13 272:7
<b>making</b> 44:22	<b>MD</b> 1:11,14,16,20	7:3,6,8,14,15,18	145:4 147:15,17	273:13,19 274:3
88:10 144:9 145:6	1:21,22 2:2,4,5,11	8:20 9:5 10:9,20	148:6,20,20	274:19,22 275:2,8
181:5 199:19	2:12,14,15,17,22	11:3,14,19,22	154:19,21 156:2	278:3,7,22 279:4
231:4 235:14	3:1,4,12,13,14,15	12:1 13:11,15,18	156:18,19 157:5,9	279:6,6,21 282:13
255:10 261:19	4:2,3	13:20,21 14:2,5	157:14,17 159:10	287:19 293:2,14
<b>management</b>	<b>mean</b> 18:21 35:21	14:13,15,17,21	160:2,10,17 162:3	294:21 297:7,9,12
229:19 248:21	38:11 39:4 45:13	15:14 16:5,7,17	162:4,4,14 165:19	297:14,17 299:20
<b>Manager</b> 2:19,20	48:2 53:13,14	16:19,21,22 19:5	167:14,17,22	300:17 306:5
2:21	64:11 70:6 74:8	19:7 20:2,18 22:1	168:10,21 169:3	307:6,11,17,22
<b>mandatory</b> 269:1	74:11 75:6 76:10	22:4 23:6,12,13	170:5,16,20	311:14 314:12,16
<b>manually</b> 169:20	76:15 112:17	23:14,22 25:7,14	171:14 175:4,7,13	314:21 315:17,22
217:2	126:5 127:18	25:15,21 26:10,16	176:4,12,13 181:5	316:7 318:22
<b>manufacturer's</b>	130:12 135:21	27:11,13,17 29:19	181:7,10,13 183:1	319:5 324:21
221:21	138:4 142:11	30:14,20 31:1,6,9	184:3,7,10,19	<b>measure's</b> 194:9
<b>Marge</b> 101:12	157:7 167:20	32:12,12 33:8	186:5 187:3,18,20	<b>measured</b> 9:4
<b>marginal</b> 44:6	171:11 174:14,21	34:11 35:5 36:5	188:15 190:18,21	19:20 20:7 22:16
<b>Mark</b> 2:16 4:22	177:10,12 180:5	36:12,13 37:1,5	191:14 192:15,17	325:5
260:18	189:4 193:19	38:17,22 39:4,13	192:21 193:1,4,12	<b>measurement</b> 2:21
<b>marker</b> 48:19	198:14 204:15	41:12 45:1 47:12	193:20 194:3,4,8	46:17 86:4 138:19
289:3	206:17 207:14	49:17 50:8,16	195:4,13 196:14	180:12 188:17
<b>market</b> 157:8 202:7	208:16 209:5	52:3 53:21 54:12	196:15,16 204:5	<b>measures</b> 4:4,17
222:2	220:5 222:11,22	55:16,18,22 56:5	205:12 207:1,12	6:20 7:1 8:6
<b>Marrs</b> 2:6 5:12	228:19 232:16	56:19 59:7,10,20	207:19,21 211:9	11:10 12:14 13:9
99:17,17 279:10	242:21 254:9	61:6 62:3,19,20	213:8 215:15	16:12,14 19:11,17
282:3 302:7	255:2 260:10	64:22 65:6 66:8	217:14 218:16	24:18 29:17,22
303:14 304:13	262:10 268:3,10	68:13 69:2 71:19	219:4,5,8,9,15,18	32:7,9 33:2 35:7
305:19 306:6	270:3 275:19	75:7,9,9,14 77:22	219:21 220:2,3,14	36:15 39:2,7,7
307:1 308:11	283:2 290:2,13	80:12 82:7 87:1	220:20 221:8	40:4,7,11,15,21
309:2 312:8,19,22	299:10,12 300:20	88:13 90:20 91:21	222:10 223:1,5,12	48:12 50:1 51:8
<b>Mary</b> 1:9,11 4:2,5	307:18 310:10	93:6,11,13 100:18	226:4 227:2	52:21 53:6,7,15
6:8,17 21:17,19	311:16 313:11	100:19 101:9,18	228:20,22 230:21	53:17 54:5,8,9,16
57:12 98:5 144:2	315:17 323:6,8	101:19,22 103:10	232:9,12 233:10	54:19 55:22 57:2
329:1	<b>Meaning</b> 19:1	104:12,17 105:1	234:4,22 237:11	57:15,20 58:2
<b>MASOUDI</b> 3:12	<b>meaningful</b> 33:17	105:12 106:4,5,14	237:14,22 238:2	62:6,15 63:4,4,10

63:15 66:6 68:10 74:13,15 84:10,22 85:2 86:1,6,10,11 89:21 90:3 91:10 91:16 92:2,4 95:6 95:14,18 100:20 104:11,12 135:13 141:3 155:16 184:4 186:4 193:8 193:8 208:1 209:7 209:14 211:5 219:10 220:7,12 220:15,19 221:4 227:3 232:18 253:18 254:10,11 255:1,3,6,13 256:17 268:11,11 271:18,22 277:22 280:4 286:8 287:15 295:11 307:12 309:17 311:17 312:10 315:10 321:16 327:15 328:6,9,11 <b>measuring</b> 19:1,19 25:12,17,19 49:14 60:4,7,12 145:5 206:17 <b>mechanism</b> 75:16 87:20 94:13 <b>median</b> 4:6 21:21 33:14 38:12 <b>Medicaid</b> 3:7,8 29:15 65:2 243:8 244:13 268:21 269:17 <b>medical</b> 1:14,16 2:1 2:2,8,12,17 3:9 18:3,10 103:17 121:3 136:11 151:19 166:9 213:10 308:14 <b>Medicare</b> 3:7,8 46:1 65:2 114:11 188:21 195:20 227:14 240:16 243:8 268:20,22	269:17 271:15 <b>Medicare's</b> 259:9 <b>medication</b> 7:7 109:8 110:21 <b>medications</b> 109:2 320:2 <b>medicine</b> 1:22 2:3 3:14,15 193:7,13 240:21 316:21 <b>medicines</b> 69:10 <b>meet</b> 14:5 47:19,22 48:22 53:9 82:1,8 175:21 263:14 264:3 266:9 267:12 279:1 297:11,19 327:1 <b>meeting</b> 1:3 27:7 40:13 84:20 291:15 295:11 302:15 327:12 329:12 <b>meets</b> 89:4 136:17 <b>member</b> 4:16 5:15 89:7 326:1 <b>members</b> 133:17 327:3 <b>memorized</b> 161:15 <b>memory</b> 65:4 <b>mental</b> 233:10 <b>mentality</b> 256:3 <b>mention</b> 37:15 247:9,11 <b>mentioned</b> 36:1 69:6,13 84:19 94:2 192:8 213:17 247:8 254:22 267:22 300:20 302:8 305:14 306:1 310:20 <b>merge</b> 45:21 46:1 <b>merged</b> 45:14 188:20 <b>met</b> 1:8 52:10 175:15,18 305:2 <b>meta-analyses</b> 9:9 <b>method</b> 102:13 105:15 162:7	<b>methodology</b> 50:20 <b>metric</b> 18:20 143:4 155:11 <b>metrics</b> 116:10 300:16 <b>MHA</b> 3:5 <b>MHS</b> 1:16 3:1,13 <b>MI</b> 22:10,22 31:22 32:21 34:8 42:9 42:14 46:11 58:13 60:3 65:13 67:16 83:17,21 113:14 116:6 117:8 118:11,19 135:19 187:18 188:17 189:7 203:10 <b>mic</b> 226:10 264:6 <b>Michael</b> 1:22 99:15 225:12 <b>Microphone</b> 264:7 <b>microphones</b> 279:16 <b>mile</b> 289:22 <b>mileage</b> 13:20 <b>Millet</b> 2:20 192:2,3 194:20 195:1 <b>million</b> 304:21 <b>mind</b> 78:2,4 108:17 109:9,16 159:15 313:8 323:14 <b>minimal</b> 66:15 67:5 <b>minimum</b> 33:21 73:15 130:10 <b>Minnesota</b> 91:18 92:2 285:20 288:3 299:5 302:17 317:2 <b>minorities</b> 112:15 113:9 <b>minute</b> 134:15 207:2 <b>minutes</b> 26:8,8 28:13,18,21,21 109:21 133:10 134:7,8,19,21,22 135:2 286:1 287:10 289:13	290:5,10,11 292:1 292:1 294:8 322:22 323:18 325:17 <b>misinterpret</b> 91:12 <b>misnomer</b> 7:20 <b>misquoted</b> 306:7 <b>misread</b> 27:3 <b>missed</b> 124:10 155:2 <b>misses</b> 27:1 <b>missing</b> 46:8 51:7 80:17,18 117:21 122:4 211:6 245:4 262:7 295:14 <b>mistaken</b> 186:22 <b>Mitchell</b> 2:10 4:15 99:21,21 126:1,5 126:10 138:17 155:9 186:20 187:3 188:14 190:13 191:13 194:17,21 195:8 215:13 216:16 218:16 250:10 251:6 305:6,9 <b>mix</b> 149:4 <b>Mladen</b> 2:17 5:13 18:16 99:8 279:11 300:18 <b>model</b> 184:13,15,16 185:15,22 186:6 186:16 197:14 198:9 199:12,22 200:11,12 201:3,4 201:9,12 202:18 203:4,9 205:6,19 206:6,14 209:1,8 209:19 211:13 212:2,3,18 215:20 215:21 217:7 220:4 <b>models</b> 157:18,22 197:1,15,18 198:15 199:17 208:15,16,22 209:2,18,22	211:18,19 213:17 214:18 219:6,11 <b>moderate</b> 9:20 10:6 13:2,6 30:4,10 37:20 38:4 41:21 42:4 68:2,6 72:7 72:11 78:10,14 79:4,8 82:12,17 92:16,19 96:7,14 107:1,21 109:12 110:14 111:17 112:2,7 116:1,3 116:21 117:1 139:11,14 140:1 142:18,20 143:11 143:14 147:2,5 152:17,22 153:6 153:15,17 154:5,7 154:16 155:8 183:4,6 190:5,10 191:5,10 215:6,10 216:10,14 218:10 218:14 223:18 224:5 242:3,12 243:15,19 244:22 246:15,19 247:5,6 248:2,12,15 251:15,19 253:6 253:10 261:8 278:13,19 282:6 301:20 302:3 303:8,12 304:4,8 306:17,21 308:4,8 312:2,6 313:18,22 <b>modifiable</b> 177:6 <b>modification</b> 132:17 160:19 <b>modifications</b> 165:15,16,17 <b>modifying</b> 133:20 311:5 <b>moment</b> 106:8 155:17 <b>moments</b> 175:8 <b>Monday</b> 231:1 234:14,15 <b>money</b> 179:1
---	---	--	--	---



<b>monitoring</b> 88:15 266:1	221:9 279:5	255:8 267:9	<b>new</b> 59:8 90:4 91:6	285:13
<b>Montefiore</b> 226:19	<b>MPH</b> 2:10,14,19,22	304:15 305:3	101:4 140:9	<b>normals</b> 223:8
324:8	3:3,14	315:20	142:13 167:19	<b>notation</b> 12:17
<b>month</b> 158:14	<b>MSPH</b> 1:11,14,22	<b>necessary</b> 34:2	172:11,12 173:5	<b>note</b> 35:15 36:22
159:15	3:12	42:13 61:2 69:20	178:6 181:16	196:11 247:9
<b>months</b> 69:17	<b>multi-disciplinary</b>	82:22 196:13	200:11 218:4	286:10
147:19 150:9,16	102:10	<b>need</b> 19:20 20:6	226:19 227:6	<b>noted</b> 195:18 244:5
151:21 152:3,4,8	<b>multi-specialty</b>	25:20 39:7,19,20	281:1 283:22	<b>notice</b> 328:8
152:9 163:4	295:19	40:6 47:15 57:9	286:21 287:20	<b>notwithstanding</b>
165:22 166:4,15	<b>multi-stakeholder</b>	76:8 86:4 87:14	288:8 291:2	143:20
167:17 177:9	56:20	88:7,18 102:20	294:19 295:4,7,14	<b>novel</b> 90:4 184:12
182:10 234:2,20	<b>multiple</b> 26:11 50:4	103:22 104:21	295:22 298:15,16	<b>November</b> 100:13
281:13 313:11	62:6 63:3 65:7	108:16 112:13	299:3 302:16	<b>novo</b> 184:14
<b>morbidity</b> 102:15	185:3 289:6	120:22 129:10	307:22 309:13	<b>NQF</b> 2:18 4:16
105:16 244:1	295:20 312:15	130:21 131:5	316:18	5:15 11:7 40:3
316:16	<b>murky</b> 134:20	138:11 143:1	<b>nice</b> 8:11 60:21	56:3,7,12 66:6
<b>morning</b> 31:8	<b>myocardial</b> 4:14	146:18 150:2,16	63:13 252:11	86:9 96:1 103:10
100:21,22 108:19	31:13,16,19 32:18	152:8 154:14	282:21 309:14	106:22 107:19
234:14,16	33:22 47:4 147:20	167:7,21 190:22	<b>nicely</b> 65:1,6,12	122:7 138:21
<b>mortality</b> 4:14 8:6	183:10	193:8 203:14	<b>Nicholas</b> 2:12 4:7	139:1 142:8 194:5
8:7,7,8 22:12 23:2	<hr/>	209:10 239:5	<b>Nick</b> 99:11	199:22 217:20
25:14 44:15 46:13	<b>N</b>	248:9 251:11	<b>night</b> 128:13	219:17 220:9
48:1,13,16 93:7	<hr/>	252:21,22 253:17	<b>nine</b> 163:4 251:18	232:21 234:4
93:11,14 95:14	<b>N</b> 163:10 226:1,1,1	258:20 270:13	253:10 278:19	254:16 259:9
102:15 105:16	<b>N.W</b> 1:9	277:20 278:5	306:21	274:4
183:11 184:10	<b>nada</b> 191:1	283:1,5,10 284:18	<b>nine-month</b> 162:11	<b>NQF's</b> 50:19 327:3
186:3 187:6,8,18	<b>name</b> 31:3 33:4	288:17 292:5,12	<b>Nineteen</b> 96:19	<b>NQF-endorsed</b>
189:2,8,14,22	72:4 90:21 91:3	296:13 299:20	<b>ninth</b> 47:6,15	11:15 36:15
190:16 195:14,15	252:22	300:11 303:17	<b>nitpicky</b> 298:5,8	184:10
195:19 206:3	<b>names</b> 161:16	318:1,20,22	<b>NJ</b> 2:14	<b>NSTEMI</b> 8:7 9:10
211:11 219:7	<b>narrow</b> 262:13	319:10 320:17	<b>noise</b> 75:13 245:19	<b>nuances</b> 173:16
244:1 280:22	<b>narrowing</b> 112:14	321:13 325:22	306:3	<b>nuclear</b> 167:3
281:13 316:16	<b>nation's</b> 31:12	327:17	<b>nominate</b> 97:21	<b>number</b> 56:9 59:19
318:13	<b>national</b> 1:1,8	<b>needed</b> 51:22 217:7	<b>non-STEMI</b> 79:20	75:15,16 117:16
<b>motivated</b> 131:2	118:22 193:6,13	<b>needle</b> 22:20 25:14	80:3	118:5,6 119:5
<b>move</b> 63:11 115:1	195:21 244:2,15	26:4 62:12 71:9	<b>nonexistence</b> 26:21	120:11 134:17
129:10 147:7	119:8 132:19	71:10 88:3	<b>nontransfer</b> 27:20	163:16 179:14
162:12 183:9	<b>nature</b> 120:3	<b>needs</b> 23:17 37:7	<b>normal</b> 185:21	201:20 210:10,19
195:9 220:14	286:20	42:11 138:8	201:21 202:4,16	225:2 231:1 250:5
241:16	<b>NCDR</b> 126:22	237:18 277:6	204:3,6,8 212:8	260:14 264:9
<b>movement</b> 82:10	127:1	<b>negative</b> 47:12	212:10,13 213:3	269:22 277:12
96:4 210:4 224:9	<b>near</b> 75:15	<b>neurohormonal</b>	213:12 222:14	311:9,11 326:6
311:21	<b>necessarily</b> 14:16	237:1	223:3,5	<b>numbers</b> 12:4
<b>moving</b> 21:20 41:7	55:16,17,21 59:7	<b>never</b> 26:18 47:8	<b>normalize</b> 204:19	125:16 153:9
141:4 144:8	87:22 140:10	103:15 129:17	223:1	203:15 206:18
147:15 188:14	162:1 229:14	227:16 241:10	<b>normalized</b> 204:2	249:22 287:5
	237:14 241:16	311:17	<b>normally</b> 253:19	306:12

<b>numerator</b> 80:22 81:13 89:5 117:7 152:2 157:19 264:10 265:2 297:11,14 299:3	77:17 140:4 204:6 217:10 218:20 221:16 239:20 245:22 284:4 319:19 320:8 324:15	57:3 72:1 75:3 95:16 205:2 214:1 259:19	134:1 252:15 265:21	127:15 147:17,18 148:2,4,7,14,19 149:21 150:8 158:14,22 159:5 160:8,20 161:8,15 162:17 177:5,9 182:5 187:11 241:18 262:1 287:20 310:17 311:14,17
<b>numerous</b> 103:3,21	<b>okay</b> 6:16 8:17 9:17 15:10 19:13 20:12 34:11 45:7 70:18 71:2 72:13 88:2,3 96:15 97:1 109:19 110:6 133:1 159:2 177:16,21 182:3 183:8,17 188:14 195:8 212:4 215:2 220:10 224:22 225:13,16 226:15 235:1 242:9 254:21 258:18 270:6 272:18 274:15 279:7,18 280:13 284:4 301:17 302:5 306:9 307:21 319:19,19 320:8 324:15 325:16	<b>open</b> 186:17 296:22 297:3 <b>open-endedness</b> 246:9 <b>operates</b> 214:17 <b>operating</b> 180:5 <b>operation</b> 64:9 <b>operator</b> 6:12,14 8:13,15 29:10 224:19,22 296:21 297:2 326:1,4,7 326:10 <b>opinion</b> 28:14 76:17 84:9 <b>opportunities</b> 52:10 86:15 112:16 124:10 139:1 <b>opportunity</b> 10:7 16:8 20:15 28:16 38:5,8 41:19 50:17 59:20 76:10 79:18 112:8 124:17 125:6 139:3 141:9 155:6 242:15 302:5 <b>opposed</b> 81:6 85:2 85:6 90:4 114:15 137:7 163:11 <b>opted</b> 37:3 52:13 <b>optimal</b> 82:9 <b>optimistic</b> 321:12 <b>optimistically</b> 321:7 <b>optimize</b> 284:22 <b>optimized</b> 305:16 310:19 <b>optimizing</b> 320:2 <b>opting</b> 94:19 <b>option</b> 13:16 28:3 94:19 97:12 168:2 297:11 <b>OQR</b> 12:1 <b>order</b> 66:1 123:2	<b>orders</b> 266:2 <b>organization</b> 59:10 89:12 90:9 91:15 170:4 <b>organizational</b> 187:17 <b>organizations</b> 63:16 92:1 101:9 <b>original</b> 23:19,22 127:21 199:10 227:3 <b>originally</b> 23:12 131:14 156:14 199:1 <b>orthopedists</b> 293:11 <b>Osler</b> 324:20 <b>ought</b> 15:22 290:20 <b>outcome</b> 22:17 25:20,22 29:20 32:17 44:14 48:20 64:11 65:13 108:15,19,20 109:10,14 110:11 110:16 111:3 130:6 184:7 185:13 187:2,20 200:10 207:12 209:9 220:7 221:8 275:2,15 278:3 283:8 316:8 <b>outcomes</b> 3:2 25:12 28:22 31:15 34:6 45:9 48:22 57:2 74:13,15 108:11 116:14 163:19 195:13 203:10 209:6 220:12 261:4 264:4 276:21 278:7 293:2 309:16 318:12 319:15 <b>outlined</b> 176:9 <b>outpatient</b> 4:12 23:15 123:3 124:7	<b>outpatient-based</b> 310:9 <b>outpatients</b> 119:13 <b>outside</b> 40:19 312:17,21 <b>Outwalking</b> 289:21 <b>overall</b> 51:17 61:3 78:20 96:16 119:2 119:11,15 120:4,5 184:2 217:5 275:7 <b>overcome</b> 168:16 <b>overemphasis</b> 205:6 <b>overhead</b> 65:20 67:1 <b>overlap</b> 255:10 <b>overloaded</b> 315:12 <b>overseas</b> 176:7 <b>oversimplified</b> 301:12 <b>overview</b> 102:9 191:14 192:4 194:15 260:17 <b>overwhelming</b> 157:4 <b>overwhelmingly</b> 7:3 <b>owe</b> 33:21
<hr/> <b>O</b> <hr/>				<hr/> <b>P</b> <hr/>
<b>O</b> 226:1,1,1 <b>o'clock</b> 329:11 <b>OASIS</b> 261:18 264:20 265:1 266:4 267:16 268:18,18 269:13 270:21 275:6 276:4 <b>object</b> 323:4 <b>objection</b> 152:10 154:13 <b>objections</b> 79:2 <b>objective</b> 185:16 284:17 295:6 315:15 319:21 320:11,14 <b>observation</b> 238:15 240:16 241:15 <b>obtain</b> 88:21 184:6 <b>obtained</b> 185:20 223:2,5 265:20 <b>obvious</b> 198:1 201:19 <b>obviously</b> 92:22 95:11 113:4 229:2 <b>occur</b> 195:19 252:10 <b>occurred</b> 145:6 <b>occurs</b> 263:18 <b>office</b> 285:14,18 <b>offices</b> 305:11 <b>official</b> 122:22 <b>oh</b> 12:12 17:18	<b>old</b> 127:7 290:14 <b>older</b> 113:13 188:18 196:2 298:1 <b>omission</b> 227:22 <b>on-going</b> 142:14 <b>once</b> 58:16 62:18 78:18 107:11 113:19 137:21 150:2,4 154:15 156:5,8 165:15 172:5,10 186:9 271:4 <b>one-for-one</b> 108:1 <b>one-to</b> 107:1 <b>one-to-one</b> 107:20 316:19 <b>one-year</b> 45:8 46:12 <b>ones</b> 41:1 43:21	<b>open</b> 186:17 296:22 297:3 <b>open-endedness</b> 246:9 <b>operates</b> 214:17 <b>operating</b> 180:5 <b>operation</b> 64:9 <b>operator</b> 6:12,14 8:13,15 29:10 224:19,22 296:21 297:2 326:1,4,7 326:10 <b>opinion</b> 28:14 76:17 84:9 <b>opportunities</b> 52:10 86:15 112:16 124:10 139:1 <b>opportunity</b> 10:7 16:8 20:15 28:16 38:5,8 41:19 50:17 59:20 76:10 79:18 112:8 124:17 125:6 139:3 141:9 155:6 242:15 302:5 <b>opposed</b> 81:6 85:2 85:6 90:4 114:15 137:7 163:11 <b>opted</b> 37:3 52:13 <b>optimal</b> 82:9 <b>optimistic</b> 321:12 <b>optimistically</b> 321:7 <b>optimize</b> 284:22 <b>optimized</b> 305:16 310:19 <b>optimizing</b> 320:2 <b>opting</b> 94:19 <b>option</b> 13:16 28:3 94:19 97:12 168:2 297:11 <b>OQR</b> 12:1 <b>order</b> 66:1 123:2	134:1 252:15 265:21 <b>orders</b> 266:2 <b>organization</b> 59:10 89:12 90:9 91:15 170:4 <b>organizational</b> 187:17 <b>organizations</b> 63:16 92:1 101:9 <b>original</b> 23:19,22 127:21 199:10 227:3 <b>originally</b> 23:12 131:14 156:14 199:1 <b>orthopedists</b> 293:11 <b>Osler</b> 324:20 <b>ought</b> 15:22 290:20 <b>outcome</b> 22:17 25:20,22 29:20 32:17 44:14 48:20 64:11 65:13 108:15,19,20 109:10,14 110:11 110:16 111:3 130:6 184:7 185:13 187:2,20 200:10 207:12 209:9 220:7 221:8 275:2,15 278:3 283:8 316:8 <b>outcomes</b> 3:2 25:12 28:22 31:15 34:6 45:9 48:22 57:2 74:13,15 108:11 116:14 163:19 195:13 203:10 209:6 220:12 261:4 264:4 276:21 278:7 293:2 309:16 318:12 319:15 <b>outlined</b> 176:9 <b>outpatient</b> 4:12 23:15 123:3 124:7	127:15 147:17,18 148:2,4,7,14,19 149:21 150:8 158:14,22 159:5 160:8,20 161:8,15 162:17 177:5,9 182:5 187:11 241:18 262:1 287:20 310:17 311:14,17 <b>outpatient-based</b> 310:9 <b>outpatients</b> 119:13 <b>outside</b> 40:19 312:17,21 <b>Outwalking</b> 289:21 <b>overall</b> 51:17 61:3 78:20 96:16 119:2 119:11,15 120:4,5 184:2 217:5 275:7 <b>overcome</b> 168:16 <b>overemphasis</b> 205:6 <b>overhead</b> 65:20 67:1 <b>overlap</b> 255:10 <b>overloaded</b> 315:12 <b>overseas</b> 176:7 <b>oversimplified</b> 301:12 <b>overview</b> 102:9 191:14 192:4 194:15 260:17 <b>overwhelming</b> 157:4 <b>overwhelmingly</b> 7:3 <b>owe</b> 33:21 <hr/> <b>P</b> <hr/> <b>P</b> 3:4 <b>P-R-O-C-E-E-D...</b> 6:1 <b>p.m</b> 225:19,21 226:2 329:11 <b>P2Y</b> 7:1 <b>pace</b> 254:4

<b>package</b> 222:15	319:21	106:2 110:6,12,16	282:21 286:22	207:4 209:11,17
<b>packet</b> 74:3	<b>participants</b> 268:7	111:3,10 117:21	298:10 321:18	220:8 226:5 227:2
<b>page</b> 26:12 37:10	<b>participate</b> 31:20	121:8 122:14	<b>patient-centered</b>	227:8,16 228:8
37:15 73:3 74:3	47:21 48:6 52:6	123:1,13 124:3	282:20 296:4	230:17,22 235:6
210:18 216:1	83:19 97:22	125:2 126:19	309:18	236:5,15 238:21
<b>paging</b> 29:10	126:22 161:2	130:11,14,20	<b>patient-oriented</b>	240:1,15 241:16
<b>paid</b> 75:8 174:3,19	168:17 169:9	131:2,4,8,13,15	299:10	243:2,9 255:19
236:3	174:13,18	131:18 132:1,7,7	<b>patient-reported</b>	258:22 259:18
<b>pain</b> 22:15,20	<b>participated</b> 38:10	132:13,14 133:1	293:2	261:11,20 265:5,7
24:12 25:11	148:1,9 150:15	133:14 135:6	<b>patient-specific</b>	267:10 268:19
166:22 300:22	159:11 160:7,8,14	137:14,16 138:9	265:17	269:7,16 271:5,7
<b>pained</b> 280:1	<b>participates</b> 114:15	144:11,19,22	<b>patients</b> 4:19 9:1	271:18 273:20
<b>pair</b> 124:16 159:4	<b>participating</b> 41:9	145:8,9 149:4,15	11:10,20 12:5	274:1 275:2,15,16
<b>paired</b> 124:13	84:3,4 87:18	158:5,20,21 159:8	20:2 22:3,4,8,15	276:9,16,22 277:3
129:12,20	94:18 189:1 250:6	159:21 160:4,21	22:19 24:22,22	277:6,12 280:21
<b>panel</b> 194:22 195:2	<b>participation</b>	164:21 166:21	25:8,10,11,18	281:11,13 284:12
<b>paper</b> 18:10 29:7	268:22	175:8 177:5	27:20,20 28:2	287:11 290:14
29:13 57:15 63:20	<b>particular</b> 35:8	187:10 197:3	31:15,19 32:18,20	293:7 294:11
64:21 65:11	40:13 48:9 56:21	206:4,19 208:3,4	33:12,21 34:3,8	299:12 304:22
126:18 171:1	60:10 69:18 71:19	208:21 209:19	37:8 45:3 46:2,11	310:13,17 312:15
179:4,20 227:6,13	79:16 81:1,19	214:7 231:15,19	47:4 58:14 69:7	314:10 318:11
228:4 289:20	86:22 89:7 93:22	234:10,12,15	69:15 71:13,22	319:17 321:11
<b>paper-based</b> 18:3	273:19	235:10,21 236:22	80:7,9 81:12,17	323:4,14 324:3,8
<b>papers</b> 47:19 48:4	<b>particularly</b> 134:5	237:16 238:10,10	83:9 87:11 93:3	325:8
<b>paperwork</b> 127:10	210:9	238:11,12 239:16	93:17 102:13,21	<b>patterns</b> 58:22
<b>par</b> 153:20,21	<b>partnered</b> 95:8,8	240:3 248:20	104:1 105:14,15	<b>Paul</b> 324:14
180:7	<b>Partners</b> 323:6	255:14 259:5,13	106:2 109:20	<b>pause</b> 9:18 10:2
<b>parallel</b> 63:8 293:2	<b>partnerships</b> 95:9	263:2,8,10,15	112:12,12 113:3	13:4 30:8 38:2
<b>parameters</b> 265:18	<b>parts</b> 51:15	264:12 265:15,19	113:20 114:2	42:1 68:4 72:9
<b>Pardon</b> 42:6	<b>party</b> 89:13	266:11,13 267:1	115:4 116:9	78:12 79:6 82:14
<b>parsimonious</b>	<b>pass</b> 20:16,19,20	267:18 269:3,6,10	117:22,22 118:11	100:16 188:8,11
185:14 198:1	30:15 198:4	270:9 271:3	118:11,13,14,19	190:8 191:8 197:9
201:12 208:18	<b>passes</b> 187:21	274:18,19 278:7	118:21 119:1,2,4	223:22 241:21
<b>parsimony</b> 196:21	<b>path</b> 95:3 127:15	283:19,21 284:1	119:7,12 120:1,12	242:7,10 243:17
<b>part</b> 15:1 23:13,14	<b>pathway</b> 14:1 23:1	285:19,22 286:11	120:15 121:14,15	245:2 246:17
23:21 24:10 26:1	75:19 94:13 95:1	287:13 290:13	121:21 122:4,12	248:14 251:17
54:5 59:20 77:2	95:2 318:20	291:16 294:3,6,9	123:4,14 130:22	253:8 254:18
109:10 127:12	<b>patient</b> 4:9,11 22:9	295:21 296:1,9,11	131:2 133:7	278:17 325:22
130:17 137:6,7	22:21 23:17 27:15	296:18 298:1,13	134:18 135:2,3,19	<b>pay</b> 174:13,18
188:21 196:19	27:18,22 47:14	310:2,5 311:1	137:21 144:16	323:7
217:13 218:3	57:8 60:3 64:6	315:6,7 316:3	147:18 149:6,10	<b>pay-for</b> 85:9
219:2,17,22	66:1 69:9,21	318:1,2 319:14	149:19 150:7	<b>pay-for-perform...</b>
233:15 240:20	79:19 80:4,14	320:7,8,16 321:10	151:18,22 153:22	180:14
244:15 258:13	83:21 84:13 90:8	322:7	156:22 164:7,21	<b>payers</b> 129:22
268:21 271:14,14	90:14 102:11,16	<b>patient's</b> 107:12	165:4,8,20 166:2	149:13
274:2 275:9	102:19 103:16	209:9 259:12	170:2 188:18	<b>paying</b> 66:14 84:2
282:15 297:5	104:14 105:7,9	265:13 273:9	196:1 200:10	174:9 329:4

<b>payment</b> 52:21,22 53:6,9,13 85:10 276:15	81:18 93:3 103:9 103:14,16 111:7 112:11,12 128:4 129:9 137:6 145:20 163:21 172:16 175:6 189:4,4,5 202:7 227:8,15 228:7,11 236:15 238:22 243:2 245:8 247:1 263:6,7 281:13 302:13,14	277:22 280:4 286:8 295:11 302:9,19 303:11 305:4 319:10	98:14	60:20 125:18 142:2 256:14
<b>PCI</b> 7:2,16,22 8:6 25:10 28:5 60:19 70:2,8 79:14 80:5 84:11 85:4 94:15 95:11 112:11 116:6 117:10,17 118:5,10,19 119:1 121:20 127:16 135:20 282:14 322:1,4,10	<b>percentage</b> 83:9 147:17 150:7 210:12	<b>performed</b> 185:22 <b>performing</b> 60:11 <b>performs</b> 211:18 <b>period</b> 77:6 178:11 181:15 189:9 262:3 271:10 276:11,19 323:22 326:22	<b>philosophical</b> 138:2 <b>phone</b> 10:4 122:18 212:11 225:4 279:16 280:3 326:2,8 328:4	<b>pilot</b> 94:15 235:5 236:2
<b>PCPI</b> 227:4 296:21	<b>percentile</b> 10:10,12 28:18 202:1,3,11 202:20 221:21 222:14 262:14	<b>peripheral</b> 214:4 214:11	<b>physical</b> 2:4 325:1 <b>physician</b> 3:9 107:9 107:14 123:6,7,9 123:15,20 124:1,2 133:2,5,11,17 159:20 160:3,5,20 214:10 265:13 281:17 309:8	<b>pilots</b> 236:6 <b>Pina</b> 3:14 226:16 226:16 230:15 234:6 236:12 237:13 238:2,9 239:12 240:8 249:8 250:7,13 251:8 252:13 255:12 256:12 279:19 283:9 285:12 286:20 290:21 293:18 294:10 295:9 296:16 298:7 299:19 305:16,21 306:11 310:18 311:15 317:19 323:13 324:10
<b>peel</b> 146:1	<b>percentiles</b> 12:5	<b>person</b> 55:13 89:3 108:9 109:4 110:10,14 256:5 320:7	<b>physician-ordered</b> 265:17	<b>Pinnacle</b> 127:15 140:14 155:12,18 156:1,13,20 164:6 164:13 166:10 168:12,18,18 169:9 170:9,11,14 171:13 174:12 179:13,15,18 287:19 302:9,13 304:20 305:13 307:3 310:11 313:8 314:13
<b>penalized</b> 53:2 131:4	<b>perfect</b> 33:3 52:17 75:15,15 84:11 85:3,19 207:13,19 283:12 284:5 287:16 288:15 301:13 316:22 322:13	<b>personal</b> 84:9 <b>personally</b> 20:7 54:13 134:7 167:13 173:7,19	<b>physicians</b> 1:20 85:8 91:19 105:10 105:13 132:18 151:3 169:21 259:4 280:20 286:5 292:5 295:20 305:14 321:19	<b>place</b> 58:5 61:16 62:20 73:16 123:8 217:22 230:20 234:7 278:2,5 311:7
<b>penalties</b> 52:22 75:18 76:5	<b>perfectly</b> 15:1 157:21 291:3	<b>personnel</b> 66:16 176:11,18 179:1 291:12	<b>physiologic</b> 236:17 237:6,15 238:3	<b>places</b> 58:15 60:12 297:17
<b>penetration</b> 157:8	<b>perform</b> 70:19 209:21 212:1	<b>perspective</b> 44:12 46:4 56:17 66:11 87:14 110:6 262:1 262:2 267:15	<b>physiologically</b> 237:20 238:7	<b>plan</b> 7:11 75:16 84:4 265:21 278:5 310:16
<b>Penn</b> 202:11	<b>performance</b> 1:19 2:21 3:9 13:5 19:7 76:14 85:10 93:13 112:9,14 113:16 116:2 130:4 133:20 140:21 141:7 153:8,10,12,16 186:5 187:17 188:15,16 189:16 190:9 200:8 204:5 205:12 211:18 215:15 227:3 242:20 243:10,12 243:12 256:16 260:11 275:7	<b>pertain</b> 25:9	<b>physiology</b> 324:22	<b>planned</b> 94:3 95:18
<b>Pennsylvania</b> 2:5		<b>Pharmaceutical</b> 2:8	<b>pick</b> 18:6,8 26:17 65:17 88:17 109:4 149:5,7 171:6,7 222:4	<b>Plano</b> 2:16
<b>people</b> 16:19 19:4 21:16 27:10 31:7 53:6,15,16 60:16 61:1,3,6,10,22 62:22 69:6 84:21 92:6,6 97:7 111:16 114:20 117:7,10,13 133:3 148:7,21 156:4 174:6,10 178:12 180:13,19 183:18 199:13 200:19 202:7 207:2 230:9 231:18 233:19 268:8 272:18 279:16 286:10 292:12,13 301:5 301:16 310:2		<b>Pharmacists</b> 2:9	<b>picked</b> 123:11 149:20 171:5 210:13	<b>plans</b> 40:20,22
<b>perceive</b> 19:6		<b>pharmacotherapy</b> 37:12	<b>picking</b> 123:10	
<b>perceived</b> 47:11		<b>Pharmacy</b> 2:7	<b>picture</b> 24:10,11	
<b>percent</b> 10:11,12 10:16,16,17 25:8 33:12,15,17,17 38:12 45:22 51:4 72:17,18,20 81:17		<b>PharmD</b> 2:6	<b>pictures</b> 215:22	
		<b>phase</b> 220:17	<b>piece</b> 36:18 59:2,21 89:20 125:19 126:18 127:18 140:6 170:21 178:19 179:10 291:6 308:14,15	
		<b>PhD</b> 2:1 3:7,10		
		<b>phenomena</b> 91:17 144:13		
		<b>phenomenally</b> 314:8		
		<b>Phil</b> 104:6		
		<b>Philippides</b> 2:11		

252:5	<b>polled</b> 162:5	219:4	<b>presumably</b> 44:15	42:7 67:22 68:5
<b>platform</b> 63:12	<b>poor</b> 247:3 282:8	<b>PQRS</b> 252:6	62:13	116:4,8,12,19,22
<b>play</b> 63:5 133:18	286:17 315:18	313:10	<b>presume</b> 126:10	153:19 154:1,3,6
<b>playing</b> 317:9	316:15	<b>practicality</b> 180:12	<b>pretty</b> 23:11 36:2	190:12,16,20
<b>plea</b> 181:19	<b>pop</b> 136:14	<b>practically</b> 134:17	40:10 58:14 59:1	191:9 243:20
<b>please</b> 225:1 226:15	<b>population</b> 1:14	<b>practice</b> 58:22	73:18 74:11 76:16	244:3,7,16,20
264:7,21 285:7	18:2 46:2 66:21	214:15 309:22	128:18 157:15	303:13,16,22
326:5	66:21 67:3 79:13	316:21	161:13 162:6	304:7
<b>pleasure</b> 100:22	90:8 117:21 121:8	<b>practices</b> 155:22	193:7,19 198:6,17	<b>private</b> 65:3 114:12
<b>plot</b> 77:2 212:1	133:14 136:3	156:11,21 168:17	205:21 210:17	114:17 123:6,15
<b>plots</b> 72:16	168:22 197:3	169:2,4,5,8,12	220:19 244:5	124:2,21
<b>plug</b> 222:2	206:4 220:8 235:3	193:16 255:22	249:2 252:9	<b>pro</b> 180:10 283:12
<b>plus</b> 9:9 18:11	235:20 269:20	305:12,15,18	260:11,16 283:16	283:15
37:11	276:22	<b>practitioner</b> 265:14	290:15 302:19	<b>probably</b> 13:10
<b>pneumonia</b> 24:22	<b>populations</b> 102:19	<b>practitioners</b> 256:2	<b>prevalence</b> 116:8	14:2 34:6 38:17
<b>point</b> 13:13 26:7,14	122:14 236:8	280:17	190:17	48:21 60:10 61:8
40:11 42:2 46:9,9	<b>portfolio</b> 11:20	<b>precedent</b> 289:2	<b>Prevention</b> 1:12,13	61:9 62:5 63:13
49:5 51:6,16	40:9 95:20 138:22	<b>precordially</b> 207:2	<b>previous</b> 147:19	67:1 71:17 75:1,3
66:19 75:18,21	220:19 328:11,14	<b>predicting</b> 209:8,19	150:8 156:19	86:4 103:15 111:7
79:7 82:15 92:5	<b>portfolios</b> 328:17	<b>predominant</b>	186:3 242:19	115:15 118:2
95:2 96:10 97:15	<b>positive</b> 126:6	287:21 288:9	243:22 274:11	120:17 130:21
104:12,13 105:8	167:2 266:21	<b>predominantly</b>	307:5,10	159:4 174:22
107:5,10 111:5	<b>possibility</b> 217:4	117:17	<b>previously</b> 32:16	203:3,8 204:18
122:17 124:9	313:12	<b>predominated</b>	93:20 103:10	205:18 214:8
125:8,9,12,21,22	<b>possible</b> 61:13	20:16	151:11 182:9	247:5 248:1 252:9
128:10 129:8,20	70:16 76:4 101:22	<b>prefer</b> 20:7 72:19	273:12,22 274:16	254:5 256:14
131:10,20 132:12	178:11,17,22	128:10	<b>primarily</b> 113:20	272:22 288:9
140:13 141:21	217:4 261:7	<b>prescription</b> 37:12	139:21 255:21	290:4 313:10
143:13 147:14	<b>possibly</b> 254:10	109:2 137:8,17	<b>primary</b> 4:5,7,8,10	324:9 327:11
153:4 155:1 159:8	<b>post</b> 8:5	144:7	4:12,15,20,22	<b>problem</b> 14:19
168:13 169:17	<b>post-cardiovascu...</b>	<b>present</b> 1:11 3:1,21	5:12 25:10 28:5	15:15 45:16 54:6
170:8 171:11	102:21	83:9 206:19	31:17 70:8 101:19	54:7 106:14
181:8 182:19	<b>post-discharge</b>	209:17 217:16	102:6 123:9,19	108:14 113:7,21
188:9 197:13,21	4:18 226:4 227:1	259:21	157:1 239:7	115:14,18 118:5,6
203:17 221:1	229:18,19 243:2	<b>presentation</b>	255:22 265:13	118:9 123:12
232:1 242:8 245:5	<b>post-inpatient</b>	208:13 279:20	279:10 280:17	145:21 203:11
256:17 268:16	257:11	291:14	282:10 290:7,8	233:17 234:3
306:1 307:10	<b>post-meeting</b>	<b>presented</b> 165:19	293:5,14,16 307:1	262:11 267:7,8
317:11	171:20	<b>presenter</b> 23:10	323:3 324:19	274:8 294:7,7,9
<b>pointed</b> 54:17	<b>pot</b> 299:18	<b>presenting</b> 101:18	<b>principal</b> 196:3	<b>problematic</b> 117:10
149:4 205:12	<b>potential</b> 17:9	<b>president</b> 2:10	<b>prior</b> 8:22 36:16	118:15
212:17	23:18 184:3	101:7	148:6 150:15	<b>problems</b> 63:14
<b>points</b> 51:11,12	309:20 320:15	<b>presiding</b> 1:10	182:10 184:15	115:15 201:18
104:17 110:2	322:21	<b>press</b> 225:1 326:5	257:6 312:16	257:17
154:19 184:11	<b>potentially</b> 55:6	<b>pressure</b> 185:17	326:19	<b>procedure</b> 124:18
<b>policies</b> 29:15	95:19 133:18	198:18 206:16	<b>priorities</b> 67:16	150:10
<b>policy</b> 97:18	179:17 181:4,15	<b>pressures</b> 213:9	<b>priority</b> 15:10 42:5	<b>procedures</b> 8:3

123:4 153:21 320:3 <b>proceed</b> 15:17 171:19 172:2 <b>proceedings</b> 188:8 188:11 190:8 191:8 223:22 225:18 278:17 <b>process</b> 22:3,13 25:21 26:11 27:9 29:18,19 32:7,9 32:12 33:2 38:21 48:12 84:18,18 94:12 102:2 106:3 107:3 142:14 173:1 191:19 215:16 228:22 235:9,13 237:15 258:20 260:20 261:3 274:3 308:18 327:8 <b>processes</b> 24:19 32:16 56:9 57:21 85:7 107:6 <b>produce</b> 247:12 <b>produced</b> 72:16 <b>profiles</b> 211:20 <b>prognosis</b> 280:6,10 283:5,13,15 317:12,15 <b>prognostic</b> 281:19 283:1,11,12 284:4 284:5,7 317:17 <b>program</b> 23:15 96:1 101:5 110:8 110:10,15 111:8 123:3 134:7 148:2 148:4 164:20 165:13 167:9 232:4 250:21 252:3,6,17 268:22 <b>programs</b> 85:10 115:2 218:19 251:22 <b>progress</b> 165:6 <b>progressive</b> 152:12 167:19 172:12	<b>project</b> 1:3 2:19,19 2:20,21 131:11 161:10,14 314:12 <b>projects</b> 139:1 <b>promote</b> 164:21 <b>prone</b> 55:16 <b>proof</b> 250:16 <b>proper</b> 29:21 <b>proponent</b> 108:7 180:21 <b>proportion</b> 52:10 87:11 203:3 <b>proposal</b> 172:18 <b>proposed</b> 222:7 259:15 <b>proposers</b> 172:5 <b>proposing</b> 17:4 205:20 268:9 <b>proprietary</b> 157:18 <b>prove</b> 252:16 <b>proved</b> 92:3 <b>proven</b> 206:2 <b>provide</b> 52:16 66:17 87:16 114:5 115:2 142:3 192:3 196:13 244:4 261:18 <b>provided</b> 28:10,17 32:5 34:2 36:11 49:18 161:8 187:13 188:16 189:6 190:18 191:14 194:17 196:7 229:4,17 265:18 <b>provider</b> 6:22 7:5,9 111:12 123:1 140:7 227:16 239:7 261:13 263:1 296:8 <b>providers</b> 58:9 156:1,12 187:10 259:5 302:12 304:21 312:15 <b>provides</b> 59:4 62:9 90:15 193:7 <b>providing</b> 106:9	142:7 <b>provisional</b> 172:18 <b>proviso</b> 149:14 <b>proxy</b> 98:9 <b>Psychiatric</b> 233:11 <b>PT</b> 2:3 <b>PTCA</b> 147:22 <b>public</b> 4:16 5:15 32:11 35:16 56:18 59:11 76:1 87:4 88:6,11 94:2,9,14 94:20 95:7 96:2 113:19 143:18 224:18 225:1,3 252:5 313:4 326:1 326:7,14 <b>publication</b> 176:2 315:2 <b>publicly</b> 94:16 184:9 189:8 218:17 219:1 252:4 <b>published</b> 48:3 163:7,7,8 170:12 208:16 227:6 236:13 <b>pull</b> 12:14 43:5 162:21 199:6 201:7 <b>Pulmonary</b> 3:11,16 101:8 <b>pulse</b> 206:22 <b>punch</b> 323:10 <b>purchasers</b> 129:22 <b>purchasing</b> 219:2 220:1 <b>purely</b> 75:21 <b>purpose</b> 15:19 141:8 164:19 300:13 <b>purposeful</b> 107:7 <b>purposes</b> 55:19 56:1 66:10 135:2 266:7 <b>push</b> 206:8 221:13 <b>pushing</b> 96:11 234:4	<b>put</b> 11:16 17:13 18:1 21:7,10,17 24:18 29:21 39:13 52:12,13 53:8 68:13 101:20 104:7 149:14 158:9 168:8 175:22 176:10,17 178:7 184:7 198:7 201:8 211:4,4 237:11 256:14 271:12 280:8 284:6 295:12 311:2,7 328:19 <b>puts</b> 56:9 223:7 <b>putting</b> 13:16 29:17 57:16 90:12 184:18 231:4 240:15 281:19 326:12 <hr/> <b>Q</b> <hr/> <b>QCC</b> 261:6 <b>QIOs</b> 311:18 <b>QQC</b> 282:5 <b>QRS</b> 318:5 <b>qualified</b> 313:9 <b>qualify</b> 21:2 53:7 318:3 <b>qualifying</b> 148:3 <b>quality</b> 1:1,8 23:15 31:18 32:4 41:13 44:21 48:19 49:18 53:3 59:12 60:13 66:9,14 74:17 75:21 76:2,16 87:4 92:2 93:22 94:11 102:16 105:16 116:10 244:15 247:3 249:18 250:19 252:17 258:8 290:22 291:5 299:22 300:16 315:18 320:16 321:10,18,20 <b>quantitative</b> 285:5	296:15 <b>quarterly</b> 32:6 <b>quartiles</b> 33:16 <b>question</b> 10:20 12:16 15:12 17:3 17:19 20:21 26:19 28:19 36:8 38:21 40:3 41:5 43:22 44:3,17,20 53:20 71:3,12 84:7 100:11 108:12,13 109:11 111:1 138:2,7,17 141:2 141:9 151:18 160:3,15 161:3 164:5 168:7 177:18 207:5,18 208:7 212:6,7 217:3 218:22 219:13,14 239:21 250:3 265:4 267:21 268:1 282:19 285:3 290:2,17 294:17 296:6 305:7 307:7 310:1,9 312:19 315:5 318:18 320:22 326:5 <b>questioning</b> 321:1 <b>questionnaire</b> 280:14 285:20 286:4 299:5,6,7 302:18 <b>questions</b> 15:5 69:1 69:3 127:8 163:22 186:18 195:5,9 201:15 207:10,14 294:16 307:16 <b>quick</b> 17:3 100:10 102:9 109:22 125:8 148:17 250:2 267:20 309:6 310:8 <b>quicker</b> 22:10 <b>quickly</b> 50:13 109:17 124:9 151:6 287:18
---	---	---	---	---

292:22 325:21 <b>quite</b> 48:15 64:12 65:14 112:17 118:12 199:16 208:17 217:7 228:3 274:4 285:16 319:15 <b>quorum</b> 225:15 <b>quote</b> 122:21 <b>quoting</b> 116:16	251:1,2 276:7 <b>rating</b> 35:9 261:7 <b>ratio</b> 185:19 202:13 203:7,20 <b>rationale</b> 50:9 68:19 71:1 121:13 122:11 187:4 189:7 <b>RCTs</b> 9:8,10 <b>reabsorbers</b> 237:4 <b>reach</b> 7:4 138:15 <b>reaching</b> 103:15 <b>reaction</b> 197:8 <b>read</b> 103:8 106:8 106:10,11 134:12 150:3 160:2 <b>readable</b> 186:12,13 192:11,11 <b>readily</b> 45:10 249:4 <b>reading</b> 34:19 <b>readmission</b> 94:16 227:21 228:10,20 228:21 233:7,13 236:14 244:13 251:1 <b>readmissions</b> 244:2 244:12 258:21 259:7 <b>reads</b> 136:19 147:17 149:22 172:7,16 <b>ready</b> 30:1 67:10 72:3,5 77:16 79:1 127:10 139:4,7 188:4 191:3 215:2 215:3 225:17 239:20 241:20,22 301:15 308:2 324:15 <b>real</b> 20:8 22:14 50:13 124:8 125:8 203:11 272:4 292:21 303:2 314:14 <b>realism</b> 321:14 <b>realistically</b> 174:5 174:8	<b>reality</b> 299:19 <b>realize</b> 28:2 51:2,16 64:6 127:18 184:3 220:17 248:1 292:12 <b>realized</b> 23:4 <b>realizing</b> 288:8 324:17 <b>really</b> 15:14,18 16:7,16 18:2 19:5 22:20,21 23:10 24:18 25:17 29:2 29:18 33:21 36:8 37:11 39:21 44:17 50:22 51:14 52:16 56:19 57:4,16 59:8 60:2,11,20 62:22 63:13 64:5 64:7,13 67:17 68:11 69:20 70:2 83:1 85:17 86:20 88:2,7 91:4,5 92:4 92:10 105:12 106:17 107:9,13 109:10,17 111:5 114:20 115:7 119:10 120:20 122:10 125:9 127:9 130:15,21 131:17 132:17 136:18 137:1,4 138:22 141:10 144:8 160:2,10,11 160:14 163:12,17 163:17,20 171:7 171:18 176:13 193:18 194:1 196:18 197:7 198:2 200:4,22 201:19 207:5 217:14 231:18 235:5,9 238:17 249:9 255:1 256:2 256:18,22 257:6 257:17 261:17 263:9 267:6 276:3 277:16,20 280:1	282:1 287:18 288:12,14 290:4 301:3 319:9,22 322:8 327:19 328:18 <b>realm</b> 83:6 144:22 <b>reaper</b> 289:21 292:10 <b>reapply</b> 97:21 <b>reason</b> 8:3 19:4 27:18 89:11 111:13 145:18 146:13 159:12 174:21 175:1 236:17 237:7,11 239:1 272:4 276:18 287:16 <b>reasonability</b> 70:17 <b>reasonable</b> 135:4 178:12 217:8 230:5 <b>reasonably</b> 214:17 <b>reasons</b> 111:9,11 130:4 190:13 203:18 228:15 230:19 257:2 <b>reassuring</b> 200:7 200:14 <b>recall</b> 12:19 40:9 56:4 97:10 <b>recap</b> 4:2 6:18 <b>receive</b> 79:21 82:3 87:11 279:12 322:7 <b>received</b> 56:12 80:4 80:14 <b>receives</b> 105:6,6 <b>receiving</b> 57:11 268:20 270:15 <b>recess</b> 225:20 <b>recipe</b> 82:22 <b>recognition</b> 252:3 261:12 <b>recommend</b> 15:20 35:22 123:2 126:3 178:5 194:13 289:17	<b>recommendation</b> 46:10 102:19 146:3 182:8,16 247:7 <b>recommendations</b> 9:8 32:19 36:14 182:19 233:19 282:8 326:13 <b>recommended</b> 19:13 47:3 56:7 <b>recommending</b> 13:15 18:19 <b>reconvening</b> 327:10 <b>record</b> 18:4,10 63:21 64:1 97:5 98:4 121:3 137:2 146:8 177:13 179:5 180:13 213:10 225:19,20 234:8 263:12 308:14 <b>recorded</b> 208:8 214:9 295:17 <b>records</b> 18:6 63:20 83:16 136:11 137:15 <b>recycling</b> 97:15 <b>redefine</b> 164:17 <b>reduce</b> 22:11 258:20 259:7 <b>reduced</b> 81:12 <b>reducing</b> 23:1 244:1 <b>reduction</b> 233:13 <b>redundancy</b> 70:3 <b>redundant</b> 55:6 62:7 70:6 <b>refer</b> 81:12 105:14 123:7,9 124:2 125:4,6 127:21 130:12,20 132:8 132:13 152:7,8 154:14 158:5,9,12 159:13,21 160:1 161:1 241:5 283:22 292:12
--	--	--	--	---

322:16	188:16	101:5,8,15,21	108:15 136:6	137:10 173:3
<b>reference</b> 201:21	<b>reflects</b> 73:5	102:8,10,18 103:4	203:10 280:5,22	309:2 311:2
<b>references</b> 187:15	<b>reform</b> 114:22	103:6,13 104:4,17	<b>relates</b> 189:17	315:20
<b>referencing</b> 74:2	<b>reframe</b> 44:4 239:3	105:4,5 106:1,3,7	196:6 281:18	<b>remind</b> 111:16
<b>referral</b> 4:9 102:1,1	<b>refreshing</b> 65:3	108:7,10,11,15	<b>relation</b> 173:22	232:1
102:3,5 103:2,14	<b>refusal</b> 131:9,13,15	110:10 112:10	<b>relationship</b> 108:3	<b>removed</b> 130:6
103:20 104:5,8,16	131:18 132:1,7,7	113:3,6,9,16	108:14 187:5,16	<b>removing</b> 260:8,12
104:18,18,20	135:6 144:19	114:2,6 115:6,8	<b>relative</b> 144:13,14	<b>reoccurrence</b> 165:2
105:2,2,6,7,12	145:8,14,20	115:16 117:12	216:3	<b>repeat</b> 36:8 44:3
106:7,19,21 107:1	<b>refusals</b> 146:1	121:9 123:3,21	<b>relatively</b> 33:11	<b>repeatedly</b> 159:14
107:8,13,16,19	<b>refuse</b> 130:22 135:8	126:21 128:18	90:3 221:9 225:9	<b>repeating</b> 34:17
108:18,21,21	323:20	130:12,15,22	262:12	42:19 61:20,22
109:13 110:19	<b>refused</b> 145:18	131:6 133:4,6,11	<b>released</b> 45:19	69:19
111:11,14 114:2	<b>regard</b> 75:20 144:3	134:8 135:18	<b>relevance</b> 20:10	<b>reperfused</b> 71:6,14
122:20,21 123:2,8	206:12 232:3	136:15 137:12,19	<b>relevant</b> 62:9	71:17,22
123:14,17,22	<b>regarding</b> 213:1	140:7 148:2,4	<b>reliability</b> 39:11,17	<b>reperfusion</b> 26:10
124:7,19 126:19	244:4	150:3,15,22 158:6	72:14,15 74:19	70:2,5,7 71:3,5,8
126:20 128:5,16	<b>regardless</b> 113:15	158:7,7,10,12	77:15,20 78:13	71:11
129:6,16,21	114:3 118:8	159:22 160:6,9	120:4,7 121:15,19	<b>replace</b> 164:3,8
132:12 133:21	<b>regards</b> 112:16	161:2 164:20	121:20 122:13	166:6 219:9
135:17 136:6,10	304:19	165:13 167:9	127:3 139:8,9,13	<b>report</b> 89:2 169:3
137:22 144:6	<b>registries</b> 157:11	172:10 180:6,9,10	154:10,16 155:8	213:5 315:2
149:2 151:10,20	159:6 163:12	180:21 232:3,4,10	162:3,7,8 163:2	326:13,19,20
152:5 160:11	174:17	232:16 257:8,15	163:13 164:6,11	<b>reported</b> 42:21
165:12 166:4	<b>registry</b> 31:10,12	257:16 283:7	166:9 175:5,6,19	52:5 57:2 61:15
167:8,9,16 170:20	31:14,17,21 32:2	321:5 322:15,16	183:1 186:14	94:16 122:6
177:8 232:3,10	32:6 36:9 41:6	322:17	191:12 210:8	145:19 184:10
257:8,9,13,18	48:9 52:6 59:4,13	<b>rehabilitation</b> 3:12	212:5 215:2,3,5	189:8 218:17
321:5,8	61:4 62:15 63:8	3:17 4:9,11 48:18	215:10 245:10,18	219:1 227:15
<b>referrals</b> 132:18	66:11 83:10,20	102:22 104:2	245:20 246:3,12	244:12 252:4
146:19	87:16 89:8 94:18	110:3,8 151:13,21	246:14 304:12,19	259:9
<b>referred</b> 48:17	95:12 125:15	152:5 153:21	305:2 306:1,3,8	<b>REPORTER</b> 264:7
104:1 110:7,10,12	126:7 140:14	177:8	306:15,20 307:20	<b>reporting</b> 23:15
110:14,17 111:3,5	155:12 156:13,20	<b>rehospitalization</b>	<b>reliable</b> 73:19	32:11 46:18 56:18
111:8 117:12	161:20 164:7,13	236:1 275:18	76:16 123:22	59:11 62:8 76:1
123:18 125:3,5	166:10 173:12	292:16	140:11 162:4	87:4 88:6,11 94:2
127:7 129:17	177:4,5 179:11,12	<b>rehospitalizations</b>	164:6 203:5	94:9,14,20 95:7
130:8 133:1 137:9	185:2,4,7 189:3	292:19	205:21 222:9	96:2 113:19 120:3
138:3,5 148:3	207:16 214:12	<b>rehospitalized</b>	271:22	143:18 192:17
150:2,16,18	219:12 220:4	292:14	<b>reliably</b> 173:11	252:5 258:8
158:10 160:7,13	228:13 248:19	<b>reimbursement</b>	185:11 198:19	260:14 271:10
165:21 172:9	302:9,13,21	53:10 149:12	199:6 214:12	313:5
<b>referring</b> 80:15	304:20 305:13	<b>reiterate</b> 235:19	<b>relook</b> 222:8	<b>reports</b> 32:5 145:20
127:10 151:3	307:3 310:10	253:15 254:7	<b>relooking</b> 194:6	<b>repository</b> 62:17
247:16,18	313:9	<b>relate</b> 112:20 181:3	<b>rely</b> 142:6	<b>representative</b>
<b>reflect</b> 55:2	<b>regular</b> 203:21	<b>related</b> 25:22 52:22	<b>remain</b> 270:14	118:20 119:6
<b>reflecting</b> 118:22	<b>rehab</b> 51:3 100:18	53:11 67:16	<b>remember</b> 100:12	<b>representatives</b>



100:19 226:8 <b>represented</b> 119:15 <b>represents</b> 34:3 40:20 56:21 155:14 277:5 <b>reproducible</b> 73:21 221:19 222:9 <b>request</b> 133:20 279:13 <b>Requests</b> 327:14 <b>require</b> 151:20 166:4 196:19 274:14 <b>required</b> 67:5 162:18 266:16 268:19 <b>requirement</b> 49:2 167:16 <b>requires</b> 160:2,4 <b>research</b> 3:2 64:19 163:9 314:12 <b>researcher</b> 258:3 <b>reserve</b> 11:16 13:17 13:22 14:8 15:7 15:16 16:3,6,11 20:11 21:1,7,10 21:17 59:17 <b>reserving</b> 69:1 <b>resources</b> 175:3 193:14 <b>respects</b> 56:11 <b>respond</b> 316:2 327:2 <b>responding</b> 284:21 <b>response</b> 84:5 104:9 108:3 266:10 285:1 <b>responses</b> 147:4 <b>responsible</b> 107:14 <b>responsive</b> 56:11 <b>rest</b> 44:7 92:3 <b>restate</b> 314:5 <b>result</b> 14:17 260:12 263:18 265:11 266:21 <b>resulted</b> 188:22 <b>resulting</b> 39:17	<b>results</b> 14:16,20 57:3 98:4 200:13 245:20 247:1,13 247:16 260:10 266:13 306:3 <b>resume</b> 271:3 <b>resumed</b> 97:6 <b>resynchronization</b> 284:19,20 288:19 320:4 <b>retained</b> 185:11 <b>retesting</b> 175:6 <b>retire</b> 54:12 <b>retired</b> 11:6 16:15 16:16 20:3 <b>retirement</b> 20:8 <b>retool</b> 184:15 <b>retrievable</b> 179:6 <b>retrospective</b> 161:13 <b>return</b> 271:4 <b>returning</b> 271:3 <b>Reva</b> 2:22 38:21 49:22 97:1 141:1 191:16 217:10 <b>revascularization</b> 139:22 <b>review</b> 11:3 40:9,12 76:19 141:17 191:18 192:6 194:18,22 195:2,3 195:5 <b>reviewed</b> 156:2,4 268:10 <b>reviewers</b> 43:11 163:1 <b>reviewing</b> 247:21 <b>reviews</b> 9:12 327:15 <b>revise</b> 259:15 <b>revising</b> 274:2 <b>revisions</b> 273:18 <b>revisit</b> 148:10 <b>rewarding</b> 321:19 <b>rewording</b> 171:19 <b>rhetorical</b> 138:7 <b>rhythm</b> 207:3	<b>rich</b> 184:5 <b>ride</b> 290:19 <b>rifle</b> 169:22 <b>right</b> 12:15 15:11 15:21 16:22 18:19 20:1,18 21:4 34:1 54:22 56:22 61:14 62:5 64:10 66:2 67:13 71:5 74:9 74:11 75:6 76:12 80:6 84:1 85:5 86:8 87:6 88:4,18 89:22 90:3 113:2 115:18,20 126:9,9 129:18 140:3 143:8 146:21 147:8 152:1,19 153:12 154:3 167:10,15 168:22 169:19 171:12,12 172:7 199:4 201:8 202:10 204:15 207:13 212:22 215:9 221:6 222:7 222:12,21 225:6 234:6,20 262:15 263:4 266:17,18 270:6 273:14,16 274:8 278:9 280:10 282:3 287:8,12 294:10 302:7 305:11 306:6 310:18 316:8 318:21 322:13 324:5 325:10 326:9 328:2 329:6 <b>rights</b> 53:14 <b>righty</b> 225:16 <b>rising</b> 249:11 <b>risk</b> 144:14,21 157:18,22 185:15 185:15 197:2 198:15 209:11,16 213:20 214:18 216:3 314:10 <b>risk-adjusted</b> 7:21	196:16 211:11 <b>risk-standardized</b> 4:13 183:10 189:2 189:14,21 <b>risks</b> 144:17 <b>Rite-Aid</b> 109:4 <b>RN</b> 1:19 3:6,8 <b>road</b> 218:4 <b>ROBERT</b> 3:13 <b>robust</b> 95:12 193:7 289:1 <b>roll</b> 33:1,13 <b>rolling</b> 271:9 <b>rookie</b> 100:22 <b>room</b> 1:8 10:13,19 21:15 31:7 58:17 108:9 112:18 183:19 197:4 224:21 225:5 277:14 293:20,21 309:12 323:15 <b>rooms</b> 180:5 <b>roughly</b> 155:22 277:13 <b>round</b> 303:15 <b>routinely</b> 141:2 216:19 <b>RSMR</b> 196:17 219:1,22 <b>RTs</b> 236:3 <b>Ruggiero</b> 2:12 4:7 99:11,11 <b>rule</b> 23:13,22 <b>run</b> 134:8 137:13 172:22 <b>rupture</b> 90:21 <b>rural</b> 161:16 171:2	119:6 305:1 <b>samples</b> 73:21 <b>Sana</b> 1:16 12:2 77:17 83:4 100:3 136:8 144:18 173:22 205:7 212:17 226:20 231:21 250:1 267:19 284:9 288:13 294:15 295:9 307:10 310:7 <b>Sana's</b> 17:19 93:2 <b>satisfied</b> 290:15 299:11 <b>saw</b> 10:18 118:18 134:1 175:16 215:22 240:19 320:7 <b>saying</b> 16:17 78:6 91:22 110:20 113:3 132:22 150:13 155:21 156:4 182:15 205:5 212:7 225:14 238:4,6 244:15 254:7 264:2 316:12 317:8,10 320:10 <b>says</b> 29:13 64:13 83:20 86:9 127:9 130:8 132:14 134:6 135:17 136:15,17 138:9 150:4,6,13 159:10 159:10 165:20 167:17 201:22 214:18 223:1 264:8 269:22 273:11 297:22 298:6 317:17 <b>scan</b> 42:22 <b>scatter</b> 211:22 <b>scenario</b> 160:22 <b>scenarios</b> 159:19 <b>schedule</b> 231:15 234:15 237:12
--	---	--	--	--

239:5 325:7 327:17 <b>scheduled</b> 171:21 243:3 326:20 <b>scheduling</b> 229:9 229:13,20 <b>scholarships</b> 115:3 <b>School</b> 1:18,22 2:3 2:7 3:13 <b>science</b> 191:11 <b>Sciences</b> 2:8 3:3 <b>scientific</b> 39:11 68:8 117:5 118:12 154:9 155:10 191:15 200:3 245:9 304:10 315:1 <b>score</b> 77:22 300:6,7 300:10,11 306:5,8 <b>scores</b> 170:17 188:17 215:16 305:4 <b>scoring</b> 50:18 210:14 <b>scour</b> 83:15 <b>screening</b> 265:4 <b>se</b> 51:20 59:4 127:5 <b>search</b> 179:19 <b>second</b> 24:12 28:5 69:12 159:18 164:5 184:16 191:17 210:21 233:4 283:18 293:4 296:5 302:14 <b>Secondarily</b> 29:16 57:1 <b>secondary</b> 4:6,7,9 4:11,13,15,20,22 5:13 6:9 279:11 <b>Secondly</b> 56:2 <b>seconds</b> 224:2 <b>section</b> 59:18 141:12 210:22 211:1,22 <b>see</b> 6:14 8:15 15:22 20:4 21:8 24:13	25:11,20 36:1 37:14 39:22 63:1 68:18 70:4 72:20 73:17 74:16 75:17 75:18 77:3 90:11 90:13 95:11,22 104:3 115:9 119:16 120:20 121:13 122:11 125:17 130:5,10 132:19 140:20 144:20 146:10 148:10 155:20 157:19 158:8,14 160:4 165:9,9 171:18 191:21 197:9 203:10 208:22 210:3 218:2,3 224:20 229:12 231:14 235:1 236:4 241:9 249:5,14 256:19 263:16,20,22 272:7 277:11,13 285:7 287:11 290:14 295:15,21 295:22 296:9,21 298:21 310:22 315:1 320:10,18 321:17,21 324:10 <b>seeing</b> 13:12 33:5 33:14 59:21 75:3 77:16 79:1 82:10 96:4 109:20 119:1 191:2 216:8 217:10 218:6 220:18 221:15 223:15 224:8 235:21 240:14 244:19 245:22 246:11 251:12 252:21 306:13 311:21 315:5 325:7 <b>seeking</b> 11:18 <b>seen</b> 19:10 73:10 75:13 145:5 197:1	227:16 233:20 234:7 237:18 240:3 241:11 255:20,21 276:5,8 <b>sees</b> 113:20 <b>segment</b> 22:9,22 <b>segments</b> 85:13 <b>select</b> 18:9 219:16 <b>self-care</b> 261:11 <b>sell</b> 74:12 <b>seminal</b> 138:14 <b>send</b> 16:16,20 25:9 62:20 83:16 109:3 124:19 161:17 170:12 171:15 173:5 238:22 263:13 310:2 <b>sending</b> 236:3 240:17 262:22 263:8 267:1,10 <b>sends</b> 16:6 <b>Senior</b> 2:10,20,21 2:22 <b>sense</b> 83:8,12 86:11 124:4 159:4 238:8 256:12 257:5 305:15 <b>sensitivity</b> 204:1,1 <b>sent</b> 239:17 240:11 <b>sentence</b> 42:18 99:1 273:1 <b>separate</b> 28:1 42:13 86:6 107:6 129:5 148:20 161:19 246:22 271:20 305:12 <b>septic</b> 24:21 <b>sequentially</b> 295:21 <b>series</b> 328:3 <b>serve</b> 92:4 97:18 116:9 <b>service</b> 20:5 35:16 <b>services</b> 3:7,8 58:18 270:16 <b>serving</b> 99:5 <b>SES</b> 189:19 <b>session</b> 94:17	<b>set</b> 23:22 52:14 58:9 64:14 121:8 126:13 138:19 155:15 159:19 186:8,10 188:20 199:2 213:19 223:8 328:5 <b>setting</b> 4:10,12 52:19 54:15 104:13 105:4,5,5 119:16,17 120:21 147:18 148:7 149:1,7,21 150:8 158:19 160:9 177:9 184:6 259:1 261:21 262:2 270:8,19 <b>settings</b> 104:15 124:11 161:15,16 161:17 171:15 <b>seven</b> 27:9 30:11 42:3 64:10 72:10 92:18 143:14 155:2 162:17 228:8,9 233:20 235:22 236:10,14 237:19 238:6 290:9 <b>seven-day</b> 231:11 <b>severity</b> 190:17 <b>shake</b> 148:11 <b>share</b> 16:2 267:21 <b>shared</b> 144:9 145:5 <b>sharp</b> 134:15 <b>Sheri</b> 104:5 <b>shocker</b> 74:16 <b>shoot</b> 295:5 <b>short</b> 74:13 103:11 214:16 <b>short-term</b> 196:2 260:2 <b>shortcoming</b> 170:10 274:20 <b>shortening</b> 287:13 <b>shortfall</b> 156:10 157:7 <b>show</b> 9:1 21:8,16	108:13 170:17,19 200:2 230:18 236:16 261:3 319:13 <b>showcased</b> 187:7 <b>showed</b> 10:15 186:6 228:5 247:1 259:20 <b>showing</b> 109:12 110:9 171:12 200:2 <b>shown</b> 22:11 32:17 32:22 128:3 197:18 209:4 <b>shows</b> 73:7 74:2 212:1 303:16 <b>shrinking</b> 46:3 <b>shuffling</b> 299:16 <b>sick</b> 237:16,17 <b>side</b> 26:16 156:1 241:12 315:1 317:8 <b>sign</b> 83:19 <b>signal</b> 16:7,17,21 19:4,18 74:17 76:16 245:19 306:2 <b>signed</b> 97:11 <b>significant</b> 29:2 33:5 34:3 38:14 38:17 113:17 118:18 177:6 273:18 277:5 <b>significantly</b> 102:15 228:10 <b>signs</b> 185:17 207:8 264:1 <b>similar</b> 13:11 14:3 89:14 95:3,17 109:6 113:10 122:15 145:12 200:12 211:10 233:9 240:10 242:19 243:21 256:8 309:5 328:5 <b>similarly</b> 53:2 <b>simple</b> 141:5 160:3
---	---	--	--	---

161:3 207:7 285:17 293:22 <b>simpler</b> 206:15 <b>simplified</b> 300:21 <b>simply</b> 52:14 101:19 127:7,21 157:19 165:11 288:1 300:20 <b>simultaneously</b> 219:15 <b>single</b> 45:20 134:19 137:1 296:17 <b>sinks</b> 180:5 <b>sir</b> 49:3 64:16 309:4 <b>sister</b> 147:15 <b>site</b> 75:10 77:10 86:19 87:13 127:10 130:1 162:8,22 212:9,12 261:9 <b>sites</b> 52:5 87:21 155:13 161:7,12 161:14 162:5 163:17 170:14,22 174:8 175:2 179:15 185:11 213:14 <b>sitting</b> 19:16 29:10 309:8 <b>situation</b> 13:8 299:11 <b>six</b> 79:8 96:13 142:21 149:16 161:7,7 162:17 174:7 175:14,15 175:18,22 176:10 183:6 246:18 252:10 278:20 281:13 292:1 322:22 <b>six-minute</b> 285:15 289:6,16 290:3,4 291:10 297:10 308:22 311:3,7 <b>size</b> 305:2 <b>Skaggs</b> 2:7	<b>skilled</b> 270:13,19 <b>slightly</b> 69:16 149:3 <b>slow</b> 291:20 <b>slump</b> 279:14 <b>small</b> 57:3 117:22 119:4 136:1,2 137:6,7 156:20 163:11 171:8 235:4 250:8 <b>smiling</b> 146:14 <b>smoking</b> 35:3,4,5,9 35:13,18,18 36:10 36:15 37:11 135:6 135:7 136:16,17 146:12 159:14 <b>Society</b> 2:9 261:10 <b>sold</b> 131:8 <b>solid</b> 15:14 28:15 <b>solving</b> 267:6 <b>somebody</b> 26:18 94:7 119:19 130:8 150:1 179:9 183:14 214:10 241:11 257:1 263:12,19 267:5 285:19 290:20 299:1 312:17 317:20 <b>someone's</b> 285:5 <b>someplace</b> 146:2 <b>somewhat</b> 7:20 118:22 130:6 148:13 205:18 222:4 275:8 <b>sooner</b> 95:19 <b>sorry</b> 23:3 36:7 55:12 79:17 86:2 100:10 108:19 126:4 173:8 194:20 211:15 239:20 246:1 247:8 264:8 268:10 270:5 272:22 274:10 296:20 298:5 306:6 <b>sort</b> 44:19 48:3	52:7 59:11 62:22 63:6,11 70:17 73:5 83:22 118:9 128:20 129:1 141:4 144:13 157:3 173:17 177:22 191:22 197:5,7 199:14 201:17 208:14 217:18 227:7 228:14 249:10 281:3 301:9 303:19 314:5 315:9 316:1 318:14 319:20 324:7 <b>sorted</b> 139:5 <b>sorts</b> 52:3 327:1,16 <b>sound</b> 90:2 <b>sounds</b> 85:16 173:6 181:2,6 <b>source</b> 17:3 195:20 200:16 207:13 220:21 268:13 <b>sources</b> 192:13 <b>spaces</b> 199:8 <b>Spangler</b> 2:14 4:20 35:20 37:14 38:20 40:2 49:4 50:6 52:19 99:19,19 100:10 226:6 228:18 232:11,15 237:10,22 238:4 242:17 243:21 245:11 246:21 248:18 251:21 254:6 255:8 256:4 286:14 313:4 <b>speak</b> 26:22 43:2 54:2 66:22 75:19 90:6 95:21 114:1 125:13,15 157:16 161:6,9 279:15 <b>speaking</b> 56:17 264:6 <b>specialist</b> 1:19 239:7 284:1	<b>specialty</b> 295:21 <b>specific</b> 35:21 36:2 36:6 55:21 56:10 66:11 110:18 122:14 166:20 185:8 192:18 214:13 261:10 264:17 270:7 291:21 310:11 <b>specifically</b> 43:22 81:11 94:15 95:18 187:7 261:3 269:5 309:3 <b>specification</b> 192:21 301:1 <b>specifications</b> 69:2 154:10 193:17 196:7,14 245:12 248:5,7 297:6,8 297:18 304:11 <b>specificity</b> 36:20 266:4 <b>specified</b> 183:1 192:10 259:11 <b>specify</b> 36:11 208:7 <b>specs</b> 195:11 <b>spectrum</b> 75:7 76:14 266:21 267:6 <b>speculate</b> 74:22 <b>speed</b> 71:16 289:11 289:11,13,14,15 291:10,11,21 292:17 <b>spend</b> 133:7,9 <b>spent</b> 179:1 295:9 <b>spine</b> 90:18 <b>spirit</b> 196:21 223:11 <b>split</b> 72:17 73:8,9 73:11 74:14 77:13 97:14 <b>spoke</b> 134:4 140:12 <b>spoken</b> 324:13 <b>St</b> 22:9,22 324:13 <b>stability</b> 277:14 <b>stable</b> 46:7 118:7	119:4,12,19 120:18 147:20 149:6 150:1,9,14 150:17 151:7,10 151:12,19 152:11 154:15,20 156:9 164:2,8 165:3,14 165:21 166:7,12 167:6,15 170:3 172:9,14 176:21 178:7 181:22 182:11 276:6 277:1 299:14 <b>staff</b> 2:18 195:3 234:13 249:13 265:8 291:11 296:22 <b>Stage</b> 317:18 <b>stamp</b> 23:16 <b>stance</b> 107:7 <b>stand</b> 39:7 55:3 90:4 291:16 292:2 292:8,9 <b>stand-alone</b> 15:3 <b>standard</b> 70:17 204:12 205:22 222:9 232:10,13 307:12 308:13,15 308:18 <b>standardization</b> 67:8 <b>standardize</b> 202:20 221:18 <b>standardized</b> 203:2 204:10 205:15 212:9 217:17 223:8 <b>standardizing</b> 312:14 <b>standards</b> 192:20 193:1 287:14 302:15 305:3 <b>standing</b> 1:3 60:18 97:13 324:19 327:7,13,21 <b>standpoint</b> 71:14 87:7 88:6 302:20
--	---	--	---	--

302:20,22 308:16 308:20 <b>star</b> 225:1 326:6 <b>start</b> 6:7 51:19 85:7 91:14 140:17 173:2 184:3 235:16 237:20 269:2 280:19 281:22 282:4 286:9 287:5 288:15,15,19 <b>started</b> 6:19 44:19 91:21 100:11 103:4 128:4 318:9 <b>starting</b> 184:14 <b>starts</b> 9:19 13:1 30:3 37:19 41:20 68:1 72:6 78:9 79:3 82:11 92:15 96:6,17 112:1 115:22 116:20 139:10 142:17 143:10 147:1,10 152:21 153:14 154:4 183:3 188:5 190:4 191:4 215:4 216:9 218:9 223:17 224:11 242:2 243:14 244:21 246:14 248:11 251:14 253:5 254:15 278:11 301:19 303:7 304:3 306:16 308:3 311:22 313:17 325:11 <b>state</b> 92:1 151:6 227:12 <b>state-of-the-art</b> 37:13 <b>stated</b> 77:20 121:4 148:21 187:4 <b>statement</b> 34:19 80:2 109:22 148:18 152:2 195:22 264:10	269:21 297:15 <b>states</b> 104:7 105:1 152:1 170:2 227:11 <b>statin</b> 7:1 35:10 145:12 <b>statistic</b> 170:18 186:2 213:21 216:3 <b>statistical</b> 260:7 <b>statistics</b> 134:20 <b>status</b> 11:16 13:17 13:22 14:8 15:16 16:4,6,11 21:1 64:20 112:21 114:3 166:3 208:3 208:6 240:16 241:15 299:21 300:1 <b>stay</b> 227:18,21 <b>stays</b> 260:11 <b>steady</b> 128:18 <b>Stearns</b> 2:14 4:22 93:19 98:16,16,20 <b>steering</b> 131:12,17 <b>STEMI</b> 8:8 9:10 22:8 23:17 25:10 27:5 79:12,20 80:3 <b>stent</b> 158:5 322:7 <b>stents</b> 7:13 <b>step</b> 23:12,13 25:15 50:10 85:18 102:2 111:1,6,7 124:8 149:14 191:17 199:19 231:18 286:7 314:6 320:9 320:18 321:16 322:12 <b>step-down</b> 58:19 <b>Stephen</b> 227:5 228:11 <b>steps</b> 27:9 64:10 <b>Steve</b> 101:3 115:13 151:7,14 <b>STEVEN</b> 3:10 <b>steward</b> 9:5 183:12	<b>STI</b> 95:8 <b>stick</b> 78:2 185:12 199:9 <b>sticking</b> 26:1 <b>stir</b> 299:18 <b>stole</b> 126:1 <b>stop</b> 15:21 19:19 21:4,12,18 23:1 34:9 136:17 <b>stopped</b> 21:14 <b>stops</b> 20:18 30:14 <b>strategies</b> 189:13 <b>strategy</b> 244:16 <b>stratify</b> 314:10 <b>Street</b> 1:9 <b>strength</b> 106:22 110:5,9 <b>strengths</b> 210:5 <b>stress</b> 167:2,3,3 <b>stressed</b> 57:16 <b>strict</b> 198:6 <b>strike</b> 299:9 <b>stroke</b> 1:13 146:10 235:3,4 238:12 <b>strong</b> 15:14 32:19 92:8 108:7 109:10 109:11,13,14 229:22 230:10 289:5 <b>stronger</b> 49:8 <b>strongly</b> 16:20 45:8 46:12 131:18 132:6 171:18 209:21 247:2 <b>structure</b> 273:1 <b>structured</b> 198:11 <b>struggle</b> 106:5 <b>struggles</b> 66:6 <b>struggling</b> 130:3 <b>STS</b> 117:19 125:8 125:13,14,17 126:6 139:19 143:2 <b>stuck</b> 303:3 <b>studies</b> 47:8 104:5 110:18 113:9 179:3 189:11	230:2 261:2 <b>study</b> 12:18 163:9 278:6 305:10 <b>stuff</b> 25:8 68:21 182:4 293:7 <b>subacute</b> 240:1,4 241:6 <b>subcategories</b> 62:11 <b>subcriteria</b> 20:17 20:20 <b>Subcriterion</b> 279:2 <b>subjective</b> 281:9 286:21 295:3 <b>submission</b> 32:1 41:17 <b>submit</b> 52:9 83:21 139:4 187:20 <b>submitted</b> 131:11 155:11,21 282:5 <b>submitting</b> 31:21 <b>subpopulations</b> 303:4 <b>subsequent</b> 16:14 <b>subset</b> 19:22 20:2 136:3 156:20 <b>substantial</b> 44:10 244:5 <b>substantially</b> 249:3 <b>substantiate</b> 34:14 <b>suburbs</b> 124:22 <b>success</b> 13:11 <b>successful</b> 208:19 <b>sufficient</b> 104:19 231:14 <b>sufficiently</b> 187:4 297:19 <b>suggest</b> 93:16 277:2 <b>suggested</b> 217:1 <b>suggesting</b> 86:3 <b>suggests</b> 172:8 289:1 <b>sum</b> 201:11 <b>summarize</b> 116:5 <b>summarized</b> 114:8 <b>summarizing</b> 318:18	<b>summary</b> 34:6 <b>super</b> 255:17 <b>supervisor</b> 162:22 <b>support</b> 221:11 319:5 <b>supported</b> 48:11 167:2 <b>supporting</b> 187:5 <b>suppose</b> 213:7 <b>supposed</b> 54:14 245:3 <b>sure</b> 17:7,13 31:3 32:13 39:9 42:10 43:21 44:2,5 49:19 50:6 54:14 93:2 127:1 149:1 167:11,13,14 168:15 169:10 172:5 173:17 192:3 193:4,22 198:8,21 203:16 231:5 245:6 254:11 255:10 261:19 263:16 264:22 268:14 276:2 301:14 309:12 314:11 315:2 <b>surgery</b> 8:2 116:7 117:11 118:3 120:1,14 125:17 135:21,22 147:21 147:22 <b>surgical</b> 117:20 <b>surmountable</b> 115:8 <b>surprised</b> 254:4 <b>surrogate</b> 317:3 <b>survey</b> 216:21 <b>surveyed</b> 275:14 <b>surveys</b> 309:6 <b>survival</b> 44:15 <b>Susannah</b> 3:1 183:22 197:20 213:16 214:18 <b>suspect</b> 29:1 <b>suspended</b> 11:17
--	---	--	--	--

16:15	<b>tag</b> 72:4	325:20	270:5	<b>thank</b> 6:3 8:12,17
<b>switch</b> 131:21	<b>taint</b> 323:17	<b>tangible</b> 16:3	<b>terms</b> 12:4 17:10	12:10 21:18 30:17
132:5	<b>take</b> 53:21 65:14	<b>target</b> 41:7 67:3	35:12 56:7 58:1	65:15 68:7 78:15
<b>switched</b> 131:21	73:1,2 75:9 105:8	<b>targeted</b> 22:5	69:5 76:14 80:4	82:16 96:11,22
132:3	109:6 110:6	<b>task</b> 35:16 46:14	84:14 97:8,19	97:2 100:7 101:1
<b>switching</b> 58:18	126:15 133:22	201:22	100:11 105:16	101:17 105:18,21
<b>symptom</b> 5:10	155:17 164:2	<b>TAVR</b> 126:7	116:8 118:10	106:9 116:15
279:8,21	172:18 194:1	<b>team</b> 57:19,21,22	121:15 127:16	153:5 155:5 183:8
<b>symptomatic</b> 318:8	221:20 222:16	58:16 101:10,11	133:20 164:18	188:10 190:15
<b>symptomology</b>	237:8 253:12	133:18 211:16	169:1 184:16	221:14 224:16,17
167:4	258:9 289:9 292:1	219:3 240:21	190:16 191:15	224:17 228:16
<b>symptoms</b> 4:21	292:3 311:4 320:9	255:22 258:13	196:21 205:21	254:19,21 257:21
176:22 181:21	<b>taken</b> 47:11 51:12	<b>technical</b> 191:18	207:21 208:17	260:18 279:2,19
258:19 259:3,12	51:20 264:12,16	192:5,20 194:22	209:16 215:13,19	297:4 325:15,16
259:13,18,20,21	265:9,11 266:5	195:3	216:2 223:1	326:9 329:2,7
261:12,16 262:17	269:11	<b>technicalities</b> 229:7	247:10 255:6	<b>thanks</b> 18:15 34:9
263:12 264:1,11	<b>takes</b> 66:16 175:8	<b>Ted</b> 2:2 98:8,15	268:3,6 269:7	50:13 55:12 56:13
264:13 265:5,8	209:8 235:12	<b>teleconference</b> 3:21	276:6 285:4,9	82:16 228:18
266:10,12 271:8	237:1 281:2	<b>Telehealth</b> 266:2	296:6 320:1,2	231:20 258:14
274:1,7,12,14,18	285:22 289:13	<b>telephone</b> 101:2	326:11 327:6	<b>theirs</b> 177:15
275:11,12,17,21	290:4 323:18	<b>tell</b> 24:16 34:19	<b>terrific</b> 57:5	<b>theoretically</b> 89:1
276:21 281:2,18	<b>talk</b> 27:10 70:4	47:14 84:12 97:8	<b>tertiary</b> 123:5,16	<b>theory</b> 121:14
282:21 283:4	117:8 131:1 134:4	108:8 137:20	123:16	<b>therapies</b> 284:2
284:14 285:6	135:5 148:15	170:16 179:3	<b>test</b> 31:6 45:10	288:17
286:4 297:20	155:17 194:11	200:4 202:19	162:2 167:2,4	<b>therapy</b> 2:4 7:7
312:11 318:11,21	195:6 199:5 211:2	230:16 234:7	176:12 200:18	23:20 42:20 70:5
318:22 319:18	226:22 238:2,5	283:6 290:8 295:6	208:22 280:9	70:9 79:14,21
<b>system</b> 2:16 43:10	242:21 257:14	320:5 321:3	285:10,17 296:19	80:5 151:20 166:9
62:8 63:6 131:14	<b>talked</b> 40:9 67:12	323:20 324:11	297:11 301:13	284:19,20 289:10
134:6 143:3,5	68:20 122:19	<b>telling</b> 309:9	311:10	320:4
268:5,9 276:15	146:9,11 173:22	<b>ten</b> 28:13 44:9 57:8	<b>test-retest</b> 162:7	<b>thereof</b> 248:7
303:20	186:20 193:11	64:10 95:12	<b>tested</b> 211:13	<b>they'd</b> 151:12
<b>systematic</b> 9:11	196:20 212:11	204:17,19 218:13	215:16	241:9
<b>systematically</b>	215:17 216:22	251:18 253:9	<b>testing</b> 33:1 41:10	<b>thing</b> 24:13 27:12
169:12	296:18 321:22	290:5,10 294:8	77:21 161:19	28:9 39:22 49:9
<b>systems</b> 104:8,20	<b>talking</b> 12:15 39:12	323:19	162:12 166:10	51:18 55:5 56:14
104:22 192:14	46:22 59:18 68:12	<b>ten-minute</b> 323:1	171:12 176:12,18	63:17 69:12 83:1
205:13,16 206:1	68:15 89:3 112:21	<b>tend</b> 42:8 60:16	186:15 198:21	84:1,12 95:4,17
<b>systolic</b> 45:4 69:13	124:1 144:10	113:13 114:18	200:7 208:10	110:21 111:11
185:17 198:17	155:15 178:13	<b>TEP</b> 194:17	210:22 211:1	128:20 130:17
<hr/>	180:11,15 216:17	<b>term</b> 48:22 62:4	215:14 222:12	132:5 134:3 135:4
<b>T</b>	238:20 242:18	97:13,18,20 98:3	245:17,19 260:8	136:12 137:1
<b>T</b> 204:1 226:1	245:17 268:4	98:6,14,14,15,17	285:14 305:4	145:9 146:1 158:1
<b>table</b> 4:1 148:21	272:13 292:4	98:20 99:4,9,10	306:2,3,4	173:22 181:19
293:21	298:13 326:15	99:12,14,16,18,20	<b>tests</b> 285:8,10	184:9,21 191:21
<b>tabulation</b> 38:11,11	<b>talks</b> 68:18 138:10	99:22 100:2,4,6,9	289:18 298:22	199:21 200:20
<b>tactics</b> 250:22	155:11 257:8	<b>terminology</b> 152:15	<b>Texas</b> 1:22	209:5 214:7

219:11 230:7	63:3,7,13 64:4,7	223:12 231:3,18	26:18 38:13 46:5	97:17 98:3,15
231:22 235:20	64:14 65:12 67:15	232:20,21 233:6	48:21 50:7 52:11	99:1,9,10,16,20
241:9 263:1 276:8	70:3,14 71:14	234:2 235:15	52:14 58:1 65:11	99:22 100:1
282:22 283:18	73:4 76:21 77:19	238:17,19 239:11	70:22 124:11,16	328:15
289:10 293:4	78:1 82:20 83:5	240:13 241:22	131:13 159:16	<b>threshold</b> 73:15
295:10 317:22	84:10,11,13,20	242:17 243:9	163:3,4 187:13	165:4
318:15,19	85:3 87:3,8 88:4,7	244:14 245:3,15	200:19 228:18	<b>thrombolytics</b> 28:4
<b>things</b> 19:19 20:5,6	89:10 90:6 91:11	247:5,22 250:7,13	230:10 232:17	<b>throw</b> 239:10
40:14 41:14 44:22	93:10 94:5 105:22	252:2,10 253:20	245:13,19 249:6	<b>throwing</b> 319:1
51:1 53:1,14	106:4,15,21 108:1	254:5 255:18	305:19 310:3	<b>thunder</b> 126:2
57:18 60:1,4,14	108:8,16 112:16	256:17 260:6,16	311:16	<b>tidy</b> 326:16
60:15,19,22 61:18	113:7,15,15	272:22,22 275:4	<b>thoughtful</b> 97:3	<b>tied</b> 52:21 53:5
65:22 67:12 68:15	118:10,12,21	278:5 280:20	<b>thoughts</b> 11:2	<b>ties</b> 261:5 316:7
76:7 79:16 84:2	119:5,9,10,15	281:17 282:14,20	233:18 329:4	<b>TIGHE</b> 2:21 6:10
85:20 106:4	120:2,5,16 121:19	283:20 286:10	<b>three</b> 8:5 9:20 10:4	6:16 8:13,17 23:3
128:13 139:2,4	123:12 124:9,15	287:1 288:14,21	13:2 20:19 21:17	30:17 67:11 74:1
144:6 153:22	124:16 125:8,9,12	288:22 289:2	30:4 35:15 37:20	97:10 98:7,13
177:3 180:7,15	125:14,20 127:2,3	290:17 298:8,9,18	41:21 57:10 64:10	116:15 154:17
182:11 192:4,18	127:6,6 128:19,22	299:19 300:5,11	68:2 72:7 78:10	181:1 182:18
194:13 197:20	129:4,4,13 130:21	300:13,15,16	79:4 82:12 92:16	224:19 225:5
199:2 201:11	132:11 133:11	301:11,16 303:14	95:14 96:7,20	278:22 279:12
203:9 204:14	134:1,13,21 135:1	303:16 304:13,17	98:5,11 99:6,13	296:20 325:18,22
205:20 207:7,14	135:2,4,5 136:21	305:14 307:4,10	101:15 112:2	326:9 328:22
208:9 209:13	137:3,9,13 138:15	308:19 309:13,15	116:1,21 117:14	<b>tighter</b> 272:7
229:11,20 230:5	139:2,17,19,22	309:20 311:5	117:16 134:9	<b>time</b> 4:6 6:4 12:22
240:22 288:18	140:12,18,19	312:9,11,13 313:7	139:11 141:18,19	14:11 19:21 21:21
292:21 304:18	143:1,5,18,19	314:22 315:17,22	142:18 143:11	22:6,10,11 23:16
317:1,2 326:16	144:4,5,18 145:8	318:15 319:4,7,12	147:2,4,5 153:1	25:13,14 26:4,5,7
327:7,16 328:1	145:14 146:4,17	319:16,22 321:4	153:15,15 154:5	26:11,12,12 27:3
<b>think</b> 11:2 15:12,13	148:12 150:19	322:14 324:2	172:14 183:4	27:7,19 28:12
15:21 19:18 20:5	151:15 152:2,9,13	325:6	185:8 190:5,10	29:9 40:12 41:17
20:10 23:10 24:1	152:16 154:15	<b>thinking</b> 64:9	191:5 201:11	44:15 51:14 53:15
24:7 26:14,15,16	155:3,14,22	172:21 220:9	208:4 215:6	55:4 56:16 58:22
26:19 27:4,19	156:11,16 157:8	237:14 282:1	216:10,14 218:10	60:19 63:5 70:2,8
29:3,5,6,10 32:2	157:15 162:10	317:22	223:19 230:18	72:1 73:22 77:6
33:20 34:4,12	164:15 166:13	<b>thinks</b> 15:22	234:1,20 242:3,11	79:13,14,22 84:17
35:1,12 36:14,16	167:5,7,20 168:1	290:20	243:15 244:22	90:19 96:11,22
36:18 38:13,16	171:20 172:6	<b>third</b> 146:1	246:15,22 248:13	97:15 98:1 132:6
39:3 41:8 43:10	177:2,10,11	<b>third-party</b> 149:13	250:22 251:15	133:12 134:9
44:16,18 45:16	181:19 182:18	<b>thirds</b> 86:10	253:6 271:20	139:3 141:19
46:8,17 48:2,6,10	189:15 196:18	<b>Thomas</b> 1:9,14 2:5	278:13 295:10	146:10 153:5
48:13 49:20 50:14	197:4,17 198:1	2:13 3:15 4:3,12	301:4,20 302:3	176:1,11,19 179:1
50:16,19,21 51:4	201:1 203:1,14,16	4:20 101:11	303:8,12 304:4	179:8,9 181:9,14
51:6,12 53:12	204:20 207:19,22	109:15,15,19	306:17 308:4	189:9 196:17
54:5 55:15,18	214:7 217:3,5	151:5,5 166:2	312:2 313:18	200:1 206:2
57:5 58:10 59:20	220:16 221:1,3,11	324:16	325:14 328:10	207:12 224:18
60:1,21 62:21	222:8,21 223:9,10	<b>thought</b> 11:5 21:14	<b>three-year</b> 97:12	228:3 233:21

234:5 239:15	218:22 219:17,20	<b>totally</b> 91:12	<b>treat</b> 300:15	253:14 282:21
242:18 246:4,9	<b>tingles</b> 90:18	107:17 135:10	<b>treated</b> 28:2 33:22	<b>trying</b> 43:3,5 48:13
248:6,7 249:21	<b>title</b> 7:19 274:11	155:15 298:15	35:11 240:11	75:20,22 84:1
252:7,12 259:16	289:20	<b>touch</b> 328:20	<b>treating</b> 102:13	100:12 125:21
261:19 262:3	<b>today</b> 32:11 55:7	<b>touched</b> 139:17	105:15 294:9	127:4 128:14
263:7 276:11,19	55:13 216:18	<b>tough</b> 159:13	<b>treatment</b> 82:3	129:13 130:16
277:1 280:16	278:4 325:15	<b>tougher</b> 138:2,4	265:16,18	160:18,19 177:19
281:8 287:12	<b>token</b> 171:8 272:5	<b>town</b> 324:9	<b>tremendous</b> 102:17	184:15 205:11
293:11 296:9	<b>told</b> 91:16 169:9	<b>track</b> 94:7 142:10	103:1 104:9	209:14 221:17
301:9,14 309:10	230:22	235:7	165:17 227:11,12	254:11 267:3
314:15,19 323:2	<b>Tom</b> 11:4 56:15	<b>tracks</b> 31:14 142:8	301:11	284:13 288:16
326:4 327:9	84:8,8 88:9 91:13	<b>traditional</b> 186:15	<b>tremendously</b>	289:2 306:11
328:15,19 329:5	92:11 98:11 99:3	<b>traditionally</b> 113:8	176:13	308:17
<b>time-limited</b>	152:2 158:3 205:7	134:22 150:18	<b>trend</b> 20:4 74:6	<b>TUESDAY</b> 1:5
162:13	210:2 215:1,1	<b>trans-extraction</b>	118:22 276:6	<b>turn</b> 40:15
<b>timeliness</b> 71:7,11	226:6 233:3	161:14	277:11	<b>turned</b> 65:8 75:17
<b>timer</b> 9:19 13:1	235:19 244:9	<b>transfer</b> 8:22 11:13	<b>trial</b> 206:7	76:20
30:3 37:19 41:20	246:1 253:13	20:1 22:2 23:14	<b>trials</b> 46:14 209:4	<b>turns</b> 211:6
68:1 72:6 78:9	254:3,7 271:11	27:13,20 28:1	239:13 287:5	<b>Twelve</b> 30:10 42:3
79:3 82:11 92:15	292:20 329:1	269:4,12 270:1,22	<b>tried</b> 58:7 70:15,16	92:18
96:6,17 112:1	<b>ton</b> 293:17	272:15 273:6	314:6	<b>Twenty</b> 147:12
139:10 142:17	<b>tool</b> 85:22 248:21	<b>transferred</b> 9:2	<b>trod</b> 82:20	<b>twice</b> 158:4
147:1,10 152:21	261:19 264:20	23:18 27:22	<b>troponin</b> 69:15	<b>two</b> 6:22 9:9,10,20
153:14 154:4	265:1 266:4	<b>transferring</b> 27:15	185:19,19,20	11:10 13:2 19:16
190:4 215:4 216:9	267:16 283:1,11	27:17	198:18 201:18	30:4,9 37:10,20
218:8 223:17	283:12 284:5,6,7	<b>transfers</b> 11:22	202:8 203:8,19,20	41:21 42:4 46:6
224:11 242:2	285:10 286:16	80:12	203:22,22,22	49:6,19,20 68:2
243:14 244:21	288:1 310:22	<b>transition</b> 221:7	204:15,16,22	69:17 71:4 72:7
246:13 248:11	316:22 317:17	<b>transitional</b> 220:17	206:15 213:1,2,6	72:11,21 73:9,21
251:14 253:5	<b>tools</b> 285:9 286:17	<b>transitions</b> 187:11	213:13 216:20	74:2 75:16 76:15
254:15 278:11	287:8 297:9 317:3	238:21	222:12 223:2	77:9,11 78:10
301:18 306:16	325:2	<b>translate</b> 275:17	283:3	79:4 82:12 86:10
308:3 311:22	<b>top</b> 29:6 47:1,7	<b>translated</b> 128:16	<b>troponins</b> 202:6,15	91:6 92:16,19
313:17 325:10	167:10	<b>translating</b> 186:12	213:1 222:10	96:7,18 97:19,20
<b>times</b> 26:3 42:22	<b>topic</b> 257:5 303:15	<b>transparency</b> 85:9	<b>trouble</b> 35:9 134:10	98:3,19,21 99:11
85:11 131:1 134:9	<b>topics</b> 290:10	<b>transplant</b> 116:7	272:12 279:17	107:5 112:2 116:1
185:3 232:18	<b>topped</b> 10:21 11:15	117:11 118:3	<b>true</b> 25:12 80:11	116:21 118:6
280:18 296:17	13:22 14:7 15:15	119:20 226:17	94:8 208:15	121:10 122:7,7
320:6	15:19 16:8,18	288:18 289:7	292:18 300:1	134:9 138:3
<b>Timing</b> 191:4	17:1 33:8 34:5	<b>transplantation</b>	<b>truly</b> 14:4 51:15	139:11,14,20
<b>Ting</b> 2:15 4:9 16:2	38:19 51:2 54:11	147:22	63:1 134:17	140:22 142:18
38:16 54:3 84:7	60:6,8	<b>transplants</b> 119:7	283:19 328:5	143:11 147:2,11
86:2 100:5,5	<b>topping</b> 13:12	<b>transportation</b>	<b>truncated</b> 310:20	147:13 152:22
144:2 151:17	16:12 60:9	113:13 230:20	<b>trust</b> 146:5	153:7 154:5
163:22 165:18	<b>total</b> 250:5	<b>travels</b> 329:5	<b>try</b> 37:3 44:4 52:17	159:19 163:1,22
167:12,21 173:8	<b>totality</b> 37:5 64:5	<b>treadmill</b> 280:8	53:6,7 70:10,11	174:22 183:4
177:2,16,19	64:15	284:7	73:3 76:3 173:2	185:16,18 188:6

190:5 191:5,10 203:15 215:5,9,11 216:10 218:9 219:10,15 220:6 223:18 224:5,12 230:2,19 236:7,18 237:3,7,12 241:8 242:3 243:15 244:22 245:4 246:14 248:12 251:15,22 253:6 254:16 278:12 281:3,14 289:13 290:11 292:21 294:16 296:11 298:16 301:4,19 303:8 304:4,8,8 306:17 308:4,8 312:1,5 313:18,22 325:11 326:18 <b>two-year</b> 97:12,17 97:20 98:13,14,17 98:20 99:3,17 100:4,5,9 <b>twofold</b> 148:19 <b>type</b> 14:12 35:21 36:2,11 38:21 50:5 116:8 157:14 244:13 266:5 270:22 271:1 285:13 286:16 293:14 <b>types</b> 7:13 15:4 24:18 50:4 163:13 264:19	<b>underplay</b> 319:17 <b>understand</b> 40:2 54:4 107:17,18 110:1 122:11 126:18 133:3 165:18 172:6 209:15 210:7 221:10,19 262:11 264:14 269:20 270:4 284:13 314:9 <b>understanding</b> 218:18 <b>understood</b> 108:4 <b>undue</b> 184:18 <b>uneducated</b> 309:7 <b>unenthused</b> 262:7 <b>unfortunately</b> 51:13 127:2 128:9 199:4 255:20 <b>uninsured</b> 115:4 <b>unintended</b> 25:2,3 294:5 <b>unintentional</b> 180:18,19 <b>unique</b> 192:8 <b>United</b> 1:19 104:7 170:2 <b>universally</b> 86:13 114:10 <b>University</b> 1:16,18 1:21,22 2:1,2,4,8 2:13 3:3 31:4 258:12 <b>University/Boston</b> 2:11 <b>unknown</b> 121:22 122:1,3,3 210:10 210:12 211:3,7 <b>unnecessary</b> 61:10 70:22 <b>unstable</b> 9:9 117:8 152:13 181:22 182:6,12 322:8 <b>unusual</b> 13:8 27:13 <b>unwieldy</b> 64:7 <b>update</b> 51:21	<b>updated</b> 328:14 <b>updating</b> 142:11 <b>upper</b> 185:21 201:20 202:4,10 202:16 203:8 204:2,6,8 212:8 212:10,13 213:2,6 213:12 223:2,5,8 <b>upwards</b> 103:14 197:1 <b>urge</b> 54:1 91:3 222:7 <b>urgent</b> 258:21 <b>usability</b> 85:6 86:18 92:20 96:4 139:18 143:16,22 145:10 146:22 178:20 218:15 223:16 224:4 251:20 253:4,10 312:7,9,13 313:15 313:21 <b>usable</b> 143:18,20 <b>use</b> 7:16 16:15 17:4 17:11,22 41:3 43:3 55:22 59:10 89:13 92:20 96:4 118:8 141:11 152:11 155:18 157:21 170:5,14 170:22 171:1 181:14 193:15 197:1 200:1,10 202:1 203:21 204:9 205:17 213:13 214:2 218:15 223:7,16 224:4 239:13 249:9,17,21 251:20 253:4,10 261:3 276:7 277:14 280:16 283:6 287:8 312:7 312:16,17 313:16 313:21 <b>useful</b> 57:4 58:8 87:8 199:20	309:20 316:2 <b>user</b> 40:20 <b>uses</b> 14:14 139:20 168:12 193:1 204:11 213:4 217:19 <b>usually</b> 72:2 236:20 270:11 <b>utility</b> 40:21 <b>utilize</b> 193:13 <b>utilized</b> 112:11 304:20	204:21 205:5 208:8 213:16 222:17 232:8 281:16 301:12 320:18 <b>value-based</b> 219:2 220:1 <b>values</b> 185:18 <b>valve</b> 117:11 118:2 120:1,14,16,22 121:14 122:4 125:17 135:22 147:22 <b>valves</b> 119:21 <b>variabilities</b> 227:11 227:12 <b>variability</b> 35:7 59:22 186:6,8 238:13 <b>variable</b> 66:3 75:10 198:9 208:12 <b>variables</b> 198:15 199:2,18 200:19 207:20 208:21 209:4,22 <b>variation</b> 33:18 43:19 57:1 71:21 73:17 200:3 <b>variations</b> 33:6,14 <b>varies</b> 34:22 <b>variety</b> 86:1 <b>various</b> 50:17 63:16 65:5 111:9 139:1 186:14 196:22 <b>vary</b> 238:10 <b>vas</b> 116:6 <b>Vasc</b> 300:7 <b>vascular</b> 214:4,11 <b>vasodilator</b> 318:4 <b>ventricular</b> 45:4 70:20 81:3,11 <b>version</b> 48:9 <b>versus</b> 28:21 50:10 57:19 58:11 72:18 73:8 74:7,11 76:15,19 80:3,5
---	---	---	--	---

## U



123:15 136:6 152:4 209:15 210:12 212:2 215:20 291:20 <b>vett</b> 193:5,9 <b>vetting</b> 193:7 <b>viable</b> 201:4 <b>Vice</b> 2:10 <b>victims</b> 13:10 <b>Vidovich</b> 2:17 5:13 18:17 19:1,12 26:6 29:5 64:17 99:8,8 145:11 273:10,15 274:6 274:15 279:11 282:12 300:19 321:21 <b>view</b> 107:5 119:11 201:19 <b>visit</b> 133:9 240:10 251:1 263:19 266:1 290:5 296:2 296:17 298:4 304:14 308:22 316:12 323:1 <b>visits</b> 238:20 264:9 <b>visualizing</b> 268:2 <b>vital</b> 185:16 207:8 <b>VO2</b> 280:10 <b>volume</b> 75:2 77:4 <b>voluntary</b> 31:14 94:17,20 <b>vote</b> 9:17 12:22 15:7 20:15 21:11 30:1 37:17,18 41:19 67:10,20 68:18 72:3,5 77:16 78:2,8 79:1 79:2 82:10 90:20 92:12,13 96:5,11 96:15 106:15 111:20,21 115:21 116:18 131:22 139:8 140:4 142:15 143:8 146:21 147:7,9 152:19 153:12	154:3 167:21 168:3 172:18 173:18 177:20 178:4 181:7,12 182:15,22 188:4 190:3 191:3 215:2 215:3 216:8 218:7 221:16 223:15 224:8,9 241:20 242:1 243:12 244:20 245:22 246:12 248:10 251:13 253:3,12 254:13 257:3 278:9 301:16 303:6 304:2 306:14 308:2,2 311:21 313:15 314:3 324:15 325:9,11 <b>voted</b> 10:5,6 13:5,6 13:6 30:9,10,10 30:12 38:3,4 42:3 42:3,4 68:5,6 72:10,10 78:13,14 79:8 82:17,17 92:18 96:13,19,20 112:7,7 116:3,3 117:1 139:13 142:20,21 143:14 147:12 153:6,17 154:7 183:6,6,7 188:12,13 190:10 190:10 191:10,10 215:9 216:13 218:14,14 224:5 224:14,15 242:11 246:18 248:15 251:18 253:9 254:19 257:1 278:19 303:12 304:8 306:21 308:7 312:6 313:22 325:13 <b>votes</b> 131:22 <b>voting</b> 15:11 21:7 21:10 67:13,21	98:9 106:16,19 108:16,18 115:22 116:20 143:10 165:20 167:15 183:3 188:5,7 190:7 191:7 215:5 215:8 216:12 218:12 223:18,21 224:13 278:12,16 302:1 303:7,10 304:3,6 306:19 308:6 312:4 313:20 325:12,14 327:4 <b>Vy</b> 2:19 98:3 <hr/> <b>W</b> <hr/> <b>wagging</b> 319:2 <b>wait</b> 46:5 48:21 100:8 <b>waiting</b> 45:19 309:11 323:15 <b>waiving</b> 36:22 <b>walk</b> 234:10 285:15 289:6,16,22 290:3 290:4 291:10,20 292:4 293:7,18,21 294:9 308:22 311:3,7 321:13 <b>walker</b> 299:17 <b>walking</b> 297:11 <b>Wanda</b> 3:8 17:17 17:18 18:13 23:4 23:7 30:17 <b>want</b> 8:10 9:13 15:20 16:20 18:20 21:1,16 27:6 39:9 39:16,22 41:2 42:22 49:4 50:12 64:3 69:12 77:18 85:15 90:20 103:12,15 106:18 116:9 120:17 122:12 126:17 131:7 132:9 133:2 133:3 134:4 145:1 145:2,3,3 146:4	148:9,15 149:1 152:13 155:17 164:15,21 165:9 171:18 173:21 178:8,20 184:11 184:22 192:13 193:4 198:2 201:17 208:3 213:6,9 217:11 221:2 223:4 230:13 231:14 232:8,12 247:9 253:16,21 254:1 263:1,2,6 267:8 275:14,22 285:3 288:13 290:19 295:5 297:21 307:11 314:4 315:2 323:16 329:7 <b>wanted</b> 46:20 65:17 88:17 90:9,10 95:22 98:1 109:22 122:17 148:19 149:17 161:5 163:17 171:6,7 176:10 185:12 192:12 193:22 198:8 235:18 289:4 297:7 305:22 <b>wanting</b> 221:12 <b>wants</b> 170:5 197:10 246:11 <b>warning</b> 84:8 <b>Washington</b> 1:9,17 2:2 <b>wasn't</b> 38:9 78:21 80:1 190:20 230:10 237:11 241:1 <b>watch</b> 293:20 <b>watched</b> 239:17 <b>water</b> 249:10 <b>waters</b> 221:10 <b>way</b> 34:7 39:18 42:18 52:11 53:8	63:10 70:4,12,14 77:5 85:13,19 107:7 121:2,4 124:13 131:13 133:13 134:20 146:18 148:18 149:22 169:14,14 169:18 172:7,20 192:16 222:10 228:21 236:11 250:14,20 257:9 295:19 298:19 299:20 300:15 303:17 316:21 321:21 <b>ways</b> 50:17 52:7 53:8 270:10 <b>we'll</b> 6:7 8:18 21:18 67:20 79:2 82:10 96:15 97:2 111:21 115:20 116:18 117:8 139:8 140:3 142:15 143:8 146:21 147:8,15 152:19 153:12 154:3 156:6 183:9 194:10 220:21 224:9 229:1 278:9 313:10 315:14,14 315:15 326:19 328:5 <b>we're</b> 12:14 13:12 17:11 19:16 20:4 21:20 25:7,12,17 43:4 45:19 54:14 55:5 56:22 59:7,8 59:18,21 61:9 63:10,14 67:13 72:4 75:3 88:10 97:13 101:18 106:16,18 108:16 108:18 119:1 122:10 123:10 125:10,10 130:7 132:16 136:10 137:3 141:22 142:11 144:10
---	---	--	---	---

145:17 149:5 155:15 156:4 157:12 160:18,19 164:15,16,16 165:8,20 167:5 168:9 169:16 171:12 172:20 174:9 178:7,13 180:15 181:6 184:8 188:4 191:3 201:6 208:2 210:16 211:16 214:20 215:3 220:17 221:6,9,17 226:3 229:5 238:20 241:22 242:18 245:3,4 253:20 260:17 267:3,6,9,10 268:4 272:19,20 276:18 277:16 289:10,11,14 292:4 298:8 306:11 319:7,15 320:22 325:17,18 328:12 <b>we've</b> 24:17 56:12 60:5,5 64:14 67:12 77:15 82:20 89:3 127:13 139:17 146:11 155:2 171:20 207:16 216:16 235:2 247:19 250:15 258:4 276:8 288:4,19 303:15 320:21 323:6 <b>weaker</b> 127:4,17 <b>weakness</b> 108:21 <b>weaknesses</b> 210:5 <b>webpage</b> 271:16 <b>website</b> 94:22 259:10 <b>week</b> 134:9 237:8 <b>weeks</b> 149:16 173:2 175:9,9,9 236:18	237:3,7,12 241:8 280:3 296:11 <b>weigh</b> 207:11 <b>weight</b> 35:12 46:15 <b>weighting</b> 35:10 37:4 49:6 68:20 <b>Welcome</b> 4:2 30:22 279:18 <b>well-detailed</b> 245:14 <b>well-known</b> 48:2 <b>well-stated</b> 295:3 <b>well-vetted</b> 206:5 <b>went</b> 7:6 97:5 158:6 196:4 225:18,20 <b>weren't</b> 11:6 46:21 161:22 228:6 237:14 <b>whatnot</b> 120:15 315:10 <b>whatsoever</b> 257:18 <b>white</b> 57:14 <b>whites</b> 10:16 <b>wholeheartedly</b> 84:4 <b>wide</b> 57:1 <b>widely</b> 19:13 <b>widened</b> 318:5 <b>wider</b> 199:2 <b>wife</b> 41:1 85:1 <b>willing</b> 20:12 51:21 154:18 175:22 <b>win</b> 249:16 <b>WINKLER</b> 2:22 10:3 11:9 13:7 14:14 15:9,12 16:5 17:14,18 18:21 19:3 20:14 24:5 30:13 39:6 40:8 41:4 50:3 62:21 68:9 100:14 138:21 141:8,21 148:12 171:17 177:14,17,21 183:14,17 187:1 191:20 217:11 220:16 224:16	225:8 248:3 255:2 257:22 258:14 273:17 274:10 305:22 307:4,21 320:20 326:11 329:1 <b>wise</b> 50:11 151:3 <b>wish</b> 114:8 <b>withdraw</b> 226:12 <b>withdrawn</b> 226:12 <b>withstood</b> 301:13 <b>women</b> 12:16,18 112:15 113:9,11 113:13 <b>wonder</b> 35:6 133:19 <b>wondered</b> 257:9 <b>wonderful</b> 140:20 191:14,20 280:7 280:15 310:12 <b>wondering</b> 61:12 182:7 238:7 324:20 <b>word</b> 7:18 43:3 62:5 90:19 152:11 177:11,12 179:19 <b>wording</b> 155:2,3 166:15 173:5 182:7 230:5 274:7 <b>words</b> 164:8 166:6 172:13,14 255:15 <b>work</b> 17:13 26:19 56:18 61:1,10 85:9,13 95:5 97:9 101:3 104:21 162:2,2,19,20 163:15 168:8 171:9 180:9 194:5 198:4 217:20 236:11 237:5 268:3,6 301:3,4 322:12 323:9,11 328:4,19 329:3 <b>worked</b> 20:12 56:3 134:20 174:21 193:12 195:4 207:16 240:20	<b>workgroup</b> 229:8 230:9 <b>working</b> 31:5 45:15 56:17 58:21 73:5 142:4 251:2 328:12 <b>works</b> 39:22 41:2 93:8 209:2 214:14 251:5 293:3 309:12 <b>world</b> 19:19 63:1,2 121:1 136:11,22 137:22 144:8 150:22 309:16 322:10 <b>worlds</b> 61:14 <b>worried</b> 286:15 <b>worry</b> 35:2 72:21 82:21 225:15 294:4 <b>worse</b> 111:4 203:11 237:7,8,21 263:20 316:13 <b>worsen</b> 151:4 <b>worsening</b> 151:11 164:10,13 166:3 236:18 <b>worsens</b> 150:19 <b>worth</b> 144:20 175:22 <b>worthy</b> 46:17,17 <b>wouldn't</b> 29:15 87:22 94:22 124:3 158:15 159:1 221:20 241:15 315:19 328:10 <b>wrap</b> 181:11 <b>wrapped</b> 14:12 <b>write</b> 231:6 322:19 326:19 <b>writing</b> 29:14 144:6 231:13 274:9 281:6 <b>written</b> 297:22 310:4 <b>wrong</b> 48:7 49:22 262:12 285:8	<b>wrote</b> 85:16 296:1 <b>Wunmi</b> 2:19 98:1 <hr/> <b>X</b> <hr/> <b>Y</b> <hr/> <b>Yale</b> 3:13 31:4 183:21,22 192:7 211:11 <b>Yay</b> 117:3 <b>yeah</b> 159:8,16 162:16 171:11 174:20 182:18 183:21 210:20 211:15,16 220:16 262:6 275:14 277:11 283:9 284:10 288:12 289:19 291:8 293:22 300:19 306:6,7 317:7 319:4,19 <b>year</b> 25:10,11 45:21 55:8 91:6 98:6 99:12,14 100:15 140:22 141:7 150:2,5,20 154:15 156:5,8 162:15 163:5 171:10 172:10 202:5 203:6,12 217:21 235:5 302:14,14 328:6 <b>year's</b> 175:22 176:18 <b>years</b> 16:15 29:7 46:6 57:14 59:14 64:22 77:9,11 95:13 97:20 98:12 98:19 99:6 101:6 102:5 141:18,19 146:15 155:2 156:14 180:4 204:17,19 252:10 260:21 274:5 275:3 276:1 311:16 323:19
--	--	---	---	---

328:10 <b>yesterday</b> 6:4,18 28:11 32:3 40:10 46:21 72:19 95:13 109:1,7 116:5 122:1 124:15 186:21 190:14 244:11 282:14 <b>York</b> 101:4 226:19 281:1 283:22 286:21 287:21 288:8 291:2 294:19 295:4,7,14 295:22 298:15,17 299:3 302:16 309:13 316:18	<b>1-0</b> 144:12 <b>10</b> 28:20 49:11,12 51:7,8 76:13 183:6 222:1 233:21 237:19 238:22 <b>10-day</b> 228:8,9 231:11 236:14 <b>10,000</b> 201:19 <b>10.8</b> 189:5 <b>10:00</b> 97:1,2,6 <b>100</b> 4:10 10:11 76:9 76:9,11 103:16 129:9 137:6 <b>1030</b> 1:8 <b>10th</b> 10:12 <b>11</b> 32:15 34:12,15 35:7 38:3,4 43:5 49:11,12,12,12,15 49:16,16 58:2 60:1,4,15 61:18 61:19 62:11 70:12 72:10 88:22 91:10 152:4,8 224:5 246:18 306:20 <b>117</b> 74:3 <b>12</b> 51:7 147:19 150:8,15 151:21 152:3 165:22 166:4,15 167:16 177:9 182:10 218:14 312:6 <b>12-month</b> 271:10 <b>12:13</b> 225:19 <b>12:15</b> 225:6 <b>12:30</b> 225:14 <b>12:44</b> 225:20 226:2 <b>1200</b> 302:12 304:21 305:14 <b>13</b> 13:6 28:18,21 152:4,7 161:12,14 162:5 197:2,6 215:10 242:12 313:22 <b>13.1</b> 189:4 <b>133</b> 95:13 <b>14</b> 96:13 143:14	171:5 216:13 238:6,7 302:3 <b>147</b> 4:12 <b>15</b> 10:5 68:5 79:8 112:11 133:9 147:5 153:6 222:1 238:22 248:15 <b>150</b> 155:22 156:11 305:20 <b>1500</b> 156:1 <b>15th</b> 1:9 <b>16</b> 78:13 82:17 139:13 142:20 154:7 190:9 303:11 304:8 308:8 325:13 <b>167</b> 305:11 <b>17</b> 116:3 243:18 <b>18</b> 24:15 103:8 254:19 298:1 328:9 <b>183</b> 4:14 <b>19</b> 24:21 112:6 153:16 188:12 191:9 245:4,7 <b>1a</b> 35:1 54:5,20 102:18 279:2 <b>1As</b> 51:1 <b>1B</b> 141:9 <b>1D</b> 68:16	277:8 <b>2011</b> 45:18,20 259:10 <b>2011-2012</b> 77:7 243:1 302:12 <b>2012</b> 9:7 45:18 46:6 <b>2013</b> 9:7 <b>2014</b> 1:6 91:5 <b>2015</b> 327:11 <b>21</b> 4:6 224:14 <b>22</b> 1:6 101:6 224:1 <b>224</b> 4:16 <b>226</b> 4:19 <b>23</b> 245:7 <b>234</b> 117:22 <b>2377</b> 4:8 30:18,21 <b>24</b> 8:21 <b>2450</b> 5:10 279:6,8 <b>2455</b> 4:18 226:4 <b>2458</b> 226:11 <b>2473</b> 4:13 183:9 <b>25</b> 73:15 74:7 <b>2500</b> 156:12 <b>258</b> 4:21 <b>25th</b> 10:10 262:13 <b>279</b> 5:11 <b>28</b> 216:1 <b>280</b> 186:7 188:22 <b>286</b> 6:11 <b>28th</b> 149:11	326:22 <b>300</b> 174:10 <b>31st</b> 188:19 <b>326</b> 5:15 <b>329</b> 5:17 <b>35</b> 74:7,11 76:6,9 103:9 162:21 228:7 302:14 325:17 <b>36</b> 302:13
<hr/> <b>Z</b> <hr/>				<hr/> <b>4</b> <hr/>
<b>zero</b> 76:11 80:20 81:6 82:5 211:3 316:6 <b>zeros</b> 49:13				<b>4</b> 281:12 283:19 317:18 <b>40</b> 74:7 <b>45</b> 74:7,11 76:7,9 175:15,16 <b>48</b> 236:4
<hr/> <b>0</b> <hr/>				<hr/> <b>5</b> <hr/>
<b>0.006</b> 202:15 <b>0.026</b> 202:12 <b>0.04</b> 202:10,16 <b>0.73</b> 322:3 <b>0.78</b> 186:2 216:4 <b>0.86</b> 215:22 <b>0.99</b> 306:7 <b>0286</b> 4:5 6:7 8:19 <b>0289</b> 4:6 21:21 23:6 23:8 <b>0521</b> 4:21 257:21 258:1 <b>0642</b> 4:9 100:18 124:18 127:7 <b>0643</b> 4:11 124:6,12 125:1 127:14 147:16	<b>0.006</b> 202:15 <b>0.026</b> 202:12 <b>0.04</b> 202:10,16 <b>0.73</b> 322:3 <b>0.78</b> 186:2 216:4 <b>0.86</b> 215:22 <b>0.99</b> 306:7 <b>0286</b> 4:5 6:7 8:19 <b>0289</b> 4:6 21:21 23:6 23:8 <b>0521</b> 4:21 257:21 258:1 <b>0642</b> 4:9 100:18 124:18 127:7 <b>0643</b> 4:11 124:6,12 125:1 127:14 147:16	<b>2</b> 13:5 49:15,16 117:1 298:17 310:4 317:21 <b>2:26</b> 329:11 <b>20</b> 76:13 116:22 133:10 227:8 228:11 <b>20,000</b> 188:22 <b>200</b> 171:9 174:4,10 180:4 <b>2000</b> 156:12 <b>2002</b> 227:5 <b>2007</b> 103:4 <b>2009</b> 188:19 <b>2010</b> 103:5 269:16	<b>3</b> 49:15 112:7 281:14 283:19 291:2 295:22 310:3 317:21 318:6,10 <b>3,000</b> 48:5 <b>30</b> 4:8 25:9 112:12 134:22 135:2 189:14 195:16 227:10,17 311:1 <b>30-day</b> 4:13 8:7,8 94:15 183:9 185:12 195:13 211:10 219:1 227:21 310:22	<b>5</b> 60:4 116:3 210:10 <b>5,000</b> 25:11 <b>50</b> 202:7 227:15 243:1 281:12 <b>50-50</b> 97:14 <b>541</b> 250:7 <b>55</b> 33:16 <b>553</b> 41:14 <b>56</b> 128:4 <b>5As</b> 35:22 37:11,15 <b>5th</b> 326:16
<hr/> <b>1</b> <hr/>				<hr/> <b>6</b> <hr/>
<b>1</b> 4:2 9:7 46:10 56:21 76:7 84:14 96:14 100:11 187:19 188:19	<b>1</b> 4:2 9:7 46:10 56:21 76:7 84:14 96:14 100:11 187:19 188:19			<b>6</b> 4:2 10:6 78:14 <b>60</b> 26:8 45:22 81:17 134:7,8,15,18,20 <b>65</b> 188:18 196:1 <b>66</b> 33:15 <b>69</b> 247:1
				<hr/> <b>7</b> <hr/>
				<b>7</b> 13:6 49:11 68:5 <b>70</b> 38:12 45:22 51:4 111:7 <b>75</b> 33:17 81:18 128:5 <b>75th</b> 28:18 262:13

8				
8 4:5				
8,800 260:22				
8:00 1:9 6:2				
80 86:21 103:14				
111:7 145:20				
324:12 328:11				
800 156:16				
839 41:11				
87 10:12				
888 260:22				
9				
9 49:11,12				
9.6 189:4				
9:44 97:5				
90 26:8 103:14				
900 41:8				
95 10:17				
950 41:9				
95th 202:2				
96 10:16 37:15				
97 10:16 73:11				
98 25:8				
99 33:11				
99th 202:1,11,20				
221:21 222:13				
9th 1:8				

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Cardiovascular Measure Endoresment  
Project Standing Committee Meeting

Before: National Quality Forum

Date: 04-22-2014

Place: Washington, D.C.

was duly recorded and accurately transcribed under  
my direction; further, that said transcript is a  
true and accurate record of the proceedings.



-----  
Court Reporter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)