

NATIONAL QUALITY FORUM

+ + + + +

COST AND RESOURCE USE PHASE II:
CARDIOVASCULAR CONDITION-SPECIFIC
STANDING COMMITTEE MEETING

+ + + + +

TUESDAY

MARCH 4, 2014

+ + + + +

The Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 9:00 a.m., Brent Asplin and Lisa Latts, Co-Chairs, presiding.

PRESENT:

BRENT ASPLIN, MD, MPH (Committee Co-Chair),
Fairview Health Services
LISA LATTS, MD, MSPH, MBA, FACP (Committee
Co-Chair), WellPoint
ARIEL BAYEWITZ, WellPoint*
LAWRENCE BECKER, Xerox Corporation*
MARY ANN CLARK, MPH, Intralignt*
CHERYL DAMBERG, PhD, MPH, RAND Corporation
JENNIFER EAMES HUFF, MPH, Pacific Business
Group on Health*
NANCY GARRETT, PhD, Hennepin County Medical
Center
ANDREA GELZER, MD, MS, FACP, AmeriHealth
Mercy Family of Companies
MATTHEW MCHUGH, PhD, JD, MPH, RN, CRNP,
FAAN, University of Pennsylvania
JAMES NAESSENS, ScD, MPH, Mayo Clinic
JACK NEEDLEMAN, PhD, UCLA Fielding School of
Public Health
JANIS M. ORLOWSKI, MD, MACP, Association of
American Medical Colleges
CAROLYN PARE, Minnesota Health Action Group
JOHN KEVIN RATLIFF, MD, FACS, Stanford
University Medical Center
ANDREW RYAN, PhD, Weill Cornell Medical
College
JOSEPH STEPHANSKY, PhD, Michigan Health &
Hospital Association*
LINA WALKER, PhD, AARP's Public Policy
Institute
WILLIAM WEINTRAUB, MD, FACC, Christiana Care
Health System
HERBERT WONG, PhD, Agency for Healthcare
Research and Quality
DOLORES YANAGIHARA, MPH, Integrated
Healthcare Association

NQF STAFF:

HELEN BURSTIN, MD, MPH, Senior Vice
President, Performance Measurement
TAROON AMIN, MA, MPH, Senior Director,
Performance Measurement
ERIN O'ROURKE, Project Manager, Strategic

Partnerships

ANN PHILLIPS, Project Analyst

ASHLIE WILBON, RN, MPH Managing Director,
Performance Measurement

EVAN WILLIAMSON, MPH, MS, Project Manager,
Performance Measurement

ALSO PRESENT:

SUSANNAH BERNHEIM, MD, Yale School of
Medicine - CORE

NANCY KIM, MD, PhD, Yale School of Medicine
- CORE

HARLAN M KRUMHOLZ, MD, Yale School of
Medicine - CORE*

* present by teleconference

A-G-E-N-D-A

Welcome 5
 Brent Asplin (Co-Chair)
 Lisa Latts (Co-Chair)
 Helen Burstin, NQF

Introduction and Disclosure of Interest 11
 Dr. Burstin

Standing Committee Role 19
 Ashlie Wilbon, NQF
 Evan Williamson, NQF

NQF Affordability Work 81
 Taroon Amin, NQF
 Ms. Wilbon

Review of Cost and Resource Use Measurement
 Portfolio/MAP Input 130
 Dr. Asplin
 Dr. Latts
 Erin O'Rourke, Strategic Partnerships

NQF Member and Public Comment 205

Overview of Evaluation Process 206
 Mr. Amin
 Mr. Williamson

Consideration of Candidate Measures
 2431: Hospital-level, risk-
 standardized payment associated with a 30-
 day episode-of-care for acute myocardial
 infarction (CMS/Yale) 232

2436: Hospital-level, risk-
 standardized payment associated with a 30-
 day episode-of-care for heart failure
 (CMS/Yale) 412

NQF Member and Public Comment 471

1 P-R-O-C-E-E-D-I-N-G-S

2 9:04 a.m.

3 MR. WILLIAMSON: Good morning,
4 everyone and welcome to the Cost and Resource
5 Use Phase II Standing Committee meeting.

6 We really appreciate everybody
7 joining us today. We understand there were a
8 lot of weather and travel difficulties so
9 everybody who's in the room, they braved those
10 challenges and made it here.

11 And I know we have a lot of people
12 participating on the phone line so we'll try
13 to manage that as best as we can throughout
14 this two-day meeting. We'll make sure that we
15 get everybody involved and keep everyone
16 involved throughout the course of the meeting.

17 We have a lot to cover, a packed
18 agenda involving both strategic discussions
19 and measure evaluation.

20 So, with that we'll go into our first
21 agenda item which is a welcome and kind of an
22 agenda review and ground rules.

1 So for those here in the room we have
2 restrooms available. It's the exit past the
3 main elevators. They're on your right.

4 We'll be taking several breaks today.
5 We're going to try to stick to the posted
6 time. We have Brent and Lisa here who are our
7 co-chairs who are task masters. They're going
8 to make sure we stay on time and stay on
9 topic.

10 So we're intending to break at 10:45.
11 We'll have a lunch at 12:30. And then again
12 at 3:15 there's another break. Those breaks
13 will be preceded by public and member comment
14 as indicated on the agenda.

15 And again, for those public
16 participating on the phone line we'll make
17 sure we try to stick to those so that we can
18 get that covered and make sure you can provide
19 input to the meeting.

20 Again, for those in the room a little
21 process step. In order to speak, I know many
22 of you have been at an NQF meeting before. We

1 use these little table tents. If you just
2 turn it on edge that will indicate that you
3 would like to speak and the co-chairs will
4 call on you.

5 For those on the line, the committee
6 members participating, we will use the chat
7 feature available through the webinar
8 platform. We have it up here in the room. So
9 that if you would like to speak just send a
10 chat to the leaders. It'll show up on the
11 screen and then we'll call on you.

12 Again, we need a little more formal
13 process than we usually do for people on the
14 phone just because I think we have up to six
15 or seven people participating on the line. We
16 want to make sure that we include you in the
17 discussion so we hope that that will be a
18 sufficient workaround for that.

19 So we have our NQF project staff here
20 in the room. Again, my name's Evan
21 Williamson. I'm the project manager.

22 Ashlie Wilbon ran into some Metro

1 issues this morning on the Red Line. They
2 should call it the delay line, I don't know,
3 the stopped line. But she'll be here
4 hopefully soon.

5 Ann Phillips is our project analyst.
6 Again, I know many of you were on the project
7 last time. She's new to the project so we'll
8 welcome her.

9 And then we have -- there's Ashlie.
10 And then we have Taroon Amin who's the senior
11 director on the project.

12 We're also joined by Helen Burstin.
13 She's the senior vice president for
14 performance measurement. She'll actually be
15 running through the disclosure process this
16 morning instead of Ann Hammersmith. So we're
17 glad to have Helen here.

18 A quick rundown of the agenda. We're
19 doing the review of the agenda right now.
20 We'll move into a disclosure of interest
21 process followed by some really strategic
22 discussions this morning.

1 Again, you might have realized that
2 we're using new terminology now. We kind of
3 covered this on our orientation call. Instead
4 of a steering committee we're now a standing
5 committee and so that brings with it some new
6 responsibilities and roles. More of an
7 ownership over our portfolio for cost and
8 resource use. And really provide us with some
9 direction, some really far-reaching direction.

10 So we're really excited about that.
11 We're going to spend most of the morning going
12 over that role, how it fits into NQF's other
13 affordability work going on.

14 We'll go over the measurement
15 portfolio as well as some input to our Measure
16 Applications Partnership.

17 After that we'll go over the
18 evaluation process. We'll go over an
19 overview. A few things might have changed
20 since last time. We've been doing a lot of
21 improvement work on our process. And so
22 actually a lot of it came out of our last

1 phase of work. Some of the considerations
2 with close votes and how we really handle
3 reaching consensus on this work.

4 And so we'll go over that evaluation
5 process before lunch. Again, we'll have a
6 public and member comment and then move into
7 lunch.

8 In the afternoon we're going to
9 consider candidate measures. Today we'll be
10 going over the two Yale/CMS measures. So
11 those are two new measures to the NQF process.
12 And so we'll spend all afternoon going over
13 those two measures.

14 Tonight we have an optional dinner.
15 We made a reservation just down K Street at
16 McCormick & Schmicks. And so at lunch we'll
17 be taking a final headcount for that so I can
18 update the restaurant. And we hope you'll
19 join us.

20 Again, completely optional. We find
21 it's a good time to catch up with your fellow
22 committee members, meet some of the new ones

1 and just have a little wind-down at the end of
2 the day.

3 Great, at this time we'll move into
4 our disclosure of interest process and I'll
5 turn it over to Helen.

6 DR. BURSTIN: Great. Thanks, Evan,
7 and good morning, everybody.

8 Some of you have been on our
9 committees before but we usually at the
10 beginning of each of our processes do a round
11 of asking each committee member to offer any
12 disclosures of interest they may have.

13 We've all seen your CVs. They are
14 very, very impressive. We do not want you to
15 recite your CV. We really just want you to
16 share with the committee anything you think
17 would be important for the others in this room
18 to know as well as the public to know about
19 your role in measure development, about your
20 role potentially in any areas that might be
21 associated with the ultimate implementation of
22 these measures.

1 We recognize many of you are experts.
2 That's why you're here at the table, or end
3 users. So anything you can share with the
4 group that you think would be relevant please
5 go ahead.

6 At the end of this process I will ask
7 each of you if you have any questions of each
8 other, just to give you a chance to fully
9 flesh that out.

10 So perhaps we'll begin with our
11 chairs. Lisa, would you like to do your
12 disclosures?

13 DR. LATTS: Hi, I'm Lisa Latts,
14 currently consulting with LML Health
15 Solutions. And I have no disclosures, no
16 conflicts. I work for some clients that might
17 use measurement at some point, but nothing
18 currently.

19 DR. ASPLIN: Good morning. My name's
20 Brent Asplin. I'm currently chief clinical
21 officer for Catholic Health Partners based in
22 Cincinnati, Ohio.

1 And I was a prior chair of the
2 Quality and Performance Committee for the
3 American College of Emergency Physicians which
4 does have some NQF-endorsed measures for which
5 it is the measure developer. But I'm not
6 currently in the chair role and I do not have
7 any conflicts to report.

8 MR. WILLIAMSON: At this time we'll
9 continue with members in the room and then
10 we'll handle members on the phone.

11 DR. WONG: I'm Herb Wong. I'm a
12 senior economist with the Agency for
13 Healthcare Research and Quality. And I have
14 nothing to disclose.

15 MS. PARE: I'm Carolyn Pare with the
16 Minnesota Health Action Group. I sit on the
17 NCQA Standards Committee but I have no
18 conflicts to disclose.

19 DR. WALKER: I'm Lina Walker. I'm
20 with AARP and I have nothing to disclose. No
21 conflicts to disclose.

22 DR. WEINTRAUB: Good morning, I'm

1 Bill Weintraub, chair of cardiology at
2 Christiana Care in Delaware, professor of
3 medicine at Thomas Jefferson University, the
4 president of the Great Rivers Affiliate of the
5 American Heart Association. And I'm very
6 involved as well with the American College of
7 Cardiology. So there are potentially
8 interested parties about measurement.

9 I also do some low-level consulting
10 for the pharmaceutical industry which I do not
11 think are really relevant to these measures.

12 MR. RYAN: Hi, I'm Andrew Ryan from
13 Weill Cornell Medical College and I have
14 nothing to disclose.

15 MS. YANAGIHARA: Good morning, I'm
16 Dolores Yanagihara with the Integrated
17 Healthcare Association in California. And we
18 do contract with NCQA. I sit on the Overuse
19 Measure Advisory Panel for NCQA, but no
20 conflicts.

21 MS. DAMBERG: Cheryl Damberg from the
22 RAND Corporation. I don't have any conflicts.

1 My area of work tends to focus on the
2 evaluation of organizations' use of
3 performance measures.

4 And I previously had several
5 contracts that were looking to develop
6 efficiency measure concepts that could be
7 translated into performance measures, but
8 those contracts have ended.

9 DR. ORLOWSKI: Good morning. I'm Dr.
10 Janis Orłowski. I am the senior director at
11 the Association of American Medical Colleges.
12 I have no conflicts to disclose.

13 DR. GELZER: Hi, I'm Andrea Gelzer
14 and I'm chief medical officer for AmeriHealth
15 Caritas. And I have no conflicts to disclose.

16 DR. NAESSENS: Good morning, I'm Jim
17 Naessens, a health services researcher at Mayo
18 Clinic. And I've used various measures but
19 have no conflicts to disclose either.

20 DR. BURSTIN: And Evan, can you run
21 the list of who we think is on the telephone?

22 MR. WILLIAMSON: Yes. So we have a

1 list here. So we'll start with Larry Becker?

2 MR. BECKER: Hi, this is Larry
3 Becker. I work for Xerox. I'm on the board
4 of NQF and of PCORI and I've recently as a
5 consumer engaged in the Yale Core group in
6 looking at some measures more as a learning
7 experience for me to see how measures are
8 developed at the other end. But, so I don't
9 think I have any conflicts.

10 MR. WILLIAMSON: Great. Thanks,
11 Larry. Next we go to Mary Ann Clark.

12 MS. CLARK: Hi, Mary Ann Clark,
13 senior vice president at Intralign. We are a
14 company that helps hospitals improve the cost
15 and quality associated with orthopedic
16 procedures.

17 I've been involved with past NQF
18 technical expert panels on cardiovascular
19 work. At my current company we don't develop
20 measures but we do use them in a lot of our
21 work in our consulting work.

22 MR. WILLIAMSON: Great. Thanks a

1 lot, Mary Ann. Joe Stephansky?

2 MR. STEPHANSKY: I am with the
3 Michigan Health and Hospital Association and
4 I have nothing to disclose.

5 MR. WILLIAMSON: Great. Ariel
6 Bayewitz?

7 MR. BAYEWITZ: Hey, Ariel Bayewitz
8 from WellPoint. I have accountability for
9 WellPoint's shared savings and risk models.
10 And we use performance measures to evaluate
11 provider performance in the models. But I
12 don't believe I have any conflict.

13 MR. WILLIAMSON: Great, thank you.
14 Jennifer Eames Huff?

15 MS. HUFF: Hi, good morning, Jennifer
16 Eames Huff. I'm director of advancing policy
17 for the Pacific Business Group on Health. I
18 have no conflicts to disclose.

19 MR. WILLIAMSON: Great, thank you.
20 And John Ratliff.

21 DR. RATLIFF: Good morning, John
22 Ratliff from Stanford. I'm the chair of the

1 Quality Improvement Workgroup for the AANS.

2 I've done some work with Yale on
3 their readmissions projects but otherwise I
4 don't have any conflicts relevant to today's
5 discussions.

6 MR. WILLIAMSON: Great. Do we have
7 any other committee members on the line?

8 (No response)

9 MR. WILLIAMSON: Great.

10 DR. BURSTIN: Thanks, Evan. This is
11 Helen again.

12 So, just one quick question for
13 Larry. Larry Becker, was any of your
14 engagement with PCORI around the measures
15 before the committee today?

16 MR. BECKER: No, these are CMS
17 measures that are currently being thought
18 through.

19 DR. BURSTIN: Excellent. Thank you,
20 Larry, appreciate that. Any questions of
21 anybody on the panel for each other? Pretty
22 minimal conflicts from this group. Heavy

1 health services/researcher/methodology types.

2 Just one last comment then. And
3 thank you for all those disclosures. At any
4 point during this process if you have any
5 concerns please feel free to come forward to
6 the chairs, myself, or anybody else.

7 We really would like to find out
8 about any concerns about potential bias or
9 conflicts in realtime. It's often difficult
10 to navigate those post hoc. So, anything you
11 can let us know we're perfectly happy to help
12 engage and see if we can sort through those
13 issues in realtime as they happen.

14 With that I'll turn it back over to
15 our chairs.

16 MR. WILLIAMSON: Thanks a lot, Helen.
17 And thank you everybody on the committee for
18 providing your disclosures.

19 We're now going to move into the role
20 of the standing committee. So again, as I
21 mentioned earlier this is a new process for
22 NQF. It's something we really think is going

1 to provide great value as far as overseeing
2 the full portfolio of measures in this area.
3 And we're really excited about it so we'll
4 move right into it.

5 And so again, we really see this as
6 an overseeing of the NQF portfolio and
7 providing strategic direction for future
8 measure development as well as addressing
9 gaps. So we're going to have a gaps
10 discussion to make sure that we provide
11 direction for where we really think measure
12 development in this area should go.

13 We also hope this will lead to
14 increased developer involvement in the measure
15 evaluation process. Where we have a committee
16 that's really well versed in the evaluation
17 process, will have been through it a number of
18 times and are really able to engage the
19 developers.

20 MS. WILBON: One of the other things
21 that really kind of brought this whole
22 standing committee transition on is to really

1 help consistency across the evaluation
2 process.

3 So, a lot of -- or many of the people
4 on the committee have actually been
5 participating with us for some time.

6 And it's really -- I think our
7 committee has been somewhat of an example of
8 what it can be like when you have a group of
9 people that have meshed over time, that are
10 used to reviewing the same type of measures
11 and that we're getting to a point where we're
12 being more consistent with our evaluations of
13 these types of measures.

14 So that's one of the main benefits
15 we're looking to see with having the standing
16 committee process in place, and also having a
17 group of people that over time are familiar
18 with the measures in the portfolio, what's
19 going on in the field and to be able to give
20 us that input instead of kind of seating a new
21 group of people every year who are kind of
22 just learning the process by the time they

1 roll off.

2 So in terms of consistency I think
3 that's one thing that is really a major goal
4 of the standing committee process. So I just
5 wanted to add that in.

6 MR. WILLIAMSON: Great. Thank you,
7 Ashlie.

8 On the next slide here we have a full
9 listing of the responsibilities we see for the
10 standing committee.

11 And so for this we'll have you
12 provide input on relevant measurement
13 frameworks. And so, again, we won't be
14 addressing any frameworks today but as they
15 arise throughout the term on the standing
16 committee we'll have you provide input.

17 We'll task you with knowing which
18 measures are included in the portfolio and
19 understanding their importance in the
20 portfolio. We'll be listing those out as we
21 move through this presentation.

22 We want you to consider issues of

1 measure standardization and parsimony when
2 addressing the portfolio. So again, as we get
3 new measures we want to see how they fit with
4 the other measures in the portfolio, how we
5 can align and provide harmonization to reduce
6 burden in the field.

7 It's very important as far as
8 overseeing a full portfolio, seeing it as a
9 whole as opposed to just the individual
10 measures that are coming in front of the
11 committee.

12 We'll have you identify measurement
13 gaps in the portfolio. And we want you to be
14 aware of other NQF measurement activities from
15 the topic areas. That's something that we are
16 really starting here in the affordability
17 area.

18 We have a number of other projects
19 going on. So we'll really address how this
20 work fits in with the other affordability work
21 going on, how we can provide input to the
22 other groups, how they can provide input to

1 this group.

2 Again, we have a lot of expertise
3 that we're drawing on for these groups and we
4 want to make sure that we're not duplicating
5 work or really redoing work. It's very
6 important in this area here.

7 We also want to be open to external
8 input on the portfolio. So again, as we've
9 been through in the past in phase I we all saw
10 the public and member comment we got on our
11 report. And we're really seeking that public
12 and member comment on the full portfolio, on
13 the work we're doing here. And so we want
14 this group to be open to that input and to
15 really consider it as we move forward.

16 So we'll have you provide feedback on
17 how the portfolio should evolve. So again,
18 not where we are right now, but where we want
19 to be next year, we want to be five years from
20 now, where we really see this work going. We
21 think it's very important. Trying to figure
22 out who we should engage in this work, who are

1 really the key players that can make things
2 happen in this area.

3 And again, as we've all been -- or
4 most of the people here have been through the
5 measure evaluation process. We have a few new
6 members. We'll hope to bring them along as we
7 go through this.

8 But really to consider the portfolio
9 when evaluating individual measures. So,
10 moving beyond just the properties of that
11 individual measure, but really how it fits
12 into the whole portfolio.

13 So, at this point I'll open up to any
14 questions. We have a full list of
15 responsibilities here. There are some new
16 things that we'll be covering here as a
17 standing committee, things that we think are
18 very important here at NQF.

19 And so I want to open it up for any
20 questions, any clarifications. If there are
21 things that are unclear or other things that
22 you think the standing committee might be

1 responsible for I want to open that up for
2 discussion right now.

3 DR. WEINTRAUB: So, always glad to
4 start things off. So, this has been a very
5 good process and I think moving to a standing
6 committee is really a good idea because it
7 will give us deeper insight and an overview
8 over the whole portfolio of cost and resource
9 measures.

10 You know, you come in and you see a
11 little slice of NQF, maybe engage for a day or
12 two, but you don't really have the sense of it
13 that you do when you're involved in the
14 committee over a period of time and you see
15 the full portfolio.

16 I mean, the danger of course is that
17 you become too inbred and you don't have
18 enough external input. So obviously there
19 needs to be rotation over time. But still, I
20 think the process is a very good one.

21 MS. WILBON: One of the things we're
22 going to do a little bit later is draw terms.

1 What we'll do is we'll stagger you guys for
2 the first set of rotation.

3 We'll rotate off half the committee
4 every -- well, the first rotation will be in
5 two years. And then we'll bring in a new --
6 we'll do a new call for nominations and bring
7 in another fresh half of the group. To
8 address your point, Bill, about kind of
9 keeping -- making sure we're keeping fresh
10 perspective in the mix as well. So that's to
11 come.

12 DR. LATTIS: Brent?

13 DR. ASPLIN: One question I have for
14 staff is how you anticipate managing common
15 themes or common issues across a portfolio of
16 measures given the fact that at any given
17 meeting any standing committee in its current
18 configuration is only going to have a limited
19 portion of the portfolio in front of it for
20 actual comment or recommendation for
21 endorsement.

22 When there may be a broad-based

1 systematic or methodological approach issue
2 that comes up that you'd like to apply
3 consistently to the whole portfolio but yet
4 you don't have the whole portfolio in front of
5 you.

6 How do you want to manage that issue
7 over time? Given the fact that the committee
8 is not a developer yet if there's going to be
9 consistency for the community out there about
10 how we approach cost and resource use we want
11 to try to apply the same principles
12 consistently to the measures.

13 MR. AMIN: So there's at least two --
14 let me start with that. There's at least two
15 different issues that you've raised, Brent.
16 And I'll sort of use one as an illustrative
17 example of what we've started to do.

18 So, across committees one of the main
19 issues that we started to recognize coming out
20 of this group and then actually the group that
21 you were on last year with the readmissions
22 panel was around the issue of risk adjustment,

1 adjustments for SES broadly, the issues of
2 hierarchical modeling and the effect of --
3 what that does for small hospital performance.
4 And that issue came up in multiple different
5 committees.

6 And so what we're really trying to
7 understand from these discussions across the
8 different panels is to characterize the nature
9 of the problem. Which there was an unanswered
10 question around -- or it was an existing
11 guidance that was out there that NQF sort of
12 was standing behind. And then there was
13 general concern or an ask for revisiting that
14 guidance.

15 And so what we did was we sort of
16 worked across the different, you know, what we
17 will do as staff is work across our various
18 different projects and say at the end of the
19 day this has become a major issue for all
20 projects, or at least projects that relate to
21 outcome measures.

22 So we need to seek funding to convene

1 panels to discuss this issue across the
2 different workgroups.

3 And obviously Nancy was our -- and
4 others I think maybe, but at least Nancy in
5 particular was our representative on that
6 panel that was recently convened to address
7 this issue.

8 And the goal of that work will be to
9 inform, once there's actually a final
10 recommendation from that group it will
11 obviously be informing all of the different
12 efforts in terms of what would be affected by
13 that work.

14 And what we'd like to do with a
15 standing committee is keep you informed of
16 what that work is and then make sure that
17 we're bringing it back to you in a more
18 discussion-oriented -- obviously there will be
19 a final report which we'll share with you.
20 But we'll also have a discussion on the
21 implications of those issues. So that's at
22 least the one issue.

1 The second -- I actually lost it.

2 But maybe Helen's got it.

3 (Laughter)

4 DR. BURSTIN: I don't know what
5 Taroon's second issue is, but my first comment
6 is -- thank you for that -- is that one of the
7 other things we've done is we've tried to
8 group the measures together in a way that
9 always tries to put like measures together.

10 So we've actually worked with the
11 developers so that they recognize that some
12 measures, for example, might come up slightly
13 sooner than they thought they would. Or even
14 potentially later than they thought they would
15 in terms of maintenance.

16 So that we're going to prioritize
17 putting measures that need harmonization
18 issues or alike measures together to exactly
19 get at those concepts. Because we do want to
20 make sure that we're logically kind of going
21 in the same direction and not having measures
22 that are really kind of coming off course in

1 terms of some broad principles.

2 MR. AMIN: Thanks, Helen. You jogged
3 my memory.

4 So the issue that you brought up,
5 Brent, was around, you know, this group is not
6 a measure developer. But as you're reviewing
7 measures there are sort of methodological
8 issues that arise that warrant some kind of,
9 you know, certainly some discussion.

10 And what we found, that there was a
11 lot of discomfort with measure developers in
12 terms of the Kaizen work that we did last year
13 with the fact that steering committees wanted
14 to make changes to the measures sort of on the
15 fly.

16 And a lot of that is understandable
17 considering that in the previous model this
18 was the only opportunity you had with that
19 measure, and this might have been your only
20 opportunity to be on a panel that was
21 discussing this issue.

22 So the goal of what we were trying to

1 do with this more longitudinal time, having a
2 more longitudinal view of the portfolio and
3 having members be on the panels with us over
4 time is to say, okay, we're cataloguing these
5 issues that are present with some of these
6 measures, or some future guidance that you
7 have.

8 And we can better apply them over
9 time and give the actual measure developers
10 time to make some of these changes and have an
11 interactive experience with the panel so that
12 they can come back, provide some updates on
13 what's happened and the committee feels a
14 little more comfortable that there's some
15 actual movement and progress with the
16 recommendations that have come up.

17 So that we get out of this --
18 hopefully that improves the experience of
19 measure developers and also makes the
20 committee time much more productive. So
21 hopefully that sort of addresses the two
22 issues that you brought up, Brent.

1 DR. LATTIS: So, this is Lisa. I'm
2 going to call on myself.

3 And just a reminder to those of you
4 on the phone. We know that you're going to be
5 very tempted to multi-task and how hard it is
6 going to be to be on a two-day webinar. So,
7 please participate and raise your hand or your
8 placard virtually through the webinar.

9 My question is if we come up to a
10 measure or a set of measures that are similar
11 but better than a previously approved measure,
12 does that mean this committee would say we
13 want to nuke the previous measure in favor of
14 this one? And what does that mean for the
15 measure developers and all the people that are
16 currently using that measure?

17 MR. AMIN: So, one of the things that
18 we're really working on with our sort of
19 harmonization and competing measures
20 discussion that we're having globally is that
21 we are trying to identify like measures even
22 when the measures are not necessarily up for

1 review.

2 So you'll notice that in our last
3 effort when we convened we invited our
4 colleagues from HealthPartners who had
5 developed a non-condition specific per-member
6 per-month measure.

7 And similarly there was a measure
8 that was developed for the Medicare population
9 that was a PMPM measure.

10 But we asked our colleagues from
11 HealthPartners to come up and describe how the
12 measure was similar or not to the new measure
13 that was in the portfolio. So, the goal would
14 be to have sort of more, again, with the idea
15 of having much more of an iterative
16 interaction with the committee with these
17 issues so they're not sort of new issues and
18 the developers of the prior endorsed measures
19 aren't taken by surprise when we're having
20 these discussions.

21 I will say this obviously increases
22 the burden of the standing committee. I mean,

1 the discussions are not simply going to be
2 around individual measures.

3 And it also increases the burden for
4 developers who need to participate in our
5 process much more than the three-year cycle
6 that we've had in the past. But, the idea
7 here is if we have much more sort of constant
8 communication we can have much more reasonable
9 time-lines for turnaround for our developer
10 colleagues and also the standing committee can
11 have much more of an informed understanding of
12 the field of where we are with endorsed cost
13 and resources measures.

14 Obviously this includes more than
15 just cost and resources measures, but
16 particularly for this group.

17 DR. LATTS: Andrew?

18 MR. RYAN: Could NQF please describe
19 what the iterative process is going to be with
20 these measures that we're evaluating this
21 time? In terms of how we feed back to the
22 developers and how they respond to our

1 comments.

2 So for the last time with the total
3 per capita spending and Medicare spending per
4 beneficiary we met, we had comments. And then
5 a couple of months later we had another kind
6 of formal process where the developers kind of
7 got back to us with changes. And then there
8 was another vote.

9 Is there an expectation that there
10 could be a similar process with these
11 measures? I know that seemed to be kind of
12 unusual. That was my first panel so I don't
13 have a lot of context there.

14 But I think knowing what we can
15 expect in terms of whether it's just an up or
16 down vote, or whether we can expect some
17 tweaks that would make the panel kind of more
18 comfortable with approving this measure.
19 Whether there's an expectation that that could
20 happen would help kind of inform the
21 deliberations of the committee.

22 MR. AMIN: So, Andrew, that's sort of

1 a difficult question in some ways.

2 So, the -- what we're trying to
3 balance -- let's put it this way. What we're
4 trying to balance is that the developers are
5 coming here for essentially an evaluation of
6 what's in front of them.

7 So it is not intended to be a process
8 that sort of has an iterative, the committee
9 will approve this based on some changes
10 because some of those changes might require
11 additional testing. It might require changes.

12 Quite frankly, a lot of the measures
13 that we see are under federal -- they're under
14 contract for development and the contract for
15 development has expired. I mean, they're just
16 coming here to kind of present their final
17 deliverable if you will.

18 So there really isn't either the
19 time, that's the first issue, or the resources
20 to actually make the types of changes that the
21 committee is requesting.

22 So that's one end of it. So the

1 process is currently structured to be
2 essentially an up or down.

3 With that being said, however, nobody
4 wants to see a measure voted down because of
5 some small changes that could be made in the
6 measure and that the developer is willing to
7 make.

8 So one of the key changes that we're
9 trying to introduce here is that unlike sort
10 of if you will a dissertation defense we don't
11 want the developers to feel like we're just
12 evaluating them and they're not part of the
13 conversation.

14 So, you know, one of the key things
15 you'll see if we've changed the format of the
16 meeting a bit here so the developers will be
17 joining us at the table.

18 And these are questions that we can
19 sort of ask the developer to understand what
20 is the nature of these -- if we have these
21 recommended changes how long would it take.
22 Is this reasonable to make in a certain period

1 of time. Could you do this by your annual
2 update. Can you commit to doing that by your
3 annual update.

4 And then at that annual update
5 process we could then expect to see an updated
6 measure or -- again, with the idea of having
7 a continual conversation with them to say
8 you're always annually updating your measure.
9 That might be a good time to update this. So,
10 the goal of this is to have more of a
11 conversation with them.

12 What is the boundaries? I think we
13 should sort of think about this exercise as an
14 up or down exercise. But if there are changes
15 that will really affect your -- if you're
16 really going to vote a measure down and there
17 are some changes that you think would make the
18 change I think we should have that
19 conversation. And have that conversation with
20 the developer in terms of timing.

21 But you should be aware that this
22 process, our process with constrained time

1 periods and the ability for developers to make
2 the changes within the time period is
3 extremely limited.

4 But our goal is not to -- again, with
5 the caveat being the goal is not to take down
6 a measure for some small changes in age
7 ranges, or exceptions, or things of that
8 nature that might be easily made in terms of
9 the specifications.

10 So that's not a direct answer but
11 we'll kind of -- we'll have to learn with
12 that. I don't think there can be a complete
13 standardization of that across every panel.

14 DR. LATTI: Jennifer on the phone?

15 MS. HUFF: Yes. Jennifer Eames Huff
16 with PBGH. I have a question about the role
17 of the committee in identifying measurement
18 gaps.

19 I was wondering if that could be
20 talked about a little bit more in terms of
21 what that looks like. And then what would
22 happen with that information once gaps are

1 identified.

2 MR. AMIN: So, we'll be starting this
3 conversation actually right after this session
4 in terms of overall roles and
5 responsibilities.

6 But essentially the goal of what
7 we're trying to do here is to say -- and some
8 of you have been with us since the first cost
9 and resource use panel that we just even
10 characterized what a resource use measure was
11 and where we are right now.

12 So, particularly in this area since
13 it's a smaller area with a smaller number of
14 measures, although it's generally more
15 technical and much more complex than some of
16 our other areas of measurement.

17 What we're really trying to
18 understand is what's the game plan here. What
19 kind of measures do we really need to move the
20 needle in terms of overall spending for the
21 country. And more importantly, to make the
22 health system more efficient in terms of cost

1 and quality.

2 And so we've started down this path
3 with some measures in the portfolio in terms
4 of total cost measures. And we're starting
5 down this path with some episode of care
6 measures.

7 But as somebody noted during our
8 orientation call, it might be Andrew but I
9 can't recall off the top of my head actually,
10 is like we're doing these cardiovascular
11 measures. Then we're going to pulmonary.
12 What's the game plan here.

13 Well, that's the question that we're
14 actually going to be asking the group. Like,
15 where are we going. What are the real high-
16 impact episode measures that we need? Do we
17 really need episode measures versus per capita
18 measures?

19 And if we could really define in a
20 much more -- put some more structure around
21 what our five-year plan, one-year or five-year
22 plan we can work with our federal colleagues

1 and help to structure future measure
2 development contracts and other, if there are
3 other sort of measurement science issues that
4 exist in the field.

5 Which as we'll describe later on
6 today there were that we identified during the
7 first panel around linking cost and quality
8 measures which Andy and Chris and others are
9 working on with us. Or these -- like how do
10 we define affordability from the consumer
11 perspective.

12 We can then put together more -- we
13 can put together additional panels and
14 additional steering committees or concept
15 papers to address some of these more
16 methodological issues that may still be in the
17 field.

18 So, our effort is to -- is multi-fold
19 which is that we want to define where we want
20 to get to and then put into place actual
21 projects and committee work that we might pull
22 subsets of the group to work on. We might

1 convene additional panels. We might actually
2 get a white paper authored by some folks in
3 the field and start to address the sort of
4 bigger, technical, methodological issues that
5 are in the field.

6 So, in particular related to the
7 issue of gaps what we'd like to do is take the
8 issue of gaps where we think we need to go and
9 that will define our future call for measures
10 and even more upstream inform our colleagues
11 at HHS about how they should be structuring
12 future -- or make some recommendations about
13 how there should be future measurement
14 development contracts.

15 Obviously we're not doing that but we
16 obviously have a role with advising HHS in
17 that function with our work on the Hill, with
18 our Stand for Quality effort. So, which
19 involves measurement dollars and how they're
20 allocated.

21 DR. LATTI: Andrea.

22 DR. GELZER: Thanks, Lisa. Cost

1 tensions, I want to applaud NQF and I want to
2 thank NQF because this is all very positive
3 and this is the direction we need to be moving
4 in.

5 But I also think that cost tensions
6 over the next few years are just going to
7 exponentially rise. And I think that
8 historically we've done so much work with
9 traditional quality measures.

10 But the cost area is still really
11 nascent and we've got a lot of work to do.
12 So, I applaud the fact that we have a standing
13 committee but I worry if we're going to be
14 rotating off.

15 If you want to get consistency, if
16 you really want to get a jumpstart to this
17 work at this point I think that we do, as you
18 have said, Taroon, we need to have
19 subcommittees. We need to be working at this
20 more than two in-person meetings, or one in-
21 person meeting a year to get that consistency
22 and get that drive.

1 DR. BURSTIN: One more point about
2 the standing committees. That's a great
3 point, Andrea.

4 We'll be giving each of you today the
5 option of, you know, you'll basically pull out
6 of a hat a two-year or a three-year term just
7 so we could stagger the first iteration of
8 this. But you're all eligible for a second
9 term as well.

10 So I think at least in sort of the
11 five-year focus which I think is probably when
12 this activity is going to be the most intense
13 I suspect we'll have a lot of the same players
14 around the table.

15 And again, our hope is, as Taroon
16 mentioned, to really be able to come back to
17 this committee off-cycle as an issue comes
18 out.

19 So it's not just the in-person
20 meetings, but it's very powerful to at least
21 have the chance to see each other, understand
22 where people come from so that when you're on

1 conference calls and webinars for the next
2 several years you'll just feel much more
3 comfortable I think having that dialogue
4 that's really open.

5 DR. LATTI: Bill.

6 DR. WEINTRAUB: This is the start of
7 really great discussion. Certainly this
8 effort still has that sort of nascent, just
9 getting started feel to it.

10 And so you raise the idea of a white
11 paper. I think that's a great idea. But
12 maybe what we need even beyond that is a true
13 strategic plan of how we're going to address
14 the issues of cost and resource use.

15 And developing a strategic plan like
16 that, that's a process. Many of us in our
17 organizations where we work every day have
18 been through that process a number of times.

19 Developing a really good strategic
20 plan is probably a one-year process in and of
21 itself. Maybe it's something we should
22 consider.

1 DR. LATTI: Larry on the phone.

2 MR. BECKER: Hi, yes, this is Larry
3 Becker. So I agree with what was just said.
4 I think having a strategic plan is really
5 important.

6 My question is what is our capability
7 to actually go out to the field and do
8 surveys. Surveys of, for example, what do
9 patients want in this area, what do clinicians
10 want in this area. People that are treating
11 people every day, the kinds of things that
12 they come up against in their practice every
13 day.

14 I mean, do we have that capability to
15 go out and get information from various
16 stakeholder groups?

17 MR. AMIN: So, Larry, that's a great,
18 great point. And I think we heard you and
19 Jennifer I believe in particular and Lina to
20 a certain extent as well during our last
21 committee meeting about the importance of that
22 issue.

1 And what we've done in response,
2 again, and I think this is what we mean in
3 particular. As we get into this morning's
4 discussion we'll describe this in much more
5 detail.

6 But what we've done with that goal of
7 trying to really understand what the
8 priorities are from various different
9 stakeholders' perspectives is that we've gone
10 out to the Robert Wood Johnson Foundation and
11 they funded a project to do precisely what
12 you've just described, Larry, which Lina will
13 be sort of our liaison to that group to really
14 characterize affordability from the consumer's
15 perspective in particular.

16 But it will also be we have a
17 consumer panel, about eight consumers that we
18 have convened across the country. But we've
19 also -- it will also be a multi-stakeholder
20 group that Liz Mort from Mass General that's
21 going to be sort of characterizing what
22 affordability means from the consumer

1 perspective and how we can start to get better
2 measurement concepts, information and
3 information systems in terms of social media
4 and other potential opportunities for how do
5 we really start to understand what
6 affordability and cost and resource use means
7 from the consumer perspective. But broadly
8 from the multi-stakeholder perspective.

9 I should also say that this work also
10 will interact very directly with the work of
11 the Measure Applications Partnership which
12 we'll talk about later on today.

13 So, meaning in particular how
14 measures are used in federal programs. So, it
15 has a very longitudinal across all of our work
16 what are the important areas that we need to
17 be focused on.

18 And that will very clearly translate
19 to projects that we go out to seek funding for
20 and then ask you to participate with us on, or
21 take leadership roles in.

22 And you already start to see some of

1 that work. Like I described, the linking cost
2 and quality work is a direct result of this
3 committee. The affordability work which we'll
4 describe in a little more detail is a direct
5 result of that work.

6 And there will be an interactive
7 relationship between this group and the
8 Measure Applications Partnership in terms of
9 advising -- or I should say this group is not
10 charged with advising HHS, but participating
11 in that process and being much more informed
12 about the needs of the measures as they're
13 used for federal programs.

14 MR. BECKER: Thank you.

15 DR. LATTIS: Andrew, did you have
16 another question? Okay, you're up.

17 MR. RYAN: So, one of the -- in terms
18 of the committee being more active. And
19 Taroon mentioned us, the committee forming
20 calls for measures.

21 I want to ask NQF how successful
22 prior calls have been in generating measure

1 submissions. Because if we think about what
2 this committee has seen, the last two measures
3 were driven by CMS contracts and priorities.
4 And the Yale one seems similar to that. The
5 other one we're looking at is just a refresh.

6 So I wonder what kind of ability you
7 think we have to really get good submissions
8 from calls for submissions as opposed to
9 something that's a policy priority and is
10 occurring under contract from someone like
11 CMS.

12 MR. AMIN: I'm writing down my
13 thoughts so I don't forget them.

14 All right, so I think we need to
15 think about this more longitudinally than we
16 have.

17 So, our typical call for measure
18 submissions happens within a few months of the
19 committee meeting. So, you know, you're not
20 really going to see the type of measures that
21 you want to see during the next cycle.

22 Which makes some sense, right? I

1 mean, nobody's going to start to develop a
2 measure once the call for measures is actually
3 put out there.

4 Which is why one of the things that
5 we've been really trying to work on over the
6 last year is to develop much more of an
7 upstream relationship with our federal
8 partners to say that we're convening these
9 groups, they have a lot of -- they're bringing
10 some of these sort of methodological issues
11 and measurement issues forward. Let's play a
12 more active role in informing your upstream
13 development dollars and you can consider that
14 as you're starting to put these dollars into
15 play.

16 Now, obviously that has one to two
17 years of lag time. I mean, our colleagues are
18 doing the best they can with the government
19 but they have their own time periods. So it's
20 going to take some time.

21 But parts of the organization, parts
22 of NQF is specifically tasked with the role of

1 advising HHS around gaps which has a very
2 clear impact to how HHS decides to invest
3 their dollars.

4 Now, clearly this group's not, you
5 know, NQF in particular doesn't have any
6 direct responsibility for the distribution of
7 those dollars. So it's more of an advising
8 function I would say rather than anything.

9 CMS can do whatever they want, quite
10 frankly. But ultimately the role of this
11 group is to represent our multi-stakeholder
12 perspective on where we need to go as a field.
13 And CMS takes that very seriously.

14 So we don't necessarily see it from
15 the -- and that's why this whole longitudinal
16 relationship for the committee vis-a-vis the
17 work that we're doing needs to be much more
18 longer-term viewing, that we need to really
19 work with our federal partners. We need to
20 understand the issues, characterize the
21 problems and then work with our federal
22 colleagues to understand how we can start to

1 address them.

2 But that might be on a one- to two-
3 year lag period. And that might just need to
4 be where it's at given the way the dollars and
5 structure of contracts work.

6 DR. BURSTIN: And just wanting to
7 build on that. A couple of other things we've
8 been working on, one of which is in one of our
9 current projects we've got what we're calling
10 more of an open pipeline.

11 For example, to our endocrine project
12 we've negotiated a pilot with CMS where every
13 six months that committee will have measures
14 come forward. So we don't have to wait years.

15 We're trying to see how many measures
16 come in through each of those different calls.
17 And that might be an option certainly in a
18 field like this where there are going to be
19 measures coming up every six months or a year.
20 We wouldn't want to wait three years.

21 It's very dependent for us on federal
22 funding of course to be able to do that.

1 The second piece of this is we're
2 increasingly trying to think about where we
3 can find measures already in use. So not
4 everything has to be the start of a de novo
5 measure development cycle. The developers in
6 the room know it can take years.

7 And I think one thing that would be
8 really helpful for this group to help us with
9 is where are there measures that people are
10 actually using, that they're finding very
11 useful and potentially help us bring those
12 into the process.

13 Now, we often find those people don't
14 feel comfortable serving as measure
15 developers, measure stewards to submit to NQF.
16 So we're also trying to develop some of you
17 have been calling a measure incubator where we
18 can do sort of a bit of matchmaking between
19 those who might have the expertise with those
20 who have the sort of more technical skills
21 around submitting measures, risk adjustment
22 issues, potentially funders as well as those

1 who have data to be able to test it.

2 So those are all strategies I think
3 of thinking about what's out there, bringing
4 in measures already in use. Because frankly
5 so much more optimal to know what the
6 experience has been with a measure rather than
7 a brand new measure coming to the process
8 where we have no background or experience.

9 Then lastly, how we could actually
10 help facilitate that process of matchmaking
11 essentially, of where there is a good measure
12 can we tie them to more technical folks who
13 might be able to bring it forward and then
14 work to make it a national standard.

15 DR. LATTI: Lina and then Ariel
16 you'll be next after Lina.

17 DR. WALKER: Lina Walker. This is a
18 question about whether or not NQF has any
19 plans to integrate the cost and resource in
20 the quality measures. And maybe you'll talk
21 about that when you talk about the linking
22 cost and quality.

1 But this came up in our last
2 committee and I suspect it will come up again
3 in this committee. Where a lot of these
4 measures, maybe all of these measures, cost
5 and resource use measures are developed with
6 the intent of being used with a complementary
7 quality measure.

8 But, you know, and when we assess the
9 use and feasibility of these measures we are
10 supposed to speak only to the cost and
11 resource use measure and not so much consider
12 it in the broader context in its application
13 which is our instructions.

14 But it's hard to separate the two,
15 particularly because many of these measures
16 don't make sense on their own. You know, when
17 it's applied in the field it has to be linked
18 with a complementary quality measure.

19 And right now the process is that we
20 review these measures in silos. And I wonder
21 if there are any plans to integrate the two
22 processes so that the two measures are more

1 meaningful when they're used together.

2 MS. WILBON: So, we do actually have
3 a project going on now that actually Andy is
4 very integrally involved in where we are
5 trying to think through those issues.

6 And I think the barrier up to now has
7 been if we do require in the submission in
8 some way that people, the developers describe
9 that link, what exactly are we looking for, is
10 there -- technically and methodologically are
11 there ways in which it is better to link, or
12 better to report the cost and quality signal
13 together.

14 And so without having that guidance
15 we've been less forceful about making that
16 link, making it a requirement up to this
17 point.

18 But I do think that after this piece
19 of work which we'll talk about in a little bit
20 more detail that we'll have the guidance that
21 we need to kind of -- to update our submission
22 process such that cost measures or quality

1 measures that come forward that do have a link
2 to a quality measure, that they would be able
3 to describe that in the submission and those
4 would be evaluated together, the actual link
5 as well as potentially the individual measures
6 similar potentially to a composite or some
7 other type of reporting functionality.

8 So it is coming. I think we've just
9 been waiting for this piece of work which has
10 kind of been our missing link so to speak to
11 figure out what does that mean. If we ask for
12 it, what exactly are we asking for. And so,
13 I don't know if you have anything to add,
14 Taroon?

15 MR. AMIN: Yes, I do. Let me just
16 also add a little bit of just my own thinking
17 on this.

18 So, Lina, one of the other things
19 that I just want to sort of -- especially with
20 this group. We started with a lot of
21 assumptions with the cost and resource use
22 work.

1 And staff sort of is an
2 administrative arm of the will of this group
3 in some ways, especially more directly as we
4 use -- you become a standing committee.

5 So, I want to be clear that some of
6 the guidance that we have, if we strongly
7 disagree with the way the guidance is
8 constructed there are mechanisms to start to
9 adjust that.

10 So, one of the assumptions that we
11 had going into this, and particularly related
12 to your comment, is that in order to start
13 getting towards measures of efficiency, we
14 have the quality measures. But we needed cost
15 measures that met our criteria, meaning that
16 they were important, scientifically
17 acceptable, usable and feasible. And they
18 needed to be constructed in their own right in
19 order to start moving toward measures of
20 efficiency.

21 And there are some tradeoffs. I
22 think there are some folks around the table,

1 especially over the phone as well, that may
2 argue, particularly I'm referring to our
3 purchaser community, that have felt very
4 strongly that the measures of cost in their
5 own right are important to collect and report.
6 While we're still trying to get toward
7 measures of efficiency or signals of
8 efficiency I should say more broadly. Maybe
9 not measures in particular as Ashlie described
10 but more signals of efficiency.

11 So, our current construct is that,
12 yes, let's look at the cost and resource use
13 measures and look at them across the four
14 criteria. And then make endorsement decisions
15 on them, recognizing that there are some
16 people in the field, some of our -- some
17 stakeholders that feel strongly that those
18 measures are needed in their own right. And
19 then let's work on the science to get toward
20 signals of efficiency.

21 Now, if we think that there needs to
22 be a different approach, or a conceptual

1 thinking about how that works, or how we're
2 going to get there in five years let's have
3 that discussion. And let's be, especially if
4 we're seeing potential unintended consequences
5 in the field of just measuring cost which may
6 potentially be a concern for other
7 stakeholders.

8 So, as we're thinking about these
9 strategic conversations all of these topics
10 are open for discussion. So I want the
11 committee as we're sort of stepping into this
12 role of being a standing committee these sort
13 of larger conceptual issues that may be
14 present I would encourage us to characterize
15 them.

16 We may not be able to answer them
17 right away, it may take some time to answer
18 them, but let's characterize them in a way
19 that we can communicate that to at least the
20 membership and then potentially start to
21 address them over time.

22 DR. LATTS: So we've got four in the

1 queue right now. We're going to take these
2 four comments and then move on to the next
3 section so we can keep on time. First Ariel
4 on the phone and then Nancy, you're next.

5 MR. BAYEWITZ: Thanks. So, probably
6 a fairly basic question.

7 So, just generally speaking when
8 we're selecting and prioritizing measures, and
9 just thinking specifically about usability.

10 So number one, who is the primary
11 audience? You know, I'm thinking just looking
12 even at the three that we have over here
13 there's the customer, there's a purchaser,
14 there's the plan.

15 And also, who's I guess primarily
16 when we're prioritizing, who are we looking to
17 evaluate? Is it the plan? Is it provider in
18 the context of provider hospital versus
19 practices or more accountable care kind of
20 organizations which don't necessarily need to
21 revolve around hospital?

22 Just thinking from a plan perspective

1 I'd love to see more measures that we can use
2 to evaluate provider performance beyond the
3 context of a hospital.

4 And just even here with the three
5 that we're looking at. You know, again, one
6 is more plan-focused and the other two are
7 more hospital-focused.

8 Is there a plan to include more
9 measures around that capacity? We have a lot
10 that we use on the quality side but not much
11 on resource use.

12 And especially now with there's so
13 much design around accountable care models,
14 both in terms of ACOs but even within patient-
15 centered medical homes. It would be great to
16 have more measures that we could use there
17 that would measure cost and resource use.

18 DR. LATTS: Anybody want to comment?

19 MR. AMIN: Absolutely. I think the
20 conversation that we're going to have when we
21 characterize the current measures in the
22 portfolio, part of the gaps discussion will be

1 around what additional areas of measurement,
2 in particular levels of analysis if you will
3 do we need to be focused on and potentially
4 what are some of the measurement issues with
5 being able to measure down to the individual
6 providers or groups or ACOs. And whether we
7 know exactly what that construct is right now
8 is still open for discussion.

9 But certainly those are the types of
10 things that we want to characterize and be
11 able to capture as we go forward.

12 DR. LATTS: All right, Nancy, then
13 Janis, then Mary Ann and then we'll move on.

14 MS. GARRETT: Thanks. This is Nancy
15 Garrett.

16 So, in thinking about gaps, and I'm
17 not sure if we have another point in the
18 agenda where we're going to focus on that, but
19 I think one place that I see a really big gap
20 right now is around price.

21 So, a lot of the measures that we're
22 looking at focus on the resource use part, but

1 cost is a function of price and resource use.

2 There's just been so much developing
3 noise in the community and in the press about
4 price transparency. And I feel that NQF could
5 really have a leadership position to help us
6 figure out how to move forward on that.

7 And it's a little bit tricky because
8 price transparency, you know, there's a
9 measurement component but also there's kind of
10 this business component.

11 I mean, it could be as simple as
12 making a fee schedule public. But is that
13 really meaningful to a consumer who's
14 experiencing episodes of care that involve
15 lots of different services together, and that
16 combination of price and resource use.

17 So, the HealthPartners' per-member
18 per-month measure, there is one that does
19 include both price and resource use. But a
20 lot of times we're looking at just the
21 resource use side. So, I feel that price is
22 something that we really have a gap in.

1 DR. LATTI: And hold that thought for
2 two discussions from now. Janis.

3 DR. ORLOWSKI: As a new member of the
4 committee I have two questions.

5 First is as you talk about the
6 individuals who are involved in the measures,
7 in the development, the one group that I
8 didn't hear about was the professional
9 organizations, professional medical
10 organizations.

11 I was just wondering what our
12 relationship or interaction with the
13 professional organizations. I'm a
14 nephrologist and the American Society of
15 Nephrology has extensive work done on value
16 and quality and resource utilization within
17 that field. And so I was wondering how we
18 engage that community.

19 And the second question, before
20 joining the AAMC, about four months ago I was
21 the COO/CMO of a 950-bed hospital. And I
22 would tell you that on a weekly basis I

1 received metrics having to do with resource
2 utilization. And I call it data from the
3 trenches I guess.

4 I would tell you that COOs and CMOs
5 can probably give you pretty good information
6 for drivers for resource utilization. And I
7 wonder what our ability is to tap into that.
8 Or to tap into a number of organizations which
9 we see as leaders in quality and efficiency
10 within their organization.

11 So for example, I had a metric in
12 cardiac resource utilization. The hospital
13 that I was at was considered one of the top 20
14 within the nation. And I could tell you
15 specifically two drivers for resource
16 utilization that we were able to watch, that
17 we were able to measure.

18 And the question that I always had is
19 whether those drivers within that 950-bed
20 hospital were the same drivers in others.

21 But I think that there's some value
22 at looking at what I call in-the-trench data.

1 And I would think that most senior executives
2 at hospitals have similar resource measurement
3 and similar dashboards to use.

4 DR. BURSTIN: Those are all good
5 questions and we're glad to have you on the
6 committee so thank you. It was good to bring
7 that experience from the trenches to the
8 table. Running hospitals as we know is not an
9 easy task.

10 So, in terms of the specialty
11 societies we are actually very engaged with
12 most of the major professional organizations.
13 They're probably one of the major sources of
14 particularly the clinically-oriented measures
15 submitted to NQF. In fact --

16 DR. ORLOWSKI: Can I ask you where do
17 I see them in the measures we're looking at
18 today?

19 DR. BURSTIN: Yes, I don't know that
20 they're part of the measures being looked at
21 today. Again, I think that's a fair question
22 to ask the developers, for example, about

1 their degree of clinical input. I think they
2 oftentimes will have clinical groups who
3 advise them.

4 But I think that in general we do a
5 fair number of the clinical measures. And
6 some of the overuse measures increasingly.

7 For example, in the last couple of
8 years ACC has submitted numerous measures on
9 imaging overuse, for example, in the
10 cardiology space. But I think that's still
11 coming along.

12 We have not seen measures
13 specifically in the cost and resource use
14 space from the clinical community yet. I
15 think what we're beginning to see is a lot of
16 partnerships being forged between the clinical
17 community and those developing these kinds of
18 measures.

19 In terms of your second part of the
20 question we are also trying to do more work in
21 terms of these action teams that we've formed
22 through a sort of newer iteration of the

1 National Priorities Partnership called
2 National Quality Partners.

3 These action teams are trying to
4 bring together people from the front line to
5 find out what are you using, what's working.
6 And also not just about the measures, but what
7 are the right levers to pull.

8 To your point, I mean if you're able
9 to use those cardiac resource utilization
10 measures in your hospital how are you using
11 them. I think we've heard a lot over the
12 years that simply throwing measures over the
13 transom and hoping they kind of work isn't
14 enough. And I think we're increasingly trying
15 to think about what our role is with our
16 partners to say how do you actually push
17 measures out there with some real
18 implementation guidance, with some real
19 thoughts about how they could be most useful.

20 DR. LATTS: All right, Mary Ann,
21 close us up.

22 MS. CLARK: Yes, hi. I just wanted

1 to echo what Nancy Garrett said and agree with
2 her.

3 I have serious concerns about the use
4 of these standardized pricing in the use of
5 these measures and how that's actually going
6 to impact -- effect a change.

7 Because I agree it may help with
8 utilization and resource use control, but not
9 necessarily the actual cost control. And with
10 our shifting payment mechanisms towards more
11 risk-based payment and bundled payment we
12 don't really have any good information on what
13 the prices really are.

14 And that's really where the
15 negotiation is going to take place both for
16 providers and for payers on trying to take on
17 that additional risk and be able to manage the
18 cost better. And then also make it more
19 apparent and transparent to the patients as
20 well.

21 So I just wanted to say that I do
22 have an issue with the way these measures are

1 using standardized pricing and their ability
2 to actually change cost in a significant way.

3 DR. LATTIS: Thank you. Great
4 comment. Okay, we are now going to choose our
5 terms. So in lieu of a hat we're going to use
6 a cup. Evan.

7 MR. WILLIAMSON: Yes. The
8 traditional pick from a cup. So I'll walk
9 around. We'll have you each grab a sheet of
10 paper here. It has a number two or a number
11 three on it. We'll have you hold it and then
12 we'll go around the room and have you read off
13 your term. We'll write it down.

14 And then for the people on the phone
15 we will pick your term for you. So a little
16 less control there but hopefully you trust us
17 to be objective and random. So I'll walk
18 around right now.

19 DR. LATTIS: While he's doing that a
20 couple of folks snuck in after the disclosure
21 of interest. Do you guys want to introduce
22 yourselves and any disclosures?

1 MS. GARRETT: Hi, everyone, I'm Nancy
2 Garrett. I'm the chief analytics officer at
3 Hennepin County Medical Center. So we're a
4 care system in Minneapolis, a safety net care
5 system and a teaching hospital. So, I lead
6 analytics and IT there.

7 And I am, as I think Taroon mentioned
8 I'm on the committee that's looking at the
9 issue of risk adjustment and socioeconomic
10 status which is currently in process with a
11 final report due in June.

12 So lots of really robust discussion.
13 I'm sure some of the issues will come up here
14 as well and I'll try and share some of what we
15 talked about in that group.

16 As well as the group on evaluating
17 episode groupers. And Jim is on that as well.
18 So we'll share more about that.

19 DR. LATTI: Anybody else we missed?
20 Anybody else on the phone that we missed?
21 Okay, then hang out while we are picking our
22 numbers. Yes, Bill.

1 DR. WEINTRAUB: One more comment. So

2 --

3 DR. LATTIS: As long as you pick while
4 you're talking.

5 DR. WEINTRAUB: So, I -- in listening
6 to the discussion I worry a little bit about
7 that the number of measures we could come up
8 with would be essentially endless.

9 And obviously we can't do that
10 because all our resources are going to be
11 constrained. We know about resource
12 constraints after all. So I think developing
13 measures within an overall framework is really
14 going to be essential to making this operate
15 efficiently.

16 MR. AMIN: On that note since we
17 maybe have a few minutes. The overarching
18 framework that we're still using and we'll
19 talk about to a little bit more detail is
20 still the patient-centered episode of care
21 framework that sort of characterizes the three
22 different domains of time periods in which

1 patients may be seeking care and then
2 measuring resource consumption over those
3 three different areas.

4 And so I think part of the question,
5 or part of the framing that we'll use in this
6 next phase of our discussion is to think about
7 the measures that we have in the portfolio
8 across these three different domains and
9 understand exactly how these map and
10 effectively making sure that we're not just
11 sort of listing off multiple different
12 measurement concepts without really thinking
13 about how they map to our sort of conceptual
14 map about what we need to measure.

15 So we'll get into that a little bit
16 more, Bill. But we're still working from the
17 patient-centered episode of care framework.

18 MR. WILLIAMSON: Great. At this time
19 we're going to read off our terms. And so Ann
20 is going to read down the roster. If you're
21 here in person you can go ahead and read off
22 your number. If you're on the phone I will

1 pick the number for you and you will be stuck
2 with whatever I give you. So, Ann.

3 MS. PHILLIPS: Brent Asplin.

4 DR. ASPLIN: Three.

5 MS. PHILLIPS: Lisa Latts.

6 DR. LATTIS: Two.

7 MS. PHILLIPS: Ariel Bayewitz.

8 MR. WILLIAMSON: Two.

9 MS. PHILLIPS: Larry Becker.

10 MR. WILLIAMSON: Two.

11 MS. PHILLIPS: Mary Ann Clark.

12 MR. WILLIAMSON: Three.

13 MS. PHILLIPS: Cheryl Damberg.

14 MS. DAMBERG: Three.

15 MS. PHILLIPS: Jennifer Eames Huff.

16 MR. WILLIAMSON: Three.

17 MS. PHILLIPS: Nancy Garrett.

18 MS. GARRETT: Two.

19 MS. PHILLIPS: Andrea Gelzer.

20 DR. GELZER: Two.

21 MS. PHILLIPS: Stanley Hochberg.

22 MR. WILLIAMSON: Three.

1 MS. PHILLIPS: Martin Marciniak.
2 MR. WILLIAMSON: Two.
3 MS. PHILLIPS: Matthew McHugh.
4 MR. WILLIAMSON: Three.
5 MS. PHILLIPS: James Naessens.
6 DR. NAESSENS: Two.
7 MS. PHILLIPS: Jack Needleman.
8 MR. WILLIAMSON: Three.
9 MS. PHILLIPS: Eugene Nelson.
10 MR. WILLIAMSON: Three.
11 MS. PHILLIPS: Janis Orłowski.
12 DR. ORŁOWSKI: Three.
13 MS. PHILLIPS: Carolyn Pare.
14 MS. PARE: Two.
15 MS. PHILLIPS: John Ratliff.
16 MR. WILLIAMSON: Three.
17 MS. PHILLIPS: Andrew Ryan.
18 MR. RYAN: Three.
19 MS. PHILLIPS: Joe Stephansky.
20 MR. WILLIAMSON: Two.
21 MS. PHILLIPS: Thomas Tsang.
22 MR. WILLIAMSON: Two.

1 MS. PHILLIPS: Lina Walker.

2 DR. WALKER: Two.

3 MS. PHILLIPS: Bill Weintraub.

4 DR. WEINTRAUB: Two.

5 MS. PHILLIPS: Herbert Wong.

6 DR. WONG: Two.

7 MS. PHILLIPS: Dolores Yanagihara.

8 MS. YANAGIHARA: Two.

9 MR. WILLIAMSON: Great. So now we'll
10 move into NQF's other cost and resource use
11 and affordability work.

12 There are a few more slides here but
13 we'll skip over them. Just the general roles
14 of the standing committee that we've been over
15 during orientation.

16 So we'll turn it over now to Ashlie
17 and Taron.

18 MS. WILBON: So, you guys have seen
19 this slide before. It's somewhat of a
20 precursor. We're actually going to go into
21 detail into each of those purple boxes today.

22 And we'll tap a few of the committee

1 members here today and potentially some on the
2 phone that are actually sitting on some of
3 those committees as well to provide some color
4 to the discussion in terms of the discussions
5 that have gone on so far with those different
6 bodies.

7 So, again, those purple boxes are an
8 overlay to our conceptual framework for how we
9 have been conceptualizing I guess how resource
10 use, cost, quality and value all kind of fit
11 together.

12 So, and each of these different
13 purple boxes in terms of the projects are
14 addressing those different areas. So the
15 measuring affordability for consumers is
16 somewhat in the value realm. The linking cost
17 and quality project is in the efficiency
18 realm.

19 The MAP affordability family of
20 measures kind of crosses all those domains.
21 So we'll have Erin O'Rourke from the MAP team
22 come and talk a little bit about the work

1 they've done so far.

2 And then we also have in terms of the
3 cost measurement space work around the episode
4 grouper evaluation criteria. And then the
5 work of this committee today. So that's what
6 we'll talk about next.

7 MR. AMIN: Actually, could we go back
8 to that for a second? I just want to spend a
9 little bit of time here. And just, I know you
10 quickly just walked through it but I want to
11 just point out specifically how this works for
12 some of the newer members if that's okay. Can
13 I go into a little bit more detail on this?

14 MS. WILBON: Okay, sure.

15 MR. AMIN: So, one of the key things
16 that Ashlie pointed out but I'm just going to
17 highlight it again just so that we're kind of
18 all on the same page. Again, because I want
19 to make sure that we're all starting from the
20 same conceptual starting point.

21 So, the way that NQF -- and also
22 there's a lot of language and terminology that

1 people -- this whole space is a lot of
2 different terminology. What we mean by
3 efficiency, what we mean by cost and price and
4 all these various different terms. So I want
5 to make sure that we're all starting from the
6 same place.

7 So, on the bottom right, the darkest
8 blue area is our previous conversation around
9 what is resource use, what are costs that are
10 absorbed in the system.

11 And so the work that we're doing,
12 some of the primary work of this group is
13 around endorsing, reviewing measures of cost
14 and resource use.

15 And that will have conversations
16 around what are the important measurement
17 areas in terms of gaps, what are some of --
18 how do we start thinking about more high-
19 impact measures.

20 Given that this is our newer
21 measurement area for NQF we want to be really
22 thoughtful about the process of how new

1 measures get endorsed and into the portfolio.
2 And make sure we're being very strategic about
3 that.

4 I mean, we don't want to -- I mean, I
5 think we've done a lot of really good work on
6 the quality side. What we want to make sure
7 as we're introducing new measures into the
8 field, that they're really high-impact. And
9 we want to characterize how that is.

10 I mean, we certainly don't want to
11 have 500 cost and resource use measures in the
12 next 5 years. I don't think that is a marker
13 of success. Maybe it is, maybe -- but it
14 seems like at least we should have a much more
15 strategic approach about how these measures
16 are getting into the portfolio.

17 In particular, there's this whole
18 issue about episode groupers. In the first
19 cost and resource use project that we did we
20 had Ingenix measures that were -- and Ingenix
21 is just obviously one of many episode groupers
22 that are developed in the field -- that were

1 sort of measures that were a result of an
2 episode grouper.

3 And the work that we've undertaken,
4 and I think there's -- as Nancy I think is
5 again our sort of representative here from the
6 episode grouper group, to describe and
7 characterize what an episode grouper is and
8 how one would evaluate an episode grouper.

9 And Nancy will give a high-level
10 about what an episode grouper is. But
11 effectively you take all these claims and you
12 understand the cost for an episode of care.

13 So, at a very specific level what
14 we're looking at in that bottom right box is
15 how to characterize cost and resource
16 utilization.

17 But clearly if you're trying to
18 understand the efficiency of the healthcare
19 system you can't just look at cost. Because
20 that could just drive us toward reducing
21 quality and ultimately we want to be able to
22 find efficiencies, really ensuring that we

1 have cost-effectiveness, good utilization of
2 cost and resource utilization, but at a good
3 level of quality, so that you have a good
4 specified level of quality.

5 And so how do these concepts relate
6 to one another is really what we're doing in
7 the linking cost and quality work which we've,
8 again, as a direct result of this group we
9 then took this issue and talked to our
10 colleagues at the Robert Wood Johnson
11 Foundation, got some funding. And Andy will
12 walk through that I believe later on, exactly
13 what we're doing in that domain.

14 And then finally when we think about
15 how does efficiency relate to value, value is
16 really driven by stakeholder preferences and
17 values. Well, I shouldn't use the word to
18 describe itself.

19 But like, if the concept of
20 affordability and value is really up to an
21 individual perspective. And the two pieces of
22 work that we're doing, one most directly, the

1 Robert Wood Johnson work around measuring
2 affordability for consumers is really trying
3 to understand how consumers think about the
4 concept of affordability, how affordability
5 relates to these other three concepts that we
6 have in front of us.

7 But more directly, what are the
8 important measurement concepts from a consumer
9 perspective, what are the types of information
10 and then how can we best deliver that
11 information. What are the channels, meaning,
12 whether it's websites or social media
13 platforms, things of that nature that we could
14 start to think about how to get that
15 information to consumers.

16 But there's also the work around the
17 Measure Applications Partnership which is
18 tasked by HHS to advise on selection of
19 measures for federal programs. Which is also
20 looking at the question of affordability from
21 multiple stakeholders' perspectives. And also
22 coming up with a framework for effectively how

1 you select cost and resource use measures for
2 particular programs.

3 So, on that last point I also want to
4 just point out the linking cost and quality
5 work is really approaching the question of how
6 you link cost and quality from two different
7 aspects.

8 The first is looking at it from the
9 measurement aspect of how do you put these two
10 signals together to understand efficiency.

11 But also from the Measure
12 Applications Partnership perspective taking an
13 actual use case, for example, one could be
14 value-based purchasing, and thinking about how
15 you take the cost and quality signal to
16 actually get a signal that you would use for
17 the purposes of assessing provider
18 performance.

19 And that again is a whole different
20 area in terms of use. And that's a little bit
21 outside of the measurement, like the actual
22 measures, but much more around the signal of

1 how you start to put these two signals
2 together for the purposes of profiling.

3 So, that's, again, I don't think I've
4 said anything --

5 MS. WILBON: We're going to get into
6 a lot of detail on these in just a second.

7 MR. AMIN: Yes, right, absolutely. I
8 just wanted to make sure that we were
9 conceptually.

10 And again, all of this is up for
11 discussion and debate if we feel like the
12 conceptual framing of how we're approaching
13 this work needs to be adjusted or have other
14 considerations.

15 As you're thinking through and as
16 we're talking through in more detail each of
17 these pieces let's also bring in some of the
18 conceptual pieces about areas that we might be
19 missing, or alignment of these terms to other
20 terms that are being used in the field.

21 So, that's all I wanted to add.
22 Thanks, Ashlie.

1 DR. LATTI: All right. Andrea.

2 DR. GELZER: So, can you go back to
3 that slide? So, when I look at the resource
4 use episode grouper evaluation criteria cost
5 and resource use measure endorsement.

6 The episode grouper group, are they
7 just considering the traditional Ingenix type
8 of grouper? Or are they looking at 3M
9 products? Population-based groupers?

10 I mean, we've decided not to even use
11 an episode grouper right now. We are more
12 comfortable with other products. So, I think
13 we're missing a whole category there.

14 MR. AMIN: Maybe Nancy can also jump
15 in here from her perspective from the group.

16 But the episode grouper work is
17 intended to characterize what we even mean by
18 an episode grouper. There doesn't seem to be
19 general agreement in the field. Meaning that
20 each of the different products is designed to
21 do something different.

22 DR. GELZER: Agree.

1 MR. AMIN: And they all call
2 themselves episode groupers. And, well, that
3 could be debated. But let's, just for the
4 sake of discussion I think that they're in the
5 domain of episode groupers.

6 And the question that we were trying
7 to understand is, and I guess this is really
8 what Nancy is going to get into in a little
9 more detail so maybe I'll just either let
10 Nancy go on this topic right now or --

11 DR. GELZER: She may be able to
12 answer my question.

13 MR. AMIN: Yes.

14 DR. GELZER: Great, thank you.

15 MS. GARRETT: So, really what we're
16 doing is there's a definition here of what
17 episode groupers are. But I can tell you we
18 spent quite a while talking about that
19 definition and trying to get agreement. It's
20 not easy.

21 So, it might be helpful just to
22 understand a bit of the catalyst for this.

1 And I mean, Taroon, you can correct me if I'm
2 wrong but I think there's a couple of things.

3 One is this committee I believe has
4 been asked to review episode-specific measures
5 some of which we're looking at today but in
6 the past as well. And if that measure is
7 calculated from a proprietary episode grouper
8 then how does the committee know whether the
9 algorithms built into that grouper would meet
10 any standards for endorsement.

11 So, I think that that was one of the
12 reasons why there was a desire to try and
13 figure out if we should actually be endorsing
14 the episode grouper itself so that then
15 measures that are developed off of it could
16 have a more natural path to endorsement.

17 I think that was one of the
18 catalysts. Whether or not that's achievable
19 is another question.

20 And then the other thing is that in
21 the Affordable Care Act there's a stipulation
22 that CMS needs to create a publicly available

1 episode grouper that has to be endorsed by a
2 national organization. Something like that,
3 right?

4 MR. AMIN: That is endorsed by a
5 national consensus body. A multi-stakeholder
6 consensus body, which is effectively the
7 National Quality Forum.

8 MS. GARRETT: Codename for NQF, yes.
9 So that's the other catalyst for actually
10 convening the committee.

11 So with those two drivers we've been
12 really wrestling with what's really
13 achievable, what can we do. If you go to the
14 next slide.

15 There's a wide range of purposes and
16 functions as Taroon mentioned. And really,
17 one of the things we talked about is the fact
18 that episode groupers is really a piece of
19 software. And it's always changing, and
20 there's so much complexity in it. So it's
21 really different than looking at one
22 individual measure.

1 And so what does it even mean to
2 endorse it? If you endorse it does that mean
3 it's frozen in time and you can't continue
4 developing and improving on the algorithms?
5 So what does that mean, and how do you even
6 approach that?

7 We almost talked about the idea of is
8 it kind of like getting certified, like
9 certifying that the software does what we
10 think it does and having a regular process of
11 review. So, those are some of the things we
12 wrestled with.

13 So Jim, I don't know if you want to
14 add anything?

15 DR. NAESSENS: Well, I will say we
16 also spent a lot of time looking at what
17 criteria would we use to actually determine
18 this. Can we follow the NQF criteria we're
19 using for measures? Do we have to add
20 additional ones? Do some things not fit? How
21 do you determine that it's valid?

22 Looking at episodes we have at least

1 three main aspects for every episode grouper.
2 What sort of clinical group of patients are
3 included? What sort of time frame are we
4 looking at? What type of services get
5 included?

6 So, do you assess validity and
7 reliability on every one of those for every
8 one of their groups? Or do you do something
9 that's kind of a global assessment?

10 And I know I missed the last couple
11 of hours of the meeting so I'm not quite sure
12 what we concluded. I haven't had time to go
13 through the transcripts. But it's a big
14 challenge and it clearly wasn't definitively
15 decided at that first meeting.

16 MS. GARRETT: To answer your
17 question, Andrea, the panel has a lot of
18 really great perspectives and a lot of the
19 major episode groupers are represented. So
20 it's not at all software-specific.

21 DR. ORLOWSKI: So, I would say that
22 this is very critical work. I understand at

1 this point that there are many software
2 packages out there.

3 But in the end I think that we need
4 to have a public definition and understanding
5 of an episode. And that right now it's being
6 driven by proprietary software. And what we
7 need to do is to find definitions and
8 publicize those and have comments about
9 definitions that in the end the entire
10 community can buy into.

11 And I think we're being driven by
12 software and we need to be driven by -- I
13 won't, I'll stop using the word "trenches" but
14 we have to be driven by what is reality. And
15 so I think that's the important work that you
16 will be doing in this group.

17 MS. GARRETT: So, do you mean, Janis,
18 in particular the publicly available episode
19 grouper that CMS is working on, that that is
20 really critical? As compared to commercial
21 ones.

22 DR. ORLOWSKI: I was being more

1 global in my comment.

2 DR. LATTI: Larry, did you have a
3 question?

4 MR. BECKER: So, a couple of things.
5 I think you answered my question about the
6 information on the private groupers being
7 proprietary.

8 I recall several years ago when we
9 had competing measures. I think it was
10 Leapfrog's measure and STS' measure. One of
11 the values of NQF is that we need to have this
12 stuff out in the public domain. And so a lot
13 of really good work was done to make that
14 happen so that we didn't have these competing
15 measures.

16 It seems to me that we ought to bias
17 ourselves on the public's side and leverage
18 those who have these proprietary tools to put
19 them out in the open so people can understand
20 what the results are that they're getting and
21 being able to evaluate each against the other
22 so that there's understanding.

1 And if they're not willing to do that
2 then I think we ought to bias ourselves on the
3 side of having a public tool that people can
4 use and can base their decisions off of that
5 until such time as somebody wants to come
6 forward with a better methodology.

7 MS. GARRETT: Yes, I think that's a
8 great point, Larry. This is Nancy Garrett
9 again. And that's definitely something we
10 talked about.

11 Among some of the software vendors
12 that were represented some of them really have
13 taken a step towards more transparency. Like
14 the Optum Symmetry products. You can register
15 for their website and get detailed access to
16 a lot of the algorithms that they use. So,
17 that is definitely something that we talked
18 about.

19 But we also talked about what would
20 be the value for a private company to get NQF-
21 endorsed. I mean, this is such a different
22 kind of space.

1 And so it may be that this is really
2 a process that CMS is going to use and that
3 other companies wouldn't come through. It's
4 really -- we'll have to see what happens.
5 It's kind of a different animal.

6 MR. BECKER: Though it would seem to
7 me that if everybody started to use the public
8 tool there would be a lot of pressure on the
9 private ones.

10 DR. LATTS: Great. Okay. Do you
11 want to go to the next committee? Herb,
12 you're up.

13 DR. WONG: Okay, so it looks like I'm
14 up.

15 So, several of us on this particular
16 committee are also on another committee that
17 is seeking to really link the concept of cost
18 and quality together.

19 And the concept is that we recognize
20 that there's this space out there that has not
21 been well covered. There's talk about trying
22 to get to this notion of value and other

1 elements there, but it hasn't been done well.

2 And in many dimensions there is this
3 committee that is working on a white paper
4 with the sole purpose of at least setting the
5 baseline or conceptual framework for us to
6 begin thinking and talking about this
7 particular space.

8 It's really designed to talk about
9 the things that are happening out there in the
10 field in terms of composite measures and
11 things of that nature, but also highlight the
12 challenges as well.

13 So, the committee met twice. I think
14 one was an introduction meeting and then a
15 very long two-hour meeting where like any NQF
16 committee there's no shortage of opinions I
17 would say.

18 And many of the same themes that
19 emerged out of that conversation I think that
20 there are some elements that folks have heard
21 here.

22 And I would characterize it into

1 really four very broad buckets.

2 The big thing that I kind of heard
3 through our conversation was the concept or
4 the notion that we need to be very clear in
5 terms of our definition.

6 So as you all know, when we talk
7 about resource use and things of that nature
8 the concept of charges, cost, payment, price,
9 they all emerge.

10 And it became clear that in terms of
11 writing this white paper that the concepts
12 that sits behind all of these terms have to be
13 absolutely clear.

14 One of the key things that is
15 directly related to the definitional aspect of
16 it is the notion of perspective. And that is
17 once we kind of got into this a little bit
18 obviously a critical question is who's the
19 audience and who's the main user of a
20 potential product that comes out of that.

21 So, is it the consumer? Is the
22 payer? Is it the provider? All of those

1 elements kind of emerge.

2 Obviously there's some work that has
3 already been done in this field. Comments
4 about looking at the AHRQ/RAND report that
5 kind of got at this. This notion of
6 efficiency kind of emerged there.

7 But there was a clear recognition
8 that we really need to make sure we cover
9 those bases.

10 We had a good conversation about the
11 difficult challenges that emerge there. And
12 I think that the challenges are I think
13 multifold. And I'll put it into two larger
14 buckets. And folks that sit on this
15 particular committee, also on that one as well
16 may also chime in on it.

17 The way I kind of saw the biggest
18 challenges emerging are, one, in terms of the
19 methods. So, there's comments about, well, if
20 you go to a composite measure where you're
21 trying to blend these sort of things what are
22 some of the technical aspects? Do you get

1 false positives, things of that nature? So
2 there are challenges that kind of emerge
3 there.

4 The other component that folks talked
5 about were really what I would say data
6 challenges. And that is in many ways there's
7 known sets of data out there. Oftentimes it's
8 administrative claims data and things of that
9 nature. And you wonder whether or not there
10 is enough information to do some of the things
11 that you want to do there.

12 And there was some good conversation
13 about, well, should the committee be limited
14 to where the peer recognition of the data
15 challenges and only move down one pathway.

16 So, some examples were these concepts
17 of efficiency. And in the economics world
18 there are these different methods that look
19 like SFA and all these sort of things. But
20 they rely heavily on what they call input
21 prices. And those are hard to get. So should
22 we be limited there.

1 And I think that, I'm not sure
2 exactly where we ended up on that, but there
3 was at least a group of folks on that
4 committee that basically made the following
5 comment which I think I agree on.

6 And that is if this white paper is
7 designed to be a conceptual framework to move
8 the field ahead let's be honest of all the
9 limitations out there.

10 Here's the field that we need to make
11 headway on, here are the concepts that sits
12 behind it, here are the limitations and
13 challenges. Because maybe that will motivate
14 the field to collect more data and things of
15 that nature. So that's the third component.

16 And I think the fourth component that
17 I had a takeaway on is the notion of
18 actionability. As we think about these
19 measures that emerge we should give serious
20 consideration that the measures will give the
21 field, the players out there some information
22 that they can in fact act on.

1 So, I will say that's kind of my
2 high-level perspective of the conversation
3 there. And as I said before, I think there
4 are other committee members here that also
5 participated on that call and they can add
6 their two bits too.

7 MR. STEPHANSKY: This is Joe
8 Stephansky. I'm on that workgroup.

9 And I think the main takeaway that I
10 had was that if you wanted to guarantee a lack
11 of consensus just put 20 economists together.

12 (Laughter)

13 MR. STEPHANSKY: I'm going to leave
14 my comments out about the particular
15 discussions that we had.

16 But I think it's important to note
17 that that group is not likely to produce a lot
18 of useful guidance to us as we consider the
19 possibilities of, say, this AMI resource use
20 measure being combined with the AMI mortality
21 measure and the AMI readmissions measure into
22 some potential measure of value.

1 We're not going to get a lot of
2 guidance out of that committee in the short
3 run. That's more of a long-run output of the
4 committee. Thank you.

5 MR. RYAN: Hi. So, I'm involved with
6 writing that white paper. And I would echo
7 the comments by Herbert and Joe.

8 It was a very I thought an excellent
9 discussion. Very high-level. It's an
10 excellent panel.

11 And there's a lot of complexity here.
12 It's I think getting people to have the same
13 mental model about what we're talking about is
14 a real challenge. And I think it will be part
15 of the goal of the paper is to get people the
16 same mental model of these issues.

17 Just a couple of things I would add
18 are that with respect to what Herb said about
19 the data issues. I would also say there was
20 some question about the economic notion of
21 efficiency and that it's considered from the
22 kind of firm or provider perspective.

1 That one way to think about it is how
2 do you get the maximum level of output for a
3 given set of inputs. Whereas in this
4 discussion we were really thinking about it
5 from, not from an internal resource use
6 perspective but more from a payer or system
7 perspective of what is a given payer getting
8 for a price that they're giving for a service.
9 What kind of level really of quality are they
10 getting for it. So that's one thing I wanted
11 to note.

12 And I think moving forward we kind of
13 want to bracket that in saying there is this
14 notion of economic efficiency, but we're
15 thinking about this from really kind of a
16 different perspective, number one.

17 I think there's a real interesting
18 controversy about the kind of quality measures
19 that should be considered when we talk about
20 efficiency. Such as opening that up. You
21 could see that there really wasn't any
22 consensus about using process measures, really

1 focusing on outcomes, how you can have a kind
2 of blended quality signal using process and
3 outcomes. So I think that's something we want
4 to try to bring out more in the paper.

5 And I think what we'd like to do,
6 this is really the prerogative of NQF, is to
7 try to help developers in providing guidance
8 when they think about bringing up measures for
9 NQF endorsement around efficiency.

10 And to provide some high-level issues
11 about what we're looking for in terms of
12 harmonizing data elements for cost and
13 quality, and providing some larger framing to
14 help NQF think about this but also measure
15 developers when they're trying to bring
16 forward ways to measure efficiency.

17 MS. GARRETT: I had a question for
18 the group. Did you do any kind of literature
19 review about what we know about the
20 relationship between cost and quality
21 empirically?

22 Just in my own professional

1 experience what I've looked at suggests that
2 there isn't necessarily much of a correlation
3 with our current measures which is kind of
4 interesting. You might have a hypothesis
5 either way.

6 But what do we know about that? Are
7 you looking at that at all?

8 DR. WONG: I think in general there
9 is a small literature that looks at different
10 dimensions on that. So, there's a couple of
11 papers I know that a colleague of mine had
12 worked on that looked at costs in a
13 relationship to quality.

14 But it's costs from the perspective
15 of the hospital. That is the cost of
16 producing those services.

17 And he found some positive --
18 negative relationship. No, let me think about
19 this. I have to go back on it. But it wasn't
20 overwhelming.

21 I would say that in general that the
22 literature is probably mixed on that at this

1 point because of the different dimensions of
2 quality that one may be measuring.

3 And if you think about quality
4 dimensions potentially that measures different
5 dimensions. And so potentially that could
6 have an impact on those sort of things in a
7 different way.

8 DR. LATTIS: Cheryl.

9 MS. DAMBERG: So, Herb, I agree. I
10 think there's probably less in the literature
11 than actually people have been finding on the
12 ground. Because I'm sitting next to someone
13 who's been looking at that. And I know some
14 work that we've done at RAND.

15 And I think what's confusing in this
16 space is that -- not so much that it's a mixed
17 signal, but the signal's very weak. And so
18 that doesn't tell us whether it's positively
19 related or negatively related.

20 And I don't know whether your paper
21 is going to also cover what I'm going to call
22 the implications for signaling this

1 relationship to consumers. And kind of that
2 consumer reporting space of how they think
3 about this information. And so I'd be curious
4 to know about that.

5 DR. LATTI: Janis and then I'll move
6 on to Lina's committee next.

7 DR. ORLOWSKI: Just a quick comment.
8 I had the opportunity to talk to the VA this
9 past Friday. We were talking about big data.
10 And it was around this discussion.

11 We were talking about the engineering
12 term "signal to noise ratio." And the
13 solution to a signal to noise ratio is not
14 volume, it's trying to define.

15 And I think that's the issue that we
16 have right now. We really have a significant
17 amount of noise around this which is why the
18 papers that we see are so weak.

19 DR. LATTI: One quick comment before
20 we go onto the next.

21 DR. WEINTRAUB: Yes. So, this is
22 very complicated, the relationship between

1 cost and quality. It depends, I think the
2 problems of measurement that Janis is getting
3 at.

4 There are also problems of
5 perspective and scope. So, we know worldwide
6 that the relationship between cost and quality
7 doesn't look very good for the United States.
8 We all know those data. So, a lot depends on
9 the question that you're asking. Terribly,
10 terribly complicated.

11 DR. LATTIS: Okay, so this is our
12 third subcommittee of relevance. Lina.

13 DR. WALKER: This is Lina Walker. I
14 am on a panel looking at affordability from
15 the perspective of a consumer. And I think
16 that this is really important work and I
17 applaud NQF and the Robert Wood Johnson
18 Foundation for supporting this effort.

19 There's a lot of interest in asking
20 consumers to play a more active role in
21 healthcare decisions. And they need the tools
22 in order to make these appropriate decisions.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

And what's lacking right now are these measures that could support and empower them to make good decisions about their healthcare and about their choices. So this is the first step towards that end.

Now, this is no doubt going to be quite challenging. As Taroon alluded to earlier part of this reflects preferences of the individuals. And part of it also reflects the individual circumstances. You know, affordability is tied to their own ability to pay.

And so thinking about that broadly and being able to come up with some kind of recommendation in the end I think is a hard lift but a very necessary -- it's very necessary for us to look into it and attempt it.

Now, what's a really important part of the conversation would be thinking about what is currently out there, what are useful

1 measures for consumers, how do you construct
2 them and what information does it convey to
3 the consumer.

4 Now, I think this is where the issue
5 of price comes in. And Nancy and Mary Ann
6 alluded to it earlier today.

7 So, there are different components to
8 prices of course. There's the price of the
9 service but that may not necessarily be
10 something that's important to a consumer as
11 they're making their decision.

12 So the price that the consumer faces
13 is probably more important. But there are
14 many, many components that go into that. The
15 type of health insurance coverage they have,
16 the benefit structure, et cetera, et cetera.

17 So, thinking through these issues
18 will be part of our discussion. We've had an
19 orientation call and there are some consumer
20 members on this committee. And a few of them
21 expressed some observations around the concept
22 of affordability.

1 And I think what's really interesting
2 from those conversations was that there is a
3 really very broad spectrum of how people
4 regard affordability in the context of
5 healthcare decision-making.

6 So for instance, there was one
7 comment where the consumer said that in making
8 her particular healthcare decision, it was a
9 very personal decision, cost was of no
10 consideration.

11 And then there was of course the
12 opposite perspective where cost was one of the
13 most important considerations. A lot of it
14 again is coming from differences in
15 perspective and differences in the ability to
16 pay.

17 So we haven't moved forward in the
18 discussion yet but I expect that there will be
19 a lot when we get together for the two-day
20 meeting.

21 DR. LATTI: Andrea.

22 DR. GELZER: I think it's hugely

1 important, especially obviously in the
2 commercial population.

3 And when you talk about deductibles,
4 first dollar coverage, copays, coinsurance.
5 I mean, how a consumer can come to that
6 meeting and even understand the decision that
7 they will have to make, all of those things
8 impact care.

9 So I hope that the committee gets to
10 that stuff. It is, it's so important to every
11 consumer.

12 DR. WALKER: Yes, I completely agree
13 with you. And I hope we do discuss all that
14 at our two-day meeting.

15 Just to continue on your thought, in
16 the commercial space there's actually a
17 movement towards these private exchanges and
18 high-deductible health plans. And this is a
19 space where it becomes really critical to have
20 good cost and quality information that is
21 usable and understandable for the consumer.
22 So, a lot of this work hopefully will support

1 a lot of the broader changes that's going on
2 in our healthcare system.

3 DR. LATTIS: And this is Lisa. I'll
4 just pipe up. I'm on this committee as well.

5 And I think there's a fairly vocal
6 group of consumers. So I think that will
7 definitely come up.

8 But I think it's a very -- the
9 complexities are so multilayered that you end
10 up having the perspective of whatever segment
11 you come from.

12 So, it's almost a nomenclature and a
13 language problem trying to combine the
14 different perspectives of the different
15 segments. So it's a challenge. Brent?

16 DR. ASPLIN: So, this sounds like a
17 very interesting conversation.

18 My question is around -- clearly
19 you're focusing from a consumer perspective
20 about engaging them as customers of the
21 healthcare system at one level and that would
22 be kind of critical.

1 To what extent is the conversation
2 also overlapping into accountability? We talk
3 about accountability for hospitals, for
4 providers, for health plans, but especially as
5 you move into preference-sensitive conditions
6 in areas where not personal resources but
7 shared resources are being used, to what
8 extent is this conversation also asking the
9 question of the consumers how can we hold each
10 other accountable in a partnership.

11 And there's lots of conversations in
12 that space, some more politically charged than
13 others. You could get into the end of life
14 conversation. You could get into a whole
15 different series of conversations

16 And I just, as critical as the
17 engagement as consumers is, I just hope
18 there's also some interest and ability to move
19 into what's the accountability questions that
20 consumers need to face.

21 MS. WILBON: So, this is Ashlie.
22 I'll just try to respond to Brent's comment

1 quickly.

2 That's actually a good point. We've
3 struggled with, because the issue is so
4 complex and there's so many different avenues
5 you could go down.

6 The shared decision-making issue has
7 come up quite a bit. We've done some research
8 on some of the drivers of utilization for
9 consumers.

10 So, you know, the commercials that
11 are out there, having more informed consumers
12 that are online coming to their doctor, asking
13 for specific medications or specific tests and
14 how that influences utilization and cost.

15 And so that's definitely going to be
16 a part -- this is actually going to be a paper
17 as well. So the committee will be providing
18 input in terms of the structure and the
19 formulation of that paper.

20 But that's definitely something
21 that's been -- that will be addressed. We
22 won't be able to go down the whole path of

1 shared decision-making which as you know can
2 blow up. But it's definitely on the radar.

3 DR. LATTIS: Great. Nancy?

4 MS. GARRETT: I was just wondering if
5 the committee is looking at the question of
6 how consumers might think of cost as a marker
7 for quality.

8 So, the idea being if I'm looking for
9 a healthcare service I might not want the
10 cheapest one because I would assume that that
11 had less features, was lower quality. I mean,
12 just like if you go out and buy a computer you
13 might want to pick the middle model instead of
14 the really fancy expensive one or the cheap
15 one.

16 So for consumers that sort of
17 psychology that price and cost is a marker for
18 quality seems an important consideration. So
19 just wondering if you're talking about that.

20 DR. LATTIS: Well, and from a consumer
21 perspective it's all messed up, right?
22 Because you don't know if high cost or low

1 cost is better. Often it's the wrong way
2 around.

3 DR. WALKER: I think Nancy that we
4 will definitely touch on that point. There
5 wasn't an explicit conversation about it
6 during the orientation call, but there was an
7 underlying tone. And I think it will
8 definitely come up.

9 DR. LATTI: All right, we've got
10 Larry and then Joe on the phone, and then
11 we'll take a break.

12 MR. BECKER: This is Larry. So I
13 think at the outset for talking about this,
14 sometimes we make it more complicated and put
15 so many things into it.

16 It seems to me that we ought to do
17 what we can do. And one of those things is to
18 be able to provide to a consumer, to a patient
19 at the point of service the cost that they
20 will pay.

21 And much like the drug, you know, you
22 go to a pharmacy, much like in the dental

1 arena or the Lasik arena people know what it's
2 going to cost them.

3 And I think there's a shared
4 partnership here. Because what I hear in the
5 field here is that doctors and hospitals,
6 their accounts receivable, their bad debt's
7 going through the roof.

8 And part of that is because when the
9 patient is in front of them, when they can
10 actually collect the money they can't tell
11 them how much it is. And so your ability to
12 actually collect the funds goes down. And
13 your billing costs go up, and everything else
14 around it goes up.

15 And so I think the first thing, and
16 we don't have to invent anything new, is to be
17 able to provide to patients and consumers the
18 cost of many of the things, not all of the
19 things, but many of the things in the health
20 arena such as tests and basic office visits
21 and physical therapy costs and all of those
22 things.

1 And let's start in a place where we
2 don't have to invent anything new.

3 DR. LATTIS: This is Lisa. I think
4 that's a great start. The problem is it's
5 just not that simple, as you know Larry. Just
6 because you start getting into the insurance
7 product itself and it's like well, this costs
8 \$30 if you've met your deductible. If you
9 haven't met your deductible it's going to be
10 \$45.

11 MR. BECKER: Lisa? Lisa? I can do
12 that with my drugs. I can walk up to a
13 pharmacy and there's an intermediary that
14 understands where I am on my deductible, on my
15 high-deductible health plan instantaneously.
16 So it's not something we have to reinvent.

17 DR. LATTIS: Well, except we'd have to
18 then extend that -- well, we don't need to get
19 into this here, but we need to extend that
20 system to how many thousands and thousands of
21 providers that they have in the pharmacy.

22 So, but point taken, absolutely.

1 Joe?

2 MR. STEPHANSKY: Yes. I'm going to
3 bring up one other area of cost since I
4 haven't heard Jack Needleman talk and I want
5 to emphasize one of his pet peeves about costs
6 that we are not including.

7 When I look at cost to consumers we
8 tend to think about what they're actually
9 going to pay out of pocket. And we're kind of
10 ignoring the costs that they pay out of pocket
11 just to get to a healthcare provider. And at
12 the costs of family members who may need to be
13 looking after an elderly parent, say, in the
14 hospital.

15 We have areas in Michigan, we have 17
16 counties where there's no OB services at
17 hospitals. We've got multiple counties with
18 not a single OB/GYN practitioner in them.
19 There's costs to consumers that we're not
20 considering at this point. Just as a
21 background idea.

22 DR. LATTIS: That's a great point,

1 great point. Okay, Carolyn, last word.

2 MS. PARE: My comment is somewhat in
3 response to Larry's but probably speaks to a
4 bigger issue of a lot of the things that we've
5 already discussed this morning.

6 And it really has to do with
7 something that Herbert said. And maybe some
8 of the others around the table have said this
9 as well and I haven't picked up on it quite as
10 clearly.

11 But who is the audience ultimately?
12 I don't think NQF is in a position to resolve
13 all quality and cost transparency issues for
14 everybody that's out there needing to know.

15 I think that it is important that we
16 start somewhere. And from my perspective in
17 the work that I've done consumers don't even
18 recognize that there's variation in care which
19 is why they can't understand and connect cost
20 with quality because we haven't really been
21 particularly transparent with them about that
22 variation in quality and explain to them why

1 that exists.

2 I think you first have to focus on
3 the variation in quality and then maybe bring
4 the cost component in for the consumer. But
5 that's a different audience and that audience
6 probably unfortunately and painfully again
7 from my perspective can't be brought in until
8 providers are really open and understanding of
9 what that transparency provides them in terms
10 of quality improvement and accountability to
11 the people they treat.

12 So I really think we need to -- this
13 always gets so hard because we get into the
14 quality issues, the cost issues, and then we
15 start kind of looking at and whose fault is
16 it. And I say that we are all culpable in the
17 system that exists.

18 What's important for us is to
19 identify which part of that can we influence.
20 And I don't think NQF can really go beyond --
21 this isn't a criticism but even in the work
22 that we've done so far on these measures, they

1 don't mean anything to consumers. But then I
2 don't think that's the point right now.

3 DR. WALKER: Carolyn, I would
4 respectfully disagree. Because I really think
5 that a lot of the -- I mean, in the end we
6 want all participants to actively engage. And
7 you can't improve the system without having
8 consumers actively participate as well.

9 And I would rephrase a little bit
10 what you said about consumers and whether or
11 not they're able to assess quality.

12 I think they know that there is
13 variation in quality. We see them make these
14 decisions based on their perceived notion of
15 differences in quality.

16 I think the issue is that they're not
17 able to assess the signal so they don't have
18 the ability to assess the -- they understand
19 that there's variation but they can't identify
20 the variation in a way that -- in all
21 circumstances.

22 So, they're misinterpreting the

1 signals. Maybe they're using prices instead.
2 Or they're not able to understand the measures
3 that are available to them.

4 So, more so then we need to think
5 about how we can present this information so
6 that they can use it and make those decisions
7 appropriately.

8 DR. LATTIS: Great, terrific. Well,
9 thank you everybody for a very, very fruitful
10 morning I think so far.

11 We're going to take a break now so
12 we'll truncate it just a little bit since
13 we're a few minutes behind. So if everybody
14 could come back at 10 minutes after the hour
15 we'll see you in a few.

16 (Whereupon, the foregoing matter went
17 off the record at 10:58 a.m. and went back on
18 the record at 11:11 a.m.)

19 MR. WILLIAMSON: All right,
20 everybody. Welcome back from the break. At
21 this time we'll be going over the cost and
22 resource use measurement portfolio as well as

1 input to the Measure Applications Partnership.

2 We are joined right now by Erin
3 O'Rourke who is project manager for the
4 Measure Applications Partnership as well as
5 several of the Robert Wood Johnson projects
6 that we just discussed in the last segment.

7 So at this time I'll turn it over to
8 her as well as Brent and Lisa who will be
9 running this portion.

10 MR. AMIN: Actually, I think Ashlie
11 is going to get started on the first slide
12 which is a review of the portfolio before we
13 get into the input sections.

14 MR. WILLIAMSON: Then I will turn it
15 over to Ashlie at this time and she will start
16 this section.

17 MS. WILBON: So, we've been talking a
18 lot about kind of the role of the standing
19 committee in terms of looking at the overall
20 portfolio.

21 So this is somewhat of a new exercise
22 for us in trying to present the measures to

1 you in a way that we can kind of conceptually
2 look across how all the measures map to the
3 episode of care framework.

4 So, we'll go through several slides
5 here to try to walk you through the process
6 and then we'll take input along the way and
7 see where we end up.

8 MR. AMIN: Actually, Evan, before you
9 move on that slide, can I just --

10 MS. WILBON: Go ahead.

11 MR. AMIN: So, for some of the new
12 people who are new to the committee who
13 haven't reviewed some of these measures I'm
14 just going to give a two-second overview about
15 what these are and conceptually how we
16 categorize them.

17 So, the way that we sort of think
18 about these cost of care measures is that we
19 have per capita measures where the measurement
20 period is one year.

21 And then we have episode measures
22 where the measurement period has a defined

1 what we call trigger and end which is a start
2 and end period that's usually not a year.

3 The easiest way to conceptualize that
4 is sort of an acute episode. Your
5 hospitalization starts the episode and then
6 your discharge ends the episode, or 30 days
7 post discharge ends the episode. So, we have
8 per capita measures and then we have per
9 episode measures.

10 And then we have those that are
11 measuring resource use which is essentially
12 using standardized pricing. Where really the
13 dollar amount is only a signal as a weighting
14 mechanism effectively but they don't represent
15 true dollars spent by the system. It's
16 resource utilization monetized.

17 And then we have actual prices paid.
18 Meaning usually by the health plan, prices
19 paid by the health plan. So that's our
20 pricing model. Those are the measures that
21 use price. So we have per capita, per episode
22 and then we have those using standardized

1 prices, resource use measures. And then we
2 have cost measures that use actual prices
3 paid.

4 So, when you're looking at the
5 measures that are currently in the portfolio
6 we have two, 1598 is your -- using
7 standardized prices. So it uses a
8 standardized pricing table weighting
9 utilization. That's a PMPM per capita measure
10 by HealthPartners.

11 And as Nancy pointed out before the
12 second one, the 1604, the total cost
13 population-based PMPM measure is a per capita
14 that uses actual prices paid. So those are
15 sort of paired but they give two different
16 pieces of information.

17 The two NCQA measures, actually the
18 four NCQA measures, I'll just describe them
19 broadly. They're PMPM measures but condition-
20 specific. So you identify a patient with
21 diabetes, but for the measurement year you
22 catalogue all the measures that are related --

1 just you're cataloguing all the measures of
2 the patient regardless if they're specifically
3 related to the diabetes.

4 MS. WILBON: All the costs, Taroon.

5 MR. AMIN: Oh, sorry. All of the
6 costs. I don't know what I said but that's
7 what it meant. So that categorizes the four
8 NCQA measures broadly.

9 And then the two ETG-based measures
10 are measures that are a result of an episode
11 grouper. So, they used the Ingenix episode
12 grouper and then they have the two ETGs for
13 hip and knee and then for pneumonia.

14 And then finally we have also, we
15 generally consider this an episode measure as
16 well but it's non-condition specific. It
17 looks at the total spending per beneficiary
18 during a hospitalization and 30 days post
19 discharge. So that's what we have currently
20 in the portfolio.

21 MS. GARRETT: Taroon, do the ETG
22 measures include price?

1 MR. AMIN: They --

2 MS. WILBON: Yes, they're actual
3 prices paid.

4 MR. AMIN: Actual prices paid. Thank
5 you. For some reason I couldn't remember that
6 off the top of my head. But yes, that's
7 right.

8 DR. LATTIS: Why do we only have one
9 of the four endorsed in January of 2012 for
10 review now?

11 MR. AMIN: This one, the way that
12 this phase was constructed was to look at
13 cardiovascular conditions. So, this measure
14 fell into that clinical domain. However, that
15 is -- I'll just flag that as a conversation
16 that we'll have at a later time during this
17 meeting which is around do we want to continue
18 to have sort of condition-specific resource
19 use. You know, is that a proper way to
20 categorize future work, or should we be
21 thinking about it in a different construct.

22 So you may not have opinions about

1 that but we're thinking about that in terms of
2 how to structure this work of the committee
3 going forward.

4 So, I'll turn it back to Ashlie in
5 terms of how that fits. Or do you want to
6 just go to the next slide and talk about the
7 episode of care? Okay.

8 So, as we look at the -- this model
9 is the patient-centered episode of care
10 framework that we described. This was a piece
11 of work that we had done about four years ago
12 in which we described essentially how we would
13 like to look at the question of efficiency,
14 particularly looking at cost and quality.

15 And really there is categorization of
16 three different components of the patient
17 episode. One meaning the population at risk
18 where you're looking at general patients
19 without any acute condition.

20 You have your sort of phase II which
21 is your acute condition, flare-up of a
22 condition. And then your follow-up care which

1 is involving post-acute services broadly.

2 So, really the purpose is to think
3 longitudinally about the care that we're
4 providing to the patients, to patients
5 broadly.

6 And as we think about the construct
7 of what we have in the portfolio. Actually
8 this is pretty much the characterization I
9 provided earlier which is per capita non-
10 condition specific, per capita condition-
11 specific, and then per episode non-condition
12 specific and then per capita condition-
13 specific. I know that's a mouthful.

14 But as you can see from the
15 categorization of what we have in the current
16 portfolio we have a number of measures that
17 sort of span all three phases which is
18 effectively measurement period being one year.

19 And then we have a few measures that
20 are sort of in the phase II domain, non-
21 condition specific. And then we have sort of
22 three condition-specific. One that's up for

1 evaluation in phase III of this project which
2 we'll look at later on this year.

3 Effectively the question that we need
4 to consider as we move forward with this work
5 is what really is the mechanism for
6 prioritizing. What are the condition-specific
7 measures that we need to be looking --
8 actually, that's a typo, I apologize. That
9 should be per episode condition-specific.
10 Apologize for that.

11 But how are we really prioritizing
12 what conditions we should be looking at from
13 an episode perspective. What conditions and
14 what is the mechanism for prioritizing that.

15 Because right now one of the things
16 that you can just effectively say is how do we
17 only have hip and knee and pneumonia. And
18 then potentially others that are currently up
19 for review during this meeting.

20 But what's the logic here. What are
21 we trying to get into the portfolio and what
22 do we have. And what types of measures are

1 more appropriately looked at from an episode
2 framework, and what conditions might be more
3 appropriate to look at from a per capita
4 paragraph.

5 One thing that we've discussed in the
6 past is that more acute conditions might be
7 more appropriate to look at from an episode
8 approach. More chronic conditions might be
9 appropriate to look at from a per capita
10 approach.

11 That could be one framework that we
12 use. But the more that we can sort of define
13 that strategy the better that we can give some
14 guidance to the field.

15 So anyway, that's a sense of where we
16 are right now. We'll have more of a
17 discussion about where we want to go with this
18 work at the end of this discussion.

19 Because the MAP actually provided
20 some additional guidance about where they
21 would like to see the measure portfolio evolve
22 to and provided some guidance to this group

1 about what they're seeing in terms of the
2 programs and the limitation of the programs in
3 terms of what measures are currently
4 available.

5 And then maybe I'll turn it back to
6 Ashlie in terms of the future work. And then
7 we can go into the MAP work from Erin's
8 perspective.

9 MS. WILBON: So, this slide just
10 summarizes what we're looking at in the next
11 phase. Initially it was focused primarily on
12 pulmonary. But we did learn of another
13 measure from the American Dental Association
14 that they do have a dental cost measure that
15 will be ready around the time of phase III.

16 So while it was initially focused on
17 pulmonary we're going to accept this measure
18 as well given the somewhat limited
19 opportunities we have now to submit measures.
20 And we'll go ahead and include that in the
21 review.

22 The next time we meet, I believe in

1 June or July it will be to discuss these five
2 measures, two of which as Taroon mentioned are
3 -- I'm sorry, three of which are maintenance
4 measures, two from NCQA that will be very
5 similar to the measure you will review today
6 for the cardiovascular conditions, and then
7 one of the ETG-based pneumonia measures from
8 Ingenix that will be kind of a re-review if
9 you will in terms of maintenance review. And
10 then two new measures.

11 MS. O'ROURKE: Hi, everyone. As Evan
12 said I am Erin O'Rourke. I am the project
13 manager for the Measure Applications
14 Partnership affordability family of measures
15 project. And thank you very much for letting
16 me attend this meeting today and take
17 advantage of the expertise of this committee.

18 MAP is a more policy-focused group so
19 we wanted to take advantage of the technical
20 expertise that this group has up front of our
21 in-person meeting to get some input on our
22 high-leverage opportunities and measure gaps.

1 To give you a little bit of
2 background about the MAP, the statutory
3 authority for this work is in the Affordable
4 Care Act requiring HHS to contract with a
5 consensus-based entity to convene multi-
6 stakeholder groups to provide input on the
7 selection of measures for public reporting,
8 payment and other programs.

9 In pursuit of the National Quality
10 Strategy our goal is to inform the selection
11 of measures to achieve improvement,
12 transparency and value for all.

13 The main way that MAP does this work
14 is through our annual pre-rulemaking work
15 where we receive a list of measures under
16 consideration by HHS for the various federal
17 programs that go through the rulemaking
18 process. And MAP provides upstream multi-
19 stakeholder input on each measure, whether we
20 would support the addition of that measure to
21 the program, conditionally support it, or not
22 support it.

1 MAP operates through a two-tiered
2 structure. There are four standing advisory
3 workgroups. Three are based on settings. The
4 last is based on population to provide
5 specific input on dual eligible beneficiaries.

6 We also convene a series of time-
7 limited task forces to primarily do the work
8 of developing measure families which are one
9 tool that we use to inform our selection of
10 measures for programs during the pre-
11 rulemaking cycle.

12 The four advisory workgroups provide
13 input to the MAP Coordinating Committee which
14 makes the ultimate recommendations to HHS.

15 I did want to point out that Dolores
16 is a member of both the Cost and Resource Use
17 standing Committee and the MAP Affordability
18 Task Force, hopefully providing some
19 continuity and a link between both groups.

20 The specific charge of the
21 Affordability Task Force is to advise the
22 Coordinating Committee on an affordability

1 family of measures including recommendations
2 for specific measures that should be in the
3 family, identification of any gaps and
4 recommendations for a pathway for filling
5 those gaps, as well as an analysis of the
6 barriers that could exist to actually using
7 these measures in the family.

8 This task force is time-limited. It
9 consists of current members from the
10 Coordinating Committee and all four
11 workgroups.

12 MR. AMIN: Before Erin gets into the
13 next slide here I just wanted to point out
14 that -- actually, if you can go to the next
15 slide, Evan.

16 I just wanted to point out that what
17 we're looking for from the Cost and Resource
18 Use Standing Committee from a content
19 perspective is actually very similar to the
20 task of this time-limited affordability family
21 workgroup.

22 But the construction of the groups is

1 fundamentally different. So this group is
2 much more -- both groups are constructed to be
3 multi-stakeholder obviously, but this group is
4 much more methodologically oriented. And the
5 group that is in the MAP is much more policy-
6 oriented. And Dolores can obviously speak to
7 that.

8 But as you look to these goals, the
9 reason why we want to have this conversation
10 collectively in terms of the goals that the
11 affordability family has been discussing and
12 some of their preliminary recommendations, we
13 want to have that discussion along with what
14 does the standing committee think are the
15 high-leverage opportunities in terms of gaps
16 that we need to be focused on in the context
17 of the current portfolio.

18 So this is serving as an input in
19 terms of that general broader strategic
20 conversation that we're having, that we'll be
21 having later on at the end of this session.

22 But effectively this is sort of an

1 input to that discussion. And given the
2 nature of our conversation we'll provide the
3 input from this group back to the
4 affordability group.

5 So these groups sort of interact very
6 strategically in the sense that they're
7 addressing essentially the same concepts, but
8 they're constructed differently which is why
9 we're sort of having both groups inform each
10 other.

11 MS. O'ROURKE: Thanks, Taroon. So as
12 Taroon was saying, our goals for the family of
13 measures, we're hoping to promote alignment
14 across settings and the public and private
15 sectors.

16 We want to create a comprehensive
17 picture of affordability considering multi-
18 stakeholder perspectives, including measures
19 related to cost drivers and other key
20 components of cost, and really use these cost
21 drivers to identify the highest-leverage
22 opportunities and available measures to

1 hopefully reduce costs across the system.

2 We'll be building on existing
3 measures primarily from the NQF portfolio and
4 laying out a path to build on these initial
5 measures and consider what barriers might
6 exist to actually using them in programs.

7 Just to define some of the terms that
8 you'll see on the coming slides. Families of
9 measures are related available measures and
10 measure gaps for specific topics that span
11 programs, care settings, levels of analysis
12 and populations.

13 And a core measure set is available
14 measures and gaps drawn from the families that
15 can be applied to a specific program setting,
16 level of analysis or population.

17 So, to illustrate for you how the
18 families of measures work. If you look at the
19 bubble surrounding the multicolored boxes that
20 would be an NQF priority or a high-impact
21 condition.

22 Each of those rows would represent a

1 subtopic of measurement. Say if this was a
2 safety family of measures that first row might
3 represent healthcare-associated infections.

4 And then each of those multicolored
5 boxes in the row is a different measure. So
6 those measures would then be organized to
7 create the core measure set for each of the
8 settings, whether it's hospital, clinician, or
9 post-acute long-term care.

10 So then to play out how we would use
11 these for informing the selection of measures
12 for programs. You'd see the measures come
13 together to create the clinician core measure
14 set.

15 We would then use those measures when
16 the MAP is doing its pre-rulemaking
17 deliberations. And if a measure is in a
18 family it would be given higher weighting for
19 supporting that for the various programs.

20 So, for the clinician setting that
21 might be the Physician Quality Reporting
22 setting, the value-based payment modifier, or

1 the Meaningful Use Program.

2 To develop the affordability family
3 of work we are taking a five-step approach.
4 Our first step was to develop a consensus-
5 based definition of affordability.

6 The task force chose to really define
7 affordability from the consumer's perspective,
8 representing that they are ultimately the ones
9 to bear most of the costs of healthcare. Next
10 slide.

11 Our next step that we accomplished at
12 our February web meeting was to identify and
13 prioritize high-leverage opportunities for
14 measurement.

15 At this point we are kind of flipping
16 how we're approaching this. We recognize that
17 affordability needed to be defined from the
18 consumer perspective. But they ultimately
19 can't be held accountable for the
20 affordability of healthcare.

21 So at this point we wanted to take a
22 look at the system and identify what are the

1 high-leverage opportunities where there's
2 excess costs that perhaps measurement could
3 contribute to reducing those costs and
4 promoting the affordability of healthcare.

5 Our next step will be to do a scan of
6 available and pipeline measures that address
7 the high-leverage opportunities. We'll be
8 looking to the endorsed portfolio of measures,
9 measures that are in use in federal programs
10 and available private sector efforts.

11 We'll then be defining the
12 affordability family which would consist of
13 available measures as well as measure gaps.
14 We'll be doing this at our May 7 and 8 in-
15 person meeting.

16 And then finally we'll be playing out
17 some of the principles that will be developed
18 in the RWJ-funded work that Taroon and Ashlie
19 presented to you earlier today, and
20 considering how those principles might impact
21 the use of effectively measures in federal
22 programs.

1 So we identified four high-leverage
2 opportunities related to cost and resource
3 use, total costs. Where measurement areas
4 might be total cost of care, disparities
5 between the prices charged for the same
6 services, and then pricing information and
7 price transparency. Cost by episode with some
8 high-leverage measurement areas including
9 heart disease, cancer, mental disorder,
10 pulmonary conditions, orthopedics, obstetrics
11 and gynecological conditions, GI conditions,
12 end-organ failure with functional impairment,
13 cognitive impairment as well as multi-
14 morbidity functional and cognitive impairment.

15 Utilization including total resource
16 use, spending per beneficiary and relative
17 resource use as well as taking a look at cost
18 to the patient including premiums, deductible,
19 out-of-pockets and pricing information from
20 the patient perspective.

21 So with that we wanted to take our
22 high-leverage opportunities to this group and

1 take advantage of your technical expertise to
2 see if it seemed like this was -- if we are on
3 the right track and if there's additional gaps
4 that we might have missed in this work.

5 Specifically, MAP noted a need for an
6 environment of greater price transparency.
7 So, we wanted to see if the standing committee
8 agreed with this approach, and if so, how
9 measurement can support that objective.

10 If there is advice that this
11 committee might have on additional episode-
12 based measures which would be the key
13 conditions that we don't currently have
14 episode-based measures for. And are there
15 additional gaps that should be addressed in
16 the family of measures.

17 MR. AMIN: So, before we start with
18 that, I mean there's a series of questions
19 here. Actually I'll just go to the next slide
20 as well because I just want to lay out the
21 field of topics here.

22 And this is all part of our strategic

1 conversation broadly. So while these are sort
2 of inputs that the MAP is looking for from
3 this group I'll also just note that what we're
4 trying to understand as well -- and we'll have
5 this conversation at the end of day two as
6 well as we've looked at the measures. And we
7 might have some more specific ideas about some
8 of these topics.

9 But what really are the high-impact
10 measures of cost and resource use that we need
11 in the portfolio in the context of the
12 measures that we currently have.

13 How should we prioritize the clinical
14 areas for the episode-based measures for
15 future work? What's our construct for
16 selecting these?

17 I think Erin sort of pointed out a
18 list of episode-based measures that were
19 identified as high-impact by the MAP. What
20 we're asking is more of a broad question.
21 It's how do we really prioritize them so it
22 doesn't appear to be just a list of

1 conditions, but what is the mechanism that
2 we're going to be using going forward.

3 And then broadly one of the questions
4 that we're still interested in understanding
5 is what are the additional areas that NQF
6 should think about in terms of future project
7 work to advance cost and resource use
8 measurement science broadly.

9 And there are some just general
10 topics that have been raised in the past and
11 still continue to be issues around integration
12 of potential clinical data and other data
13 sources since we don't really see many of
14 those types of data sources in the current
15 measures right now.

16 How do we advance the goal of price
17 transparency broadly. I think that was
18 another that Nancy brought up in the beginning
19 of our effort. So how do we really think
20 about that in terms of the future work that we
21 need to be doing, whether that's through the
22 measures or broadly, like additional guidance

1 work that may need to be done about how we
2 think about pricing data.

3 The impact of the use case.
4 Currently, and this is just a broad
5 conversation. I know Dolores has thought
6 about this considerably in terms of the
7 challenges in having a national standard for
8 a measure, but also the fact that the use case
9 might change the actual construction of the
10 measure itself.

11 So, currently NQF guidance has --
12 essentially thinks about -- we're use agnostic
13 effectively. Whether the measure is used --
14 we want measures to be used for public
15 reporting and accountability applications
16 both.

17 Now, the question inherently is if
18 the measure is used for accountability
19 applications, particularly for payment
20 applications is there a difference in the way
21 we would essentially look at these measures.
22 Is there a construct that would justify a

1 difference of approaching this.

2 And this is obviously not just
3 limited to cost and resource use measures, but
4 a broad question that we're considering
5 internally, strategically.

6 And then further, just as we're
7 thinking about other types of cost measures,
8 you know, obviously there's a lot of work that
9 people have talked about around really what we
10 should be measuring is more activities-based
11 costing approaches and much more production
12 cost. But how would that really be done in
13 the current data environment that we have.

14 So, the span of sort of strategic
15 questions is broad. And so we want to spend
16 a little bit of this session, so I'll turn it
17 over to the co-chairs, to just walk through
18 some of the strategic conversations that the
19 MAP has laid out for us, and then some of the
20 other strategic questions that we've laid out
21 here as a starter to lay out a path forward
22 for how we think about making recommendations

1 for future measure development, and
2 additionally, how we think about some of these
3 other measurement science issues.

4 Before we get to that I just want to
5 provide one additional piece of context which
6 is that the reason why we've asked Erin to be
7 here and to describe the MAP component in
8 particular is that this work around families
9 and selecting measures for programs in most of
10 the other areas is really a sorting exercise
11 of the myriad of measures that we have in the
12 portfolio.

13 So, looking at a diabetes family,
14 you're trying to take all these diabetes
15 measures and understand which are appropriate
16 for which application.

17 In the area of cost and resource use
18 measurement there's not a lot of measures to
19 choose from. And so it became much more of a
20 conceptual exercise around defining terms and
21 much more defining priorities.

22 And so, again, this is where the

1 overlap between what this workgroup is doing
2 which it's charged with which is essentially
3 defining the priorities and the path forward
4 for cost and resource use measures. And so
5 that's really where these two processes
6 interact.

7 And this is particularly a unique use
8 case because the affordability family is not
9 really doing the sorting that they would be
10 doing for the other clinical areas, but much
11 more conceptual which is sort of where we
12 relate with this group.

13 So, as a bit of context that's why
14 this MAP conversation is included here as
15 well. And obviously it interacts with other
16 pieces of work like the linking cost and
17 quality work around playing out an example in
18 an actual federal program, for example, value-
19 based purchasing as Erin described.

20 So, those are how these two domains
21 interact. However, strategically we're asking
22 very similar questions. So, I'll leave it

1 there, turn it back over to the chairs.

2 DR. LATTI: All right, well we have
3 placards up fast and furiously. So I have
4 Janis, Cheryl, Lina up.

5 DR. ORLOWSKI: Taroon, in the last
6 part of your conversation I think that you hit
7 on the comment that I was going to make.

8 I think that one of the most critical
9 things that need to be done is first,
10 definitions. And I think that we need to
11 understand the definitions.

12 And I see in the slides the use of
13 the word "cost," the use of the word "price."
14 And I think that we have to understand what it
15 is that we're talking about.

16 I believe that looking at the
17 chargemaster unless you understand
18 historically what the chargemaster was and how
19 it was derived it provides little public
20 information. And I think that what we have to
21 understand is charge or expected revenue. And
22 so again, I believe that definition is

1 important.

2 I think that what we have to do is
3 that we have to be able to define these terms
4 from several different perspectives. From the
5 payer, from the payee, from the hospital or
6 physician and from the patient and from the
7 employer.

8 I think that we need to be able to
9 look at all of these different areas and say
10 what is the cost of the actual episode of care
11 to these individual groups. Or what is the
12 receipt of revenue.

13 And finally, what I believe that we
14 need to do is when you're talking about the
15 federal programs we have to carefully define
16 what is in the cost basket and what is out.

17 And by that what I mean is that there
18 has to be a robust discussion about graduate
19 medical education and IME and whether those
20 and how those costs are identified and
21 separated from the underlying cost structure
22 of academic medical centers.

1 So I think it's a long-winded
2 statement that definitions is where you have
3 to start.

4 DR. LATTI: Cheryl.

5 MS. DAMBERG: So I had three issues I
6 wanted to raise.

7 I was kind of surprised in terms of
8 describing this landscape that there was no
9 mention of overuse. And I was kind of curious
10 where that language had gotten lost.

11 Because I think the ability to
12 advance measures that are very targeted have
13 the potential in the near term to yield some
14 very direct gains and to do direct signaling
15 to providers. So I didn't see that.

16 And I know that to the extent that
17 you do environmental scans and try to pick up
18 what's going on on the ground. So this
19 bottom-up that Janis and I have been talking
20 about in our sidebar conversations, you know,
21 really I think is focused around looking at
22 areas of variation and trying to identify

1 potential areas of overuse. And I would hope
2 we're not leaving that behind in some way.

3 Second issue. You teed up price
4 transparency. And I'm a big proponent of
5 that. And I think that that should be a core
6 focus, whether it's of NQF that I just think
7 in this country we need to move forward on
8 that quickly.

9 And I think that I sit here as a
10 researcher but I think more importantly we all
11 sit here as consumers. And I cannot tell you
12 the struggles I have faced in the healthcare
13 system personally trying to get anybody to
14 tell me the cost of anything, particularly
15 when they make me sign forms that say I'm
16 liable for whatever my insurer doesn't pay
17 for. So I say, okay, what am I on the hook
18 for and they can't tell me. So, I definitely
19 think anything to advance that.

20 But I think above and beyond trying
21 to figure out what those metrics look like, I
22 think there's a lot of work that needs to be

1 done to try to understand what I call the
2 regulatory legal space and all of these gag
3 clauses that are in effect that prohibit the
4 industry from stepping forth and disclosing
5 information.

6 And I don't know whether that's some
7 sort of legal analysis that NQF might help
8 sponsor, but I think really trying to get a
9 handle around all of those issues that are
10 going to permit transparency of price
11 information really need to be fully looked at.

12 And my third issue, and I've sort of
13 sensed this not just from this meeting but the
14 previous committee work. It's very easy to
15 get pulled in lots of different directions.
16 And I worry about scope creep.

17 And I think we have a hard enough
18 time staying focused on we're here to look at
19 three measures and to look at them in terms of
20 their intended use in specific applications.

21 And I think we have a danger of being
22 in too many places to thinly. And so I would

1 encourage NQF and the MAP in particular to try
2 to figure out how best to leverage the
3 expertise and the resources to make progress
4 on a narrower set of fronts to demonstrate
5 success.

6 Does anybody want to comment on any
7 of this?

8 MR. AMIN: Yes, I think I would agree
9 on almost all those. I think the question
10 that we're trying to understand, actually, and
11 we can go into a little bit more detail. But
12 you know obviously we can't get into a
13 complete analysis strategically about how to
14 prioritize really the high-leverage
15 opportunities that Erin sort of played out.

16 We can walk back through them a
17 little bit. But one of the specific asks for
18 the committee is to reflect on those high-
19 leverage opportunities. And maybe not suggest
20 one versus the other, but how one would even
21 think about which are really the high-leverage
22 opportunities.

1 Particularly on the cost by episode,
2 effectively conditions. How one would really
3 think about the approach there. Are we
4 looking at high-dollar amounts by condition?
5 Are we looking at total spend by the country
6 on these conditions? Are we looking at sort
7 of prevalence of these conditions?

8 I mean, those are three that anyone
9 could throw out. But how are we really
10 prioritizing these so we don't end up trying
11 to develop condition-specific measures for all
12 of these. Or is that really the approach that
13 we want to take.

14 So, reflections on it. That's broad,
15 not just to Cheryl. But how do we start to
16 really have a framework to start really
17 addressing how we spend our measurement
18 dollars on these topics.

19 DR. LATTI: Lina.

20 DR. WALKER: We're just at the
21 beginning stages of thinking about cost and
22 resource and maybe pricing measures. And I

1 think that this is a good opportunity for us
2 to think about what we are trying to solve
3 for.

4 It's particularly important for these
5 kinds of measures because how you construct
6 these measures affect how you can interpret
7 them and how you can use them.

8 This is where I see that being use-
9 agnostic is really quite difficult. If the
10 purpose of the measure is just to collect
11 information on resource use then you can
12 understand that being use-agnostic -- just
13 that information then, that's fine.

14 But the fact is that these measures
15 would be used to drive improvements in X or Y
16 and Z.

17 And then I think then it becomes
18 imperative to ask, well, what is it that we
19 are trying to improve.

20 And I have to say that I had a lot of
21 problems with the episode-based measures that
22 we evaluated because it's not clear to me that

1 the resource value carried a lot of meaning in
2 the context of what it is that you're trying
3 to achieve, what it is that you're trying to
4 improve.

5 So I think it's really imperative
6 that we consider the broader question of how
7 you want to use it, what is it you're trying
8 to improve. And I'm glad that we have this
9 opportunity to discuss these issues.

10 DR. LATTS: Okay, so next on my list
11 I have Lisa, then Brent, Andrew and Bill. And
12 just wanted to comment that those of you on
13 the phone are being very quiet. So re-engage
14 and put your virtual placards up so we can
15 hear you.

16 So I just wanted to comment on the
17 grouping. I actually think condition-based
18 grouping is far less important than the type
19 of measure and what's being measured.

20 I think a lot of the condition
21 clinical stuff is probably interchangeable for
22 a lot of these measures. It's far more

1 important, is it a global measure, is it a
2 hospital-based episode measure. I think
3 that's what is the more salient way to think
4 of these. And that's how I would prefer to
5 see them grouped.

6 And I think that will start to show
7 us the gaps far more appropriately than
8 thinking of them by condition.

9 I mean, to some degree you just plop
10 in a particular condition's particular codes
11 and you could just switch out the
12 methodologies as really very similar I think.

13 DR. ASPLIN: Lisa just made one of
14 the points I was going to make. I think of it
15 kind of in a framework of questions around who
16 are we trying to hold accountable, questions
17 around what we're trying to do, and then some
18 questions around how we would approach it.

19 And on the who I think we have the
20 accountability for payers and hospitals
21 figured out. And I don't think we have the
22 accountability for medical groups figured out.

1 And I think that played itself out in spades
2 at our last meeting.

3 The value-based modifier program is
4 moving forward. The measure that we did not
5 endorse is moving forward at a total per
6 capita cost.

7 But how we hold medical groups or
8 patient-centered medical homes accountable and
9 at what level we decide to do that I think is
10 a key question that we have to at least signal
11 to the community how we would like to
12 approach.

13 Because if we don't get that figured
14 out we're going to have a very difficult time
15 with the global measures of cost and resource
16 use.

17 And then I made my comments a moment
18 ago about consumers. And I think it's not
19 just engagement. I actually think they can be
20 held accountable in certain areas over time.
21 And they need to be -- because it's us, right?
22 It's not them, it's us.

1 So, that's the who question with the
2 biggest question being how do we hold medical
3 groups accountable methodologically.

4 On the what it's really, it's a mix
5 of episode versus global. And Taroon made the
6 comment earlier. I actually do think that the
7 chronic conditions, trying to muscle those
8 into episode measures just conceptually
9 doesn't make a lot of sense to me.

10 In the spirit of all models are
11 wrong, some models are useful, I would in
12 general favor the global toward primary care
13 and payers, and the episode-based events more
14 towards specialists and hospitals across that
15 phrase of who.

16 There will be exceptions. It
17 wouldn't be a hard and fast rule, but in
18 general signal that let's figure out how to do
19 global measures of resource use over chronic
20 -- or annual periods of time for primary care
21 and -- because that's really how plans and
22 primary care need to be judged because that's

1 how consumers' costs will be determined,
2 right?

3 And then in that context, nested in
4 it, episodes are really driven by what happens
5 with discrete events. And that's where
6 specialists and hospitals really come into
7 play.

8 And just saying that out loud, you
9 can all think of a dozen different exceptions
10 to what I just said. But all models are
11 wrong, some models are useful, right?

12 And then the third category is how.
13 And that's where we kind of need to tackle
14 some of these crosscutting issues. Like SES,
15 how to use SES. And several of them have come
16 up today. Price transparency. Standardized
17 pricing, for Nancy's point.

18 Part and parcel is solving for the
19 medical group which I think is the core of why
20 we could not -- and I respect the process, but
21 couldn't quite get our arms around the total
22 per capita fee-for-service Medicare measure

1 last time is how we do attribution at the
2 medical group level.

3 And I think that's another area that
4 is important enough that it probably deserves
5 its own crosscutting group to wrestle with the
6 issues around attribution.

7 And then of course we've had
8 countless discussions about risk adjustment
9 which will continue well beyond our careers
10 fade off into the sunset, I'm sure.

11 So, who, what, how. Those are my
12 comments.

13 DR. LATTS: Andrew.

14 MR. RYAN: Thanks, Lisa. I want to
15 agree with the point that Lisa just made. I
16 think understanding the relationship between
17 non-condition specific and the condition-
18 specific measures is really key.

19 And thinking about what's the
20 default. Because if we could just work off of
21 the measure we just approved, Medicare
22 spending per beneficiary, then we could say if

1 you have an index admission for cardiovascular
2 conditions, or AMI CHF, well then we already
3 approved that. So that measure is approved.

4 Do we need to go through and approve
5 every single measure for each set of
6 admissions?

7 And maybe the default should be that
8 everything kind of underneath that big measure
9 that we approved is NQF-approved unless
10 otherwise specified. If there's some reason
11 to think some set of admission codes are, you
12 know, give the wrong resource signal or are
13 incorrect then maybe that should be singled
14 out and there should be some different
15 process.

16 But having kind of new measures come
17 in with somewhat different specifications than
18 the larger measure, it doesn't seem to me like
19 that's -- I think that might be a net minus
20 rather than a net plus in trying to have some
21 simplicity and understand the whole framework
22 here.

1 DR. LATTI: All right. Next up is
2 Bill with Andrea and Nancy on tap.

3 DR. WEINTRAUB: Twenty-five years ago
4 when I first started getting into healthcare
5 economics I remember a discussion with the
6 head of the economics department at Emory.

7 He said to me in a mixed product
8 environment you can't tell what anything
9 costs. And that's something that every time
10 I do a study in healthcare economics that
11 conversation reverberates in my mind because
12 it's absolutely true.

13 One of the problems with the
14 hospitals is they don't know what their
15 products cost. I work with the accountants in
16 our hospital all the time. They don't know
17 what anything costs.

18 You might think transfers of money,
19 payments does it, but economists have told me
20 it doesn't tell you anything about cost
21 because it's just transfers of dollars. It
22 doesn't tell you anything about resource use.

1 So, how do we deal with this chaos?
2 Because it can be dealt with. Obviously it
3 has to be dealt with.

4 And I first knew I was going to make
5 this comment when I heard Janis' comments
6 about we have to be very careful about
7 definitions. And that's where we better
8 start. We better be very careful about what
9 we're saying about costs in any measure that
10 we're dealing with.

11 And then from there we go to Lina's
12 comment which I think is very important.
13 What's the question that's being asked?
14 Because the question will drive the
15 perspective that you're going to use.

16 And then you consider Brent, who,
17 what and how. Have I got that right? Who,
18 what and how. And that will help you drive it
19 which will allow you to cut through the
20 thicket of the chaos and make good choices.

21 But in our work here we better be
22 very clear about the definitions when we're

1 talking about cost. Just what do we mean,
2 what's the perspective that we're using for
3 any one measure.

4 DR. LATTI: Andrea.

5 DR. GELZER: These are all -- I agree
6 with everything that's been said. But I'm
7 sitting here struggling and thinking, okay, so
8 we're going to consider now cardiovascular
9 measures. And if I'm a consumer, if I'm a
10 patient and I have a cardiac event.

11 So you talked about, Brent, for
12 hospitals we should be talking about groupers
13 and specialty. But I'm thinking about, okay,
14 where do I want to go. And I want to go to
15 the hospital, the academic center that has the
16 best person to do my bypass surgery, or the
17 best technician electrophysiologist if I have
18 an arrhythmia. I mean, those specialists
19 command very high salaries and as well they
20 should.

21 But somehow we have to get to, okay,
22 specialists commanding these high salaries,

1 and so unit cost at these institutions is
2 going up. Which of these institutions are
3 really delivering the best value from an
4 outcomes perspective.

5 So we have to make sure that we marry
6 I guess these cost measures with the outcomes
7 and quality metrics. We just can't consider
8 them separately.

9 DR. LATTI: All right. Next is Nancy
10 with Mary Ann and Larry on tap.

11 MS. GARRETT: So, I wanted to second
12 what Brent said about we really need to also
13 be considering sociodemographic factors and
14 their impact on cost and resource use.

15 So the committee that's looking at
16 that issue is likely to make a recommendation
17 that NQF does take a different approach to
18 that. And the final recommendation isn't out
19 yet, but we'll be looking to that guidance for
20 the future.

21 But I think if we don't include that
22 we're really missing the real costs that are

1 included in taking care of vulnerable
2 populations. So I think that's really
3 important.

4 Second, on price transparency I
5 really like, Cheryl, the points that you were
6 making. And I wonder if the price
7 transparency issue, if we need to have a
8 different framework.

9 Rather than thinking about individual
10 measures is price transparency something where
11 we need to have a whole different approach?
12 Like there's a systematic way in which NQF
13 could get the right people around the table to
14 decide on the policy issues that we need to
15 work through, and the ways in which we might
16 start to make price more available.

17 I'm not sure that the way it's
18 captured on this list is quite right. Having
19 total cost and then a separate category for
20 utilization. So then there's not a separate
21 category for price. And so I just think that
22 maybe there's a whole different approach that

1 we need to take for that price issue.

2 And then the third thing is the last
3 point here about what kind of costs we are
4 measuring. And should we be considering
5 production costs.

6 I'll tell you that in my healthcare
7 system every time I talk about these national
8 cost measures I spend the first half hour
9 explaining what cost means, and it's not
10 actually cost to us, it's reimbursement.

11 So, I do worry that if we only focus
12 on reimbursement that increasingly those
13 measures will become less relevant. Because
14 as providers take on more risk and are doing
15 more population management and moving to more
16 capitation type models there's a lot of costs
17 that aren't going to be captured in the
18 traditional reimbursement sense.

19 So, we have an ACO program called
20 Hennepin Health and it's capitated as we
21 receive a payment to manage the population.
22 And if we can take care of the population for

1 less than that payment then we can use that
2 extra, the difference between what we're being
3 paid and what the costs are to do some
4 reinvestment.

5 And so we're doing things like
6 transitional housing for patients who don't
7 have a place to go, to move them out of the
8 expensive hospital setting. A sobering center
9 for people who show up in our ED and are
10 inebriated and need a place to go but they
11 don't have to be in an expensive ED setting.

12 And so those things are real costs to
13 society but they're not captured in the
14 reimbursement model.

15 So I don't have an answer, but I just
16 think it's really important to be thinking
17 about and be strategic about where healthcare
18 is going on that issue.

19 DR. LATTS: All right. Mary Ann,
20 you're next.

21 MS. CLARK: Yes. So, I don't know if
22 I'm going to say anything new because I agree

1 with a lot of what's been said already.

2 But in terms of definitions,
3 definitely agree that we need to make sure
4 we're all talking about the same thing. And
5 I've run into this many times when -- well
6 everybody does.

7 You run into the Wall Street Journal
8 article that says Medicare just published all
9 this cost data on how much hospital services
10 cost. Well, it's not really cost, it's
11 charges. So you know, we're all aware of
12 that.

13 In terms of the production costs, and
14 someone mentioned time-driven activity-based
15 costing or micro-costing, whatever you want to
16 call it, I mean that's probably the best way
17 to get at what a service or an episode will
18 cost.

19 But I don't know that that's
20 necessarily our responsibility. I see that I
21 guess more of a provider, a hospital, they
22 need to be able to understand their costs to

1 be able to manage a global budget, or manage
2 what a -- determine what to contract, what
3 kind of prices to contract with.

4 I mean, while ultimately we would
5 like to understand that level of costing I
6 don't know, that seems like such a much, much
7 larger effort to undertake to determine what
8 the true cost of a service or an episode is.

9 In terms of the slide that you have
10 up now I totally agree with. These costs by
11 episode are really more I think looking at
12 chronic conditions. And I think we need to
13 look at those more on a population basis.

14 In terms of trying to prioritize I
15 think that was one of the questions here is
16 how do we actually prioritize which measures
17 we're going to look at.

18 And I guess we in a sense already
19 have some of the key things we would want to
20 look at in order to prioritize already in
21 place. For example, when the measure
22 developers need to submit an application for

1 creating a measure they're supposed to
2 demonstrate that it's a high-need, a high-cost
3 area, affects a large population. And then
4 that there's an ability to impact the area.

5 So it seems like there are criteria
6 that we can use to sort of rank-order some of
7 these different disease areas, whether they be
8 chronic disease areas or acute episode areas.

9 So, it seems like we have some of
10 that in place. I'm not sure, maybe we just
11 need to formalize the process of looking at
12 that for ranking and prioritizing some of
13 these measures.

14 DR. LATTIS: Great, thank you. Larry.
15 Larry, are you on mute? You still with us?
16 All right, then we're going to -- Larry, if
17 you get with us please break in. Janis,
18 Dolores, Bill.

19 DR. ORLOWSKI: The comment, and you
20 know in some respects the conversation is
21 headed towards how do we have world peace.
22 And so I recognize where we're headed. But

1 it's always good to have these conversations
2 and then you get down to working on something
3 specific.

4 I think that one of the things that
5 you should also be responsible for discussing,
6 if you, as you get into further discussion of
7 cost is that there are community resources
8 that are borne by an institution that will not
9 be borne by an institution if we move towards
10 a commodity-based pricing structure.

11 And an example of it, I think for
12 anyone who's run a burn unit is the burn unit.
13 So, if with transparency of cost we, and I
14 think there's consequences. It will drive
15 everyone to a commodity pricing for cost, that
16 there will be certain community services that
17 cannot be borne by a single institution. And
18 what do you do about that.

19 And whether it's the poison control,
20 whether it's the burn center, whether it's the
21 trauma center, that there are consequences to
22 driving this discussion on cost per unit

1 service without taking into account the fact
2 that there are certain institutions that bear
3 for the community the cost of these services
4 that right now are not supported by anyone
5 else.

6 DR. LATTIS: Thank you. Dolores,
7 you're up.

8 MS. YANAGIHARA: All right. Several
9 just comments. And this is kind of the in the
10 trenches, where the rubber meets the road kind
11 of comments.

12 One, on price transparency the
13 question I think -- yes, definitely, there
14 needs to be more transparency around is it
15 price, is it cost. I mean, I think that's the
16 question.

17 There's so much politics around it
18 and it comes on both sides. Almost all of the
19 contracts in California for hospitals and
20 physician groups have gag clauses in them.
21 And so without the hospitals' or physician
22 groups' permission they cannot, the health

1 plan cannot share any kind of pricing
2 information. So until that is addressed it's
3 just probably not going to happen.

4 On the flip side, the health plans
5 are quick to point fingers at that. But when
6 we've talked about actually dividing total
7 cost of care information to the physician
8 groups based on buckets of care. For example,
9 professional services which in California are
10 capitated. Pharmacy, the inpatient facility
11 and then other.

12 The health plans are saying oh no,
13 that's too much information. They'll use it
14 against us in negotiation. So, really, I mean
15 there's politics on both sides.

16 And what ends up happening is that
17 the purchasers and consumers end up losing out
18 because of all these politics going on between
19 payers and providers.

20 What we've actually done is gone to
21 total cost of care because that's not the
22 pricing of any one provider, it's total cost.

1 And that's been very powerful for us.

2 We haven't publicly reported it yet,
3 but it's going to both health plans and
4 physician groups. And especially the high-
5 cost physician groups are paying a lot of
6 attention to it and trying to understand
7 what's driving their costs. Underneath that
8 total number what's really driving their costs
9 and trying to get that under control.

10 So we've found that to be very
11 powerful. Even though it's not very
12 transparent it's directional. So I think that
13 that's something to really keep in mind.

14 In terms of prioritizing I do think
15 that total spend for a particular condition or
16 area is important. But you also from a
17 measurement perspective really need to look at
18 the frequency of the condition or the
19 situation.

20 Because you can have something that's
21 really high-cost but happens so infrequently
22 you're not going to be able to really get good

1 measurement for accountability and public
2 reporting purposes. So, frequency really does
3 need to be taken into consideration.

4 Looking at these different categories
5 that are here and based on some data we've
6 done around episodes I think that all of the
7 ones that we found were high-cost and frequent
8 are here with the exception of diabetes which
9 is kind of there via heart disease and end-
10 organ failure, but not specifically there.

11 But otherwise I think these areas are
12 all really important and what we found were
13 both high-cost and high-frequency.

14 Then, let's see. In terms of the
15 whole question on use case it's really tricky
16 because use case is so entwined in a measure
17 and how you construct a measure.

18 And when you look at the use of a
19 measure for public reporting it may be
20 different than a use of a measure for payment.
21 Both of those are kind of accountability. But
22 it could lead to different methodologies.

1 I'll just give one quick example.
2 The HealthPartners measure that's for total
3 cost of care that's endorsed has -- it's
4 between ages 1 and 64. So it excludes under
5 1 and 65 and over.

6 Our measure doesn't. So we were
7 looking at, okay, so should we exclude that.
8 You get a more reliable measure that way
9 because there's more variation in cost in the
10 first year of life and later in life.

11 But it excludes like 20 percent of
12 the costs for a group. And so we thought if
13 we're trying to hold groups accountable for
14 total cost and we're excluding 20 percent of
15 the cost that's on top of excluding 8 or 9
16 percent of the cost that comes from truncating
17 at \$100,000 per member per year.

18 So it just, you know, the use case is
19 really entwined in how the measure is
20 constructed. And so I don't know how you can
21 separate the two. Unfortunately I don't have
22 any good guidance. But I think it's just the

1 use case is really key to the measure
2 construct.

3 And then just one note on data.
4 There was a mention of trying to integrate
5 more clinical data. I'm all for that.

6 The problem is the clinical data
7 resides with the providers. And most of the
8 measurement is happening by the payers. And
9 so until you have a way to get the clinical
10 data in a consistent way to the payers it's
11 really hard to actually incorporate that into
12 the measurement and have specifications that
13 include that when the payers don't have that
14 data.

15 And so it's this conundrum. And
16 we've been working a lot on trying to get
17 data-sharing in place. And there's all kinds
18 of issues and challenges with that.

19 But really the clinical data are just
20 not available to the people doing the
21 measurement right now.

22 DR. LATTS: Great, thank you. All

1 right, John Ratliff, you're up, followed by
2 Jennifer. And then, Cheryl, we'll let you
3 have the last word.

4 DR. RATLIFF: Thank you very much.
5 Initially I was very worried with bringing up
6 this topic. The scope of it is just so broad
7 that you get lost in the weeds. But this has
8 been just an actually fantastic discussion.
9 I've learned a lot just from listening to the
10 points being raised.

11 I think with regards to episodes of
12 care, procedural or not, we run into so many
13 different potential to get tripped up, as we
14 get into nested episodes, as you look at a
15 patient who had a total hip arthroplasty and
16 then developed some post-operative pneumonia
17 and suddenly is involved in perhaps three
18 different episodes of care that are running
19 concurrently.

20 How are you going to do attribution
21 within that system? How are you going to make
22 sense out of the complexity of this patient's

1 care?

2 And yet we're faced by this at
3 present. I mean, we've had this, I guess, 45-
4 minute long discussion now about this topic
5 and yet we're presently using value-based
6 payment modifiers.

7 My members are coming to me asking me
8 what their quality resource use reports mean
9 and how they came up with these numbers.

10 And I want to also echo the point
11 brought up earlier about the additional
12 benefit that healthcare facilities provide to
13 their communities.

14 At least at Stanford we do a lot of
15 things that are extremely inefficient, like
16 training people and having nursing students,
17 medical students, residents, things that
18 really decrease our efficiency of care yet
19 provide hopefully something to the community.

20 And the yield there as we move into a
21 commodified environment may be lost. And
22 hopefully we won't lose touch or lose sight of

1 the other benefits that some of our healthcare
2 facilities are providing.

3 But nonetheless, this has been an
4 absolutely fantastic conversation and many
5 great comments.

6 DR. LATTI: Thank you. Jennifer?

7 MS. HUFF: Hi. So, I have to weigh
8 in a little bit on the pricing transparency
9 issue. And instead of repeating what people
10 have said obviously it's important in general.
11 There are a couple of additional points I'd
12 like to make on it.

13 So, in addition to it being important
14 to consumers I think we have to look at also
15 purchasers as well as those, whoever is paying
16 for healthcare.

17 I think people readily know that it's
18 very opaque to consumers in terms of what is
19 the price. I think people don't necessarily
20 know that there are places where it's opaque
21 to purchasers as well.

22 So in decisions about paying for

1 healthcare I think we need price transparency
2 at multiple levels, particularly for those
3 that are paying for it.

4 I think one of the other issues, not
5 just for the importance of knowing how much
6 things cost, but also looking at the waste or
7 the affordability that's in the system.

8 We haven't talked about the role of
9 what market power plays in terms of prices.
10 And in some regions the really outrageously
11 inflated prices that are going on. And the
12 importance of transparency and how that will
13 help in addressing affordability of
14 healthcare.

15 When we talk about market power some
16 of that is actually -- what's driving it is
17 the need to better coordinate care and have
18 more coordination across providers. So
19 there's also some positive aspects going on in
20 terms of that level.

21 I think when we're looking at sort of
22 the development of measures or the priorities

1 of what we're talking about I think it would
2 be really helpful for us to not think of the
3 healthcare system as it is today, but think of
4 it more as it will be in the future since
5 there is a lot of change that's been going on
6 because of the healthcare reform.

7 And it takes awhile to develop
8 measures, and it takes awhile to get to a
9 place. So if we could be more forward-
10 thinking and think two steps ahead it would
11 give us -- maybe we'd catch up instead of it
12 being such a nascent area in terms of
13 providing this information.

14 I also just want to thank everyone
15 else who is serving on other committees
16 related to this topic, or who are working on
17 it and shared the information today.

18 It was really helpful for me to
19 really start putting together the pieces.
20 It's been a little confusing seeing all these
21 different committees that NQF is working on
22 related to cost and resource use and

1 affordability.

2 And what I'd hope is that you'll
3 continue to foster that discussion as we
4 continue to have our deliberations down the
5 road, that you'll bring back what is happening
6 at the other workgroups so we can make sure to
7 not duplicate work. And we can be really
8 efficient in what we're doing since there is
9 a lot of resource and energy going into the
10 topic in general.

11 DR. LATTIS: Great, thank you.
12 Cheryl, then Jim and then Ariel, we will
13 indeed let you have the last word.

14 MS. DAMBERG: Thanks. I am also
15 struck by another conceptual issue that I
16 think we as a committee and NQF has to deal
17 with.

18 You know, as I'm looking through the
19 list of measures and also the one that I
20 talked about a little bit earlier in terms of
21 overuse of services. I think there's this
22 tension between are we operating at the macro

1 level.

2 Like Dolores just mentioned in terms
3 of this total cost of care measure we're more
4 at the micro level.

5 And I think this is particularly
6 important as we're trying to think about
7 combining quality measures with cost measure.
8 Because most everything we've measured in
9 quality is at this more micro level. And so
10 I think we're kind of -- until we get some
11 clarity on that.

12 And I think again it points back to
13 Dolores' comment about who are the actors and
14 who's making use of this information.

15 And it may be that these more global,
16 macro type measures work because what they
17 ultimately do is they free up the organization
18 to have to go back and think hard about what
19 are all the various inputs that are driving
20 our overall costs.

21 And they can look on the ground to
22 see where they can make changes. So maybe

1 that's sufficient.

2 But if that's the case I think we
3 have to rethink how we're measuring quality if
4 we intend to pair them.

5 DR. LATTI: Great point. Jim.

6 DR. NAESSENS: I wanted to reinforce
7 some of what Brent had said in terms of
8 thinking about it even from a consumer
9 perspective, that we really have kind of three
10 groups of measures, or subjects, or topics.

11 We have kind of the per capita
12 perspective for the population, for the ACOs,
13 for plans.

14 We have episode-based information for
15 surgical procedures, for short kind of acute
16 events, and around the hospital and things.

17 But we also have this relatively
18 small percentage of patients who are very
19 complex, who would include burns and
20 transplant patients, might include multi-
21 morbid chronic disease patients, patients who
22 aren't really going to be necessarily getting

1 the best care within narrow networks that
2 don't include various options that might give
3 them better choices.

4 And so we might need separate
5 measures and some separate activity around
6 that.

7 Then in terms of prioritization we of
8 course want to look at total spend. We want
9 to look at volume. But we also want to look
10 at some leverage possibilities.

11 And the leverage possibilities would
12 include variability. So those areas where
13 there is a lot of variability across markets,
14 across the nation should be higher ranked
15 because there might be things that can be done
16 to kind of address those things.

17 Also, when we look at episode bases
18 we should also include that idea that we're
19 getting more and more into shared decision-
20 making opportunities. We should be looking at
21 appropriateness measures.

22 And those should be incorporated in

1 some fashion to be able to determine what is
2 an appropriate cost. And clearly if we have
3 different attitudes in California than we do
4 in Minnesota in terms of our values then we're
5 going to see variability in costs whether we
6 look at episodes or we look at per capita
7 bases depending on those shared decision-
8 making decisions.

9 DR. LATTI: Ariel.

10 MR. BAYEWITZ: Yes, I just wanted to
11 -- first of all, I agree with what everyone is
12 saying so I'm not going to restate.

13 The only piece that I just wanted to
14 clarify. There was one comment around payers
15 not being willing to share information around,
16 you know, episodic information or procedural
17 information that was more global, that wasn't
18 specific.

19 You know, CP-4 code, for example,
20 that may be a little bit more global like the
21 overall cost of getting a colonoscopy.

22 And just from that respect I'll talk

1 from like a Blue Cross perspective. We
2 definitely do share that.

3 My impression is a lot of other --
4 and it may not be all plans in this space, but
5 there are definitely other plans, many other
6 big plans in this space that are beginning to
7 share a lot of that information.

8 We think it's very important for the
9 consumer, the member, to be able to compare
10 certainly at that rolled-up procedural level.

11 But I think even now when you're
12 talking about value-based models the provider
13 is a consumer of this information as well. So
14 when they're trying to make decisions about
15 who to refer certain procedures to they need
16 to be able to differentiate between providers.

17 The last piece that I just wanted to
18 throw out there also -- oh, just to back it
19 up.

20 With regard to total cost I think
21 that's important, very important also. Where
22 that gets tricky of course is in risk

1 adjustment and dealing with different
2 populations.

3 It also isn't always actionable. So
4 it may be helpful to be able to differentiate
5 between one organization and another, one plan
6 and another by talking about their total cost,
7 but then take it down a few levels and say,
8 okay, well now, how do I drive change, or how
9 do I identify within that total cost what is
10 the differentiating factor that's making one
11 cost more than the other.

12 That gets a little bit complicated.

13 And that's why I think both of those pieces
14 really are very important, even from a payer
15 perspective.

16 Just the last piece on the who, what
17 and how. The how, where I think it gets a
18 little tricky and I think it's very important
19 is how can we give -- how can we turn the how
20 into actionable information.

21 So, beyond us saying this provider or
22 this plan is not as good in this area as

1 another, to get to a how to give information
2 that you can actually put in a report or a
3 dashboard at a provider level. To have a
4 measure that can get into that would be very
5 powerful. We have a lot of that in the
6 quality but not again as much on the resource
7 side.

8 DR. LATTI: Great. Bill, did you
9 have a quick comment?

10 DR. WEINTRAUB: I believe in
11 transparency but I think it's very
12 challenging. From the point of view of
13 providers consider charges, cost and payments.

14 Payments is fairly transparent. What
15 CMS pays us is publicly reported and
16 available.

17 Costs, well, per my previous comment
18 we don't know what anything costs. We don't
19 know what coronary surgery costs at our
20 institution. We have models, but at the end
21 of the day we don't know.

22 And then there's charges, or price.

1 It's the least meaningful number and
2 essentially no one pays it. You could say
3 well, the people without insurance, they get
4 charged and they have to pay it. But, in
5 point of fact very few of them pay it because
6 they don't have the resources to pay it so the
7 institutions write it off.

8 So, I absolutely believe in
9 transparency. Maybe from the point of view of
10 the plans it's a little easier. But we have
11 to be careful here. Transparent about what?
12 And it's not always so easy to do.

13 DR. LATTS: Terrific. Well, thank
14 you, everybody. I think that was a really
15 fantastic discussion and hopefully it gave you
16 guys the information to move onto the next
17 steps.

18 So, we're running a little bit late.
19 So we're actually going to move onto public
20 comment next, take our lunch break, and then
21 come back and get the overview of the
22 evaluation process while we dig into our first

1 measure.

2 MR. WILLIAMSON: That's great.

3 Thanks a lot, Lisa.

4 Operator, at this time can we please
5 open up for public and member comment? And
6 I'll ask if there are any public and member
7 comments in the room.

8 OPERATOR: At this time if you would
9 like to ask a question please press * then the
10 number 1 on your telephone keypad. At this
11 time there are no questions.

12 MR. WILLIAMSON: Great, thanks a lot.
13 So we'll now break for lunch. We'll now break
14 for lunch.

15 In order to get through our afternoon
16 agenda I'll ask that we reconvene maybe 10
17 minutes early. So we'll reconvene at 10 to 1
18 and we'll get started with the overview of the
19 evaluation process.

20 Because several members weren't able
21 to make it we can offer lunch to everybody in
22 the room. So, bonus, right? And so, we'll

1 now break for lunch and we'll reconvene at 10
2 to 1.

3 (Whereupon, the foregoing matter went
4 off the record at 12:25 p.m. and went back on
5 the record at 12:56 p.m.)

6 MR. WILLIAMSON: So now we're moving
7 in. We're going to quickly cover the section
8 we were going to cover right before lunch
9 which just goes over the measure evaluation
10 overview.

11 We've all been through this on the
12 orientation call. And again, the members of
13 the committee who have been on the committee
14 before, this shouldn't be anything new.

15 The only thing that will be new is at
16 the end when we discuss some of the close vote
17 procedures, kind of our lack of consensus
18 range that actually came out of the last phase
19 of this work.

20 So, we'll go ahead and I'll turn it
21 over to Taroon here. He's going to go over
22 the quick measure evaluation overview and then

1 I'll go over the voting process.

2 MR. AMIN: Okay, great, Evan. So,
3 I'm going to go through this relatively
4 briefly, assuming that the majority of the
5 committee has gone through this.

6 However, I want to just stress that
7 as part of our improvement efforts ongoing,
8 you know, we've had a lot of conversation with
9 developers broadly, not related to this
10 committee in particular, about standardizing
11 the way that we're approaching the evaluation
12 process and ensuring that the discussion and
13 the voting is really clear in the criteria,
14 and that we're giving feedback that's sort of
15 indexed back to the criteria in a very clear
16 way.

17 So, as you all may remember we have
18 five principal criteria that we evaluate:
19 importance to measure and report, scientific
20 acceptability of measure properties,
21 feasibility, usability and use, and the
22 harmonization and best in class.

1 On the next slide you'll see that
2 there's two must-pass criteria. I should note
3 that the criteria follows a hierarchical
4 model. So, importance to measure is the most
5 important criteria, it's a must-pass criteria,
6 followed by scientific acceptability of
7 measure properties. Which includes, and I'll
8 go into this in a little more detail, two
9 components in particular, the reliability and
10 validity. And then feasibility and usability.

11 If we have two measures that are
12 similar we will go through a harmonization
13 process which is not relevant for the two
14 measures that we'll be discussing this
15 afternoon.

16 So, on the next slide as we talked
17 about there are two must-pass criteria.
18 Generally for cost and resource use measures
19 we don't really spend that much time on
20 importance to measure and report. Generally
21 these are high-cost areas with a high number
22 of patients within them.

1 The subcriteria, we really like to
2 sort of follow the approach where we have,
3 particularly within scientific acceptability,
4 follow a systematic conversation along the
5 subcriteria to ensure that the major criteria
6 are met.

7 So, these criteria are developed to
8 follow best practices. They require evidence
9 and expert judgment. And the assessment
10 generally follows a matter of degree rather
11 than an all-or-nothing approach.

12 Again, it's up to -- it's the burden
13 of the committee to justify their votes, to
14 talk about why they're voting in certain ways
15 so that the process is transparent.

16 If we see the conversation in the
17 committee generally sort of positive and then
18 there's a large number of low votes we're
19 going to query the committee to understand
20 exactly what's going on, to provide more
21 transparency around the nature of those
22 decisions.

1 That obviously provides some
2 transparency to developers. Equally
3 importantly it helps to provide some clarity
4 to our members who will be providing comments
5 on your report and your evaluation. And also
6 the Consensus Standards Approval Committee
7 which your recommendations go to.

8 So, moving onto importance. Again, I
9 won't go into much detail here, but we're
10 looking to make sure that this topic is
11 important to measure, that there is variation
12 or overall lack of -- or there's overall poor
13 performance.

14 And as we look to the scientific
15 acceptability we're looking at two major
16 subcriteria here. We're looking to understand
17 the extent to which the measure produces
18 consistent, reliable results and that there's
19 empirical testing of the measure.

20 For validity we're looking to ensure
21 that the specifications are consistent with
22 the measure intent, that if you're measuring

1 asthma care that you are including all the
2 appropriate cost types and including the
3 appropriate codes.

4 There's empirical validity testing.
5 That there is testing of the exclusions. That
6 you're not excluding large numbers of
7 patients, a large number of the dollars that
8 you're intending to test.

9 There's an evidence-based risk
10 adjustment strategy. And there's actually
11 some statistical results about the goodness of
12 fit of the risk adjustment model with adequate
13 discrimination and calibration.

14 And that you're actually producing
15 statistically significant and clinically
16 meaningful differences in performance.

17 And if it's -- this is not the case,
18 but generally -- this is not the case for
19 these measures, but if there's multiple
20 different methods that are specified in the
21 measure, i.e., there's two different risk
22 adjustment models which is -- they should

1 demonstrate comparable results if they're
2 within the same measure.

3 When we're looking at feasibility
4 we're looking to understand the data is
5 readily available and it can be captured with
6 undue burden.

7 And typically since these measures
8 are using administrative claims data it's
9 generally not a major topic of discussion.

10 And then usability and use. The
11 purpose of this criteria is that we want to
12 ensure that measures that are -- on the next
13 slide -- that we want to ensure that measures
14 that are endorsed have a plan for use.
15 There's a plan for use within three years.

16 And those that are currently endorsed
17 are being used in the field. To ensure that
18 we understand what the limitations for getting
19 the measure in use are.

20 And finally, I'll just point out the
21 last subcriteria here that's sort of unique to
22 -- actually, if you can go back, Evan. Sorry.

1 The last subcriteria which is unique to cost
2 and resource use measures, that the measure
3 can be deconstructed to facilitate
4 transparency and understanding.

5 And then finally, I'll just sort of
6 just talk broadly about related and competing
7 measures on the next slide.

8 We're looking to understand -- for
9 cost and resource use measures it's not only
10 the measure focus, but you're also using the
11 same measure type. I.e., you're looking at
12 per-episode measures and you're measuring it
13 in the same way using actual costs or resource
14 use. Using a standardized pricing table.

15 So, broadly, that's what we're going
16 to be evaluating when we look at these two
17 measures today and then a third one tomorrow.

18 The two measures in front of you
19 today are new measures that are submitted.
20 The one that's tomorrow is a maintenance
21 measure.

22 And with maintenance measures the

1 criteria is the same, although we expect
2 slightly different submission information,
3 meaning that we should see performance results
4 from the measure being implemented.

5 So, are there any questions that
6 anybody has about the criteria or how we are
7 going to go about the process of evaluating
8 the measures in front of you?

9 I know many of you are very familiar
10 with this process so you're probably able to
11 answer it for anyone who has got any
12 questions. Any questions at all?
13 Straightforward. Okay.

14 MR. WILLIAMSON: Thanks, Taroon.

15 MR. AMIN: Evan, we have one. Andy.

16 MR. RYAN: Sorry, Evan. I have a
17 question.

18 MR. WILLIAMSON: Oh, sorry.

19 MR. RYAN: So, Taroon, is it fair to
20 say that the criteria for endorsement for a
21 maintenance measure and our decision-making
22 process should be identical to that for a new

1 measure? Or should we be -- would it be less
2 stringent? Or kind of just given that it
3 already passed once.

4 Is there any additional guidance for
5 how we would consider endorsing a maintenance
6 measure?

7 MR. AMIN: So, you should not assume
8 that because it passed once that the measure
9 should continue to -- that it meets the
10 criteria. You shouldn't assume that.

11 The second issue is that there are
12 certain components of the measure evaluation,
13 meaning the performance results, the amount of
14 the performance score variation that you see
15 in the measure. There are some specific
16 submission elements that we actually expect to
17 be at a higher bar for measures that are
18 coming in through maintenance.

19 They should be able to show in some
20 way that there's been an improvement in the
21 performance of the -- by the measure being in
22 use.

1 So, from a submission element
2 perspective the bar is actually a little bit
3 higher. That they should be -- and we can
4 walk through that tomorrow as we go through
5 the actual, that measure.

6 I can point out exactly what
7 submission elements need to be slightly
8 different for a maintenance measure.

9 But as far as the criteria goes, how
10 you evaluate the measure, the criteria is the
11 same. But don't feel that you need to
12 continue to move the measure forward, or any
13 measure forward based on the evaluation of the
14 prior committee.

15 Again, all the criteria are a matter
16 of judgment. And so the judgment of the
17 committee may be different than prior
18 committees. However, you want to make that
19 clear about what the issues are and understand
20 what has changed. And be really transparent
21 about that.

22 DR. BURSTIN: Just one additional

1 comment on the question about usability and
2 use. Again, it's not often completely within
3 the control of the measure developer that a
4 measure gets picked up for use.

5 Some of the measures that are brought
6 to you were developed with CMS dollars for the
7 express purpose of being put into a CMS
8 program.

9 There are others developed more in a
10 private sector way like NCQA, for example,
11 where you wouldn't necessarily be able to look
12 and say, well, that's been picked up by this
13 federal program since they didn't necessarily
14 support it at the outset.

15 So I think we usually don't have
16 quite as strict a rule, Taroon, of expecting
17 to see that within one cycle you will have
18 seen an impact in terms of improvement. That
19 would be the goal.

20 But again, some of this is really how
21 much has it even been taken up in that short
22 period of time.

1 MR. NEEDLEMAN: Jack Needleman.

2 Nothing to disclose. And I apologize for not
3 being here in the morning. So perhaps this
4 got discussed during the morning session.

5 But the issue of measures that are in
6 use, the earlier incarnations of this
7 committee confronted that. So, and I'm just
8 wondering if there was any discussion about
9 whether the endorsement bar is lower, higher,
10 or the same for a measure that is in use or
11 clearly intended to be in use.

12 Because this came up with some of the
13 other CMS measures as we were discussing it.
14 So I'm just wondering if there has been any
15 conversation about that.

16 DR. BURSTIN: It's a great question,
17 Jack, and it's one we've really been
18 struggling with, of whether we should move
19 away from a binary yes/no endorsement and move
20 towards endorsement that's more fit for
21 purpose.

22 So, for example, does this measure

1 meet the bar of fill in the blank, pay-for-
2 performance at the individual physician level,
3 something along those lines.

4 We're not there yet. So certainly at
5 this point we would still maintain that
6 equivalency. Because we don't, again, often
7 know how measures will ultimately be used and
8 in what fashion.

9 MR. WILLIAMSON: Great. If there are
10 no further questions we'll move into the
11 voting guidance and process.

12 So this will be new for everybody on
13 the committee. We have kind of identified a
14 range where there's a lack of consensus. And
15 so we've defined that as a range between 40
16 and 60 percent. So, on any of the criteria
17 we'll be voting on if we reach 60 percent
18 approval which is either high or moderate then
19 it passes. Subsequently, if it reaches below
20 40 percent, or if it's below 40 percent it
21 won't pass.

22 So we've identified this range

1 between 40 and 60 percent where we want to get
2 more information. And so in that regard if it
3 reaches between 40 and 60 percent we'll put it
4 out for public and member comment.

5 And so we identify that on the next
6 slide here. Where we have a lack of consensus
7 we'll put it out to get comment and voting.
8 Then we'll re-vote on the -- we'll re-vote on
9 the measure after we've received that public
10 and member comment.

11 And if after that we reach greater
12 than 60 percent the measure will pass. And
13 again, if we still fall between 40 and 60
14 percent we'll put it out for NQF member
15 voting, to try to continue to get more
16 information.

17 MS. WILBON: I'll just clarify the 40
18 to 60 percent threshold is really for the
19 first two criteria. So for importance to
20 measure and scientific acceptability. It's
21 not for necessarily feasibility and usability
22 and use.

1 So, as long as we get that 60
2 percent, reach that 60 percent threshold on
3 those first two criteria we will continue to
4 evaluate the remainder criteria for each
5 measure.

6 MR. AMIN: I also add maybe, I don't
7 know if this is on the next slide, Evan. I'm
8 sorry if I'm jumping ahead.

9 But the reason why this occurred was
10 for a number of different measures, but one of
11 which was the one that we looked at last time.

12 So, the -- and Larry was on the panel
13 that made this recommendation. But the issue
14 has gone all the way to the board and the
15 issue around -- we used to have a hard stop.
16 If it didn't meet 50 percent it stopped in the
17 process. The membership had no opportunity to
18 provide any input to the committee.

19 And what ended up happening in some
20 committees, they would just move the measure
21 forward to understand what the membership felt
22 about some of these issues.

1 So, the general idea here is defining
2 the gray zone. So, I mean it's just that
3 there might be somebody in the room or not.
4 So that's why we have new quorum requirements
5 and things of that nature.

6 But the purpose of this is to get
7 more membership understanding of the issue if
8 we're sort of in the gray zone.

9 And we'll do all the calculations in
10 the background. It's not, you know, you don't
11 really need to worry about that. But the
12 purpose of this is to define the gray zone and
13 then for us to have a process, i.e., have some
14 conversations, send this to the membership and
15 then provide that feedback back to the group
16 to understand what we do when there's not real
17 consensus on some of these more controversial
18 or high-stakes or whatever measures you want
19 to describe.

20 MR. WILLIAMSON: Great. Thanks for
21 that clarification. So, in order to do this
22 we'll be voting in the room and also on the

1 webinar today because we've had a lot of
2 members who aren't able to join us. So it
3 will be a little more fragmented than we'd
4 hoped, but we'll be adding numbers together
5 from the webinar and our in-the-room voting
6 process here.

7 So Ann should have passed out a Vote
8 Snap device to you. Just make sure you have
9 one. We took down the numbers so if you want
10 to take one home as a souvenir, please don't.

11 (Laughter)

12 MR. WILLIAMSON: We'll know who you
13 are. Just make sure that we get them all back
14 at the end of the day. We'll collect them
15 tonight and pass them out again tomorrow.

16 For those on the webinar we have
17 voting slides set up. And so when the voting
18 is open a series of choices will appear on a
19 slide. Please select your corresponding vote
20 and we'll make sure it gets recorded.

21 Now, one thing I want to point out.
22 On these Vote Snap devices it will only record

1 your last input. So if you vote and want to
2 change your input, just press the next button.
3 You don't need to do any clearing out or
4 anything, just press the next button.
5 Whatever you press last will be recorded.

6 It also works on a line of sight
7 feature. So you can see this computer here,
8 this laptop in front of Ann will be running
9 the voting. There's a little USB dongle off
10 the edge of it. So you'll need to point at
11 that when you're voting.

12 It's very scientific. Somehow it
13 sees it. I won't go into it. But make sure
14 you're pointing at it.

15 Throughout this process sometimes we
16 register 14 out of 15 votes so we'll ask
17 everybody to vote again to make sure that your
18 vote's captured. Just press it again, point
19 it at the computer and we should be good to
20 go.

21 We give 60 seconds for the voting.
22 We usually don't need all that time. We might

1 need more time this time just to make sure
2 that we get all the voting on the webinar and
3 in person. So again, please work with us as
4 we go through this. This is going to be kind
5 of new for us.

6 So, I think that's it as far as Vote
7 Snap. We'll be going through it the first
8 time and we'll work on it. Ann will be
9 reading off the voting prompts and starting
10 and closing the voting. So we'll leave that
11 to her. And I'll be running the webinar
12 voting so we'll have to add all that together
13 to determine our percentages and everything.
14 But we think we can handle it.

15 I'll now read through a script that
16 we put together as part of our CDP improvement
17 work. This describes some of the changes.
18 We'll invite the measure developers to come
19 get seated at the two spots we have available
20 at the table.

21 So NQF is working to improve
22 committee meetings based on input from a

1 variety of stakeholders. We've made a few
2 changes to our meeting process.

3 We recognize that we are fortunate to
4 have the measure developers present and we'll
5 be asking them to briefly introduce their
6 measure as they come up for discussion.

7 Selected committee members will then
8 begin the discussion of the measure in
9 relation to the measure evaluation criteria.
10 So those are the lead discussant assignments
11 that we sent out.

12 We have also provided a designated
13 place for the developers at the main table
14 during the introduction and discussion of
15 their measures. Here they may more easily
16 respond to questions from the committee and
17 correct any misunderstandings about their
18 measures during our discussion.

19 As is the case with the committee
20 members, developers may put their cards up to
21 indicate when they wish to respond to
22 questions raised, or correct any statements

1 about their measures.

2 During measure evaluation committee
3 members often offer suggestions for
4 improvements to the measures. These
5 suggestions could be considered by the
6 developer for future improvements. However,
7 the committee is expected to evaluate and make
8 recommendations on the measures for the
9 submitted specifications and testing.

10 Committee members act as a proxy for
11 NQF's membership. As such, this multi-
12 stakeholder group brings varied perspectives,
13 values and priorities to the discussion.
14 Respect for differences of opinion and
15 collegial interactions among committee members
16 and measure developers are expected.

17 The Q&A call and full committee
18 meeting agendas are typically quite full. All
19 committee members, co-chairs, developers and
20 staff are responsible for ensuring that the
21 work of the meeting is completed during the
22 time allotted.

1 So as we put up on the slide here we
2 expect committee members to be prepared having
3 reviewed the measures beforehand. That they
4 base their evaluation and recommendations on
5 the measure evaluation criteria and guidance.
6 They remain engaged in the discussion without
7 distractions. Attend the meeting at all
8 times, except during breaks.

9 Keep comments concise and focused,
10 and avoid dominating a discussion and allow
11 others to contribute. And finally, indicate
12 agreement without repeating what has already
13 been said.

14 So, in order for the process for
15 this, we have a list here. We'll start with
16 the developer introduction. We've given them
17 a few minutes to introduce their measure.
18 I'll be loading their slides here in just a
19 second.

20 We'll then turn it over to the
21 assigned lead discussants. We'll summarize
22 the key issues for committee discussion. We

1 distributed the committee evaluation summary
2 which has both the TEP evaluation as well as
3 the preliminary evaluation submitted by
4 committee members over the last few weeks.

5 We want to note any areas of
6 disagreement based on those reviews. Then
7 again we'll turn it over for the TEP summary
8 to Bill Weintraub. He served as the TEP
9 chair, so he was able to utilize his
10 experience to really be a crossover on that,
11 so we're excited about that.

12 We'll then turn it over to committee
13 discussion. So again, we really want to
14 emphasize that we're evaluating the measure as
15 is in front of us.

16 We'll then vote on each subcriteria
17 and measure criteria. So the votes on
18 recommendation for endorsements for measures
19 that pass the must-pass criteria. So we'll go
20 on an overall recommendation at the end if we
21 pass. So, at this time we'll load up some
22 slides.

1 DR. ASPLIN: While Evan is loading
2 the slides Matt McHugh has joined us, a
3 committee member. Welcome, Matt. And I will
4 ask you if you have any conflicts to disclose
5 before we move ahead.

6 MR. MCHUGH: No conflicts. Thank you
7 for kind of letting me just sneak in here,
8 grab a little --

9 DR. ASPLIN: Sorry, I had to call
10 that out.

11 (Laughter)

12 DR. ASPLIN: Are there any members of
13 the committee who have joined us by phone who
14 were not on this morning? Very good.

15 And with that we'll try to get into a
16 cadence here with the developer followed by
17 Bill as our TEP representative. And then the
18 brief overview from the key discussants from
19 the committee. And then we'll get into the
20 process. So, welcome our measure developers.

21 DR. KIM: Good afternoon, everybody.
22 My name is Nancy Kim. I'm a general internist

1 and served as the clinical lead of this
2 measure. I'm accompanied by --

3 DR. BERNHEIM: Hi, I'm Susannah
4 Bernheim. I'm our project director at the
5 Yale CORE site.

6 DR. KIM: Okay, so I think we're
7 going to begin with our slides. And I just
8 want to emphasize that when we began
9 developing this measure we knew that we had to
10 get to value. That's the biggest, one of the
11 biggest discussions in healthcare right now.

12 There are many, many and value is
13 really payments and quality, or cost and
14 quality. And there are a lot of great quality
15 measures out there. Many of them are NQF-
16 endorsed. But there was really very little in
17 the cost space.

18 So we took a CMS perspective to try
19 to answer this call to get at measuring cost
20 -- from our perspective it's Medicare payments
21 -- to try to fill in that void so we can take
22 one step closer to getting toward value.

1 So this is our measure overview. The
2 goal is really to measure hospital-level
3 payments for an episode of care that begins
4 with an AMI. I guess this part is all about
5 AMI. Hospitalization ends 30 days post
6 admission.

7 We wanted to create a relative
8 measure that reflects both differences in
9 inpatient and post-discharge care. So we
10 removed payment adjustments that were
11 unrelated to clinical care that are indicated
12 by CMS policy such as geographic factors in
13 policy adjustments like indirect medical
14 education and disproportionate share payments.
15 We took those out of the equation.

16 We wanted to risk-adjust for patient
17 case mix to level the playing field across all
18 hospitals.

19 And we really wanted to align with
20 our publicly reported outcome quality measures
21 because we were trying to get toward value,
22 although we're discussing the development of

1 a payment measure in isolation today.

2 So, in order to do this we used the
3 Chronic Condition Data Warehouse data. We
4 used their Medicare fee-for-service
5 administrative claims data. They include 100
6 percent of patients with a primary discharge
7 diagnosis of AMI.

8 We included payments for the index
9 admission and up to seven other post-discharge
10 settings. And they're listed here. So the
11 inpatient including any readmissions,
12 including inpatient psych, including LTACHs
13 and other inpatient settings, skilled nursing
14 facilities, outpatient which is really
15 outpatient hospital, any physician-type
16 visits, home health agency claims, hospice
17 claims, non-institutional providers such as
18 physicians and independent labs, those kind of
19 claims that you'd find are there. And any
20 claims for durable medical equipment. We
21 didn't include Part D.

22 So, our cohort again was aligned with

1 our AMI mortality cohort. We did include a
2 few other exclusion criteria. We excluded
3 admissions without 30 days post-admission
4 enrollment and fee-for-service Parts A and B
5 because we simply can't calculate a payment
6 outcome on these folks.

7 We excluded any inpatient transfer
8 bundles that were associated with the VA or
9 other federal hospital because we cannot
10 calculate payments on those VA or federal
11 hospital claims.

12 We also excluded patients with no DRG
13 during their index admission. Our index
14 payment portion of the total payment
15 calculation is heavily based on the DRG so if
16 there's no DRG we can't calculate that
17 portion.

18 And we excluded -- well, this is for
19 heart failure patients who received transplant
20 LVAD during the episode of care for heart
21 failure. We did not exclude those for AMI.
22 I know we're talking about AMI right now.

1 There was no LVAD exclusion for AMI.

2 For inpatient transfer patients we
3 define the start date of our episode of care
4 payments as the date of the index admission.
5 Conceptually this creates a standardized
6 payment window for anybody that comes into our
7 AMI cohort, whether you're involved in
8 transfer or not.

9 We totaled all of the inpatient
10 payments for payments made for that initial
11 index admission to hospital A and the transfer
12 to hospital B. So that's one index payment.
13 And calculated all of the other payments for
14 the rest of the post-acute care. And then we
15 passed that back to hospital A because they
16 started the episode window on the date of
17 index admission.

18 Our payment calculation. We removed
19 payment adjustments. We call that
20 standardizing or stripping. And what we did
21 was we isolated difference in payments that
22 reflect practice patterns by estimating CMS

1 payments by stripping, which is just
2 completely omitting the geographic adjustments
3 and the policy adjustments that I mentioned
4 before.

5 So, geographic adjustments are wage,
6 index and cost of living, and the policy
7 adjustments are mainly indirect medical
8 education. But there are other smaller policy
9 adjustments as well.

10 When we couldn't fully omit them
11 because of the way the claims are based we had
12 to standardize. So we averaged geographic
13 differences when geographic adjustments
14 couldn't be removed.

15 So for durable medical equipment
16 every state pays a set price for an insulin
17 syringe. It's different across all states.
18 So we would average that price for the insulin
19 syringe across all 50 states and assign that
20 average price any time that insulin syringe
21 came up in the claims data.

22 This is our actual payment

1 calculation example. It's a little lengthy.
2 This is the way the inpatient hospital
3 payments are included. It's a long -- this is
4 all CMS policies from CMS websites.

5 And what we do is we take out the
6 geographic factors like wage, index and COLA
7 in the top row and we remove indirect medical
8 education payment disproportionate share in
9 the bottom row.

10 And then we also take out the wage
11 index from DRG outlier payments and capital
12 outlier payments shown in red in the last two
13 boxes in the bottom row.

14 This is the payment calculation
15 example that I just told you about. When we
16 cannot omit or strip we standardize. And this
17 is all the HCPCS codes for all sorts of
18 different items that you can find in the
19 claims. Sterile water saline 10ml is the
20 first line in that row. And you can see
21 across the different states they have a
22 slightly different unit price, \$.43 in

1 Alabama, \$.45 in Arkansas, et cetera. We
2 average it and then assign that unit price to
3 that claim across the board.

4 We also prorated payments that began
5 during the measurement window but ended after
6 the measurement window.

7 So, in the example here, moving from
8 left to right you see the index admission
9 going onto day 30 and beyond. In this example
10 in the green. Again, it's a heart failure
11 example. I apologize, pretend it's AMI then
12 they're discharged to SNF.

13 And then they have home health
14 payments that span that 30-day cutoff window.
15 We only include those payments that would fall
16 in that 30-day payment window shown in green
17 there. The orange which is also the home
18 health payment goes beyond our measurement
19 window so we don't include them in our total
20 payment calculation.

21 Regarding our model selection, the
22 payment is positive and continuous. So it's

1 a bit different than other quality metrics
2 that have come before this board.

3 It's heavily right-skewed as can be
4 seen in that first histogram which is the
5 distribution of unadjusted patient-level
6 payments for an AMI 30-day episode of care.
7 The N is about 130,000-plus patients.

8 And next to it is the distribution of
9 unadjusted patient-level payments for heart
10 failure. So again, disregard I guess.

11 When we were selecting the right
12 model to use in calculating our risk
13 standardized payment outcomes we had to look
14 at the distribution of our payment outcome and
15 make a model choice based on empiric data.

16 So, based on Manning & Mullahy which
17 is an algorithm used to guide model choice for
18 payments in econometrics in the health
19 economics literature we chose for AMI a
20 generalized linear model with a log link and
21 inverse Gaussian distribution. We tested
22 about five models and this one was chosen

1 because it had very, very good performance and
2 was easier to interpret.

3 Moving onto our risk adjustment.

4 DR. ASPLIN: Nancy, one thing we
5 might want to do, since this is a little bit
6 longer than what we had --

7 DR. KIM: Oh, sorry.

8 DR. ASPLIN: That's okay. Just cover
9 both measures. Because there's so much
10 symmetry.

11 DR. KIM: There is.

12 DR. ASPLIN: And let's not do an
13 overview.

14 DR. KIM: Okay.

15 DR. ASPLIN: So just, if there's a
16 salient comment for the heart failure let's
17 make it here and then not do it again when we
18 get into the heart failure.

19 DR. KIM: That's terrific. Thank you
20 for that leeway.

21 And yes, so for heart failure we
22 chose a generalized linear model with a log

1 link and gamma distribution. But again, it is
2 the same approach.

3 The next slide is our risk
4 adjustment. And this cartoon is really a
5 conceptual model of how we approach risk
6 adjustment.

7 The dashed line you see is time zero,
8 the date of index admission. So we risk-
9 adjust for the things that happen before that
10 shown in purple to the left.

11 We risk-adjust for patient
12 characteristics that the hospital has no
13 control over. We adjust for AMI relevant
14 prior procedures like PCI and CABG because
15 they've been directly tied to your total
16 payment outcome and it's not -- the hospital
17 has no control over whether you had a PCI or
18 CABG before you walked through their doors.

19 We adjust for relevant comorbid conditions.

20 And you come away with a diagnosis.

21 In this case let's just talk about AMI.

22 Although there are 20 ICD-9 codes for AMI you

1 come in with an AMI.

2 What we don't risk-adjust for is on
3 the right side in the blue. We don't risk-
4 adjust for -- let's take that, one of the
5 complications shown in the middle in blue.

6 Because we feel that the
7 complications that happen in the hospital may
8 be attributable to the hospital. So we don't
9 want to adjust away for the things that happen
10 in the hospital.

11 We also don't adjust for procedures
12 that the hospital chooses to do during that
13 index hospitalization.

14 And the care setting here in the
15 leftmost blue box is just there to represent
16 the fact that we include not only the
17 inpatient setting but also post-acute care
18 settings, whatever they may be.

19 So, in the AMI model we adjusted for
20 age, diagnoses that were relevant that were
21 present 12 months prior to the admission date
22 and during the index admission that did not

1 represent complications of care. We have a
2 whole list of things that are adjudicated as
3 complications of care.

4 We also adjusted for history of PCI
5 and CABG for the AMI model. We did not adjust
6 for complications as I mentioned, SES, gender,
7 race and ethnicity as I'm sure we'll talk
8 about it, hospital characteristics and
9 admission source such as whether you came from
10 an LTACH, a SNF, et cetera.

11 Our risk standardization. The way we
12 present the risk standardized payment is a
13 ratio of the predicted hospital-specific
14 payment over the expected hospital average
15 payment. And then we multiply it by the
16 national mean payment to get it back to
17 dollars so it's a bit more understandable.

18 MR. WILLIAMSON: Nancy, can you move
19 the microphone closer, please?

20 DR. KIM: And then if we move on
21 these are our results in the next slide.
22 These are unadjusted AMI results. And this is

1 the distribution of the AMI episode of care
2 unadjusted payment.

3 Although we include all hospitals
4 with any AMI patients in the calculation of
5 our total payment outcome we don't report on
6 those hospitals with fewer than 25 AMI
7 admissions in a year.

8 So here you can just see that the
9 minimum unadjusted payment was \$11,000, the
10 maximum was \$42,000 and the median is about
11 \$20,000. These are reported in all hospitals
12 with a minimum of 25 AMI cases.

13 I think it's about 4,000-plus
14 hospitals. Two and three thousand. But it's
15 thousands.

16 Looking at the next slide, the risk
17 standardized AMI results. So after risk
18 adjustment and standardization here is the
19 distribution of our AMI episode of care risk
20 standardized payment. That's what RSP stands
21 for. Again, reporting only on those hospitals
22 with a minimum of 25 AMI cases, but including

1 all hospitals in the calculation of the
2 measure.

3 And then you see the minimum risk
4 standardized payment is about \$14,000, the
5 maximum is about \$29,000 and the median is
6 about \$21,000.

7 For heart failure, these are our
8 heart failure results. This is the unadjusted
9 heart failure results similarly. Every
10 hospital included in the calculation.
11 Reporting only on those hospitals with 25 or
12 more heart failure index admissions.

13 Maybe as you'd expect a minimum for
14 heart failure is about \$7,000, the maximum is
15 about \$27,000, the unadjusted, and the median
16 is about \$13,000. So cheaper than AMI.

17 And then if you look at the next
18 slide which is the risk standardized heart
19 failure results the minimum is now about
20 \$9,600, the max about almost \$21,000 and the
21 median is about \$13,700. So also cheaper than
22 AMI.

1 These are our episode of care payment
2 results, the same ones you saw presented in
3 table format. I know I'm going over so I'm
4 trying to move quickly.

5 And this is our distribution of
6 payments for both measures by the portion of
7 total national patient-level payments by
8 either index or post-acute care.

9 So the blue represents your index
10 payments. On the lefthand side is AMI. On
11 the right-hand side is heart failure. So,
12 looking at that first pie chart on the top
13 left, that's AMI.

14 Seventy-seven percent of total
15 episode payments were for the index admission,
16 and 23 percent were for post-acute care.

17 The breakdown in the row below is
18 just the proportion of total national post-
19 acute payments by care setting. So looking
20 down from that little wedge piece for AMI the
21 red is their readmission. So, 35 percent of
22 post-acute payments were for readmission, 30

1 percent for SNF and 13 percent for non-acute
2 inpatient.

3 Heart failure, 61 percent were for
4 index and 39 percent were for post-acute
5 payments. But interestingly for heart failure
6 when you look at the post-acute payments 35
7 percent were also for readmission, 33 percent
8 for SNF and 7 percent for non-acute inpatient,
9 things like inpatient rehab, inpatient psych.

10 And that's it. Sorry I went over.
11 Thank you.

12 DR. ASPLIN: Very good. I believe,
13 Larry, you had a quick clarifying question.
14 And I don't know if we have to dive all the
15 way back into the slides. I don't want to get
16 into an open Q&A about why certain approaches
17 were taken but if there is a quick clarifying
18 question, go for it.

19 MR. BECKER: Yes. So, I have about
20 four or five slides back you had the
21 continuum. And there was a box called
22 diagnosis that you were adjusting out. Was

1 that the diagnosis that they were being
2 brought into the hospital for? Or was that
3 other conditions the patient had?

4 DR. KIM: Yes, so this is the
5 cartoon. The diagnosis box should probably be
6 over the dashed line. We don't adjust it.

7 Because our measures are condition-
8 specific, everybody in the AMI measure had an
9 AMI, we don't adjust away for that diagnosis.
10 In the cartoon it represents the fact that the
11 diagnosis is something the patient had when
12 they walked in the door. Does that answer
13 your question?

14 MR. BECKER: Thank you.

15 DR. ASPLIN: Very good. So next
16 we're going to hear from Bill. Or do you want
17 to do the lead discussants first? Lead
18 discussants, okay. Cheryl and Ariel. Cheryl,
19 do you want to go ahead?

20 MS. DAMBERG: Okay, thank you. So,
21 if I understand my charge I'm supposed to
22 highlight the areas of agreement and point out

1 some of the areas of disagreement. And I'll
2 start in order of the four criteria.

3 In terms of the importance of the
4 measure to both measure and report I think
5 there was general agreement that this is a
6 high-priority area for measurement because AMI
7 is a common condition. So I didn't see much
8 disagreement among the committee members on
9 that.

10 I did see in terms of opportunity for
11 improvement a question about sort of the
12 amount of variation. And once you risk-adjust
13 that inner quartile range gets very narrow.
14 And so the question is what behavior are we
15 trying to alter, and are we trying to bring
16 that upper right tail more closely in, and how
17 much of that can actually be brought in versus
18 an issue related to risk adjustment.

19 So, I think the question that was
20 raised here was what kinds of steps or
21 actionable activities are there for
22 improvement.

1 However, noting from your PowerPoint
2 presentation it was helpful because one of the
3 questions that emerged was how much of this is
4 related specifically to what the hospital does
5 versus happens once the person is in that 30-
6 day window outside the hospital. So it was
7 interesting to see that breakdown.

8 In terms of the specifications I
9 think generally people felt that the details
10 were clearly defined. And there were only a
11 few questions that kind of emerge. And they
12 fall into this methodologic space.

13 DR. ASPLIN: Cheryl?

14 MS. DAMBERG: Yes.

15 DR. ASPLIN: Can I just interrupt you
16 for a moment?

17 MS. DAMBERG: Sure.

18 DR. ASPLIN: Because we're going to
19 get into a rhythm here. And there was a
20 suggestion that perhaps what we could do to
21 tie the comments from you and Ariel as well as
22 Bill to the sections that we're going to be

1 voting on, let's go section by section. So,
2 sorry, you just did exactly what I asked you
3 to do and then I interrupted you.

4 MS. DAMBERG: No, that's fine.

5 DR. ASPLIN: There we go. So, what I
6 would like to do is ask Ariel if there are
7 comments about importance. And then I'd ask
8 the same question of Bill from the TEP
9 perspective. And then let's have our
10 committee discussion and vote on those
11 questions. And then we'll move onto the next
12 section. Ariel?

13 MR. BAYEWITZ: So I'm very
14 embarrassed right now because I actually
15 missed the email that I was presenting. So
16 I'm not prepared to speak. I mean, I could
17 pull my responses up from what I submitted but
18 I wasn't prepared to speak right now.

19 DR. ASPLIN: That's okay. Bill, from
20 the TEP perspective on importance?

21 DR. WEINTRAUB: All right. So, the
22 TEP questions were phrased differently than

1 the standard NQF questions. So I can
2 summarize or we can look at -- what I would
3 suggest to do is at least put on the screen so
4 people can look at it what the TEP suggestions
5 were.

6 And they were essentially the same on
7 both. But to summarize then I think clearly
8 the TEP felt that this was an important
9 question. So if you go to the evaluation
10 measures you'll see how the TEP responded.

11 DR. ASPLIN: Very good.

12 DR. WEINTRAUB: So those are the
13 questions. But actually you have the document
14 with the comments as well.

15 While he's doing that I could go
16 through it very rapidly.

17 DR. ASPLIN: So we've all had a
18 chance to read through the measure -- to
19 respond to --

20 DR. WEINTRAUB: I think it's on page
21 4 where TEP begins. Yes, there we go.

22 DR. ASPLIN: Great. So comments on

1 that, Bill? Or have you already summarized
2 what you felt --

3 DR. WEINTRAUB: It's phrased somewhat
4 differently. I mean, I could go through it
5 very rapidly. It might -- within two minutes
6 I could go through these. It might be worth
7 it, Brent. Whatever you want me to do.

8 DR. ASPLIN: Great.

9 DR. WEINTRAUB: Okay. So, the first
10 one was clinically appropriate, clearly was
11 felt to be clinically appropriate. Was it
12 clinically consistent with the intent?
13 Clearly so.

14 The next one was where there was
15 problems. The evidence to support the logic.

16 There was concern about the
17 attribution of the first facility, of the
18 transfers to the second facility. The
19 developers had what I thought were really
20 pretty good answers to that. While there was
21 concern no one felt at the end of the day that
22 they should be excluded.

1 Alignment of length of stay, the
2 episode. This overstates it a little bit
3 here, saying that there was concern. It was
4 discussed. I think people felt that the 30
5 days at the end of the day was really
6 appropriate and it harmonizes with the
7 clinical measure.

8 Consistency and relevancy of the
9 population, clearly so.

10 Excluding patients. There was some
11 concern with excluding same-day discharges.
12 I think that the response from the developers
13 was really very -- quite adequate here.

14 The real concern was in model
15 adjustment. The R-squared for AMI was only
16 0.05 and for heart failure 0.03. So we're
17 only explaining a very small amount of the
18 variation.

19 Now, of course the developers and
20 what they did, they excluded anything in the
21 hospital and that's appropriate. But the
22 problem is it leaves a tremendous amount of

1 variability and how much of that really
2 represents variation in the hospital. And how
3 much has it led to things that we're just not
4 adequately accounting for. And we were really
5 very troubled by that.

6 Also, as we all know socioeconomic
7 status was not included. As Nancy discussed
8 this morning this is an area that's up for
9 discussion right now. But that is currently
10 NQF policy and the measures can't be held
11 accountable for that.

12 In general the TEP thought that the
13 developers did a great job, that technically
14 they did a good here, a very good discussion
15 about it. But again, our biggest concern was
16 the small size of the R squares.

17 DR. ASPLIN: Very good, thank you.
18 So, let's loop back to the importance section
19 for the voting.

20 I think at a high level -- I'm an ER
21 doc, right? So this measure needs a
22 disposition by 3:15 this afternoon.

1 (Laughter)

2 DR. ASPLIN: I just think simply.

3 So, we want to make sure that we're focusing
4 on the most important things that we can
5 leverage the expertise of this group for.

6 So with that said is there discussion
7 on the question of importance to measure and
8 report? Nancy?

9 MS. GARRETT: So, I have a question
10 for the developers about the trigger being the
11 date, the time of admission. So I'm just
12 wondering if you've done some analysis of the
13 effect of length of stay on the results of the
14 measure.

15 So, just thinking through what this
16 could mean, it could be that hospitals that
17 have longer length of stay are going to look
18 better on this measure which is kind of weird
19 because if you look at cost to society that's
20 actually a really expensive place to be
21 keeping people. But from a reimbursement
22 perspective that could be a way to look good

1 on this measure. So, could you talk about
2 that a little bit?

3 DR. KIM: Thanks so much. We did
4 look at length of stay. The median length of
5 stay is about four to five days for AMI. Of
6 course there's wide variation but most of the
7 folks are falling well within that four- or
8 five-day area.

9 It's tough to know what length of
10 stay does. You're right, the way we calculate
11 is on DRGs. So unless you're there for a
12 complication which would get accounted for in
13 your DRG, but it would bump you up, that would
14 be reflected in the DRG.

15 But sometimes some hospitals are
16 keeping you longer and you're doing more stuff
17 and that wouldn't necessarily be seen in our
18 measure because you're paid on the DRG. So,
19 it's really difficult to know what the length
20 of stay is going to do in terms of bumping you
21 up, real complications and stuff like that, or
22 if they're just going to truncate your window

1 and advantage you for no good reason. That's
2 what your concern is.

3 But when we looked again we weren't
4 so concerned about the variation in the length
5 of stay for the majority of the hospitals.
6 Does that answer your question?

7 MS. GARRETT: It helps, yes.

8 DR. BERNHEIM: I'll just add to that.
9 We had a lot of discussions about this because
10 there's a very high priority on having the
11 full measurement period be a standard period
12 so that it's fair across hospitals.

13 Because the other way you could do
14 this is to have whatever the length of stay
15 was plus 30 days. But then when you have a
16 longer length of stay you're stuck with some
17 hospitals being evaluated on payments over 45
18 days and others on 35 days. So there wasn't
19 an ideal solution.

20 I think we will do some more looking
21 to see whether that decision would change
22 results. We did some very early looking as

1 Nancy said just to make sure that there wasn't
2 hospitals that were wild outliers on length of
3 stay when you aggregate all their patients in
4 there. It didn't seem to be there.

5 DR. ASPLIN: So, I'm going to ask us
6 to focus on importance to measure. Because
7 the problem will be when do you cut off the
8 conversation because there will be important
9 follow-up comments and questions if something
10 is raised. And I hate to cut off
11 conversation.

12 So let's narrow this to importance to
13 measure. Are there any other comments on
14 importance to measure? Sure.

15 MS. GARRETT: So the other thing I'm
16 thinking about is harmonization. And so,
17 Medicare spending per beneficiary does it the
18 opposite way where the 30 days post discharge
19 starts after discharge by definition.

20 So, I mean that's another thing as we
21 get more of this portfolio of cost measures,
22 that we're doing it differently in different

1 measures. And it just kind of creates more
2 confusion for people to try and understand
3 what we're doing. So, I think that's another
4 thing to think about.

5 DR. BERNHEIM: We were also trying to
6 harmonize with the AMI mortality measures so
7 that when we were comparing hospitals on cost
8 and quality we would be looking at a standard
9 period.

10 We actually do have further analyses
11 on this. I think for your benefit we'll
12 pause, but Leslie can talk a little bit more
13 about a little bit more work we did on the
14 length of stay issue if people are interested
15 later.

16 DR. ASPLIN: All right. Seeing no
17 other cards in the room or comments from those
18 on the phone I'd like to move ahead and vote
19 on importance to measure criterion.

20 And how are we going to move forward
21 with this?

22 MR. WILLIAMSON: All right, so we'll

1 start the voting online and in the room at the
2 same time. So we'll go ahead -- to make sure
3 you have your Vote Snap. This will be our
4 first run of this during this meeting.

5 And so what we'll do is I'll move
6 this slide here for online voting. So in a
7 few seconds online you will see four options.
8 Please select it online and please point your
9 Vote Snap at this laptop here and we'll go
10 ahead and get started.

11 So we're voting on high priority.
12 You have four options: high, moderate, low, or
13 insufficient. You may begin voting now.

14 If it blinks red that means it's
15 communicating with the laptop. It looks like
16 we have all 15 responses in the room and we
17 have 6 on the webinar so we are good to go.
18 Ann, if you'd close the voting.

19 And so our totals. We have 20 high,
20 1 moderate, zero low and zero insufficient.
21 It passes the high-priority subcriteria. Good
22 job, everyone.

1 DR. ASPLIN: Moving on. Opportunity
2 for improvement. And this is in the first
3 category of importance to measure and report.
4 So I think we can move ahead and ask if there
5 are any comments around what you see in front
6 of us, the opportunity for improvement.
7 Janis?

8 DR. ORLOWSKI: So my question has to
9 do with a concern about attributing the post-
10 acute expenses to the hospital.

11 And as with many of these measures
12 they drive behavior. And I am concerned that
13 that might drive behavior that would have
14 consequences, poor consequences.

15 And so I would like to know what the
16 rationale is for attributing the SNF and
17 hospice and other attributes to the hospital.

18 DR. BERNHEIM: I'll say just a couple
19 of quick things. I think the most important
20 one is that we feel like if you only look at
21 hospital costs you're really missing the
22 picture on payments.

1 And right now our system is set up to
2 sort of incentivize pushing those payments out
3 into the post-discharge time period. So it's
4 really critical to capture those.

5 And then the question is who do you
6 attribute them to. We are moving in a
7 direction where there's going to be systems
8 where, like ACOs where there's an entity that
9 feels responsible for both the inpatient and
10 outpatient. But it just doesn't exist right
11 now.

12 What we have found with other
13 hospital-based measures is that hospitals are
14 incredible catalysts in their communities for
15 improving care and improving decision-making.
16 We've had people talk to us about sort of
17 choosing the SNF that provides the better
18 care.

19 So they have -- a lot of the post-
20 discharge costs are related to things that
21 hospitals have some control over which SNF
22 people go to, whether they go to SNF, whether

1 they go to readmission.

2 So I think our thinking was it's
3 critical to include these costs and the
4 hospital was the most appropriate player who
5 could take some responsibility for reacting to
6 those costs.

7 DR. ORLOWSKI: My concern is that
8 since this will likely drive behavior that you
9 may actually have hospitals that reduce the
10 amount of post-discharge care that they have
11 influence over.

12 DR. BERNHEIM: Right. Sorry, I
13 realize that was part of your question and I
14 didn't get to that piece.

15 I would say that's really among so
16 many other things why the outcome measures had
17 to come first.

18 So, I would never use this measure by
19 itself because lower isn't always better. And
20 so it's only meaningful if a hospital is
21 appropriately reducing post-discharge care as
22 in getting terrific outcomes for their

1 patients.

2 And that's why it's so critical that
3 this be paired with the outcome measures.
4 Because as a stand-alone you could incentivize
5 the wrong thing. But we don't think it's
6 going to get used -- our understanding is it's
7 not intended to be used as a stand-alone.

8 DR. ASPLIN: Very good. So I have
9 Jack, then Lina and then Bill.

10 MR. NEEDLEMAN: Thank you. I have no
11 doubt that there are substantial amounts of
12 variation in treatment and cost that are
13 unrelated to value to the patient that could
14 be removed here.

15 What I'm concerned about is I'm not
16 sure I know how much. That very low risk
17 adjustment R-square makes me wonder whether
18 we're adequately controlling for things that
19 are not under the control of the providers but
20 which are driving some of the care costs. So
21 I've got a couple of questions to try to get
22 clarification there.

1 The description of the -- who's
2 included were ICD-9 codes. And I'm just
3 wondering how many different DRGs are there
4 actually lumped together in this measure?

5 DR. KIM: In our TEP report we show
6 the top eight. But there are a number of
7 DRGs, both medical and surgical.

8 MR. NEEDLEMAN: Okay. So I
9 understand that medical/surgical might
10 represent a treatment choice that you might
11 want to incorporate and pool together.

12 But I'm just wondering if this
13 patient heterogeneity here. You know, some
14 patients are going to walk in with an AMI,
15 walk out in two days and not need anything
16 else. And some patients are going to be there
17 for a week.

18 And that the diagnostic information
19 captures some of that. And you're basically
20 lumping it all together and ignoring that
21 information about how much care the patient
22 needs. So, that's one question that I have.

1 And then I've got this question about
2 all the post-acute care and the variation
3 there. But if you can respond first to the
4 decision to simply lump without any
5 acknowledgment of patient severity that's
6 indicated by diagnosis or what the DRG of the
7 patient.

8 DR. ASPLIN: Could you respond to
9 that, and then I want to have rich discussions
10 in each section. And the challenge becomes we
11 have two more votes on importance. So if we
12 don't finish that we get into methodology and
13 then we get down a roadway.

14 Because it's a great question. I
15 just want to make sure we have space for a
16 robust discussion in the section we're in. So
17 why don't you respond and then let's try to
18 tailor the rest and get our other importance
19 votes.

20 MR. NEEDLEMAN: I'm happy to throw
21 all this to the scientific validity section.

22 DR. KIM: I'm going to respond

1 because I've been asked to respond. Thanks
2 for that question.

3 So, regarding the clinical severity
4 we are limited to administrative claims data.
5 So the kinds of stuff I think that most
6 clinicians including myself would love to see,
7 blood pressure, whatever, vitals, are just not
8 in there.

9 So we are limited to claims data. So
10 we won't really ever have the clinical
11 severity that would be sufficient to satisfy
12 the clinical side of this piece.

13 Regarding the R-squared which is
14 related but different we have a backup slide
15 that we can show.

16 MR. NEEDLEMAN: Forget the R-squared.

17 DR. KIM: Okay.

18 MR. NEEDLEMAN: I'm more concerned
19 about the heterogeneity that's not measured in
20 your R-squared.

21 DR. KIM: So, when we looked at the
22 top DRGs across a quintile. So when we

1 separate hospitals by quintiles of RSP. So
2 you've got the highest, most expensive, all
3 these payments, not costs, payments. You have
4 the highest payment hospitals in quintile 5,
5 the lowest in quintile 1, 2, 3, 4 in the
6 middle there. And they're quintiles so
7 they're separated by the distribution.

8 When we looked at the top 70 percent
9 of DRGs, the top 70 percent of the DRGs were
10 the same. They weren't exactly the same.
11 There were three or four that made up the top
12 70 percent of DRGs, but they were the same
13 throughout all quintiles.

14 Some had similar proportions, not
15 exactly the same proportions, suggesting to us
16 that the patients and the coding practices are
17 not different. Something is responsible for
18 the variation for sure but it doesn't seem to
19 be the coding practices. We'll never be able
20 to answer your question directly because we
21 don't have those clinical markers.

22 MR. NEEDLEMAN: So, each DRG has a

1 weight.

2 DR. KIM: Yes.

3 MR. NEEDLEMAN: So did you look at
4 the -- within the DRGs relevant to these
5 patients construct the average DRG weight for
6 each quintile? And how similar are those?

7 DR. KIM: We didn't do that exact
8 analysis. We just looked to see
9 proportionately were these coding practices,
10 were these such different patients that you're
11 going to code them differently.

12 And is it all about the coding
13 variation rather than actual clinical care
14 variation. And we, when we did our analysis
15 that I shared with you we were satisfied that
16 it really wasn't a coding practice reflecting
17 a difference in patient clinical severity.
18 But we didn't do the --

19 DR. KRUMHOLZ: Nancy?

20 DR. KIM: Yes?

21 DR. KRUMHOLZ: Real quick. So I
22 think it's a really good question. And I

1 think it has to be looked at in the context of
2 our past experience which has shown that at
3 least, for example, with mortality and
4 readmission that in the absence of having the
5 clinical variables at the level of the
6 hospital you can create a measure that creates
7 an outcome that is a good proxy for an outcome
8 that you would receive using the clinical
9 variables.

10 In this case what we want to avoid
11 is, you know, the DRGs are put in kind of
12 retrospectively. And that there's probably a
13 lot of judgment and variation in it. And
14 there's a concern that using them as a
15 severity adjuster here would be endogenous and
16 influenced by factors that really don't --
17 that sort of obscure this quality signal more
18 than bring it out.

19 And so there is a bit of a leap of
20 faith because we don't quite have the same
21 data we had when we did the mortality
22 measures. That again, while at the patient

1 level you fail to predict well that the
2 aggregate technological level, at the hospital
3 level actually represents it pretty well, and
4 can serve as a reasonable surrogate for the
5 severity issues.

6 That there's not such broad severity
7 differences that aren't captured by the other
8 information we have here at the hospital
9 level, the aggregate hospital level that they
10 would lead you to a different conclusion.

11 And that's -- you have to decide
12 whether or not you believe that or not. But
13 it's what allowed us to do the other outcome
14 measures was that we actually were able to
15 prove that these were very good surrogates for
16 the measures that you would get if you had the
17 data that you wished you had, which is blood
18 pressure, pulse and a lot of the other things
19 that we think are traditional around that.

20 And that the things that are really
21 fueling these differences aren't differences
22 in case mix.

1 DR. ASPLIN: Thank you. Lina?

2 DR. WALKER: I just want to get a
3 little bit more clarity on how you are
4 presenting the measure and how that could be
5 used for improvement.

6 So as I understand it you're not
7 presenting a continuous value, you're
8 presenting three categories. So about
9 average, above average, below average. And so
10 there's a lot of variation even within each of
11 those categories.

12 And so when it is being used you --
13 I'm glad to hear you say that you won't expect
14 less is better, but then I guess I'm trying to
15 understand, and I'm hoping you can help me
16 understand how it could be used for
17 improvement if the corresponding or
18 complementary measure is the risk standardized
19 mortality rate.

20 There's a lot of things you can --
21 things that can go wrong before you actually
22 die. So, you could cut back a lot on your

1 resources and not affect your mortality rate.

2 So, you could see potentially
3 hospitals moving towards the lower category
4 and still not affect their rate, but you could
5 also see hospitals moving towards the higher
6 category. And again, the same result with the
7 mortality rate.

8 So, how exactly do you envision this
9 could be used for improvement?

10 DR. KIM: Harlan, did you want to say
11 something? It sounded like you wanted to say
12 something. If not, I can respond to that.

13 DR. KRUMHOLZ: I think it's a good
14 question. You always prefer to have more
15 granularity to the kinds of things that you
16 want to pick up, more sensitivity. For
17 unintended consequences doing things like
18 trying to improve efficiency of care.

19 I can tell you that, for example,
20 we're working in efforts with the Premier
21 hospitals and are trying to look at groups of
22 hospitals as they perform with regard to both

1 of these dimensions, both their mortality and
2 their cost.

3 And patterns are emerging that I
4 think is leading them to think about where
5 they stand. And there are higher-cost
6 hospitals with higher mortality rates. And
7 they've got to start thinking N. I mean, this
8 doesn't tell you the Y but it starts to point
9 you directionally in the question of whether
10 or not the practices are leading to the best
11 outcomes for patients.

12 There are also ones that are lower
13 cost that are higher mortality and vice versa.
14 I think that this begins to paint a picture
15 about where people sit vis-a-vis their peers
16 and begins to help them solve the question of
17 what's driving them, what can they do to
18 improve.

19 I know that it's always a little
20 unsatisfying. When we have process measures
21 we're worried that they're too narrow. When
22 we have outcomes measures we worry that they

1 don't tell enough about underlying mechanisms.
2 And probably in the end of the day it's going
3 to require us to go in both directions.

4 And what we've felt is that it's up
5 to the institutions to begin to diagnose what
6 those opportunities are. And we do urge them
7 to be sensitive to the other kinds of outcomes
8 which may not be picked up by mortality. But
9 our hope is that mortality at least is picking
10 up the more outcomes and can provide them some
11 impetus to directionally focus on both
12 efficiency and outcomes that matter to
13 patients.

14 And Nancy, you've thought a lot about
15 this too.

16 DR. KIM: Yes. I just want to add to
17 that. So, I think that's right.

18 I also interpret your question
19 meaning how practically are hospitals going to
20 use this information to help them improve.

21 We have an example of our hospital-
22 specific report on that thumb drive that I

1 handed you. Is it possible to pull that up?

2 And I think Harlan's right. Right
3 now if you ask an institution where their
4 patients go I don't think they could tell you
5 for AMI, or heart failure, or any other
6 specific condition.

7 So, what this hopes to do is to make
8 transparent something that's happening that's
9 affecting our payments and our quality.

10 And it's a first pass, because
11 otherwise this remains invisible. It gets
12 discussion started and if we can see the
13 hospital-specific report you can see the kinds
14 of information we're feeding back to hospitals
15 so they can make local changes where they see
16 fit, where it's feasible for them.

17 So, this is the --

18 DR. BERNHEIM: Just for context, when
19 the measure is reported on the public website
20 -- this measure hasn't been reported yet, but
21 the other measures like the mortality measure,
22 you can see both the category, one of the

1 three categories.

2 You can also drill down, see what the
3 actual number is so they can see where they
4 are on the continuous range with the interval
5 estimates which are like the confidence
6 interval.

7 But hospitals are provided privately
8 much more detailed information for each
9 discharge that's included in that calculation.
10 And so we've been working to try to make that
11 as actionable as possible.

12 And the AMI payment measure went
13 through a dry run last year. So we did a
14 first iteration of the report that hospitals
15 would get to accompany the public reporting
16 aspect. And that's what Nancy's going to show
17 you.

18 DR. KIM: Yes, I don't want to walk
19 you through every single tab on this, but this
20 is an example of what the hospitals receive
21 for their dry run, the hospital-specific
22 report.

1 You can see your hospital's payment
2 category, et cetera, et cetera, et cetera,
3 across the board.

4 If you look at the index -- in the
5 post-acute tab. So this gives you a lot of
6 information about your index stay, whether or
7 not you were transferred moving from left to
8 right, your total payments, how much of your
9 payments were for the facility, how much went
10 to physicians. Whether or not your particular
11 admission in your hospital was eligible for
12 post-acute care. So this is the kinds of data
13 that we're providing to our hospitals.

14 And then if you look at Table 4,
15 post-acute care, I just don't think they know
16 any of this right now.

17 And this is a table of the post-acute
18 care settings. You can look at the venue ID,
19 the index date, the index discharge date. And
20 the care setting and how many times your
21 patient went to a SNF or rehab, how many days
22 they spent there and what percentage that made

1 of your total episode payments.

2 So, I think that's the way that
3 hospitals are going to use these data. I
4 think hospitals will hopefully use these data
5 in two ways, broadly, with a companion quality
6 metric, and then more specifically locally to
7 understand what the phenomena are, their own
8 patterns are which they may not know right
9 now. So in that way I think it will be useful
10 and can be used to promote local improvements.

11 DR. ASPLIN: I'm happy to report even
12 the dogs at Harlan's house know a lot about
13 the breakdown in episode spending, so that's
14 good.

15 Jack, do you have comment on this
16 section?

17 DR. KRUMHOLZ: No dog at my house.

18 DR. ASPLIN: Oh okay, sorry.
19 Somebody's house.

20 MR. NEEDLEMAN: It's the second half
21 of the question I wanted to ask which is you
22 reported the proportion of the costs in each

1 of the categories, how much was acute, how
2 much was SNF, how much was readmission and so
3 forth. It looked like readmission and SNF
4 were the two big post-acute for both of these.

5 But I'm just wondering, we're talking
6 about variation here. So, and what you didn't
7 tell us is how much variation there was in
8 those. So, can you give us a sense of -- of
9 course the room for improvement is a function
10 of how much variation there is in readmissions
11 or SNF or the acute cost for that matter.

12 DR. KIM: That's a great question.
13 We have it in our slide deck. It's the
14 patient-level. It's slide number, I have it
15 as number 36 in our slide deck. It's entitled
16 "Patient-Level Post-Acute Payments by Care
17 Setting" by quintiles of hospital for AMI, the
18 risk-standardized payment.

19 So, on the slide, I'll just set it up
20 for you while Evan's pulling that up. All the
21 hospitals are stratified by the quintile of
22 the total risk-standardized payments.

1 And what we have laid out in the left
2 column are the different types of post-acute
3 care, readmissions, SNFs, non-acute inpatient
4 which is essentially inpatient psych,
5 inpatient rehab, home health, other
6 outpatient, et cetera.

7 And then what you get are the number,
8 so you're looking for frequency counts really,
9 the number of patients who were readmitted
10 across quintiles. And then the readmission
11 dollar amount per patient across quintiles.
12 And that's teeny tiny. I know it's teeny tiny
13 for me, probably for you too.

14 And what we've highlighted in red are
15 qualitative big differences. So in red across
16 that top row it's readmission. The columns
17 are quintile 1, 2, 3, 4, 5 by RSP.

18 Under readmission, that first red is
19 14.1. So that says 14.1 percent of patients
20 in the lowest quintile of risk-standardized
21 payment were readmitted in that window. And
22 it cost \$9,905 per patient.

1 In quintile 5, 16.8 percent of
2 patients were readmitted and per patient a
3 cost -- the payment, it's not a cost, the
4 payment was \$11,409. So that could be a
5 source of variation. Across the quintiles
6 from top to lowest it looks like more folks
7 are being readmitted and they're more, the
8 payments are more expensive for those folks in
9 that top quintile.

10 As you go down the other settings the
11 story becomes a little more complicated. It's
12 also accounting for fewer dollars of the post-
13 acute care.

14 But it's not such a simple story
15 which is I think why it's important to give
16 hospitals local information so they can figure
17 this out locally and try to mediate
18 connections and relationships with other post-
19 acute care providers in a way that makes sense
20 for them locally.

21 DR. ASPLIN: Andrea, is this about
22 this particular issue?

1 DR. GELZER: Yes, it's about this
2 slide actually.

3 DR. ASPLIN: Okay.

4 DR. GELZER: So, the third line down,
5 non-acute inpatient, is that an LTACH?

6 DR. KIM: It can be. The non-acute -
7 -

8 DR. GELZER: So, they hardly return
9 if they're at an LTACH. Am I reading that
10 right? No?

11 DR. KIM: This is where they went
12 from index stay.

13 DR. GELZER: Oh, this is just the
14 payment.

15 DR. KIM: These are post-acute care
16 settings during their episode window. So they
17 didn't come from LTACH. They went from their
18 index AMI admission possibly to an LTACH.

19 I will say that non-acute inpatient
20 is more than LTACH. It's inpatient psych,
21 LTACH and inpatient rehab.

22 DR. BERNHEIM: Okay. So just to make

1 clear what that slide's showing for that,
2 among hospitals -- among the patients who are
3 at hospitals where the total episode payments
4 are lowest, they're in the lowest quintile of
5 total episode payments, a smaller percentage
6 are going to LTACH during the post-discharge
7 time in the quintile 1 hospitals.

8 Among patients who are at the highest
9 payment quintile of hospitals we're seeing
10 greater percentages of them going to LTACHs
11 and on average the cost of that LTACH stay is
12 higher at the patient level.

13 It starts to give you, you know, this
14 doesn't answer the whole story at all, but
15 this was in response to some questions that
16 had come up in your earlier meetings. Are you
17 learning anything about how the high- and low-
18 cost providers differ.

19 So this was a first pass to say
20 there's a lot more to learn but some things
21 emerge in an aggregate way that could be
22 valuable for providers and for improving

1 costs.

2 DR. ASPLIN: Okay, so checking on our
3 time here, one of two things happening.
4 Either we're getting some of the questions
5 that were going to come up during the
6 scientific acceptability answered which I'm
7 hopeful that that's what's happening here.

8 Or we have no hope of being done by
9 3:15. So I'm just going to have Bill, Lina
10 and on the line Joe. And then let's keep
11 these quick. And then I'd like to call the
12 question on criterion 1b. Bill.

13 DR. WEINTRAUB: So I think this gets
14 at some of the problems that the TEP came up
15 with. And to summarize what Jack said
16 previously, there seems to be some area for
17 improvement, although when you look at this it
18 looks relatively modest and most of it's
19 related to readmission when you get down to
20 it.

21 But it crosses over into the validity
22 because your ability to predict with the model

1 that you've got is so weak. So, some
2 opportunity for improvement but limited
3 scientific validity given your model.

4 DR. BERNHEIM: Just a quick thing on
5 the ability to predict is so weak comment
6 because I think it's really important to
7 address. And this definitely crosses into
8 your scientific acceptability section so I'll
9 just acknowledge that.

10 This is a problem we run into all the
11 time with these measures. And if we wanted to
12 maximally predict your cost there are all
13 kinds of things we could throw into this
14 model. And we've played with that a little
15 bit to prove it to ourselves.

16 So I can make our R-squared 10 times
17 as high if I put in a risk adjustment for your
18 DRG. But when I put in risk adjustment for
19 your DRG I am risk-adjusting for your decision
20 about procedures. I am risk-adjusting for the
21 complications of a care that have occurred
22 during -- those all feed into the DRG payment.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

And I can predict how much your episode is going to cost, but I can't actually tell you nearly as much about how hospitals vary in terms of the decisions they're making that affect costs.

Now, I'm not saying we've got everything in there we need to, but I just want to make the point that a low R-squared in and of itself does not tell you whether we've got everything in there that we need to.

There's lots of ways to increase R-squared that don't make you better at projecting what hospitals are doing.

I totally hear your point that there may be clinical factors that you wish were in there that we can't get but we have parsed apart the pieces that we think are present at the time zero that we can capture and lots of these kinds of measures similarly have very low R-squared.

Like the HCC model that's used for

1 Medicare Advantage, similarly low. I mean,
2 this is what you find in this world. And we
3 think that a lot of it has to do with a lot of
4 decision-making about care that affects the
5 payments.

6 And so it's just really important to
7 remember that we're not trying to predict
8 payment as best we can because we can do that
9 much better than we're doing. We're trying to
10 just level the playing field so that when
11 there's differences among providers we're
12 accounting for that and leaving the variation
13 that's most likely to be due to the decision-
14 making.

15 DR. WEINTRAUB: So let me respond to
16 that because I think that we agree that your
17 choices were good ones and you shouldn't
18 include things after the administration.
19 Actually, you could drive up the R-squared by
20 including complications and your R-squared
21 would be like 0.7. Or include length of stay
22 and your R-squared is like 0.09. We know that

1 wouldn't mean a thing. So we agree with your
2 decisions but that's not where the rub lies.
3 The rub lies given the low R-squared what can
4 you say after you've made the right decisions
5 on what to include.

6 DR. BERNHEIM: I think this comes
7 back to some of the earlier comments Harlan
8 was making which is that there's lots of ways
9 to interpret the earlier slides.

10 But we know, for instance, that
11 decisions about SNF post-heart failure varies
12 enormously across hospitals without having
13 much difference and impact at the hospital
14 level. We know there's lots of places where
15 hospitals are making decisions.

16 There's nothing we can show you that
17 tells you we've got everything in there we
18 need to. Harlan referred to earlier studies
19 we've done that we haven't had the opportunity
20 to do with this that have shown if you use
21 clinical data for risk adjustment or the
22 claims data for risk adjustment you profile

1 hospitals very similarly which reassured us
2 that the claims data adequately are a
3 surrogate for the clinical severity in
4 aggregate of a hospital.

5 But you're right, I mean it is --
6 it's a question we'll never be able to fully
7 answer. So we've tried to think about the
8 best approach given the data we have and to
9 reassure ourselves that there's variation that
10 we think is meaningful and that's sort of
11 where the measure lies.

12 DR. ASPLIN: Lina?

13 DR. WALKER: This is actually a
14 question for NQF as we consider this
15 particular question.

16 So what we're learning today was not
17 included in the packet of material. So, and
18 I'm hearing that some of this information will
19 be shared with the institution. All maybe?
20 I don't know.

21 So I guess the question is what are
22 the information we should use in evaluating

1 this question, what was submitted in the
2 packet or everything? What they've presented
3 today and any information they say that they
4 would share with the institution. Okay, thank
5 you.

6 DR. ASPLIN: The answer is
7 everything, for those of you on the phone.
8 Unless you could hear the heads nodding.
9 Herb? Or Joe, I'm sorry. Joe, you're up
10 next.

11 MR. STEPHANSKY: I'm sorry, I seem to
12 have -- my call got dropped someplace along
13 there when my dog started to bark. Sorry
14 about that.

15 My comment is from a hospital
16 standpoint. I was in a meeting last week with
17 six of our largest hospitals and they make a
18 great deal of use of the -- those hospital-
19 specific reports that were being mentioned.
20 They take them very seriously and we're hoping
21 to get something similar from some of our
22 commercial payers in Michigan.

1 They are useful once -- because if
2 the only place we can see what happens post
3 discharge, the actual types of care that are
4 provided to the patients.

5 My comment is that now we are having
6 a cost measure, a readmissions measure and a
7 mortality measure where these hospital-
8 specific reports are separate they need to be
9 combined into a format that hospitals can use
10 more easily.

11 I'll leave it at that for now but
12 I'll have some suggestions later. Thank you.

13 DR. ASPLIN: Herb has the last word
14 and then we're going to vote on 1B.

15 DR. WONG: So, my comment clearly
16 falls into the scientific validity sort of
17 thing and that's where this whole conversation
18 has taken.

19 DR. ASPLIN: If it does can we just
20 wait?

21 DR. WONG: But I think I just want to
22 make one point because it was just two

1 conversations ago. And that is there was
2 great conversation about the whole R-square
3 issue.

4 And I think that the developers were,
5 from my perspective there are ways to in fact
6 increase your R-square. And the question is
7 do we really want to do that to kind of tease
8 out those sort of things.

9 The TEP members have made a point
10 about the R-squared. So my general comment
11 for the committee is that there is this
12 balancing. So R-squared is one component but
13 a high R-squared is not necessarily the best
14 thing. So that's my general point for the
15 committee to consider.

16 DR. ASPLIN: Very good. So, question
17 1B, opportunity for improvement, demonstration
18 of resource use or cost problems and
19 opportunity for improvement. The categories
20 in front of you, high, moderate, low, or
21 insufficient evidence. And Evan, let us know
22 when you're ready for us to begin voting.

1 MR. WILLIAMSON: Great. We will now
2 vote on opportunity for improvement. You may
3 begin voting now.

4 And we have all the votes. And so we
5 have 10 high, 10 moderate and 1 insufficient.
6 It passes opportunity for improvement.

7 DR. ASPLIN: Move onto the next
8 question. In the final one in the area of
9 importance to measure and report, 1c, measure
10 intent. So the intent of this resource use
11 measure and construct are clearly described.
12 Are there comments prior to voting from the
13 committee? Or on the phone? On this
14 particular question. Evan, let us know when
15 we're ready to vote on 1C.

16 MR. WILLIAMSON: Great. We will now
17 vote on the measure intent. Your options are
18 high, moderate, low, or insufficient. You may
19 begin voting now.

20 Looks like we're missing one vote in
21 the room. There we go. And we now have all
22 the votes. And we have 16 high and 5

1 moderate. It passes measure intent.

2 DR. ASPLIN: Very good. We're on a
3 roll. We've only got six more vote slides to
4 go. Let's just keep going.

5 (Laughter)

6 DR. ASPLIN: Just kidding. This is
7 the overall -- for the importance to measure
8 and report overall based on the three
9 subcriteria, your summary recommendation
10 relative to importance to measure and report.

11 Any questions prior to going ahead
12 with the vote on the overall category? Evan,
13 let us know when you're ready.

14 MR. WILLIAMSON: We will now vote on
15 overall importance to measure and report. You
16 have four options, high, moderate, low, or
17 insufficient. You may begin voting now.

18 Great, we have all the votes. Looks
19 like we had one additional member join us on
20 the online webinar so we will now be at 22
21 votes. So we have 17 high and 5 moderate.
22 The measure passes the overall importance to

1 measure and report.

2 DR. ASPLIN: That was Joe's dog that
3 added a vote.

4 (Laughter)

5 DR. ASPLIN: Okay. So, could I ask -
6 - to allocate our time my sense is the next
7 category of scientific acceptability is where
8 we're going to spend the bulk of our time.

9 Would there be concern among
10 committee members if we left only 15 minutes
11 for feasibility and usability? I'm seeing no
12 concern in the room so I'd like us to try to
13 have the scientific acceptability discussion
14 over the next 40 minutes then. Sound good?

15 And we can move forward with 2a.1
16 construction logic. And we'll loop back and
17 have Cheryl provide an overview of where there
18 was agreement and disagreement. Thank you for
19 reminding me.

20 MS. DAMBERG: Okay, I'll try to be
21 quickly. So, there are multiple subcomponents
22 to number 2.

1 So, generally people thought the
2 specifications were clear but there were a few
3 questions that were raised in terms of whether
4 secondary diagnoses from the index
5 hospitalization were considered for possible
6 risk adjustment and was present upon
7 admission, coding incorporated.

8 I'd say the bigger issues fell into
9 reliability testing as well as validity. So,
10 it was clear that the developer had done
11 various tests related to the reproducibility
12 of the measure, but there seemed to be no
13 documentation of looking at sort of the
14 signal-to-noise ratio in terms of the measure
15 which was another measure of reliability.

16 And I think we've already talked
17 about the R-squared issue so I'm going to skip
18 over that.

19 And let's see. So let me move onto
20 validity testing because I think this is where
21 a lot of the issues surfaced.

22 There were questions about the

1 attribution of transfers and that that issue
2 is always vexing. There were comments that
3 the measure itself hadn't been validated
4 although the data elements, the sort of
5 building blocks had been. And that was an
6 issue.

7 And then the big issues were around
8 risk adjustment in terms of both severity as
9 well as adjusting for socioeconomic status.
10 Nancy had raised that earlier in the
11 discussion. So I think those were the big
12 issues that came up as well as why exclude
13 patients with same-day discharge.

14 I think those were the major issues
15 that were surfaced.

16 DR. ASPLIN: Very good. We have a
17 number of categories where you'll be asked to
18 have two votes in this large category of
19 scientific acceptability and the first is on
20 reliability. The second is on validity.

21 I would agree with your assessment,
22 Cheryl, that on this particular measure more

1 of the questions from both the TEP and the
2 online survey related to the validity
3 questions, some of which we've started to
4 discuss.

5 MR. WILLIAMSON: I will point out
6 that for these two sections, for reliability
7 and validity we placed algorithms on your
8 desk. They're the colorful charts that we've
9 posted on there. They're also available --
10 they were in the committee guidebook. But
11 they serve as a good reference for these
12 discussion as far as figuring out how to make
13 your rating.

14 DR. ASPLIN: So let's open up for
15 discussion of reliability questions. And you
16 see the categories in front of you but we
17 don't need to take all subcategories in order.
18 I would just open up for questions or comments
19 from the committee on reliability. Andy, go
20 ahead.

21 MR. RYAN: So, one of the criticisms
22 of the mortality measures that Yale developed

1 is that it basically cancels out any volume
2 and outcome relationship that a lot of people
3 think is really there in the aggregate. But
4 once you do the shrinkage basically the low-
5 volume people get shrunk back to the mean and
6 then that kind of takes away the volume-
7 outcome relationship.

8 And I was wondering if there was any
9 analysis for this measure that -- to assess
10 whether there was a volume and outcome
11 relationship with respect to the cost and what
12 kind of implications that could have for both
13 the reliability and validity of the measure.

14 DR. BERNHEIM: So, I'll take that in
15 two pieces. We have not directly looked at
16 the relationship between the volume and
17 outcome for this measure.

18 And in terms of the controversy
19 around this issue in the modeling as you
20 probably know the issue really relates to
21 uncertainty. So, the lower the volume, the
22 less certainty you have about your estimate

1 for mortality or cost or anything else.

2 We have a little more power here
3 because we have a continuous outcome so it's
4 a little bit easier, but in general there are
5 different ways to handle that uncertainty.

6 The statistical guidance and people
7 that we have worked with have always felt that
8 it was more important to use the kinds of
9 modeling we used as hierarchical modeling
10 which does have some assumption that when you
11 have too little volume to be sure of your
12 estimate it brings those more towards the
13 mean.

14 So part of when you look at our
15 unadjusted cost distribution and the adjusted,
16 some of that is related to the risk
17 adjustment, accounting for differences in
18 patient population, the shrinking of the width
19 of the distribution, and some of that is
20 related to volume.

21 If you're a provider who is a small-
22 volume provider who has one expensive case and

1 we don't account for the fact that that could
2 be random you're not going to be very happy
3 that we assume that your number is your
4 number.

5 It's better for the small-volume
6 providers and many people think it's more fair
7 but there is debate about this. But we have
8 always used hierarchical modeling on the
9 strong statistical advice of our consultants
10 who feel that it's the fairest way to handle
11 inherent uncertainty when you have small
12 volumes.

13 MR. RYAN: So, the R-squared that's
14 being talked about from the materials is based
15 on a regression of observed cost to -- or
16 observed or regressed on predicted cost.

17 And so that predicted number includes
18 both the hospital random effect and all the
19 risk adjustment stuff that's on the right-hand
20 side.

21 So, can you -- did Yale do any
22 analysis trying to identify whether the model

1 actually had a higher R-squared than without
2 the hospital effect? Or if it was based only
3 on the risk adjustment factors?

4 I'm just trying to understand kind of
5 what's leading to that low R-squared. Is it
6 just poor prediction from the risk adjusters,
7 or is it also being contributed to by the
8 hospital random effects?

9 DR. BERNHEIM: We have not done
10 analyses to try to separate those issues.

11 DR. ASPLIN: Cheryl?

12 MS. DAMBERG: So, I wanted to get a
13 little more clarification. So you've set your
14 threshold at 25 cases per hospital. And I was
15 trying to figure out did you do some tests to
16 again look at the signal-to-noise ratio?

17 Because in essence you are
18 classifying these hospitals into better than,
19 worse than, or no different than. And you
20 know, the sort of stronger the signal, the
21 better you're going to be able to classify
22 people correctly.

1 DR. KIM: So, all payments are
2 included in the actual -- all hospitals are
3 included in the payment calculation whether
4 they have 1 or 26 hospitals.

5 The only thing about the 25 is the
6 reporting. We only report on hospitals if
7 they have 25 or greater cases because we were
8 afraid that the uncertainty around the small-
9 case hospital, not the small-volume hospital,
10 is too much to report on and classify into a
11 category of above- or below-average payment.

12 But no, we didn't look, we didn't do
13 any analyses to look and see if the volume was
14 related.

15 DR. BERNHEIM: The 25 threshold is
16 from the original analyses done for the
17 mortality and readmission measures where we
18 did do some of that analysis.

19 One of the things that comes up is
20 people use the word "reliability" to mean many
21 different things. But some of our measure
22 development for the AMI mortality measure

1 established 25 as a good threshold for that.

2 And so we set it the same for this measure.

3 MS. DAMBERG: Yes, I guess my concern
4 is that cost data tend to be a lot noisier.
5 And so I think it would be helpful to get some
6 sense of that type of reliability calculation
7 for the measure.

8 DR. ASPLIN: Janis?

9 DR. ORLOWSKI: Could you review for
10 me again the strategy for assigning the cost
11 to the initial hospital? My concern is that
12 -- two. One is that the hospital A, the
13 presenting hospital is likely to have perhaps
14 a couple of hours of interaction with the
15 patient prior to transfer, most of it
16 decisions in the emergency room.

17 And then the second is whether the
18 assignment then to hospital A rather than the
19 tertiary referral center, if that would not
20 provide adequate data on large referral
21 centers.

22 DR. KIM: Thanks for that question.

1 So, just to clarify, when we talk about
2 transfers we're talking about inpatient
3 admission to inpatient admission specifically
4 for AMI. We're not talking about ER to
5 inpatient admission.

6 DR. ORLOWSKI: So that goes to B.

7 DR. KIM: That goes to B because B
8 looks like A. Because we start from the index
9 admission. So, this is not the three hours in
10 the ER, go to a hospital. The ER is not
11 hospital A and the other hospital is not
12 hospital B.

13 When we consider transfers, there's
14 really only three ways to deal with transfers.
15 You can exclude them. And for our AMI cohort
16 that was about 7 to 8 percent of our AMIs, and
17 that was too many.

18 And transfers are important to
19 include because it tells you a lot about care
20 coordination. So we didn't want to exclude
21 them.

22 You can attribute to A as we have

1 done because they begin the episode of care so
2 conceptually it provides the same standardized
3 payment. Or you could attribute them to
4 hospital B.

5 When we crunch the numbers for the A-
6 B comparison, because again, we didn't want to
7 exclude because we think it's an important
8 piece of the AMI picture, we basically lost
9 hospitals. We lost about 100 hospitals. So
10 we would be reporting on 100 fewer hospitals.

11 And the hospital B, the accepting
12 hospital, wasn't any more expensive than it
13 looked like in the attribution to hospital A.

14 What did happen was hospital A got a
15 lot cheaper. And in AMI you're really talking
16 about PCI-capable and not-PCI capable
17 hospitals. And we didn't want to disadvantage
18 PCI-capable hospitals.

19 In fact, it doesn't disadvantage
20 them. Their risk-standardized payment stayed
21 about \$15,000, so slightly higher than the
22 risk-standardized payment for non-PCI

1 hospitals when we use the hospital A
2 attribution approach.

3 When we use the hospital B
4 attribution approach those transferring
5 hospitals which are likely non-PCI hospitals
6 are about \$12,000.

7 So, when we use the hospital B
8 approach we lose about 100 hospitals so we're
9 reporting on fewer hospitals which we don't
10 want to do. We want to include as many
11 hospitals as possible.

12 It doesn't change the way we
13 characterize the risk-standardized payments
14 for PCI-capable, usually the accepting
15 hospital. But it makes hospital A look
16 cheaper.

17 When we do our approach which
18 conceptually we like because it gives
19 everybody a standardized payment window we
20 found it doesn't have any negative effects on
21 the risk-standardized payment. So for those
22 reasons we chose to go with hospital A.

1 And lastly, it does mirror our
2 quality metrics. So it harmonizes nicely with
3 the quality metrics. But we did have a lot of
4 discussion around transfers, particularly for
5 AMI. Less so because for our heart failure
6 cohorts 0.08 percent, so less than 1 percent
7 of our heart failure patients are transfers so
8 it's less of an issue.

9 DR. ASPLIN: Very good. Andy, do you
10 have another comment or question? We're good?
11 Okay.

12 Other questions on reliability?
13 Don't see any on the phone or on the webinar.
14 So let's move forward to the reliability vote.

15 So considering all these criteria the
16 question that you're voting on is now in front
17 of you. How well overall has the developer
18 demonstrated the measure results are
19 repeatable and can be implemented
20 consistently?

21 Do we need to speak to the algorithm
22 or not?

1 MS. WILBON: So, the algorithm that
2 was at your seats and that we have
3 subsequently passed out to some of you that we
4 didn't get to is something new that we're
5 implementing to try to more systematically and
6 consistently rate the measures according to
7 our criteria, specifically for reliability and
8 validity.

9 So again, this is somewhat new and
10 we've only implemented it I think with one
11 committee so far. So, we'd like to walk you
12 through this before we begin voting to make
13 sure that to the best of our ability we're
14 trying to apply the criteria the way that it
15 was intended to be applied.

16 So, the first question is about
17 whether or not the submitted specifications
18 are precise, unambiguous and complete, and
19 that they can be consistently implemented.
20 And so depending on where the committee lies
21 on that then we can go to another question.

22 So, I guess we can just ask now in

1 terms of the committee's general agreement on
2 the specificity of the -- or the preciseness
3 of the specifications. And if we feel like it
4 was okay to advance. Okay, I feel like -- if
5 anyone on the phone has any objections to
6 that? Okay.

7 So I'm seeing nodding heads for the
8 record that the answer to that question is
9 yes.

10 So the next question is about whether
11 or not empirical reliability testing was
12 conducted using statistical tests with the
13 measure as specified.

14 And so if the answer is yes, I'm
15 looking for some indication from the committee
16 on whether or not there's agreement that
17 empirical reliability testing was conducted
18 using statistical tests.

19 MS. DAMBERG: I think there's partial
20 testing. So what do you do in split cases?
21 Where they haven't sort of covered all the
22 elements. So they've covered some of the

1 elements. So what do you do in that
2 situation?

3 MS. WILBON: Okay. So, the next
4 question would be for the reliability testing
5 that was conducted was it done at the computed
6 performance measure score level or at the data
7 element level. Go ahead, Cheryl. I'm sorry.

8 MS. DAMBERG: So they did it at the
9 measure level.

10 MS. WILBON: At the measure score
11 level? Okay.

12 So the next question would be was the
13 method that they described for testing the
14 reliability, was it appropriate for testing
15 the proportion of variability due to real
16 differences among measured entities?

17 So again, was the testing that they
18 did at the measure score level, was it
19 appropriate for what we would be expecting to
20 find.

21 MS. DAMBERG: I guess this was my
22 earlier comment I made. So they did part of

1 this but not all of the different things under
2 your "such as."

3 So, they did reproducibility in terms
4 of the random split correlation type of test
5 but they didn't look at signal-to-noise.

6 MS. WILBON: I'm sorry, I can barely
7 hear you. I'm sorry.

8 MS. DAMBERG: Sorry. So they did
9 some of these tests but not all of them. So
10 they did not do the signal-to-noise analysis
11 but they did do the random split test.

12 MS. WILBON: Okay, there's not
13 necessarily a requirement that they do all of
14 them. These are just examples that they could
15 have done.

16 So, if the committee is satisfied
17 with the appropriateness of the reliability
18 testing that was done the next question would
19 be number 6 which says based on the
20 reliability statistic and scope of the
21 testing, the number of measured entities and
22 representativeness, there's a series of three

1 questions.

2 Is there a high certainty or
3 confidence that the performance measure score
4 is reliable? Is it moderate or is it low?
5 And so that's really where your scoring should
6 come out for the overall reliability score in
7 terms of your confidence in the reliability
8 based on the testing that they did.

9 So that's kind of the end of the
10 algorithm and would tell us what your vote
11 would be.

12 MR. RYAN: Ashlie, can I?

13 MS. WILBON: Sure, go ahead. Please.

14 MR. RYAN: So, with respect to
15 whether the testing was done with the measure
16 as specified. So as I understand this is
17 supposed to be a hospital profiling it for a
18 12-month period is how the measure is
19 specified.

20 And it appeared from their
21 description of reliability testing that their
22 split sample method used combined 2008 and

1 2009 data.

2 So, my question is whether the
3 reliability testing that they did is
4 consistent with the measure as specified.

5 MS. WILBON: Yes, I would ask a
6 question of the developers, maybe a rationale
7 for why that was done as opposed to one year.
8 There may be a reason for that. And then I
9 would defer to Taroon and Helen to see whether
10 or not there's any --

11 DR. BERNHEIM: So, generally when we
12 do the risk model development we do that in a
13 single year of data. That's just been the
14 approach we've taken for determining the
15 modeling and the risk adjustment variables.

16 Our measures in the past have
17 actually been reported on three years of data
18 in order to get greater sample size. In this
19 case we didn't yet have three years of data.

20 And we used the two-year split sample
21 because if you use a single year and then you
22 split it you're getting even smaller volumes,

1 so fewer and fewer hospitals we can use. So
2 the two-year split sample gives you a sample
3 size. For each of the split samples it's
4 about a year's worth.

5 I don't think that that -- I mean,
6 it's the same measure. I can't imagine why
7 that would affect -- why that wouldn't meet
8 the NQF criteria, but I'm welcome to hear if
9 people have concerns.

10 MR. AMIN: I mean, I'm not going to
11 speak to concerns. I'm not here to evaluate.

12 I think all I would say is that the
13 measure should be tested as specified. So, if
14 the measure -- is the measure specified for
15 one year of data. The testing should
16 demonstrate the reliability with the amount of
17 data that you would have for one year.

18 DR. BERNHEIM: And in fact it's
19 probably going to be implemented with three
20 years of data, with more, just to get the
21 sample size.

22 DR. ASPLIN: Ashlie, do you want to

1 go down? Or do you feel like you've gone
2 through the algorithm?

3 MS. WILBON: Yes, we're -- I mean,
4 I'm not sure. Maybe with Andy's question that
5 may take us back to the algorithm. I don't
6 know if, Taroon, did you have? Oh, okay.

7 So, I don't know if any other
8 committee members have comments on Andy's
9 question or whether or not we need to go back
10 and revisit some of the earlier questions in
11 the algorithm to determine whether or not we
12 agree that it was actually tested as
13 specified.

14 DR. ASPLIN: Cheryl?

15 MS. DAMBERG: So, could I just follow
16 up on that last comment? I guess this was
17 something I missed, that it's a three-year
18 period.

19 So, can you help explain why it's a
20 three-year period? Because how does that then
21 factor in any improvements the hospital makes?

22 DR. BERNHEIM: This is a real

1 challenge with AMI in particular. Part of the
2 things that people like about the hospital Y
3 measure is that we then have enough patients
4 at a large number of hospitals that you can
5 report using a single year of data.

6 It's a balance between wanting to get
7 adequate sample size to have reasonable
8 estimates for a large number of hospitals and
9 using the three years lets us do that.

10 But there's a remarkable number of
11 hospitals in this country that don't have 25
12 AMI cases in a year.

13 DR. ASPLIN: All right. I'd like to
14 move forward with the question on the vote on
15 reliability. Bring that screen back up again.
16 Overall they've demonstrated the results are
17 repeatable and can be implemented
18 consistently. And Evan, let us know when
19 you're ready for us.

20 MR. WILLIAMSON: You will now vote on
21 overall reliability. You have four options,
22 high, moderate, low, or insufficient. You may

1 begin voting now.

2 And we have all the votes. We're
3 back down to 21 votes now. One was a
4 duplicate before. So, crisis averted. We
5 have 3 high, 16 moderate and 2 low. It passes
6 reliability.

7 DR. ASPLIN: All right. So we'll
8 move onto questions of validity. And I think,
9 Cheryl, you made your validity comments in
10 your overview, correct? Okay.

11 So we'll open this up. And Jack,
12 take it away.

13 MR. NEEDLEMAN: Okay. We've had a
14 lot of conversation about the R-squared and
15 the R-squared is not the right issue.

16 The right issue from my perspective
17 is whether we're capturing variation in cost
18 that's due to discretionary choices among the
19 providers about what to provide.

20 As you said, you can bump the R-
21 squared up by 50 percent by including DRGs.
22 Now, some of the DRG choices accurately

1 reflect differences in the status of the
2 patient walking in the door and some reflect
3 choices.

4 To the extent that it reflects the
5 status -- to the extent that the differences
6 in patient status and therefore what's needed
7 to effectively treat them vary we've got
8 unaccounted-for variability in the cost.

9 Now, that's not relevant if every
10 hospital faces the same distribution of
11 patients. Because all that variability is
12 equal. It's the equivalent of randomization,
13 right? All that variability is equal and
14 therefore the cost differences are driven by
15 the care choices.

16 So we come back to whether or not the
17 variability in the condition of the patients
18 walking in the door are comparable enough
19 across the different hospitals that it can be
20 ignored. And if it is then we're fine I think
21 in terms of that issue of the validity here.
22 And if it can't be ignored then some -- then

1 it isn't quite ready for prime time. As
2 difficult as that is to measure.

3 So, I'd like to hear about the TEP
4 conversation on this issue, about how
5 comparable these patients were in terms of the
6 distribution of needs for care. Even if it's
7 not fully captured in the way in which the --
8 I'd like to hear the TEP conversation on this
9 and then the measure developer conversation on
10 this to figure out how concerned about the
11 variations due to differences in patient need
12 are that are not accounted for in the measure.

13 DR. ASPLIN: Bill?

14 DR. WEINTRAUB: We didn't quite frame
15 it that way, Jack. But I think then I can
16 summarize the feelings of the TEP like this.
17 That we could not adequately account for
18 variation given what we've seen. That we
19 basically agree with the choices of the
20 developer on what to include and not to
21 include. Given the choices that were made we
22 could not adequately account for variation.

1 And as good a job as they did, and
2 they were applauded wholly by everyone in the
3 TEP to have done a very good job, that as you
4 put it it may not just be ready for prime time
5 because we can't adequately account for
6 variation.

7 DR. ASPLIN: Response from the
8 developer?

9 DR. BERNHEIM: So, I wonder, I'm just
10 going to go back again to something we were
11 able to do with our AMI measure. Because this
12 is the question that comes up with the claims-
13 based measures pretty consistently.

14 And quite honestly, if you talk to
15 our team was the question in our team's mind
16 when we started to depend these measures. How
17 can the claims possibly account for patient
18 severity.

19 And so, I'm just going to -- I'm
20 repeating myself a little bit, but not all the
21 members of this committee are going to be
22 aware of this work and I think it's important.

1 When we depend the original mortality
2 measure. So again, we're looking at the same
3 population of patients, AMI patients. No
4 question that your clinical status at arrival
5 is going to have a huge impact, probably much
6 more on mortality than on payment.

7 We didn't know whether the claims
8 data was adequate for differentiating between
9 hospitals and the case mix that they were
10 facing. Not for individual patients but for
11 the aggregate risk of the patients that are
12 coming into hospital A compared to the
13 aggregate risk for -- you want to interrupt me
14 so I'm going to let you.

15 MR. NEEDLEMAN: Okay. You've made
16 the point and I think it's quite appropriate.
17 The claims data are as good for measuring
18 severity as within the limits that you're
19 looking at as the medical record data. That's
20 not the issue that I'm raising.

21 The issue is whether the differences
22 in severity across the different hospitals are

1 accounted for sufficiently in your measure
2 when you've lumped all these DRGs together,
3 when you've lumped all these diagnoses
4 together and you're seeing big differences in
5 not only the post-acute payments but the
6 acute-level standardized payments because of
7 the differences in case mix.

8 Whether you're adequately taking into
9 account the legitimate differences in how much
10 is being spent for these patients when you put
11 together this many different diagnoses, this
12 many different DRGs into a single measure
13 labeled AMI or measured heart failure. That's
14 my question.

15 DR. BERNHEIM: So I think the answer
16 is the same. And forgive me if I'm
17 misunderstanding something. What the
18 validation work that we did early on said was
19 you can differentiate between hospitals --
20 among hospitals in terms of the severity of
21 the patient.

22 So, I think the concern is whether or

1 not we are adequately accounting for the
2 severity of patients that might lead to higher
3 procedure rates. And so our early validation
4 work suggested that you can.

5 But somehow that's not answering your
6 question so I'm missing something.

7 MR. NEEDLEMAN: Yes, because let's
8 say all the ambulances are passing the
9 hospitals that don't have PCI and bringing the
10 most severe patients, or the ones most
11 tractable to treatment to the PCI-based
12 hospitals. We're going to have a real
13 difference in case mix there.

14 DR. BERNHEIM: Absolutely.

15 MR. NEEDLEMAN: Real differences in
16 how many people are going to survive the
17 hospital, how much -- and therefore the cost.

18 Now, you've combined all those
19 different -- not just the treatment choices,
20 but the diagnostic categories that drive the
21 treatment choices in the way that you've
22 lumped together a whole bunch of things and

1 said all these are AMI patients. And we're
2 not drawing any distinctions among all these
3 different AMI patients. That's my question.

4 Now, if those distributions are the
5 same across all the hospitals it doesn't
6 matter. But if those distributions are really
7 different across the hospitals then you're
8 going to get cost variation which is not under
9 the control of the hospital and yet you're
10 attributing it to the hospital both in the
11 acute stage and in the post-acute stage.
12 That's my question. That's my concern.

13 DR. KIM: We do take into account all
14 the diagnoses we can including the 12 months
15 prior and on the index admission. So we do
16 get a realtime look at anything that's coded
17 on admission.

18 We don't count complications. So
19 things that are coded as secondary diagnosis
20 that we consider potential complications we
21 don't include.

22 But we are getting realtime claims

1 data which is comorbidities. It's never going
2 to be the blood pressure, the vital signs as
3 we discussed earlier.

4 Would it -- for AMI a lot of this
5 concern is PCI/non-PCI hospitals. And it
6 turns out when we looked at the PCI v. non-PCI
7 hospitals using hospital A for transfers the
8 PCI hospitals are maybe a few hundred dollars
9 to a thousand dollars on average, on average
10 more expensive than non-PCI. So, it's not
11 just about the volume of procedures, it's
12 something else.

13 So I hear your concern. I think
14 there are several components. The conceptual
15 concern of not capturing clinical severity
16 which we can never directly answer. If you
17 don't like our chart review answer I'm not
18 sure --

19 MR. NEEDLEMAN: Well, no, the chart
20 review answer says that there are things in
21 the claims that concern -- stand as surrogates
22 for the charts.

1 And I'm happy to hear that. I use
2 mostly administrative data in my research. So
3 I'm happy to hear that.

4 No, the issue is whether you're using
5 all that to accurately capture things that are
6 going to drive differences in how much is
7 spent on the patient, separate from the
8 clinical discretion of the hospitals and the
9 other folks, and the cardiologists and the
10 surgeons that are treating the patient.
11 That's my question.

12 DR. BERNHEIM: So let me just ask.
13 So, if we had blood pressure STEMI or no
14 STEMI, drive-by ambulance, in shock, all of
15 the clinical variables you would want and we
16 used our exact same measure for the same set
17 of patients. And in one of the measures we
18 used all of that clinical variable and we said
19 hospital A is nine and hospital B is four.

20 And then I said, okay, I'm going to
21 do the same measure, same patients, same
22 outcomes, but I'm going to use just chart-

1 based data -- I mean, claims-based data. And
2 I came up with the same answer, hospital A is
3 nine and hospital B is four. Would that make
4 you more comfortable? That somehow we're
5 getting the same risk adjustment. With the
6 claims-based -- because that's what we did.

7 What we did was we took the same
8 patients and the same outcomes and we said if
9 you run a model that has every clinical
10 variable you want in it for risk adjustment do
11 you get a different answer about that
12 hospital's profile. This is for the mortality
13 measure. We haven't redone it for the payment
14 measure. Than you do --

15 MR. NEEDLEMAN: Yes, but except the
16 measures that you're describing are things
17 that have to do with the condition that I
18 walked into the door with this time, the AMI.

19 So you've got my ejection fraction,
20 you've got all that other stuff. Got my blood
21 pressure. You know whether I came in awake.
22 And you have all that having to do with right

1 now what do I look like.

2 That's different from knowing that
3 I've got diabetes, that I've got --

4 DR. BERNHEIM: But that's what I'm
5 trying to explain. Our validation -- that's
6 what I really want to make clear. We did not
7 do a validation that says if the claims say
8 diabetes does the chart say diabetes. That's
9 --

10 MR. NEEDLEMAN: No, no, no. But what
11 I'm saying is the patient who walks in with a
12 mild heart attack. And I have no -- I'm not
13 a clinician. I have no idea what a mild heart
14 attack means except that they're going to walk
15 out of the hospital in two days and be
16 referred to cardiac rehab.

17 And that's very different from
18 somebody who comes in with a massive heart
19 attack that's going to get all kinds of
20 treatment, going to wind up getting stented
21 and all kinds of other stuff going on.

22 And the question is is there anything

1 in your risk adjustment that lets us
2 differentiate those two patients. Does the
3 prior diagnostic information do that? Does
4 something else you're using in your risk
5 adjustment allow us to differentiate those
6 payments when we're trying to predict
7 patients, when we're trying to predict costs?

8 DR. BERNHEIM: So I really do think I
9 understand your question and I am not sure why
10 I'm being so ineffective at explaining what we
11 did. But that's the question we answered.

12 It's not that oh, a history of
13 diabetes correlates so well with severe heart
14 attacks. It's that if you use all the
15 information from the claims to understand the
16 risk of a population coming in you come up
17 with very similar understanding of risk as if
18 you had all the information from the charts.
19 They're not a 1:1 correlation but in aggregate
20 they do a very similar job. So the claims in
21 the mortality measure did a remarkably good
22 job at acting as a surrogate for exactly the

1 information you wish we had.

2 MR. NEEDLEMAN: And have you done the
3 same thing around the cross measures?

4 DR. BERNHEIM: So we haven't done the
5 same thing with this measure at this point.

6 But the concept is similar. I mean,
7 if you can do it for AMI patients for
8 mortality I'm not sure what you would think
9 would be so different for the --

10 MR. NEEDLEMAN: How highly does
11 mortality correlate with cost?

12 DR. BERNHEIM: But the question isn't
13 whether mortality and cost --

14 MR. NEEDLEMAN: Well, you're telling
15 me your risk-adjusted correlates with
16 mortality so now -- you haven't checked it
17 with cost. How highly does mortality
18 correlate with cost? That's the way I would
19 do the comparison.

20 DR. BERNHEIM: But the question we
21 were asking was is our ability to understand
22 how severe this population is with the claims

1 measure similar to our ability to do that with
2 cost.

3 MR. NEEDLEMAN: For purposes of
4 predicting cost.

5 DR. BERNHEIM: For AMI, right?

6 MR. NEEDLEMAN: For purposes of
7 predicting cost.

8 DR. BERNHEIM: Right. So I guess the
9 question for the cardiologists is --

10 MR. NEEDLEMAN: Because we're now in
11 a cost measure here, not a mortality measure.

12 DR. BERNHEIM: Absolutely, absolutely
13 different measure. But I think the kinds of
14 things clinicians are looking for are very
15 similar. Maybe not identical but similar
16 concern about sort of severity leading to
17 higher costs and severity leading to higher
18 mortality.

19 So I think there is some important
20 information from that early validation study.
21 It's not the same, but it's not about whether
22 cost and mortality travel together. It's

1 whether risk for cost is similar to risk for
2 mortality. And they're not identical but we
3 show that they correlate.

4 I'm going to let it go, I'm sorry. I
5 really am trying to address your question and
6 not drive you crazy. But I want to make sure
7 people understand what the validation studies
8 were because they're really -- it's a really
9 important underpinning of our belief in these
10 claims-based measures.

11 MR. NEEDLEMAN: No, I mean you've
12 answered my question --

13 DR. ASPLIN: Jack, I don't know --

14 MR. NEEDLEMAN: I'm done.

15 (Laughter)

16 DR. ASPLIN: The distribution across
17 hospitals, I don't know if in the
18 administrative data if the STEMIs are
19 identifiable as a subset of all MIs or not.
20 And Bill is saying no. Because I don't know
21 how else to get at the distribution.

22 DR. WEINTRAUB: So, let me give you

1 an extreme example, all right? Can you
2 distinguish the patient who comes in with a
3 mild heart attack and goes home in two days
4 from a patient who comes in in cardiogenic
5 shock. They have all the same comorbidity.
6 They look -- a day before they look exactly
7 the same, but one has a mild heart attack and
8 the other one comes in in cardiogenic shock.

9 DR. BERNHEIM: No, at a patient level
10 no question I would never use the claims data
11 to distinguish two patients.

12 Can I distinguish a hospital that
13 gets a lot of one of those kinds of patients
14 from a lot of the other? Yes, and that's what
15 we're trying to do in this case.

16 DR. WEINTRAUB: The question is if
17 the distribution in hospitals of who has shock
18 and who has a mild MI is the same then that
19 doesn't matter. But if that distribution is
20 different then it matters.

21 DR. BERNHEIM: But in the aggregate
22 the risk assessment works with the claim.

1 DR. WEINTRAUB: But you don't know.
2 You don't know because your ability to predict
3 is weak.

4 DR. ASPLIN: All right, so, this is
5 who we've got. We have Mary Ann on the phone,
6 then Nancy, Andrea, Janis and then John back
7 on the phone.

8 MS. CLARK: Hi, I just had a comment.
9 So, I mean I think that we all know that the
10 claims data are limited in their ability to
11 capture a lot of the clinical information.
12 But you know, it's been demonstrated that it
13 can be used, the comorbid conditions are a
14 good predictor of risk and severity.

15 And I think they are using -- they're
16 using the historical one-year claims data to
17 identify patients who have comorbid conditions
18 as well as the index event.

19 And the addition of the DRG code is
20 not really going to change that except for
21 procedures. Because the DRGs are based on
22 diagnosis and procedure codes primarily. So

1 all of the diagnosis codes are being captured.

2 It's just really the procedure codes
3 that they're not risk-adjusting for the
4 procedure codes for the reasons that they
5 mentioned which are they want to -- want the
6 provider, the hospital to have I guess options
7 for being able to treat them in different
8 ways.

9 So, that's probably the main source
10 of resource consumption that's going to be
11 affected.

12 DR. ASPLIN: Nancy?

13 MS. GARRETT: So, I really like,
14 Jack, the way you kind of outlined the two
15 underlying causes here. There's the patient
16 status and then there's the decisions that the
17 provider is making.

18 At this point I'm not convinced that
19 we're doing a good enough job of adjusting for
20 severity given that they could be -- it could
21 be different across hospitals.

22 And in terms of providers really

1 buying into this measure that's huge, to be
2 able to have that kind of face validity that
3 this is measuring what it's supposed to
4 measure.

5 I almost feel like not adjusting for
6 the DRG is going too far. Because then you
7 have -- the DRG is a mixture of the things
8 that are going on with that patient clinically
9 and what needs to happen in the hospital.

10 And it's a mixture of that and the
11 provider choices about the treatment. And
12 it's hard to separate the two out. So I'm
13 concerned about that.

14 DR. ASPLIN: Andrea and Janis, I'm
15 presuming that your comment or question was
16 addressed because I had you written down
17 earlier. So I'm going to go to John on the
18 phone.

19 DR. RATLIFF: Quick question for the
20 developer.

21 DR. ASPLIN: Go ahead, John.

22 DR. RATLIFF: Excuse me?

1 DR. ASPLIN: Go ahead.

2 DR. RATLIFF: Sorry about that. Just
3 a quick question for the developer with
4 regards to the validation of your risk
5 adjustment modeling. You use as your primary
6 quality endpoint mortality. Was that you used
7 for validation of modeling the severity of the
8 AMI with regards to your validation strategy?
9 Just the endpoint of 30-day mortality?

10 DR. BERNHEIM: So I was referring to
11 a study that was done when the 30-day
12 mortality measure was developed to just
13 explain why we have gained confidence at the
14 hospital level in the claims being able to
15 differentiate between hospitals that have
16 higher-risk patients and lower-risk patients
17 even though the claims don't contain those
18 individual variables.

19 So that was a study that we did when
20 we developed the original AMI mortality
21 measure.

22 DR. KIM: But we don't validate with

1 the mortality data. When we develop the
2 payment measure we use a split sample. And we
3 validate the risk adjustment model with a
4 split sample of the payment data.

5 I want to make sure that's clear. We
6 don't validate with mortality. This is all
7 payment data. The model development, model
8 validation done with payment data. Measure
9 validation done with 2 years of payment data
10 for a 30-day episode of AMI care.

11 So that's what we specified. I just
12 want to make sure that's clear and not getting
13 lost in the discussion.

14 DR. RATLIFF: That was my second
15 question. You're validating administrative to
16 administrative with regards to your risk
17 adjustment strategy.

18 DR. KIM: Correct. We use one --

19 DR. RATLIFF: Or administrative data
20 to administrative data.

21 DR. KIM: We use a split sample
22 validation technique with administrative

1 claims data for the payment outcome
2 calculation.

3 DR. BERNHEIM: Can I just say one
4 thing about sort of how we thought about this
5 DRG problem that Nancy raised? Because it's
6 an issue and we talked about it a lot.

7 The DRG software is hard to break
8 into, but there's basically four factors that
9 go into determining your DRG. There are some
10 clinical demographic age and gender. There is
11 your principal discharge diagnosis. Did you
12 come in for an AMI, did you come in for heart
13 failure. And then there's whether you have
14 complications or comorbidities. Both of them
15 can bump up your DRG and there's procedures.

16 And so you'll remember our earlier
17 slide. We really thought about the DRG and we
18 really, again, did the best we could to say if
19 we risk-adjust for the full DRG we're going to
20 end up risk-adjusting a lot of important
21 information about decisions made in the
22 hospital.

1 And essentially sort of just account
2 for your index stay. I mean, it sort of
3 becomes a measure just of post-acute care.
4 Because the DRG is so determinant of a varying
5 patient payment.

6 But we wanted to capture the right
7 thing. So again, just so people understand
8 conceptually. We have age in there and we
9 have your diagnosis in that we have lumped
10 AMI.

11 Now, we don't differentiate between
12 the ICD-9 codes within AMI. They're probably
13 at this stage not worth doing that. I don't
14 think anybody thinks they are right now.

15 And then we do comorbidities but not
16 complications and procedures.

17 So conceptually we were trying to
18 take the pieces of the DRG that we thought
19 were valuable to risk-adjust for and not the
20 ones that weren't. It's not a perfect model.

21 But just so people understand those
22 really are the things that go into determining

1 your DRG. So we really tried to take the
2 pieces that made sense and pieces that didn't.

3 DR. ASPLIN: All right. So, could we
4 shorten as concise as possible our comments
5 and questions? Janis and then Jim.

6 DR. ORLOWSKI: So, as I understand it
7 this is the doctor's view of statistics I
8 think. So, what you're saying is that you can
9 predict based on morbidity prior to admission,
10 you can risk-adjust. Meaning that those
11 people who have diabetes and hypertension
12 before are likely to have the more severe AMI.
13 That's what I'm hearing.

14 The question that I have is -- and it
15 has to do with the not risk-adjusting for
16 anything that happens in the hospital. I
17 would think -- did you look, rather than I
18 would think, did you look at specific items
19 that you could get data about?

20 For example, heart failure, complete
21 heart block. Those things that likely are not
22 attributed to either the physician's decision

1 or a complication within the hospital, but
2 truly are associated with the severity of the
3 MI that is occurring.

4 DR. KIM: We used the secondary
5 diagnoses on the index admission. So, those
6 are things that were coded on the index
7 admission. So not historical 12 months prior.

8 And we did risk-adjust for those
9 things that did not appear to be
10 complications. A complication would be
11 something like a UTI. We couldn't tell if you
12 had it before or was it a complication of
13 admission.

14 We didn't look at complete heart
15 block or anything like that unless it was
16 coded.

17 DR. ORLOWSKI: So on the index
18 admission you are coding for not complications
19 but comorbid events in the index admission.

20 DR. KIM: Correct.

21 DR. ORLOWSKI: I actually think
22 that's -- my question.

1 DR. KIM: Can I just make one comment
2 about what we're doing with the risk
3 adjustment? The risk adjustment is really
4 there to level the playing field across all
5 hospitals I think we all agree.

6 It's not there to predict the
7 payment. We want to see how much of the
8 payment may be attributed to patient
9 comorbidities. But it's -- we're not trying
10 to predict payment based on that. We are
11 measuring the payments as they are and we're
12 trying to make sure that we give hospitals
13 credit for the types of patients they have.

14 So, I know that's part and parcel of
15 some of the questions. But the other
16 confusing piece of the discussion has been how
17 much does this predict.

18 Again, the reason the risk adjustment
19 is there is not to predict accurately. It is
20 to understand the contribution of the case mix
21 across hospitals and their contribution to the
22 payment outcome.

1 It's not so we get the prediction
2 model. I just want to make sure that's clear.

3 DR. ASPLIN: Jim?

4 DR. NAESSENS: Following up on Jack's
5 point with a specific example. In southern
6 Minnesota we've had an attempt, and one of our
7 mutual colleagues has kind of driven it, to
8 get almost all of the STEMI MIs in the region
9 treated at the hospital that has PCI
10 capabilities.

11 The difference between looking at
12 mortality outcomes and looking at cost is that
13 almost every one of those STEMI MIs who come
14 to the institution will get a PCI. Will get
15 some sort of intervention.

16 Hopefully those interventions are
17 effective. And you actually may get similar
18 outcomes in terms of mortality for both
19 groups. But you won't have the same level of
20 resource use going into the patient who stays
21 in the local hospital without the STEMI MI and
22 the one that gets transferred in.

1 So, my guess is that even though the
2 analysis might have been very effective
3 looking at mortality outcomes it will be
4 different when you look at cost outcomes.

5 DR. BERNHEIM: Would people feel
6 better if that same analysis was redone for
7 the cost outcomes? Because I wasn't sure if
8 that actually was going to -- okay. So the
9 people --

10 DR. ASPLIN: I'm going to ask Ashlie
11 to walk through the algorithm that's on the
12 screen here.

13 MS. WILBON: Actually, I'm going to
14 defer to Taroon. We're going to try a
15 different approach. Oh sorry, go ahead.
16 Sorry.

17 DR. WEINTRAUB: So, everyone agrees
18 that you're not trying to predict cost. And
19 it's good that you're trying to give hospitals
20 credit for the difference in their patients.

21 But I think that rather than the
22 things you can measure, the big driver is

1 going to really be how sick the patient is
2 when they present. And that's what you can't
3 get at. That's the problem.

4 And again, if there's no variation
5 between the hospitals it doesn't matter. But
6 if there is it matters a lot potentially.

7 DR. BERNHEIM: Right. But you know,
8 I mean, again in aggregate it actually does a
9 pretty good job of telling how sick the
10 patients are. I mean, that's just what we've
11 found.

12 DR. RATLIFF: Did we lose you?

13 DR. LATTS: Sorry, this is Lisa. I'm
14 trying to follow this because that's what she
15 said it exactly does is it predicts exactly
16 how sick they are. In the mortality measure
17 it predicts how sick they are when they
18 present.

19 So yes, it's not for the cost
20 measure. But it predicts how sick they were
21 and whether or not they were going to die.
22 So, sicker people cost more. That's what

1 we're saying. So that's what it does is
2 predict that sicker people are sicker.

3 DR. WEINTRAUB: Well, then the
4 question is how well does it do that. And
5 given what I've seen I have my doubts.

6 DR. ASPLIN: Okay.

7 MR. AMIN: Okay, are you ready?

8 DR. ASPLIN: Taroon, go.

9 MR. AMIN: Okay, so the issue of
10 validity includes a number of different
11 components. So, one of the components is
12 around validity testing which is 2b.2.

13 And as you see by the list that's on
14 the side screens it's only one segment of the
15 validity question. It includes all the
16 components around inclusion and exclusion
17 criteria, this risk adjustment conversation.

18 But in particular, the question of
19 how to interpret validity testing, what we
20 want to do is assess whether or not the
21 validity -- the exclusions, the need for risk
22 adjustment, the multiple data sets and

1 specifications if that's -- well, that's not
2 appropriate for this measure, has been
3 assessed in which we know, yes.

4 And then effectively we're looking at
5 whether there was empirical validity of the
6 measure as specified. And so there appears to
7 be some question about that that the group has
8 raised.

9 And so then really the question
10 becomes if there's face validity. Effectively
11 you move onto 4 which is around the face
12 validity and whether it was systematically
13 assessed.

14 So, that's pretty much where we are
15 here in terms of the algorithm. Yes, Andrew.

16 MR. RYAN: Based on what you just
17 said I didn't see any empirical testing in the
18 sheet, in the document that was sent. It
19 alludes to testing that was done for --
20 there's face validity stuff in here, and then
21 kind of how the mortality measures were
22 validated, but nothing -- I don't see any

1 empirical testing with respect to the validity
2 -- with respect to validity.

3 Is that where we are? Because if
4 that's the case then we're just making a
5 judgment based on face validity, right?

6 MR. AMIN: I think that there's an
7 open question. I think there's differences of
8 opinion about whether the empirical validity
9 testing around the mortality measure, I
10 believe that's what the developer has
11 submitted in order to demonstrate empirical
12 testing is the information about mortality.

13 Effectively we should look at
14 empirical testing using the measure as
15 specified. So, if you don't believe that that
16 is the case then you should assess the face
17 validity issue.

18 If you do believe it's specified, you
19 do believe the empirical validity testing. I
20 mean, it's still not as the measure is
21 specified. I think, maybe I'll ask the
22 developer if the empirical validity is as the

1 measure is specified.

2 DR. BERNHEIM: No, I think it's a
3 useful -- I want to make sure that we're
4 portraying what happened.

5 So, we are resting for the risk
6 adjustment piece heavily on previous testing.
7 We did not do empiric measure-level validity
8 testing for this measure. We did internal
9 model validity validation which some people
10 call reliability, people call other things.
11 We did face validity with our TEP. We rested
12 on prior testing.

13 But I don't think we would say, and I
14 don't think we did in our application that we
15 had done empiric measure-level validity
16 testing.

17 MR. AMIN: So, systematic assessments
18 of face validity is an acceptable standard
19 with current NQF testing guidance. So that
20 would sort of lead us to 4 and the highest
21 that could be rated is a moderate.

22 However, I want to make sure you keep

1 that in context with the fact that validity
2 testing is only one segment of the validity
3 testing components that you're going to
4 evaluate now which includes all the other
5 components of validity.

6 So, effectively though, the highest
7 that it could be rated is a moderate given our
8 testing guidance.

9 DR. WEINTRAUB: Very briefly. From
10 clinical databases the number one predictor of
11 mortality for PCI and acute myocardial
12 infarction is cardiogenic shock. It accounts
13 for almost all of the C-index.

14 DR. BERNHEIM: Again, totally
15 understand this concern. At a patient level
16 we don't have the data.

17 There's nothing -- I mean, I will say
18 cardiogenic shock is actually a huge
19 problematic variable because it's coded
20 differently at every hospital. If you had
21 chart data you still might not use cardiogenic
22 shock because it means one thing at one place

1 and one thing at another. It's actually a
2 tough risk adjustment variable.

3 DR. WEINTRAUB: So this has been done
4 with clinical databases where the variable is
5 clearly and carefully defined. Not from EHRs
6 where it's not.

7 DR. ASPLIN: Okay. And Taroon,
8 you're comfortable with the algorithm?

9 MR. AMIN: I'm comfortable.

10 DR. ASPLIN: I am if you're
11 comfortable.

12 (Laughter)

13 MR. AMIN: Is the committee
14 comfortable with the algorithm is maybe --

15 DR. ASPLIN: Okay, so let's -- having
16 encompassed that entire discussion we're going
17 to move ahead with a vote on validity. How
18 well overall has the developer demonstrated
19 this measure is valid? And Evan, let us know
20 when you are ready.

21 MR. WILLIAMSON: We will now vote on
22 overall validity. You have four options,

1 high, moderate, low, or insufficient. And you
2 may begin voting now.

3 We're still missing one vote in the
4 room so if everybody could please -- okay. So
5 we have 20 votes. Okay, we have all the
6 votes. And we have nine moderate, seven low
7 and four insufficient.

8 This measure falls in the lack of
9 consensus -- or the validity vote falls in the
10 lack of consensus range. So we will note that
11 and move forward.

12 DR. BERNHEIM: Can I ask one question
13 about that vote? Just, committee members can
14 think about this.

15 One of the other paths for validity
16 testing for this measure is correlation with
17 other performance measures. And we did a lot
18 of thinking about sort of construct validity
19 for this. We can show you that it correlates
20 well with actual payments which won't surprise
21 anybody.

22 But if people have thoughts about how

1 to -- you know, we're not trying to measure
2 quality. We're trying to measure cost that
3 can be modified by hospitals. And so I just
4 want to say we would really welcome the
5 expertise of this group to help us think about
6 other paths to validity testing. Because we
7 scratched our heads a lot.

8 And we would love to have your
9 thoughts on that. It's measuring payments so
10 it is measuring payments and it becomes hard
11 to get your contract validity piece which is
12 another pathway. So, something to think
13 about.

14 DR. ASPLIN: All right. So we have -
15 - we're moving forward based on the approach
16 that this falls in the lack of consensus
17 category.

18 We're going to take a stab at these
19 last three questions. So questions on
20 feasibility, usability and then there's an
21 overall vote.

22 So, before moving ahead with the vote

1 here the question that you're going to be
2 asked is in front of you. From a feasibility
3 standpoint are there questions or comments
4 from the committee members or those on the
5 phone?

6 Seeing none, let's move ahead with
7 the vote. Make a summary determination of the
8 extent to which the criterion of feasibility
9 has been met. Evan, go ahead when you're
10 ready.

11 MR. WILLIAMSON: So we will now vote
12 on the overall feasibility. You have four
13 options, high, moderate, low, or insufficient.
14 You may begin voting now.

15 And Nancy, would you like to vote?
16 And we have all the responses. And we have 18
17 high, 3 moderate, zero low and zero
18 insufficient.

19 DR. ASPLIN: Very good. The last
20 category is usability and use. The criteria
21 are listed and we'll have an overall vote
22 based on those criteria.

1 And on this particular issue, Larry,
2 you had raised a question earlier and we were
3 going to capture it in the use and usability
4 section. And you have the floor if you would
5 like it.

6 MR. BECKER: I'm fine now.

7 DR. ASPLIN: Okay. Any other
8 questions or -- one personal comment I had
9 that I really was appreciative of the
10 additional data that facilities received
11 because the public reporting side of this is
12 a little bit Lake Wobegon-ish in a way. You
13 know, as far as we're all kind of average.

14 What percentage fall out of it? Is
15 it literally the 95 percent on either side?
16 As far as -- what's the breakout of those that
17 are reported in the public data as average,
18 above average, or below average?

19 DR. BERNHEIM: It's not a 95 percent
20 confidence interval so it doesn't always end
21 up being 5 percent that are outside because
22 it's done with interval estimates.

1 We have those numbers.

2 DR. KIM: It's 7 percent -- this is
3 for AMI, AMI only. Seven percent were low, 50
4 percent were high. So I guess 82 percent were
5 average.

6 DR. ASPLIN: Seventy-eight. Yes,
7 okay. Thank you.

8 So the additional detail data,
9 particularly a breakdown of post-acute
10 utilization spending, et cetera, and going
11 back to the validity question, the face
12 validity of how those data broke down actually
13 made sense.

14 Other comments on use and usability?
15 We have wore ourselves down. So let's move
16 ahead with a vote on this question.

17 MR. WILLIAMSON: We'll now vote on
18 overall use and usability. You have four
19 options, high, moderate, low, or insufficient.
20 And you may begin now.

21 I believe we're still waiting on one
22 more vote in the room. If everybody could

1 please try again. There we go, we have all
2 the votes.

3 And we have 12 high, 7 moderate, 2
4 low and zero insufficient.

5 DR. ASPLIN: All right, very good.
6 So we have one more vote, overall suitability
7 for endorsement. And this is a yes or no on
8 suitability for endorsement.

9 Any comments or questions before we
10 go ahead? Seeing none -- I'm sorry, go ahead.

11 MS. DAMBERG: We have a question down
12 at the end of the table. So, if we rated
13 validity as insufficient information how are
14 we supposed to vote on this? It's not
15 suitable at this point?

16 MR. AMIN: Scientific acceptability
17 is a must-pass criteria. So, you -- if you
18 weighted scientific acceptability as low or
19 insufficient, you would probably not recommend
20 the measure for endorsement.

21 However, you weight every criteria.
22 Everybody has to weigh the criteria to their

1 own satisfaction. I mean, importance and
2 scientific acceptability are must-pass
3 criteria. But you know, the weighting,
4 there's not a clear algorithm that says if you
5 sort of -- I mean, you get the point here.

6 So I mean, there's no clear answer.
7 But if it's a must-pass criteria and you voted
8 it low then that would have an impact on what
9 you should recommend it for endorsement.

10 DR. BURSTIN: Well, I think she's
11 asking it slightly different. She's asking
12 about whether there's insufficient evidence.
13 Rather than rating it low.

14 She didn't say low so I just want to
15 qualify that ever so much. Because I think
16 when you looked at the listing of what was
17 listed on that slide for validity it was only,
18 what was it, 2b.2, that was validity testing.

19 So I think you need to then within
20 your assessment look at all those different
21 subcriteria and make your assessment and then
22 decide how you think it fits for overall

1 suitability.

2 DR. ASPLIN: Okay. Are we good? All
3 right. So Evan, let us know when you're
4 ready.

5 MR. WILLIAMSON: We will now vote on
6 overall suitability for endorsement. This is
7 a yes/no question. You may begin voting now.

8 And we have all the votes. And we
9 have 12 yes and 9 no. This again falls into
10 our lack of consensus range. And we'll go out
11 for -- yes. Yes, it does. So this will go
12 out for the public comment and will be
13 reconsidered again by the committee.

14 DR. ASPLIN: Let's start up again --
15 15 minutes. And we'll commence with the heart
16 failure.

17 (Whereupon, the foregoing matter went
18 off the record at 3:23 p.m. and went back on
19 the record at 3:45 p.m.)

20 DR. LATTS: All right, now that we've
21 got one under our belt we only have two to go
22 before we can all get on a plane and go home.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

So, this measure stands between us and dinner. Nothing like incentive, that's right. And we've already probably said just about everything there is to be said.

So, as we're starting the heart failure measure we're going to start with asking the measure developers to discuss what's different in heart failure from AMI.

DR. KIM: So really what's different is the cohort, the heart failure cohort. So the ICD-9 codes that we used to identify our heart failure patients are different from the AMI. We don't have to go through all the slides again.

One thing I want to draw your attention to is for heart failure we do not adjust. We do adjust for age and comorbidities. We do not adjust for PCI or CABG but we do adjust for LVAD during the index stay or during the episode.

And that's really the only

1 difference. We strip and standardize our
2 payment outcome as we did for AMI. We use the
3 same risk adjustment approach as we did for
4 AMI.

5 We selected a model. I already
6 mentioned that we used a different model for
7 AMI. We used a generalized linear model with
8 a log link and inverse Gaussian distribution
9 for heart failure. Those of you -- everybody
10 cares.

11 We used a GLM with log link and gamma
12 distribution based on our empiric analyses of
13 five different models based on the Manning and
14 Mullahy algorithm from the economics
15 literature. And I think that's it.

16 I will say when we calculate these
17 risk-standardized payment it is the predicted
18 hospital-specific payment using their
19 individual case mix over the expected hospital
20 payment using an average hospital effect over
21 that same specific hospital's case mix.

22 Then it's multiplied by the national

1 average. So, when we compare hospitals we're
2 comparing hospital A to an average hospital
3 with hospital A's case mix. So I hope that
4 that can inform the discussion around the R-
5 squared and the patient case mix and the risk
6 adjustment. But that's really -- those are
7 the big differences.

8 MR. NEEDLEMAN: It's the case mix
9 within the set of conditions?

10 DR. KIM: Yes. So, you don't have to
11 turn to the slide but the predicted is the
12 hospital A times hospital A's case mix. The
13 expected is average hospital performance times
14 hospital A's case mix.

15 We multiply that by the national mean
16 payment to get it back to dollars but this is
17 a ratio. So I just, I don't know if that
18 helps or hurts the discussion regarding risk
19 adjustment. But it's not like we're comparing
20 hospital A to B exactly. We're comparing them
21 to the average.

22 DR. BERNHEIM: To an average -- and

1 how are you doing compared to an average
2 hospital with the same case mix.

3 DR. LATTIS: In case you missed that
4 on the phone, how you're comparing that -- how
5 the hospital is comparing to an average case
6 mix.

7 DR. BERNHEIM: To an average hospital
8 that had the same case mix as that hospital.

9 DR. LATTIS: Yes, sorry. I misstated
10 that. Slide 15.

11 Okay, any questions for the
12 developers on that before we move to the
13 summary?

14 MR. NEEDLEMAN: Again, I'm still --

15 DR. LATTIS: Jack can't help himself.

16 MR. NEEDLEMAN: Yes, I'm still not
17 clear. When you say case mix you're not
18 talking about the whole -- the hospital case
19 mix across all the conditions. You're talking
20 about the hospital case mix for the heart
21 failure cases, or for --

22 DR. KIM: Correct.

1 MR. NEEDLEMAN: Okay, thank you.

2 DR. LATTS: Janis.

3 DR. ORLOWSKI: Could I ask you to go
4 to the slide where you talk about your risk
5 adjustment? Where you have purple prior and
6 then pink or something afterwards. Okay,
7 great.

8 So, I just want to be sure that I
9 understand the risk adjustment. And so I
10 asked a question in the last setting and I
11 think that we got an answer that different
12 people heard different -- or I heard different
13 answers to.

14 If a patient comes in with heart
15 failure here and they have diabetes, and
16 they're male, and they have whatever, I
17 understand that all gets risk-adjusted.

18 But on the index admission if in
19 addition to their heart failure they develop
20 heart block. It's not a complication, it's
21 not a UTI, it's not whatever. But it's
22 something that they develop during that

1 hospital stay. Is that included in the risk
2 adjustment?

3 DR. KIM: It is. We include in the
4 risk adjustment 12 months prior plus index
5 secondary diagnosis codes. We don't know if
6 they developed it or not during the
7 hospitalization but it's the first time we're
8 seeing it so we're going to include it as a
9 risk adjustment variable.

10 DR. ORLOWSKI: So then if that is
11 your answer which is what I heard, then when
12 we spoke about shock in AMI I did not
13 understand, and I think we didn't understand
14 if you come into the hospital with an AMI and
15 you have cardiogenic shock based on the answer
16 you just gave me it should be included in the
17 risk adjustment.

18 DR. KIM: So, it is. So the quick
19 answer is CC79 cardiorespiratory failure and
20 shock is included in the risk adjustment for
21 heart failure on page 57 of our technical
22 report.

1 However, we don't deliberately -- I
2 think your point is do you force it in, do you
3 deliberately put it in.

4 DR. BERNHEIM: So I think -- let me
5 just add a layer because I want to make sure
6 it's clear.

7 So, we've said a bunch of times we
8 risk-adjust for your past history and your
9 secondary diagnoses unless they are
10 complications of care.

11 There's not a terrifically reliable
12 way right now to understand is there
13 complications of care. We are optimistic the
14 POA coding in these later years of data are
15 going to soon help us with that. But right
16 now we don't use the POA codes.

17 So when we see a secondary diagnosis
18 we have an algorithm that is clinically vetted
19 and imperfect that says is this more or less
20 likely to have been a complication of care or
21 not. Right? That's all you can do.

22 So, if you see acute renal failure

1 for the first time, they've never had a code
2 in the past 12 months of renal failure, and
3 you just see during their heart failure
4 admission that they had acute renal failure
5 we're not going to risk-adjust for that
6 because it could easily have been that they
7 were dried out too much and went into renal
8 failure and we don't know. Right? Don't
9 know.

10 If they have dialysis we're going to
11 risk-adjust for that. That's not an acute
12 complication. That's a patient who's got end-
13 stage renal disease. We're going to risk-
14 adjust for it whether it was seen in the prior
15 12 months or for the first time during the
16 index stay.

17 So we risk-adjust for secondary
18 diagnoses or things that show up for the first
19 time during the index if they are according to
20 our algorithm which Nancy laid out unlikely to
21 have been a complication of care. That's the
22 best way we had to differentiate it and it's

1 imperfect.

2 So, the answer about shock is
3 unfortunately shock gets lumped with a bunch
4 of other things. And so right now this
5 measure considers shock a potential
6 complication of care. So if you have a
7 history of shock it counts, but if you have
8 shock only for the first time during this
9 admission because it's also with something
10 else --

11 DR. ORLOWSKI: So you do risk-adjust
12 for certain diagnoses that occur during the
13 index care, but only if by your algorithm it
14 is thought to be more likely to be a
15 complication of the pathophysiology of the
16 disease.

17 DR. BERNHEIM: Only if it's more
18 likely that it was not caused by the care.

19 DR. ORLOWSKI: Right.

20 DR. BERNHEIM: Right.

21 DR. ORLOWSKI: Exactly. That it's
22 the disease and not the care.

1 DR. BERNHEIM: Right. Exactly.

2 DR. ORLOWSKI: And so, okay. So then
3 in the prior example I'm surprised by your
4 choice.

5 DR. BERNHEIM: It has been very
6 controversial.

7 DR. ORLOWSKI: Okay. I get you. So
8 it -- so really as we look at this issue of
9 risk adjustment and if you're looking at the
10 same, if you're looking at apples to apples we
11 need to understand what you consider a
12 complication of care.

13 DR. BERNHEIM: Potentially a
14 complication of care.

15 DR. ORLOWSKI: Okay.

16 DR. BERNHEIM: And it's listed. I
17 think, again, I think in the future POA can
18 help with this.

19 DR. WEINTRAUB: So, there is
20 something we can look at. Because shock, ask
21 me is shock in someone with AMI more likely to
22 be related to patient-level factors or care-

1 level factors I would say far more likely to
2 be related to patient-level factors.

3 DR. BERNHEIM: So, in fact we had a
4 side discussion. James had made the same
5 suggestion, that it might be helpful to look
6 a little bit at some of these things looking
7 at POA coding.

8 Because in the years that we were
9 developing this measure there was basically no
10 POA coding so we really couldn't do that. But
11 we now have later years of data. So I think
12 that one thing we can do is try to look a
13 little bit at POA to differentiate exactly
14 that question.

15 DR. LATTS: Okay, does anybody have
16 any more clarifying questions before we get
17 onto the summary? Is this -- are we
18 revisiting --

19 DR. ASPLIN: It is on this
20 comorbidity complication issue.

21 DR. LATTS: Can you hold that till
22 when we get to scientific validity again?

1 DR. ASPLIN: It's directly applicable
2 to the conversation we were just having.

3 (Laughter)

4 DR. ASPLIN: Which is it would be
5 good to see what complications you've
6 included. So that would be one thing.

7 I want to reinforce Jim's comment
8 about looking at POA coding. We've done work
9 -- I've done work on looking at POA coding and
10 expert panel rules developed without it and
11 the expert panels stink at figuring out what's
12 present on admission and what's hospital-
13 acquired. So, you really do need to start
14 informing those decision rules if you're not
15 going to use the POA coding with good POA-
16 coded data to figure out what's going on.

17 DR. BERNHEIM: All right. We're just
18 waiting for the good POA-coded data.

19 In our technical report Appendix 6
20 has for every risk adjustment CC whether or
21 not it was only found during this index stay,
22 whether we considered it a potential

1 complication.

2 And we use the word "potential." We
3 know that sometimes it's not a complication of
4 care. We're trying to be very careful not to
5 risk-adjust for complications.

6 DR. LATTIS: Terrific, thank you.

7 Okay, so Mary Ann and Janet are lead
8 discussants on this. Is somebody on the
9 phone? Oh okay, Mary Ann. So, Mary Ann and
10 Janis. Is Mary Ann taking the first pass?

11 So let's not maybe revisit all the --
12 let's really talk about if you would focus on
13 sort of what's different from the comments and
14 the review in the heart failure measure than
15 the AMI if you could.

16 MR. AMIN: So maybe we can start with
17 importance too.

18 DR. LATTIS: Okay, yes, I'm sorry,
19 we're doing -- yes, importance.

20 MR. AMIN: And Evan, maybe you can
21 move the voting slide to the first subcriteria
22 as well just so that we're aware.

1 Yes, if there are TEP comments
2 related to importance as well they would be
3 welcome here as well.

4 DR. LATTIS: Okay, great. So, Mary
5 Ann, if you could talk about importance, the
6 summary around importance. And then Bill,
7 you'll be up for TEP.

8 MS. CLARK: Sure, sure. So, this
9 measure is very similar to the AMI measure we
10 saw I think in terms of importance to measure
11 and report. There was a lot of consistency in
12 that it is definitely a high-priority area.

13 And there were some comments such as
14 on the 30-day episode and whether -- it's
15 unclear where the spending in that 30 days
16 come from. But I guess given the fact that
17 some of these additional reports are being
18 provided to the hospitals we didn't have
19 visibility to that. So, it sounds like that's
20 available to understand what's driving the
21 cost.

22 Let's see. There were additional

1 comments around not enough clarity around
2 understanding the underlying clinical scenario
3 to determine if there is wide variation in the
4 resource use for the same type of case.

5 Let's see, what else do we have here.
6 That again there were several comments on the
7 30-day episode and that the variation is
8 likely to occur there and not necessarily
9 within the -- I guess the acute index
10 hospitalization episode.

11 Little discussion regarding how there
12 could be opportunities for improvement in a
13 way to, you know, related to the whole topic
14 of severity of illness. And how if there's
15 not a way to control for severity of illness
16 that it may be difficult as well.

17 There were additional comments
18 regarding the socioeconomic factors. You
19 know, continuing to be a need to adjust for
20 socioeconomic factors. There were several
21 comments on that.

22 I think that is probably the summary

1 of the differences there. In general I think
2 people thought that the intent was clear.

3 However, I had some questions myself
4 on the intent. There were some places in the
5 application where it was discussed or referred
6 to as the typical heart failure patient. And
7 I know that you discussed in your risk
8 adjustment that you -- when you stated it just
9 a little while ago you said you adjust for the
10 LVAD cases.

11 But it appeared from the description
12 of the inclusion/exclusion criteria that they
13 were actually excluded from the development,
14 not necessarily an adjustment.

15 So, I just had a question as what is
16 kind of meant by the typical heart failure
17 patient. Because I could see where it may be
18 in this case a little difference from AMI in
19 that a lot of these patients are more chronic
20 patients and that their admission to a
21 hospital is for an acute incidence of this
22 disease.

1 And that there may not necessarily be
2 surgical approaches I guess to treating the
3 disease. Or there are definitely but they're
4 not as -- probably these patients are more
5 medically managed.

6 I know that the surgical procedures
7 could affect the costs if they do have them.
8 For example, I know that heart failure
9 patients could have pacemakers or
10 defibrillators implanted, or their valves
11 replaced. And those patients I guess are
12 included in this measure as well.

13 So how was it determined that
14 patients with LVADs and transplants are
15 excluded but yet patients with these other
16 kind of major costly procedures are still
17 included in the measure. So, that was an area
18 of -- I'd like a little more clarity around
19 that.

20 DR. LATTS: Okay, and maybe we'll
21 hold that until we get to the scientific
22 portion and stick with importance to measure.

1 So, great.

2 And Bill, could you give some TEP
3 comments?

4 DR. WEINTRAUB: So, the TEP
5 considered both of the measures together and
6 really found the same in both. And certainly
7 thought this was a measure of considerably
8 importance.

9 DR. LATTIS: Great, thank you. So,
10 let's vote.

11 MR. WILLIAMSON: Before we vote,
12 actually, I will point out one thing. There
13 was a request to have the slides that were
14 presented by the developer posted to the
15 SharePoint site.

16 So if you're on your computer and
17 you'd like to refer back to them during the
18 discussion they are now posted under the
19 meeting documents. So, just as a quick
20 reference.

21 DR. LATTIS: Brent.

22 DR. ASPLIN: So, I'm actually going

1 to make a case -- I'm not hung up on this, but
2 this kind of loops back to something that Andy
3 said earlier.

4 I have no qualms with the fact that
5 heart failure is an incredibly important
6 condition to be concerned about when it comes
7 to total resource use and total cost.

8 I just think we've got the who and
9 the what misplaced here. I mean, I think that
10 system accountability for heart failure
11 management should be with ambulatory
12 providers.

13 And it should be over a longer period
14 of time like annual resource use for those
15 patients who don't have another dominant
16 diagnosis. In other words, for those patients
17 whose dominant diagnosis is heart failure what
18 is the resource use over a period of a year?
19 I think it would be a better global measure of
20 how well a system's doing.

21 Because frankly, I'm hoping that over
22 time for all of our patients diagnosed with

1 heart failure our hospitals become a less and
2 less significant part of their overall care
3 picture. They will always be part of it.

4 But I could make the case that the
5 chronic disease was coronary artery disability
6 and the acute event was an acute MI and
7 therefore an episode-based measure was
8 relevant.

9 It just seems to me like the chronic
10 condition is heart failure. And yes, a
11 hospitalization is an acute event but if
12 you're doing it well they should have few of
13 those events.

14 So, I'm not hung up on it, but I
15 don't think this measure, if we had both in
16 front of us I would say that this one is not
17 as important.

18 DR. LATTS: Do you guys want to
19 comment on that at all?

20 DR. KIM: Sure. Just a couple of
21 clarifications. You're totally right, I
22 misspoke. Heart transplant are LVAD are

1 exclusions, not risk adjustments. So they're
2 excluded if they happen on the index or during
3 the episode window. Both transplants and LVAD
4 - exclusions.

5 And just, I appreciate your comment
6 about the heart failure yet it remains one of
7 the most common reasons for the elderly to be
8 hospitalized. So it's still an important
9 locus of leverage points. But I appreciate
10 the comments you're making about it's a
11 chronic disease.

12 But right now it's still a big, it's
13 the most common reason the elderly get
14 hospitalized. So that would be my response to
15 that comment.

16 DR. LATTI: Great, thank you. And
17 with that let's call the vote. Sorry, Nancy.

18 MS. GARRETT: I just wanted to make
19 one response to Brent. I think it's a really
20 good point.

21 One corollary of that is that if
22 we're successful in moving more of these heart

1 failure cases out of the inpatient setting and
2 into the ambulatory setting then this cost
3 might be going up over time.

4 DR. LATTIS: Those are sicker patients
5 --

6 MS. GARRETT: -- become more severe.
7 So again, sort of reinforces the idea that
8 it's hard to say whether higher or lower is
9 better for these cost measures. So anyway,
10 interesting.

11 DR. BERNHEIM: And just to reiterate,
12 we totally agree with that. And the hope
13 would only be that if the patients
14 consistently get sicker you're still doing a
15 relative measure and so it may be that the
16 average cost would go up. But your relative
17 performance would --

18 DR. LATTIS: Well, and it also speaks
19 to the importance of partnership between the
20 inpatient arena and the outpatient arena.
21 Because if they're going to keep people out
22 and keep their cost low in the 30-day window

1 which I agree is probably too short they're
2 going to have to partner with their PCPs to
3 keep them out. Or cardiologists.

4 John? John. John on the phone. And
5 then Joe.

6 DR. RATLIFF: Hi, just a quick
7 question which I think feeds into the high-
8 priority discussion.

9 You handle readmissions for a given
10 patient in a 12-month period by choosing one
11 of the admits for your indicator. How do you
12 think that harmonizes with Medicare and other
13 institutions' push towards adamantly avoiding
14 readmissions and putting lots of resources
15 into outpatient management of these patients?

16 DR. KIM: So I think the question was
17 about if a patient is admitted multiple times
18 in one year the way we approach the measure is
19 we randomly choose one of those heart failure
20 admissions as their index admission.

21 And that is harmonized with the way
22 the heart failure risk derived mortality

1 approach is also that approach. Is that the
2 only question?

3 DR. RATLIFF: So, I guess my question
4 would be is the facility penalized within this
5 measure for having a high percentage of
6 patients that have 30-day readmits.

7 DR. KIM: So, the way it works is if
8 we choose one randomly and you're readmitted
9 within a 30-day episode of care window that
10 readmission would count towards your total
11 payment episode. And because readmissions are
12 costly it would likely elevate your total
13 payment episode.

14 But there is no guarantee -- let's
15 say you had multiple admissions in January,
16 June and November. In that case we wouldn't
17 see those quote unquote "readmissions" if you
18 take January to be the index. Those would not
19 appear. So there isn't a systematic bias
20 toward including readmissions.

21 Obviously if you're readmitted within
22 30 days that would count towards your total

1 episode payment. I hope that answers the
2 question.

3 DR. RATLIFF: If I'm following on one
4 of the earlier comments was a move towards
5 kind of ambulatory ICU management of these
6 patients, putting lots of resources into
7 avoiding those 30-day readmissions. Really
8 treating this as an outpatient disease.

9 At least at our facility it seems
10 like the patients sort of being admitted are
11 the ones where that has failed. Or where
12 they've had something really catastrophic
13 happening.

14 I just worry that hospitals that are
15 really -- hospitals and practices that are
16 forced -- focusing a lot of resources on
17 ambulatory management of CHF may look poor on
18 this metric alone. They may be selecting out
19 their sickest patients for admission.

20 DR. KIM: Yes, I think your point is
21 a good one. Heart failure management is
22 dynamic. It's changing really rapidly over

1 the years. And that's one thing that we are
2 cognizant about.

3 And as we include more recent years
4 in our heart failure measure we are looking at
5 the difference in payments across years and
6 across time. Because heart failure management
7 is changing. So that is definitely one piece
8 that we are thinking about.

9 And I understand your concern, that
10 only the sick patients get admitted because
11 everybody else is discharged the same day or
12 goes somewhere else. It's an ambulatory
13 setting.

14 And we definitely have to keep that
15 in mind as we look toward the more recent
16 years. Keep in mind this measure was
17 developed in 2008-2009 data.

18 DR. LATTS: Well, and if I can take
19 the leader's prerogative to make a comment as
20 well. I mean, this goes back to a
21 conversation that we've had for years is that
22 cost and quality does not give you the full

1 picture of what's going on in a system. And
2 this might be something, actually, Frank, you
3 have to consider is really that utilization
4 that's the third piece of the stool.

5 And this goes back to the days, and I
6 don't know if you guys remember, it was a big
7 -- I think it was the New York Times or maybe
8 Time article about a cardiology group. And
9 those of you cardiologists in the audience
10 will remember this, that had very, very low-
11 cost and very high quality for their cardiac
12 cath rates. And it was because they cast one
13 vessel at a time.

14 So every patient would have three or
15 four casts. And so their utilization was
16 incredibly high but their cost was very low
17 per cath. And their quality was very high.
18 So utilization is really that third leg of the
19 stool that we're not capturing in either of
20 these measures.

21 DR. BERNHEIM: Just a quick developer
22 response which is just in certain ways this

1 measure is as much a utilization measure. I
2 mean, if you think about what we're actually
3 capturing it's sort of -- it's kind of every
4 time you -- it's a cross. Every time you sort
5 of touch Medicare in any setting it's going to
6 get picked up. So higher utilization will
7 also be reflected.

8 Of course if it's high utilization --
9 what you're saying is if it's high utilization
10 of very low payment services that might not be
11 visible.

12 I think in the days post heart
13 failure admission sort of acuity and travel
14 with payment. So an LTACH visit is a lot more
15 expensive than an ED visit is a lot more
16 expensive than an outpatient visit.

17 DR. LATTS: All right. Any other
18 comments before we vote? All right. Call the
19 vote.

20 MR. WILLIAMSON: We will now vote on
21 high priority. It's importance to measure and
22 report 1a. You have four choices, high,

1 moderate, low, or insufficient. You may begin
2 voting now.

3 We're missing anyone in the room. If
4 everybody could please try to vote one more
5 time. There we go, we have all the votes.
6 And we have 14 high, 4 moderate, 3 low and
7 zero insufficient. It passes high priority.

8 DR. LATTIS: Opportunities for
9 improvement. Any comments? Janis.

10 DR. ORLOWSKI: So, it is not clear to
11 me why 30 days was chosen. If I look at the
12 pathophysiology of the disease 30 days makes
13 no sense to me.

14 I could argue with AMI that most of
15 the acute event is over within that period of
16 time. There is some logic to it. But there's
17 not logic to me other than we look at other
18 things for 30 days. But there's no logic that
19 I can see in a 30-day time interval for this
20 measure.

21 DR. KIM: So we chose the 30 days
22 because it's anchored around a

1 hospitalization. Most heart failure
2 hospitalizations have a length of stay of
3 between four and five days.

4 And we felt that a 30-day window was
5 short enough where some of the post-acute care
6 would be attributable to the hospital
7 admission. Many times as you transition care
8 from inpatient to the outpatient setting the
9 inpatient team makes the outpatient
10 appointments, makes the visits, maybe sends
11 them to a SNF or to rehab or to LTACH. So
12 they bear some responsibility for those
13 decisions on transfer or on transition to the
14 non-hospital setting.

15 And it is harmonized as you said
16 earlier. Really we're trying to get to value.
17 We understand payment is one dimension in and
18 of itself. It provides transparency about
19 variation of payments across hospitals.

20 But if we really are trying to get to
21 value, so comparison, some comparison of
22 payments with quality indicators such as our

1 heart failure mortality measure. So we did
2 try deliberately to harmonize and that 30-day
3 was used in those NQF -- heart failure quality
4 measures.

5 DR. LATTS: Any other comments on
6 opportunities? Anybody on the phone? Okay,
7 call the question.

8 MR. WILLIAMSON: We will now vote on
9 opportunity for improvement. This is
10 importance to measure and report 1b. We have
11 four options, high, moderate, low, or
12 insufficient and you may begin voting now.

13 If everybody could please vote one
14 more time. It didn't capture. All right, I
15 guess we'll stick with -- I want to capture
16 everybody's vote so let's try this. So,
17 glitch in the software. But we have 11 high,
18 9 moderate, 1 low and zero insufficient.

19 DR. LATTS: All right. And measure
20 intent. Any comments before we vote?

21 MS. CLARK: Again, I guess I would
22 like to -- for the measure developer to

1 provide a little more clarity around the
2 intent and the patient population that is
3 expected to be captured here. So, if you
4 could provide a little more clarity around
5 that.

6 DR. KIM: So I think maybe this is
7 referring to the typical heart failure patient
8 again.

9 So, really when we wrote that we
10 meant non-LVAD non-transplant heart failure
11 patient, non-major surgical procedure that we
12 know changes your payment outcome. We know
13 LVADs are extremely expensive and that you
14 stay expensive within the year post LVAD
15 implant. And transplant similarly. We know
16 they're sicker. So those are the reasons we
17 excluded those two conditions.

18 As you mentioned there are other
19 conditions that may not be quote unquote
20 "common" but that are costly like AICDs and
21 pacemakers that you mentioned. But we chose
22 not to exclude those because we feel that many

1 more heart failure patients are eligible for
2 those.

3 Now, that is changing and again heart
4 failure -- therapy for heart failure is
5 dynamic. But when we developed this in 08-
6 09 we chose to exclude only LVADs and
7 transplants.

8 And typical, by typical we were
9 really referring to the non-LVAD non-
10 transplant patient.

11 DR. BERNHEIM: I just want to make
12 sure that addressed the question. I wasn't
13 sure. I heard a different question than Nancy
14 heard. So, can the caller just say whether
15 that answered what you were looking for or
16 restate your question?

17 MS. CLARK: Yes, this is Mary Ann.
18 So yes, I think that answered the question.

19 One related question though. I mean
20 it may be obvious, but this is for the
21 Medicare fee-for-service patients, not for
22 Medicare Advantage, correct? I'm just asking

1 the question because of the standardized
2 pricing methodology, the ability to replicate
3 that in any other organization outside of
4 Medicare or a research organization.

5 DR. KIM: You're correct. It only
6 includes Medicare fee-for-service. It does
7 not include Advantage.

8 DR. LATTS: All right, any -- oh,
9 Nancy.

10 MS. GARRETT: Well, I'm not quite
11 sure if this is the right place to make this
12 comment or not.

13 But we've talked a little bit about
14 how it's hard to tell which direction is
15 better for this measure. And also some of the
16 concerns with risk adjustment. So, I feel
17 uncomfortable with what I know about both of
18 these measures or having them used for
19 potentially like in the value-based purchasing
20 program for actually rewarding or penalizing
21 providers.

22 And so I wonder if we want as a

1 committee to make a recommendation about that,
2 about the parameters in which we feel this
3 would be appropriate to use the measure.

4 So this may be the wrong section to
5 bring it up, I'm not sure.

6 DR. BERNHEIM: I would just say as
7 the developer we would welcome that. We feel
8 really strongly that what's valuable here is
9 to be able to look at a hospital and start to
10 learn what a hospital who has high costs and
11 great outcomes looks like compared to a
12 hospital that has low cost and low outcomes.

13 But I have no idea what to tell you
14 if you tell me a hospital is high on this
15 measure. I have no idea if they're doing a
16 good job or not and I would never judge a
17 hospital solely on that. So that has been our
18 intent and we would welcome the committee to
19 support that.

20 MS. GARRETT: It doesn't mean CMS
21 won't. Or a private payer.

22 DR. LATTS: Let's put a parking lot

1 on that for usage. Bring it up in the usage
2 section.

3 Any other comments? Anything on the
4 phone? All right, then let's vote on measure
5 intent.

6 MR. WILLIAMSON: We will now vote on
7 subcriteria 1c measure intent. You have four
8 options, high, moderate, low, or insufficient.
9 And you may begin voting now.

10 And we have all the votes. We have
11 11 high, 9 moderate, 1 low and zero
12 insufficient.

13 DR. LATTS: All right. So, overall
14 importance to measure and report.

15 MR. WILLIAMSON: We will now vote on
16 overall importance to measure and report.
17 Again you have four options, high, moderate,
18 low, or insufficient. And you may begin
19 voting now.

20 And we have all the votes. And we
21 have 8 high and 13 moderate.

22 DR. LATTS: All right, moving on.

1 Scientific acceptability. Mary Ann, are you
2 on tap for this one as well?

3 MS. CLARK: I believe so. And feel
4 free to comment because I know there's a lot
5 of work that's already been done on the
6 construction logic and clinical logic.

7 But I guess in terms of the clinical
8 logic we're supposed to discuss
9 inclusion/exclusion criteria, risk adjustment
10 which we've already talked about a lot, cost
11 methodologies and scoring.

12 So this again is very similar to the
13 AMI measure as we all know. We've talked
14 already about inclusion and exclusion
15 criteria. And where I think the issues are
16 very similar to the AMI measure.

17 And you heard about the additional
18 exclusions here for heart failure which
19 include the LVAD and the other transplants.
20 All of the other exclusion criteria are I
21 think pretty much the same.

22 For risk adjustment there are some

1 differences actually between this, the risk
2 adjusters for this measure versus the AMI
3 measure. And I was wondering if we could
4 discuss that a little bit.

5 And also how they are comparable or
6 not to the other measures for the mortality
7 measure and the 30-day readmission measure.
8 Because they do appear to be different from
9 those in my review anyway and comparing the
10 different adjustments.

11 For example, it doesn't look like
12 diabetes is included, or cancer. I think
13 those were the main ones.

14 And also it looks as if sex was
15 included originally in some of the other
16 measures but not in this one as well. So, I
17 just wondered about the comparability there
18 for that.

19 And the costing methodology, we
20 already heard about. It's using the
21 standardized pricing model that -- apply the
22 CMS methodologies for pricing. And we heard

1 about the scoring as well, that it's comparing
2 the predicted to the estimated. So, I think
3 those are pretty similar in terms of the
4 methodology.

5 Could we talk a little bit more about
6 the specific risk adjustment that was done for
7 the heart failure model?

8 DR. LATTIS: Yes, I'll turn it over to
9 Nancy.

10 DR. KIM: Sure. Thanks for the
11 question. So, the risk adjustment for heart
12 failure is done based on a 2009 sample of
13 heart failure patients that we defined using
14 the ICD-9s.

15 And we employ the same strategy. But
16 it's not surprising that the risk adjustment
17 variables are different because it's again
18 based on the heart failure population, our
19 cohort of the heart failure population.

20 Basically we look at all of the -- I
21 think there's 189 candidate CCs. We ran
22 bivariate with the CCs and the total payment

1 outcome. We look at those that are
2 statistically significant and frequent. We
3 then regroup those and then we run those again
4 together in a multivariable model. And then
5 we take half the sample to develop that model.
6 And then we validate it in the other split
7 half. So that's our approach to risk
8 adjustment.

9 And that's why you may find
10 differences across the different measures.
11 Because again, they're regressed ultimately on
12 the total payment outcome for that particular
13 cohort and that particular condition.

14 Does that answer your question about
15 why there may be differences?

16 MS. CLARK: Yes, it does. I guess I
17 was just kind of surprised that some of those
18 other disease areas didn't come up as
19 significant.

20 I've done some work on a similar area
21 and we always found that some of these other
22 comorbid conditions did come up as significant

1 for cost or for payment. So, I'm just kind of
2 surprised is all.

3 DR. LATTIS: Yes, absolutely. All
4 right, any other TEP comments you want to
5 make?

6 DR. WEINTRAUB: We really discussed
7 all the points in the TEP in the previous
8 measure.

9 DR. LATTIS: Great. All right. Any
10 comments that we want to make? Okay, Nancy,
11 I think you were first, then Matt.

12 MS. GARRETT: I was curious about
13 gender, whether you looked at that as a
14 stratification variable. I know you talked
15 about race and payer status.

16 DR. KIM: We never put in gender into
17 these models. It was a conceptual decision
18 that gender should not affect the type of care
19 you receive that would affect your payment
20 outcome for AMI or heart failure.

21 So we never put gender into the model
22 on a conceptual basis. But we never did

1 analyses to look at that.

2 DR. LATTI: Matt?

3 MR. MCHUGH: I have a question about
4 the claims that are used. Specifically about
5 the outpatient claims.

6 You go back and forth it seems in the
7 description about sometimes using provider,
8 sometimes using physician. Is it everything
9 in the outpatient file? Is it only physician
10 claims? Are there other providers excluded?
11 Or is that just --

12 DR. BERNHEIM: Are you addressing
13 specifically what's used to calculate the
14 payment outcome, or what's used for
15 identifying comorbidities for risk adjustment?

16 MR. MCHUGH: No, no, no, I'm not
17 talking about risk adjustment. I'm talking
18 about the calculation of the payment --

19 DR. BERNHEIM: Of the payment
20 outcome.

21 MR. MCHUGH: Yes.

22 DR. BERNHEIM: Okay, great.

1 DR. KIM: Everything goes in there.
2 The reason it's called provider, I believe
3 when facilities are located it's a provider,
4 but physicians are submitting Part B claims
5 for physician fees off a fee it probably looks
6 like a physician fee. I'm looking at our
7 analyst to confirm that what I'm saying is
8 correct. Everything is included except for --

9 MR. MCHUGH: So if it's in there it's
10 included. So like nurse practitioners, that
11 would --

12 DR. KIM: Yes, yes, it is.

13 MR. MCHUGH: And facilities.

14 DR. KIM: It's probably -- yes. I
15 see your question now. Yes, it's all in
16 there.

17 MR. MCHUGH: Okay. All right.

18 DR. LATTS: Great. Janis? You got
19 your answer, okay. Other questions?
20 Reliability?

21 MR. AMIN: Yes, I'll just quickly --

22 DR. LATTS: Oh, that's right. Yes,

1 okay.

2 MR. AMIN: I'll just quickly walk us
3 through the algorithm in terms of the testing
4 approach.

5 It looks like as we look at this they
6 did do some empirical testing of reliability
7 using a split halves approach. So we're on 5.
8 So this is very similar to where we were
9 during the last discussion. I'll let you guys
10 just catch up with me.

11 And so basically we're looking to
12 look at the reliability statistic and the
13 scope of testing to -- and we'll assess
14 whether that's high certainty, moderate
15 certainty, or low certainty.

16 And I believe this is on page 22 of
17 their overall submission which is in their
18 testing attachment. Which I believe was 0.752
19 for the percent agreement between the
20 independent assessments.

21 So, we can have a conversation around
22 that if we need to or if that's sufficient.

1 I would just insert that into your overall
2 assessment of reliability which includes
3 multiple components, one of which is
4 reliability testing.

5 DR. LATTIS: All right. We ready to
6 vote?

7 MR. WILLIAMSON: Waiting for Bill to
8 get back to his seat. We will now vote on
9 overall reliability. You may begin voting
10 now.

11 And we have 6 high, 12 moderate, 2
12 low and 1 insufficient. The measure passes
13 reliability.

14 DR. LATTIS: Is there any more
15 discussion on validity?

16 MR. AMIN: I'll just go through the
17 algorithm just for the sake of completion.

18 So, again, I think based on the
19 developer's description in the last -- the
20 testing approach for validity is very similar.

21 I point out on page -- I think it
22 says page 23 they've talked about the data

1 element approach is similar to the -- other
2 claims, other measures that they've compared
3 it to.

4 But the basic method here is again
5 face validity. So again, the highest rating
6 that we could have in terms of validity
7 testing is moderate. So we're at four on this
8 algorithm.

9 DR. LATTIS: All right, vote.

10 MR. WILLIAMSON: We will now vote on
11 overall validity. You have four options,
12 high, moderate, low, or insufficient. You may
13 begin now.

14 There it is. And we have all of
15 them. And we have 6 moderate, 10 low and 5
16 insufficient. It falls in our lack of
17 consensus and we'll move forward.

18 DR. LATTIS: All right. Feasibility.
19 Any discussion on feasibility we want to have?
20 Seeing none. You know, he did a great job.
21 He wiped you guys out.

22 MR. WILLIAMSON: Actually this is

1 again one of the concerns with having multiple
2 voting. This actually fell below the 40
3 percent threshold. I'm trying to do all this
4 in my head at the same time and I obviously
5 failed. So we'll go back.

6 So, we had 6 moderate and then the
7 combination of low and insufficient was 15.
8 So again that falls below. So this measure
9 does not pass validity.

10 DR. BERNHEIM: Can I ask a question?
11 So, the voting was very different on this one
12 than the last one. Without any discussion
13 that suggested there was concerns.

14 I would have expected many of the
15 concerns to be greater for AMI. It was my
16 expectation. So I wonder if there's an
17 opportunity just for us to understand what the
18 shift was in greater concerns about validity
19 for this measure than the other one.

20 DR. LATTI: Anybody who want to
21 speak? You don't necessarily have to change
22 your vote but if you have a difference of

1 opinion. Jim.

2 DR. NAESSENS: In terms of
3 hospitalizations for heart failure there's
4 more variability and severity than you
5 probably see in MI at least in terms of cost
6 and what the expected cost would be in the
7 next 30 days.

8 DR. WEINTRAUB: I agree with Jim on
9 that. I think there's potential, greater --
10 I agree with you. I think there's greater
11 potential for variability.

12 DR. BERNHEIM: So, is it okay if I
13 ask? I mean, it's valuable for us to
14 understand.

15 So, variability per se. Can you say
16 a little bit more?

17 DR. ASPLIN: You're saying
18 variability in case mix that's not captured by
19 the risk adjustment?

20 DR. WEINTRAUB: Yes. Potential for
21 it. Just as a clinician taking care of
22 patients like this for 30 years heart failure

1 is a very variable clinical entity while
2 myocardial infarction, I have a stronger sense
3 of sort of the bounds of it.

4 DR. LATTIS: Janis?

5 DR. ORLOWSKI: Similar comments. I
6 worry that hospitals that have gained a
7 reputation for taking care of the extremes of
8 heart failure where they have very robust
9 ambulatory settings for heart failure and
10 admit a more severe population that are closer
11 to needing intervention, whether it's LVAD,
12 whether it's work-up for a transplant.
13 Recognizing the length of time that people sit
14 on the transplant list.

15 I don't know that the risk adjustment
16 will distinguish that group of patients from
17 the chronic sort of moderate heart failure
18 that's not well taken care of in the
19 ambulatory setting. And so I don't see that
20 that's risk-adjusted.

21 I also believe that hospitals that
22 have large end-stage renal disease populations

1 have multiple admissions for renal failure --
2 I'm sorry, for heart failure which is truly an
3 admission for non-compliance with fluid. And
4 so it's a mixed bag.

5 It wasn't clear to me that the
6 extremes of the heart failure group are well
7 risk-adjusted.

8 DR. BERNHEIM: So, just to follow the
9 string and make sure we are understanding.
10 Because again, the conversation was same-same
11 and then the vote was different. So it's
12 really helpful to flesh out if there's really
13 conceptual differences in these pieces.

14 To the extent that there's things
15 like renal -- you know, if a hospital takes
16 care of a greater number of patients with end-
17 stage renal disease that's clearly accounted
18 for in the measure.

19 We've had versions of this discussion
20 with AMI and I won't rehash it all, but just
21 to be clear that there are many clinical
22 characteristics and comorbidities, and age

1 which obviously is not alone going to predict
2 heart failure but is going to be related to
3 it. So just to remind the group that there is
4 a fair amount about these patients that is
5 captured in this risk adjustment.

6 Harlan, you said you were on the
7 phone. I don't know if you want to weigh in
8 at all. And I don't know when you joined the
9 conversation.

10 The concern of the group is that this
11 issue of differences in severity of disease
12 that might not be captured by the risk
13 adjustment would be a greater issue for the
14 heart failure measure than the AMI measure.
15 And I'm also trying to think about how that
16 relates to later costs. How much of the later
17 costs are discretionary or not in this
18 episode.

19 DR. KRUMHOLZ: First, let me say that
20 our group deeply appreciates the service that
21 the people on this panel are putting in and
22 recognize the challenges of doing this kind of

1 work.

2 And you've already had a chance for
3 deliberation so this, as Susannah is
4 expressing, isn't an attempt to re-vote or re-
5 convince you but to help provide our team with
6 insight about the measure.

7 You know, as the healthcare system
8 drives toward trying to create more value
9 there is, as you know, increasing emphasis on
10 trying to quantify the resources that are
11 being provided and then the outputs that are
12 being achieved.

13 There is natural imprecision in the
14 codes and cohorts that are created, but that
15 in the course of creating the outcomes
16 measures there was a sense that they were
17 coherent enough, and that at the hospital
18 levels we were able to get sufficient risk
19 adjustment at that aggregate level that would
20 provide some meaningful signal about the
21 quality that was being provided.

22 And in the same way we migrated those

1 methods over to payments where we actually
2 felt it even would be better than we had for
3 outcomes. Because instead of a binary outcome
4 it's a continuous outcome and one that we felt
5 directionally would be important.

6 And with all the emphasis on post-
7 acute care we would be able to capture that as
8 well and consider that -- even though
9 attributed to the hospital there's kind of
10 more of a community effect there.

11 Well, you've heard all this. So for
12 us I think it's a question of, and it may come
13 to a different group if we come back to NQF,
14 but this group has worked so hard and so long
15 to try to get this as good as it could be, as
16 technically correct as possible given what's
17 out there and available. So I just want to
18 say this respectfully, we're not looking to
19 change your mind, but more about any insight.

20 And the idea that it's just variable
21 with heart failure, you know, almost sounds
22 like well, is that saying it's a non-starter

1 in heart failure given what we've got, or that
2 there's a need for greater something else for
3 us to do? Or is this just the sense of the
4 group that you couldn't do this in heart
5 failure now given the quality of the data that
6 you have? So I think that's where we are.

7 And again, I say this with deep
8 respect recognizing you have the same goals we
9 do and are trying to do the best job you can.
10 So we're not trying to be critical or get you
11 to re-vote, but more just get some insight.

12 Because, I mean this group's been
13 working two years on this measure and it needs
14 -- the group needs to know whether it just has
15 failed or whether there is another path
16 forward in providing the country with an
17 ability to give hospitals some sense of the
18 risk-standardized payments that are being
19 generated as a result of this condition.

20 DR. LATTI: Does anybody want to
21 comment on Harlan's question directly? Okay,
22 just go in order. Jack.

1 MR. NEEDLEMAN: Okay. So, I'm
2 thinking about what made this different. And
3 I'm trying to integrate the whole conversation
4 we've been having.

5 So, the issue of the greater
6 variability in the patients comes back to the
7 issue of how much of the cost variation across
8 hospitals is really being driven by
9 differences in the case mix of the patients
10 who are there. And that remains an issue
11 here. And if that were the only issue I think
12 the vote would have been the same.

13 But in the course of the conversation
14 I heard two other issues raised that go to the
15 essence of is it a reasonable measure at all
16 which is sort of what Harlan was asking.

17 One was, I think it was Janis'
18 comment about why a 30-day window. This is an
19 ongoing chronic illness.

20 And that also relates to I think it
21 was Brent's comment about this is really about
22 primary care management. So, both of those

1 raise the issue of whether the hospitalization
2 truly represents an index event around which
3 and from which one should be measuring cost.
4 Or whether that's an inappropriate window for
5 looking at heart failure patients and heart
6 failure costs.

7 So if you want to come back with a
8 heart failure index hospitalization measure I
9 think partly what you've heard in the group
10 here is you need to make a much stronger case
11 that it makes sense to be thinking about cost
12 in the context of the cost window starting
13 with a hospitalization and continuing for a
14 fixed period after that. That's what I've
15 heard in the conversation that goes beyond the
16 issue of the heterogeneity of the patients.

17 DR. LATTS: This is Lisa. I'm going
18 to call on myself next.

19 I had a couple of comments. One is
20 that you mentioned that this measure was
21 developed initially in 2009 I think. And so
22 is it being used and sort of what's the

1 implication if it's not approved here.

2 I actually think it is important. I
3 want to differ with Jack. I think it is very
4 important to have a heart failure measure
5 because it's a huge cause of hospitalization.

6 And so it's all fine and good for us
7 to say we should push it to the outpatient
8 arena and we should hold the PCPs responsible.

9 I think it's an "and" as opposed to
10 an "or" because people with congestive heart
11 failure are getting admitted. And the things
12 that happen to them in the hospital matter.
13 And so I think it is important.

14 I don't know, frankly, what the right
15 index of time is. Maybe 30 days is almost too
16 long in the sense that it is a chronic disease
17 and maybe -- the hospital window maybe is
18 shorter than that given that it's a chronic
19 disease. So I don't know if it's too long or
20 too short.

21 I am disturbed at the idea that we
22 wouldn't have a heart failure measure.

1 DR. GELZER: And I'm going to
2 piggyback. This is Andrea, and I'm going to
3 piggyback on Lisa.

4 I agree completely with what you said
5 and I disagree with you, Jack, because --
6 inpatient hospitalizations and
7 rehospitalizations for heart failure, you
8 showed data. I mean it's 30 percent. It's 30
9 percent. And that's where all the costs are
10 today. And we have to get costs out of the
11 system. So I think it's kind of a travesty
12 that this measure doesn't go forward at this
13 point.

14 MR. NEEDLEMAN: Andrea, just to be
15 clear I was trying to reflect on the whole set
16 of conversations, not necessarily expressing
17 my own opinion.

18 DR. GELZER: But now this measure has
19 to go to the council.

20 DR. LATTI: Well no, I think now it's
21 dead.

22 DR. GELZER: It doesn't even go

1 there? It's dead?

2 DR. LATTS: Yes. And I guess, so
3 what --

4 DR. GELZER: I think we do a re-vote.

5 DR. LATTS: Well, that's what I'm
6 wondering, is if there are any questions that
7 anybody had that could be answered that would
8 change your vote. If not then there's no
9 point in a re-vote. But if there are any
10 questions or clarifying points that could be
11 made that would lead to a re-vote. But let's
12 go to Brent's comment first.

13 DR. ASPLIN: My comment wasn't
14 directed at the validity question or the
15 scientific acceptability. It was really
16 around portfolio management and the parsimony
17 and if you had to pick one. And maybe we
18 don't have to pick one, you know.

19 So, I'm not suggesting that heart
20 failure admissions aren't important, just
21 trying to get at the bigger picture of who
22 should be held accountable. But we don't have

1 that alternative in front of us. So, between
2 the two my vote was the same on this.

3 There was a question raised by the
4 TEP that might crack open a little window
5 here. It might not. Which was there was a
6 larger distribution of codes that got you into
7 the analysis.

8 And one of the TEP members raised the
9 question of whether -- and if you already
10 presented this and I missed it I apologize.
11 But whether the distribution of those codes to
12 get you qualified for the measure varied
13 across hospitals or not.

14 And that would speak at least in
15 part, but I don't think it would fully satisfy
16 our questions about differences in case mix
17 prior to the episode begins.

18 DR. KIM: I don't think we have those
19 data. I'm not sure we looked at that for
20 heart failure. I know we did for AMI. I'm
21 not sure, I don't think so.

22 DR. KRUMHOLZ: I think our concern

1 there was that, you know, heart failure is
2 squishy enough. And there's probably some
3 vagaries in terms of which exact code they
4 give.

5 And we also don't want to create an
6 incentive for people to kind of move heart
7 failure patients into a code that is
8 acceptable for coding heart failure but
9 wouldn't be considered in the measure. So we
10 sought to be more inclusive than less
11 inclusive.

12 And there's nothing we've done or the
13 literature would suggest that there's that
14 much heterogeneity with regard to the
15 specificity of the diagnosis. It's sort of
16 fungible among many of the codes.

17 Like hypertensive heart disease,
18 whether they put that in heart disease or
19 hypertensive heart disease, I mean heart
20 failure is a little hard. So I'm not sure
21 that's the window.

22 I just will say this one thing about

1 attribution. Again, just clarifying, that
2 what we thought is that the hospital is the
3 conductor of the community's healthcare right
4 now. I mean they're the only major central
5 organizing force in most communities.

6 On the attribution it's not really
7 about blame, but it's about who's in the best
8 position to orchestrate a response to whatever
9 comes out of the quality measures in a period
10 that's immediately connecting to the
11 hospitalization and the post-hospitalization
12 period.

13 I'm just reflecting back on the
14 outcomes measures which is why we got to 30
15 days in the outcomes measures. Not because we
16 thought something that happened on day 28 was
17 the fault of the hospital, but that we thought
18 that the hospital could play a central
19 organizing role, be the center of gravity for
20 efforts to reduce risk in this post-acute
21 period. With a little less influence than it
22 has within its own walls but that coordinating

1 function could be a responsibility of the
2 hospital who's really generally the deeper
3 pockets and the more influential organizing
4 forces within communities, and increasingly
5 part of healthcare systems and delivery
6 networks.

7 And so -- and people are asking about
8 30 days. But also with this interest in
9 bundling it also provided some opportunity for
10 people to sort of see how this all fit
11 together. And increasingly people are taking
12 responsibility for longer periods.

13 So, again, I'm not trying to do
14 anything but just give you perspective on. We
15 talked about every combination and
16 permutation, two weeks, four weeks, six weeks,
17 eight weeks. Do we narrow the codes, do we
18 expand the codes. Can we do anything with
19 this to represent better for risk adjustment.
20 So we've been through this and realize there's
21 no single best way to do it.

22 And we recognize too that you guys

1 are hearing this as a single measure and
2 reflecting on it. So we're just -- I mean
3 this at this point is just kind of a
4 conversation on perspective. But it is at
5 least helpful again to know directionally
6 whether -- because if the measure dies here
7 then we've got to think, okay, what is the
8 future of this and how do we go with it.

9 DR. LATTIS: Great. Andy and then
10 Janis.

11 MR. RYAN: So, I actually voted to
12 approve both these measures and I think that
13 they're good, they're important.

14 But I would say that more testing
15 around validity really would have been good.
16 And so one of the ideas I had was we've
17 already approved Medicare spending per
18 beneficiary, that already exists. Just to
19 show a correlation between these two measures
20 and Medicare spending per beneficiary either
21 for the whole hospital or for these particular
22 cohorts I think would have been pretty

1 straightforward and would have shown something
2 we could have grabbed onto.

3 I think also with respect to this
4 inpatient versus outpatient management for
5 heart failure, seeing the correlation between
6 the hospital costs for this heart failure
7 measure and also maybe per capita costs or
8 total annual costs for patients with heart
9 failure to say, you know, is the hospital
10 measure kind of consistent with what we see
11 with this patient's expenditure over the
12 entire year.

13 If they're not then it speaks to some
14 kind of mismatch with maybe outpatient
15 management and then what's happening in the
16 post-hospitalization period. If they are
17 matching up, well then maybe we have a central
18 construct here. And we might not be as
19 concerned about differences in what's
20 happening -- kind of if we have a kind of
21 selection issue of those heart patient --
22 heart failure patients that are hospitalized.

1 So anyway, so just some additional
2 work around those kinds of issues with
3 validity testing I think would -- you know,
4 you didn't need to convince me any further but
5 it might have helped with the rest of the
6 committee.

7 DR. LATTS: Janis.

8 DR. ORLOWSKI: So, a couple of years
9 ago the hospital that I was the chief medical
10 officer at had a -- participated in a Robert
11 Wood Johnson study of looking at whether there
12 was discrimination in care in heart failure.
13 And there were 10 urban hospitals that
14 participated in this around the United States.

15 And I can tell you the data from the
16 study and I can tell you the data from D.C.
17 There's one and one thing only that determined
18 whether you were going to have a recurrent
19 admission to the hospital and that had to do
20 with your zip code. And it was essentially
21 socioeconomic status.

22 And so whether people had insurance

1 or not, whether they, you know, a whole list
2 of factors. It had to do where in Washington,
3 D.C. you lived. And if you lived that way you
4 had no resources in the community. And if you
5 live here and this way you had every resource
6 in the community to keep you out of the
7 hospital. And that's what we're talking
8 about.

9 And these measures, like them or not,
10 and I agree that heart failure is important
11 and heart failure needs to be dealt with.
12 It's an important measure for us.

13 But right now they are being used as
14 a stick and they are again taking money out of
15 the urban hospitals and throwing the money to
16 community hospitals because we do not have an
17 appropriate socioeconomic adjustment to these
18 measures. And so we've got to face that.

19 And so if you say is this a valid
20 measure of the hospital we have a very, you
21 know, well-defined three-year study that shows
22 that it's not the care within the hospital.

1 DR. BERNHEIM: Just so people know
2 because we didn't get to this though I think
3 it is in our NQF application. For this
4 measure when we looked at socioeconomic
5 status, and you can discuss as Nancy and I
6 have had the opportunity to for many days what
7 the right variable is. But we used Medicaid
8 status which in Medicare patients is an
9 important, although not the only and not a
10 perfect marker of low-SES.

11 And we looked. The concern was that
12 hospitals that had lots of lower-SES patients
13 would come in with greater needs and would
14 therefore generate more payments. And we
15 looked at that. Well, you have to as part of
16 your NQF application.

17 And for both of these measures we
18 were really surprised that the hospitals that
19 are -- and I'm not sure that this is good or
20 bad. Because again, I don't think that lower
21 is better.

22 But the hospitals with the greatest

1 number of low-SES patients on these measures
2 have similar to slightly lower payments.

3 Now, again, I'm not saying that's
4 good or bad. I'm saying they're certainly not
5 going to look like high-cost -- I mean, to the
6 extent that people are worried that they're
7 going to get profiled as high-cost and that's
8 going to hurt them this measure doesn't play
9 out that way.

10 I'm not sure that that speaks well of
11 again how we're spending resources. There's
12 a million issues.

13 But just to note I don't think that
14 the -- if we risk-adjusted for SES in these
15 measures it would only make hospitals caring
16 for low-SES providers look worse in this
17 particular measure. So it's not what's
18 playing out here. Just so people know that
19 for this measure.

20 DR. LATTI: Okay, Jennifer, then
21 Nancy. Go ahead, Jen.

22 MS. HUFF: Hi. So, being on the

1 phone it's a little bit more challenging to
2 understand what's going on with the room, but
3 I have to say I was really surprised to see
4 the votes come out to the point of it tipped
5 it so far that this measure doesn't go
6 forward.

7 And based on the conversations that I
8 wouldn't have sensed that just from listening
9 to what people have said.

10 I do appreciate that this is a very
11 deliberative process and NQF does a really
12 good job of facilitating that.

13 I think one thing that I've noticed
14 in serving on a variety of these committees is
15 there tends to be a focus on all the
16 challenges with the measures and that's what
17 we keep bringing up and being critical. And
18 I think that's part of our role.

19 But sometimes I think we tend to
20 overlook what is done well, or what is capable
21 of being done with a measure given the
22 environment we're in.

1 So, I think I really question what
2 level of bar we're using for this measure in
3 terms of the good enough bar versus the
4 perfection bar. And whether or not this
5 measure is good enough to provide more benefit
6 of having this in use than harm.

7 Admittedly there are some challenges
8 with it that need to be adjusted, but
9 measurement is an iterative and evolving
10 process. We talked this morning about how in
11 the cost and resource use arena, in this arena
12 it's more nascent than quality, and more work
13 needs to be done. And I think we see that.

14 I wouldn't want to stall work going
15 on in this area or stall progress from things
16 moving -- from being able to move forward. So
17 I just, I really need to say on its face sort
18 of supporting -- not supporting this measure
19 and it not going forward just is really
20 disconcerting.

21 DR. LATTIS: So, on that note we've
22 been discussing back here whether or not we

1 should re-vote on this factor. And we were
2 going to vote on whether or not to re-vote,
3 but I think in the interest of time let's just
4 go ahead and re-vote.

5 And if it comes out the same we'll
6 pick up this discussion exactly where it left
7 off. Nancy, you want to do a quick comment?

8 MS. GARRETT: So I have a question
9 which is what does it mean if we don't endorse
10 this. So, people are talking about this
11 measure is going to die and it will never be
12 used. But the measure we didn't endorse last
13 year is being used. So, it doesn't
14 necessarily mean that CMS can't use this or
15 anyone can't use it. It means it's not NQF-
16 endorsed.

17 DR. LATTS: It dies from an NQF
18 perspective, correct. Others can still use
19 it. Although, you know, they try to use NQF.

20 MS. GARRETT: It may mean that it's
21 less likely to be used for payment purposes
22 like in value-based purchasing which -- it

1 doesn't mean that?

2 DR. LATTS: My understanding is that
3 CMS could still use it if they wanted to for
4 payment. I don't think it affects that
5 likelihood.

6 DR. BURSTIN: It basically stops the
7 discussion of this measure. It won't go out
8 for comment. You won't get additional
9 deliberations. I think that's I think what
10 we're trying to emphasize, rather than it
11 won't get used. It's a conversation stopper.

12 DR. BERNHEIM: Clarifying question.
13 I'm struck by the fact that the no comes from
14 a combination of lows where people had sort of
15 absolute concerns and insufficients which it
16 makes sense to count as it's not a moderate or
17 high. I understand the counting.

18 But I wonder how we address the
19 insufficients. You know, if -- because if
20 somebody feels like there's insufficient
21 evidence this discussion may have illuminated
22 that but we haven't brought new evidence.

1 So I don't know. If you feel like
2 there's insufficient evidence you're putting
3 people in the position of sort of saying oh
4 well, it's moderate actually. I mean I don't
5 know.

6 I just am wondering from an NQF
7 process sort of what happens with that sense
8 that one-third of the committee has. I did
9 the math wrong. Some people in the committee
10 of insufficient.

11 DR. LATTIS: Well, and I think that
12 could potentially be sort of further expressed
13 in the comments from this committee to you, in
14 the comment period.

15 I guess my concern is that if it's
16 no, it's no. Whereas if it's yes, but, the
17 discussion can continue.

18 Okay. You guys have comments prior
19 to our vote?

20 DR. WEINTRAUB: In that regard if the
21 measure is voted down does that mean there can
22 be no more discussion, that they can't come

1 back? Isn't it possible for them to
2 reevaluate, say you know, we thought this
3 through and there are other opportunities to
4 do a better job. Can't they come back?

5 DR. BURSTIN: Come back in another
6 cycle. Perhaps not in this cycle.

7 DR. LATTS: A couple of years.

8 DR. BURSTIN: Right. So just not --

9 DR. LATTS: It's years.

10 DR. BURSTIN: -- clear exactly when
11 that would happen.

12 DR. WEINTRAUB: I don't think anyone
13 would want to say we never want to hear about
14 this again.

15 DR. LATTS: Right. It just means a
16 couple of year delay. Yes. Carolyn and then
17 --

18 DR. RATLIFF: That was my question as
19 well. It's a two-year delay? If the
20 developer wanted to take the suggestions from
21 the panel and modify the measure it will be
22 two years before they can get it back in the

1 queue for NQF endorsement?

2 MR. AMIN: So, we would have to wait
3 until the next cost and resource use project.

4 So again, I just want to make it
5 perfectly clear that NQF has no position on
6 this. Just want to make it very clear that
7 you can vote it down, you can vote it up. You
8 should vote it on the criteria and that's how
9 you should vote. We're not -- there's no
10 pressure to go either way.

11 And I think before we vote it would
12 be important for us to get through these
13 comments just because, for the sake of
14 completion. I don't want anyone to feel like
15 they haven't been heard if we decide to go to
16 a re-vote.

17 MS. WILBON: Taroon, I just have one
18 thing to add. It might also be helpful using
19 the algorithm because I feel like the
20 algorithm kind of makes things a little bit
21 more concrete in terms of where kind of the
22 branching really happens, at what point.

1 I don't know if people who are
2 willing to kind of share -- for those of you
3 that voted no or low or insufficient where
4 that decision happened. It would help us
5 illustrate to others who weren't here in the
6 report where that kind of breakdown happened
7 and provide a little more specificity.

8 And if it's at different places for
9 different people that's fine. But it might
10 help give us a little more context for where
11 the breakdown was.

12 MR. AMIN: So, with that Ashlie,
13 though, I think the challenge is the algorithm
14 only talks about the testing. So I will, you
15 know, where we landed, and I'll try to
16 understand for the sake of -- I'll try to
17 characterize the nature of the concerns. I
18 don't know if this is accurate or complete.

19 Is that the issues around -- well,
20 for the sake of the algorithm we're at face
21 validity testing. And effectively that puts
22 us at a moderate or potentially low. But

1 that's where we kind of land from the testing
2 perspective.

3 There were other issues around the
4 heterogeneity of the cohort. And there's
5 still this residual concern about the risk
6 adjustment.

7 DR. LATTIS: And then a quick question
8 in follow-up. So, now that we are a standing
9 committee does that mean it has to wait for
10 another project, or could it be, you know, do
11 these three things and bring it back in three
12 months or six months? So is a standing
13 committee different from the project
14 committee?

15 MR. AMIN: So, I mean, our -- I don't
16 know the answer to that question.

17 (Laughter)

18 MR. AMIN: So our current process is
19 that we can't bring a completely new measure
20 to a conference call for when we're reviewing
21 comments. So we would have to have another
22 phase of this work. And we have another

1 submission deadline that's for phase III
2 already which is already funded. So, which is
3 only in a few months.

4 MS. WILBON: Yes, we could
5 potentially -- that could be potentially an
6 opportunity to bring the measure back. We're
7 accepting a dental measure during that even
8 though it was initially spec'd for a
9 pulmonary.

10 Because of the uncertainty in terms
11 of when we're getting funding for different
12 types of topic areas we are allowing others
13 with measures ready to submit while we have an
14 opportunity to do so. So I do think that
15 could be something, could be an opportunity to
16 do that.

17 In terms of the standing committee I
18 think this is one example where our processes
19 haven't yet caught up with our funding
20 structure and funding models. So we are
21 setting up our structure to be able to review
22 measures on a more consistent basis but our

1 funding hasn't quite caught up with that. So
2 while we do have a standing committee we don't
3 yet have the structure to keep that work going
4 on an ongoing basis.

5 So, we do have funding right now to
6 have the committee continue to work for the
7 next phase of work into probably early next
8 year. But we're still kind of working out how
9 that work will continue. So it's an evolving
10 conversation. But just for those of you that
11 have questions about the standing committee
12 versus the project.

13 DR. LATTIS: Okay. So Carolyn has
14 been very patient. I have Carolyn, John,
15 Matt, Cheryl and Mary Ann. So Carolyn's up.

16 MS. PARE: Well, Lisa, you asked a
17 lot of the questions that I was going to ask.
18 It feels to me that the NQF has been
19 particularly nimble and adaptive around some
20 of the things that we wanted changed to make
21 this process better.

22 And I think that as evidenced today

1 in the discussion that we had I think there
2 was some really rich conversation that helped
3 inform our understanding and our perspectives
4 on this.

5 I recognize that a lot of us are
6 disappointed in terms of this moving forward,
7 but I think we've learned a lot more about it.

8 And rather than go back and take a
9 re-vote because we're disappointed in the
10 outcome I would like to challenge NQF to see
11 if there is some way that they could let the
12 measure come back sooner rather than later.
13 Three years feels, and I'm not a big process
14 person, but three years feels a bit arbitrary
15 to me. And perhaps because we are redefining
16 how we work through this consensus process
17 this can be something that we can redefine.
18 And we have a great opportunity to do that
19 right now.

20 I do think, personally I think the
21 measure does need some work yet. But does it
22 need to go away for three years? Probably

1 not.

2 MR. AMIN: Clarify. The three-year -
3 - there's a three-year maintenance cycle which
4 is why we kind of have these three-year
5 cycles.

6 But this committee will be reconvened
7 in a few months. So there will be another
8 opportunity to submit.

9 Now, whether the developers will be
10 ready at that point is a whole nother
11 question. But NQF is ready to look at this
12 measure in a few months.

13 So I just want to make that clear.
14 We're not saying that we won't look at this
15 measure again for three years. That's not --
16 we're not saying that. And in fact there's
17 good reason to believe that this committee
18 will meet much more frequently than that.

19 But again, as Ashlie described, we
20 also have the limitation of our funding. And
21 given where we are with funding in general
22 it's challenging.

1 DR. LATTIS: All right. John, did you
2 still have a question or did you ask it
3 earlier?

4 DR. RATLIFF: Just one other -- a
5 couple of other issues. Some of the things
6 that came up just for the developers.

7 I mean, the readmissions were brought
8 up by multiple speakers as being a potential
9 issue and that the measure does not seem to be
10 capturing as it's presently stated the impact
11 of readmissions in congestive heart failure
12 care.

13 Also, that almost provides a perverse
14 incentive to not provide high-quality
15 outpatient care and to have very sick
16 inpatients.

17 I'd also say, kind of echoing the
18 last commenter, if the standing committees
19 will allow the developer to bring back a
20 modified version of this at our next in-person
21 would that be acceptable to you, Evan, and to
22 our NQF team?

1 DR. LATTI: Hang on, John, there's
2 whispering.

3 DR. BERNHEIM: I think we have some
4 understanding of where the concerns are around
5 the measure. But I don't have a lot of -- I
6 mean, people are talking about a modified
7 measure. And I don't want this committee to
8 have to become a measure developer, but aside
9 from having clinical data which is a different
10 measure completely and not feasible in any way
11 for awhile it's not clear to me -- the
12 question was could we come back in three
13 months and the answer is it depends what
14 people are asking for.

15 We can do additional analyses on this
16 measure but it's not clear to me that we -- I
17 mean we, again, we understand I think for the
18 most part the concerns and we respect them.
19 And we feel like it stands despite some
20 limitations.

21 I haven't heard oh, if you just took
22 care -- got rid of 428.03 we'd believe in

1 this. Like sure, we could come back in three
2 months with that. So I don't know how to
3 answer that question because I don't know
4 really what this committee is looking for that
5 we can do. Except test it further. Except
6 respond to the insufficient evidence which we
7 can try to do.

8 DR. LATTS: Matt.

9 MR. MCHUGH: So my question was about
10 the insufficient component. And maybe, I
11 think Andrea, maybe you brought this up as an
12 example.

13 There are some things that could
14 probably be done that wouldn't necessarily
15 change the measure but would provide more
16 certainty about -- move maybe some of those
17 insufficients to a more definitive response.
18 So I think that seems like it's kind of the
19 flavor. It's a matter of what process allows
20 for that.

21 DR. ASPLIN: Like what though, Matt,
22 exactly? Would it be the type of additional

1 validity testing that was done on the
2 mortality?

3 DR. LATTIS: Okay, Cheryl, then Mary
4 Ann, then Bill. Oh, comment. Evan.

5 MR. WILLIAMSON: I just want to make
6 one process clarification as far as just
7 clarifying that the measure doesn't die right
8 now.

9 Basically we don't put out measures
10 for public comment traditionally. We have
11 another section called Measures Not
12 Recommended. So this measure would be a
13 measure not recommended which could still go
14 out for public and member comment as part of
15 the report.

16 And then as part of our committee
17 process is following the public and member
18 comment period the committee can reconsider
19 any measure based on the comments received.

20 And part of those public comments can be
21 additional analyses by the developer, can be
22 any comments from anybody.

1 And so I just want to make sure that
2 that's clear, that this measure isn't going to
3 go away from this project, that there's still
4 opportunity based on the Measures Not
5 Recommended comments.

6 DR. LATTI: So we could send it out
7 for public comment? Because I thought we
8 couldn't if it wasn't in the 40 percent.

9 DR. BURSTIN: All of it goes out for
10 public comment, we just don't tend to get as
11 much comment on things not recommended by the
12 committee. That's all. But it is in a
13 comment --

14 DR. LATTI: Why would people waste
15 time on commenting --

16 DR. BURSTIN: It will be in the
17 report saying not recommended. We could
18 specifically draft the report to invite
19 comment if there are, again, some specific
20 issues you want the public to weigh in on.

21 DR. LATTI: Cheryl.

22 MS. DAMBERG: I think this is more of

1 a general comment because per your schematic
2 here, rate as insufficient, it feels like
3 that's sort of a deal-breaker no matter what
4 when you're looking at any of these measures,
5 when in fact I think it's the committee's
6 desire to have more information to be able to
7 fully evaluate a measure.

8 And so based on the scoring algorithm
9 that sort of down-weights everything. So, it
10 just feels peculiar as a process.

11 DR. LATTI: Mary Ann?

12 MS. CLARK: I guess -- I mean, I
13 totally agree that this is important, heart
14 failure, to measure.

15 I guess my issue is with the
16 procedures, the patients that are getting
17 procedures in their index event because those
18 are obviously going to be more costly.

19 And it seems like in the case of
20 heart failure that patients who may be
21 candidates for some of these procedures may be
22 different from patients who are more being

1 managed medically and they happen to have an
2 acute admission for heart failure.

3 For example, again, the valve
4 replacement patients are more typically in
5 aortic stenosis. Maybe not your typical heart
6 failure patient. And of course anyone who
7 gets a procedure, especially those that have
8 implantable devices are going to be much more
9 costly. So facilities that are doing these
10 procedures I would think would be -- have
11 higher costs. So, those are not being
12 accounted for in this measure, right?

13 DR. KIM: It's Nancy Kim. I think I
14 can respond to that. Can I respond to that?
15 Okay. Yes.

16 So, when we looked at things like
17 cardiac defibrillator implant without cardiac
18 cath, with and without major complication as
19 well as permanent pacemakers, in our
20 development and validation cohort they make up
21 about 1 percent of our total cohort. They are
22 expensive but they're relatively -- they're

1 very infrequent.

2 And that is something we would have
3 to look again over time because heart failure
4 management is changing over time.

5 And those aren't accounted for in the
6 way I think that you're talking about in terms
7 of risk adjustment. So you're correct in
8 that.

9 But you know, in heart failure
10 because it is so dynamic over time one thing
11 internally we are discussing is whether or not
12 we need to look at risk adjustment variables
13 every year. Because if we find year-to-year
14 differences that may be something we have to
15 reevaluate.

16 So it's not something we're ignoring.
17 We understand that procedures are increasing
18 in heart failure patients over time. And for
19 heart failure in particular compared to
20 something like AMI. We are cognizant of the
21 secular changes over time in average heart
22 failure patient management.

1 So we know about the procedures, we
2 know they're expensive and we are thinking a
3 lot about how to manage those.

4 DR. LATTI: Okay, Bill, then Nancy --
5 I'm sorry, go ahead.

6 MS. CLARK: Just a follow-up. Sorry.
7 So, the LVAD patient population then was
8 larger than some of these other patient
9 populations that got procedures and that's why
10 they were excluded?

11 DR. KIM: They were not excluded on
12 the basis of size. They were also small. I
13 can give you that number in a moment. But
14 they were excluded on the conceptual basis
15 that they were extraordinarily expensive.

16 So yes, it was the TEP input for both
17 transplant and LVAD. That came from our TEP,
18 not this NQF TEP. As you know, in the course
19 of development we have a technical expert
20 panel as well and it was their suggestion that
21 we exclude LVAD and transplant patients.

22 MS. CLARK: Okay.

1 DR. LATTI: All right. So, Bill,
2 then Nancy. And then I think we are going to
3 vote on whether to re-vote. So we'll go the
4 democratic process.

5 DR. WEINTRAUB: So I'm going to
6 address the question you pose about are there
7 things you can do. And I think there are
8 clearly things you can do.

9 Remember, you're also not home free
10 on the AMI measure because that was in the
11 indeterminate range. And so more work may be
12 needed there along the lines of things that
13 we've suggested like looking for cardiogenic
14 shock, hemodynamic instability. You can do
15 the same sort of thing with heart failure as
16 well and I would urge you to do that.

17 The other things you can do is look
18 for external databases to validate. In
19 particular, for AMI there is a wonderful
20 external database, the set of databases from
21 the ACC, CathPCI and ACTION and your group has
22 experience working with these databases.

1 So that's, you know, it's time-
2 consuming, it'll be some expense, but it's a
3 straightforward process to try and do some
4 validation work.

5 I don't know heart failure, the
6 guidelines databases as well as I do the NCR
7 databases but I would look at that very
8 carefully to see if it's going to help you in
9 validation for your heart failure measure.

10 And we don't have to come up with
11 everything you can do right now. As you think
12 about it undoubtedly with the leisure of time
13 you'll come up with other really good ideas of
14 things you can do to try and validate what
15 you've got and improve what you've got.

16 DR. BERNHEIM: Absolutely. I mean,
17 the group has been tremendous at suggesting
18 potential validation approaches. And we
19 actually had under way trying to do a chart
20 validation. As you said it takes time and
21 money and so it's not done for the AMI
22 measure.

1 I was speaking more to when people
2 said bring the heart failure measure back
3 differently. We've heard lots of suggestions
4 for further validation. We hadn't heard as
5 concrete suggestions of sort of changes to the
6 measure itself. So I wanted to know if those
7 were unspoken but obvious.

8 DR. WEINTRAUB: Well, so I actually
9 like the idea of a heart failure -- admission
10 plus 30 days. I think that that's relevant.
11 It doesn't cover everything in heart failure.
12 You can't with one measure. But I think the
13 measure itself, the idea of the measure is a
14 good one.

15 DR. LATTIS: Nancy.

16 MS. GARRETT: So, in terms of kind of
17 additional ways that this could be looked at
18 one thing I want to throw out is this whole
19 problem of accurately controlling for patient
20 status and patient severity.

21 Going back to the DRG one way you
22 could do that is to control for the DRG. That

1 changes the measure quite a bit conceptually.

2 But we have a prospective payment
3 system for inpatient stays. And by all
4 accounts it's reduced costs dramatically for
5 hospital stays because hospitals are incented
6 to be as efficient as they can.

7 That doesn't account for choices of
8 procedures but it's so conflated with patient
9 status is it really fair to not control for
10 that. So, that's just another thing to
11 consider.

12 DR. LATTIS: All right. If there are
13 no other comments then I think we will indeed
14 vote on whether or not to re-vote.

15 So, again, if nothing we said has
16 been convincing then, you know, and you're not
17 intending to change your vote I think probably
18 vote no. Matt. Yes. You're right. If
19 you're going to change your vote one way or
20 the other vote yes. Yes. Yes, exactly.

21 Matt?

22 DR. RATLIFF: Can my dog vote?

1 (Laughter)

2 DR. LATTIS: I think we'll just do a
3 straight up and down yes/no.

4 MR. WILLIAMSON: Okay. We will now
5 vote on whether or not to re-vote on validity.

6 DR. LATTIS: So press yes if you would
7 like to re-vote.

8 MR. WILLIAMSON: You have two
9 options, yes or no. You may begin voting now.

10 And we have 11 yeses, 9 nos. So we
11 will re-vote.

12 Okay, so we will now re-vote on
13 validity. Okay, and now we will vote on
14 validity. So this is subcriteria 2b. You
15 have four options, high, moderate, low, or
16 insufficient. And you may begin voting now.

17 And we have all the votes. And we
18 have 9 moderate, 6 low and 5 insufficient. So
19 actually we -- we now pass.

20 DR. LATTIS: It's now in the 40
21 percent.

22 MR. WILLIAMSON: It now passes this

1 as lack of consensus.

2 DR. LATTS: So it's still -- a
3 majority still vote no, but it continues on.
4 There continues to be discussion. And it will
5 go out for comment with a lack of consensus.

6 DR. BURSTIN: Only on validity. Just
7 a reminder.

8 DR. LATTS: I don't know about you
9 guys but I need a drink now.

10 MS. WILBON: We'll need to continue
11 the discussion on usability and use and
12 feasibility tomorrow. Because it now passed
13 we continue to evaluate the remaining
14 criteria.

15 DR. LATTS: We can just do it now.

16 MS. WILBON: Oh, I'm sorry. I forgot
17 you guys are out of town. Yes, I guess we're
18 digging in.

19 DR. LATTS: It's better to do it when
20 people are tired and hungry. Does anybody
21 have any more comments they want to make on
22 feasibility? All right, call the question.

1 MR. WILLIAMSON: We will now vote on
2 feasibility. You have four options, high,
3 moderate, low, or insufficient. Begin voting
4 now.

5 And we have all the votes. And we
6 have 16 high and 3 moderate.

7 DR. LATTI: So usability and I think,
8 Nancy, you had had a comment early on for
9 usability. So if you want to re-raise that.

10 MS. GARRETT: So, my comment on
11 usability is around using this for actually
12 moving money around between providers. And I
13 have concerns about that because of the fact
14 that we don't all feel that the severity
15 adjustment is substantial enough.

16 And really what's the right direction
17 here. So if you're going to give it stars is
18 higher better or worse?

19 And we talked about a scenario, for
20 example, with heart failure with more -- if
21 you're successful at doing this well in the
22 outpatient setting your inpatient costs might

1 actually go up.

2 And so I have concerns about that.

3 And I wonder as a committee if we would want
4 to make a recommendation about how this is
5 used, and that it's really used for
6 exploratory analysis and conversation and not
7 necessarily -- and actually not for pay-for-
8 performance.

9 MS. DAMBERG: I would second that. I
10 think we don't know enough about this measure
11 to put it into widespread use.

12 And I think unfortunately we have
13 sort of this large catalog of measures without
14 a lot of guidance in terms of how it should be
15 used or what kinds of cautionaries to put out
16 there with the measure.

17 DR. LATTS: Lina.

18 DR. WALKER: I agree with both Cheryl
19 and Nancy. I think it's too hard to say
20 whether up or down is better. And the last
21 thing we want is for providers to stint on
22 care and make things worse because they're

1 graded on how much they're spending. And that
2 may not be the right measure to be using. So
3 I absolutely agree.

4 MS. GARRETT: So just a process
5 question. Can we make such a recommendation?

6 DR. BURSTIN: It's a great question.
7 It's really one of the cornerstones of what
8 we're going to be working on this year is do
9 we actually move towards having different
10 levels of endorsement for different intended
11 uses.

12 At this point we don't have that. We
13 do have the capacity of committees to at least
14 put forward implementation guidance as part of
15 their recommendation. So, it could certainly
16 come with that recommendation. Certainly as
17 part of public comment that could be part of
18 the dialogue.

19 MR. AMIN: Yes. And one of the other
20 things that Ashlie's pointing out here is that
21 this committee also can make some
22 recommendations to the Measure Applications

1 Partnership that's specifically tasked with
2 the work of recommending particular measures
3 for particular applications.

4 And they reviewed this measure in
5 their pre-rulemaking activities and -- they
6 recommend pending endorsement. So they
7 recommended it pending the decision of this
8 group.

9 So Dolores can take it back to the
10 MAP with the guidance that comes from this
11 committee in terms of caution around -- or I
12 don't know if this is in your workgroup or
13 not, Dolores. But we will bring it back to
14 the MAP in terms of the concern about using
15 for payment purposes.

16 DR. LATTI: So Dolores, it's 100
17 percent your responsibility now.

18 (Laughter)

19 MS. YANAGIHARA: And I just want to
20 be clear that I actually stepped down from the
21 MAP. I did, sorry. So I'm not on the MAP
22 anymore.

1 MS. GARRETT: Would it make sense to
2 do a quick vote on this so we can just see
3 where people are at? Because if we make a
4 recommendation we want it to be that people
5 feel comfortable with it.

6 MR. AMIN: What I'm hearing in terms
7 of the recommendation is that we should get
8 some experience with this measure. It should
9 be paired with a measure of quality and there
10 should be caution in using the measure for
11 payment application. Is that correct?

12 MS. GARRETT: I was actually saying
13 stronger, that we recommend it not be used for
14 payment purposes.

15 So, I think it could be useful for
16 understanding from a provider's perspective
17 what care happens after the hospitalization,
18 forming those community partnerships,
19 understanding how to do things more
20 efficiently. I think those kinds of -- even
21 public reporting I can see.

22 But you're actually talking about

1 moving dollars around. Then there's a value
2 for -- high or low has to be better or worse
3 and that's where I think we get into trouble.

4 DR. LATTI: So, from a process
5 perspective would this be something that would
6 be part of the recommendation now before it
7 goes out to comment? Or would that be
8 something that would be part of a
9 recommendation in sort of our final vote?

10 DR. BURSTIN: It's a little bit of
11 process in flux. So I think you can do it
12 however you would like. But keep in mind at
13 least for this very moment we endorse measures
14 for all intended uses.

15 You could certainly add that caution
16 if that's the will of this group to use with
17 caution for certain uses and that information
18 can get transmitted back to the MAP.

19 We could put it out as part of the
20 draft report for comment and get commentary
21 from the broader member and public about their
22 perceptions of intended uses of this measure

1 as we provide that feedback back to the MAP.

2 DR. WONG: I don't think there's
3 anything dramatically different about this
4 measure than any of the other ones in the
5 portfolio around resource use that would lead
6 me to say don't use this one for payment. But
7 you can use these others.

8 I think it's the same cautionary tale
9 across the portfolio and the need to pair them
10 with good measures of quality and other
11 measures of performance.

12 I mean, 40 percent of the variability
13 on this is post-acute and a big driver of that
14 is readmissions which we've already sort of
15 collectively said, although there's debate on
16 that too. Things that we hope to avoid.

17 So, I guess I wouldn't go as far. I
18 don't agree with you, Nancy. I usually do but
19 I don't this time. I would say it's a
20 cautionary note. I wouldn't say don't do it
21 though because we have to pair it with quality
22 across the board.

1 DR. LATTI: So, here's what I would
2 propose as we're getting pelted by stones over
3 there. That is there a way to put it out for
4 public comment, to put this particular thing
5 out for public comment as well? That there's
6 been some question about how this should be
7 used and get comment. And then when it
8 comes back to the committee it's considered.

9 Because frankly, if we're still a
10 majority don't endorse it anyway. So, it
11 might never even get to the we endorse it to
12 even be having this discussion.

13 MR. WILLIAMSON: I will say that this
14 entire discussion will be captured in the
15 report and that all goes out in public
16 comment. So this will be definitely reflected
17 in the report.

18 DR. LATTI: Great. Okay, that said,
19 any other comments before we go to vote on
20 usability? People are hungry. All right,
21 usability.

22 MR. WILLIAMSON: And so we have two

1 votes remaining, first for usability and use
2 and then an overall recommendation. We will
3 now vote on usability and use. You have four
4 options, high, moderate, low, or insufficient.
5 You may begin now.

6 And we have all the votes. And we
7 have 4 high, 10 moderate, 6 low and 1
8 insufficient. It passes usability and use in
9 the lack of consensus range.

10 We will now move on for -- or I guess
11 we'll open it up.

12 DR. LATTIS: All right. Any final
13 comments before we go to an up or down vote?
14 All right, overall suitability.

15 MR. WILLIAMSON: We will now vote on
16 overall suitability for endorsement. You have
17 two options, yes or no. Please begin now.

18 And we have all the votes. And we
19 have 10 yes and 11 no. The measure -- we did
20 not reach consensus on whether or not it
21 reaches -- meets the overall suitability for
22 endorsement so the measure will be indicated

1 as a lack of consensus.

2 The measure evaluation portion of the
3 section. We will now open it up for public
4 and member comment. We do have one comment in
5 the chat.

6 And the question is is it within the
7 standing committee's authority to make
8 recommendations on use to the MAP. And this
9 is from --

10 DR. BURSTIN: It's from CMS. Yes,
11 this is Helen. I'm happy to take a crack at
12 that.

13 Again, it's not so much a question of
14 authority. I think it is more an issue of
15 just this is the group assembled to make the
16 scientific determination about a measure.

17 We have been routinely passing on
18 that information as we did as part of the
19 readmission discussion recently at the MAP, as
20 well as other issues. When scientific issues
21 come up at the MAP they do frequently defer it
22 to the co-chairs of our committees as well as

1 the committees for their recommendation.

2 It is not certainly firm in stone
3 that this is absolutely what this group is
4 saying, but I think it is part of the
5 implementation guidance that our endorsement
6 side does frequently put out for measures like
7 this. So thanks for the question.

8 MR. WILLIAMSON: Do we have any
9 public or member comments in the room?
10 Operator, could you please open it up for
11 public and member comment on the phone?

12 OPERATOR: Thank you. At this time
13 if you have a question or a comment please
14 press * then the number 1 on your telephone
15 keypad. And there is no public comment.

16 MR. WILLIAMSON: Great, thank you.
17 Before we adjourn for dinner I would like to
18 point out one document that we've posted onto
19 SharePoint in advance of tomorrow's discussion
20 of measure 1558.

21 As you know this is a maintenance
22 measure. We did pull out the evaluation table

1 from the previous report, the previous
2 technical report. That was posted before, but
3 just to really call it out. And we added that
4 to the measure document set.

5 So, just a little homework assignment
6 overnight. If you would like to brush up on
7 the last evaluation just in advance of
8 tomorrow's evaluation. That is posted and
9 available should you choose to have a look at
10 it.

11 I believe that concludes the business
12 of the committee for today. We have a
13 reservation at McCormick & Schmicks which is
14 just really right around the block from your
15 hotel. And that is at 6 o'clock but feel free
16 to head over there whenever you'd like to
17 unwind from today's activities.

18 MS. WILBON: And I'd just like to
19 thank the committee and the developers
20 actually for being such troopers today. It
21 was a really long day and you guys did a great
22 job so thank you. And those of you that

1 stayed on the phone all day, goodness
2 gracious. Thank you.

3 (Whereupon, the foregoing matter went
4 off the record at 5:33 p.m.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

A	182:1 187:22	303:1 322:17,22	actionability	258:8 276:16
\$100,000 189:17	200:1 201:9,16	323:5,17 325:9	105:18	370:5 439:18
\$11,000 244:9	202:4 205:20	327:13 343:1	actionable 202:3,20	466:15
\$11,409 283:4	214:10 215:19	458:7	249:21 278:11	added 297:3 472:3
\$12,000 309:6	217:11 223:2	accountability 17:8	active 52:18 54:12	adding 223:4
\$13,000 245:16	229:9 269:19	119:2,3,19 127:10	113:20	addition 142:20
\$13,700 245:21	272:14 291:6	155:15,18 168:20	actively 128:6,8	193:13 337:19
\$14,000 245:4	304:21 323:11	168:22 188:1,21	activities 23:14	368:19
\$15,000 308:21	338:7 339:2	382:10	249:21 464:5	additional 38:11
\$20,000 244:11	340:14 398:9	accountable 65:19	472:17	44:13,14 45:1
\$21,000 245:6,20	415:18 416:7	66:13 119:10	activities-based	67:1 74:17 95:20
\$27,000 245:15	434:16 442:21	149:19 168:16	156:10	139:20 152:3,11
\$29,000 245:5	451:6	169:8,20 170:3	activity 47:12	152:15 154:5,22
\$30 124:8	absence 271:4	189:13 255:11	199:5	157:5 192:11
\$42,000 244:10	absolute 436:15	422:22	activity-based	193:11 215:4
\$45 124:10	absolutely 66:19	accountants 174:15	181:14	216:22 296:19
\$7,000 245:14	90:7 102:13	accounted 257:12	actors 197:13	359:10 360:8
\$9,600 245:20	124:22 174:12	322:12 325:1	actual 27:20 33:9	377:17,22 378:17
\$9,905 282:22	193:4 204:8	413:17 452:12	33:15 44:20 61:4	400:17 429:1
A's 366:3,12,14	326:14 334:12,12	453:5	74:9 89:13,21	436:8 447:15
A-G-E-N-D-A 4:1	404:3 456:16	accounting 255:4	132:17 133:2,14	448:22 449:21
a.m 1:20 5:2 129:17	463:3 471:3	283:12 289:12	135:2,4 155:9	457:17
129:18	absorbed 84:10	302:17 326:1	158:18 160:10	additionally 157:2
AAMC 69:20	academic 160:22	accounts 123:6	213:13 216:5	address 23:19 27:8
AANS 18:1	176:15	354:12 458:4	236:22 270:13	30:6 44:15 45:3
AARP 13:20	ACC 72:8 455:21	accurate 440:18	278:3 293:3 305:2	48:13 56:1 64:21
AARP's 2:15	accept 140:17	accurately 320:22	356:20	150:6 199:16
ability 41:1 53:6	acceptability	329:5 346:19	acuity 391:13	287:7 335:5
70:7 75:1 114:12	207:20 208:6	457:19	acute 4:18 132:4	436:18 455:6
116:15 119:18	209:3 210:15	achievable 93:18	136:19,21 139:6	addressed 120:21
123:11 128:18	220:20 286:6	94:13	183:8 198:15	152:15 186:2
161:11 183:4	287:8 297:7,13	achieve 142:11	246:19 262:10	339:16 396:12
286:22 287:5	299:19 361:16,18	167:3	281:1,11 283:13	addresses 33:21
311:13 333:21	362:2 400:1	achieved 415:12	283:19 327:11	addressing 20:8
334:1 337:2,10	422:15	acknowledge 287:9	354:11 370:22	22:14 23:2 82:14
397:2 417:17	acceptable 62:17	acknowledgment	371:4,11 378:9	146:7 165:17
able 20:18 21:19	353:18 424:8	267:5	379:21 383:6,6,11	194:13 405:12
47:16 56:22 58:1	446:21	ACO 179:19	392:15 416:7	adequate 211:12
58:13 61:2 64:16	accepting 308:11	ACOs 66:14 67:6	452:2	254:13 306:20
67:5,11 70:16,17	309:14 442:7	198:12 263:8	acute-level 325:6	319:7 324:8
73:8 74:17 86:21	access 99:15	acquired 375:13	adamantly 386:13	adequately 255:4
92:11 98:21	accompanied 231:2	act 93:21 105:22	adaptive 443:19	265:18 291:2
114:15 120:22	accompany 278:15	142:4 227:10	add 22:5 61:13,16	322:17,22 323:5
122:18 123:17	accomplished	acting 332:22	90:21 95:14,19	325:8 326:1
128:11,17 129:2	149:11	action 2:12 13:16	106:5 107:17	adjourn 471:17
160:3,8 181:22	account 185:1	72:21 73:3 455:21	221:6 225:12	adjudicated 243:2

adjust 62:9 241:9 241:13,19 242:4,9 242:11 243:5 248:6,9 364:18,18 364:19,20 371:14 378:19 379:9	289:18 administrative 62:2 104:8 212:8 233:5 268:4 329:2 335:18 341:15,16 341:19,20,22	advise 72:3 88:18 143:21 advising 45:16 52:9 52:10 55:1,7 advisory 14:19 143:2,12	415:19 agnostic 155:12 166:9 ago 69:20 98:8 136:11 169:18 174:3 294:1 379:9 429:9	algorithm 239:17 310:21 311:1 315:10 318:2,5,11 348:11 351:15 355:8,14 362:4 365:14 370:18 371:20 372:13 407:3 408:17 409:8 439:19,20 440:13,20 451:8
adjusted 90:13 242:19 243:4 302:15 434:8 adjuster 271:15 adjusters 304:6 401:2 adjusting 247:22 299:9 338:19 339:5 adjustment 28:22 57:21 76:9 172:8 202:1 211:10,12 211:22 240:3 241:4,6 244:18 249:18 254:15 265:17 287:17,18 290:21,22 298:6 299:8 302:17 303:19 304:3 316:15 330:5,10 332:1,5 340:5 341:3,17 346:3,3 346:18 350:17,22 353:6 355:2 365:3 366:6,19 368:5,9 369:2,4,9,17,20 373:9 375:20 379:8,14 397:16 400:9,22 402:6,11 402:16 403:8 405:15,17 411:19 412:15 414:5,13 415:19 426:19 430:17 441:6 453:7,12 461:15	admission 173:1,11 232:6 233:9 234:13 235:4,11 235:17 238:8 241:8 242:21,22 243:9 246:15 256:11 279:11 284:18 298:7 307:3,3,5,9 327:15,17 344:9 345:5,7,13,18,19 368:18 371:4 372:9 375:12 379:20 386:20 388:19 391:13 393:7 413:3 429:19 452:2 457:9 admissions 173:6 234:3 244:7 245:12 386:20 387:15 413:1 422:20 admit 412:10 admits 386:11 admitted 386:17 388:10 389:10 420:11 Admittedly 434:7 advance 154:7,16 161:12 162:19 312:4 471:19 472:7 advancing 17:16 advantage 141:17 141:19 152:1 258:1 289:1 396:22 397:7 advice 152:10 303:9	affect 40:15 166:6 274:1,4 288:6 317:7 380:7 404:18,19 Affiliate 14:4 affordability 4:8 9:13 23:16,20 44:10 50:14,22 51:6 52:3 81:11 82:15,19 87:20 88:2,4,4,20 113:14 114:12 115:22 116:4 141:14 143:17,21 143:22 144:20 145:11 146:4,17 149:2,5,7,17,20 150:4,12 158:8 194:7,13 196:1 Affordable 93:21 142:3 afraid 305:8 afternoon 10:8,12 205:15 208:15 230:21 255:22 age 41:6 242:20 342:10 343:8 364:18 413:22 agency 2:17 13:12 233:16 agenda 5:18,21,22 6:14 8:18,19 67:18 205:16 agendas 227:18 ages 189:4 aggregate 259:3 272:2,9 285:21 291:4 301:3 324:11,13 332:19 336:21 349:8	agree 49:3 74:1,7 91:22 105:5 111:9 117:12 164:8 172:15 176:5 180:22 181:3 182:10 200:11 289:16 290:1 299:21 318:12 322:19 346:5 385:12 386:1 411:8,10 421:4 430:10 451:13 462:18 463:3 467:18 agreed 152:8 agreement 91:19 92:19 228:12 248:22 249:5 297:18 312:1,16 407:19 agrees 348:17 ahead 12:5 78:21 105:8 131:10 140:20 195:10 206:20 221:8 230:5 248:19 260:18 261:2,10 262:4 296:11 300:20 313:7 315:13 339:21 340:1 348:15 355:17 357:22 358:6,9 360:16 361:10,10 432:21 435:4 454:5 AHRQ/RAND 103:4 AICDs 395:20 Alabama 238:1	align 23:5 232:19 aligned 233:22 alignment 90:19 146:13 254:1 alike 31:18 all-or-nothing 209:11 allocate 297:6 allocated 45:20 allotted 227:22 allow 175:19 228:10 332:5 446:19 allowed 272:13 allowing 442:12 allows 448:19 alluded 114:8 115:6 alludes 351:19 alter 249:15 alternative 423:1 ambulance 329:14 ambulances 326:8 ambulatory 382:11 385:2 388:5,17 389:12 412:9,19 American 2:11 13:3 14:5,6 15:11 69:14 140:13 AmeriHealth 2:7 15:14 AMI 106:19,20,21 173:2 232:4,5 233:7 234:1,21,22

235:1,7 238:11	353:17 355:9,13	Andy's 318:4,8	422:7 449:22	63:22 85:15 95:6
239:6,19 241:13	361:16 376:16,20	animal 100:5	460:20	139:8,10 149:3
241:21,22 242:1	406:21 407:2	Ann 2:4 3:1 8:5,16	anymore 464:22	152:8 165:3,12
242:19 243:5,22	408:16 439:2	16:11,12 17:1	anyway 139:15	168:18 169:12
244:1,4,6,12,17	440:12 441:15,18	67:13 73:20 78:19	385:9 401:9 429:1	177:17 178:11,22
244:19,22 245:16	445:2 463:19	79:2,11 115:5	468:10	209:2,11 241:2,5
245:22 246:10,13	465:6	177:10 180:19	aortic 452:5	291:8 309:2,4,8
246:20 248:8,9	AMIs 307:16	223:7 224:8 225:8	apart 288:18	309:17 316:14
249:6 254:15	amount 112:17	261:18 337:5	apologize 138:8,10	348:15 357:15
257:5 260:6	132:13 215:13	376:7,9,9,10	218:2 238:11	365:3 386:18
266:14 277:5	249:12 254:17,22	377:5 396:17	423:10	387:1,1 403:7
278:12 281:17	264:10 282:11	400:1 443:15	apparent 74:19	407:4,7 408:20
284:18 305:22	317:16 414:4	449:4 451:11	appear 153:22	409:1
307:4,15 308:8,15	amounts 165:4	annual 40:1,3,4	223:18 345:9	approaches 156:11
310:5 319:1,12	265:11	142:14 170:20	387:19 401:8	247:16 380:2
323:11 324:3	analyses 260:10	382:14 428:8	appeared 315:20	456:18
325:13 327:1,3	304:10 305:13,16	annually 40:8	379:11	approaching 89:5
328:4 330:18	365:12 405:1	answer 41:10 64:16	appears 351:6	90:12 149:16
333:7 334:5 340:8	447:15 449:21	64:17 92:12 96:16	Appendix 375:19	156:1 207:11
340:20 341:10	analysis 67:2 144:5	180:15 214:11	applaud 46:1,12	appropriate 113:22
342:12 343:10,12	147:11,16 163:7	231:19 248:12	113:17	139:3,7,9 157:15
344:12 360:3,3	164:13 256:12	258:6 269:20	applauded 323:2	200:2 211:2,3
364:9,14 365:2,4	270:8,14 301:9	285:14 291:7	apples 373:10,10	253:10,11 254:6
365:7 369:12,14	303:22 305:18	292:6 312:8,14	applicable 375:1	254:21 264:4
373:21 376:15	314:10 348:2,6	325:15 328:16,17	application 59:12	313:14,19 324:16
377:9 379:18	423:7 462:6	328:20 330:2,11	157:16 182:22	351:2 398:3
392:14 400:13,16	analyst 3:1 8:5	362:6 368:11	353:14 379:5	430:17
401:2 404:20	406:7	369:11,15,19	431:3,16 465:11	appropriately
410:15 413:20	analytics 76:2,6	372:2 403:14	applications 9:16	129:7 139:1 168:7
414:14 423:20	anchored 392:22	406:19 441:16	51:11 52:8 88:17	264:21
453:20 455:10,19	Andrea 2:7 15:13	447:13 448:3	89:12 130:1,4	appropriateness
456:21	45:21 47:3 79:19	answered 98:5	141:13 155:15,19	199:21 314:17
Amin 2:21 4:9,15	91:1 96:17 116:21	286:6 332:11	155:20 163:20	approval 210:6
8:10 28:13 32:2	174:2 176:4	335:12 396:15,18	463:22 464:3	219:18
34:17 37:22 42:2	283:21 337:6	422:7	applied 59:17	approve 38:9 173:4
49:17 53:12 61:15	339:14 421:2,14	answering 326:5	147:15 311:15	427:12
66:19 77:16 83:7	448:11	answers 253:20	apply 28:2,11 33:8	approved 34:11
83:15 90:7 91:14	Andrew 2:13 14:12	368:13 388:1	311:14 401:21	172:21 173:3,3,9
92:1,13 94:4	36:17 37:22 43:8	anticipate 27:14	appointments	420:1 427:17
130:10 131:8,11	52:15 80:17	anybody 18:21	393:10	approving 37:18
134:5 135:1,4,11	167:11 172:13	19:6 66:18 76:19	appreciate 5:6	arbitrary 444:14
144:12 152:17	351:15	76:20 162:13	18:20 384:5,9	area 15:1 20:2,12
164:8 207:2	Andy 44:8 60:3	164:6 214:6 235:6	433:10	23:17 24:6 25:2
214:15 215:7	87:11 214:15	343:14 356:21	appreciates 414:20	42:12,13 46:10
221:6 317:10	300:19 310:9	374:15 394:6	appreciative 359:9	49:9,10 84:8,21
350:7,9 352:6	382:2 427:9	410:20 417:20	approach 28:1,10	89:20 125:3

157:17 172:3	440:12 445:19	323:7 335:13,16	attack 331:12,14	367:1,5,7 385:16
183:3,4 187:16	Ashlie's 463:20	337:4 338:12	331:19 336:3,7	453:21
195:12 202:22	aside 447:8	339:14,21 340:1	attacks 332:14	averaged 236:12
249:6 255:8 257:8	asked 35:10 93:4	344:3 347:3	attempt 114:18	averted 320:4
286:16 295:8	157:6 175:13	348:10 350:6,8	347:6 415:4	avoid 228:10
377:12 380:17	251:2 268:1	355:7,10,15	attend 141:16	271:10 467:16
403:20 434:15	299:17 358:2	357:14 358:19	228:7	avoiding 386:13
areas 11:20 23:15	368:10 443:16	359:7 360:6 361:5	attention 187:6	388:7
42:16 51:16 67:1	asking 11:11 43:14	363:2,14 374:19	364:17	awake 330:21
78:3 82:14 84:17	61:12 113:9,19	375:1,4 381:22	attitudes 200:3	aware 23:14 40:21
90:18 119:6	119:8 120:12	411:17 422:13	attributable 242:8	181:11 323:22
125:15 151:3,8	153:20 158:21	448:21	393:6	376:22
153:14 154:5	192:7 226:5	assembled 470:15	attribute 263:6	awhile 195:7,8
157:10 158:10	333:21 362:11,11	assess 59:8 96:6	307:22 308:3	447:11
160:9 161:22	364:8 396:22	128:11,17,18	attributed 344:22	
162:1 169:20	418:16 426:7	301:9 350:20	346:8 416:9	B
183:7,8,8 188:11	447:14	352:16 407:13	attributes 262:17	B 234:4 235:12
199:12 208:21	asks 164:17	assessed 351:3,13	attributing 262:9	307:6,7,7,12
229:5 248:22	aspect 89:9 102:15	assessing 89:17	262:16 327:10	308:4,6,11 309:3
249:1 403:18	278:16	assessment 96:9	attribution 172:1,6	309:7 329:19
442:12	aspects 89:7 96:1	209:9 299:21	191:20 253:17	330:3 366:20
arena 123:1,1,20	103:22 194:19	336:22 362:20,21	299:1 308:13	406:4
385:20,20 420:8	Asplin 1:20 2:1 4:3	408:2	309:2,4 425:1,6	back 19:14 30:17
434:11,11	4:11 12:19,20	assessments 353:17	audience 65:11	33:12 36:21 37:7
argue 63:2 392:14	27:13 79:3,4	407:20	102:19 126:11	47:16 83:7 91:2
Ariel 2:3 17:5,7	118:16 168:13	assign 236:19 238:2	127:5,5 390:9	110:19 129:14,17
58:15 65:3 79:7	230:1,9,12 240:4	assigned 228:21	authored 45:2	129:20 136:4
196:12 200:9	240:8,12,15	assigning 306:10	authority 142:3	140:5 146:3 159:1
248:18 250:21	247:12 248:15	assignment 306:18	470:7,14	164:16 196:5
251:6,12	250:13,15,18	472:5	available 6:2 7:7	197:12,18 201:18
Arkansas 238:1	251:5,19 252:11	assignments	93:22 97:18 129:3	204:21 206:4
arm 62:2	252:17,22 253:8	226:10	140:4 146:22	207:15 212:22
arms 171:21	255:17 256:2	associated 4:17,20	147:9,13 150:6,10	222:15 223:13
arrhythmia 176:18	259:5 260:16	11:21 16:15 234:8	150:13 178:16	235:15 243:16
arrival 324:4	262:1 265:8 267:8	345:2	190:20 203:16	247:15,20 255:18
artery 383:5	273:1 280:11,18	Association 2:11,15	212:5 225:19	273:22 277:14
arthroplasty	283:21 284:3	2:19 14:5,17	300:9 377:20	290:7 297:16
191:15	286:2 291:12	15:11 17:3 140:13	416:17 472:9	301:5 318:5,9
article 181:8 390:8	292:6 293:13,19	assume 121:10	avenues 120:4	319:15 320:3
Ashlie 3:2 4:7 7:22	294:16 295:7	215:7,10 303:3	average 236:18,20	321:16 323:10
8:9 22:7 63:9	296:2,6 297:2,5	assuming 207:4	238:2 243:14	337:6 360:11
81:16 83:16 90:22	299:16 300:14	assumption 302:10	270:5 273:9,9,9	363:18 366:16
119:21 130:10,15	304:11 306:8	assumptions 61:21	285:11 328:9,9	381:17 382:2
136:4 140:6	310:9 317:22	62:10	359:13,17,18,18	389:20 390:5
150:18 315:12	318:14 319:13	asthma 211:1	360:5 365:20	405:6 408:8 410:5
317:22 348:10	320:7 322:13	attachment 407:18	366:1,2,13,21,22	416:13 418:6

419:7 425:13	baseline 101:5	447:22 472:11	207:22 209:8	229:8 230:17
434:22 438:1,4,5	bases 103:9 199:17	below-average	275:10 289:8	248:16 250:22
438:22 441:11	200:7	305:11	291:8 294:13	251:8,19 253:1
442:6 444:8,12	basic 65:6 123:20	belt 363:21	311:13 342:18	265:9 286:9,12
446:19 447:12	409:4	beneficiaries 143:5	371:22 417:9	322:13 335:20
448:1 457:2,21	basically 47:5	beneficiary 37:4	425:7 426:21	377:6 381:2 408:7
464:9,13 466:18	105:4 266:19	134:17 151:16	better 33:8 34:11	449:4 454:4 455:1
467:1 468:8	301:1,4 308:8	172:22 259:17	51:1 60:11,12	billing 123:13
background 58:8	322:19 342:8	427:18,20	74:18 99:6 122:1	binary 218:19
125:21 142:2	374:9 402:20	benefit 115:16	139:13 175:7,8,21	416:3
222:10	407:11 436:6	192:12 260:11	194:17 199:3	bit 26:22 39:16
backup 268:14	449:9	434:5	256:18 263:17	41:20 57:18 60:19
bad 123:6 431:20	basis 69:22 182:13	benefits 21:14	264:19 273:14	61:16 68:7 77:6
432:4	404:22 442:22	193:1	288:13 289:9	77:19 78:15 82:22
bag 413:4	443:4 454:12,14	Bernheim 3:7	303:5 304:18,21	83:9,13 89:20
balance 38:3,4	basket 160:16	231:3,4 258:8	348:6 382:19	92:22 102:17
319:6	Bayewitz 2:3 17:6	260:5 262:18	385:9 397:15	120:7 128:9
balancing 294:12	17:7,7 65:5 79:7	264:12 277:18	416:2 426:19	129:12 142:1
bar 215:17 216:2	200:10 251:13	284:22 287:4	431:21 438:4	156:16 158:13
218:9 219:1 434:2	bear 149:9 185:2	290:6 301:14	443:21 460:19	164:11,17 193:8
434:3,4	393:12	304:9 305:15	461:18 462:20	196:20 200:20
barely 314:6	Becker 2:4 16:1,2,3	316:11 317:18	466:2	202:12 204:18
bark 292:13	18:13,16 49:2,3	318:22 323:9	beyond 25:10 48:12	216:2 239:1 240:5
barrier 60:6	52:14 79:9 98:4	325:15 326:14	66:2 127:20	243:17 254:2
barriers 144:6	100:6 122:12	329:12 331:4	162:20 172:9	257:2 260:12,13
147:5	124:11 247:19	332:8 333:4,12,20	202:21 238:9,18	271:19 273:3
base 99:4 228:4	248:14 359:6	334:5,8,12 336:9	419:15	287:15 302:4
based 12:21 38:9	began 231:8 238:4	336:21 340:10	bias 19:8 98:16	323:20 359:12
128:14 143:3,4	beginning 11:10	342:3 348:5 349:7	99:2 387:19	374:6,13 397:13
149:5 152:12	72:15 154:18	353:2 354:14	big 67:19 96:13	401:4 402:5
158:19 186:8	165:21 201:6	356:12 359:19	102:2 112:9 162:4	411:16 433:1
188:5 216:13	begins 232:3	366:22 367:7	173:8 201:6 281:4	439:20 444:14
225:22 229:6	252:21 275:14,16	370:4 372:17,20	282:15 299:7,11	458:1 466:10
234:15 236:11	423:17	373:1,5,13,16	325:4 348:22	bits 106:6
239:15,16 296:8	behavior 249:14	374:3 375:17	366:7 384:12	bivariates 402:22
303:14 304:2	262:12,13 264:8	385:11 390:21	390:6 444:13	blame 425:7
314:19 315:8	belief 335:9	396:11 398:6	467:13	blank 219:1
323:13 330:1	believe 17:12 49:19	405:12,19,22	bigger 45:4 126:4	blend 103:21
337:21 344:9	87:12 93:3 140:22	410:10 411:12	298:8 422:21	blended 109:2
346:10 351:16	159:16,22 160:13	413:8 431:1	biggest 103:17	blinks 261:14
352:5 357:15	203:10 204:8	436:12 447:3	170:2 231:10,11	block 344:21
358:22 365:12,13	247:12 272:12	456:16	255:15	345:15 368:20
369:15 402:12,18	352:10,15,18,19	best 5:13 54:18	Bill 14:1 27:8 48:5	472:14
408:18 433:7	360:21 400:3	88:10 164:2	76:22 78:16 81:3	blocks 299:5
449:19 450:4	406:2 407:16,18	176:16,17 177:3	167:11 174:2	blood 268:7 272:17
451:8	412:21 445:17	181:16 199:1	183:18 203:8	328:2 329:13

330:20	brief 230:18	budget 182:1	calculation 234:15	131:19 132:8,21
blow 121:2	briefly 207:4 226:5	build 56:7 147:4	235:18 237:1,14	133:9,13 137:9,10
blue 84:8 201:1	354:9	building 147:2	238:20 244:4	137:12 139:3,9
242:3,5,15 246:9	bring 25:6 27:5,6	299:5	245:1,10 278:9	169:6 171:22
board 16:3 221:14	57:11 58:13 71:6	built 93:9	305:3 306:6 342:2	198:11 200:6
238:3 239:2 279:3	73:4 90:17 109:4	bulk 297:8	405:18	428:7
467:22	109:15 125:3	bump 257:13	calculations 222:9	capital 237:11
bodies 82:6	127:3 196:5	320:20 342:15	calibration 211:13	capitated 179:20
body 94:5,6	249:15 271:18	bumping 257:20	California 14:17	186:10
bonus 205:22	319:15 398:5	bunch 326:22	185:19 186:9	capitation 179:16
borne 184:8,9,17	399:1 441:11,19	370:7 372:3	200:3	capture 67:11
bottom 84:7 86:14	442:6 446:19	bundled 74:11	call 7:4,11 8:2 9:3	263:4 288:19
237:9,13	457:2 464:13	bundles 234:8	27:6 34:2 43:8	329:5 337:11
bottom-up 161:19	bringing 30:17	bundling 426:9	45:9 53:17 54:2	343:6 359:3
boundaries 40:12	54:9 58:3 109:8	burden 23:6 35:22	70:2,22 92:1	394:14,15 416:7
bounds 412:3	191:5 326:9	36:3 209:12 212:6	104:20 106:5	captured 178:18
box 86:14 242:15	433:17	burn 184:12,12,20	111:21 115:19	179:17 180:13
247:21 248:5	brings 9:5 227:12	burns 198:19	122:6 132:1 163:1	212:5 224:18
boxes 81:21 82:7	302:12	Burstin 2:20 4:4,5	181:16 206:12	272:7 322:7 338:1
82:13 147:19	broad 32:1 102:1	8:12 11:6 15:20	227:17 230:9	395:3 411:18
148:5 237:13	116:3 153:20	18:10,19 31:4	231:19 235:19	414:5,12 468:14
bracket 108:13	155:4 156:4,15	47:1 56:6 71:4,19	286:11 292:12	captures 266:19
branching 439:22	165:14 191:6	216:22 218:16	353:10,10 384:17	capturing 320:17
brand 58:7	272:6	362:10 436:6	391:18 394:7	328:15 390:19
braved 5:9	broad-based 27:22	438:5,8,10 450:9	419:18 441:20	391:3 446:10
break 6:10,12	broader 59:12	450:16 460:6	460:22 472:3	cardiac 70:12 73:9
122:11 129:11,20	118:1 145:19	463:6 466:10	called 73:1 179:19	176:10 331:16
183:17 204:20	167:6 466:21	470:10	247:21 406:2	390:11 452:17,17
205:13,13 206:1	broadly 29:1 51:7	business 2:5 17:17	449:11	cardiogenic 336:4
342:7	63:8 114:14	68:10 472:11	caller 396:14	336:8 354:12,18
breakdown 246:17	133:19 134:8	button 224:2,4	calling 56:9 57:17	354:21 369:15
250:7 280:13	137:1,5 153:1	buy 97:10 121:12	calls 48:1 52:20,22	455:13
360:9 440:6,11	154:3,8,17,22	buying 339:1	53:8 56:16	cardiologists 329:9
breakout 359:16	207:9 213:6,15	bypass 176:16	cancels 301:1	334:9 386:3 390:9
breaks 6:4,12	280:5		cancer 151:9	cardiology 14:1,7
228:8	broke 360:12	C	401:12	72:10 390:8
Brent 1:20 2:1 4:3	brought 20:21 32:4	C-index 354:13	candidate 4:16	cardiorespiratory
6:6 12:20 27:12	33:22 127:7	CABG 241:14,18	10:9 402:21	369:19
28:15 32:5 33:22	154:18 192:11	243:5 364:20	candidates 451:21	cardiovascular 1:6
79:3 118:15 130:8	217:5 248:2	cadence 230:16	capabilities 347:10	16:18 43:10
167:11 175:16	249:17 436:22	calculate 234:5,10	capability 49:6,14	135:13 141:6
176:11 177:12	446:7 448:11	234:16 257:10	capable 308:16	173:1 176:8
198:7 253:7	brush 472:6	365:16 405:13	433:20	cards 226:20
381:21 384:19	bubble 147:19	calculated 93:7	capacity 66:9	260:17
Brent's 119:22	buckets 102:1	235:13	463:13	care 2:16 14:2 43:5
418:21 422:12	103:14 186:8	calculating 239:12	capita 37:3 43:17	65:19 66:13 68:14

76:4,4 77:20 78:1 78:17 86:12 93:21 117:8 126:18 131:3,18 136:7,9 136:22 137:3 142:4 147:11 148:9 151:4 160:10 170:12,20 170:22 178:1 179:22 186:7,8,21 189:3 191:12,18 192:1,18 194:17 197:3 199:1 211:1 232:3,9,11 234:20 235:3,14 239:6 242:14,17 243:1,3 244:1,19 246:1,8 246:16,19 263:15 263:18 264:10,21 265:20 266:21 267:2 270:13 274:18 279:12,15 279:18,20 281:16 282:3 283:13,19 284:15 287:21 289:4 293:3 307:19 308:1 321:15 322:6 341:10 343:3 370:10,13,20 371:21 372:6,13 372:18,22 373:12 373:14,22 376:4 383:2 387:9 393:5 393:7 404:18 411:21 412:7,18 413:16 416:7 418:22 429:12 430:22 446:12,15 447:22 462:22 465:17 careers 172:9 careful 175:6,8 204:11 376:4 carefully 160:15 355:5 456:8	cares 365:10 caring 432:15 Caritas 15:15 Carolyn 2:12 13:15 80:13 126:1 128:3 438:16 443:13,14 Carolyn's 443:15 carried 167:1 cartoon 241:4 248:5,10 case 89:13 155:3,8 158:8 188:15,16 189:18 190:1 198:2 211:17,18 226:19 232:17 241:21 271:10 272:22 302:22 305:9 316:19 324:9 325:7 326:13 336:15 346:20 352:4,16 365:19,21 366:3,5 366:8,12,14 367:2 367:3,5,8,17,18 367:20 378:4 379:18 382:1 383:4 387:16 411:18 418:9 419:10 423:16 451:19 cases 244:12,22 304:14 305:7 312:20 319:12 367:21 379:10 385:1 cast 390:12 casts 390:15 catalog 462:13 catalogue 133:22 cataloguing 33:4 134:1 catalyst 92:22 94:9 catalysts 93:18 263:14 catastrophic 388:12	catch 10:21 195:11 407:10 categories 188:4 273:8,11 278:1 281:1 294:19 299:17 300:16 326:20 categorization 136:15 137:15 categorize 131:16 135:20 categorizes 134:7 category 91:13 171:12 178:19,21 262:3 274:3,6 277:22 279:2 296:12 297:7 299:18 305:11 357:17 358:20 cath 390:12,17 452:18 Catholic 12:21 CathPCI 455:21 caught 442:19 443:1 cause 420:5 caused 372:18 causes 338:15 caution 464:11 465:10 466:15,17 cautionaries 462:15 cautionary 467:8 467:20 caveat 41:5 CC 375:20 CC79 369:19 CCs 402:21,22 CDP 225:16 center 2:7,13 76:3 176:15 180:8 184:20,21 306:19 425:19 centered 66:15 centers 160:22 306:21	central 425:4,18 428:17 certain 39:22 49:20 169:20 184:16 185:2 201:15 209:14 215:12 247:16 372:12 390:22 466:17 certainly 32:9 48:7 56:17 67:9 85:10 201:10 219:4 381:6 432:4 463:15,16 466:15 471:2 certainty 301:22 315:2 407:14,15 407:15 448:16 certified 95:8 certifying 95:9 cetera 115:16,16 238:1 243:10 279:2,2,2 282:6 360:10 chair 13:1,6 14:1 17:22 229:9 chairs 1:21 12:11 19:6,15 159:1 challenge 96:14 107:14 118:15 267:10 319:1 440:13 444:10 challenges 5:10 101:12 103:11,12 103:18 104:2,6,15 105:13 155:7 190:18 414:22 433:16 434:7 challenging 114:8 203:12 433:1 445:22 chance 12:8 47:21 252:18 415:2 change 40:18 74:6 75:2 155:9 195:5 202:8 224:2 258:21 309:12	337:20 410:21 416:19 422:8 448:15 458:17,19 changed 9:19 39:15 216:20 443:20 changes 32:14 33:10 37:7 38:9 38:10,11,20 39:5 39:8,21 40:14,17 41:2,6 118:1 197:22 225:17 226:2 277:15 395:12 453:21 457:5 458:1 changing 94:19 388:22 389:7 396:3 453:4 channels 88:11 chaos 175:1,20 characteristics 241:12 243:8 413:22 characterization 137:8 characterize 29:8 50:14 55:20 64:14 64:18 66:21 67:10 85:9 86:7,15 91:17 101:22 309:13 440:17 characterized 42:10 characterizes 77:21 characterizing 50:21 charge 143:20 159:21 248:21 charged 52:10 119:12 151:5 158:2 204:4 chargemaster 159:17,18 charges 102:8 181:11 203:13,22 chart 246:12 328:17,19 329:22
---	--	--	---	--

331:8 354:21 456:19 charts 300:8 328:22 332:18 chat 7:6,10 470:5 cheap 121:14 cheaper 245:16,21 308:15 309:16 cheapest 121:10 checked 333:16 checking 286:2 Cheryl 2:5 14:21 79:13 111:8 159:4 161:4 165:15 178:5 191:2 196:12 248:18,18 250:13 297:17 299:22 304:11 313:7 318:14 320:9 443:15 449:3 450:21 462:18 CHF 173:2 388:17 chief 12:20 15:14 76:2 429:9 chime 103:16 choice 239:15,17 266:10 373:4 choices 114:5 175:20 199:3 223:18 289:17 320:18,22 321:3 321:15 322:19,21 326:19,21 339:11 391:22 458:7 choose 75:4 157:19 386:19 387:8 472:9 chooses 242:12 choosing 263:17 386:10 chose 149:6 239:19 240:22 309:22 392:21 395:21 396:6 chosen 239:22	392:11 Chris 44:8 Christiana 2:16 14:2 chronic 139:8 170:7,19 182:12 183:8 198:21 233:3 379:19 383:5,9 384:11 412:17 418:19 420:16,18 Cincinnati 12:22 circumstances 114:11 128:21 claim 238:3 336:22 claims 86:11 104:8 212:8 233:5,16,17 233:19,20 234:11 236:11,21 237:19 268:4,9 290:22 291:2 323:12,17 324:7,17 327:22 328:21 331:7 332:15,20 333:22 336:10 337:10,16 340:14,17 342:1 405:4,5,10 406:4 409:2 claims-based 330:1 330:6 335:10 clarification 222:21 265:22 304:13 449:6 clarifications 25:20 383:21 clarify 200:14 220:17 307:1 445:2 clarifying 247:13 247:17 374:16 422:10 425:1 436:12 449:7 clarity 197:11 210:3 273:3 378:1 380:18 395:1,4 Clark 2:4 16:11,12	16:12 73:22 79:11 180:21 337:8 377:8 394:21 396:17 400:3 403:16 451:12 454:6,22 class 207:22 classify 304:21 305:10 classifying 304:18 clauses 163:3 185:20 clear 55:2 62:5 102:4,10,13 103:7 166:22 175:22 207:13,15 216:19 285:1 298:2,10 331:6 341:5,12 347:2 362:4,6 367:17 370:6 379:2 392:10 413:5,21 421:15 438:10 439:5,6 445:13 447:11,16 450:2 464:20 clearing 224:3 clearly 51:18 55:4 86:17 96:14 118:18 126:10 200:2 218:11 250:10 252:7 253:10,13 254:9 293:15 295:11 355:5 413:17 455:8 clients 12:16 Clinic 2:9 15:18 clinical 12:20 72:1 72:2,5,14,16 96:2 135:14 153:13 154:12 158:10 167:21 190:5,6,9 190:19 231:1 232:11 254:7 268:3,10,12 269:21 270:13,17	271:5,8 288:16 290:21 291:3 324:4 328:15 329:8,15,18 330:9 337:11 342:10 354:10 355:4 378:2 400:6,7 412:1 413:21 447:9 clinically 211:15 253:10,11,12 339:8 370:18 clinically-oriented 71:14 clinician 148:8,13 148:20 331:13 411:21 clinicians 49:9 268:6 334:14 close 10:2 73:21 206:16 261:18 closely 249:16 closer 231:22 243:19 412:10 closing 225:10 CMOs 70:4 CMS 18:16 53:3,11 55:9,13 56:12 93:22 97:19 100:2 203:15 217:6,7 218:13 231:18 232:12 235:22 237:4,4 398:20 401:22 435:14 436:3 470:10 CMS/Yale 4:18,21 Co-Chair 2:1,3 4:3 4:3 co-chairs 6:7 7:3 156:17 227:19 470:22 code 200:19 270:11 337:19 371:1 424:3,7 429:20 coded 327:16,19 345:6,16 354:19	375:16 Codename 94:8 codes 168:10 173:11 211:3 237:17 241:22 266:2 337:22 338:1,2,4 343:12 364:12 369:5 370:16 415:14 423:6,11 424:16 426:17,18 coding 269:16,19 270:9,12,16 298:7 345:18 370:14 374:7,10 375:8,9 375:15 424:8 cognitive 151:13,14 cognizant 389:2 453:20 coherent 415:17 cohort 233:22 234:1 235:7 307:15 364:11,11 402:19 403:13 441:4 452:20,21 cohorts 310:6 415:14 427:22 coinsurance 117:4 COLA 237:6 colleague 110:11 colleagues 35:4,10 36:10 43:22 45:10 54:17 55:22 87:10 347:7 collect 63:5 105:14 123:10,12 166:10 223:14 collectively 145:10 467:15 College 2:14 13:3 14:6,13 Colleges 2:11 15:11 collegial 227:15 colonoscopy 200:21 color 82:3
---	--	--	---	---

colorful 300:8	436:13 464:10	450:7,10,11,13,19	9:4,5 10:22 11:11	311:20 312:15
column 282:2	468:8	451:1 460:5 461:8	11:16 13:2,17	314:16 318:8
columns 282:16	comfortable 33:14	461:10 463:17	18:7,15 19:17,20	323:21 355:13
combination 68:16	37:18 48:3 57:14	466:7,20 468:4,5	20:15,22 21:4,7	356:13 358:4
410:7 426:15	91:12 330:4 355:8	468:7,16 470:4,4	21:16 22:4,10,16	363:13 398:1,18
436:14	355:9,11,14 465:5	471:11,13,15	23:11 25:17,22	429:6 437:8,9,13
combine 118:13	coming 23:10 28:19	commentary	26:6,14 27:3,17	441:9,13,14
combined 106:20	31:22 38:5,16	466:20	28:7 30:15 33:13	442:17 443:2,6,11
293:9 315:22	56:19 58:7 61:8	commenter 446:18	33:20 34:12 35:16	445:6,17 447:7
326:18	72:11 88:22	commenting	35:22 36:10 37:21	448:4 449:16,18
combining 197:7	116:14 120:12	450:15	38:8,21 41:17	450:12 462:3
come 19:5 26:10	147:8 192:7	comments 37:1,4	44:21 46:13 47:17	463:21 464:11
27:11 31:12 33:12	215:18 324:12	65:2 97:8 103:3	49:21 52:3,18,19	468:8 472:12,19
33:16 34:9 35:11	332:16	103:19 106:14	53:2,19 55:16	committee's 312:1
47:16,22 49:12	command 176:19	107:7 169:17	56:13 59:2,3 62:4	451:5 470:7
56:14,16 59:2	commanding	172:12 175:5	64:11,12 69:4	committees 11:9
61:1 76:13 77:7	176:22	185:9,11 193:5	71:6 76:8 81:14	28:18 29:5 32:13
82:22 99:5 100:3	commence 363:15	205:7 210:4 228:9	81:22 83:5 93:3,8	44:14 47:2 82:3
114:15 117:5	comment 4:13,22	250:21 251:7	94:10 100:11,16	195:15,21 216:18
118:7,11 120:7	6:13 10:6 19:2	252:14,22 259:9	100:16 101:3,13	221:20 433:14
122:8 129:14	24:10,12 27:20	259:13 260:17	101:16 103:15	446:18 463:13
148:12 171:6,15	31:5 62:12 66:18	262:5 290:7	104:13 105:4	470:22 471:1
173:16 204:21	75:4 77:1 98:1	295:12 299:2	106:4 107:2,4	commodified
225:18 226:6	105:5 112:7,19	300:18 318:8	112:6 115:20	192:21
239:2 241:20	116:7 119:22	320:9 344:4 358:3	117:9 118:4	commodity 184:15
242:1 264:17	126:2 159:7 164:6	360:14 361:9	120:17 121:5	commodity-based
284:17 285:16	167:12,16 170:6	376:13 377:1,13	130:19 131:12	184:10
286:5 315:6	175:5,12 183:19	378:1,6,17,21	136:2 141:17	common 27:14,15
321:16 332:16	197:13 200:14	381:3 384:10	143:13,17,22	249:7 384:7,13
342:12,12 347:13	203:9,17 204:20	388:4 391:18	144:10,18 145:14	395:20
369:14 377:16	205:5 217:1 220:4	392:9 394:5,20	152:7,11 163:14	communicate
403:18,22 416:12	220:7,10 240:16	399:3 404:4,10	164:18 177:15	64:19
416:13 419:7	280:15 287:5	412:5 419:19	196:16 206:13,13	communicating
431:13 433:4	292:15 293:5,15	437:13,18 439:13	207:5,10 209:13	261:15
437:22 438:4,5	294:10 310:10	441:21 449:19,20	209:17,19 210:6	communication
444:12 447:12	313:22 318:16	449:22 450:5	216:14,17 218:7	36:8
448:1 456:10,13	337:8 339:15	458:13 460:21	219:13 221:18	communities
463:16 470:21	346:1 359:8	468:19 469:13	225:22 226:7,16	192:13 263:14
comes 28:2 47:17	363:12 375:7	471:9	226:19 227:2,7,10	425:5 426:4
102:20 115:5	383:19 384:5,15	commercial 97:20	227:15,17,19	community 28:9
185:18 189:16	389:19 397:12	117:2,16 292:22	228:2,22 229:1,4	63:3 68:3 69:18
235:6 290:6	400:4 417:21	commercials	229:12 230:3,13	72:14,17 97:10
305:19 323:12	418:18,21 422:12	120:10	230:19 249:8	169:11 184:7,16
331:18 336:2,4,8	422:13 435:7	commit 40:2	251:10 294:11,15	185:3 192:19
368:14 382:6	436:8 437:14	committee 1:7,17	295:13 297:10	416:10 430:4,6,16
418:6 425:9 435:5	449:4,10,14,18	2:1,2 4:6 5:5 7:5	300:10,19 311:11	465:18

community's 425:3	439:14	31:19 51:2 78:12	concludes 472:11	confirm 406:7
comorbid 241:19	complex 42:15	87:5 88:5,8	conclusion 272:10	conflated 458:8
337:13,17 345:19	120:4 198:19	102:11 104:16	concrete 439:21	conflict 17:12
403:22	complexities 118:9	105:11 146:7	457:5	conflicts 12:16 13:7
comorbidities	complexity 94:20	conceptual 63:22	concurrently	13:18,21 14:20,22
328:1 342:14	107:11 191:22	64:13 78:13 82:8	191:19	15:12,15,19 16:9
343:15 346:9	complicated 112:22	83:20 90:12,18	condition 133:19	17:18 18:4,22
364:19 405:15	113:10 122:14	101:5 105:7	136:19,21,22	19:9 230:4,6
413:22	202:12 283:11	157:20 158:11	137:10,10,12,21	confronted 218:7
comorbidity 336:5	complication	196:15 241:5	147:21 165:4	confusing 111:15
374:20	257:12 345:1,10	328:14 404:17,22	167:20 168:8	195:20 346:16
companies 2:8	345:12 368:20	413:13 454:14	172:17 187:15,18	confusion 260:2
100:3	370:20 371:12,21	conceptualize	233:3 248:7 249:7	congestive 420:10
companion 280:5	372:6,15 373:12	132:3	277:6 321:17	446:11
company 16:14,19	373:14 374:20	conceptualizing	330:17 382:6	connect 126:19
99:20	376:1,3 452:18	82:9	383:10 403:13	connecting 425:10
comparability	complications	conceptually 90:9	417:19	connections 283:18
401:17	242:5,7 243:1,3,6	131:1,15 170:8	condition's 168:10	consensus 10:3
comparable 212:1	257:21 287:21	235:5 308:2	condition-based	94:5,6 106:11
321:18 322:5	289:20 327:18,20	309:18 343:8,17	167:17	108:22 149:4
401:5	342:14 343:16	458:1	condition-specific	206:17 210:6
compare 201:9	345:10,18 370:10	concern 29:13 64:6	1:6 135:18 137:22	219:14 220:6
366:1	370:13 375:5	253:16,21 254:3	138:6,9 165:11	222:17 356:9,10
compared 97:20	376:5	254:11,14 255:15	conditionally	357:16 363:10
324:12 367:1	component 68:9,10	258:2 262:9 264:7	142:21	409:17 444:16
398:11 409:2	104:4 105:15,16	271:14 297:9,12	conditions 119:5	460:1,5 469:9,20
453:19	127:4 157:7	306:3,11 325:22	135:13 138:12,13	470:1
comparing 260:7	294:12 448:10	327:12 328:5,13	139:2,6,8 141:6	consensus-based
366:2,19,20 367:4	components 115:7	328:15,21 334:16	151:10,11,11	142:5
367:5 401:9 402:1	115:14 136:16	354:15 389:9	152:13 154:1	consequences 64:4
comparison 308:6	146:20 208:9	414:10 423:22	165:2,6,7 170:7	184:14,21 262:14
333:19 393:21,21	215:12 328:14	431:11 437:15	173:2 182:12	262:14 274:17
competing 34:19	350:11,11,16	441:5 464:14	241:19 248:3	consider 10:9 22:22
98:9,14 213:6	354:3,5 408:3	concerned 258:4	337:13,17 366:9	24:15 25:8 48:22
complementary	composite 61:6	262:12 265:15	367:19 395:17,19	54:13 59:11
59:6,18 273:18	101:10 103:20	268:18 322:10	403:22	106:18 134:15
complete 41:12	comprehensive	339:13 382:6	conducted 312:12	138:4 147:5 167:6
164:13 311:18	146:16	428:19	312:17 313:5	175:16 176:8
344:20 345:14	computed 313:5	concerns 19:5,8	conductor 425:3	177:7 203:13
440:18	computer 121:12	74:3 317:9,11	conference 1:18	215:5 291:14
completed 227:21	224:7,19 381:16	397:16 410:1,13	48:1 441:20	294:15 307:13
completely 10:20	concept 44:14	410:15,18 436:15	confidence 278:5	327:20 373:11
117:12 217:2	87:19 88:4 100:17	440:17 447:4,18	315:3,7 340:13	390:3 416:8
236:2 421:4	100:19 102:3,8	461:13 462:2	359:20	458:11
441:19 447:10	115:21 333:6	concise 228:9 344:4	configuration	considerably 155:6
completion 408:17	concepts 15:6	concluded 96:12	27:18	381:7

125:3,7 126:13,19	398:12 400:10	County 2:6 76:3	214:1,6,20 215:10	114:22 133:5
127:4,14 129:21	404:1 411:5,6	couple 37:5 56:7	216:9,10,15	134:19 138:18
131:18 133:2,12	418:7 419:3,11,12	72:7 75:20 93:2	219:16 220:19	140:3 152:13
136:14 140:14	434:11 439:3	96:10 98:4 107:17	221:3,4 226:9	153:12 155:4,11
143:16 144:17	cost-effectiveness	110:10 193:11	228:5 229:17,19	212:16 255:9
146:19,20,20	87:1	262:18 265:21	234:2 249:2	customer 65:13
151:2,4,7,17	costing 156:11	306:14 383:20	310:15 311:7,14	customers 118:20
153:10 154:7	181:15 182:5	419:19 429:8	317:8 350:17	cut 175:19 259:7,10
156:3,7,12 157:17	401:19	438:7,16 446:5	358:20,22 361:17	273:22
158:4,16 159:13	costly 380:16	course 5:16 26:16	361:21,22 362:3,7	cutoff 238:14
160:10,16,21	387:12 395:20	31:22 56:22 115:8	379:12 400:9,15	CV 11:15
162:14 165:1,21	451:18 452:9	116:11 172:7	400:20 439:8	CVs 11:13
169:6,15 174:15	costs 84:9 110:12	199:8 201:22	460:14	cycle 36:5 53:21
174:20 176:1	110:14 123:13,21	254:19 257:6	criterion 260:19	57:5 143:11
177:1,6,14 178:19	124:7 125:5,10,12	281:9 391:8	286:12 358:8	217:17 438:6,6
179:8,9,10 181:9	125:19 134:4,6	415:15 418:13	critical 96:22 97:20	445:3
181:10,10,18	147:1 149:9 150:2	452:6 454:18	102:18 117:19	cycles 445:5
182:8 184:7,13,15	150:3 151:3	cover 5:17 103:8	118:22 119:16	
184:22 185:3,15	160:20 171:1	111:21 206:7,8	159:8 263:4 264:3	D
186:7,21,22 187:5	174:9,17 175:9	240:8 457:11	265:2 417:10	D 233:21
189:3,9,14,15,16	177:22 179:3,5,16	coverage 115:15	433:17	D.C 1:19 429:16
194:6 195:22	180:3,12 181:13	117:4	criticism 127:21	430:3
197:3,7 200:2,21	181:22 182:10	covered 6:18 9:3	criticisms 300:21	Damberg 2:5 14:21
201:20 202:6,9,11	187:7,8 189:12	100:21 312:21,22	CRNP 2:8	14:21 79:13,14
203:13 208:18	197:20 200:5	covering 25:16	cross 201:1 333:3	111:9 161:5
211:2 213:1,9	203:17,18,19	CP-4 200:19	391:4	196:14 248:20
231:13,17,19	213:13 262:21	crack 423:4 470:11	crosscutting	250:14,17 251:4
236:6 256:19	263:20 264:3,6	crazy 335:6	171:14 172:5	297:20 304:12
259:21 260:7	265:20 269:3	create 93:22 146:16	crosses 82:20	306:3 312:19
265:12 275:2,13	280:22 286:1	148:7,13 232:7	286:21 287:7	313:8,21 314:8
281:11 282:22	288:6 332:7	271:6 415:8 424:5	crossover 229:10	318:15 361:11
283:3,3 285:11,18	334:17 380:7	created 415:14	crunch 308:5	450:22 462:9
287:12 288:3	398:10 414:16,17	creates 235:5 260:1	culpable 127:16	danger 26:16
293:6 294:18	419:6 421:9,10	271:6	cup 75:6,8	163:21
301:11 302:1,15	428:6,7,8 452:11	creating 183:1	curious 112:3	darkest 84:7
303:15,16 306:4	458:4 461:22	415:15	161:9 404:12	dashboard 203:3
306:10 320:17	council 421:19	credit 346:13	current 16:19	dashboards 71:3
321:8,14 326:17	count 327:18	348:20	27:17 56:9 63:11	dashed 241:7 248:6
327:8 333:11,13	387:10,22 436:16	creep 163:16	66:21 110:3	data 58:1 70:2,22
333:17,18 334:2,4	counties 125:16,17	crisis 320:4	137:15 144:9	104:5,7,8,14
334:7,11,22 335:1	counting 436:17	criteria 62:15	145:17 154:14	105:14 107:19
347:12 348:4,7,18	countless 172:8	63:14 83:4 91:4	156:13 353:19	109:12 112:9
349:19,22 357:2	country 42:21	95:17,18 183:5	441:18	113:8 154:12,12
377:21 382:7	50:18 162:7 165:5	207:13,15,18	currently 12:14,18	154:14 155:2
385:2,9,16,22	319:11 417:16	208:2,3,5,5,17	12:20 13:6 18:17	156:13 181:9
389:22 390:11,16	counts 282:8 372:7	209:5,7 212:11	34:16 39:1 76:10	188:5 190:3,5,6

190:10,14,19	266:15 279:21	209:22 288:5	definitional 102:15	52:4 60:8 61:3
212:4,8 233:3,3,5	331:15 336:3	290:2,4,11,15	definitions 97:7,9	86:6 87:18 133:18
236:21 239:15	377:15 387:22	306:16 338:16	159:10,11 161:2	157:7 222:19
268:4,9 271:21	390:5 391:12	342:21 393:13	175:7,22 181:2	described 50:12
272:17 279:12	392:11,12,18,21	deck 281:13,15	definitive 448:17	52:1 63:9 136:10
280:3,4 290:21,22	393:3 411:7	deconstructed	definitively 96:14	136:12 158:19
291:2,8 299:4	420:15 425:15	213:3	degree 72:1 168:9	295:11 313:13
306:4,20 313:6	426:8 431:6	decrease 192:18	209:10	445:19
316:1,13,17,19	457:10	deductible 124:8,9	Delaware 14:2	describes 225:17
317:15,17,20	de 57:4	124:14 151:18	delay 8:2 438:16,19	describing 161:8
319:5 324:8,17,19	dead 421:21 422:1	deductibles 117:3	deliberately 370:1	330:16
328:1 329:2 330:1	deadline 442:1	deep 417:7	370:3 394:2	description 266:1
330:1 335:18	deal 175:1 196:16	deeper 26:7 426:2	deliberation 415:3	315:21 379:11
336:10 337:10,16	292:18 307:14	deeply 414:20	deliberations 37:21	405:7 408:19
341:1,4,7,8,9,19	deal-breaker 451:3	default 172:20	148:17 196:4	deserves 172:4
341:20 342:1	dealing 175:10	173:7	436:9	design 66:13
344:19 350:22	202:1	defense 39:10	deliberative 433:11	designated 226:12
354:16,21 359:10	dealt 175:2,3	defer 316:9 348:14	deliver 88:10	designed 91:20
359:17 360:8,12	430:11	470:21	deliverable 38:17	101:8 105:7
370:14 374:11	debate 90:11 303:7	defibrillator	delivering 177:3	desire 93:12 451:6
375:16,18 389:17	467:15	452:17	delivery 426:5	desk 300:8
408:22 417:5	debated 92:3	defibrillators	democratic 455:4	despite 447:19
421:8 423:19	debt's 123:6	380:10	demographic	detail 50:5 52:4
429:15,16 447:9	decide 169:9	define 43:19 44:10	342:10	60:20 77:19 81:21
data-sharing	178:14 272:11	44:19 45:9 112:14	demonstrate 164:4	83:13 90:6,16
190:17	362:22 439:15	139:12 147:7	183:2 212:1	92:9 164:11 208:8
database 455:20	decided 91:10	149:6 160:3,15	317:16 352:11	210:9 360:8
databases 354:10	96:15	222:12 235:3	demonstrated	detailed 99:15
355:4 455:18,20	decides 55:2	defined 131:22	310:18 319:16	278:8
455:22 456:6,7	decision 115:11	149:17 219:15	337:12 355:18	details 250:9
date 235:3,4,16	116:8,9 117:6	250:10 355:5	demonstration	determinant 343:4
241:8 242:21	199:19 200:7	402:13	294:17	determination
256:11 279:19,19	258:21 267:4	defining 150:11	dental 122:22	358:7 470:16
day 4:18,20 11:2	287:19 289:13	157:20,21 158:3	140:13,14 442:7	determine 95:17,21
26:11 29:19 48:17	344:22 375:14	222:1	department 174:6	182:2,7 200:1
49:11,13 153:5	404:17 440:4	definitely 99:9,17	depend 323:16	225:13 318:11
203:21 223:14	464:7	118:7 120:15,20	324:1	378:3
238:9 250:6	decision-making	121:2 122:4,8	dependent 56:21	determined 171:1
253:21 254:5	116:5 120:6 121:1	162:18 181:3	depending 200:7	380:13 429:17
276:2 336:6	214:21 263:15	185:13 201:2,5	311:20	determining
389:11 425:16	289:4	287:7 377:12	depends 113:1,8	316:14 342:9
472:21 473:1	decisions 63:14	380:3 389:7,14	447:13	343:22
days 132:6 134:18	99:4 113:21,22	468:16	derived 159:19	develop 15:5 16:19
232:5 234:3 254:5	114:4 128:14	definition 92:16,19	386:22	54:1,6 57:16
257:5 258:15,18	129:6 193:22	97:4 102:5 149:5	describe 35:11	149:2,4 165:11
258:18 259:18	200:8 201:14	159:22 259:19	36:18 44:5 50:4	195:7 341:1

368:19,22 403:5	57:5 69:7 157:1	211:16 227:14	326:19 327:3,7	20:11 31:21 46:3
developed 16:8	194:22 232:22	232:8 236:13	330:11 331:2,17	263:7 397:14
35:5,8 59:5 85:22	305:22 316:12	272:7,21,21	333:9 334:13	461:16
93:15 150:17	341:7 379:13	282:15 289:11	336:20 338:7,21	directional 187:12
191:16 209:7	452:20 454:19	302:17 313:16	348:4,15 350:10	directionally 275:9
217:6,9 300:22	device 223:8	321:1,5,14 322:11	362:11,20 364:9	276:11 416:5
340:12,20 369:6	devices 223:22	324:21 325:4,7,9	364:10,13 365:6	427:5
375:10 389:17	452:8	326:15 329:6	365:13 368:11,12	directions 163:15
396:5 419:21	diabetes 133:21	352:7 366:7 379:1	368:12 376:13	276:3
developer 13:5	134:3 157:13,14	401:1 403:10,15	396:13 401:8,10	directly 51:10 62:3
20:14 28:8 32:6	188:8 331:3,8,8	413:13 414:11	402:17 403:10	87:22 88:7 102:15
36:9 39:6,19	332:13 344:11	418:9 423:16	410:11 413:11	241:15 269:20
40:20 217:3 227:6	368:15 401:12	428:19 453:14	416:13 418:2	301:15 328:16
228:16 230:16	diagnose 276:5	different 28:15	440:8,9 441:13	375:1 417:21
298:10 310:17	diagnosed 382:22	29:4,8,16,18 30:2	442:11 447:9	director 2:21 3:2
322:9,20 323:8	diagnoses 242:20	30:11 50:8 56:16	451:22 463:9,10	8:11 15:10 17:16
339:20 340:3	298:4 325:3,11	63:22 68:15 77:22	467:3	231:4
352:10,22 355:18	327:14 345:5	78:3,8,11 82:5,12	differentiate	disability 383:5
381:14 390:21	370:9 371:18	82:14 84:2,4 89:6	201:16 202:4	disadvantage
394:22 398:7	372:12	89:19 91:20,21	325:19 332:2,5	308:17,19
438:20 446:19	diagnosis 233:7	94:21 99:21 100:5	340:15 343:11	disagree 62:7 128:4
447:8 449:21	241:20 247:22	104:18 108:16	371:22 374:13	421:5
developer's 408:19	248:1,5,9,11	110:9 111:1,4,7	differentiating	disagreement
developers 20:19	267:6 327:19	115:7 118:14,14	202:10 324:8	229:6 249:1,8
31:11 32:11 33:9	337:22 338:1	119:15 120:4	differently 146:8	297:18
33:19 34:15 35:18	342:11 343:9	127:5 133:15	251:22 253:4	disappointed 444:6
36:4,22 37:6 38:4	369:5 370:17	135:21 136:16	259:22 270:11	444:9
39:11,16 41:1	382:16,17 424:15	145:1 148:5 160:4	354:20 457:3	discharge 132:6,7
57:5,15 60:8	diagnostic 266:18	160:9 163:15	difficult 19:9 38:1	134:19 233:6
71:22 109:7,15	326:20 332:3	171:9 173:14,17	103:11 166:9	259:18,19 263:20
182:22 207:9	dialogue 48:3	177:17 178:8,11	169:14 257:19	278:9 279:19
210:2 225:18	463:18	178:22 183:7	322:2 378:16	293:3 299:13
226:4,13,20	dialysis 371:10	188:4,20,22	difficulties 5:8	342:11
227:16,19 230:20	die 273:22 349:21	191:13,18 195:21	dig 204:22	discharged 238:12
253:19 254:12,19	435:11 449:7	200:3 202:1	digging 460:18	389:11
255:13 256:10	dies 427:6 435:17	211:20,21 214:2	dimension 393:17	discharges 254:11
294:4 316:6 364:8	differ 285:18 420:3	216:8,17 221:10	dimensions 101:2	disclose 13:14,18
367:12 445:9	difference 155:20	236:17 237:18,21	110:10 111:1,4,5	13:20,21 14:14
446:6 472:19	156:1 180:2	237:22 239:1	275:1	15:12,15,19 17:4
developing 48:15	235:21 270:17	259:22 266:3	dinner 10:14 364:3	17:18 218:2 230:4
48:19 68:2 72:17	290:13 326:13	268:14 269:17	471:17	disclosing 163:4
77:12 95:4 143:8	347:11 348:20	270:10 272:10	direct 41:10 52:2,4	disclosure 4:5 8:15
231:9 374:9	365:1 379:18	282:2 302:5	55:6 87:8 161:14	8:20 11:4 75:20
development 11:19	389:5 410:22	304:19 305:21	161:14	disclosures 11:12
20:8,12 38:14,15	differences 116:14	314:1 321:19	directed 422:14	12:12,15 19:3,18
44:2 45:14 54:13	116:15 128:15	324:22 325:11,12	direction 9:9,9 20:7	75:22

discomfort 32:11	256:6 267:16	239:5,8,14,21	dollars 45:19 54:13	96:21 97:22 98:2
disconcerting 434:20	277:12 297:13	241:1 244:1,19	54:14 55:3,7 56:4	100:10,13 110:8
discrete 171:5	299:11 300:12,15	246:5 269:7	132:15 165:18	111:8 112:5,7,19
discretion 329:8	310:4 341:13	302:15,19 321:10	174:21 211:7	112:21 113:11,13
discretionary 320:18 414:17	346:16 355:16	322:6 335:16,21	217:6 243:17	116:21,22 117:12
discrimination 211:13 429:12	366:4,18 374:4	336:17,19 365:8	283:12 328:8,9	118:3,16 121:3,20
discuss 30:1 117:13	378:11 381:18	365:12 423:6,11	366:16 466:1	122:3,9 124:3,17
141:1 167:9	386:8 407:9	distributions 327:4	Dolores 2:18 14:16	125:22 128:3
206:16 300:4	408:15 409:19	327:6	81:7 143:15 145:6	129:8 135:8 159:2
364:8 400:8 401:4	410:12 413:19	disturbed 420:21	155:5 183:18	159:5 161:4
431:5	435:6 436:7,21	dive 247:14	185:6 197:2,13	165:19,20 167:10
discussant 226:10	437:17,22 444:1	dividing 186:6	464:9,13,16	168:13 172:13
discussants 228:21	460:4,11 468:12	doc 255:21	domain 87:13 92:5	174:1,3 176:4,5
230:18 248:17,18	468:14 470:19	doctor 120:12	98:12 135:14	177:9 180:19
376:8	471:19	doctor's 344:7	137:20	183:14,19 185:6
discussed 126:5	discussion-orient... 30:18	doctors 123:5	domains 77:22 78:8	190:22 191:4
130:6 139:5 218:4	discussions 5:18	document 252:13	82:20 158:20	193:6 196:11
254:4 255:7 328:3	8:22 18:5 29:7	351:18 471:18	dominant 382:15	198:5,6 200:9
379:5,7 404:6	35:20 36:1 69:2	472:4	382:17	203:8,10 204:13
discussing 32:21	82:4 106:15 172:8	documentation 298:13	dominating 228:10	216:22 218:16
145:11 184:5	231:11 258:9	documents 381:19	dongle 224:9	230:1,9,12,21
208:14 218:13	267:9	dog 280:17 292:13	door 248:12 321:2	231:3,6 240:4,7,8
232:22 434:22	disease 151:9 183:7	297:2 458:22	321:18 330:18	240:11,12,14,15
453:11	183:8 188:9	dogs 280:12	doors 241:18	240:19 243:20
discussion 7:17	198:21 371:13	doing 8:19 9:20	doubt 114:7 265:11	247:12 248:4,15
20:10 26:2 30:20	372:16,22 379:22	24:13 40:2 43:10	doubts 350:5	250:13,15,18
32:9 34:20 48:7	380:3 383:5	45:15 54:18 55:17	down-weights 451:9	251:5,19,21
50:4 64:3,10	384:11 388:8	75:19 84:11 87:6	dozen 171:9	252:11,12,17,20
66:22 67:8 76:12	392:12 403:18	87:13,22 92:16	Dr 4:5,11,12 11:6	252:22 253:3,8,9
77:6 78:6 82:4	412:22 413:17	97:16 148:16	12:13,19 13:11,19	255:17 256:2
90:11 92:4 107:9	414:11 420:16,19	150:14 154:21	13:22 15:9,9,13	257:3 258:8 259:5
108:4 112:10	424:17,18,19	158:1,9,10 179:14	15:16,20 17:21	260:5,16 262:1,8
115:18 116:18	disorder 151:9	180:5 190:20	18:10,19 26:3	262:18 264:7,12
139:17,18 145:13	disparities 151:4	196:8 252:15	27:12,13 31:4	265:8 266:5 267:8
146:1 160:18	disposition 255:22	257:16 259:22	34:1 36:17 41:14	267:22 268:17,21
174:5 184:6,22	disproportionate 232:14 237:8	260:3 274:17	45:21,22 47:1	270:2,7,19,20,21
191:8 192:4 196:3	disregard 239:10	288:14 289:9	48:5,6 49:1 52:15	273:1,2 274:10,13
204:15 207:12	dissertation 39:10	338:19 343:13	56:6 58:15,17	276:16 277:18
212:9 218:8 226:6	distinctions 327:2	346:2 367:1	64:22 66:18 67:12	278:18 280:11,17
226:8,14,18	distinguish 336:2	376:19 382:20	69:1,3 71:4,16,19	280:18 281:12
227:13 228:6,10	336:11,12 412:16	383:12 385:14	73:20 75:3,19	283:21 284:1,3,4
228:22 229:13	distractions 228:7	398:15 414:22	76:19 77:1,3,5	284:6,8,11,13,15
251:10 255:9,14	distributed 229:1	452:9 461:21	79:4,6,20 80:6,12	284:22 286:2,13
	distribution 55:6	dollar 117:4 132:13	81:2,4,6 91:1,2,22	287:4 289:15
		282:11	92:11,14 95:15	290:6 291:12,13
				292:6 293:13,15

293:19,21 294:16	385:18 386:6,16	drawing 24:3 327:2	dual 143:5	365:14
295:7 296:2,6	387:3,7 388:3,20	drawn 147:14	due 76:11 289:13	economist 13:12
297:2,5 299:16	389:18 390:21	DRG 234:12,15,16	313:15 320:18	economists 106:11
300:14 301:14	391:17 392:8,10	237:11 257:13,14	322:11	174:19
304:9,11 305:1,15	392:21 394:5,19	257:18 267:6	duplicate 196:7	ED 180:9,11
306:8,9,22 307:6	395:6 396:11	269:22 270:5	320:4	391:15
307:7 310:9	397:5,8 398:6,22	287:18,19,22	duplicating 24:4	edge 7:2 224:10
316:11 317:18,22	399:13,22 402:8	320:22 337:19	durable 233:20	education 160:19
318:14,22 319:13	402:10 404:3,6,9	339:6,7 342:5,7,9	236:15	232:14 236:8
320:7 322:13,14	404:16 405:2,12	342:15,17,19	dynamic 388:22	237:8
323:7,9 325:15	405:19,22 406:1	343:4,18 344:1	396:5 453:10	effect 29:2 74:6
326:14 327:13	406:12,14,18,22	457:21,22		163:3 256:13
329:12 331:4	408:5,14 409:9,18	DRGs 257:11 266:3	E	303:18 304:2
332:8 333:4,12,20	410:10,20 411:2,8	266:7 268:22	Eames 2:5 17:14,16	365:20 416:10
334:5,8,12 335:13	411:12,17,20	269:9,9,12 270:4	41:15 79:15	effective 347:17
335:16,22 336:9	412:4,5 413:8	271:11 320:21	earlier 19:21 114:9	348:2
336:16,21 337:1,4	414:19 417:20	325:2,12 337:21	115:6 137:9	effectively 78:10
338:12 339:14,19	419:17 421:1,18	dried 371:7	150:19 170:6	86:11 88:22 94:6
339:21,22 340:1,2	421:20,22 422:2,4	drill 278:2	192:11 196:20	132:14 137:18
340:10,22 341:14	422:5,13 423:18	drink 460:9	218:6 285:16	138:3,16 145:22
341:18,19,21	423:22 427:9	drive 46:22 86:20	290:7,9,18 299:10	150:21 155:13
342:3 344:3,6	429:7,8 431:1	166:15 175:14,18	313:22 318:10	165:2 321:7 351:4
345:4,17,20,21	432:20 434:21	184:14 202:8	328:3 339:17	351:10 352:13
346:1 347:3,4	435:17 436:2,6,12	262:12,13 264:8	342:16 359:2	354:6 440:21
348:5,10,17 349:7	437:11,20 438:5,7	276:22 289:19	382:3 388:4	effects 304:8
349:12,13 350:3,6	438:8,9,10,12,15	326:20 329:6	393:16 446:3	309:20
350:8 353:2 354:9	438:18 441:7	335:6	early 205:17	efficiencies 86:22
354:14 355:3,7,10	443:13 446:1,4	drive-by 329:14	258:22 325:18	efficiency 15:6
355:15 356:12	447:1,3 448:8,21	driven 53:3 87:16	326:3 334:20	62:13,20 63:7,8
357:14 358:19	449:3 450:6,9,14	97:6,11,12,14	443:7 461:8	63:10,20 70:9
359:7,19 360:2,6	450:16,21 451:11	171:4 321:14	easier 204:10 240:2	82:17 84:3 86:18
361:5 362:10	452:13 454:4,11	347:7 418:8	302:4	87:15 89:10 103:6
363:2,14,20	455:1,5 456:16	driver 348:22	easiest 132:3	104:17 107:21
364:10 366:10,22	457:8,15 458:12	467:13	easily 41:8 226:15	108:14,20 109:9
367:3,7,9,15,22	458:22 459:2,6,20	drivers 70:6,15,19	293:10 371:6	109:16 136:13
368:2,3 369:3,10	460:2,6,8,15,19	70:20 94:11 120:8	easy 71:9 92:20	192:18 274:18
369:18 370:4	461:7 462:17,18	146:19,21	163:14 204:12	276:12
372:11,17,19,20	463:6 464:16	drives 415:8	echo 74:1 107:6	efficient 42:22
372:21 373:1,2,5	466:4,10 467:2	driving 184:22	192:10	196:8 458:6
373:7,13,15,16,19	468:1,18 469:12	187:7,8 194:16	echoing 446:17	efficiently 77:15
374:3,15,19,21	470:10	197:19 265:20	econometrics	465:20
375:1,4,17 376:6	draft 450:18	275:17 377:20	239:18	effort 35:3 44:18
376:18 377:4	466:20	dropped 292:12	economic 107:20	45:18 48:8 113:18
380:20 381:4,9,21	dramatically 458:4	drug 122:21	108:14	154:19 182:7
381:22 383:18,20	467:3	drugs 124:12	economics 104:17	efforts 30:12
384:16 385:4,11	draw 26:22 364:16	dry 278:13,21	174:5,6,10 239:19	150:10 207:7

274:20 425:20	353:7,15 365:12	24:22 26:11 69:18	183:8 199:17	essential 77:14
EHRs 355:5	empirical 210:19	128:6	232:3 234:20	essentially 38:5
eight 50:17 266:6	211:4 312:11,17	engaged 16:5 71:11	235:3,16 239:6	39:2 42:6 58:11
426:17	351:5,17 352:1,8	228:6	244:1,19 246:1,15	77:8 132:11
either 15:19 38:18	352:11,14,19,22	engagement 18:14	254:2 280:1,13	136:12 146:7
92:9 110:5 219:18	407:6	119:17 169:19	284:16 285:3,5	155:12,21 158:2
246:8 286:4	empirically 109:21	engaging 118:20	288:3 308:1	204:2 252:6 282:4
344:22 359:15	employ 402:15	engineering 112:11	341:10 364:21	343:1 429:20
390:19 427:20	employer 160:7	enormously 290:12	377:14 378:7,10	established 306:1
439:10	empower 114:3	enrollment 234:4	384:3 387:9,11,13	estimate 301:22
ejection 330:19	encompassed	ensure 209:5	388:1 414:18	302:12
elderly 125:13	355:16	210:20 212:12,13	423:17	estimated 402:2
384:7,13	encourage 64:14	212:17	episode-based	estimates 278:5
electrophysiologist	164:1	ensuring 86:22	152:14 153:14,18	319:8 359:22
176:17	end-organ 151:12	207:12 227:20	166:21 170:13	estimating 235:22
element 216:1	end-stage 412:22	entire 97:9 355:16	198:14 383:7	et 115:16,16 238:1
313:7 409:1	ended 15:8 105:2	428:12 468:14	episode-of-care	243:10 279:2,2,2
elements 101:1,20	221:19 238:5	entities 313:16	4:18,20	282:6 360:10
103:1 109:12	endless 77:8	314:21	episode-specific	ETG 134:21
215:16 216:7	endocrine 56:11	entitled 281:15	93:4	ETG-based 134:9
299:4 312:22	endogenous 271:15	entity 142:5 263:8	episodes 68:14	141:7
313:1	endorse 95:2,2	412:1	95:22 171:4 188:6	ETGs 134:12
elevate 387:12	169:5 435:9,12	entwined 188:16	191:11,14,18	ethnicity 243:7
elevators 6:3	466:13 468:10,11	189:19	200:6	Eugene 80:9
eligible 47:8 143:5	endorsed 35:18	environment 152:6	episodic 200:16	evaluate 17:10
279:11 396:1	36:12 85:1 94:1,4	156:13 174:8	equal 321:12,13	65:17 66:2 86:8
email 251:15	99:21 135:9 150:8	192:21 433:22	Equally 210:2	98:21 207:18
embarrassed	189:3 212:14,16	environmental	equation 232:15	216:10 221:4
251:14	231:16 435:16	161:17	equipment 233:20	227:7 317:11
emerge 102:9 103:1	endorsement 27:21	envision 274:8	236:15	354:4 451:7
103:11 104:2	63:14 91:5 93:10	episode 43:5,16,17	equivalency 219:6	460:13
105:19 250:11	93:16 109:9	76:17 77:20 78:17	equivalent 321:12	evaluated 61:4
285:21	214:20 218:9,19	83:3 85:18,21	ER 255:20 307:4	166:22 258:17
emerged 101:19	218:20 361:7,8,20	86:2,6,7,8,10,12	307:10,10	evaluating 25:9
103:6 250:3	362:9 363:6 439:1	91:4,6,11,16,18	Erin 2:22 4:12	36:20 39:12 76:16
emergency 13:3	463:10 464:6	92:2,5,17 93:7,14	82:21 130:2	213:16 214:7
306:16	469:16,22 471:5	94:1,18 96:1,19	141:12 144:12	229:14 291:22
emerging 103:18	endorsements	97:5,18 131:3,21	153:17 157:6	evaluation 4:14
275:3	229:18	132:4,5,6,7,9,21	158:19 164:15	5:19 9:18 10:4
Emory 174:6	endorsing 84:13	134:10,11,15	Erin's 140:7	15:2 20:15,16
emphasis 415:9	93:13 215:5	136:7,9,17 137:11	especially 61:19	21:1 25:5 38:5
416:6	endpoint 340:6,9	138:9,13 139:1,7	62:3 63:1 64:3	83:4 91:4 138:1
emphasize 125:5	ends 132:6,7	151:7 152:11	66:12 117:1 119:4	204:22 205:19
229:14 231:8	186:16 232:5	160:10 165:1	187:4 452:7	206:9,22 207:11
436:10	energy 196:9	168:2 170:5,8	essence 304:17	210:5 215:12
empiric 239:15	engage 19:12 20:18	181:17 182:8,11	418:15	216:13 226:9

227:2 228:4,5 229:1,2,3 252:9 470:2 471:22 472:7,8 evaluations 21:12 Evan 3:3 4:7 7:20 11:6 15:20 18:10 75:6 131:8 141:11 144:15 207:2 212:22 214:15,16 221:7 230:1 294:21 295:14 296:12 319:18 355:19 358:9 363:3 376:20 446:21 449:4 Evan's 281:20 event 176:10 337:18 383:6,11 392:15 419:2 451:17 events 170:13 171:5 198:16 345:19 383:13 everybody 5:6,9,15 11:7 19:17 100:7 126:14 129:9,13 129:20 181:6 204:14 205:21 219:12 224:17 230:21 248:8 309:19 356:4 360:22 361:22 365:9 389:11 392:4 394:13 everybody's 394:16 evidence 209:8 253:15 294:21 362:12 436:21,22 437:2 448:6 evidence-based 211:9 evidenced 443:22 evolve 24:17 139:21 evolving 434:9	443:9 exact 270:7 329:16 424:3 exactly 31:18 60:9 61:12 67:7 78:9 87:12 105:2 209:20 216:6 251:2 269:10,15 274:8 332:22 336:6 349:15,15 366:20 372:21 373:1 374:13 435:6 438:10 448:22 458:20 example 21:7 28:17 31:12 49:8 56:11 70:11 71:22 72:7 72:9 89:13 158:17 158:18 182:21 184:11 186:8 189:1 200:19 217:10 218:22 237:1,15 238:7,9 238:11 271:3 274:19 276:21 278:20 336:1 344:20 347:5 373:3 380:8 401:11 442:18 448:12 452:3 461:20 examples 104:16 314:14 excellent 18:19 107:8,10 exception 188:8 exceptions 41:7 170:16 171:9 excess 150:2 exchanges 117:17 excited 9:10 20:3 229:11 exclude 189:7 234:21 299:12 307:15,20 308:7 395:22 396:6	454:21 excluded 234:2,7 234:12,18 253:22 254:20 379:13 380:15 384:2 395:17 405:10 454:10,11,14 excludes 189:4,11 excluding 189:14 189:15 211:6 254:10,11 exclusion 234:2 235:1 350:16 400:14,20 exclusions 211:5 350:21 384:1,4 400:18 Excuse 339:22 executives 71:1 exercise 40:13,14 130:21 157:10,20 exist 44:4 144:6 147:6 263:10 existing 29:10 147:2 exists 127:1,17 427:18 exit 6:2 expand 426:18 expect 37:15,16 40:5 116:18 214:1 215:16 228:2 245:13 273:13 expectation 37:9,19 410:16 expected 159:21 227:7,16 243:14 365:19 366:13 395:3 410:14 411:6 expecting 217:16 313:19 expenditure 428:11 expense 456:2 expenses 262:10 expensive 121:14	180:8,11 256:20 269:2 283:8 302:22 308:12 328:10 391:15,16 395:13,14 452:22 454:2,15 experience 16:7 33:11,18 58:6,8 71:7 110:1 229:10 271:2 455:22 465:8 experiencing 68:14 expert 16:18 209:9 375:10,11 454:19 expertise 24:2 57:19 141:17,20 152:1 164:3 256:5 357:5 experts 12:1 expired 38:15 explain 126:22 318:19 331:5 340:13 explaining 179:9 254:17 332:10 explicit 122:5 exploratory 462:6 exponentially 46:7 express 217:7 expressed 115:21 437:12 expressing 415:4 421:16 extend 124:18,19 extensive 69:15 extent 49:20 119:1 119:8 161:16 210:17 321:4,5 358:8 413:14 432:6 external 24:7 26:18 455:18,20 extra 180:2 extraordinarily 454:15 extreme 336:1	extremely 41:3 192:15 395:13 extremes 412:7 413:6 <hr/> F <hr/> FAAN 2:9 FACC 2:16 face 119:20 339:2 351:10,11,20 352:5,16 353:11 353:18 360:11 409:5 430:18 434:17 440:20 faced 162:12 192:2 faces 115:12 321:10 facilitate 58:10 213:3 facilitating 433:12 facilities 192:12 193:2 233:14 359:10 406:3,13 452:9 facility 186:10 253:17,18 279:9 387:4 388:9 facing 324:10 FACP 2:2,7 FACS 2:12 fact 27:16 28:7 32:13 46:12 71:15 94:17 105:22 155:8 166:14 185:1 204:5 242:16 248:10 294:5 303:1 308:19 317:18 354:1 374:3 377:16 382:4 436:13 445:16 451:5 461:13 factor 202:10 318:21 435:1 factors 177:13 232:12 237:6 271:16 288:16
--	---	---	--	--

304:3 342:8	fair 71:21 72:5	297:11 357:20	410:2	237:18 289:2
373:22 374:1,2	214:19 258:12	358:2,8,12 409:18	fellow 10:21	313:20 403:9
378:18,20 430:2	303:6 414:4 458:9	409:19 460:12,22	felt 63:3 221:21	453:13
fade 172:10	fairest 303:10	461:2	250:9 252:8 253:2	finding 57:10
fail 272:1	fairly 65:6 118:5	feasible 62:17	253:11,21 254:4	111:11
failed 388:11 410:5	203:14	277:16 447:10	276:4 302:7 393:4	fine 166:13 251:4
417:15	Fairview 2:2	feature 7:7 224:7	416:2,4	321:20 359:6
failure 4:20 151:12	faith 271:20	features 121:11	fewer 244:6 283:12	420:6 440:9
188:10 234:19,21	fall 220:13 238:15	February 149:12	308:10 309:9	fingers 186:5
238:10 239:10	250:12 359:14	federal 38:13 43:22	317:1,1	finish 267:12
240:16,18,21	falling 257:7	51:14 52:13 54:7	field 21:19 23:6	firm 107:22 471:2
245:7,8,9,12,14	falls 293:16 356:8,9	55:19,21 56:21	36:12 44:4,17	first 5:20 27:2,4
245:19 246:11	357:16 363:9	88:19 142:16	45:3,5 49:7 55:12	31:5 37:12 38:19
247:3,5 254:16	409:16 410:8	150:9,21 158:18	56:18 59:17 63:16	42:8 44:7 47:7
277:5 290:11	false 104:1	160:15 217:13	64:5 69:17 85:8	65:3 69:5 85:18
310:5,7 325:13	familiar 21:17	234:9,10	85:22 90:20 91:19	89:8 96:15 114:6
342:13 344:20	214:9	fee 68:12 406:5,6	101:10 103:3	117:4 123:15
363:16 364:7,9,11	families 143:8	fee-for-service	105:8,10,14,21	127:2 130:11
364:13,17 365:9	147:8,14,18 157:8	171:22 233:4	123:5 139:14	148:2 149:4 159:9
367:21 368:15,19	family 2:8 82:19	234:4 396:21	152:21 212:17	174:4 175:4 179:8
369:19,21 370:22	125:12 141:14	397:6	232:17 289:10	189:10 200:11
371:2,3,4,8	144:1,3,7,20	feed 36:21 287:22	346:4	204:22 220:19
376:14 379:6,16	145:11 146:12	feedback 24:16	Fielding 2:10	221:3 225:7
380:8 382:5,10,17	148:2,18 149:2	207:14 222:15	figure 24:21 61:11	237:20 239:4
383:1,10 384:6	150:12 152:16	467:1	68:6 93:13 162:21	246:12 248:17
385:1 386:19,22	157:13 158:8	feeding 277:14	164:2 170:18	253:9,17 261:4
388:21 389:4,6	fancy 121:14	feeds 386:7	283:16 304:15	262:2 264:17
391:13 393:1	fantastic 191:8	feel 19:5 39:11 48:2	322:10 375:16	267:3 277:10
394:1,3 395:7,10	193:4 204:15	48:9 57:14 63:17	figured 168:21,22	278:14 282:18
396:1,4,4 400:18	far 20:1 23:7 82:5	68:4,21 90:11	169:13	285:19 299:19
402:7,12,13,18,19	83:1 127:22	216:11 242:6	figuring 300:12	311:16 369:7
404:20 411:3,22	129:10 167:18,22	262:20 303:10	375:11	371:1,15,18 372:8
412:8,9,17 413:1	168:7 216:9 225:6	312:3,4 318:1	file 405:9	376:10,21 404:11
413:2,6 414:2,14	300:12 311:11	339:5 348:5	fill 219:1 231:21	414:19 422:12
416:21 417:1,5	339:6 359:13,16	395:22 397:16	filling 144:4	469:1
419:5,6,8 420:4	374:1 433:5 449:6	398:2,7 400:3	final 10:17 30:9,19	fit 23:3 82:10 95:20
420:11,22 421:7	467:17	437:1 439:14,19	38:16 76:11	211:12 218:20
422:20 423:20	far-reaching 9:9	447:19 461:14	177:18 295:8	277:16 426:10
424:1,7,8,20	fashion 200:1	465:5 472:15	466:9 469:12	fits 9:12 23:20
428:5,6,9,22	219:8	feelings 322:16	finally 87:14	25:11 136:5
429:12 430:10,11	fast 159:3 170:17	feels 33:13 263:9	134:14 150:16	362:22
446:11 451:14,20	fault 127:15 425:17	436:20 443:18	160:13 212:20	five 24:19 64:2
452:2,6 453:3,9	favor 34:13 170:12	444:13,14 451:2	213:5 228:11	141:1 207:18
453:18,19,22	feasibility 59:9	451:10	find 10:20 19:7	239:22 247:20
455:15 456:5,9	207:21 208:10	fees 406:5	57:3,13 73:5	257:5 365:13
457:2,9,11 461:20	212:3 220:21	fell 135:14 298:8	86:22 97:7 233:19	393:3

five-day 257:8	209:10	263:12 309:20	188:2 282:8	219:10 260:10
five-step 149:3	force 143:18,21	349:11 375:21	frequent 188:7	429:4 437:12
five-year 43:21,21 47:11	144:8 149:6 370:2 425:5	381:6 403:21	403:2	448:5 457:4
fixed 419:14	forced 388:16	Foundation 50:10	frequently 445:18	future 20:7 33:6
flag 135:15	forceful 60:15	87:11 113:18	470:21 471:6	44:1 45:9,12,13
flare-up 136:21	forces 143:7 426:4	four 63:13 64:22	fresh 27:7,9	135:20 140:6
flavor 448:19	foregoing 129:16	65:2 69:20 102:1	Friday 112:9	153:15 154:6,20
flesh 12:9 413:12	206:3 363:17	133:18 134:7	front 23:10 27:19	157:1 177:20
flip 186:4	473:3	135:9 136:11	28:4 38:6 73:4	195:4 227:6
flipping 149:15	forged 72:16	143:2,12 144:10	88:6 123:9 141:20	373:17 427:8
floor 1:18 359:4	forget 53:13 268:16	151:1 247:20	213:18 214:8	
fluid 413:3	forgive 325:16	249:2 257:5,7	224:8 229:15	G
flux 466:11	forgot 460:16	261:7,12 269:11	262:5 294:20	gag 163:2 185:20
fly 32:15	formal 7:12 37:6	296:16 319:21	300:16 310:16	gained 340:13
focus 15:1 47:11	formalize 183:11	329:19 330:3	358:2 383:16	412:6
67:18,22 127:2	format 39:15 246:3	342:8 355:22	423:1	gains 161:14
162:6 179:11	293:9	356:7 358:12	fronts 164:4	game 42:18 43:12
213:10 259:6	formed 72:21	360:18 390:15	frozen 95:3	gamma 241:1
276:11 376:12	forming 52:19	391:22 393:3	fruitful 129:9	365:11
433:15	465:18	394:11 399:7,17	fueling 272:21	gap 67:19 68:22
focused 51:17 67:3	forms 162:15	409:7,11 426:16	full 20:2 22:8 23:8	gaps 20:9,9 23:13
140:11,16 145:16	formulation 120:19	459:15 461:2	24:12 25:14 26:15	41:18,22 45:7,8
161:21 163:18	forth 163:4 281:3	469:3	227:17,18 258:11	55:1 66:22 67:16
228:9	405:6	fourth 105:16	342:19 389:22	84:17 141:22
focusing 109:1	fortunate 226:3	fraction 330:19	fully 12:8 163:11	144:3,5 145:15
118:19 256:3	forum 1:1,18 94:7	fragmented 223:3	236:10 291:6	147:10,14 150:13
388:16	forward 19:5 24:15	frame 96:3 322:14	322:7 423:15	152:3,15 168:7
folks 45:2 58:12	54:11 56:14 58:13	framework 77:13	451:7	Garrett 2:6 67:14
62:22 75:20	61:1 67:11 68:6	77:18,21 78:17	function 45:17 55:8	67:15 74:1 76:1,2
101:20 103:14	99:6 108:12	82:8 88:22 101:5	68:1 281:9 426:1	79:17,18 92:15
104:4 105:3 234:6	109:16 116:17	105:7 131:3	functional 151:12	94:8 96:16 97:17
257:7 283:6,8	136:3 138:4 154:2	136:10 139:2,11	151:14	99:7,8 109:17
329:9	156:21 158:3	165:16 168:15	functionality 61:7	121:4 134:21
follow 95:18 209:2	162:7 169:4,5	173:21 178:8	functions 94:16	177:11 256:9
209:4,8 318:15	195:9 216:12,13	frameworks 22:13	fundamentally	258:7 259:15
349:14 413:8	221:21 260:20	22:14	145:1	338:13 384:18
follow-up 136:22	297:15 310:14	framing 78:5 90:12	funded 50:11 442:2	385:6 397:10
259:9 441:8 454:6	319:14 356:11	109:13	funders 57:22	398:20 404:12
followed 8:21	357:15 409:17	Frank 390:2	funding 29:22	435:8,20 457:16
191:1 208:6	417:16 421:12	frankly 38:12	51:19 56:22 87:11	461:10 463:4
230:16	433:6 434:16,19	55:10 58:4 382:21	442:11,19,20	465:1,12
following 105:4	444:6 463:14	420:14 468:9	443:1,5 445:20,21	Gaussian 239:21
347:4 388:3	foster 196:3	free 19:5 197:17	funds 123:12	365:8
449:17	found 32:10 110:17	400:4 455:9	fungible 424:16	Gelzer 2:7 15:13,13
follows 208:3	187:10 188:7,12	472:15	furious 159:3	45:22 79:19,20
		frequency 187:18	further 156:6 184:6	91:2,22 92:11,14

116:22 176:5	26:7 33:9 70:5	78:21 81:20 83:7	439:10,15 444:8	163:10 168:14
284:1,4,8,13	79:2 86:9 105:19	83:13 91:2 92:10	444:22 449:13	169:14 175:4,15
421:1,18,22 422:4	105:20 131:14	94:13 96:12	450:3 454:5 455:3	176:8 177:2
gender 243:6	133:15 139:13	100:11 103:20	460:5 462:1	179:17 180:18,22
342:10 404:13,16	142:1 173:12	110:19 112:20	467:17 468:19	182:17 183:16
404:18,21	189:1 195:11	115:14 120:5,22	469:13	186:3,18 187:3,22
general 29:13	199:2 202:19	121:12 122:22	goal 22:3 30:8	191:20,21 194:11
50:20 72:4 81:13	203:1 224:21	123:13 127:20	32:22 35:13 40:10	194:19 195:5
91:19 110:8,21	281:8 283:15	131:4,10 136:6	41:4,5 42:6 50:6	196:9 198:22
136:18 145:19	285:13 335:22	139:17 140:7,20	107:15 142:10	200:5,12 204:19
154:9 170:12,18	346:12 348:19	142:17 144:14	154:16 217:19	206:7,8,21 207:3
193:10 196:10	381:2 389:22	152:19 164:11	232:2	209:19,20 213:15
222:1 230:22	417:17 424:4	173:4 175:11	goals 145:8,10	214:7 225:4,7
249:5 255:12	426:14 440:10	176:14,14 180:7	146:12 417:8	231:7 238:9 246:3
294:10,14 302:4	454:13 461:17	180:10 197:18	goes 123:12,14	248:16 250:18,22
312:1 379:1	given 27:16,16 28:7	206:20,21 207:1,3	206:9 216:9	256:17 257:20,22
445:21 451:1	56:4 84:20 108:3	208:8,12 210:7,9	238:18 307:6,7	259:5 260:20
generalized 239:20	108:7 140:18	212:22 214:7	336:3 389:12,20	263:7 265:6
240:22 365:7	146:1 148:18	216:4 224:13,20	390:5 406:1	266:14,16 267:22
generally 42:14	215:2 228:16	225:4 229:19	419:15 450:9	270:11 276:2,19
65:7 134:15	287:3 290:3 291:8	247:18 248:19	466:7 468:15	278:16 280:3
208:18,20 209:10	322:18,21 338:20	251:1,5 252:9,15	going 6:5,7 9:11,11	285:6,10 286:5,9
209:17 211:18	350:5 354:7	252:21 253:4,6	9:13 10:8,10,12	288:3 293:14
212:9 250:9 298:1	377:16 386:9	261:2,9,17 263:22	19:19,22 20:9	296:4,11 297:8
316:11 426:2	416:16 417:1,5	263:22 264:1	21:19 23:19,21	298:17 303:2
generate 431:14	420:18 433:21	273:21 276:3	24:20 26:22 27:18	304:21 317:10,19
generated 417:19	445:21	277:4 283:10	28:8 31:16,20	323:10,19,21
generating 52:22	gives 279:5 309:18	295:21 296:4	34:2,4,6 36:1,19	324:5,14 326:12
geographic 232:12	317:2	300:19 307:10	40:16 43:11,14,15	326:16 327:8
236:2,5,12,13	giving 47:4 108:8	309:22 311:21	46:6,13 47:12	328:1 329:6,20,22
237:6	207:14	313:7 315:13	48:13 50:21 53:20	331:14,19,20,21
getting 21:11 48:9	glad 8:17 26:3 71:5	318:1,9 323:10	54:1,20 56:18	335:4 337:20
62:13 85:16 95:8	167:8 273:13	335:4 339:17,21	60:3 62:11 64:2	338:10 339:6,8,17
98:20 107:12	glitch 394:17	340:1 342:9	65:1 66:20 67:18	342:19 347:20
108:7,10 113:2	GLM 365:11	343:22 348:15	74:5,15 75:4,5	348:8,10,13,14
124:6 174:4	global 96:9 98:1	350:8 358:9 361:1	77:10,14 78:19,20	349:1,21 354:3
198:22 199:19	168:1 169:15	361:10,10 363:10	81:20 83:16 90:5	355:16 357:18
200:21 212:18	170:5,12,19 182:1	363:11,21,22	92:8 100:2 106:13	358:1 359:3
231:22 264:22	197:15 200:17,20	364:14 368:3	107:1 111:21,21	360:10 364:7
286:4 316:22	382:19	385:16 392:5	114:7 118:1	369:8 370:15
327:22 330:5	globally 34:20	405:6 408:16	120:15,16 123:2,7	371:5,10,13
331:20 341:12	go 5:20 9:14,17,18	410:5 417:22	124:9 125:2,9	375:15,16 381:22
420:11 442:11	10:4 12:5 16:11	418:14 421:12,19	129:11,21 130:11	385:3,21 386:2
451:16 468:2	20:12 25:7 45:8	421:22 422:12	131:14 136:3	390:1 391:5 414:1
GI 151:11	49:7,15 51:19	427:8 432:21	140:17 154:2,2	414:2 419:17
give 12:8 21:19	55:12 67:11 75:12	433:5 435:4 436:7	159:7 161:18	421:1,2 429:18

432:5,7,8 433:2	457:14 467:10	414:13 417:2	92:2,5,17 94:18	guidebook 300:10
434:14,19 435:2	goodness 211:11	418:5 431:13	96:19 98:6 176:12	guidelines 456:6
435:11 443:3,17	473:1	greatest 431:22	grouping 167:17,18	guys 27:1 75:21
450:2 451:18	gotten 161:10	green 238:10,16	groups 23:22 24:3	81:18 204:16
452:8 455:2,5	government 54:18	ground 5:22 111:12	49:16 54:9 67:6	383:18 390:6
456:8 457:21	grab 75:9 230:8	161:18 197:21	72:2 96:8 142:6	407:9 409:21
458:19 461:17	grabbed 428:2	group 2:6,12 12:4	143:19 144:22	426:22 437:18
463:8	gracious 473:2	13:16 16:5 17:17	145:2 146:5,9	460:9,17 472:21
good 5:3 10:21 11:7	graded 463:1	18:22 21:8,17,21	160:11 168:22	gynecological
12:19 13:22 14:15	graduate 160:18	24:1,14 27:7	169:7 170:3	151:11
15:9,16 17:15,21	granularity 274:15	28:20,20 30:10	185:20,22 186:8	<hr/>
26:5,6,20 40:9	gravity 425:19	31:8 32:5 36:16	187:4,5 189:13	H
48:19 53:7 58:11	gray 222:2,8,12	43:14 44:22 50:13	198:10 274:21	half 27:3,7 179:8
70:5 71:4,6 74:12	great 11:3,6 14:4	50:20 52:7,9	347:19	280:20 403:5,7
85:5 87:1,2,3	16:10,22 17:5,13	55:11 57:8 61:20	guarantee 106:10	halves 407:7
98:13 103:10	17:19 18:6,9 20:1	62:2 69:7 76:15	387:14	Hammersmith
104:12 113:7	22:6 47:2 48:7,11	76:16 84:12 86:6	guess 65:15 70:3	8:16
114:4 117:20	49:17,18 66:15	87:8 91:6,15 96:2	82:9 92:7 177:6	hand 34:7
120:2 166:1	75:3 78:18 81:9	97:16 105:3	181:21 182:18	handed 277:1
175:20 184:1	92:14 96:18 99:8	106:17 109:18	192:3 232:4	handle 10:2 13:10
187:22 189:22	100:10 121:3	118:6 139:22	239:10 273:14	163:9 225:14
202:22 224:19	124:4 125:22	141:18,20 145:1,3	291:21 306:3	302:5 303:10
230:14,21 240:1	126:1 129:8	145:5 146:3,4	311:22 313:21	386:9
247:12 248:15	183:14 190:22	151:22 153:3	318:16 334:8	hang 76:21 447:1
252:11 253:20	193:5 196:11	158:12 171:19	338:6 348:1 360:4	happen 19:13 25:2
255:14,14,17	198:5 203:8 205:2	172:2,5 189:12	377:16 378:9	37:20 41:22 98:14
256:22 258:1	205:12 207:2	222:15 227:12	380:2,11 387:3	186:3 241:9 242:7
261:17,21 265:8	218:16 219:9	256:5 351:7 357:5	394:15,21 400:7	242:9 308:14
270:22 271:7	222:20 231:14	390:8 412:16	403:16 422:2	339:9 384:2
272:15 274:13	252:22 253:8	413:6 414:3,10,20	437:15 451:12,15	420:12 438:11
280:14 289:17	255:13 267:14	416:13,14 417:4	460:17 467:17	452:1
294:16 296:2	281:12 292:18	417:14 419:9	469:10	happened 33:13
297:14 299:16	294:2 295:1,16	455:21 456:17	guidance 29:11,14	353:4 425:16
300:11 306:1	296:18 368:7	464:8 466:16	33:6 60:14,20	440:4,6
310:9,10 323:1,3	377:4 381:1,9	470:15 471:3	62:6,7 73:18	happening 101:9
324:17 332:21	384:16 398:11	group's 55:4	106:18 107:2	186:16 190:8
337:14 338:19	404:9 405:22	417:12	109:7 139:14,20	196:5 221:19
348:19 349:9	406:18 409:20	grouped 168:5	139:22 154:22	277:8 286:3,7
358:19 361:5	427:9 444:18	grouper 83:4 86:2	155:11 177:19	388:13 428:15,20
363:2 375:5,15,18	463:6 468:18	86:6,7,8,10 91:4,6	189:22 215:4	happens 53:18
384:20 388:21	471:16 472:21	91:8,11,16,18	219:11 228:5	100:4 171:4
398:16 416:15	greater 152:6	93:7,9,14 94:1	302:6 353:19	187:21 250:5
420:6 427:13,15	220:11 285:10	96:1 97:19 134:11	354:8 462:14	293:2 344:16
431:19 432:4	305:7 316:18	134:12	463:14 464:10	437:7 439:22
433:12 434:3,5	410:15,18 411:9	groupers 76:17	471:5	465:17
445:17 456:13	411:10 413:16	85:18,21 91:9	guide 239:17	happy 19:11

267:20 280:11	115:15 117:18	240:21 245:7,8,9	8:17 11:5 18:11	386:6 432:22
303:2 329:1,3	119:4 124:15	245:12,14,18	19:16 32:2 316:9	hierarchical 29:2
470:11	132:18,19 179:20	246:11 247:3,5	470:11	208:3 302:9 303:8
hard 34:5 59:14	185:22 186:4,12	254:16 277:5	Helen's 31:2	high 43:15 84:18
104:21 114:16	187:3 233:16	310:5,7 325:13	help 19:11 21:1	121:22 164:18
127:13 163:17	238:13,18 239:18	331:12,13,18	37:20 44:1 57:8	176:19,22 187:4
170:17 190:11	282:5	332:13 336:3,7	57:11 58:10 68:5	208:21 219:18
197:18 221:15	healthcare 2:17,19	342:12 344:20,21	74:7 109:7,14	255:20 258:10
339:12 342:7	13:13 14:17 86:18	345:14 363:15	163:7 175:18	261:11,12,19
357:10 385:8	113:21 114:5	364:6,9,11,13,17	194:13 273:15	285:17 287:17
397:14 416:14	116:5,8 118:2,21	365:9 367:20	275:16 276:20	294:13,20 295:5
424:20 462:19	121:9 125:11	368:14,19,20	318:19 357:5	295:18,22 296:16
Harlan 3:11 274:10	149:9,20 150:4	369:21 371:3	367:15 370:15	296:21 315:2
290:7,18 414:6	162:12 174:4,10	376:14 379:6,16	373:18 415:5	319:22 320:5
418:16	179:6 180:17	380:8 382:5,10,17	440:4,10 456:8	356:1 358:13,17
Harlan's 277:2	192:12 193:1,16	383:1,10,22 384:6	helped 429:5 444:2	360:4,19 361:3
280:12 417:21	194:1,14 195:3,6	384:22 386:19,22	helpful 57:8 92:21	386:7 387:5
harm 434:6	231:11 415:7	388:21 389:4,6	195:2,18 202:4	390:11,16,17
harmonization	425:3 426:5	391:12 393:1	250:2 306:5 374:5	391:8,9,21,22
23:5 31:17 34:19	healthcare-associ...	394:1,3 395:7,10	413:12 427:5	392:6,7 394:11,17
207:22 208:12	148:3	396:1,3,4 400:18	439:18	398:10,14 399:8
259:16	HealthPartners	402:7,11,13,18,19	helps 16:14 210:3	399:11,17,21
harmonize 260:6	35:4,11 68:17	404:20 411:3,22	258:7 366:18	407:14 408:11
394:2	133:10 189:2	412:8,9,17 413:2	hemodynamic	409:12 436:17
harmonized 386:21	hear 69:8 123:4	413:6 414:2,14	455:14	459:15 461:2,6
393:15	167:15 248:16	416:21 417:1,4	Hennepin 2:6 76:3	466:2 469:4,7
harmonizes 254:6	273:13 288:15	419:5,5,8 420:4	179:20	high-cost 183:2
310:2 386:12	292:8 314:7 317:8	420:10,22 421:7	Herb 13:11 100:11	187:21 188:7,13
harmonizing	322:3,8 328:13	422:19 423:20	107:18 111:9	208:21 432:5,7
109:12	329:1,3 438:13	424:1,6,8,17,18	292:9 293:13	high-deductible
hat 47:6 75:5	heard 49:18 73:11	424:19,19 428:5,6	Herbert 2:17 81:5	117:18 124:15
hate 259:10	101:20 102:2	428:8,21,22	107:7 126:7	high-dollar 165:4
HCC 288:22	125:4 175:5	429:12 430:10,11	heterogeneity	high-frequency
HCPCS 237:17	368:12,12 369:11	446:11 451:13,20	266:13 268:19	188:13
head 43:9 135:6	396:13,14 400:17	452:2,5 453:3,9	419:16 424:14	high-impact 85:8
174:6 410:4	401:20,22 416:11	453:18,19,21	441:4	147:20 153:9,19
472:16	418:14 419:9,15	455:15 456:5,9	Hey 17:7	high-level 86:9
headcount 10:17	439:15 447:21	457:2,9,11 461:20	HHS 45:11,16	106:2 107:9
headed 183:21,22	457:3,4	heath 123:19	52:10 55:1,2	109:10
heads 292:8 312:7	hearing 291:18	heavily 104:20	88:18 142:4,16	high-leverage
357:7	344:13 427:1	234:15 239:3	143:14	141:22 145:15
headway 105:11	465:6	353:6	hi 12:13 14:12	149:13 150:1,7
health 2:2,6,10,12	heart 4:20 14:5	Heavy 18:22	15:13 16:2,12	151:1,8,22 164:14
2:14,17 12:14,21	151:9 188:9	held 149:19 169:20	17:15 49:2 73:22	164:21
13:16 15:17 17:3	234:19,20 238:10	255:10 422:22	76:1 107:5 141:11	high-need 183:2
17:17 19:1 42:22	239:9 240:16,18	Helen 2:20 4:4 8:12	193:7 231:3 337:8	high-priority 249:6

261:21 377:12	homes 66:15 169:8	290:13 291:4	378:10 383:11	418:8 423:13
high-quality	homework 472:5	292:15,18 293:7	393:1 419:1,8,13	429:13 430:15,16
446:14	honest 105:8	303:18 304:2,8,14	420:5 425:11	431:12,18,22
high-stakes 222:18	honestly 323:14	305:9,9 306:11,12	465:17	432:15 458:5
higher 148:18	hook 162:17	306:13,18 307:10	hospitalizations	hotel 472:15
199:14 215:17	hope 7:17 10:18	307:11,11,12	393:2 411:3 421:6	hour 129:14 179:8
216:3 218:9 274:5	20:13 25:6 47:15	308:4,11,12,13,14	hospitalized 384:8	hours 96:11 306:14
275:6,13 285:12	117:9,13 119:17	309:1,3,7,15,15	384:14 428:22	307:9
304:1 308:21	162:1 196:2 276:9	309:22 315:17	hospitals 16:14	house 280:12,17,19
326:2 334:17,17	286:8 366:3	318:21 319:2	71:2,8 119:3	housing 180:6
385:8 391:6	385:12 388:1	321:10 324:12	123:5 125:17	Huff 2:5 17:14,15
452:11 461:18	467:16	326:17 327:9,10	168:20 170:14	17:16 41:15,15
higher-cost 275:5	hoped 223:4	328:7 329:19,19	171:6 174:14	79:15 193:7
higher-risk 340:16	hoped 223:4	330:2,3 331:15	176:12 185:19,21	432:22
highest 269:2,4	hopefully 8:4 33:18	336:12 338:6	232:18 244:3,6,11	huge 324:5 339:1
285:8 353:20	33:21 75:16	339:9 340:14	244:14,21 245:1	354:18 420:5
354:6 409:5	117:22 143:18	342:22 344:16	245:11 256:16	hugely 116:22
highest-leverage	147:1 192:19,22	345:1 347:9,21	257:15 258:5,12	hundred 328:8
146:21	204:15 280:4	354:20 365:19,20	258:17 259:2	hung 382:1 383:14
highlight 83:17	347:16	366:2,2,3,12,12	260:7 263:13,21	hungry 460:20
101:11 248:22	hopes 277:7	366:13,14,20	264:9 269:1,4	468:20
highlighted 282:14	hoping 73:13	367:2,5,7,8,18,20	274:3,5,21,22	hurt 432:8
highly 333:10,17	146:13 273:15	369:1,14 375:12	275:6 276:19	hurts 366:18
Hill 45:17	292:20 382:21	379:21 393:6	277:14 278:7,14	hypertension
hip 134:13 138:17	hospice 233:16	398:9,10,12,14,17	278:20 279:13	344:11
191:15	262:17	413:15 415:17	280:3,4 281:21	hypertensive
histogram 239:4	hospital 2:15 17:3	416:9 420:12,17	283:16 285:2,3,7	424:17,19
historical 337:16	29:3 65:18,21	425:2,17,18 426:2	285:9 288:4,14	hypothesis 110:4
345:7	66:3 69:21 70:12	427:21 428:6,9	290:12,15 291:1	
historically 46:8	70:20 73:10 76:5	429:9,19 430:7,20	292:17 293:9	I
159:18	110:15 125:14	430:22 458:5	304:18 305:2,4,6	i.e 211:21 213:11
history 243:4	148:8 160:5	hospital's 279:1	308:9,9,10,17,18	222:13
332:12 370:8	174:16 176:15	330:12 365:21	309:1,5,5,8,9,11	ICD-9 241:22
372:7	180:8 181:9,21	hospital-based	317:1 319:4,8,11	266:2 343:12
hit 159:6	198:16 233:15	168:2 263:13	321:19 324:9,22	364:12
hoc 19:10	234:9,11 235:11	hospital-focused	325:19,20 326:9	ICD-9s 402:14
Hochberg 79:21	235:12,15 237:2	66:7	326:12 327:5,7	ICU 388:5
hold 69:1 75:11	241:12,16 242:7,8	hospital-level 4:17	328:5,7,8 329:8	ID 279:18
119:9 168:16	242:10,12 243:8	4:19 232:2	335:17 336:17	idea 26:6 35:14
169:7 170:2	243:14 245:10	hospital-specific	338:21 340:15	36:6 40:6 48:10
189:13 374:21	248:2 250:4,6	243:13 277:13	346:5,12,21	48:11 95:7 121:8
380:21 420:8	254:21 255:2	278:21 365:18	348:19 349:5	125:21 199:18
home 223:10	262:10,17,21	hospitalization	357:3 366:1	222:1 331:13
233:16 238:13,17	264:4,20 271:6	132:5 134:18	377:18 383:1	385:7 398:13,15
282:5 336:3	272:2,8,9 276:21	232:5 242:13	388:14,15 393:19	416:20 420:21
363:22 455:9	279:11 281:17	298:5 369:7	412:6,21 417:17	457:9,13

ideal 258:19	151:13,14	167:18 168:1	263:15,15 285:22	233:12 244:22
ideas 153:7 427:16 456:13	imperative 166:18 167:5	172:4 175:12	in-person 46:20 47:19 141:21 446:20	268:6 289:20 320:21 327:14 387:20
identical 214:22 334:15 335:2	imperfect 370:19 372:1	178:3 180:16	in-the-room 223:5	inclusion 350:16 400:14
identifiable 335:19	impetus 276:11	187:16 188:12	in-the-trench 70:22	inclusion/exclusion 379:12 400:9
identification 144:3	implant 395:15 452:17	193:10,13 197:6 201:8,21,21	inappropriate 419:4	inclusive 424:10,11
identified 42:1 44:6 151:1 153:19 160:20 219:13,22	implantable 452:8	202:14,18 208:5 210:11 252:8 256:4 259:8	inbred 26:17	incorporate 190:11 266:11
identify 23:12 34:21 127:19 128:19 133:20 146:21 149:12,22 161:22 202:9 220:5 303:22 337:17 364:12	implanted 380:10	262:19 283:15 287:6 289:6 302:8 307:18 308:7 323:22 334:19 335:9 342:20 382:5 383:17 384:8 416:5 420:2 420:4,13 422:20 427:13 430:10,12 431:9 439:12 451:13	incarnations 218:6	incorporated 199:22 298:7
identifying 41:17 405:15	implementation 11:21 73:18 463:14 471:5	382:5 383:17 384:8 416:5 420:2 420:4,13 422:20 427:13 430:10,12 431:9 439:12 451:13	incented 458:5	incorrect 173:13
ignored 321:20,22	implemented 214:4 310:19 311:10,19 317:19 319:17	importantly 42:21 162:10 210:3	incentive 364:3 424:6 446:14	increase 288:12 294:6
ignoring 125:10 266:20 453:16	implication 420:1	importance 22:19 49:21 194:5,12 207:19 208:4,20 210:8 220:19 249:3 251:7,20 255:18 256:7 259:6,12,14 260:19 262:3 267:11,18 295:9 296:7,10,15,22 362:1 376:17,19 377:2,5,6,10 380:22 381:8 385:19 391:21 394:10 399:14,16	incentivize 263:2 265:4	increased 20:14
II 1:5 5:5 136:20 137:20	implications 30:21 111:22 301:12	imprecision 415:13	incidence 379:21	increases 35:21 36:3
III 138:1 140:15 442:1	importance 22:19 49:21 194:5,12 207:19 208:4,20 210:8 220:19 249:3 251:7,20 255:18 256:7 259:6,12,14 260:19 262:3 267:11,18 295:9 296:7,10,15,22 362:1 376:17,19 377:2,5,6,10 380:22 381:8 385:19 391:21 394:10 399:14,16	impressive 11:14	include 7:16 66:8 68:19 134:22 140:20 177:21 190:13 198:19,20 199:2,12,18 233:5 233:21 234:1 238:15,19 242:16 244:3 264:3 289:18,21 290:5 307:19 309:10 322:20,21 327:21 369:3,8 389:3 397:7 400:19	increasing 415:9 453:17
illness 378:14,15 418:19	improvement 9:21 18:1 127:10 142:11 207:7 215:20 217:18 225:16 249:11,22 262:2,6 273:5,17 274:9 281:9 286:17 287:2 294:17,19 295:2,6 378:12 392:9 394:9	improve 16:14 128:7 166:19 167:4,8 225:21 274:18 275:18 276:20 456:15	included 22:18 96:3,5 158:14 178:1 233:8 237:3 245:10 255:7 266:2 278:9 291:17 305:2,3 369:1,16,20 375:6 380:12,17 401:12 401:15 406:8,10	increasingly 57:2 72:6 73:14 179:12 426:4,11
illuminated 436:21	important 11:17 23:7 24:6,21 25:18 49:5 51:16 62:16 63:5 84:16 88:8 97:15 106:16 113:16 114:20 115:10,13 116:13 117:1,10 121:18 126:15 127:18 160:1 166:4	improvements 166:15 227:4,6 280:10 318:21	includes 36:14 208:7 303:17 350:10,15 354:4 397:6 408:2	incredible 263:14
illustrate 147:17 440:5	illustrative 28:16	improves 33:18	including 125:6 144:1 146:18 151:8,15,18 211:1 211:2 233:11,12	incredibly 382:5 390:16
illustrative 28:16	immediately 425:10	improving 95:4		incubator 57:17
imagine 317:6	impact 43:16 55:2 74:6 84:19 111:6 117:8 150:20 155:3 177:14 183:4 217:18 290:13 324:5 362:8 446:10			independent 233:18 407:20
imaging 72:9				indeterminate 455:11
IME 160:19				index 173:1 233:8 234:13,13 235:4 235:11,12,17 236:6 237:6,11 238:8 241:8 242:13,22 245:12 246:8,9,15 247:4 279:4,6,19,19 284:12,18 298:4 307:8 327:15 337:18 343:2 345:5,6,17,19 364:21 368:18 369:4 371:16,19
immediately 425:10				
impact 43:16 55:2 74:6 84:19 111:6 117:8 150:20 155:3 177:14 183:4 217:18 290:13 324:5 362:8 446:10				
impairment 151:12				

372:13 375:21	98:6 104:10	242:17 247:2,8,9	394:12,18 399:8	interest 4:5 8:20
378:9 384:2	105:21 112:3	247:9 263:9 282:3	399:12,18 408:12	11:4,12 75:21
386:20 387:18	115:2 117:20	282:4,5 284:5,19	409:12,16 410:7	113:19 119:18
419:2,8 420:15	129:5 133:16	284:20,21 307:2,3	436:20 437:2,10	426:8 435:3
451:17	151:6,19 159:20	307:5 385:1,20	440:3 448:6,10	interested 14:8
indexed 207:15	163:5,11 166:11	393:8,9 421:6	451:2 459:16,18	154:4 260:14
indicate 7:2 226:21	166:13 186:2,7,13	428:4 458:3	461:3 469:4,8	interesting 108:17
228:11	195:13,17 197:14	461:22	insufficients	110:4 116:1
indicated 6:14	198:14 200:15,16	inpatients 446:16	436:15,19 448:17	118:17 250:7
232:11 267:6	200:17 201:7,13	input 4:11 6:19	insulin 236:16,18	385:10
469:22	202:20 203:1	9:15 21:20 22:12	236:20	interestingly 247:5
indication 312:15	204:16 214:2	22:16 23:21,22	insurance 115:15	intermediary
indicator 386:11	220:2,16 266:18	24:8,14 26:18	124:6 204:3	124:13
indicators 393:22	266:21 272:8	72:1 104:20	429:22	internal 108:5
indirect 232:13	276:20 277:14	120:18 130:1,13	insurer 162:16	353:8
236:7 237:7	278:8 279:6	131:6 141:21	integrally 60:4	internally 156:5
individual 23:9	283:16 291:18,22	142:6,19 143:5,13	integrate 58:19	453:11
25:9,11 36:2 61:5	292:3 332:3,15,18	145:18 146:1,3	59:21 190:4 418:3	internist 230:22
67:5 87:21 94:22	333:1 334:20	221:18 224:1,2	Integrated 2:18	interpret 166:6
114:11 160:11	337:11 342:21	225:22 454:16	14:16	240:2 276:18
178:9 219:2	352:12 361:13	inputs 108:3 153:2	integration 154:11	290:9 350:19
324:10 340:18	451:6 466:17	197:19	intend 198:4	interrupt 250:15
365:19	470:18	insert 408:1	intended 38:7	324:13
individuals 69:6	informed 30:15	insight 26:7 415:6	91:17 163:20	interrupted 251:3
114:10	36:11 52:11	416:19 417:11	218:11 265:7	interval 278:4,6
industry 14:10	120:11	instability 455:14	311:15 463:10	359:20,22 392:19
163:4	informing 30:11	instance 116:6	466:14,22	intervention
inebriated 180:10	54:12 148:11	290:10	intending 6:10	347:15 412:11
ineffective 332:10	375:14	instantaneously	211:8 458:17	interventions
inefficient 192:15	infrequent 453:1	124:15	intense 47:12	347:16
infarction 4:18	infrequently	Institute 2:16	intent 59:6 210:22	Intralign 2:4 16:13
354:12 412:2	187:21	institution 184:8,9	253:12 295:10,10	introduce 39:9
infections 148:3	Ingenix 85:20,20	184:17 203:20	295:17 296:1	75:21 226:5
inflated 194:11	91:7 134:11 141:8	277:3 291:19	379:2,4 394:20	228:17
influence 127:19	inherent 303:11	292:4 347:14	395:2 398:18	introducing 85:7
264:11 425:21	inherently 155:17	institutions 177:1,2	399:5,7	introduction 4:5
influenced 271:16	initial 147:4 235:10	185:2 204:7 276:5	interact 51:10	101:14 226:14
influences 120:14	306:11	386:13	146:5 158:6,21	228:16
influential 426:3	initially 140:11,16	instructions 59:13	interaction 35:16	invent 123:16
inform 30:9 37:20	191:5 419:21	insufficient 261:13	69:12 306:14	124:2
45:10 142:10	442:8	261:20 294:21	interactions 227:15	inverse 239:21
143:9 146:9 366:4	inner 249:13	295:5,18 296:17	interactive 33:11	365:8
444:3	inpatient 186:10	319:22 356:1,7	52:6	invest 55:2
information 41:22	232:9 233:11,12	358:13,18 360:19	interacts 158:15	invisible 277:11
49:15 51:2,3 70:5	233:13 234:7	361:4,13,19	interchangeable	invite 225:18
74:12 88:9,11,15	235:2,9 237:2	362:12 392:1,7	167:21	450:18

invited 35:3	44:3,16 45:4	175:5 183:17	joining 5:7 39:17	276:16 278:18
involve 68:14	48:14 54:10,11	262:7 306:8 337:6	69:20	281:12 284:6,11
involved 5:15,16	55:20 57:22 60:5	339:14 344:5	JOSEPH 2:14	284:15 305:1
14:6 16:17 26:13	64:13 67:4 76:13	368:2 376:10	Journal 181:7	306:22 307:7
60:4 69:6 107:5	107:16,19 109:10	392:9 406:18	judge 398:16	327:13 340:22
191:17 235:7	115:17 126:13	412:4 418:17	judged 170:22	341:18,21 345:4
involvement 20:14	127:14,14 154:11	427:10 429:7	judgment 209:9	345:20 346:1
involves 45:19	157:3 161:5 163:9	January 135:9	216:16,16 271:13	360:2 364:10
involving 5:18	167:9 171:14	387:15,18	352:5	366:10 367:22
137:1	172:6 178:14	JD 2:8	July 141:1	369:3,18 383:20
isolated 235:21	190:18 194:4	Jefferson 14:3	jump 91:14	386:16 387:7
isolation 233:1	216:19 221:22	Jen 432:21	jumping 221:8	388:20 392:21
issue 28:1,6,22 29:4	228:22 272:5	Jennifer 2:5 17:14	jumpstart 46:16	395:6 397:5
29:19 30:1,7,22	298:8,21 299:7,12	17:15 41:14,15	June 76:11 141:1	402:10 404:16
31:5 32:4,21	299:14 304:10	49:19 79:15 191:2	387:16	406:1,12,14
38:19 45:7,8	400:15 418:14	193:6 432:20	justify 155:22	423:18 452:13,13
47:17 49:22 74:22	429:2 432:12	Jim 15:16 76:17	209:13	454:11
76:9 85:18 87:9	440:19 441:3	95:13 196:12		kind 5:21 9:2 20:21
112:15 115:4	446:5 450:20	198:5 344:5 347:3		21:20,21 27:8
120:3,6 126:4	470:20,20	411:1,8	K	31:20,22 32:8
128:16 162:3	it'll 7:10 456:2	Jim's 375:7	K 10:15	37:5,6,11,17,20
163:12 177:16	item 5:21	job 255:13 261:22	Kaizen 32:12	38:16 41:11 42:19
178:7 179:1	items 237:18	323:1,3 332:20,22	keep 5:15 30:15	53:6 60:21 61:10
180:18 193:9	344:18	338:19 349:9	65:3 187:13 228:9	65:19 68:9 73:13
196:15 215:11	iteration 47:7	398:16 409:20	286:10 296:4	82:10,20 83:17
218:5 221:13,15	72:22 278:14	417:9 433:12	353:22 385:21,22	95:8 96:9 99:22
222:7 249:18	iterative 35:15	438:4 472:22	386:3 389:14,16	100:5 102:2,17
260:14 283:22	36:19 38:8 434:9	Joe 17:1 80:19	430:6 433:17	103:1,5,6,17
294:3 298:17		106:7 107:7	443:3 466:12	104:2 106:1
299:1,6 301:19,20	J	122:10 125:1	keeping 27:9,9	107:22 108:9,12
310:8 320:15,16	Jack 2:10 80:7	286:10 292:9,9	256:21 257:16	108:15,18 109:1
321:21 322:4	125:4 218:1,17	386:5	KEVIN 2:12	109:18 110:3
324:20,21 329:4	265:9 280:15	Joe's 297:2	key 25:1 39:8,14	112:1 114:15
342:6 350:9	286:15 320:11	jogged 32:2	83:15 102:14	118:22 125:9
352:17 359:1	322:15 335:13	John 2:12 17:20,21	146:19 152:12	127:15 130:18
373:8 374:20	338:14 367:15	80:15 191:1 337:6	169:10 172:18	131:1 141:8
414:11,13 418:5,7	417:22 420:3	339:17,21 386:4,4	182:19 190:1	149:15 161:7,9
418:10,11 419:1	421:5	386:4 443:14	228:22 230:18	168:15 171:13
419:16 428:21	Jack's 347:4	446:1 447:1	keypad 205:10	173:8,16 179:3
446:9 451:15	James 2:9 80:5	Johnson 50:10	471:15	182:3 185:9,10
470:14	374:4	87:10 88:1 113:17	kidding 296:6	186:1 188:9,21
issues 8:1 19:13	Janet 376:7	130:5 429:11	Kim 3:9 230:21,22	197:10 198:9,11
22:22 27:15 28:15	Janis 2:11 15:10	join 10:19 223:2	231:6 240:7,11,14	198:15 199:16
28:19 29:1 30:21	67:13 69:2 80:11	296:19	240:19 243:20	206:17 215:2
31:18 32:8 33:5	97:17 112:5 113:2	joined 8:12 130:2	248:4 257:3 266:5	219:13 225:4
33:22 35:17,17	159:4 161:19	230:2,13 414:8	267:22 268:17,21	230:7 233:18
			270:2,7,20 274:10	

250:11 256:18	124:5 126:14	430:1,21 431:1	largest 292:17	405:2 406:18,22
260:1 271:11	128:12 134:6	432:18 435:19	Larry 16:1,2,11	408:5,14 409:9,18
294:7 301:6,12	135:19 137:13	436:19 437:1,5	18:13,13,20 49:1	410:20 412:4
304:4 315:9	155:5 156:8	438:2 440:1,15,18	49:2,17 50:12	417:20 419:17
338:14 339:2	161:16,20 163:6	441:10,16 448:2,3	79:9 98:2 99:8	421:20 422:2,5
347:7 351:21	164:12 173:12	453:9 454:1,2,18	122:10,12 124:5	427:9 429:7
359:13 379:16	174:14,16 180:21	456:1,5 457:6	177:10 183:14,15	432:20 434:21
380:16 382:2	181:11,19 182:6	458:16 460:8	183:16 221:12	435:17 436:2
388:5 391:3	183:20 189:18,20	462:10 464:12	247:13 359:1	437:11 438:7,9,15
403:17 404:1	193:17,20 196:18	471:21	Larry's 126:3	441:7 443:13
414:22 416:9	200:16,19 203:18	knowing 22:17	Lasik 123:1	446:1 447:1 448:8
421:11 424:6	203:19,21 207:8	37:14 194:5 331:2	lastly 58:9 310:1	449:3 450:6,14,21
427:3 428:10,14	214:9 219:7 221:7	known 104:7	late 204:18	451:11 454:4
428:20,20 439:20	222:10 223:12	KRUMHOLZ 3:11	Latts 1:20 2:2 4:3	455:1 457:15
439:21 440:2,6	234:22 246:3	270:19,21 274:13	4:12 12:13,13	458:12 459:2,6,20
441:1 443:8 445:4	247:14 255:6	280:17 414:19	27:12 34:1 36:17	460:2,8,15,19
446:17 448:18	257:9,19 262:15	423:22	41:14 45:21 48:5	461:7 462:17
457:16	265:16 266:13		49:1 52:15 58:15	464:16 466:4
kinds 49:11 72:17	271:11 275:19	L	64:22 66:18 67:12	468:1,18 469:12
166:5 190:17	279:15 280:8,12	labeled 325:13	69:1 73:20 75:3	Laughter 31:3
249:20 268:5	282:12 285:13	labs 233:18	75:19 76:19 77:3	106:12 223:11
274:15 276:7	289:22 290:10,14	lack 106:10 206:17	79:5,6 91:1 98:2	230:11 256:1
277:13 279:12	291:20 294:21	210:12 219:14	100:10 111:8	296:5 297:4
287:13 288:20	295:14 296:13	220:6 356:8,10	112:5,19 113:11	335:15 355:12
302:8 331:19,21	301:20 304:20	357:16 363:10	116:21 118:3	375:3 441:17
334:13 336:13	318:6,7 319:18	409:16 460:1,5	121:3,20 122:9	459:1 464:18
429:2 462:15	324:7 330:21	469:9 470:1	124:3,17 125:22	LAWRENCE 2:4
465:20	335:13,17,20	lacking 114:2	129:8 135:8 159:2	lay 152:20 156:21
knee 134:13 138:17	337:1,2,9,12	lag 54:17 56:3	161:4 165:19	layer 370:5
knew 175:4 231:9	346:14 349:7	laid 156:19,20	167:10 172:13	laying 147:4
know 5:11 6:21 8:2	351:3 355:19	282:1 371:20	174:1 176:4 177:9	lead 20:13 76:5
8:6 11:18,18	357:1 359:13	Lake 359:12	180:19 183:14	188:22 226:10
19:11 26:10 29:16	362:3 363:3	land 441:1	185:6 190:22	228:21 231:1
31:4 32:5,9 34:4	366:17 369:5	landed 440:15	193:6 196:11	248:17,17 272:10
37:11 39:14 47:5	371:8,9 376:3	landscape 161:8	198:5 200:9 203:8	326:2 353:20
53:19 55:5 57:6	378:13,19 379:7	language 83:22	204:13 349:13	376:7 422:11
58:5 59:8,16	380:6,8 390:6	118:13 161:10	363:20 367:3,9,15	467:5
61:13 65:11 66:5	395:12,12,15	laptop 224:8 261:9	368:2 374:15,21	leader's 389:19
67:7 68:8 71:8,19	397:17 400:4,13	261:15	376:6,18 377:4	leaders 7:10 70:9
77:11 83:9 93:8	404:14 409:20	large 183:3 209:18	380:20 381:9,21	leadership 51:21
95:13 96:10 102:6	412:15 413:15	211:6,7 299:18	383:18 384:16	68:5
109:19 110:6,11	414:7,8 415:7,9	306:20 319:4,8	385:4,18 389:18	leading 275:4,10
111:13,20 112:4	416:21 417:14	412:22 462:13	391:17 392:8	304:5 334:16,17
113:5,8 114:11	420:14,19 422:18	larger 64:13 103:13	394:5,19 397:8	leap 271:19
120:10 121:1,22	423:20 424:1	109:13 173:18	398:22 399:13,22	Leapfrog's 98:10
122:21 123:1	427:5 428:9 429:3	182:7 423:6 454:8	402:8 404:3,9	learn 41:11 140:12

285:20 398:10	381:10 384:17	143:7 156:3 268:4	358:21 362:17	Liz 50:20
learned 191:9	387:14 394:16	268:9 287:2	373:16	LML 12:14
444:7	398:22 399:4	337:10	listening 77:5 191:9	load 229:21
learning 16:6 21:22	422:11 435:3	limits 324:18	433:8	loading 228:18
285:17 291:16	letting 141:15	Lina 2:15 13:19	listing 22:9,20	230:1
leave 106:13	230:7	49:19 50:12 58:15	78:11 362:16	local 277:15 280:10
158:22 225:10	level 86:13 87:3,4	58:16,17 61:18	literally 359:15	283:16 347:21
293:11	108:2,9 118:21	81:1 113:12,13	literature 109:18	locally 280:6
leaves 254:22	147:16 169:9	159:4 165:19	110:9,22 111:10	283:17,20
leaving 162:2	172:2 182:5	265:9 273:1 286:9	239:19 365:15	located 406:3
289:12	194:20 197:1,4,9	291:12 462:17	424:13	locus 384:9
led 255:3	201:10 203:3	Lina's 112:6	little 6:20 7:1,12	log 239:20 240:22
leeway 240:20	219:2 232:17	175:11	11:1 26:11,22	365:8,11
left 238:8 241:10	255:20 271:5	line 5:12 6:16 7:5	33:14 41:20 52:4	logic 138:20 253:15
246:13 279:7	272:1,2,3,9,9	7:15 8:1,2,3 18:7	60:19 61:16 68:7	297:16 392:16,17
282:1 297:10	285:12 289:10	73:4 224:6 237:20	75:15 77:6,19	392:18 400:6,6,8
435:6	290:14 313:6,7,9	241:7 248:6 284:4	78:15 82:22 83:9	logically 31:20
lefthand 246:10	313:11,18 336:9	286:10	83:13 89:20 92:8	long 39:21 77:3
leftmost 242:15	340:14 346:4	linear 239:20	102:17 128:9	101:15 192:4
leg 390:18	347:19 354:15	240:22 365:7	129:12 142:1	221:1 237:3
legal 163:2,7	374:1 415:19	lines 219:3 455:12	156:16 159:19	416:14 420:16,19
legitimate 325:9	434:2	link 60:9,11,16	164:11,17 193:8	472:21
leisure 456:12	levels 67:2 147:11	61:1,4,10 89:6	195:20 196:20	long-run 107:3
length 254:1	194:2 202:7	100:17 143:19	200:20 202:12,18	long-term 148:9
256:13,17 257:4,4	415:18 463:10	239:20 241:1	204:10,18 208:8	long-winded 161:1
257:9,19 258:4,14	leverage 98:17	365:8,11	216:2 223:3 224:9	longer 240:6
258:16 259:2	164:2,19 199:10	linked 59:17	230:8 231:16	256:17 257:16
260:14 289:21	199:11 256:5	linking 44:7 52:1	237:1 240:5	258:16 382:13
393:2 412:13	384:9	58:21 82:16 87:7	246:20 254:2	426:12
lengthy 237:1	levers 73:7	89:4 158:16	257:2 260:12,13	longer-term 55:18
Leslie 260:12	liable 162:16	Lisa 1:20 2:2 4:3	273:3 275:19	longitudinal 33:1,2
let's 38:3 54:11	liaison 50:13	6:6 12:11,13 34:1	283:11 287:14	51:15 55:15
63:12,19 64:2,3	lies 290:2,3 291:11	45:22 79:5 118:3	302:2,4,11 304:13	longitudinally
64:18 90:17 92:3	311:20	124:3,11,11 130:8	323:20 359:12	53:15 137:3
105:8 124:1	lieu 75:5	167:11 168:13	374:6,13 378:11	look 63:12,13 86:19
170:18 188:14	life 119:13 189:10	172:14,15 205:3	379:9,18 380:18	91:3 104:18 113:7
240:12,16 241:21	189:10	349:13 419:17	395:1,4 397:13	114:18 125:7
242:4 251:1,9	lift 114:17	421:3 443:16	401:4 402:5	131:2 135:12
255:18 259:12	likelihood 436:5	list 15:21 16:1	411:16 423:4	136:8,13 138:2
267:17 286:10	limitation 140:2	25:14 142:15	424:20 425:21	139:3,7,9 145:8
296:4 298:19	445:20	153:18,22 167:10	433:1 439:20	147:18 149:22
300:14 310:14	limitations 105:9	178:18 196:19	440:7,10 466:10	151:17 155:21
326:7 355:15	105:12 212:18	228:15 243:2	472:5	160:9 162:21
358:6 360:15	447:20	350:13 412:14	live 430:5	163:18,19 182:13
363:14 376:11,12	limited 27:18 41:3	430:1	lived 430:3,3	182:17,20 187:17
377:22 378:5	104:13,22 140:18	listed 233:10	living 236:6	188:18 191:14

193:14 197:21	111:13 113:14	32:11,16 37:13	388:6 431:12	lumped 266:4
199:8,9,9,17	121:5,8 125:13	38:12 46:11 47:13	457:3	325:2,3 326:22
200:6,6 210:14	127:15 130:19	54:9 59:3 61:20	loud 171:8	343:9 372:3
213:16 217:11	133:4 136:14,18	66:9 67:21 68:20	love 66:1 268:6	lumping 266:20
239:13 245:17	138:7,12 140:10	72:15 73:11 83:22	357:8	lunch 6:11 10:5,7
247:6 252:2,4	144:17 150:8	84:1 85:5 90:6	low 121:22 209:18	10:16 204:20
256:17,19,22	153:2 157:13	95:16 96:17,18	261:12,20 265:16	205:13,14,21
257:4 262:20	159:16 161:21	98:12 99:16 100:8	285:17 288:9,21	206:1,8
270:3 274:21	165:4,5,6 177:15	106:17 107:1,11	289:1 290:3	LVAD 234:20
279:4,14,18	177:19 182:11	113:8,19 116:13	294:20 295:18	235:1 364:20
286:17 302:14	183:11 188:4	116:19 117:22	296:16 301:4	379:10 383:22
304:16 305:12,13	189:7 194:6,21	118:1 126:4 128:5	304:5 315:4	384:3 395:14
309:15 314:5	196:18 199:20	130:18 156:8	319:22 320:5	400:19 412:11
327:16 331:1	210:10,15,16,20	157:18 162:22	356:1,6 358:13,17	454:7,17,21
336:6,6 344:17,18	212:3,4 213:8,11	166:20 167:1,20	360:3,19 361:4,18	LVADs 380:14
345:14 348:4	244:16 246:12,19	167:22 170:9	362:8,13,14	395:13 396:6
352:13 362:20	258:20,22 260:8	179:16 181:1	385:22 390:10,16	
373:8,20 374:5,12	282:8 298:13	187:5 190:16	391:10 392:1,6	M
388:17 389:15	312:15 324:2,19	191:9 192:14	394:11,18 398:12	M 2:11 3:11
392:11,17 398:9	334:14 347:11,12	195:5 196:9	398:12 399:8,11	MA 2:21
401:11 402:20	348:3 351:4 373:9	199:13 201:3,7	399:18 407:15	MACP 2:11
403:1 405:1 407:5	373:10 374:6	203:5 205:3,12	408:12 409:12,15	macro 196:22
407:12 432:5,16	375:8,9 389:4	207:8 223:1	410:7 440:3,22	197:16
445:11,14 453:3	396:15 406:6	231:14 258:9	459:15,18 461:3	main 6:3 21:14
453:12 455:17	407:11 416:18	263:19 271:13	466:2 469:4,7	28:18 96:1 102:19
456:7 472:9	419:5 429:11	272:18 273:10,20	low-level 14:9	106:9 142:13
looked 71:20 110:1	448:4 451:4	273:22 276:14	low-SES 431:10	226:13 338:9
110:12 139:1	455:13	279:5 280:12	432:1,16	401:13
153:6 163:11	looks 41:21 100:13	285:20 289:3,3	lower 121:11 218:9	maintain 219:5
221:11 258:3	110:9 134:17	298:21 301:2	264:19 274:3	maintenance 31:15
268:21 269:8	261:15 283:6	306:4 307:19	275:12 301:21	141:3,9 213:20,22
270:8 271:1 281:3	286:18 295:20	308:15 310:3	385:8 431:20	214:21 215:5,18
301:15 308:13	296:18 307:8	320:14 328:4	432:2	216:8 445:3
328:6 362:16	398:11 401:14	336:13,14 337:11	lower-risk 340:16	471:21
404:13 423:19	406:5 407:5	342:6,20 349:6	lower-SES 431:12	major 22:3 29:19
431:4,11,15	loop 255:18 297:16	356:17 357:7	lowest 269:5	71:12,13 96:19
452:16 457:17	loops 382:2	377:11 379:19	282:20 283:6	209:5 210:15
looking 15:5 16:6	lose 192:22,22	388:16 391:14,15	285:4,4	212:9 299:14
21:15 53:5 60:9	309:8 349:12	398:22 400:4,10	lows 436:14	380:16 425:4
65:11,16 66:5	losing 186:17	443:17 444:5,7	LTACH 243:10	452:18
67:22 68:20 70:22	lost 31:1 161:10	447:5 454:3	284:5,9,17,18,20	majority 207:4
71:17 76:8 86:14	191:7 192:21	462:14	284:21 285:6,11	258:5 460:3
88:20 89:8 91:8	308:8,9 341:13	lots 68:15 76:12	391:14 393:11	468:10
93:5 94:21 95:16	lot 5:8,11,17 9:20	119:11 163:15	LTACHs 233:12	making 27:9 60:15
95:22 96:4 103:4	9:22 16:20 17:1	288:12,19 290:8	285:10	60:16 68:12 77:14
109:11 110:7	19:16 21:3 24:2	290:14 386:14	lump 267:4	78:10 115:11

116:7 156:22	Mary 2:4 16:11,12	2:16,20 3:7,9,11	211:16 264:20	197:3,7 203:4
178:6 197:14	17:1 67:13 73:20	mean 26:16 34:12	291:10 415:20	205:1 206:9,22
199:20 200:8	79:11 115:5	34:14 35:22 38:15	means 50:22 51:6	207:19,20 208:4,7
202:10 288:5	177:10 180:19	49:14 50:2 54:1	179:9 261:14	208:20 210:11,17
289:14 290:8,15	337:5 376:7,9,9	54:17 61:11 68:11	331:14 354:22	210:19,22 211:21
338:17 352:4	376:10 377:4	73:8 84:2,3 85:4,4	435:15 438:15	212:2,19 213:2,10
384:10	396:17 400:1	85:10 91:10,17	meant 134:7	213:11,21 214:4
male 368:16	443:15 449:3	93:1 95:1,2,5	379:16 395:10	214:21 215:1,6,8
manage 5:13 28:6	451:11	97:17 99:21 117:5	measure 5:19 9:15	215:12,15,21
74:17 179:21	Mass 50:20	121:11 128:1,5	11:19 13:5 14:19	216:5,8,10,12,13
182:1,1 454:3	massive 331:18	152:18 160:17	15:6 20:8,11,14	217:3,4 218:10,22
managed 380:5	masters 6:7	165:8 168:9 176:1	23:1 25:5,11 32:6	220:9,12,20 221:5
452:1	matching 428:17	176:18 181:16	32:11,19 33:9,19	221:20 225:18
management	matchmaking	182:4 185:15	34:10,11,13,15,16	226:4,6,8,9 227:2
179:15 382:11	57:18 58:10	186:14 192:3,8	35:6,7,9,12,12	227:16 228:5,17
386:15 388:5,17	material 291:17	222:2 243:16	37:18 39:4,6 40:6	229:14,17 230:20
388:21 389:6	materials 303:14	251:16 253:4	40:8,16 41:6	231:2,9 232:1,2,8
418:22 422:16	math 437:9	256:16 259:20	42:10 44:1 51:11	233:1 245:2 248:8
428:4,15 453:4,22	Matt 230:2,3	275:7 289:1 290:1	52:8,22 53:17	249:4,4 252:18
manager 2:22 3:3	404:11 405:2	291:5 301:5	54:2 57:5,14,15	254:7 255:21
7:21 130:3 141:13	443:15 448:8,21	302:13 305:20	57:17 58:6,7,11	256:7,14,18 257:1
managing 3:2	458:18,21	317:5,10 318:3	59:7,11,18 61:2	257:18 259:6,13
27:14	matter 129:16	330:1 333:6	66:17 67:5 68:18	259:14 260:19
Manning 239:16	206:3 209:10	335:11 337:9	70:17 78:14 88:17	262:3 264:18
365:13	216:15 276:12	343:2 349:8,10	89:11 91:5 93:6	266:4 271:6 273:4
map 78:9,13,14	281:11 327:6	352:20 354:17	94:22 98:10,10	273:18 277:19,20
82:19,21 131:2	336:19 349:5	362:1,5,6 366:15	103:20 106:20,21	277:21 278:12
139:19 140:7	363:17 420:12	382:9 389:20	106:21,22 109:14	291:11 293:6,6,7
141:18 142:2,13	448:19 451:3	391:2 396:19	109:16 130:1,4	295:9,9,11,17
142:18 143:1,13	473:3	398:20 411:13	133:9,13 134:15	296:1,7,10,15,22
143:17 145:5	matters 336:20	417:12 421:8	135:13 139:21	297:1 298:12,14
148:16 152:5	349:6	424:19 425:4	140:13,14,17	298:15 299:3,22
153:2,19 156:19	Matthew 2:8 80:3	427:2 432:5 435:9	141:5,13,22	301:9,13,17
157:7 158:14	max 245:20	435:14,20 436:1	142:19,20 143:8	305:21,22 306:2,7
164:1 464:10,14	maximally 287:12	437:4,21 441:9,15	147:10,13 148:5,7	310:18 312:13
464:21,21 466:18	maximum 108:2	446:7 447:6,17	148:13,17 150:13	313:6,9,10,18
467:1 470:8,19,21	244:10 245:5,14	451:12 456:16	155:8,10,13,18	315:3,15,18 316:4
MARCH 1:12	Mayo 2:9 15:17	467:12	157:1 166:10	317:6,13,14,14
Marciniak 80:1	MBA 2:2	meaning 51:13	167:19 168:1,2	319:3 322:2,9,12
marker 85:12	McCormick 10:16	62:15 88:11 91:19	169:4 171:22	323:11 324:2
121:6,17 431:10	472:13	132:18 136:17	172:21 173:3,5,8	325:1,12 329:16
markers 269:21	McHugh 2:8 80:3	167:1 214:3	173:18 175:9	329:21 330:13,14
market 194:9,15	230:2,6 405:3,16	215:13 276:19	176:3 182:21	332:21 333:5
markets 199:13	405:21 406:9,13	344:10	183:1 188:16,17	334:1,11,11,13
marry 177:5	406:17 448:9	meaningful 60:1	188:19,20 189:2,6	339:1,4 340:12,21
Martin 80:1	MD 2:1,2,7,11,12	68:13 149:1 204:1	189:8,19 190:1	341:2,8 343:3

348:22 349:16,20	measure-level	57:9,21 58:4,20	173:16 176:9	78:2 82:15 88:1
351:2,6 352:9,14	353:7,15	59:4,4,5,9,15,20	177:6 178:10	111:2 132:11
352:20 353:1,8	measured 167:19	59:22 60:22 61:1	179:8,13 182:16	156:10 179:4
355:19 356:8,16	197:8 268:19	61:5 62:13,14,15	183:13 194:22	198:3 210:22
357:1,2 361:20	313:16 314:21	62:19 63:4,7,9,13	195:8 196:19	213:12 231:19
364:2,7,8 372:5	325:13	63:18 65:8 66:1,9	197:7,16 198:10	324:17 339:3
374:9 376:14	measurement 2:21	66:16,21 67:21	199:5,21 208:11	346:11 357:9,10
377:9,9,10 380:12	2:22 3:2,4 4:10	69:6 71:14,17,20	208:14,18 211:19	419:3
380:17,22 381:7	8:14 9:14 12:17	72:5,6,8,12,18	212:7,12,13 213:2	mechanism 132:14
382:19 383:7,15	14:8 22:12 23:12	73:6,10,12,17	213:7,9,12,17,18	138:5,14 154:1
385:15 386:18	23:14 41:17 42:16	74:5,22 77:7,13	213:19,22 214:8	mechanisms 62:8
387:5 389:4,16	44:3 45:13,19	78:7 82:20 84:13	215:17 217:5	74:10 276:1
391:1,1,21 392:20	51:2 54:11 67:1,4	84:19 85:1,7,11	218:5,13 219:7	media 51:3 88:12
394:1,10,19,22	68:9 71:2 78:12	85:15,20 86:1	221:10 222:18	median 244:10
397:15 398:3,15	83:3 84:16,21	88:19 89:1,22	226:15,18 227:1,4	245:5,15,21 257:4
399:4,7,14,16	88:8 89:9,21	93:4,15 95:19	227:8 228:3	mediate 283:17
400:13,16 401:2,3	113:2 129:22	98:9,15 101:10	229:18 231:15	Medicaid 431:7
401:7,7 404:8	131:19,22 133:21	105:19,20 108:18	232:20 240:9	medical 2:6,11,13
408:12 410:8,19	137:18 148:1	108:22 109:8	246:6 248:7	2:13 14:13 15:11
413:18 414:14,14	149:14 150:2	110:3 111:4 114:3	252:10 255:10	15:14 66:15 69:9
415:6 417:13	151:3,8 152:9	115:1 127:22	259:21 260:1,6	76:3 160:19,22
418:15 419:8,20	154:8 157:3,18	129:2 130:22	262:11 263:13	168:22 169:7,8
420:4,22 421:12	165:17 187:17	131:2,13,18,19,21	264:16 265:3	170:2 171:19
421:18 423:12	188:1 190:8,12,21	132:8,9,20 133:1	271:22 272:14,16	172:2 192:17
424:9 427:1,6	238:5,6,18 249:6	133:2,5,17,18,19	275:20,22 277:21	232:13 233:20
428:7,10 430:12	258:11 434:9	133:22 134:1,8,9	287:11 288:20	236:7,15 237:7
430:20 431:4	measures 4:16 10:9	134:10,22 137:16	300:22 305:17	266:7 324:19
432:8,17,19 433:5	10:10,11,13 11:22	137:19 138:7,22	311:6 316:16	429:9
433:21 434:2,5,18	13:4 14:11 15:3,7	140:3,19 141:2,4	323:13,16 329:17	medical/surgical
435:11,12 436:7	15:18 16:6,7,20	141:7,10,14 142:7	330:16 333:3	266:9
437:21 438:21	17:10 18:14,17	142:11,15 143:10	335:10 351:21	medically 380:5
441:19 442:6,7	20:2 21:10,13,18	144:1,2,7 146:13	356:17 381:5	452:1
444:12,21 445:12	22:18 23:3,4,10	146:18,22 147:3,5	385:9 390:20	Medicare 35:8 37:3
445:15 446:9	25:9 26:9 27:16	147:9,9,14,18	394:4 397:18	171:22 172:21
447:5,7,8,10,16	28:12 29:21 31:8	148:2,6,11,12,15	401:6,16 403:10	181:8 231:20
448:15 449:7,12	31:9,12,17,18,21	150:6,8,9,13,21	409:2 415:16	233:4 259:17
449:13,19 450:2	32:7,14 33:6	152:12,14,16	425:9,14,15	289:1 386:12
451:7,14 452:12	34:10,19,21,22	153:6,10,12,14,18	427:12,19 430:9	391:5 396:21,22
455:10 456:9,22	35:18 36:2,13,15	154:15,22 155:14	430:18 431:17	397:4,6 427:17,20
457:2,6,12,13,13	36:20 37:11 38:12	155:21 156:3,7	432:1,15 433:16	431:8
458:1 462:10,16	42:14,19 43:3,4,6	157:9,11,15,18	442:13,22 449:9	medications 120:13
463:2,22 464:4	43:11,16,17,18	158:4 161:12	449:11 450:4	medicine 3:8,9,12
465:8,9,10 466:22	44:8 45:9 46:9	163:19 165:11,22	451:4 462:13	14:3
467:4 469:19,22	51:14 52:12,20	166:5,6,14,21	464:2 466:13	meet 10:22 93:9
470:2,16 471:20	53:2,20 54:2	167:22 169:15	467:10,11 471:6	140:22 219:1
471:22 472:4	56:13,15,19 57:3	170:8,19 172:18	measuring 64:5	221:16 317:7

445:18	memory 32:3	Michigan 2:14 17:3	misspoke 383:22	moderate 219:18
meeting 1:7 5:5,14	mental 107:13,16	125:15 292:22	misstated 367:9	261:12,20 294:20
5:16 6:19,22	151:9	micro 197:4,9	misunderstanding	295:5,18 296:1,16
27:17 39:16 46:21	mention 161:9	micro-costing	325:17	296:21 315:4
49:21 53:19 96:11	190:4	181:15	misunderstandin...	319:22 320:5
96:15 101:14,15	mentioned 19:21	microphone 243:19	226:17	353:21 354:7
116:20 117:6,14	47:16 52:19 76:7	middle 121:13	mix 27:10 170:4	356:1,6 358:13,17
135:17 138:19	94:16 141:2	242:5 269:6	232:17 272:22	360:19 361:3
141:16,21 149:12	181:14 197:2	migrated 415:22	324:9 325:7	392:1,6 394:11,18
150:15 163:13	236:3 243:6	mild 331:12,13	326:13 346:20	399:8,11,17,21
169:2 226:2	292:19 338:5	336:3,7,18	365:19,21 366:3,5	407:14 408:11
227:18,21 228:7	365:6 395:18,21	million 432:12	366:8,12,14 367:2	409:7,12,15 410:6
261:4 292:16	419:20	mind 174:11	367:6,8,17,19,20	412:17 436:16
381:19	Mercy 2:8	187:13 323:15	411:18 418:9	437:4 440:22
meetings 46:20	meshed 21:9	389:15,16 416:19	423:16	459:15,18 461:3,6
47:20 225:22	messed 121:21	466:12	mixed 110:22	469:4,7
285:16	met 1:17 37:4 62:15	mine 110:11	111:16 174:7	modest 286:18
meets 185:10 215:9	101:13 124:8,9	minimal 18:22	413:4	modified 357:3
469:21	209:6 358:9	minimum 244:9,12	mixture 339:7,10	446:20 447:6
member 4:13,22	method 313:13	244:22 245:3,13	model 32:17 107:13	modifier 148:22
6:13 10:6 11:11	315:22 409:4	245:19	107:16 121:13	169:3
24:10,12 69:3	methodologic	Minneapolis 76:4	132:20 136:8	modifiers 192:6
143:16 189:17	250:12	Minnesota 2:12	180:14 208:4	modify 438:21
201:9 205:5,6	methodological	13:16 200:4 347:6	211:12 238:21	moment 169:17
220:4,10,14 230:3	28:1 32:7 44:16	minus 173:19	239:12,15,17,20	250:16 454:13
296:19 449:14,17	45:4 54:10	minute 192:4	240:22 241:5	466:13
466:21 470:4	methodologically	minutes 77:17	242:19 243:5	monetized 132:16
471:9,11	60:10 145:4 170:3	129:13,14 205:17	254:14 286:22	money 123:10
members 7:6 10:22	methodologies	228:17 253:5	287:3,14 288:22	174:18 430:14,15
13:9,10 18:7 25:6	168:12 188:22	297:10,14 363:15	303:22 316:12	456:21 461:12
33:3 82:1 83:12	400:11 401:22	mirror 310:1	330:9 341:3,7,7	months 37:5 53:18
106:4 115:20	methodology 99:6	MI s 335:19 347:8	343:20 347:2	56:13,19 69:20
125:12 144:9	267:12 397:2	347:13	353:9 365:5,6,7	242:21 327:14
192:7 205:20	401:19 402:4	misinterpreting	401:21 402:7	345:7 369:4 371:2
206:12 210:4	methods 103:19	128:22	403:4,5 404:21	371:15 441:12,12
223:2 226:7,20	104:18 211:20	mismatch 428:14	modeling 29:2	442:3 445:7,12
227:3,10,15,19	416:1	misplaced 382:9	301:19 302:9,9	447:13 448:2
228:2 229:4	metric 70:11 280:6	missed 76:19,20	303:8 316:15	morbid 198:21
230:12 249:8	388:18	96:10 152:4	340:5,7	morbidity 151:14
294:9 297:10	metrics 70:1	251:15 318:17	models 17:9,11	344:9
318:8 323:21	162:21 177:7	367:3 423:10	66:13 170:10,11	morning 5:3 8:1,16
356:13 358:4	239:1 310:2,3	missing 61:10	171:10,11 179:16	8:22 9:11 11:7
423:8	Metro 7:22	90:19 91:13	201:12 203:20	12:19 13:22 14:15
membership 64:20	MI 336:18 345:3	177:22 262:21	211:22 239:22	15:9,16 17:15,21
221:17,21 222:7	347:21 383:6	295:20 326:6	365:13 404:17	126:5 129:10
222:14 227:11	411:5	356:3 392:3	442:20	218:3,4 230:14

255:8 434:10	448:16 463:9	208:17 229:19	nascent 46:11 48:8	78:14 97:3,7,12
morning's 50:3	469:10	361:17 362:2,7	195:12 434:12	98:11 102:4 103:8
Mort 50:20	moved 116:17	mute 183:15	nation 70:14	105:10 113:21
mortality 106:20	movement 33:15	mutual 347:7	199:14	119:20 124:18,19
234:1 260:6 271:3	117:17	myocardial 4:18	national 1:1,17	125:12 127:12
271:21 273:19	moving 25:10 26:5	354:11 412:2	58:14 73:1,2 94:2	129:4 138:3,7
274:1,7 275:1,6	46:3 62:19 108:12	myriad 157:11	94:5,7 142:9	145:16 152:5
275:13 276:8,9	169:4,5 179:15		155:7 179:7	153:10 154:21
277:21 293:7	206:6 210:8 238:7	<hr/> N <hr/>	243:16 246:7,18	155:1 159:9,10
300:22 302:1	240:3 262:1 263:6	N 239:7 275:7	365:22 366:15	160:8,14 162:7
305:17,22 324:1,6	274:3,5 279:7	N.W 1:19	natural 93:16	163:11 169:21
330:12 332:21	357:15,22 384:22	Naessens 2:9 15:16	415:13	170:22 171:13
333:8,11,13,16,17	399:22 434:16	15:17 80:5,6	nature 29:8 39:20	173:4 177:12
334:11,18,22	444:6 461:12	95:15 198:6 347:4	41:8 88:13 101:11	178:7,11,14 179:1
335:2 340:6,9,12	466:1	411:2	102:7 104:1,9	180:10 181:3,22
340:20 341:1,6	MPH 2:1,4,5,5,8,9	name 230:22	105:15 146:2	182:12,22 183:11
347:12,18 348:3	2:18,20,21 3:2,3	name's 7:20 12:19	209:21 222:5	187:17 188:3
349:16 351:21	MSPH 2:2	Nancy 2:6 3:9 30:3	440:17	194:1,17 199:4
352:9,12 354:11	Mullahy 239:16	30:4 65:4 67:12	navigate 19:10	201:15 216:7,11
386:22 394:1	365:14	67:14 74:1 76:1	NCQA 13:17 14:18	222:11 224:3,10
401:6 449:2	multi 142:5,18	79:17 86:4,9	14:19 133:17,18	224:22 225:1
motivate 105:13	146:17 151:13	91:14 92:8,10	134:8 141:4	266:15 288:8,11
mouthful 137:13	198:20 227:11	99:8 115:5 121:3	217:10	290:18 293:8
move 8:20 10:6	multi-fold 44:18	122:3 133:11	NCR 456:6	300:17 310:21
11:3 19:19 20:4	multi-stakeholder	154:18 174:2	near 161:13	318:9 322:11
22:21 24:15 42:19	50:19 51:8 55:11	177:9 230:22	nearly 288:4	350:21 362:19
65:2 67:13 68:6	94:5 145:3	240:4 243:18	necessarily 34:22	373:11 375:13
81:10 104:15	multi-task 34:5	255:7 256:8 259:1	55:14 65:20 74:9	378:19 407:22
105:7 112:5 119:5	multicolored	270:19 276:14	110:2 115:9	417:2 419:10
119:18 131:9	147:19 148:4	299:10 337:6	181:20 193:19	429:4 434:8,17
138:4 162:7 180:7	multifold 103:13	338:12 342:5	198:22 217:11,13	444:21,22 453:12
184:9 192:20	multilayered 118:9	358:15 371:20	220:21 257:17	460:9,10 467:9
204:16,19 216:12	multiple 29:4 78:11	384:17 396:13	294:13 314:13	needed 62:14,18
218:18,19 219:10	88:21 125:17	397:9 402:9	378:8 379:14	63:18 149:17
221:20 230:5	194:2 211:19	404:10 431:5	380:1 410:21	321:6 455:12
243:18,20 246:4	297:21 350:22	432:21 435:7	421:16 435:14	needing 126:14
251:11 260:18,20	386:17 387:15	452:13 454:4	448:14 462:7	412:11
261:5 262:4 295:7	408:3 410:1 413:1	455:2 457:15	necessary 114:17	needle 42:20
297:15 298:19	446:8	461:8 462:19	114:18	Needleman 2:10
310:14 319:14	multiplied 365:22	467:18	need 7:12 29:22	80:7 125:4 218:1
320:8 351:11	multiply 243:15	Nancy's 171:17	31:17 36:4 42:19	218:1 265:10
355:17 356:11	366:15	278:16	43:16,17 45:8	266:8 267:20
358:6 360:15	multivariable	narrow 199:1	46:3,18,19 48:12	268:16,18 269:22
367:12 376:21	403:4	249:13 259:12	51:16 53:14 55:12	270:3 280:20
388:4 409:17	muscle 170:7	275:21 426:17	55:18,19 56:3	320:13 324:15
424:6 434:16	must-pass 208:2,5	narrower 164:4	60:21 65:20 67:3	326:7,15 328:19

330:15 331:10	225:5 311:4,9	nother 445:10	number 20:17	102:18 103:2
333:2,10,14 334:3	390:7 436:22	notice 35:2	23:18 42:13 48:18	117:1 145:3,6
334:6,10 335:11	441:19	noticed 433:13	65:10 70:8 72:5	156:2,8 158:15
335:14 366:8	newer 72:22 83:12	noting 250:1	75:10,10 77:7	164:12 175:2
367:14,16 368:1	84:20	notion 100:22	78:22 79:1 108:16	193:10 210:1
418:1 421:14	nicely 310:2	102:4,16 103:5	137:16 187:8	387:21 410:4
needs 26:19 52:12	nimble 443:19	105:17 107:20	204:1 205:10	414:1 451:18
55:17 63:21 90:13	nine 329:19 330:3	108:14 128:14	208:21 209:18	occur 372:12 378:8
93:22 162:22	356:6	November 387:16	211:7 221:10	occurred 221:9
185:14 255:21	nobody's 54:1	novo 57:4	266:6 278:3	287:21
266:22 322:6	nodding 292:8	NQF 2:20 4:4,7,7,8	281:14,15 282:7,9	occurring 53:10
339:9 417:13,14	312:7	4:9,13,22 6:22	297:22 299:17	345:3
430:11 431:13	noise 68:3 112:12	7:19 10:11 16:4	303:3,4,17 314:19	off-cycle 47:17
434:13	112:13,17	16:17 19:22 20:6	314:21 319:4,8,10	offer 11:11 205:21
negative 110:18	noisier 306:4	23:14 25:18 26:11	350:10 354:10	227:3
309:20	nomenclature	29:11 36:18 46:1	413:16 432:1	office 123:20
negatively 111:19	118:12	46:2 52:21 54:22	454:13 471:14	officer 12:21 15:14
negotiated 56:12	nominations 27:6	55:5 57:15 58:18	numbers 76:22	76:2 429:10
negotiation 74:15	non 137:9,20 396:9	68:4 71:15 83:21	192:9 211:6 223:4	oftentimes 72:2
186:14	non-acute 247:1,8	84:21 94:8 95:18	223:9 308:5 360:1	104:7
Nelson 80:9	282:3 284:5,6,19	98:11 99:20	numerous 72:8	oh 134:5 186:12
nephrologist 69:14	non-compliance	101:15 109:6,9,14	nurse 406:10	201:18 214:18
Nephrology 69:15	413:3	113:17 126:12	nursing 192:16	240:7 280:18
nested 171:3	non-condition 35:5	127:20 147:3,20	233:13	284:13 318:6
191:14	134:16 137:11	154:5 155:11		332:12 348:15
net 76:4 173:19,20	172:17	162:6 163:7 164:1	O	376:9 397:8
networks 199:1	non-hospital	177:17 178:12	o'clock 472:15	406:22 437:3
426:6	393:14	195:21 196:16	O'Rourke 2:22	447:21 449:4
never 264:18	non-institutional	220:14 225:21	4:12 82:21 130:3	460:16
269:19 291:6	233:17	231:15 252:1	141:11,12 146:11	Ohio 12:22
328:1,16 336:10	non-LVAD 395:10	255:10 291:14	OB 125:16	okay 33:4 52:16
371:1 398:16	396:9	317:8 353:19	OB/GYN 125:18	75:4 76:21 83:12
404:16,21,22	non-major 395:11	394:3 416:13	objections 312:5	83:14 100:10,13
435:11 438:13	non-PCI 308:22	431:3,16 433:11	objective 75:17	113:11 126:1
468:11	309:5 328:6,10	435:15,17,19	152:9	136:7 162:17
new 8:7 9:2,5 10:11	non-starter 416:22	437:6 439:1,5	obscure 271:17	167:10 176:7,13
10:22 19:21 21:20	non-transplant	443:18 444:10	observations	176:21 189:7
23:3 25:5,15 27:5	395:10	445:11 446:22	115:21	202:8 207:2
27:6 35:12,17	nos 459:10	454:18	observed 303:15,16	214:13 231:6
58:7 69:3 84:22	not-PCI 308:16	NQF's 9:12 81:10	obstetrics 151:10	240:8,14 248:18
85:7 123:16 124:2	note 77:16 106:16	227:11	obvious 396:20	248:20 251:19
130:21 131:11,12	108:11 153:3	NQF-approved	457:7	253:9 266:8
141:10 173:16	190:3 208:2 229:5	173:9	obviously 26:18	268:17 280:18
180:22 206:14,15	356:10 432:13	NQF-endorsed	30:3,11,18 35:21	284:3,22 286:2
213:19 214:22	434:21 467:20	13:4	36:14 45:15,16	292:4 297:5,20
219:12 222:4	noted 43:7 152:5	nuke 34:13	54:16 77:9 85:21	310:11 312:4,4,6

313:3,11 314:12 318:6 320:10,13 324:15 329:20 348:8 350:6,7,9 355:7,15 356:4,5 359:7 360:7 363:2 367:11 368:1,6 373:2,7,15 374:15 376:7,9,18 377:4 380:20 394:6 404:10 405:22 406:17,19 407:1 411:12 417:21 418:1 427:7 432:20 437:18 443:13 449:3 452:15 454:4,22 459:4,12,13 468:18 omit 236:10 237:16 omitting 236:2 once 30:9 41:22 54:2 102:17 215:3 215:8 249:12 250:5 293:1 301:4 one-third 437:8 one-year 43:21 48:20 337:16 ones 10:22 95:20 97:21 100:9 149:8 188:7 246:2 275:12 289:17 326:10 343:20 388:11 401:13 467:4 ongoing 207:7 418:19 443:4 online 120:12 261:1 261:6,7,8 296:20 300:2 opaque 193:18,20 open 24:7,14 25:13 25:19 26:1 48:4 56:10 64:10 67:8 98:19 127:8 205:5 223:18 247:16	300:14,18 320:11 352:7 423:4 469:11 470:3 471:10 opening 108:20 operate 77:14 operates 143:1 operating 196:22 Operator 205:4,8 471:10,12 opinion 227:14 352:8 411:1 421:17 opinions 101:16 135:22 opportunities 51:4 140:19 141:22 145:15 146:22 149:13 150:1,7 151:2,22 164:15 164:19,22 199:20 276:6 378:12 392:8 394:6 438:3 opportunity 32:18 32:20 112:8 166:1 167:9 221:17 249:10 262:1,6 287:2 290:19 294:17,19 295:2,6 394:9 410:17 426:9 431:6 442:6 442:14,15 444:18 445:8 450:4 opposed 23:9 53:8 316:7 420:9 opposite 116:12 259:18 optimal 58:5 optimistic 370:13 option 47:5 56:17 optional 10:14,20 options 199:2 261:7 261:12 295:17 296:16 319:21 338:6 355:22 358:13 360:19	394:11 399:8,17 409:11 459:9,15 461:2 469:4,17 Optum 99:14 orange 238:17 orchestrate 425:8 order 6:21 62:12 62:19 113:22 182:20 205:15 222:21 228:14 233:2 249:2 300:17 316:18 352:11 417:22 organ 188:10 organization 54:21 70:10 94:2 197:17 202:5 397:3,4 organizations 15:2 48:17 65:20 69:9 69:10,13 70:8 71:12 organized 148:6 organizing 425:5 425:19 426:3 orientation 9:3 43:8 81:15 115:19 122:6 206:12 oriented 145:4,6 original 305:16 324:1 340:20 originally 401:15 Orlowski 2:11 15:9 15:10 69:3 71:16 80:11,12 96:21 97:22 112:7 159:5 183:19 262:8 264:7 306:9 307:6 344:6 345:17,21 368:3 369:10 372:11,19,21 373:2,7,15 392:10 412:5 429:8 orthopedic 16:15 orthopedics 151:10 ought 98:16 99:2 122:16	out-of-pockets 151:19 outcome 29:21 232:20 234:6 239:14 241:16 244:5 264:16 265:3 271:7,7 272:13 301:2,7,10 301:17 302:3 342:1 346:22 365:2 395:12 403:1,12 404:20 405:14,20 416:3,4 444:10 outcomes 109:1,3 177:4,6 239:13 264:22 275:11,22 276:7,10,12 329:22 330:8 347:12,18 348:3,4 348:7 398:11,12 415:15 416:3 425:14,15 outlier 237:11,12 outliers 259:2 outlined 338:14 outpatient 233:14 233:15 263:10 282:6 385:20 386:15 388:8 391:16 393:8,9 405:5,9 420:7 428:4,14 446:15 461:22 output 107:3 108:2 outputs 415:11 outrageously 194:10 outset 122:13 217:14 outside 89:21 250:6 359:21 397:3 overall 42:4,20 77:13 130:19 197:20 200:21 210:12,12 229:20	296:7,8,12,15,22 310:17 315:6 319:16,21 355:18 355:22 357:21 358:12,21 360:18 361:6 362:22 363:6 383:2 399:13,16 407:17 408:1,9 409:11 469:2,14,16,21 overarching 77:17 overlap 158:1 overlapping 119:2 overlay 82:8 overlook 433:20 overnight 472:6 overseeing 20:1,6 23:8 overstates 254:2 overuse 14:18 72:6 72:9 161:9 162:1 196:21 overview 4:14 9:19 26:7 131:14 204:21 205:18 206:10,22 230:18 232:1 240:13 297:17 320:10 overwhelming 110:20 ownership 9:7
<hr/> P <hr/>				
P-R-O-C-E-E-D-... 5:1 p.m 206:4,5 363:18 363:19 473:4 pacemakers 380:9 395:21 452:19 Pacific 2:5 17:17 packages 97:2 packed 5:17 packet 291:17 292:2 page 83:18 252:20 369:21 407:16				

408:21,22	152:22 159:6	197:5 209:3 310:4	160:6 176:10	283:2 285:2,8
paid 132:17,19	171:18 207:7	360:9 443:19	191:15 232:16	293:4 299:13
133:3,14 135:3,4	225:16 232:4	parties 14:8	241:11 248:3,11	310:7 319:3
180:3 257:18	233:21 264:13	partly 419:9	265:13 266:13,21	321:11,17 322:5
painfully 127:6	302:14 313:22	partner 386:2	267:5,7 270:17	324:3,3,10,11
paint 275:14	319:1 346:14	partners 12:21	271:22 279:21	325:10 326:2,10
pair 198:4 467:9,21	383:2,3 406:4	54:8 55:19 73:2	282:11,22 283:2	327:1,3 329:17,21
paired 133:15	423:15 426:5	73:16	285:12 302:18	330:8 332:2,7
265:3 465:9	431:15 433:18	partnership 9:16	306:15 321:2,6	333:7 336:11,13
panel 14:19 18:21	447:18 449:14,16	51:11 52:8 73:1	322:11 323:17	337:17 340:16,16
28:22 30:6 32:20	449:20 463:14,17	88:17 89:12	325:21 329:7,10	346:13 348:20
33:11 37:12,17	463:17 466:6,8,19	119:10 123:4	331:11 336:2,4,9	349:10 364:13
41:13 42:9 44:7	470:18 471:4	130:1,4 141:14	338:15 339:8	379:19,20 380:4,9
50:17 96:17	partial 312:19	385:19 464:1	343:5 346:8	380:11,14,15
107:10 113:14	participants 128:6	partnerships 3:1	347:20 349:1	382:15,16,22
221:12 375:10	participate 34:7	4:12 72:16 465:18	354:15 366:5	385:4,13 386:15
414:21 438:21	36:4 51:20 128:8	parts 54:21,21	368:14 371:12	387:6 388:6,10,19
454:20	participated 106:5	234:4	379:6,17 386:10	389:10 396:1,21
panels 16:18 29:8	429:10,14	pass 219:21 220:12	386:17 390:14	402:13 411:22
30:1 33:3 44:13	participating 5:12	223:15 229:19,21	395:2,7,11 396:10	412:16 413:16
45:1 375:11	6:16 7:6,15 21:5	277:10 285:19	428:21 443:14	414:4 418:6,9
paper 45:2 48:11	52:10	376:10 410:9	452:6 453:22	419:5,16 424:7
75:10 101:3	particular 30:5	459:19	454:7,8 457:19,20	428:8,22 431:8,12
102:11 105:6	45:6 49:19 50:3	passed 215:3,8	458:8	432:1 451:16,20
107:6,15 109:4	50:15 51:13 55:5	223:7 235:15	patient's 191:22	451:22 452:4
111:20 120:16,19	63:9 67:2 85:17	311:3 460:12	428:11	453:18 454:21
papers 44:15	89:2 97:18 100:15	passes 219:19	patient-centered	patterns 235:22
110:11 112:18	101:7 103:15	261:21 295:6	77:20 78:17 136:9	275:3 280:8
paragraph 139:4	106:14 116:8	296:1,22 320:5	169:8	pause 260:12
parameters 398:2	157:8 164:1	392:7 408:12	patient-level 239:5	pay 114:13 116:16
parcel 171:18	168:10,10 187:15	459:22 469:8	239:9 246:7	122:20 125:9,10
346:14	207:10 208:9	passing 326:8	281:14,16 373:22	162:16 204:4,5,6
Pare 2:12 13:15,15	279:10 283:22	470:17	374:2	pay-for 219:1
80:13,14 126:2	291:15 295:14	path 43:2,5 93:16	patients 49:9 74:19	462:7
443:16	299:22 319:1	120:22 147:4	78:1 96:2 123:17	payee 160:5
parent 125:13	350:18 359:1	156:21 158:3	136:18 137:4,4	payer 102:22 108:6
parking 398:22	403:12,13 427:21	417:15	180:6 198:18,20	108:7 160:5
parsed 288:17	432:17 453:19	pathophysiology	198:21,21 208:22	202:14 398:21
parsimony 23:1	455:19 464:2,3	372:15 392:12	211:7 233:6	404:15
422:16	468:4	paths 356:15 357:6	234:12,19 235:2	payers 74:16
part 39:12 66:22	particularly 36:16	pathway 104:15	239:7 244:4	168:20 170:13
67:22 71:20 72:19	42:12 59:15 62:11	144:4 357:12	254:10 259:3	186:19 190:8,10
78:4,5 107:14	63:2 71:14 126:21	patient 66:14	265:1 266:14,16	190:13 200:14
114:9,10,20	136:14 155:19	122:18 123:9	269:16 270:5,10	292:22
115:18 120:16	158:7 162:14	133:20 134:2	275:11 276:13	paying 187:5
123:8 127:19	165:1 166:4 194:2	136:16 151:18,20	277:4 282:9,19	193:15,22 194:3

payment 4:17,20 74:10,11,11 102:8 142:8 148:22 155:19 179:21 180:1 188:20 192:6 232:10 233:1 234:5,14,14 235:6,12,18,19 236:22 237:8,14 238:16,18,20,22 239:13,14 241:16 243:12,14,15,16 244:2,5,9,20 245:4 246:1 269:4 278:12 279:1 281:18 282:21 283:3,4 284:14 285:9 287:22 289:8 305:3,11 308:3,20,22 309:19,21 324:6 330:13 341:2,4,7 341:8,9 342:1 343:5 346:7,8,10 346:22 365:2,17 365:18,20 366:16 387:11,13 388:1 391:10,14 393:17 395:12 402:22 403:12 404:1,19 405:14,18,19 435:21 436:4 458:2 464:15 465:11,14 467:6	279:8,9 280:1 281:16,22 283:8 285:3,5 289:5 305:1 309:13 325:5,6 332:6 346:11 356:20 357:9,10 389:5 393:19,22 416:1 417:18 431:14 432:2 pays 203:15 204:2 236:16 PBGH 41:16 PCI 241:14,17 243:4 326:9 328:6 328:8 347:9,14 354:11 364:19 PCI-based 326:11 PCI-capable 308:16,18 309:14 PCI/non-PCI 328:5 PCORI 16:4 18:14 PCPs 386:2 420:8 peace 183:21 peculiar 451:10 peer 104:14 peers 275:15 peeves 125:5 pelted 468:2 penalized 387:4 penalizing 397:20 pending 464:6,7 Pennsylvania 2:9 people 5:11 7:13,15 21:3,9,17,21 25:4 34:15 47:22 49:10 49:11 57:9,13 60:8 63:16 73:4 75:14 84:1 98:19 99:3 107:12,15 111:11 116:3 123:1 127:11 131:12 156:9 178:13 180:9 190:20 192:16 193:9,17,19 204:3	250:9 252:4 254:4 256:21 260:2,14 263:16,22 275:15 298:1 301:2,5 302:6 303:6 304:22 305:20 317:9 319:2 326:16 335:7 343:7,21 344:11 348:5,9 349:22 350:2 353:9,10 356:22 368:12 379:2 385:21 412:13 414:21 420:10 424:6 426:7,10,11 429:22 431:1 432:6,18 433:9 435:10 436:14 437:3,9 440:1,9 447:6,14 450:14 457:1 460:20 465:3,4 468:20 per-episode 213:12 per-member 35:5 68:17 per-month 35:6 68:18 perceived 128:14 percent 189:11,14 189:16 219:16,17 219:20,20 220:1,3 220:12,14,18 221:2,2,16 233:6 246:14,16,21 247:1,1,3,4,7,7,8 269:8,9,12 282:19 283:1 307:16 310:6,6 320:21 359:15,19,21 360:2,3,4,4 407:19 410:3 421:8,9 450:8 452:21 459:21 464:17 467:12 percentage 198:18	279:22 285:5 359:14 387:5 percentages 225:13 285:10 perceptions 466:22 perfect 343:20 431:10 perfection 434:4 perfectly 19:11 439:5 perform 274:22 performance 2:21 2:22 3:2,4 8:14 13:2 15:3,7 17:10 17:11 29:3 66:2 89:18 210:13 211:16 214:3 215:13,14,21 219:2 240:1 313:6 315:3 356:17 366:13 385:17 462:8 467:11 period 26:14 39:22 41:2 56:3 131:20 131:22 132:2 137:18 217:22 258:11,11 260:9 263:3 315:18 318:18,20 382:13 382:18 386:10 392:15 419:14 425:9,12,21 428:16 437:14 449:18 periods 41:1 54:19 77:22 170:20 426:12 permanent 452:19 permission 185:22 permit 163:10 permutation 426:16 person 46:21 78:21 150:15 176:16 225:3 250:5 444:14	personal 116:9 119:6 359:8 personally 162:13 444:20 perspective 27:10 44:11 50:15 51:1 51:7,8 55:12 65:22 87:21 88:9 89:12 91:15 102:16 106:2 107:22 108:6,7,16 110:14 113:5,15 116:12,15 118:10 118:19 121:21 126:16 127:7 138:13 140:8 144:19 149:7,18 151:20 175:15 176:2 177:4 187:17 198:9,12 201:1 202:15 216:2 231:18,20 251:9,20 256:22 294:5 320:16 426:14 427:4 435:18 441:2 465:16 466:5 perspectives 50:9 88:21 96:18 118:14 146:18 160:4 227:12 444:3 perverse 446:13 pet 125:5 pharmaceutical 14:10 pharmacy 122:22 124:13,21 186:10 phase 1:5 5:5 10:1 24:9 78:6 135:12 136:20 137:20 138:1 140:11,15 206:18 441:22 442:1 443:7 phases 137:17 PhD 2:5,6,8,10,13
--	---	---	--	--

2:14,15,17 3:9	picture 146:17	186:1 202:5,22	pocket 125:9,10	236:8 255:10
phenomena 280:7	262:22 275:14	212:14,15	pockets 426:3	policy-focused
Phillips 3:1 8:5	308:8 383:3 390:1	plan-focused 66:6	point 12:17 19:4	141:18
79:3,5,7,9,11,13	422:21	plane 363:22	21:11 25:13 27:8	politically 119:12
79:15,17,19,21	pie 246:12	plans 58:19 59:21	46:17 47:1,3	politics 185:17
80:1,3,5,7,9,11,13	piece 57:1 60:18	117:18 119:4	49:18 60:17 67:17	186:15,18
80:15,17,19,21	61:9 94:18 136:10	170:21 186:4,12	73:8 83:11,20	pool 266:11
81:1,3,5,7	157:5 200:13	187:3 198:13	89:3,4 97:1 99:8	poor 210:12 262:14
phone 5:12 6:16	201:17 202:16	201:4,5,6 204:10	111:1 120:2 122:4	304:6 388:17
7:14 13:10 34:4	246:20 264:14	platform 7:8	122:19 124:22	population 35:8
41:14 49:1 63:1	268:12 308:8	platforms 88:13	125:20,22 126:1	117:2 136:17
65:4 75:14 76:20	346:16 353:6	play 54:11,15	128:2 143:15	143:4 147:16
78:22 82:2 122:10	357:11 389:7	113:20 148:10	144:13,16 149:15	179:15,21,22
167:13 230:13	390:4	171:7 425:18	149:21 171:17	182:13 183:3
260:18 292:7	pieces 87:21 90:17	432:8	172:15 179:3	198:12 254:9
295:13 310:13	90:18 133:16	played 164:15	186:5 192:10	302:18 324:3
312:5 337:5,7	158:16 195:19	169:1 287:14	198:5 203:12	332:16 333:22
339:18 358:5	202:13 288:18	player 264:4	204:5,9 212:20	395:2 402:18,19
367:4 376:9 386:4	301:15 343:18	players 25:1 47:13	216:6 219:5	412:10 454:7
394:6 399:4 414:7	344:2,2 413:13	105:21	223:21 224:10,18	population-based
433:1 471:11	piggyback 421:2,3	playing 150:16	248:22 261:8	91:9 133:13
473:1	pilot 56:12	158:17 232:17	275:8 288:9,15	populations 147:12
phrase 170:15	pink 368:6	289:10 346:4	293:22 294:9,14	178:2 202:2
phrased 251:22	pipe 118:4	432:18	300:5 324:16	412:22 454:9
253:3	pipeline 56:10	plays 194:9	333:5 338:18	portfolio 9:7,15
physical 123:21	150:6	please 12:4 19:5	347:5 361:15	20:2,6 21:18
physician 148:21	placard 34:8	34:7 36:18 183:17	362:5 370:2	22:18,20 23:2,4,8
160:6 185:20,21	placards 159:3	205:4,9 223:10,19	381:12 384:20	23:13 24:8,12,17
186:7 187:4,5	167:14	225:3 243:19	388:20 408:21	25:8,12 26:8,15
219:2 405:8,9	place 21:16 44:20	261:8,8 315:13	421:13 422:9	27:15,19 28:3,4
406:5,6	67:19 74:15 84:6	356:4 361:1 392:4	427:3 433:4	33:2 35:13 43:3
physician's 344:22	124:1 180:7,10	394:13 469:17	439:22 445:10	66:22 78:7 85:1
physician-type	182:21 183:10	471:10,13	463:12 471:18	85:16 129:22
233:15	190:17 195:9	plop 168:9	pointed 83:16	130:12,20 133:5
physicians 13:3	226:13 256:20	plus 173:20 258:15	133:11 153:17	134:20 137:7,16
233:18 279:10	293:2 354:22	369:4 457:10	pointing 224:14	138:21 139:21
406:4	397:11	PMPM 35:9 133:9	463:20	145:17 147:3
pick 75:8,15 77:3	placed 300:7	133:13,19	points 168:14 178:5	150:8 153:11
79:1 121:13	places 163:22	pneumonia 134:13	191:10 193:11	157:12 259:21
161:17 274:16	193:20 290:14	138:17 141:7	197:12 384:9	422:16 467:5,9
422:17,18 435:6	379:4 440:8	191:16	404:7 422:10	Portfolio/MAP
picked 126:9 217:4	plan 42:18 43:12	POA 370:14,16	poison 184:19	4:11
217:12 276:8	43:21,22 48:13,15	373:17 374:7,10	policies 237:4	portion 27:19
391:6	48:20 49:4 65:14	374:13 375:8,9,15	policy 2:15 17:16	130:9 234:14,17
picking 76:21	65:17,22 66:8	375:15	53:9 145:5 178:14	246:6 380:22
276:9	124:15 132:18,19	POA-coded 375:18	232:12,13 236:3,6	470:2

portraying 353:4	381:14,18 471:18	332:7 337:2 344:9	presiding 1:21	165:22 171:17
pose 455:6	472:2,8	346:6,10,17,19	press 68:3 205:9	184:10,15 186:1
position 68:5	potential 19:8 51:4	348:18 350:2	224:2,4,5,18	186:22 193:8
126:12 425:8	64:4 102:20	414:1	459:6 471:14	213:14 397:2
437:3 439:5	106:22 154:12	predicted 243:13	pressure 100:8	401:21,22
positive 46:2	161:13 162:1	303:16,17 365:17	268:7 272:18	primarily 65:15
110:17 194:19	191:13 327:20	366:11 402:2	328:2 329:13	140:11 143:7
209:17 238:22	372:5 375:22	predicting 334:4,7	330:21 439:10	147:3 337:22
positively 111:18	376:2 411:9,11,20	prediction 304:6	presuming 339:15	primary 65:10
positives 104:1	446:8 456:18	347:1	pretend 238:11	84:12 170:12,20
possibilities 106:19	potentially 11:20	predictor 337:14	pretty 18:21 70:5	170:22 233:6
199:10,11	14:7 31:14 57:11	354:10	137:8 253:20	340:5 418:22
possible 277:1	57:22 61:5,6 64:6	predicts 349:15,17	272:3 323:13	prime 322:1 323:4
278:11 298:5	64:20 67:3 82:1	349:20	349:9 351:14	principal 207:18
309:11 344:4	111:4,5 138:18	prefer 168:4	400:21 402:3	342:11
416:16 438:1	274:2 349:6	274:14	427:22	principles 28:11
possibly 284:18	373:13 397:19	preference-sensit...	prevalence 165:7	32:1 150:17,20
323:17	437:12 440:22	119:5	previous 32:17	prior 13:1 35:18
post 19:10 132:7	442:5,5	preferences 87:16	34:13 84:8 163:14	52:22 216:14,17
134:18 232:5	power 194:9,15	114:9	203:17 353:6	241:14 242:21
246:18 259:18	302:2	preliminary 145:12	404:7 472:1,1	295:12 296:11
262:9 263:19	powerful 47:20	229:3	previously 15:4	306:15 327:15
283:12,18 293:2	187:1,11 203:5	Premier 274:20	34:11 286:16	332:3 344:9 345:7
391:12 395:14	PowerPoint 250:1	premiums 151:18	price 67:20 68:1,4	353:12 368:5
416:6	practically 276:19	prepared 228:2	68:8,16,19,21	369:4 371:14
post-acute 137:1	practice 49:12	251:16,18	84:3 102:8 108:8	373:3 423:17
148:9 235:14	235:22 270:16	prerogative 109:6	115:5,8,12 121:17	437:18
242:17 246:8,16	practices 65:19	389:19	132:21 134:22	priorities 50:8 53:3
246:22 247:4,6	209:8 269:16,19	present 2:1 3:6,14	151:7 152:6	73:1 157:21 158:3
267:2 279:5,12,15	270:9 275:10	33:5 38:16 64:14	154:16 159:13	194:22 227:13
279:17 281:4,16	388:15	129:5 130:22	162:3 163:10	prioritization
282:2 284:15	practitioner 125:18	192:3 226:4	171:16 178:4,6,10	199:7
325:5 327:11	practitioners	242:21 243:12	178:16,21 179:1	prioritize 31:16
343:3 360:9 393:5	406:10	288:18 298:6	185:12,15 193:19	149:13 153:13,21
425:20 467:13	pre 143:10	349:2,18 375:12	194:1 203:22	164:14 182:14,16
post-admission	pre-rulemaking	presentation 22:21	236:16,18,20	182:20
234:3	142:14 148:16	250:2	237:22 238:2	prioritizing 65:8,16
post-discharge	464:5	presented 150:19	prices 74:13 104:21	138:6,11,14
232:9 233:9 263:3	preceded 6:13	246:2 292:2	115:8 129:1	165:10 183:12
264:10,21 285:6	precise 311:18	381:14 423:10	132:17,18 133:1,2	187:14
post-heart 290:11	precisely 50:11	presenting 251:15	133:7,14 135:3,4	priority 53:9
post-hospitalizati...	preciseness 312:2	273:4,7,8 306:13	151:5 182:3 194:9	147:20 258:10
425:11 428:16	precursor 81:20	presently 192:5	194:11	261:11 386:8
post-operative	predict 272:1	446:10	pricing 74:4 75:1	391:21 392:7
191:16	286:22 287:5,12	president 2:21 8:13	132:12,20 133:8	private 98:6 99:20
posted 6:5 300:9	288:2 289:7 332:6	14:4 16:13	151:6,19 155:2	100:9 117:17

146:14 150:10 217:10 398:21 privately 278:7 probably 47:11 48:20 65:5 70:5 71:13 110:22 111:10 115:13 126:3 127:6 167:21 172:4 181:16 186:3 214:10 248:5 271:12 276:2 282:13 301:20 317:19 324:5 338:9 343:12 361:19 364:4 378:22 380:4 386:1 406:5,14 411:5 424:2 443:7 444:22 448:14 458:17 problem 29:9 118:13 124:4 190:6 254:22 259:7 287:10 342:5 349:3 457:19 problematic 354:19 problems 55:21 113:2,4 166:21 174:13 253:15 286:14 294:18 procedural 191:12 200:16 201:10 procedure 326:3 337:22 338:2,4 395:11 452:7 procedures 16:16 198:15 201:15 206:17 241:14 242:11 287:20 328:11 337:21 342:15 343:16 380:6,16 451:16 451:17,21 452:10 453:17 454:1,9	458:8 process 4:14 6:21 7:13 8:15,21 9:18 9:21 10:5,11 11:4 12:6 19:4,21 20:15,17 21:2,16 21:22 22:4 25:5 26:5,20 36:5,19 37:6,10 38:7 39:1 40:5,22,22 48:16 48:18,20 52:11 57:12 58:7,10 59:19 60:22 76:10 84:22 95:10 100:2 108:22 109:2 131:5 142:18 171:20 173:15 183:11 204:22 205:19 207:1,12 208:13 209:15 214:7,10,22 219:11 221:17 222:13 223:6 224:15 226:2 228:14 230:20 275:20 433:11 434:10 437:7 441:18 443:21 444:13,16 448:19 449:6,17 451:10 455:4 456:3 463:4 466:4,11 processes 11:10 59:22 158:5 442:18 produce 106:17 produces 210:17 producing 110:16 211:14 product 102:20 124:7 174:7 production 156:11 179:5 181:13 productive 33:20 products 91:9,12 91:20 99:14	174:15 professional 69:8,9 69:13 71:12 109:22 186:9 professor 14:2 profile 290:22 330:12 profiled 432:7 profiling 90:2 315:17 program 142:21 147:15 149:1 158:18 169:3 179:19 217:8,13 397:20 programs 51:14 52:13 88:19 89:2 140:2,2 142:8,17 143:10 147:6,11 148:12,19 150:9 150:22 157:9 160:15 progress 33:15 164:3 434:15 prohibit 163:3 project 2:22 3:1,3 7:19,21 8:5,6,7,11 50:11 56:11 60:3 82:17 85:19 130:3 138:1 141:12,15 154:6 231:4 439:3 441:10,13 443:12 450:3 projecting 288:13 projects 18:3 23:18 29:18,20,20 44:21 51:19 56:9 82:13 130:5 promote 146:13 280:10 promoting 150:4 prompts 225:9 proper 135:19 properties 25:10 207:20 208:7 proponent 162:4	proportion 246:18 280:22 313:15 proportionately 270:9 proportions 269:14 269:15 propose 468:2 proprietary 93:7 97:6 98:7,18 prorated 238:4 prospective 458:2 prove 272:15 287:15 provide 6:18 9:8 20:1,10 22:12,16 23:5,21,22 24:16 33:12 82:3 109:10 122:18 123:17 142:6 143:4,12 146:2 157:5 192:12,19 209:20 210:3 221:18 222:15 276:10 297:17 306:20 320:19 395:1,4 415:5,20 434:5 440:7 446:14 448:15 467:1 provided 137:9 139:19,22 226:12 278:7 293:4 377:18 415:11,21 426:9 provider 17:11 65:17,18 66:2 89:17 102:22 107:22 125:11 181:21 186:22 201:12 202:21 203:3 302:21,22 338:6,17 339:11 405:7 406:2,3 provider's 465:16 providers 67:6 74:16 119:4 124:21 127:8	161:15 179:14 186:19 190:7 194:18 201:16 203:13 233:17 265:19 283:19 285:18,22 289:11 303:6 320:19 338:22 382:12 397:21 405:10 432:16 461:12 462:21 provides 127:9 142:18 159:19 210:1 263:17 308:2 393:18 446:13 providing 19:18 20:7 109:7,13 120:17 137:4 143:18 193:2 195:13 210:4 279:13 417:16 proxy 227:10 271:7 psych 233:12 247:9 282:4 284:20 psychology 121:17 public 2:10,15 4:13 4:22 6:13,15 10:6 11:18 24:10,11 68:12 97:4 98:12 99:3 100:7 142:7 146:14 155:14 159:19 188:1,19 204:19 205:5,6 220:4,9 277:19 278:15 359:11,17 363:12 449:10,14 449:17,20 450:7 450:10,20 463:17 465:21 466:21 468:4,5,15 470:3 471:9,11,15 public's 98:17 publicize 97:8 publicly 93:22 97:18 187:2
---	--	---	---	---

203:15 232:20 published 181:8 pull 44:21 47:5 73:7 251:17 277:1 471:22 pulled 163:15 pulling 281:20 pulmonary 43:11 140:12,17 151:10 442:9 pulse 272:18 purchaser 63:3 65:13 purchasers 186:17 193:15,21 purchasing 89:14 158:19 397:19 435:22 purple 81:21 82:7 82:13 241:10 368:5 purpose 101:4 137:2 166:10 212:11 217:7 218:21 222:6,12 purposes 89:17 90:2 94:15 188:2 334:3,6 435:21 464:15 465:14 pursuit 142:9 push 73:16 386:13 420:7 pushing 263:2 put 31:9 38:3 43:20 44:12,13,20 54:3 54:14 89:9 90:1 98:18 103:13 106:11 122:14 167:14 203:2 217:7 220:3,7,14 225:16 226:20 228:1 252:3 271:11 287:17,18 323:4 325:10 370:3 398:22 404:16,21 424:18	449:9 462:11,15 463:14 466:19 468:3,4 471:6 puts 440:21 putting 31:17 195:19 386:14 388:6 414:21 437:2 <hr/> Q <hr/> Q&A 227:17 247:16 qualified 423:12 qualify 362:15 qualitative 282:15 quality 1:1,18 2:18 13:2,13 16:15 18:1 43:1 44:7 45:18 46:9 52:2 58:20,22 59:7,18 60:12,22 61:2 62:14 66:10 69:16 70:9 73:2 82:10 82:17 85:6 86:21 87:3,4,7 89:4,6,15 94:7 100:18 108:9 108:18 109:2,13 109:20 110:13 111:2,3 113:1,6 117:20 121:7,11 121:18 126:13,20 126:22 127:3,10 127:14 128:11,13 128:15 136:14 142:9 148:21 158:17 177:7 192:8 197:7,9 198:3 203:6 231:13,14,14 232:20 239:1 260:8 271:17 277:9 280:5 310:2 310:3 340:6 357:2 389:22 390:11,17 393:22 394:3 415:21 417:5	425:9 434:12 465:9 467:10,21 qualms 382:4 quantify 415:10 quartile 249:13 query 209:19 question 18:12 27:13 29:10 34:9 38:1 41:16 43:13 49:6 52:16 58:18 65:6 69:19 70:18 71:21 72:20 78:4 88:20 89:5 92:6 92:12 93:19 96:17 98:3,5 102:18 107:20 109:17 113:9 118:18 119:9 121:5 136:13 138:3 153:20 155:17 156:4 164:9 167:6 169:10 170:1,2 175:13,14 185:13 185:16 188:15 205:9 214:17 217:1 218:16 247:13,18 248:13 249:11,14,19 251:8 252:9 256:7 256:9 258:6 262:8 263:5 264:13 266:22 267:1,14 268:2 269:20 270:22 274:14 275:9,16 276:18 280:21 281:12 286:12 291:6,14 291:15,21 292:1 294:6,16 295:8,14 306:22 310:10,16 311:16,21 312:8 312:10 313:4,12 314:18 316:2,6 318:4,9 319:14 323:12,15 324:4 325:14 326:6	327:3,12 329:11 331:22 332:9,11 333:12,20 334:9 335:5,12 336:10 336:16 339:15,19 340:3 341:15 344:14 345:22 350:4,15,18 351:7 351:9 352:7 356:12 358:1 359:2 360:11,16 361:11 363:7 368:10 374:14 379:15 386:7,16 387:2,3 388:2 394:7 396:12,13 396:16,18,19 397:1 402:11 403:14 405:3 406:15 410:10 416:12 417:21 422:14 423:3,9 434:1 435:8 436:12 438:18 441:7,16 445:11 446:2 447:12 448:3,9 455:6 460:22 463:5,6 468:6 470:6,13 471:7,13 questions 12:7 18:20 25:14,20 39:18 69:4 71:5 119:19 152:18 154:3 156:15,20 158:22 168:15,16 168:18 182:15 205:11 214:5,12 214:12 219:10 226:16,22 250:3 250:11 251:11,22 252:1,13 259:9 265:21 285:15 286:4 296:11 298:3,22 300:1,3 300:15,18 310:12	315:1 318:10 320:8 344:5 346:15 357:19,19 358:3 359:8 361:9 367:11 374:16 379:3 406:19 422:6,10 423:16 443:11,17 queue 65:1 439:1 quick 8:18 18:12 112:7,19 186:5 189:1 203:9 206:22 247:13,17 262:19 270:21 286:11 287:4 339:19 340:3 369:18 381:19 386:6 390:21 435:7 441:7 465:2 quickly 83:10 120:1 162:8 206:7 246:4 297:21 406:21 407:2 quiet 167:13 quintile 268:22 269:4,5 270:6 281:21 282:17,20 283:1,9 285:4,7,9 quintiles 269:1,6 269:13 281:17 282:10,11 283:5 quite 38:12 55:9 92:18 96:11 114:8 120:7 126:9 166:9 171:21 178:18 217:16 227:18 254:13 271:20 322:1,14 323:14 324:16 397:10 443:1 458:1 quorum 222:4 quote 387:17 395:19 <hr/> R <hr/> R 255:16 320:20
---	--	--	---	---

366:4	361:12	282:18 286:19	53:20 54:5 55:18	222:11 229:10,13
R-square 265:17	rates 275:6 326:3	305:17 387:10	57:8 67:19 68:5	231:13,16 232:2
294:2,6	390:12	401:7 470:19	68:13,22 74:12,13	232:19 233:14
R-squared 254:15	rating 300:13	readmissions 18:3	74:14 76:12 77:13	241:4 253:19
268:13,16,20	362:13 409:5	28:21 106:21	78:12 84:21 85:5	254:5,13 255:1,4
287:16 288:9,12	ratio 112:12,13	233:11 281:10	85:8 86:22 87:6	256:20 257:19
288:21 289:19,20	243:13 298:14	282:3 293:6 386:9	87:16,20 88:2	262:21 263:4
289:22 290:3	304:16 366:17	386:14 387:11,17	89:5 92:7,15	264:15 268:10
294:10,12,13	rationale 262:16	387:20 388:7	94:12,12,16,18,21	270:16,22 271:16
298:17 303:13	316:6	446:7,11 467:14	96:18 97:20 98:13	272:20 282:8
304:1,5 320:14,15	Ratliff 2:12 17:20	readmits 387:6	99:12 100:1,4,17	287:6 289:6 294:7
race 243:7 404:15	17:21,22 80:15	readmitted 282:9	101:8 102:1 103:8	301:3,20 307:14
radar 121:2	191:1,4 339:19,22	282:21 283:2,7	104:5 108:4,9,15	308:15 315:5
raise 34:7 48:10	340:2 341:14,19	387:8,21	108:21,22 109:6	327:6 331:6 332:8
161:6 419:1	349:12 386:6	ready 140:15	112:16 113:16	335:5,8,8 337:20
raised 28:15 154:10	387:3 388:3	294:22 295:15	114:20 116:1,3	338:2,13,22
191:10 226:22	438:18 446:4	296:13 319:19	117:19 121:14	342:17,18 343:22
249:20 259:10	458:22	322:1 323:4 350:7	126:6,20 127:8,12	344:1 346:3 349:1
298:3 299:10	re-engage 167:13	355:20 358:10	127:20 128:4	351:9 357:4 359:9
342:5 351:8 359:2	re-raise 461:9	363:4 408:5	132:12 136:15	364:10,22 366:6
418:14 423:3,8	re-review 141:8	442:13 445:10,11	137:2 138:5,11	373:8 374:10
raising 324:20	re-vote 220:8,8	real 43:15 73:17,18	146:20 149:6	375:13 376:12
ran 7:22 402:21	415:4 417:11	107:14 108:17	153:9,21 154:13	381:6 384:19
RAND 2:5 14:22	422:4,9,11 435:1	177:22 180:12	154:19 156:9,12	388:7,12,15,22
111:14	435:2,4 439:16	222:16 254:14	157:10 158:5,9	390:3,18 393:16
random 75:17	444:9 455:3	257:21 270:21	161:21 163:8,11	393:20 395:9
303:2,18 304:8	458:14 459:5,7,11	313:15 318:22	164:14,21 165:2,9	396:9 398:8 404:6
314:4,11	459:12	326:12,15	165:12,16,16	413:12,12 418:8
randomization	reach 219:17	reality 97:14	166:9 167:5	418:21 422:15
321:12	220:11 221:2	realize 264:13	168:12 170:4,21	425:6 426:2
randomly 386:19	469:20	426:20	171:4,6 172:18	427:15 431:18
387:8	reaches 219:19	realized 9:1	177:3,12,22 178:2	433:3,11 434:1,17
range 94:15 206:18	220:3 469:21	really 5:6 8:21 9:8	178:5 180:16	434:19 439:22
219:14,15,22	reaching 10:3	9:9,10 10:2 11:15	181:10 182:11	444:2 448:4
249:13 278:4	reacting 264:5	14:11 19:7,22	186:14 187:8,13	456:13 458:9
356:10 363:10	read 75:12 78:19	20:3,5,11,16,18	187:17,21,22	461:16 462:5
455:11 469:9	78:20,21 225:15	20:21,22 21:6	188:2,12,15	463:7 472:3,14,21
ranges 41:7	252:18	22:3 23:16,19	189:19 190:1,11	realm 82:16,18
rank-order 183:6	readily 193:17	24:5,11,15,20	190:19 192:18	realtime 19:9,13
ranked 199:14	212:5	25:1,8,11 26:6,12	194:10 195:2,18	327:16,22
ranking 183:12	reading 225:9	29:6 31:22 34:18	195:19 196:7	reason 135:5 145:9
rapidly 252:16	284:9	38:18 40:15,16	198:9,22 202:14	157:6 173:10
253:5 388:22	readmission	42:17,19 43:17,19	204:14 207:13	221:9 258:1 316:8
rate 273:19 274:1,4	246:21,22 247:7	46:10,16 47:16	208:19 209:1	346:18 384:13
274:7 311:6 451:2	264:1 271:4 281:2	48:4,7,19 49:4	216:20 217:20	406:2 445:17
rated 353:21 354:7	281:3 282:10,16	50:7,13 51:5 53:7	218:17 220:18	reasonable 36:8

39:22 272:4 319:7 418:15 reasons 93:12 309:22 338:4 384:7 395:16 reassure 291:9 reassured 291:1 recall 43:9 98:8 receipt 160:12 receivable 123:6 receive 142:15 179:21 271:8 278:20 404:19 received 70:1 220:9 234:19 359:10 449:19 recite 11:15 recognition 103:7 104:14 recognize 12:1 28:19 31:11 100:19 126:18 149:16 183:22 226:3 414:22 426:22 444:5 recognizing 63:15 412:13 417:8 recommend 361:19 362:9 464:6 465:13 recommendation 27:20 30:10 114:16 177:16,18 221:13 229:18,20 296:9 398:1 462:4 463:5,15,16 465:4 465:7 466:6,9 469:2 471:1 recommendations 33:16 45:12 143:14 144:1,4 145:12 156:22 210:7 227:8 228:4 463:22 470:8 recommended 39:21 449:12,13	450:5,11,17 464:7 recommending 464:2 reconsider 449:18 reconsidered 363:13 reconvene 205:16 205:17 206:1 reconvened 445:6 record 129:17,18 206:4,5 223:22 312:8 324:19 363:18,19 473:4 recorded 223:20 224:5 recurrent 429:18 red 8:1 237:12 246:21 261:14 282:14,15,18 redefine 444:17 redefining 444:15 redoing 24:5 redone 330:13 348:6 reduce 23:5 147:1 264:9 425:20 reduced 458:4 reducing 86:20 150:3 264:21 reevaluate 438:2 453:15 refer 201:15 381:17 reference 300:11 381:20 referral 306:19,20 referred 290:18 331:16 379:5 referring 63:2 340:10 395:7 396:9 reflect 164:18 235:22 321:1,2 421:15 reflected 257:14 391:7 468:16 reflecting 270:16	425:13 427:2 reflections 165:14 reflects 114:9,10 232:8 321:4 reform 195:6 refresh 53:5 regard 116:4 201:20 220:2 274:22 424:14 437:20 regarding 238:21 268:3,13 366:18 378:11,18 regardless 134:2 regards 191:11 340:4,8 341:16 region 347:8 regions 194:10 register 99:14 224:16 regressed 303:16 403:11 regression 303:15 regroup 403:3 regular 95:10 regulatory 163:2 rehab 247:9 279:21 282:5 284:21 331:16 393:11 rehash 413:20 rehospitalizations 421:7 reimbursement 179:10,12,18 180:14 256:21 reinforce 198:6 375:7 reinforces 385:7 reinvent 124:16 reinvestment 180:4 reiterate 385:11 relate 29:20 87:5 87:15 158:12 related 45:6 62:11 102:15 111:19,19 133:22 134:3	146:19 147:9 151:2 195:16,22 207:9 213:6 249:18 250:4 263:20 268:14 286:19 298:11 300:2 302:16,20 305:14 373:22 374:2 377:2 378:13 396:19 414:2 relates 88:5 301:20 414:16 418:20 relation 226:9 relationship 52:7 54:7 55:16 69:12 109:20 110:13,18 112:1,22 113:6 172:16 301:2,7,11 301:16 relationships 283:18 relative 151:16 232:7 296:10 385:15,16 relatively 198:17 207:3 286:18 452:22 relevance 113:12 relevancy 254:8 relevant 12:4 14:11 18:4 22:12 179:13 208:13 241:13,19 242:20 270:4 321:9 383:8 457:10 reliability 96:7 208:9 298:9,15 299:20 300:6,15 300:19 301:13 305:20 306:6 310:12,14 311:7 312:11,17 313:4 313:14 314:17,20 315:6,7,21 316:3 317:16 319:15,21	320:6 353:10 406:20 407:6,12 408:2,4,9,13 reliable 189:8 210:18 315:4 370:11 rely 104:20 remain 228:6 remainder 221:4 remaining 460:13 469:1 remains 277:11 384:6 418:10 remarkable 319:10 remarkably 332:21 remember 135:5 174:5 207:17 289:7 342:16 390:6,10 455:9 remind 414:3 reminder 34:3 460:7 reminding 297:19 remove 237:7 removed 232:10 235:18 236:14 265:14 renal 370:22 371:2 371:4,7,13 412:22 413:1,15,17 repeatable 310:19 319:17 repeating 193:9 228:12 323:20 rephrase 128:9 replaced 380:11 replacement 452:4 replicate 397:2 report 13:7 24:11 30:19 60:12 63:5 76:11 103:4 203:2 207:19 208:20 210:5 244:5 249:4 256:8 262:3 266:5 276:22 277:13 278:14,22 280:11
---	--	--	---	---

295:9 296:8,10,15	60:7 209:8 276:3	294:18 295:10	429:5	rich 267:9 444:2
297:1 305:6,10	requirement 60:16	338:10 347:20	restate 200:12	rid 447:22
319:5 369:22	314:13	378:4 382:7,14,18	396:16	right 6:3 8:19 20:4
375:19 377:11	requirements	430:5 434:11	restaurant 10:18	24:18 26:2 42:3
391:22 394:10	222:4	439:3 467:5	rested 353:11	42:11 53:14,22
399:14,16 440:6	requiring 142:4	resources 36:13,15	resting 353:5	59:19 62:18 63:5
449:15 450:17,18	research 2:18	38:19 77:10 119:6	restrooms 6:2	63:18 64:17 65:1
466:20 468:15,17	13:13 120:7 329:2	119:7 164:3 184:7	result 52:2,5 86:1	67:7,12,20 73:7
472:1,2	397:4	204:6 274:1	87:8 134:10 274:6	73:20 75:18 84:7
reported 187:2	researcher 15:17	386:14 388:6,16	417:19	86:14 90:7 91:1
203:15 232:20	162:10	415:10 430:4	results 98:20	91:11 92:10 94:3
244:11 277:19,20	reservation 10:15	432:11	210:18 211:11	97:5 112:16 114:2
280:22 316:17	472:13	respect 107:18	212:1 214:3	121:21 122:9
359:17	residents 192:17	171:20 200:22	215:13 243:21,22	128:2 129:19
reporting 61:7	resides 190:7	227:14 301:11	244:17 245:8,9,19	130:2 135:7
112:2 142:7	residual 441:5	315:14 352:1,2	246:2 256:13	138:15 139:16
148:21 155:15	resolve 126:12	417:8 428:3	258:22 310:18	152:3 154:15
188:2,19 244:21	resource 1:5 4:10	447:18	319:16	159:2 169:21
245:11 278:15	5:4 9:8 26:8	respectfully 128:4	rethink 198:3	171:2,11 174:1
305:6 308:10	28:10 42:9,10	416:18	retrospectively	175:17 177:9
309:9 359:11	48:14 51:6 58:19	respects 183:20	271:12	178:13,18 180:19
465:21	59:5,11 61:21	respond 36:22	return 284:8	183:16 185:4,8
reports 192:8	63:12 66:11,17	119:22 226:16,21	revenue 159:21	190:21 191:1
292:19 293:8	67:22 68:1,16,19	252:19 267:3,8,17	160:12	205:22 206:8
377:17	68:21 69:16 70:1	267:22 268:1	reverberates	231:11 234:22
represent 55:11	70:6,12,15 71:2	274:12 289:15	174:11	238:8 239:11
132:14 147:22	72:13 73:9 74:8	448:6 452:14,14	review 4:10 5:22	242:3 249:16
148:3 242:15	77:11 78:2 81:10	responded 252:10	8:19 35:1 59:20	251:14,18,21
243:1 266:10	82:9 84:9,14	response 18:8 50:1	93:4 95:11 109:19	255:9,21 257:10
426:19	85:11,19 86:15	126:3 254:12	130:12 135:10	260:16,22 263:1
representative 30:5	87:2 89:1 91:3,5	285:15 323:7	138:19 140:21	263:10 264:12
86:5 230:17	102:7 106:19	384:14,19 390:22	141:5,9 306:9	276:17 277:2,2
representativeness	108:5 129:22	425:8 448:17	328:17,20 376:14	279:8,16 280:8
314:22	132:11,16 133:1	responses 251:17	401:9 442:21	284:10 290:4
represented 96:19	135:18 143:16	261:16 358:16	reviewed 131:13	291:5 319:13
99:12	144:17 151:2,15	responsibilities 9:6	228:3 464:4	320:7,15,16
representing 149:8	151:17 153:10	22:9 25:15 42:5	reviewing 21:10	321:13 330:22
represents 246:9	154:7 156:3	responsibility 55:6	32:6 84:13 441:20	334:5,8 336:1
248:10 255:2	157:17 158:4	181:20 264:5	reviews 229:6	337:4 343:6,14
272:3 419:2	165:22 166:11	393:12 426:1,12	revisit 318:10	344:3 349:7 352:5
reproducibility	167:1 169:15	464:17	376:11	357:14 361:5
298:11 314:3	170:19 173:12	responsible 26:1	revisiting 29:13	363:3,20 364:4
reputation 412:7	174:22 177:14	184:5 227:20	374:18	370:12,15,21
request 381:13	192:8 195:22	263:9 269:17	revolve 65:21	371:8 372:4,19,20
requesting 38:21	196:9 203:6	420:8	rewarding 397:20	373:1 375:17
require 38:10,11	208:18 213:2,9,13	rest 235:14 267:18	rhythm 250:19	383:21 384:12

391:17,18 394:14 394:19 397:8,11 399:4,13,22 404:4 404:9 406:17,22 408:5 409:9,18 420:14 425:3 430:13 431:7 438:8,15 443:5 444:19 446:1 449:7 452:12 455:1 456:11 458:12,18 460:22 461:16 463:2 468:20 469:12,14 472:14 right-hand 246:11 303:19 right-skewed 239:3 rise 46:7 risk 4:17,19 17:9 28:22 57:21 74:17 76:9 136:17 172:8 179:14 201:22 211:9,12,21 239:12 240:3 241:3,5,8 242:3 243:11,12 244:16 244:17,19 245:3 245:18 249:18 265:16 273:18 287:17,18 290:21 290:22 298:6 299:8 302:16 303:19 304:3,6 316:12,15 324:11 324:13 330:5,10 332:1,4,16,17 335:1,1 336:22 337:14 340:4 341:3,16 346:2,3 346:18 350:17,21 353:5 355:2 365:3 366:5,18 368:4,9 369:1,4,9,17,20 371:13 373:9 375:20 379:7	384:1 386:22 397:16 400:9,22 401:1 402:6,11,16 403:7 405:15,17 411:19 412:15 414:5,12 415:18 425:20 426:19 441:5 453:7,12 risk-adjust 232:16 241:11 242:2 249:12 342:19 343:19 344:10 345:8 370:8 371:5 371:11,17 372:11 376:5 risk-adjusted 333:15 368:17 412:20 413:7 432:14 risk-adjusting 287:19,20 338:3 342:20 344:15 risk-based 74:11 risk-standardized 281:18,22 282:20 308:20,22 309:13 309:21 365:17 417:18 Rivers 14:4 RN 2:8 3:2 road 185:10 196:5 roadway 267:13 Robert 50:10 87:10 88:1 113:17 130:5 429:10 robust 76:12 160:18 267:16 412:8 role 4:6 9:12 11:19 11:20 13:6 19:19 41:16 45:16 54:12 54:22 55:10 64:12 73:15 113:20 130:18 194:8 425:19 433:18 roles 9:6 42:4 51:21	81:13 roll 22:1 296:3 rolled-up 201:10 roof 123:7 room 1:18 5:9 6:1 6:20 7:8,20 11:17 13:9 57:6 75:12 205:7,22 222:3,22 260:17 261:1,16 281:9 295:21 297:12 306:16 356:4 360:22 392:3 433:2 471:9 roster 78:20 rotate 27:3 rotating 46:14 rotation 26:19 27:2 27:4 round 11:10 routinely 470:17 row 148:2,5 237:7 237:9,13,20 246:17 282:16 rows 147:22 RSP 244:20 269:1 282:17 rub 290:2,3 rubber 185:10 rule 170:17 217:16 rulemaking 142:17 143:11 rules 5:22 375:10 375:14 run 15:20 107:3 181:5,7 184:12 191:12 261:4 278:13,21 287:10 330:9 403:3 rundown 8:18 running 8:15 71:8 130:9 191:18 204:18 224:8 225:11 RWJ-funded 150:18 Ryan 2:13 14:12,12	36:18 52:17 80:17 80:18 107:5 172:14 214:16,19 300:21 303:13 315:12,14 351:16 427:11 <hr/> S <hr/> safety 76:4 148:2 sake 92:4 408:17 439:13 440:16,20 salaries 176:19,22 salient 168:3 240:16 saline 237:19 same-day 254:11 299:13 same-same 413:10 sample 315:22 316:18,20 317:2,2 317:21 319:7 341:2,4,21 402:12 403:5 samples 317:3 satisfaction 362:1 satisfied 270:15 314:16 satisfy 268:11 423:15 savings 17:9 saw 24:9 103:17 246:2 377:10 saying 108:13 146:12 171:8 175:9 186:12 200:12 202:21 254:3 288:7 331:11 335:20 344:8 350:1 391:9 406:7 411:17 416:22 432:3,4 437:3 445:14,16 450:17 465:12 471:4 says 181:8 282:19 314:19 328:20	331:7 362:4 370:19 408:22 scan 150:5 scans 161:17 ScD 2:9 scenario 378:2 461:19 schedule 68:12 schematic 451:1 Schmicks 10:16 472:13 School 2:10 3:7,9 3:11 science 44:3 63:19 154:8 157:3 scientific 207:19 208:6 209:3 210:14 220:20 224:12 267:21 286:6 287:3,8 293:16 297:7,13 299:19 361:16,18 362:2 374:22 380:21 400:1 422:15 470:16,20 scientifically 62:16 scope 113:5 163:16 191:6 314:20 407:13 score 215:14 313:6 313:10,18 315:3,6 scoring 315:5 400:11 402:1 451:8 scratched 357:7 screen 7:11 252:3 319:15 348:12 screens 350:14 script 225:15 se 411:15 seat 408:8 seated 225:19 seating 21:20 seats 311:2 second 31:1,5 47:8 57:1 69:19 72:19
--	--	--	--	---

83:8 90:6 133:12 162:3 177:11 178:4 215:11 228:19 253:18 280:20 299:20 306:17 341:14 462:9 secondary 298:4 327:19 345:4 369:5 370:9,17 371:17 seconds 224:21 261:7 section 65:3 130:16 206:7 251:1,1,12 255:18 267:10,16 267:21 280:16 287:8 359:4 398:4 399:2 449:11 470:3 sections 130:13 250:22 300:6 sector 150:10 217:10 sectors 146:15 secular 453:21 see 16:7 19:12 20:5 21:15 22:9 23:3 24:20 26:10,14 38:13 39:4,15 40:5 47:21 51:22 53:20,21 55:14 56:15 66:1 67:19 70:9 71:17 72:15 100:4 108:21 112:18 128:13 129:15 131:7 137:14 139:21 147:8 148:12 152:2,7 154:13 159:12 161:15 166:8 168:5 181:20 188:14 197:22 200:5 208:1 209:16 214:3 215:14	217:17 224:7 237:20 238:8 241:7 244:8 245:3 249:7,10 250:7 252:10 258:21 261:7 262:5 268:6 270:8 274:2,5 277:12,13,15,22 278:2,3 279:1 293:2 298:19 300:16 305:13 310:13 316:9 346:7 350:13 351:17,22 370:17 370:22 371:3 375:5 377:22 378:5 379:17 387:17 392:19 406:15 411:5 412:19 426:10 428:10 433:3 434:13 444:10 456:8 465:2,21 seeing 23:8 64:4 140:1 195:20 260:16 285:9 297:11 312:7 325:4 358:6 361:10 369:8 409:20 428:5 seek 29:22 51:19 seeking 24:11 78:1 100:17 seen 11:13 53:2 72:12 81:18 217:18 239:4 257:17 322:18 350:5 371:14 sees 224:13 segment 118:10 130:6 350:14 354:2 segments 118:15 select 89:1 223:19 261:8 selected 226:7	365:5 selecting 65:8 153:16 157:9 239:11 388:18 selection 88:18 142:7,10 143:9 148:11 238:21 428:21 send 7:9 222:14 450:6 sends 393:10 senior 2:20,21 8:10 8:13 13:12 15:10 16:13 71:1 sense 26:12 53:22 59:16 139:15 146:6 170:9 179:18 182:18 191:22 281:8 283:19 297:6 306:6 344:2 360:13 392:13 412:2 415:16 417:3,17 419:11 420:16 436:16 437:7 465:1 sensed 163:13 433:8 sensitive 276:7 sensitivity 274:16 sent 226:11 351:18 separate 59:14 178:19,20 189:21 199:4,5 269:1 293:8 304:10 329:7 339:12 separated 160:21 269:7 separately 177:8 series 119:15 143:6 152:18 223:18 314:22 serious 74:3 105:19 seriously 55:13 292:20 serve 272:4 300:11	served 229:8 231:1 service 108:8 115:9 121:9 122:19 181:17 182:8 185:1 414:20 services 2:2 15:17 68:15 96:4 110:16 125:16 137:1 151:6 181:9 184:16 185:3 186:9 196:21 391:10 services/research... 19:1 serving 57:14 145:18 195:15 433:14 SES 29:1 171:14,15 243:6 432:14 session 42:3 145:21 156:16 218:4 set 27:2 34:10 108:3 147:13 148:7,14 164:4 173:5,11 223:17 236:16 263:1 281:19 304:13 306:2 329:16 366:9 421:15 455:20 472:4 sets 104:7 350:22 setting 101:4 147:15 148:20,22 180:8,11 242:14 242:17 246:19 279:20 281:17 368:10 385:1,2 389:13 391:5 393:8,14 412:19 442:21 461:22 settings 143:3 146:14 147:11 148:8 233:10,13 242:18 279:18 283:10 284:16 412:9	seven 7:15 233:9 356:6 360:3 Seventy-eight 360:6 Seventy-seven 246:14 severe 326:10 332:13 333:22 344:12 385:6 412:10 severity 267:5 268:3,11 270:17 271:15 272:5,6 291:3 299:8 323:18 324:18,22 325:20 326:2 328:15 334:16,17 337:14 338:20 340:7 345:2 378:14,15 411:4 414:11 457:20 461:14 sex 401:14 SFA 104:19 share 11:16 12:3 30:19 76:14,18 186:1 200:15 201:2,7 232:14 237:8 292:4 440:2 shared 17:9 119:7 120:6 121:1 123:3 195:17 199:19 200:7 270:15 291:19 SharePoint 381:15 471:19 she'll 8:3,14 sheet 75:9 351:18 shift 410:18 shifting 74:10 shock 329:14 336:5 336:8,17 354:12 354:18,22 369:12 369:15,20 372:2,3 372:5,7,8 373:20 373:21 455:14
--	---	---	---	---

short 107:2 198:15 217:21 386:1 393:5 420:20	304:20 415:20	sits 102:12 105:11	302:21 303:11	somewhat 21:7
shortage 101:16	signal's 111:17	sitting 82:2 111:12	305:8 454:12	81:19 82:16 126:2
shorten 344:4	signal-to-noise 298:14 304:16	176:7	small-volume	130:21 140:18
shorter 420:18	314:5,10	situation 187:19	303:5 305:9	173:17 253:3
show 7:10 168:6	signaling 111:22	313:2	smaller 42:13,13	311:9
180:9 215:19	161:14	six 7:14 56:13,19	236:8 285:5	soon 8:4 370:15
266:5 268:15	signals 63:7,10,20	292:17 296:3	316:22	sooner 31:13
278:16 290:16	89:10 90:1 129:1	426:16 441:12	Snap 223:8,22	444:12
335:3 356:19	significant 75:2	size 255:16 316:18	225:7 261:3,9	sorry 134:5 141:3
371:18 427:19	112:16 211:15	317:3,21 319:7	sneak 230:7	212:22 214:16,18
showed 421:8	383:2 403:2,19,22	454:12	SNF 238:12 243:10	221:8 230:9 240:7
showing 285:1	signs 328:2	skilled 233:13	247:1,8 262:16	247:10 251:2
shown 237:12	silos 59:20	skills 57:20	263:17,21,22	264:12 280:18
238:16 241:10	similar 34:10 35:12	skip 81:13 298:17	279:21 281:2,3,11	292:9,11,13 313:7
242:5 271:2	37:10 53:4 61:6	slice 26:11	290:11 393:11	314:6,7,8 335:4
290:20 428:1	71:2,3 141:5	slide 22:8 81:19	SNFs 282:3	340:2 348:15,16
shows 430:21	144:19 158:22	91:3 94:14 130:11	snuck 75:20	349:13 361:10
shrinkage 301:4	168:12 208:12	131:9 136:6 140:9	sobering 180:8	367:9 376:18
shrinking 302:18	269:14 270:6	144:13,15 149:10	social 51:3 88:12	384:17 413:2
shrunk 301:5	292:21 332:17,20	152:19 182:9	societies 71:11	454:5,6 460:16
sick 349:1,9,16,17	333:6 334:1,15,15	208:1,16 212:13	society 69:14	464:21
349:20 389:10	335:1 347:17	213:7 220:6 221:7	180:13 256:19	sort 19:12 28:16
446:15	377:9 400:12,16	223:19 228:1	sociodemographic	29:11,15 32:7,14
sicker 349:22 350:2	402:3 403:20	241:3 243:21	177:13	33:21 34:18 35:14
350:2 385:4,14	407:8 408:20	244:16 245:18	socioeconomic 76:9	35:17 36:7 37:22
395:16	409:1 412:5 432:2	261:6 268:14	255:6 299:9	38:8 39:9,19
sickest 388:19	similarly 35:7	281:13,14,15,19	378:18,20 429:21	40:13 44:3 45:3
side 66:10 68:21	245:9 288:20	284:2 342:17	430:17 431:4	47:10 48:8 50:13
85:6 98:17 99:3	289:1 291:1	362:17 366:11	software 94:19	50:21 54:10 57:18
186:4 203:7 242:3	395:15	367:10 368:4	95:9 97:1,6,12	57:20 61:19 62:1
246:10,11 268:12	simple 68:11 124:5	376:21	99:11 342:7	64:11,12 72:22
303:20 350:14	283:14	slide's 285:1	394:17	77:21 78:11,13
359:11,15 374:4	simplicity 173:21	slides 81:12 131:4	software-specific	86:1,5 96:2,3
471:6	234:5 256:2 267:4	147:8 159:12	96:20	103:21 104:19
sidebar 161:20	single 125:18 173:5	223:17 228:18	sole 101:4	111:6 121:16
sides 185:18 186:15	184:17 278:19	229:22 230:2	solely 398:17	131:17 132:4
sight 192:22 224:6	316:13,21 319:5	231:7 247:15,20	solution 112:13	133:15 135:18
sign 162:15	325:12 426:21	290:9 296:3	258:19	136:20 137:17,20
signal 60:12 89:15	427:1	364:15 381:13	Solutions 12:15	137:21 139:12
89:16,22 109:2	singled 173:13	slightly 31:12 214:2	solve 166:2 275:16	145:22 146:5,9
111:17 112:12,13	sit 13:16 14:18	216:7 237:22	solving 171:18	153:1,17 156:14
128:17 132:13	103:14 162:9,11	308:21 362:11	somebody 43:7	158:11 163:7,12
169:10 170:18	275:15 412:13	432:2	99:5 222:3 331:18	164:15 165:6
173:12 271:17	site 231:5 381:15	small 29:3 39:5	376:8 436:20	183:6 194:21
		41:6 110:9 198:18	Somebody's 280:19	207:14 209:2,17
		254:17 255:16	someplace 292:12	212:21 213:5

222:8 249:11	423:14	351:6 352:15,18	stall 434:14,15	17:22 192:14
263:2,16 271:17	speakers 446:8	352:21 353:1	stand 45:18 275:5	Stanley 79:21
291:10 293:16	speaking 65:7	spectrum 116:3	328:21	stars 461:17
294:8 298:13	457:1	spend 9:11 10:12	stand-alone 265:4	start 16:1 26:4
299:4 304:20	speaks 126:3	83:8 156:15 165:5	265:7	28:14 45:3 48:6
312:21 334:16	385:18 428:13	165:17 179:8	standard 58:14	51:1,5,22 54:1
342:4 343:1,2	432:10	187:15 199:8	155:7 252:1	55:22 57:4 62:8
347:15 353:20	spec'd 442:8	208:19 297:8	258:11 260:8	62:12,19 64:20
356:18 362:5	specialists 170:14	spending 37:3,3	353:18	84:18 88:14 90:1
376:13 385:7	171:6 176:18,22	42:20 134:17	standardization	124:1,4,6 126:16
388:10 391:3,4,13	specialty 71:10	151:16 172:22	23:1 41:13 243:11	127:15 130:15
412:3,17 418:16	176:13	259:17 280:13	244:18	132:1 152:17
419:22 424:15	specific 35:5 86:13	360:10 377:15	standardize 236:12	161:3 165:15,16
426:10 434:17	120:13,13 133:20	427:17,20 432:11	237:16 365:1	168:6 175:8
436:14 437:3,7,12	134:16 137:10,11	463:1	standardized 4:17	178:16 195:19
451:3,9 455:15	137:12,13,21	spent 92:18 95:16	4:20 74:4 75:1	228:15 235:3
457:5 462:13	143:5,20 144:2	132:15 279:22	132:12,22 133:7,8	249:2 261:1 275:7
466:9 467:14	147:10,15 153:7	325:10 329:7	171:16 213:14	307:8 363:14
sorting 157:10	163:20 164:17	spirit 170:10	235:5 239:13	364:7 375:13
158:9	172:17,18 184:3	split 312:20 314:4	243:12 244:17,20	376:16 398:9
sorts 237:17	200:18 215:15	314:11 315:22	245:4,18 273:18	started 28:17,19
sought 424:10	248:8 276:22	316:20,22 317:2,3	308:2 309:19	43:2 48:9 61:20
Sound 297:14	277:6 292:19	341:2,4,21 403:6	325:6 397:1	100:7 130:11
sounded 274:11	293:8 344:18	407:7	401:21	174:4 205:18
sounds 118:16	347:5 365:21	spoke 369:12	standardizing	235:16 261:10
377:19 416:21	402:6 450:19	sponsor 163:8	207:10 235:20	277:12 292:13
source 243:9 283:5	specifically 54:22	spots 225:19	standards 13:17	300:3 323:16
338:9	65:9 70:15 72:13	squared 320:21	93:10 210:6	starter 156:21
sources 71:13	83:11 134:2 152:5	366:5	standing 1:7 4:6	starting 23:16 42:2
154:13,14	188:10 250:4	squares 255:16	5:5 9:4 19:20	43:4 54:14 83:19
southern 347:5	280:6 307:3 311:7	squishy 424:2	20:22 21:15 22:4	83:20 84:5 225:9
souvenir 223:10	405:4,13 450:18	stab 357:18	22:10,15 25:17,22	364:6 419:12
space 72:10,14 83:3	464:1	staff 2:20 7:19	26:5 27:17 29:12	starts 132:5 259:19
84:1 99:22 100:20	specifications 41:9	27:14 29:17 62:1	30:15 35:22 36:10	275:8 285:13
101:7 111:16	173:17 190:12	227:20	46:12 47:2 62:4	state 236:16
112:2 117:16,19	210:21 227:9	stage 327:11,11	64:12 81:14	stated 379:8 446:10
119:12 163:2	250:8 298:2	343:13 371:13	130:18 143:2,17	statement 161:2
201:4,6 231:17	311:17 312:3	413:17	144:18 145:14	statements 226:22
250:12 267:15	351:1	stages 165:21	152:7 441:8,12	states 113:7 236:17
spades 169:1	specificity 312:2	stagger 27:1 47:7	442:17 443:2,11	236:19 237:21
span 137:17 147:10	424:15 440:7	stakeholder 49:16	446:18 470:7	429:14
156:14 238:14	specified 87:4	87:16 142:6,19	standpoint 292:16	statistic 314:20
speak 6:21 7:3,9	173:10 211:20	146:18 227:12	358:3	407:12
59:10 61:10 145:6	312:13 315:16,19	stakeholders 50:9	stands 244:20	statistical 211:11
251:16,18 310:21	316:4 317:13,14	63:17 64:7 88:21	364:2 447:19	302:6 303:9
317:11 410:21	318:13 341:11	226:1	Stanford 2:12	312:12,18

statistically 211:15 403:2	stick 6:5,17 380:22 394:15 430:14	stronger 304:20 412:2 419:10 465:13	subjects 198:10	469:21
statistics 344:7	stink 375:11	strongly 62:6 63:4 63:17 398:8	submission 60:7,21 61:3 214:2 215:16 216:1,7 407:17 442:1	suitable 361:15
status 76:10 255:7 299:9 321:1,5,6 324:4 338:16 404:15 429:21 431:5,8 457:20 458:9	stint 462:21	struck 196:15 436:13	submissions 53:1,7 53:8,18	summarize 228:21 252:2,7 286:15 322:16
statutory 142:2	stipulation 93:21	structure 43:20 44:1 56:5 115:16 120:18 136:2 143:2 160:21 184:10 442:20,21 443:3	submit 57:15 140:19 182:22 442:13 445:8	summarized 253:1
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	stone 471:2	structured 39:1	submitted 71:15 72:8 213:19 227:9 229:3 251:17 292:1 311:17 352:11	summarizes 140:10
statutory 142:2	stones 468:2	structuring 45:11	submitting 57:21 406:4	summary 229:1,7 296:9 358:7 367:13 374:17 377:6 378:22
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	stool 390:4,19	struggled 120:3	subsequently 219:19 311:3	support 172:10 117:22 142:20,21 142:22 152:9 217:14 253:15 398:19
statutory 142:2	stop 97:13 221:15	struggles 162:12	subset 335:19	supported 185:4
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	stopped 8:3 221:16	struggling 176:7 218:18	subsets 44:22	supporting 113:18 148:19 434:18,18
statutory 142:2	stopper 436:11	STS 98:10	substantial 265:11 461:15	supposed 59:10 183:1 248:21 315:17 339:3 361:14 400:8
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	stops 436:6	stuck 79:1 258:16	subtopic 148:1	sure 5:14 6:8,17,18 7:16 20:10 24:4 27:9 30:16 31:20 67:17 76:13 78:10 83:14,19 84:5 85:2,6 90:8 96:11 103:8 105:1 172:10 177:5 178:17 181:3 183:10 196:6 210:10 223:8,13 223:20 224:13,17 225:1 243:7 250:17 256:3 259:1,14 261:2 265:16 267:15 269:18 302:11 311:13 315:13 318:4 328:18 332:9 333:8 335:6 341:5,12 346:12 347:2 348:7 353:3
statutory 142:2	story 283:11,14 285:14	students 192:16,17	success 85:13 164:5	
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	straight 459:3	studies 290:18 335:7	successful 52:21 384:22 461:21	
statutory 142:2	straightforward 214:13 428:1 456:3	study 174:10 334:20 340:11,19 429:11,16 430:21	suddenly 191:17	
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	strategic 2:22 4:12 5:18 8:21 20:7 48:13,15,19 49:4 64:9 85:2,15 145:19 152:22 156:14,18,20 180:17	stuff 98:12 117:10 167:21 257:16,21 268:5 303:19 330:20 331:21 351:20	sufficient 7:18 198:1 268:11 407:22 415:18	
statutory 142:2	strategically 146:6 156:5 158:21 164:13	subcategories 300:17	sufficiently 325:1	
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	strategies 58:2	subcommittee 113:12	suggest 164:19 252:3 424:13	
statutory 142:2	strategy 139:13 142:10 211:10 306:10 340:8 341:17 402:15	subcommittees 46:19	suggested 326:4 410:13 455:13	
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	stratification 404:14	subcomponents 297:21	suggesting 269:15 422:19 456:17	
statutory 142:2	stratified 281:21	subcriteria 209:1,5 210:16 212:21 213:1 229:16 261:21 296:9 362:21 376:21 399:7 459:14	suggestion 250:20 374:5 454:20	
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	Street 1:19 10:15 181:7		suggestions 227:3,5 252:4 293:12 438:20 457:3,5	
statutory 142:2	stress 207:6		suggests 110:1	
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	strict 217:16		suitability 361:6,8 363:1,6 469:14,16	
statutory 142:2	string 413:9			
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	stringent 215:2			
statutory 142:2	strip 237:16 365:1			
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14	stripping 235:20 236:1			
statutory 142:2	strong 303:9			
stay 6:8,8 254:1 256:13,17 257:4,5 257:10,20 258:5 258:14,16 259:3 260:14 279:6 284:12 285:11 289:21 343:2 364:21 369:1 371:16 375:21 393:2 395:14				

353:22 368:8	149:22 162:13	389:18 403:5	176:1,12 181:4	technician 176:17
370:5 377:8,8	179:7 191:21	438:20 444:8	195:1 201:12	technique 341:22
383:20 396:12,13	194:7 195:3 263:1	464:9 470:11	202:6 234:22	technological 272:2
397:11 398:5	382:10 390:1	takeaway 105:17	281:5 307:2,4	teed 162:3
402:10 413:9	415:7 421:11	106:9	308:15 367:18,19	teeny 282:12,12
423:19,21 424:20	458:3	taken 35:19 99:13	405:17,17 430:7	teleconference 3:14
431:19 432:10	system's 382:20	124:22 188:3	435:10 447:6	telephone 15:21
448:1 450:1	systematic 28:1	217:21 247:17	453:6 465:22	205:10 471:14
surfaced 298:21	178:12 209:4	293:18 316:14	talks 440:14	tell 69:22 70:4,14
299:15	353:17 387:19	412:18	tap 70:7,8 81:22	92:17 111:18
surgeons 329:10	systematically	takes 55:13 195:7,8	174:2 177:10	123:10 162:11,14
surgery 176:16	311:5 351:12	301:6 413:15	400:2	162:18 174:8,20
203:19	systems 51:3 263:7	456:20	targeted 161:12	174:22 179:6
surgical 198:15	426:5	tale 467:8	Taroon 2:21 4:9	274:19 275:8
266:7 380:2,6		talk 51:12 58:20,21	8:10 46:18 47:15	276:1 277:4 281:7
395:11	T	60:19 69:5 77:19	52:19 61:14 76:7	288:4,10 315:10
surprise 35:19	tab 278:19 279:5	82:22 83:6 100:21	81:17 93:1 94:16	345:11 397:14
356:20	table 7:1 12:2	101:8 102:6	114:8 134:4,21	398:13,14 429:15
surprised 161:7	39:17 47:14 62:22	108:19 112:8	141:2 146:11,12	429:16
373:3 403:17	71:8 126:8 133:8	117:3 119:2 125:4	150:18 159:5	telling 333:14
404:2 431:18	178:13 213:14	136:6 179:7	170:5 206:21	349:9
433:3	225:20 226:13	194:15 200:22	214:14,19 217:16	tells 290:17 307:19
surprising 402:16	246:3 279:14,17	209:14 213:6	316:9 318:6	tempted 34:5
surrogate 272:4	361:12 471:22	241:21 243:7	348:14 350:8	tend 125:8 306:4
291:3 332:22	tackle 171:13	257:1 260:12	355:7 439:17	433:19 450:10
surrogates 272:15	tail 249:16	263:16 307:1	Taroon's 31:5	tends 15:1 433:15
328:21	tailor 267:18	323:14 368:4	task 6:7 22:17 71:9	tension 196:22
surrounding	take 39:21 41:5	376:12 377:5	143:7,18,21 144:8	tensions 46:1,5
147:19	45:7 51:21 54:20	402:5	144:20 149:6	tents 7:1
survey 300:2	57:6 64:17 65:1	talked 41:20 76:15	tasked 54:22 88:18	TEP 229:2,7,8
surveys 49:8,8	74:15,16 86:11	87:9 94:17 95:7	464:1	230:17 251:8,20
survive 326:16	89:15 122:11	99:10,17,19 104:4	teaching 76:5	251:22 252:4,8,10
Susannah 3:7	129:11 131:6	156:9 176:11	team 82:21 323:15	252:21 255:12
231:3 415:3	141:16,19 149:21	186:6 194:8	393:9 415:5	266:5 286:14
suspect 47:13 59:2	151:21 152:1	196:20 208:16	446:22	294:9 300:1 322:3
switch 168:11	157:14 165:13	298:16 303:14	team's 323:15	322:8,16 323:3
symmetry 99:14	177:17 179:1,14	342:6 397:13	teams 72:21 73:3	353:11 377:1,7
240:10	179:22 202:7	400:10,13 404:14	tease 294:7	381:2,4 404:4,7
syringe 236:17,19	204:20 223:10	408:22 426:15	technical 16:18	423:4,8 454:16,17
236:20	231:21 237:5,10	434:10 461:19	42:15 45:4 57:20	454:18
system 2:17 42:22	242:4 264:5	talking 77:4 90:16	58:12 103:22	term 22:15 47:6,9
76:4,5 84:10	292:20 300:17	92:18 101:6	141:19 152:1	75:13,15 112:12
86:19 108:6 118:2	301:14 318:5	107:13 112:9,11	369:21 375:19	161:13
118:21 124:20	320:12 327:13	121:19 122:13	454:19 472:2	terminology 9:2
127:17 128:7	343:18 344:1	130:17 159:15	technically 60:10	83:22 84:2
132:15 147:1	357:18 387:18	160:14 161:19	255:13 416:16	terms 22:2 26:22

30:12 31:15 32:1	tertiary 306:19	16:22 18:10 19:16	123:18,19,19,22	66:19 67:19 70:21
32:12 36:21 37:15	test 58:1 211:8	32:2 45:22 65:5	126:4 138:15	71:1,21 72:1,4,10
40:20 41:8,20	314:4,11 448:5	67:14 90:22	159:9 180:5,12	72:15 73:11,14,15
42:4,20,22 43:3	tested 239:21	146:11 172:14	182:19 184:4	76:7 77:12 78:4,6
51:3 52:8,17	317:13 318:12	196:14 205:3,12	192:15,17 194:6	85:5,12 86:4,4
66:14 71:10 72:19	testing 38:11	214:14 222:20	198:16 199:15,16	87:14 88:3,14
72:21 75:5 78:19	210:19 211:4,5	257:3 268:1	222:5 241:9 242:9	90:3 91:12 92:4
82:4,13 83:2 84:4	227:9 298:9,20	306:22 402:10	243:2 247:9 255:3	93:2,11,17 95:10
84:17 89:20 90:19	312:11,17,20	471:7	256:4 262:19	97:3,11,15 98:5,9
90:20 101:10	313:4,13,14,17	themes 27:15	263:20 264:16	99:2,7 101:13,19
102:5,10,12	314:18,21 315:8	101:18	265:18 272:18,20	103:12,12 105:1,5
103:18 109:11	315:15,21 316:3	therapy 123:21	273:20,21 274:15	105:16,18 106:3,9
120:18 127:9	317:15 350:12,19	396:4	274:17 285:20	106:16 107:12,14
130:19 136:1,5	351:17,19 352:1,9	thicket 175:20	286:3 287:13	108:1,12,17 109:3
140:1,3,6 141:9	352:12,14,19	thing 22:3 57:7	289:18 294:8	109:5,8,14 110:8
145:10,15,19	353:6,8,12,16,19	93:20 102:2	305:19,21 314:1	110:18 111:3,10
147:7 154:6,20	354:2,3,8 356:16	108:10 123:15	319:2 326:22	111:15 112:2,15
155:6 157:20	357:6 362:18	139:5 179:2 181:4	327:19 328:20	113:1,15 114:16
160:3 161:7	407:3,6,13,18	206:15 223:21	329:5 330:16	115:4 116:1,22
163:19 181:2,13	408:4,20 409:7	240:4 259:15,20	334:14 339:7	118:5,6,8 121:6
182:9,14 187:14	427:14 429:3	260:4 265:5 287:4	343:22 344:21	122:3,7,13 123:3
188:14 193:18	440:14,21 441:1	290:1 293:17	345:6,9 348:22	123:15 124:3
194:9,20 195:12	449:1	294:14 305:5	353:10 371:18	125:8 126:12,15
196:20 197:2	tests 120:13 123:20	333:3,5 342:4	372:4 374:6	127:2,12,20 128:2
198:7 199:7 200:4	298:11 304:15	343:7 354:22	392:18 413:14	128:4,12,16 129:4
217:18 249:3,10	312:12,18 314:9	355:1 364:16	420:11 434:15	129:10 130:10
250:8 257:20	thank 17:13,19	374:12 375:6	439:20 441:11	131:17 137:2,6
288:5 298:3,14	18:19 19:3,17	381:12 389:1	443:20 446:5	145:14 153:17
299:8 301:18	22:6 31:6 46:2	424:22 429:17	448:13 450:11	154:6,17,19 155:2
312:1 314:3 315:7	52:14 71:6 75:3	433:13 439:18	452:16 455:7,8,12	156:22 157:2
321:21 322:5	92:14 107:4 129:9	453:10 455:15	455:17 456:14	159:6,8,10,14,20
325:20 338:22	135:4 141:15	457:18 458:10	462:22 463:20	160:2,8 161:1,11
347:18 351:15	183:14 185:6	462:21 468:4	465:19 467:16	161:21 162:6,9,10
377:10 400:7	190:22 191:4	things 9:19 20:20	think 7:14 11:16	162:19,20,22
402:3 407:3 409:6	193:6 195:14	25:1,16,17,21,21	12:4 14:11 15:21	163:8,17,21 164:8
411:2,5 424:3	196:11 204:13	26:4,21 31:7	16:9 19:22 20:11	164:9,21 165:3
434:3 439:21	230:6 240:19	34:17 39:14 41:7	21:6 22:2 24:21	166:1,2,17 167:5
442:10,17 444:6	247:11 248:14,20	49:11 54:4 56:7	25:17,22 26:5,20	167:17,20 168:2,3
453:6 457:16	255:17 265:10	61:18 67:10 83:15	30:4 37:14 40:12	168:6,12,14,19,21
462:14 464:11,14	273:1 292:4	88:13 93:2 94:17	40:13,17,18 41:12	169:1,9,18,19
465:6	293:12 297:18	95:11,20 98:4	45:8 46:5,7,17	170:6 171:9,19
terribly 113:9,10	360:7 368:1 376:6	101:9,11 102:7,14	47:10,11 48:3,11	172:3,16 173:11
terrific 129:8	381:9 384:16	103:21 104:1,8,10	49:4,18 50:2 53:1	173:19 174:18
204:13 240:19	471:12,16 472:19	104:19 105:14	53:7,14,15 57:2,7	175:12 177:21
264:22 376:6	472:22 473:2	107:17 111:6	58:2 60:5,6,18	178:2,21 180:16
terrifically 370:11	thanks 11:6 16:10	117:7 122:15,17	61:8 62:22 63:21	182:11,12,15

184:4,11,14	365:15 368:11	165:21 168:8	212:15 244:14	227:22 229:21
185:13,15 187:12	369:13 370:2,4	172:19 176:7,13	269:11 273:8	236:20 241:7
187:14 188:6,11	373:17,17 374:11	178:9 180:16	278:1 296:8 307:9	256:11 261:2
189:22 191:11	377:10 378:22	195:10 198:8	307:14 314:22	263:3 285:7 286:3
193:14,17,19	379:1 382:8,9,19	256:15 259:16	316:17,19 317:19	287:11 288:19
194:1,4,21 195:1	383:15 384:19	264:2 275:7	319:9 357:19	297:6,8 322:1
195:2,3,10 196:16	386:7,12,16	356:18 389:8	390:14 441:11,11	323:4 330:18
196:21 197:5,6,10	388:20 390:7	418:2 419:11	444:13,14,22	369:7 371:1,15,19
197:12,18 198:2	391:2,12 395:6	454:2	445:15 447:12	372:8 382:14,22
201:8,11,20	396:18 400:15,21	thinks 155:12	448:1	385:3 389:6 390:8
202:13,17,18	401:12 402:2,21	343:14	three-year 36:5	390:13 391:4,4
203:11 204:14	404:11 408:18,21	thinly 163:22	47:6 318:17,20	392:5,16,19
217:15 225:6,14	411:9,10 414:15	third 105:15	430:21 445:2,3,4	394:14 410:4
231:6 244:13	416:12 417:6	113:12 163:12	threshold 220:18	412:13 420:15
249:4,19 250:9	418:11,17,20	171:12 179:2	221:2 304:14	435:3 450:15
252:7,20 254:4,12	419:9,21 420:2,3	213:17 284:4	305:15 306:1	453:3,4,10,18,21
255:20 256:2	420:9,13 421:11	390:4,18	410:3	456:1,12,20
258:20 260:3,4,11	421:20 422:4	Thomas 14:3 80:21	throw 165:9 201:18	467:19 471:12
262:4,19 264:2	423:15,18,21,22	thought 18:17	267:20 287:13	time-driven 181:14
265:5 268:5	427:7,12,22 428:3	31:13,14 69:1	457:18	time-limited 144:8
270:22 271:1	429:3 431:2,20	107:8 117:15	throwing 73:12	144:20
272:19 274:13	432:13 433:13,18	155:5 189:12	430:15	time-lines 36:9
275:4,4,14 276:17	433:19 434:1,13	253:19 255:12	thumb 276:22	times 20:18 48:18
277:2,4 279:15	435:3 436:4,9,9	276:14 298:1	tie 58:12 250:21	68:20 181:5 228:8
280:2,4,9 283:15	437:11 438:12	342:4,17 343:18	tied 114:12 241:15	279:20 287:16
286:13 287:6	439:11 440:13	372:14 379:2	till 374:21	366:12,13 370:7
288:18 289:3,16	442:14,18 443:22	381:7 425:2,16,17	time 6:6,8 8:7 9:20	386:17 390:7
290:6 291:7,10	444:1,7,20,20	438:2 450:7	10:21 11:3 13:8	393:7
293:21 294:4	447:3,17 448:11	thoughtful 84:22	21:5,9,17,22	timing 40:20
298:16,20 299:11	448:18 450:22	thoughts 53:13	26:14,19 28:7	tiny 282:12,12
299:14 301:3	451:5 452:10,13	73:19 356:22	33:1,4,9,10,20	tipped 433:4
303:6 306:5 308:7	453:6 455:2,7	357:9	36:21 37:2 38:19	tired 460:20
311:10 312:19	456:11 457:10,12	thousand 244:14	40:1,9,22 41:2	today 5:7 6:4 10:9
317:5,12 320:8	458:13,17 459:2	328:9	54:17,19,20 64:17	18:15 22:14 44:6
321:20 322:15	461:7 462:10,12	thousands 124:20	64:21 65:3 77:22	47:4 51:12 71:18
323:22 324:16	462:19 465:15,20	124:20 244:15	78:18 83:9 95:3	71:21 81:21 82:1
325:15,22 328:13	466:3,11 467:2,8	three 56:20 65:12	95:16 96:3,12	83:5 93:5 115:6
332:8 333:8	470:14 471:4	66:4 75:11 77:21	99:5 129:21 130:7	141:5,16 150:19
334:13,19 337:9	thinking 58:3	78:3,8 79:4,12,14	130:15 135:16	171:16 195:3,17
337:15 343:14	61:16 64:1,8 65:9	79:16,22 80:4,8	140:15,22 143:6	213:17,19 223:1
344:8,17,18	65:11,22 67:16	80:10,12,16,18	163:18 169:14,20	233:1 291:16
345:21 346:5	78:12 84:18 89:14	88:5 96:1 136:16	170:20 172:1	292:3 421:10
348:21 352:6,7,21	90:15 101:6 108:4	137:17,22 141:3	174:9,16 179:7	443:22 472:12,20
353:2,13,14	108:15 114:14,21	143:3 161:5	205:4,8,11 208:19	today's 18:4 472:17
356:14 357:5,12	115:17 135:21	163:19 165:8	217:22 221:11	told 174:19 237:15
362:10,15,19,22	136:1 156:7	191:17 198:9	224:22 225:1,1,8	tomorrow 213:17

213:20 216:4	451:13	393:18	419:2	311:14 331:5
223:15 460:12	totals 261:19	transparent 74:19	truncate 129:12	332:6,7 335:5
tomorrow's 471:19	touch 122:4 192:22	126:21 187:12	257:22	336:15 343:17
472:8	391:5	203:14 204:11	truncating 189:16	346:9,12 348:18
tone 122:7	tough 257:9 355:2	209:15 216:20	trust 75:16	348:19 349:14
tonight 10:14	town 460:17	277:8	try 5:12 6:5,17	357:1,2 376:4
223:15	track 152:3	transplant 198:20	28:11 76:14 93:12	393:16,20 410:3
tool 99:3 100:8	tractable 326:11	234:19 383:22	109:4,7 119:22	414:15 415:8,10
143:9	tradeoffs 62:21	395:15 396:10	131:5 161:17	417:9,10 418:3
tools 98:18 113:21	traditional 46:9	412:12,14 454:17	163:1 164:1	421:15 422:21
top 43:9 70:13	75:8 91:7 179:18	454:21	220:15 230:15	426:13 436:10
135:6 189:15	272:19	transplants 380:14	231:18,21 260:2	456:19
237:7 246:12	traditionally	384:3 396:7	265:21 267:17	Tsang 80:21
266:6 268:22	449:10	400:19	278:10 283:17	TUESDAY 1:11
269:8,9,11 282:16	training 192:16	trauma 184:21	297:12,20 304:10	turn 7:2 11:5 19:14
283:6,9	transcripts 96:13	travel 5:8 334:22	311:5 348:14	81:16 130:7,14
topic 6:9 23:15	transfer 234:7	391:13	361:1 374:12	136:4 140:5
92:10 191:6 192:4	235:2,8,11 306:15	travesty 421:11	392:4 394:2,16	156:16 159:1
195:16 196:10	393:13	treat 127:11 321:7	416:15 435:19	202:19 206:20
210:10 212:9	transferred 279:7	338:7	440:15,16 448:7	228:20 229:7,12
378:13 442:12	347:22	treated 347:9	456:3,14	366:11 402:8
topics 64:9 147:10	transferring 309:4	treating 49:10	trying 24:21 29:6	turnaround 36:9
152:21 153:8	transfers 174:18,21	329:10 380:2	32:22 34:21 38:2	turns 328:6
154:10 165:18	253:18 299:1	388:8	38:4 39:9 42:7,17	tweaks 37:17
198:10	307:2,13,14,18	treatment 265:12	50:7 54:5 56:15	Twenty-five 174:3
total 37:2 43:4	310:4,7 328:7	266:10 326:11,19	57:2,16 60:5 63:6	twice 101:13
133:12 134:17	transition 20:22	326:21 331:20	72:20 73:3,14	two 10:10,11,13
151:3,4,15 165:5	393:7,13	339:11	74:16 86:17 88:2	26:12 27:5 28:13
169:5 171:21	transitional 180:6	tremendous 254:22	92:6,19 100:21	28:14 33:21 46:20
178:19 186:6,21	translate 51:18	456:17	103:21 109:15	53:2 54:16 56:2
186:22 187:8,15	translated 15:7	trenches 70:3 71:7	112:14 118:13	59:14,21,22 66:6
189:2,14 191:15	transmitted 466:18	97:13 185:10	130:22 138:21	69:2,4 70:15
197:3 199:8	ransom 73:13	tricky 68:7 188:15	153:4 157:14	75:10 79:6,8,10
201:20 202:6,9	transparence	201:22 202:18	161:22 162:13,20	79:18,20 80:2,6
234:14 238:19	194:12	tried 31:7 291:7	163:8 164:10	80:14,20,22 81:2
241:15 244:5	transparency 68:4	344:1	165:10 166:2,19	81:4,6,8 87:21
246:7,14,18 279:8	68:8 99:13 126:13	tries 31:9	167:2,3,7 168:16	89:6,9 90:1 94:11
280:1 281:22	127:9 142:12	trigger 132:1	168:17 170:7	103:13 106:6
285:3,5 382:7,7	151:7 152:6	256:10	173:20 182:14	133:6,15,17 134:9
387:10,12,22	154:17 162:4	tripped 191:13	187:6,9 189:13	134:12 141:2,4,10
402:22 403:12	163:10 171:16	troopers 472:20	190:4,16 197:6	153:5 158:5,20
428:8 452:21	178:4,7,10 184:13	trouble 466:3	201:14 232:21	189:21 195:10
totalled 235:9	185:12,14 193:8	troubled 255:5	246:4 249:15,15	208:2,8,11,13,17
totally 182:10	194:1 203:11	true 48:12 132:15	260:5 273:14	210:15 211:21
288:15 354:14	204:9 209:21	174:12 182:8	274:18,21 289:7,9	213:16,18 220:19
383:21 385:12	210:2 213:4	truly 345:2 413:2	303:22 304:4,15	221:3 225:19

237:12 244:14	143:14	260:2 266:9 273:6	unquote 387:17	87:17 89:1,13,16
253:5 266:15	ultimately 55:10	273:15,16 280:7	395:19	89:20 91:4,5,10
267:11 280:5	86:21 126:11	304:4 315:16	unrelated 232:11	95:17 99:4,16
281:4 286:3	149:8,18 182:4	332:9,15 333:21	265:13	100:2,7 102:7
293:22 299:18	197:17 219:7	335:7 343:7,21	unsatisfying	106:19 108:5
300:6 301:15	403:11	344:6 346:20	275:20	129:6,22 132:11
306:12 331:15	unaccounted-for	354:15 368:9,17	unspoken 457:7	132:21 133:1,2
332:2 336:3,11	321:8	369:13,13 370:12	unusual 37:12	135:19 139:12
338:14 339:12	unadjusted 239:5,9	373:11 377:20	unwind 472:17	143:9,16 144:18
363:21 395:17	243:22 244:2,9	389:9 393:17	update 10:18 40:2	146:20 148:10,15
417:13 418:14	245:8,15 302:15	410:17 411:14	40:3,4,9 60:21	149:1 150:9,21
423:2 426:16	unambiguous	433:2 436:17	updated 40:5	151:3,16,17
427:19 438:22	311:18	440:16 447:17	updates 33:12	153:10 154:7
459:8 468:22	unanswered 29:9	453:17	updating 40:8	155:3,8,12 156:3
469:17	uncertainty 301:21	understandable	upper 249:16	157:17 158:4,7
two-day 5:14 34:6	302:5 303:11	32:16 117:21	upstream 45:10	159:12,13 163:20
116:19 117:14	305:8 442:10	243:17	54:7,12 142:18	166:7,8,11 167:7
two-hour 101:15	unclear 25:21	understanding	urban 429:13	169:16 170:19
two-second 131:14	377:15	22:19 36:11 97:4	430:15	171:15 174:22
two-tiered 143:1	uncomfortable	98:22 127:8 154:4	urge 276:6 455:16	175:15 177:14
two-year 47:6	397:17	172:16 213:4	usability 65:9	180:1 183:6
316:20 317:2	underlying 122:7	222:7 265:6	207:21 208:10	186:13 188:15,16
438:19	160:21 276:1	332:17 378:2	212:10 217:1	188:18,20 189:18
type 21:10 53:20	338:15 378:2	413:9 436:2 444:3	220:21 297:11	190:1 192:8
61:7 91:7 96:4	underneath 173:8	447:4 465:16,19	357:20 358:20	195:22 197:14
115:15 167:18	187:7	understands	359:3 360:14,18	207:21 208:18
179:16 197:16	underpinning	124:14	460:11 461:7,9,11	212:10,14,15,19
213:11 306:6	335:9	undertake 182:7	468:20,21 469:1,3	213:2,9,14 215:22
314:4 378:4	understand 5:7	undertaken 86:3	469:8	217:2,4 218:6,10
404:18 448:22	29:7 39:19 42:18	undoubtedly	usable 62:17	218:11 220:22
types 19:1 21:13	47:21 50:7 51:5	456:12	117:21	239:12 264:18
38:20 67:9 88:9	55:20,22 78:9	undue 212:6	usage 399:1,1	276:20 280:3,4
138:22 154:14	86:12,18 88:3	unfortunately	USB 224:9	290:20 291:22
156:7 211:2 282:2	89:10 92:7,22	127:6 189:21	use 1:5 4:10 5:5 7:1	292:18 293:9
293:3 346:13	96:22 98:19 117:6	372:3 462:12	7:6 9:8 12:17	294:18 295:10
442:12	126:19 128:18	unintended 64:4	15:2 16:20 17:10	302:8 305:20
typical 53:17 379:6	129:2 153:4	274:17	28:10,16 42:9,10	309:1,3,7 316:21
379:16 395:7	157:15 159:11,14	unique 158:7	48:14 51:6 57:3	317:1 329:1,22
396:8,8 452:5	159:17,21 163:1	212:21 213:1	58:4 59:5,9,11	332:14 336:10
typically 212:7	164:10 166:12	unit 177:1 184:12	61:21 62:4 63:12	340:5 341:2,18,21
227:18 452:4	173:21 181:22	184:12,22 237:22	66:1,10,11,16,17	347:20 354:21
typo 138:8	182:5 187:6	238:2	67:22 68:1,16,19	358:20 359:3
	209:19 210:16	United 113:7	68:21 71:3 72:13	360:14,18 365:2
	212:4,18 213:8	429:14	73:9 74:3,4,8 75:5	370:16 375:15
U	216:19 221:21	University 2:9,13	78:5 81:10 82:10	376:2 378:4 382:7
UCLA 2:10	222:16 248:21	14:3	84:9,14 85:11,19	382:14,18 398:3
ultimate 11:21				

434:6,11 435:14 435:15,18,19 436:3 439:3 460:11 462:11 466:16 467:5,6,7 469:1,3,8 470:8 use-agnostic 166:12 useful 57:11 73:19 106:18 114:22 170:11 171:11 280:9 293:1 353:3 465:15 user 102:19 users 12:3 uses 133:7,14 463:11 466:14,17 466:22 usually 7:13 11:9 132:2,18 217:15 224:22 309:14 467:18 UTI 345:11 368:21 utilization 69:16 70:2,6,12,16 73:9 74:8 86:16 87:1,2 120:8,14 132:16 133:9 151:15 178:20 360:10 390:3,15,18 391:1 391:6,8,9 utilize 229:9	326:3 331:5,7 334:20 335:7 340:4,7,8 341:8,9 341:22 353:9 452:20 456:4,9,18 456:20 457:4 validity 96:6 208:10 210:20 211:4 267:21 286:21 287:3 293:16 298:9,20 299:20 300:2,7 301:13 311:8 320:8,9 321:21 339:2 350:10,12 350:15,19,21 351:5,10,12,20 352:1,2,5,8,17,19 352:22 353:7,9,11 353:15,18 354:1,2 354:5 355:17,22 356:9,15,18 357:6 357:11 360:11,12 361:13 362:17,18 374:22 408:15,20 409:5,6,11 410:9 410:18 422:14 427:15 429:3 440:21 449:1 459:5,13,14 460:6 valuable 285:22 343:19 398:8 411:13 value 20:1 69:15 70:21 82:10,16 87:15,15,20 99:20 100:22 106:22 142:12 158:18 167:1 177:3 231:10,12,22 232:21 265:13 273:7 393:16,21 415:8 466:1 value-based 89:14 148:22 169:3 192:5 201:12	397:19 435:22 values 87:17 98:11 200:4 227:13 valve 452:3 valves 380:10 variability 199:12 199:13 200:5 255:1 313:15 321:8,11,13,17 411:4,11,15,18 418:6 467:12 variable 329:18 330:10 354:19 355:2,4 369:9 404:14 412:1 416:20 431:7 variables 271:5,9 316:15 329:15 340:18 402:17 453:12 variation 126:18,22 127:3 128:13,19 128:20 161:22 189:9 210:11 215:14 249:12 254:18 255:2 257:6 258:4 265:12 267:2 269:18 270:13,14 271:13 273:10 281:6,7,10 283:5 289:12 291:9 320:17 322:18,22 323:6 327:8 349:4 378:3,7 393:19 418:7 variations 322:11 varied 227:12 423:12 varies 290:11 variety 226:1 433:14 various 15:18 29:17 49:15 50:8 84:4 142:16 148:19 197:19	199:2 298:11 vary 288:5 321:7 varying 343:4 vendors 99:11 venue 279:18 versa 275:13 versed 20:16 version 446:20 versions 413:19 versus 43:17 65:18 164:20 170:5 249:17 250:5 401:2 428:4 434:3 443:12 vessel 390:13 vetted 370:18 vexing 299:2 vice 2:20 8:13 16:13 275:13 view 33:2 203:12 204:9 344:7 viewing 55:18 virtual 167:14 virtually 34:8 vis-a-vis 55:16 275:15 visibility 377:19 visible 391:11 visit 391:14,15,16 visits 123:20 233:16 393:10 vital 328:2 vitals 268:7 vocal 118:5 void 231:21 volume 112:14 199:9 301:1,5,6 301:10,16,21 302:11,20,22 305:13 328:11 volumes 303:12 316:22 vote 37:8,16 40:16 206:16 223:7,19 223:22 224:1,17 225:6 229:16	251:10 260:18 261:3,9 293:14 295:2,15,17,20 296:3,12,14 297:3 310:14 315:10 319:14,20 355:17 355:21 356:3,9,13 357:21,22 358:7 358:11,15,21 360:16,17,22 361:6,14 363:5 381:10,11 384:17 391:18,19,20 392:4 394:8,13,16 394:20 399:4,6,15 408:6,8 409:9,10 410:22 413:11 418:12 422:8 423:2 435:2 437:19 439:7,7,8 439:9,11 455:3 458:14,17,18,19 458:20,22 459:5 459:13 460:3 461:1 465:2 466:9 468:19 469:3,13 469:15 vote's 224:18 voted 39:4 362:7 427:11 437:21 440:3 votes 10:2 209:13 209:18 224:16 229:17 267:11,19 295:4,22 296:18 296:21 299:18 320:2,3 356:5,6 361:2 363:8 392:5 399:10,20 433:4 459:17 461:5 469:1,6,18 voting 207:1,13 209:14 219:11,17 220:7,15 222:22 223:5,17,17 224:9 224:11,21 225:2,9
<hr/> V <hr/>				
v 328:6 VA 112:8 234:8,10 vagaries 424:3 valid 95:21 355:19 430:19 validate 340:22 341:3,6 403:6 455:18 456:14 validated 299:3 351:22 validating 341:15 validation 325:18				

225:10,12 251:1	24:4,7,13,18,19	368:8 370:5 375:7	water 237:19	22:11,16,17,20
255:19 261:1,6,11	25:19 26:1 28:6	383:18 394:15	way 31:8 38:3 56:4	23:12,19 24:16
261:13,18 294:22	28:10 31:19 34:13	396:11 397:22	60:8 62:7 64:18	25:6,16 27:1,1,3,5
295:3,12,19	39:11 44:19,19	404:4,10 409:19	74:22 75:2 83:21	27:6 30:19,20
296:17 310:16	46:1,1,15,16 49:9	410:20 414:7	103:17 108:1	41:11,11 42:2
311:12 320:1	49:10 52:21 53:21	416:17 417:20	110:5 111:7 122:1	44:5 47:4,13 50:4
356:2 358:14	55:9 56:20 61:19	419:7 420:3 424:5	128:20 131:1,6,17	51:12 52:3 60:19
363:7 376:21	62:5 64:10 66:18	434:14 435:7	132:3 135:11,19	60:20 67:13 75:9
392:2 394:12	67:10 75:21 83:8	438:13,13 439:4,6	142:13 155:20	75:11,12,13 76:18
399:9,19 408:9	83:10,18 84:4,21	439:14 445:13	162:2 168:3	77:18 78:5,15
410:2,11 459:9,16	85:4,6,9,10 86:21	447:7 449:5 450:1	178:12,17 181:16	81:9,13,16,22
461:3	89:3 95:13 100:11	450:20 457:18	189:8 190:9,10	82:21 83:6 100:4
vulnerable 178:1	104:11 108:13	460:21 461:9	207:11,16 213:13	122:11 129:12,15
<hr/> W <hr/>	109:3 121:9,13	462:3,21 464:19	215:20 217:10	129:21 131:4,6
wage 236:5 237:6	125:4 128:6	465:4	221:14 236:11	135:16 138:2
237:10	135:17 136:5	wanted 22:5 32:13	237:2 243:11	139:16 140:20
wait 56:14,20	139:17 143:15	73:22 74:21 90:8	247:15 256:22	145:20 146:2
293:20 439:2	145:9,13 146:16	90:21 106:10	257:10 258:13	147:2 150:7,11,14
441:9	152:20 155:14	108:10 141:19	259:18 280:2,9	150:16 153:4
waiting 61:9	156:15 157:4	144:13,16 149:21	283:19 285:21	177:19 191:2
360:21 375:18	164:6 165:13	151:21 152:7	303:10 309:12	205:13,13,17,18
408:7	167:7 172:14	161:6 167:12,16	311:14 322:7,15	205:22 206:1,20
walk 75:8,17 87:12	176:14,14 181:15	177:11 198:6	326:21 333:18	208:14 219:10,17
124:12 131:5	182:19 192:10	200:10,13 201:17	338:14 359:12	220:3,7,8,8,14
156:17 164:16	195:14 199:8,8,9	232:7,16,19	370:12 371:22	222:9,22 223:4,12
216:4 266:14,15	207:6 212:11,13	274:11 280:21	378:13,15 386:18	223:14,20 224:16
278:18 311:11	216:18 220:1	287:11 304:12	386:21 387:7	225:7,8,10,12,18
331:14 348:11	222:18 223:9,21	343:6 384:18	415:22 426:21	226:4 228:15,20
407:2	224:1 229:5,13	436:3 438:20	430:3,5 432:9	228:21 229:7,12
walked 83:10	231:8 240:5 242:9	443:20 457:6	439:10 444:11	229:16,19,21
241:18 248:12	247:15 248:16,19	wanting 56:6 319:6	447:10 453:6	230:15,19 243:7
330:18	253:7 256:3	wants 39:4 99:5	456:19 457:21	251:11 260:11,22
Walker 2:15 13:19	266:11 267:9,15	Warehouse 233:3	458:19 468:3	261:2,5,9 269:19
13:19 58:17,17	271:10 273:2	warrant 32:8	ways 38:1 60:11	291:6 297:16
81:1,2 113:13,13	274:10,16 276:16	Washington 1:19	62:3 104:6 109:16	320:7,11 358:21
117:12 122:3	278:18 288:9	430:2	178:15 209:14	360:17 363:10,15
128:3 165:20	293:21 294:7	wasn't 96:14	280:5 288:12	380:20 394:15
273:2 291:13	307:20 308:6,17	108:21 110:19	290:8 294:5 302:5	407:13 409:17
462:18	309:10,10 317:22	122:5 200:17	307:14 338:8	410:5 435:5 455:3
walking 321:2,18	324:13 329:15	251:18 258:18	390:22 457:17	459:2 460:10
walks 331:11	330:10 331:6	259:1 270:16	we'll 5:12,14,20 6:4	469:11
Wall 181:7	335:6 338:5,5	308:12 348:7	6:11,16 7:11 8:7	we're 6:5,10 8:12
walls 425:22	341:5,12 346:7	396:12 413:5	8:20 9:14,17,18	8:16,18 9:2,4,10
want 7:16 11:14,15	347:2 350:20	422:13 450:8	10:4,5,9,12,16	9:11 10:8 19:11
22:22 23:3,13	353:3,22 357:4	waste 194:6 450:14	11:3 12:10 13:8	19:19 20:3,9
	362:14 364:16	watch 70:16	13:10 16:1 20:3	21:11,11,15 24:3

24:4,11,13 26:21	213:8,15 219:4	463:8 468:2,9	112:18 287:1,5	8:8 129:20 230:3
27:9 29:6 30:17	222:8 229:11,14	we've 9:20 11:13	337:3	230:20 317:8
31:16,20 33:4	231:6 232:22	24:8 25:3 28:17	weather 5:8	357:4 377:3 398:7
34:18,20 35:19	234:22 248:16	31:7,7,10 36:6	web 149:12	398:18
36:20 38:2,3 39:8	250:18,22 254:16	39:15 43:2 46:8	webinar 7:7 34:6,8	well-defined
39:11 42:7,17	255:3 256:3	46:11 50:1,6,9,18	223:1,5,16 225:2	430:21
43:4,10,11,13	259:22 260:3	54:5 56:7,9,12	225:11 261:17	WellPoint 2:3,3
45:15 46:13 48:13	261:11 265:18	60:15 61:8 64:22	296:20 310:13	17:8
53:5 54:8 55:17	267:16 274:20	72:21 73:11 81:14	webinars 48:1	WellPoint's 17:9
56:9,15 57:1,16	275:21 277:14	85:5 86:3 87:7	website 99:15	went 129:16,17
63:6 64:1,4,8,11	279:13 281:5	91:10 94:11	277:19	206:3,4 247:10
65:1,8,16 66:5,20	285:9 286:4 289:7	111:14 115:18	websites 88:12	278:12 279:9,21
67:18,21 68:20	289:9,9,11 291:16	120:2,7 122:9	237:4	284:11,17 363:17
71:5,17 72:15	292:20 293:14	125:17 126:4	wedge 246:20	363:18 371:7
73:14 75:5 76:3	295:15,20 296:2	127:22 130:17	weeds 191:7	473:3
77:18 78:10,16,19	297:8 307:2,4	139:5 153:6	week 266:17	weren't 205:20
81:20 83:17,19	309:8 310:10	156:20 157:6	292:16	258:3 269:10
84:5,11 85:2,7	311:4,13 318:3	172:7 186:6,20	weekly 69:22	343:20 440:5
86:14 87:6,13,22	320:2,17 321:20	187:10 188:5	weeks 229:4 426:16	whispering 447:2
90:5,12,16 91:13	324:2 326:12	190:16 192:3	426:16,16,17	white 45:2 48:10
92:15 93:5 95:18	327:1 330:4 332:6	197:8 206:11	weigh 193:7 361:22	101:3 102:11
97:11 107:1,13	332:7 334:10	207:8 218:17	414:7 450:20	105:6 107:6
108:14 109:11	336:15 338:19	219:15,22 220:9	weight 270:1,5	wholly 323:2
125:9,19 129:11	342:19 346:2,9,11	223:1 226:1	361:21	wide 94:15 257:6
129:13 136:1	348:14 350:1	228:16 252:17	weighted 361:18	378:3
137:3 140:10,17	351:4 352:4 353:3	263:16 276:4	weighting 132:13	widespread 462:11
144:17 145:20	355:16 356:3	278:10 282:14	133:8 148:18	width 302:18
146:9,13 149:16	357:1,2,15,18	287:14 288:7,10	362:3	Wilbon 3:2 4:7,9
153:3,20 154:2,4	359:13 360:21	290:17,19 291:7	Weill 2:13 14:13	7:22 20:20 26:21
155:12 156:4,6	364:6,7 366:1,19	296:3 298:16	Weintraub 2:16	60:2 81:18 83:14
158:21 159:15	366:20 369:7,8	300:3,8 311:10	13:22 14:1 26:3	90:5 119:21
162:2 163:18	371:5,10,13	316:14 320:13	48:6 77:1,5 81:3,4	130:17 131:10
164:10 165:20	375:17 376:4,19	321:7 322:18	112:21 174:3	134:4 135:2 140:9
168:17 169:14	376:22 384:22	337:5 347:6	203:10 229:8	220:17 311:1
175:9,10,22 176:2	390:19 391:2	349:10 363:20	251:21 252:12,20	313:3,10 314:6,12
176:8 177:22	392:3 393:16	364:4 370:7 375:8	253:3,9 286:13	315:13 316:5
180:2,5 181:4,11	400:8 407:7,11	382:8 389:21	289:15 322:14	318:3 348:13
182:17 183:16,22	409:7 416:18	397:13 400:10,13	335:22 336:16	439:17 442:4
189:13,14 192:2,5	417:10 427:2	413:19 417:1	337:1 348:17	460:10,16 472:18
194:21 195:1	430:7 432:11	418:4 424:12	350:3 354:9 355:3	wild 259:2
196:8 197:3,6,10	433:22 434:2	426:20 427:7,16	373:19 381:4	WILLIAM 2:16
198:3 199:18	436:10 439:9	430:18 434:21	404:6 411:8,20	Williamson 3:3 4:7
200:4 204:18,19	440:20 441:20	444:7 455:13	437:20 438:12	4:15 5:3 7:21
206:6,7 207:11,14	442:6,11 443:8	457:3 467:14	455:5 457:8	13:8 15:22 16:10
209:18 210:9,15	444:9 445:14,16	471:18	weird 256:18	16:22 17:5,13,19
210:16,20 212:3,4	453:16 460:17	weak 111:17	welcome 4:2 5:4,21	18:6,9 19:16 22:6

75:7 78:18 79:8 79:10,12,16,22 80:2,4,8,10,16,20 80:22 81:9 129:19 130:14 205:2,12 206:6 214:14,18 219:9 222:20 223:12 243:18 260:22 295:1,16 296:14 300:5 319:20 355:21 358:11 360:17 363:5 381:11 391:20 394:8 399:6,15 408:7 409:10,22 449:5 459:4,8,22 461:1 468:13,22 469:15 471:8,16 willing 39:6 99:1 200:15 440:2 wind 331:20 wind-down 11:1 window 235:6,16 238:5,6,14,16,19 250:6 257:22 282:21 284:16 309:19 384:3 385:22 387:9 393:4 418:18 419:4,12 420:17 423:4 424:21 wiped 409:21 wish 226:21 288:16 333:1 wished 272:17 Wobegon-ish 359:12 wonder 53:6 59:20 70:7 104:9 178:6 265:17 323:9 397:22 410:16 436:18 462:3 wondered 401:17 wonderful 455:19 wondering 41:19	69:11,17 121:4,19 218:8,14 256:12 266:3,12 281:5 301:8 401:3 422:6 437:6 Wong 2:17 13:11 13:11 81:5,6 100:13 110:8 293:15,21 467:2 Wood 50:10 87:10 88:1 113:17 130:5 429:11 word 87:17 97:13 126:1 159:13,13 191:3 196:13 293:13 305:20 376:2 words 382:16 wore 360:15 work 4:8 9:13,21 10:1,3 12:16 15:1 16:3,19,21,21 18:2 23:20,20 24:5,5,13,20,22 29:17 30:8,13,16 32:12 43:22 44:21 44:22 45:17 46:8 46:11,17 48:17 51:9,10,15 52:1,2 52:3,5 54:5 55:17 55:19,21 56:5 58:14 60:19 61:9 61:22 63:19 69:15 72:20 73:13 81:11 82:22 83:3,5 84:11,12 85:5 86:3 87:7,22 88:1 88:16 89:5 90:13 91:16 96:22 97:15 98:13 103:2 111:14 113:16 117:22 126:17 127:21 135:20 136:2,11 138:4 139:18 140:6,7 142:3,13,14 143:7	147:18 149:3 150:18 152:4 153:15 154:7,20 155:1 156:8 157:8 158:16,17 162:22 163:14 172:20 174:15 175:21 178:15 196:7 197:16 206:19 225:3,8,17 227:21 260:13 323:22 325:18 326:4 375:8,9 400:5 403:20 415:1 429:2 434:12,14 441:22 443:3,6,7 443:9 444:16,21 455:11 456:4 464:2 work-up 412:12 workaround 7:18 worked 29:16 31:10 110:12 302:7 416:14 workgroup 18:1 106:8 144:21 158:1 464:12 workgroups 30:2 143:3,12 144:11 196:6 working 34:18 44:9 46:19 56:8 73:5 78:16 97:19 101:3 184:2 190:16 195:16,21 225:21 274:20 278:10 417:13 443:8 455:22 463:8 works 64:1 83:11 224:6 336:22 387:7 world 104:17 183:21 289:2 worldwide 113:5 worried 191:5 275:21 432:6	worry 46:13 77:6 163:16 179:11 222:11 275:22 388:14 412:6 worse 304:19 432:16 461:18 462:22 466:2 worth 253:6 317:4 343:13 wouldn't 56:20 100:3 170:17 217:11 257:17 290:1 317:7 387:16 420:22 424:9 433:8 434:14 448:14 467:17,20 wrestle 172:5 wrestled 95:12 wrestling 94:12 write 75:13 204:7 writing 53:12 102:11 107:6 written 339:16 wrong 93:2 122:1 170:11 171:11 173:12 265:5 273:21 398:4 437:9 wrote 395:9	54:6 56:3,19 131:20 132:2 133:21 137:18 138:2 189:10,17 244:7 278:13 316:7,13,21 317:15,17 319:5 319:12 382:18 386:18 395:14 428:12 435:13 438:16 443:8 453:13 463:8 year's 317:4 year-to-year 453:13 years 24:19 27:5 46:6 48:2 54:17 56:14,20 57:6 64:2 72:8 73:12 85:12 98:8 136:11 174:3 212:15 316:17,19 317:20 319:9 341:9 370:14 374:8,11 389:1,3,5,16,21 411:22 417:13 429:8 438:7,9,22 444:13,14,22 445:15 yes/no 218:19 363:7 459:3 yeses 459:10 yield 161:13 192:20 York 390:7
			X	
			X 166:15 Xerox 2:4 16:3	
			Y	
			Y 166:15 275:8 319:2 Yale 3:7,9,11 16:5 18:2 53:4 231:5 300:22 303:21 Yale/CMS 10:10 Yanagihara 2:18 14:15,16 81:7,8 185:8 464:19 year 21:21 24:19 28:21 32:12 46:21	
				Z
				Z 166:16 zero 241:7 261:20 261:20 288:19 358:17,17 361:4 392:7 394:18 399:11 zip 429:20 zone 222:2,8,12
				0
				0.03 254:16

0.05 254:16	14.1 282:19,19	26 305:4	220:1,3,13,17	9
0.08 310:6	15 224:16 261:16	28 425:16	297:14 410:2	9 189:15 363:9
0.09 289:22	297:10 363:15	2a.1 297:15	450:8 459:20	394:18 399:11
0.7 289:21	367:10 410:7	2b 459:14	467:12	459:10,18
0.752 407:18	1558 471:20	2b.2 350:12 362:18	412 4:21	9:00 1:20
08 396:5	1598 133:6		428.03 447:22	9:04 5:2
09 396:6	15th 1:19	3	43 237:22	95 359:15,19
	16 295:22 320:5		45 192:3 238:1	950-bed 69:21
1	461:6		258:17	70:19
1 189:4,5 205:10,17	16.8 283:1	3 269:5 282:17	471 4:22	9th 1:18
206:2 261:20	1604 133:12	320:5 358:17		
269:5 282:17	17 125:15 296:21	392:6 461:6	5	
285:7 295:5 305:4	18 358:16	3:15 6:12 255:22	5 4:2 85:12 269:4	
310:6 394:18	189 402:21	286:9	282:17 283:1	
399:11 408:12	19 4:6	3:23 363:18	295:22 296:21	
452:21 469:7	1a 391:22	3:45 363:19	359:21 407:7	
471:14	1b 286:12 293:14	30 4:17,20 132:6	409:15 459:18	
1:1 332:19	294:17 394:10	134:18 232:5	5:33 473:4	
10 129:14 205:16	1c 295:9,15 399:7	234:3 238:9	50 221:16 236:19	
205:17 206:1		246:22 250:5	320:21 360:3	
287:16 295:5,5	2	254:4 258:15	500 85:11	
409:15 429:13		259:18 377:15	57 369:21	
469:7,19	2 269:5 282:17	387:22 392:11,12		
10:45 6:10	297:22 320:5	392:18,21 411:7	6	
10:58 129:17	341:9 361:3	411:22 420:15	6 261:17 314:19	
100 233:5 308:9,10	408:11	421:8,8 425:14	375:19 408:11	
309:8 464:16	20 70:13 106:11	426:8 457:10	409:15 410:6	
1030 1:19	189:11,14 241:22	30-day 238:14,16	459:18 469:7	
10ml 237:19	261:19 356:5	239:6 340:9,11	472:15	
11 4:5 394:17	2008 315:22	341:10 377:14	60 219:16,17 220:1	
399:11 459:10	2008-2009 389:17	378:7 385:22	220:3,12,13,18	
469:19	2009 316:1 402:12	387:6,9 388:7	221:1,2 224:21	
11:11 129:18	419:21	392:19 393:4	61 247:3	
12 242:21 327:14	2012 135:9	394:2 401:7	64 189:4	
345:7 361:3 363:9	2014 1:12	418:18	65 189:5	
369:4 371:2,15	205 4:13	33 247:7		
408:11	206 4:14	35 246:21 247:6		
12-month 315:18	21 320:3	258:18	7	
386:10	22 296:20 407:16	36 281:15	7 150:14 247:8	
12:25 206:4	23 246:16 408:22	39 247:4	307:16 360:2	
12:30 6:11	232 4:18	3M 91:8	361:3	
12:56 206:5	2431 4:17		70 269:8,9,12	
13 247:1 399:21	2436 4:19	4		
130 4:11	25 244:6,12,22	4 1:12 252:21 269:5	8	
130,000-plus 239:7	245:11 304:14	279:14 282:17	8 150:14 189:15	
14 224:16 392:6	305:5,7,15 306:1	351:11 353:20	307:16 399:21	
	319:11	392:6 469:7	81 4:8	
		4,000-plus 244:13	82 360:4	
		40 219:15,20,20		

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Cost and Resource Use Phase II

Before: National Quality Forum

Date: Tuesday, March 4, 2014

Place: Washington, D.C.

was duly recorded and accurately transcribed under
my direction; further, that said transcript is a
true and accurate record of the proceedings.



Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701