

THE NATIONAL QUALITY FORUM
+ + + + +
IMAGING EFFICIENCY STEERING COMMITTEE

MEETING

+ + + + +

WEDNESDAY
FEBRUARY 24, 2010

The Imaging Efficiency Steering Committee met in Suite 600 North of the Homer Building, 601 13th Street, NW, Washington, D.C., at 9:00 a.m., Scott Gazelle and Eric Peterson, Co-Chairmen, presiding.

PRESENT:

SCOTT GAZELLE, MD, MPH, PHD, CO-CHAIR
ERIC D. PETERSON, MD, MPH, CO-CHAIR
MICHAEL BACKUS, MEMBER
JACQUELINE A. BELLO, MD, FACR, MEMBER
STEPHEN V. CANTRILL, MD, FACEP, MEMBER
CARL D'ORSI, MD, MEMBER

TROY FIESINGER, MD, FAAFP, MEMBER
HOWARD FORMAN, MD, MBA, MEMBER
RAYMOND GIBBONS, MD, MEMBER
RICHARD GRIFFEY, MD, MPH, MEMBER
LASZLO MECHTLER, MD, MEMBER
PATTI RAKSIN, MD, MEMBER
DONALD W. RUCKER, MBA, MD, MEMBER

GAVIN SETZEN, MD, FACS, FAAOA, MEMBER
REBECCA SMITH-BINDMAN, MD, MEMBER
ROGER L. SNOW, MD, MPH, MEMBER

PRESENT: (cont.)

KIRK T. SPENCER, MD, MEMBER

ARTHUR STILLMAN, MD, PHD, MEMBER

JUDY ZERZAN, MD, MPH, MEMBER

HELEN BURSTIN, NQF

HEIDI BOSSLEY, NQF

IAN CORBRIDGE, NQF

SARAH FANTA, NQF

ANN HAMMERSMITH, NQF

KAREN PACE, NQF

T-A-B-L-E O-F C-O-N-T-E-N-T-S

Welcome, Introductions, Brief Review of
 Day 14

Steering Committee Review: Head/Spine
 CT/Pulmonary Measures

Review Group 1 - NQF #IEP-005-10.7
 Vote. 45
 Review Group 2 - NQF #IEP-008-10. 48

 Review Group 3 - NQF #IEP-006-10. 80
 Vote. 89
 Review Group 1 - NQF #IEP-012-10.113
 Vote.143

Steering Committee Review: Cardiac Measures

Review Group 2 - NQF #IEP-011-10. . . .144
 Lunch183
 Review Group 1 - NQF #IEP-015-10. . . .185
 NQF #IEP-017-10. . . .234

 Review Group 2 - NQF #IEP-016-10. . . .240
 Review Group 3 - NQF #IEP-014-10. . . .271
 Adjourn303

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P-R-O-C-E-E-D-I-N-G-S

9:23 a.m.

CO-CHAIR GAZELLE: We are going to shuffle the order around a little bit just to accommodate schedules and whatnot so we are going to do in the following order the next three; 5, 6, and 8. We've already done 7. Eric and I are going to split things up today.

DR. BURSTIN: Dr. Raja from the Drug Administration will join us at 9:30 which is in about five minutes.

CO-CHAIR GAZELLE: Okay.

DR. BURSTIN: I'm going to have to leave about 11:00 for about a half an hour or an hour. I just wanted to introduce you to Heidi Bossley who many of you know from her prior job at PCPI and is now going to NQF as a senior director for performance measures. She will be helping Ian out while I'm gone.

CO-CHAIR GAZELLE: Okay. So we all are waiting for Dr. Raja to join us. We can probably go ahead and start.

1 Ian, you look like you have
2 another announcement.

3 MR. CORBRIDGE: I just want to
4 make a quick announcement. For transcription
5 purposes if you can really just make sure you
6 state your name when you start to speak and,
7 I guess, talk loudly. Some individuals are
8 having a hard time hearing certain areas of
9 the room.

10 As well as try to keep down some
11 of the side conversations because the
12 microphones are picking up all the different
13 conversations and I think it's very difficult
14 for those individuals listening online as well
15 as for transcription purposes. Those are just
16 two things to keep in mind.

17 CO-CHAIR GAZELLE: And then as we
18 start with number 5, which is Appropriate
19 Preliminary CT Imaging of Pulmonary Embolism,
20 a lot of the discussion about the feasibility,
21 I think, is going to be similar to tomorrow so
22 we can probably -- to yesterday, sorry - we

1 can probably recall that discussion and have
2 an abbreviated version of it if we need to
3 today in the interest of keeping it moving.

4 DR. BURSTIN: One more point of
5 clarification that Ian mentioned to me this
6 morning that we should have been more clear
7 about yesterday. As we look at those
8 criteria, importance to measure a report is
9 now a must-pass criterion so if the measure
10 isn't passing on importance, we don't need to
11 actually do the rest of the materials. So
12 keep that in mind, if it looks like a measure
13 is not making -- I mean, most of the ones
14 yesterday made it on importance.

15 CO-CHAIR GAZELLE: Making it on
16 importance meaning it has to be all high or
17 has to be high in the middle?

18 DR. BURSTIN: You basically just
19 have to say it's not low.

20 CO-CHAIR GAZELLE: So the majority
21 of people are not giving it a low.

22 DR. BURSTIN: Exactly.

1 CO-CHAIR GAZELLE: Right. Okay.

2 DR. BURSTIN: It worked fine
3 yesterday but in case anything comes up like
4 that today, you can just stop and move on.

5 CO-CHAIR GAZELLE: All right.
6 Let's start with number 5.

7 Gavin, are you the primary
8 reviewer?

9 DR. CANTRILL: No, I am.

10 CO-CHAIR GAZELLE: Okay.

11 DR. CANTRILL: Number 5 is
12 Appropriate Pulmonary CT Imaging for Pulmonary
13 Embolism. This is from the group at Brigham.
14 The conditions for consideration have been
15 met. Under d, however, the testing will be
16 completed within 24 months. Was there an
17 obligation to have it done in 12 months?

18 DR. BURSTIN: We have just changed
19 our policy but we'll work with whoever that is
20 to get that done.

21 DR. CANTRILL: I did notice that.

22 CO-CHAIR GAZELLE: Before we go,

1 could you give us the big picture of the
2 description of the measure, the numerator and
3 denominator and then go through your
4 evaluation, if you could, please.

5 DR. CANTRILL: Sure. The issue,
6 quite honestly, is overuse of CTPA or what
7 some people call CTPD, CT angiogram to rule
8 out pulmonary embolism which essentially has
9 displaced the Q scans almost universally. The
10 concern is now that it is so easy to order and
11 the results are much less ambiguous than VQ
12 scans used to be so people ordered them willy
13 nilly.

14 In terms of the numerator, we are
15 looking at people that fulfill certain, I
16 think, relatively reasonable criteria for
17 being at risk for PE. The denominator is all
18 CTPDs that are done. This is for a single
19 patient visit so time is really not an issue.
20 Did I give you what you want?

21 CO-CHAIR GAZELLE: Just so
22 everyone knows, the numerator is high

1 clinical probability of PE, lower or
2 intermediate clinical probability and a
3 positive high-sensitivity D-dimer, low
4 probability and a positive non-high
5 sensitivity D-dimer, or an intermediate
6 clinical probability and no availability.

7 DR. CANTRILL: I was going to go
8 into that detail actually when I covered that
9 area but thank you for that.

10 CO-CHAIR GAZELLE: Because I think
11 not everyone has read them recently. It's
12 good to go through the specific details.

13 DR. CANTRILL: So in terms of the
14 Importance in the Measure to Report, this is
15 a relatively common entity that we treat in
16 emergency medicine with some estimates from
17 one in 500 to one in 1,000 patients that
18 presented with to the ED, presenting with
19 pulmonary embolism.

20 In terms of the 1(a) criteria, I
21 gave it a C. I think they do address that.
22 In terms of 1(b), the Opportunity for

1 Improvement, this is something that is
2 documented in the literature but also
3 something that we talked with most people in
4 teaching programs and something we struggle
5 with on a daily basis in terms of
6 inappropriate ordering of diagnostic studies.
7 They give several citations concerning the
8 performance gap. I gave that a C.

9 1(c), Outcome or Evidence to
10 Support Measure Focus, a lot of this deals
11 with a guideline that was actually from the
12 European literature, although it's felt that
13 most of it, in fact, would apply to American
14 practice as well. There has been some
15 discussion of that in the literature as well.

16 This builds on several other
17 protocols in terms of evaluation of patients
18 that may be at risk for PE in terms of trying
19 to segment them into being high, intermediate,
20 or low risk. Given that I gave 1(c) a C as
21 well.

22 Overall for 1, I gave it a yes

1 with the rationale that it is an overused
2 study and sometimes an improperly used study
3 so there is certainly room for improvement.

4 In terms of 2, Measure
5 Specifications, 2(a), again, the numerator is
6 the number of patients who are also in the
7 denominator who have a documented indication
8 consistent with guidelines prior to CT
9 imaging. What they have done and, again, this
10 is based mainly on the European guidelines
11 which gathers a lot of other studies together.

12 As was mentioned by Scott, it's a
13 high clinical probability of PE, a low or
14 intermediate clinical probability of PE and a
15 positive high-sensitive D-dimer. The third is
16 a low clinical probability and a positive non-
17 high sensitivity D-dimer.

18 As an aside, the D-dimer, which is
19 a clinical lab test used to segment the
20 population, they come in two flavors, the high
21 sensitivity and the low sensitivity which
22 should really be discarded. I don't know any

1 place that uses low sensitivity anymore.

2 It's just a troublemaker, but they
3 had to deal with that so that is why you see
4 reference to both high and non-high
5 sensitivity D-dimers. Then the fourth is an
6 intermediate clinical probability with no
7 availability of high sensitivity D-dimer.

8 In terms of the classification of
9 high or low or intermediate there are several
10 algorithms that can be used. There's Wells
11 criteria. There's modified Wells. There is
12 the Geneva criteria, modified Geneva,
13 simplified Geneva. These are relatively well
14 known.

15 Obviously there is not a lot of
16 universality in terms of the agreement here to
17 emergency positions. There is a safety catch
18 here. This says, "Clinical probability can be
19 determined by a structure prediction tool for
20 implicit judgment." I draw attention to that
21 because I think that is very important.

22 Someone says, "I don't do all that

1 stuff but I think that this patient has a high
2 probability of PE, that, in fact, would be
3 reasonable justification to do the study. I
4 don't think that's necessarily a bad thing
5 because it's very hard, I think, with studies
6 and with protocols such as this to forecast
7 100 percent certainty. We are imperfect so
8 this gives, I think, a reasonable outlet for
9 that.

10 In terms of the target population,
11 it's adult patients age 18 or greater. They
12 do have exclusions for unstable patients
13 which, again, is quite reasonable. You have
14 a patient that comes in hypotensive with
15 sudden onset of chest pain after an eight-hour
16 airplane flight. You don't need to go through
17 an algorithm. We need to get a study so those
18 people are excluded.

19 The data source collection, the
20 data will be from the medical record. They
21 make the statement that these can be easily
22 recorded either electronically or on paper.

1 Again, as was mentioned by Scott
2 beforehand, this suffers from many of the same
3 shortcomings that we discussed in great detail
4 yesterday so that is certainly an issue for
5 here as well. I gave it a P, a partial, for
6 the measure specification, again, because of
7 the data source limitations.

8 The 2(b), Reliability Testing, I
9 gave that a C because of the number of studies
10 that we have over the years dealt with in
11 terms of CTPA.

12 The Validity Testing, I gave it a
13 P because they have ongoing validity testing
14 now. We have already addressed the point but
15 not the issue.

16 The Exclusions, again, we talk
17 about the shock and the hypotension as being
18 exclusions so I gave that a C.

19 Risk Adjustment, not necessary.
20 Gave that an NA.

21 2(f), Identification of Meaningful
22 Differences in Performance, they really don't

1 address that so I gave that an N.

2 Comparability of Multiple Data

3 Sources, that's an NA. Disparities is an NA.

4 Overall, I gave it a P because the validity

5 testing is ongoing.

6 Usability, I think I gave that a P

7 and it will be appropriate for public

8 reporting.

9 3(b), that's NA, Relation to other

10 NQF-endorsed measures. There are some venous

11 thromboembolism measures but, again, they

12 really are more complementary than anything

13 else.

14 Harmonization is NA. Distinctive

15 or Additive Value is an NA. Again, overall I

16 gave that a P for the entire area.

17 Feasibility, 4(a), is a P, how are

18 the data elements that are needed to compute

19 measure scores generated? Again, overall

20 4(b), I gave an M, minimal, because of all the

21 issues we discussed yesterday in terms of

22 extracting this data.

1 The same is true for the 4(c), the
2 Exclusions. Susceptibility to Inaccuracies,
3 Errors, or Unintended Consequences, I think
4 they do address that and I gave that a C.
5 Data Collection Strategy/Implementation I gave
6 that a P. Overall, I gave this an M because
7 I think, for reasons we discussed yesterday,
8 it is a significant issue.

9 CO-CHAIR GAZELLE: Thank you.
10 Thanks very much.

11 Are there other comments from the
12 review group?

13 DR. SETZEN: Gavin Setzen.
14 Actually, Rich we've had little bucket
15 conferences and discussed some of the
16 different protocols that we were reviewing and
17 have no additional comments.

18 DR. GRIFFEY: I have a couple of
19 things I would like to add, though. Just a
20 few issues that I think bear discussion. One
21 of those is in trying to -- this is certainly
22 a problematic area. I think it's an important

1 area. I think there's opportunity for
2 improvement.

3 I think the data, as I understand
4 it, demonstrates that implicit judgment on the
5 part of the physician is a good one for
6 intermediate and high probability patients
7 when you look at the PIOPED II study.
8 Structured review is certainly helpful,
9 particularly in the low-probability patients.

10 I have a little pause with respect
11 to the intermediate probability patients here
12 and putting those patients in the numerator
13 with positive D-dimer just because of the
14 concern that I don't know that there's a
15 preponderance of evidence, or a big body of
16 evidence showing there is a big margin of
17 safety for those patients.

18 If the prevalence of disease in
19 that group is in the range of 13 percent or so
20 and the negative likelihood ratio is .13, then
21 you're kind of pushing up against the edge of
22 where you want to be in terms of getting down

1 the number of patients you would miss.

2 I believe that you want to get
3 down below 2 percent because the risk of
4 testing starts to introduce risks of contrast
5 induced nephropathy and other issues.

6 Technically, a number of studies, and I know
7 the European recommendations are that you can
8 do this.

9 The science is there to
10 demonstrate that you can use D-dimer in the
11 intermediate group but I feel that for quality
12 measures the science should be black and white
13 with a lot of data supporting it.

14 DR. SMITH-BINDMAN: Richard, I'm
15 sorry. This is Rebecca Smith-Bindman. Are
16 you saying that sensitivity of this algorithm
17 is not high enough that they are going to miss
18 some PEs in this group of patients?

19 DR. GRIFFEY: Yes. That's my
20 concern.

21 DR. SMITH-BINDMAN: Do you have
22 any idea, I don't know this literature very

1 well, what kind of ballpark we might be
2 expecting to miss PEs? What is the literature
3 using there as the algorithm suggest?

4 DR. GRIFFEY: Well, I think that
5 if you're saying 1 percent --

6 DR. SMITH-BINDMAN: So that the
7 prevalence in the negative group could be as
8 high as 1 percent of PEs so patients who don't
9 fit this algorithm, who don't meet CT based on
10 this algorithm, would that group of patients
11 have 1 percent PEs?

12 DR. GRIFFEY: I think that in the
13 group of patients who are risk stratified by
14 the tools to fall into intermediate
15 probability for PE, the prevalence of PE can
16 be as high as 13 percent, let's say.

17 CO-CHAIR GAZELLE: This is Scott
18 Gazelle. We are concerned about that group,
19 I think, with and a negative D-dimer. What's
20 the prevalence of PE.

21 DR. GRIFFEY: Yes. So then after
22 you have a negative D-dimer that drops

1 significantly and the negative predictive
2 value of -- I'm sorry, the negative likelihood
3 ratio is very good so it will drop you down
4 below the threshold to where --

5 DR. SMITH-BINDMAN: Two percent.

6 DR. GRIFFEY: Yes, down before 2
7 percent so that people feel better about using
8 that. I guess my concern is, well, how
9 strong.

10 DR. SMITH-BINDMAN: Two percent is
11 pretty high.

12 DR. GRIFFEY: But I think the
13 counter-argument, I believe, is that testing
14 with SPECT starts to introduce its own
15 problems so 2 percent may be a reasonable
16 threshold.

17 I would just like to see that
18 number as low as possible. While I feel good
19 about it in the low risk group, I want to
20 voice a little hesitation there and I would
21 like to see very clear numbers before we make
22 that a quality measure.

1 CO-CHAIR GAZELLE: Scott Gazelle
2 again. So what you would propose is high or
3 intermediate does not require a positive D-
4 dimer? Is that what you're proposing?

5 DR. GRIFFEY: Yes. I'm saying
6 that low requires it.

7 CO-CHAIR GAZELLE: Low requires
8 the D-dimer and high and intermediate clinical
9 judgment alone. You wouldn't require
10 structured Wells or Geneva or anything?

11 DR. GRIFFEY: Well, in this
12 measure it recommends that you use structure.

13 CO-CHAIR GAZELLE: But it doesn't
14 require it.

15 DR. GRIFFEY: It doesn't require
16 it but it recommends it. I think kind of
17 along the lines of the discussion we had
18 yesterday with respect to the head CT I think
19 there is going to be toggle in effect.

20 I think that this is going to
21 encourage the use of a structured approach and
22 there will probably be spillover into the

1 intermediate range but I just think why not
2 start conservatively. I think you will
3 achieve the same thing by starting with just
4 the low prob group instead of putting both of
5 them in there. That's just my personal
6 advice.

7 DR. CANTRILL: I would support Dr.
8 Griffey's assessment. Also I would wonder is
9 there a way to potentially simplify the
10 numerator? It's very complex. I understand
11 the problem.

12 I mean, sometimes clinical
13 medicine isn't simple but, by the same token,
14 could there be anyway to streamline this
15 because I've got four very different
16 conditions that I have to think about
17 individually. This goes, from my point of
18 view, usability to teachability. If we could
19 maybe make it easier, there might be something
20 that we might have better compliance with.

21 DR. BURSTIN: I think Dr. Raja
22 just joined us. I didn't know if you wanted

1 to direct any of those questions to him.

2 CO-CHAIR GAZELLE: Are you on the
3 phone, Dr. Raja?

4 Not yet. So what would you think
5 about instead of high is better, if we just
6 used as the numerator the patients with a low
7 clinical probability and a negative D-dimer
8 and then you are trying to minimize that.

9 DR. GRIFFEY: And if you said
10 negative high-sensitivity D-dimer.

11 DR. CANTRILL: And those would get
12 a CTPA.

13 CO-CHAIR GAZELLE: Right. Yes.
14 Then what you want is the lowest number event.

15 DR. BURSTIN: Could you repeat
16 that again? So the local --

17 CO-CHAIR GAZELLE: It seems that
18 the discussion has said what they are really
19 interested in is identifying patients who have
20 a low clinical probability and a negative D-
21 dimer that we should not be doing.

22 DR. CANTRILL: I would say a

1 negative-high sensitivity.

2 DR. RAJA: That's going to be big.

3 CO-CHAIR GAZELLE: Oh, here we
4 are.

5 DR. RAJA: We're not actually sure
6 how many patients are actually high
7 sensitivity D-dimer. Places that we've
8 surveyed are but if we can find out whether or
9 not people are using the high-sensitivity D-
10 dimer and a negative and their low
11 probability. I think that is great to use it
12 as an over-used measure as well if that is the
13 intent.

14 CO-CHAIR GAZELLE: Scott Gazelle
15 again. That would simplify the measure and
16 address the concerns that the review group as
17 raised.

18 DR. GIBBONS: Ray Gibbons. I just
19 want to point out a potential problem with
20 that which is if I don't measure the D-dimer
21 and now I just have low probability and I do
22 a CTPA, that person will not be in the measure

1 as overuse.

2 DR. RAJA: Right. You guys have
3 already gone over the initial reason why we
4 wanted to actually review all CT scans for
5 pulmonary embolism and then figure out which
6 ones met these criteria.

7 If you actually did look at all CT
8 scans done for pulmonary embolism and then
9 went through and looked at whether or not they
10 did measure D-dimer and/or had low clinical
11 criteria you would actually catch them.

12 CO-CHAIR GAZELLE: So the
13 modification could be that the numerator is
14 patients with a low probability and either no
15 D-dimer, no high-sensitive D-dimer or a
16 negative high-sensitive D-dimer.

17 DR. RAJA: Or a negative D-dimer.
18 Right.

19 CO-CHAIR GAZELLE: Would that be
20 acceptable from the measure developer
21 standpoint?

22 DR. RAJA: I think it would give

1 us the same outcome which is exactly the
2 overuse that we want to make.

3 DR. BURSTIN: It would probably be
4 helpful if they could actually give us some
5 data back before they make a final decision
6 that this is potentially a condition and have
7 them respond with some data and to actually
8 give us a sense of how different it's going to
9 be.

10 CO-CHAIR GAZELLE: Howard and then
11 Holly.

12 DR. FORMAN: Howard Forman. Are
13 we able to make certain that the D-dimer is
14 actually ordered and viewed before the study
15 is made just to avoid getting into a gaming
16 situation? I want to make sure that we are
17 not setting ourselves up for --

18 CO-CHAIR GAZELLE: You would say
19 that the D-dimer has --

20 DR. FORMAN: The results have to
21 be in the order somehow.

22 CO-CHAIR GAZELLE: Yes. Well, at

1 the time the CT was performed.

2 DR. FORMAN: You would like it in
3 the order, though, just because it would be
4 hard to verify it otherwise.

5 DR. CANTRILL: Steve Cantrill.
6 Clinically I'm not worried that because it
7 behooves them to have the results back before
8 they go to CT. If I get the results back
9 after CT I'm screwed. I screwed myself.

10 MR. BACKUS: How fast do you get
11 labs back?

12 DR. CANTRILL: Well, if I'm going
13 to order the lab for a reason I wait for that
14 result. I don't just send off labs to send
15 off labs.

16 DR. FORMAN: All right. Don.

17 DR. RUCKER: I like the measure.
18 Don Rucker. Sorry. I like the measure. I
19 guess the challenge, I think, clinically
20 though is actually on the positive D-dimers we
21 get D-dimers on lots and lots of people now.
22 Unfortunately they are often positive for

1 reasons that are, I think, just plain ill-
2 defined.

3 Then we are sort of boxed into
4 doing the CT and that is where I think the big
5 misuse and overuse is on these low pre-test
6 probability D-dimers that are positive.
7 That's, I think, where the big spend is.

8 MR. BACKUS: But if you don't want
9 to act on the study, then don't send the
10 study.

11 DR. SMITH-BINDMAN: It doesn't say
12 you have to scan.

13 DR. FIESINGER: In the hospitals
14 I've worked in every time the D-dimer is
15 positive an angiogram is ordered. I've dealt
16 with this on rounds with residents multiple
17 times doing research on the false positive
18 rates and we ended up scanning a whole lot of
19 people.

20 DR. GRIFFEY: Richard Griffey. I
21 mean, that's a separate quality measure.
22 Don't send a D-dimer in patients who don't

1 need one. The other caveat, and I don't want
2 to complicate things, but there are other
3 rules like the PERC rule that will essentially
4 identify the patients who you already have
5 identified as low risk to make them very low
6 risk to the point where you don't even need to
7 send a D-dimer. Those patients wouldn't fall
8 into the denominator because not only would
9 you not get the study, you wouldn't get the D-
10 dimer or the study.

11 DR. RAJA: Absolutely. That's a
12 great point but I think it's a little bit
13 outside the purview this quality of people.

14 CO-CHAIR GAZELLE: Carl and then
15 Mike.

16 DR. D'ORSI: Carl D'Orsi. This is
17 an overuse measure so once you get that
18 number, what size is ideal to you, 2 percent,
19 4 percent? Zero is ideal but what would you
20 accept?

21 CO-CHAIR GAZELLE: I don't think
22 we need to specify a threshold for an overuse

1 measure. Is that correct?

2 DR. D'ORSI: Okay. So 50 percent.
3 It doesn't make any difference.

4 CO-CHAIR GAZELLE: No, it would
5 just be public reporting.

6 DR. D'ORSI: Okay. Fine.

7 CO-CHAIR GAZELLE: You'll sort of
8 regress to the mean and the mean will move
9 direction.

10 MR. BACKUS: I had two questions.
11 Yesterday we talked about CT of the head for
12 non-significant trauma or non-penetrating
13 trauma. We talked about potential improvement
14 of 30 plus percent in an organization via the
15 measure. Do we have any sense as to what the
16 potential improvement would be here?

17 I mean, if you take out
18 essentially the highs and the moderates and
19 all that, and I guess I would couple that with
20 the summary of evidence of high impact where
21 it says about 1.5 percent of the patients in
22 the ER get a CTPA and I'm wondering if you

1 take that down to the ER doing 50,000 visits
2 a year, you know, that's 750 or two a day.

3 If you take out the medium or the
4 moderate and high probabilities, I don't know
5 if that whacks out half of it. Am I
6 essentially looking at kind of one study in
7 the ER a day and then I just wonder if we're
8 in the significance.

9 I don't know the incident. You
10 know, based on what they say here 1.5, I think
11 people showing up in the ER because they hit
12 their head is way more common than low
13 indication of PE.

14 CO-CHAIR GAZELLE: Perhaps we
15 could ask the measure developer, if you had
16 even from your own institution some
17 information on the number of low clinical
18 probability negative D-dimer patients that are
19 undergoing CTPA.

20 DR. RAJA: That's a good question.
21 That study is actually going on right as we
22 speak. We don't have any preliminary data.

1 I'm sorry. It's been about two months but we
2 will have data for you very soon.

3 DR. FORMAN: I will just -- I'm
4 sorry. It's Howie. Just anecdotally one
5 thing is the so-called triple rule-out study
6 which is effectively a non D-dimered low risk
7 patient who has chest pain and radiology now
8 has the capacity in about 90 second to do a CT
9 thoracic arteriogram, a CT coronary angiogram,
10 and a CT pulmonary embolism study all at once,
11 90 second.

12 It only cost about the same price
13 as a Jaguar. It's true. I'll tell you, if
14 you want to do it for any reason at all, this
15 is a good measure for that because these are
16 all theoretically low risk for PE. They are
17 definitely high risk for other things.

18 CO-CHAIR GAZELLE: Triple rule-
19 outs in that setting would count the numerator
20 of this measure then?

21 DR. FORMAN: I believe so.

22 DR. GRIFFEY: We would need to

1 specify that specifically because there are
2 other -- sorry, Richard Griffey. There are
3 other means you can gain this in a way if you
4 were going to get a dissection protocol CT
5 rather than a PECT. I mean, most places will
6 protocol those differently so you have to pick
7 between them but there is this triple rule-
8 out.

9 DR. FORMAN: This is like a trend
10 that is just taking off and it's frightening
11 to me because it just seems like the
12 floodgates can be opened in no time.

13 CO-CHAIR GAZELLE: Kirk.

14 DR. SPENCER: No, that was -- Kirk
15 Spencer. My exact comment is how do we catch
16 these with this measure? I assume we want to
17 catch the CTs ordered for PE but I think some
18 of the CTs now are being ordered for chest
19 pain above the diaphragm, particularly between
20 the chin and the diaphragm. I think we do
21 want to catch those but how we prove that it
22 was ordered for PE and not --

1 CO-CHAIR GAZELLE: Scott Gazelle.

2 I would assume that they are going to catch
3 them by CT coding for the CTPA study so that
4 it wouldn't matter why it was ordered. It
5 just matters that it was done without a
6 possible D-dimer.

7 MR. BACKUS: The medical record
8 -- this is Mike Backus. The medical record
9 usually doesn't carry the coding. Does it?
10 Usually it gets put on at billing later if
11 we're going to go back and do a chart extract.

12 DR. GRIFFEY: This is Richard
13 Griffey. If you are getting a triple rule-out
14 but your indication is rule out the section.

15 MR. BACKUS: They need to be a
16 little bit more clear, I think, about how they
17 will capture the event.

18 DR. RUCKER: Don Rucker. I think
19 for the triple rule-out stuff, I really see
20 that as a separate measure. It's rapidly
21 evolving technology. I think it's just a
22 separate deal than the sort of the PE.

1 Those people come with a different
2 history fundamentally, I think, than the
3 triple rule-out patients come. I would just
4 have that as a separate measure. I don't
5 think I would try to glob this onto that in
6 any way, shape, or form.

7 DR. CANTRILL: Steve Cantrill. It
8 may be a little bit early to do that but I
9 think Howie's point was very good and I think
10 you need to look down the pike because I can
11 see this. It's just the CTPA that is being
12 used but, "Boy, I have chest pain." Or, "I
13 had chest pain three years ago." Triple rule-
14 out.

15 DR. FORMAN: So what I understand,
16 and maybe some of you know better, at some
17 institutions this is rare. It's rare for now
18 because the radiologists are not turning in
19 the coronary imaging. There is a turf battle
20 that is dividing them. As people have already
21 told me, "Oh, you'll be fine doing the
22 coronary angiograms." I see this equipment

1 exist in most institutions. Using it is the
2 next step.

3 CO-CHAIR GAZELLE: So my sense is
4 we're saying that the so-called triple rule-
5 out should be excluded. We could ask the
6 measure developer to exclude that explicitly
7 from the measurement.

8 Are you still with us?

9 DR. RAJA: I am, and I completely
10 agree. I think they should be excluded. I
11 think there are too many other things that
12 come into play when deciding whether or not
13 somebody needs a coronary angiogram; family
14 history, smoking, other factors that we just
15 can't exclude and include in this measure so
16 we'll definitely add on an exclusion to the
17 triple rule-out.

18 I do, however, completely agree in
19 that it's going to be a big deal and it's
20 ramping up and there is definitely some
21 quality measure that needs to be developed for
22 it.

1 CO-CHAIR GAZELLE: Okay. Rebecca.

2 DR. SMITH-BINDMAN: This is
3 Rebecca Smith-Bindman. I have a quick
4 question just about what John mentioned. Of
5 the distribution of the indications for the
6 low prob PEs, how many have a positive D-dimer
7 and is that sort of close enough to what you
8 guys have developed this for that you could
9 understand the risk of that group and how it
10 compares to the intermediate versus low risk
11 group?

12 DR. RAJA: I'm sorry. Let me try
13 to understand the question correctly.

14 DR. SMITH-BINDMAN: Low clinical
15 probability, low positive D-dimers versus low
16 probability negative high sensitivity D-dimer.
17 What is the difference in prevalence of PEs in
18 those two groups?

19 DR. RAJA: So I, unfortunately,
20 don't have the data from the studies on me
21 right this second but there is actually enough
22 of a difference that could be adopted by

1 international guidelines.

2 DR. SMITH-BINDMAN: So the
3 positive is high enough that it's equivalent
4 to the intermediate or close to that group?

5 DR. RAJA: Exactly. And they are
6 both high enough that they need to be --

7 DR. SMITH-BINDMAN: Right.

8 DR. GIBBONS: Ray Gibbons. As we
9 discuss this triple rule-out issue, I want to
10 second the point that Howie made, there is
11 some publicized insurance industry data from
12 the Chicago area that shows that this is now
13 a dominate theme in terms of testing in the
14 BD.

15 I just want to express a concern
16 that if we ask the measure developers to take
17 that out of the numerator the potential
18 unintended consequence here will be to
19 increase triple rule-out ordering because that
20 becomes the acceptable now non-measured rather
21 than CTPA.

22 I say that because I've seen

1 patients who have presented the same way to
2 the ED and gotten one study on one occasion
3 and the triple rule-out on another and it's
4 the same patient with the same presentation so
5 we may theoretically think, and I agree with
6 the comment over the phone, that there are
7 different issues that come into play but in
8 the real world not necessarily the case.

9 CO-CHAIR GAZELLE: It seems to me
10 that the easier unintended consequence or
11 easier way out is just to say intermediate
12 clinical probability by inflicted clinical
13 judgment because we don't require a structured
14 evaluation so if I really want to order a
15 CTPA-gram on somebody I just say, "Aw, I
16 really think they are an intermediate clinical
17 probability," and then they're not counted.
18 My concern about this measure is not requiring
19 Wells or Geneva.

20 DR. SMITH-BINDMAN: These cost a
21 lot more radiation.

22 CO-CHAIR GAZELLE: Troy and then

1 Mike.

2 DR. FIESINGER: Were the triple
3 screens ordered for the CT pulmonary angiogram
4 or was it a separate CT?

5 CO-CHAIR GAZELLE: No.

6 DR. SMITH-BINDMAN: No. It does
7 discount --

8 DR. FORMAN: We can --

9 DR. FIESINGER: When you do a
10 surface CBT code would you pick up the CT
11 pulmonary angiogram code or would you not pick
12 it up?

13 DR. FORMAN: You do.

14 DR. FIESINGER: Okay. I mean, you
15 still would tease out if they are doing the CT
16 pulmonary angiogram and if they did it for
17 chest pain that still is overuse and it still
18 would pick it up, the numerator, with the same
19 search approach. It would get put in there,
20 it may not be a bad thing.

21 DR. SMITH-BINDMAN: CBT code --

22 DR. RAJA: At that point it would

1 be retrospectively after the CBT code has
2 already been put in rather than at the point
3 of the ordering which is what we are
4 suggesting.

5 DR. SMITH-BINDMAN: You can't tell
6 them apart. Which is why the CT --

7 (Simultaneous speaking.)

8 CO-CHAIR GAZELLE: One point of
9 clarification for the measure developer. Were
10 you planning to identify the exam that, in
11 fact, a CTPA-gram was done by a search of the
12 billing records that claims the CBT codes or
13 from a search of the medical records and the
14 charts?

15 DR. RAJA: That is a very valid
16 point. We were actually suggesting I think at
17 some point CBT codes so I apologize. I just
18 misspoke. You're right. We would have to go
19 back and do this retrospectively so, you're
20 right. In a triple contrast stand we would
21 actually pick up the CBT code. I'm just
22 reviewing the documentation now.

1 DR. SPENCER: I'm sorry, Kirk
2 Spencer. So that's what I was trying to say.
3 They are implicitly included unless you're
4 going to say on medical chart review it looked
5 like that was also ordered with these other
6 two so we are going to take them out. Is that
7 how you want to do it?

8 DR. RAJA: So if we do them with
9 the CBT codes and review them you are
10 absolutely right they will be included. What
11 we would have to actually do then is actually
12 look for -- in order to exclude them we would
13 have to look for the other two scans to make
14 sure they were triple rule-out scans.

15 DR. SPENCER: But I'm still
16 proposing that they stay in because whether
17 they did the other two or not, if the PE test
18 was ordered for not a good indication for
19 suspicion of PE --

20 DR. SMITH-BINDMAN: Right.

21 DR. SPENCER: And in our hospital
22 we also are getting a lot of pressure from the

1 radiologist saying, "Look, no, these are very
2 different studies. You know, thick. I'm
3 going to protocol differently between the
4 three." I don't know how much true triple
5 stuff is going on.

6 They will do one and we'll go back
7 and kind of say, "Hey, can you take a quick
8 look at some other structure," but if people
9 really are ordering, I would propose that
10 because they ordered apparently a PE-gram
11 without a good reason for a PE-gram they be
12 included.

13 DR. FIESINGER: That's what I was
14 trying to say.

15 DR. RAJA: That's true. We could
16 leave it in.

17 CO-CHAIR GAZELLE: Leave it. So
18 then the other question I have for you as a
19 measure developer, how are you going to
20 determine the level of clinical probability
21 present at the time, especially when it can be
22 implicit judgment?

1 DR. RAJA: Right. That is going
2 to require specific documentation. Just like
3 with other quality measures, that is going to
4 require specific documentation by the
5 physician.

6 Either they would have to include
7 the criteria for the Wells or the Geneva or
8 with their implicit clinical judgment or they
9 would simply have to say, "The Wells criteria
10 was met. They were intermediate probability
11 and so I obtained a d-dimer," or, "I did not
12 obtain a d-dimer."

13 CO-CHAIR GAZELLE: So this gets
14 into the discussion we had yesterday. If you
15 have a order entry system it's easy to capture
16 that for the measure. If you don't have an
17 order entry system for a site to participate
18 in this measure, you would have to do, what,
19 manual chart reviews?

20 DR. RAJA: You would have to do
21 manual chart reviews and the physicians would
22 have to know that whenever I order a scan for

1 a pulmonary embolism I need to document what
2 my clinical indications are, which is really
3 something they should be doing anyway.

4 CO-CHAIR GAZELLE: All right.

5 Other questions or comments?

6 Judy.

7 MS. ZERZAN: Judy Zerzan. I think
8 all of this discussion you mentioned gaps
9 before, that this is a huge gap. I see
10 something certainly on the horizon. CT
11 scanning is going crazy so I just want to
12 explicitly say we need this as payers.

13 DR. BURSTIN: Actually, I already
14 wrote down that, including a research
15 recommendation from this group to keep an eye
16 on the measure for the triple rule-outs.

17 CO-CHAIR GAZELLE: All right.
18 Should we vote? Okay. Yes, it's with
19 conditions. The conditions are, that we've
20 entirely modified this, with the consent of
21 the developer, to become an overuse measure
22 where the denominator is unchanged. The

1 numerator is now the low clinical probability
2 and either no high sensitivity d-dimer or a
3 negative high sensitivity d-dimer. We are
4 ready to vote.

5 MR. CORBRIDGE: Before we do vote
6 we would like to see if anyone is on the line
7 or any public comments from here in the room.
8 Okay. Thank you.

9 CO-CHAIR GAZELLE: All right. So
10 we are voting on the importance characteristic
11 or importance score. How many people would
12 give it a high? You got a number? How many
13 people would like to give it a middle? One.
14 And how many people would like to give it a
15 low? One. Okay. That means we have 18
16 people in the room. We'll keep an eye on that
17 for the next vote.

18 All right. For the separate
19 category.

20 MR. CORBRIDGE: Sorry. I'm trying
21 to figure out how many we actually have --

22 CO-CHAIR GAZELLE: Eighteen.

1 DR. BURSTIN: There is 19 in the
2 room right now so somebody didn't vote.

3 CO-CHAIR GAZELLE: Okay. Let's
4 vote again. Was there a second medium?

5 DR. BURSTIN: How many for middle
6 again? So, there's just one. How many for
7 low? Okay, so there you go. It's seventeen
8 for high.

9 CO-CHAIR GAZELLE: For Scientific
10 Acceptability of the Measure how many people
11 have high? Okay. How many people have
12 middle? Eleven. That should be no lows. Any
13 lows? Usability?

14 DR. CANTRILL: The total is off.

15 CO-CHAIR GAZELLE: So that's 20.
16 All right. What are the highs again?

17 DR. BURSTIN: It was actually ten.
18 Just so you know, this meeting has finally
19 convinced us we are about to order those
20 little hand-held things.

21 MS. ZERZAN: We've adopted new
22 technology now that we're here.

1 CO-CHAIR GAZELLE: All right. For
2 usability how many highs? Zero. How many
3 mediums? Sixteen. How many lows? Three. All
4 right.

5 And for feasibility. High? No
6 highs. Medium? Five. And low? Fourteen.
7 Okay. Now we're voting to either recommend
8 for endorsement or not recommend for
9 endorsement. Who would like to vote to
10 recommend for endorsement with the conditions
11 as stated and time-limited? Sixteen. And who
12 would like to vote against recommending for
13 endorsement? Three. All right.

14 So we can move on now to measure
15 Nounber 6 which is Appropriate Head CT Imaging
16 in Adults with Acute Traumatic Headache. Who
17 is the primary reviewer of this one?
18 Judy.

19 DR. RAKSIN: Actually we discussed
20 it and ours are sort of prepared. I was going
21 to discuss another one.

22 CO-CHAIR GAZELLE: Which one,

1 number 13? No, we were going to discuss 8
2 next because of Howie. We could do 8 first,
3 I suppose, and then do 6 and 13 if you'd like.
4 Why don't we do 8. So we will do 8 next which
5 is Appropriate Cervical Spine CT Imaging in
6 Trauma.

7 Howie, you are the primary
8 reviewer. Start, please, by just summarizing
9 the measure and stating the numerator and
10 denominator.

11 DR. FORMAN: Okay. So this is
12 very similar in some ways to our CT imaging of
13 the head in the setting of trauma. The
14 numerator is using the evidence-based
15 guideline or using the tested rule and there
16 are two tested rules but the one that they are
17 using here and the denominator is all patients
18 that are presenting with neck trauma. I just
19 want to make sure I'm actually -- I'm not
20 saying that right, I know.

21 Just to point out for the moment,
22 it is very similar to yesterday. The one big

1 distinction is a lot of the evidence which
2 this is based on is based on radiography,
3 originally radiography as opposed to based on
4 CT. This has been, again, a long-standing
5 measure and CT of the cervical spine has been
6 available, of course, not nearly as broadly
7 used, for over 20 to 25 years in the setting
8 of trauma.

9 The other important thing to
10 mention about this just to keep in the back of
11 your minds as we work through this is in the
12 sense of unintended consequences this may
13 actually increase the use of CT imaging of the
14 cervical spine because a lot of patients that
15 would fit the criteria for appropriate use of
16 CT and cervical spine are appropriate for
17 cervical spine radiography right now.

18 Although I realize it has nothing
19 to do with the purview of this group, I would
20 just point out that from a cost standpoint,
21 from a real cost standpoint CT cervical spine
22 imaging is cheaper than CT radiography

1 primarily because only probably less than 5
2 percent of all patients that are going to get
3 a cervical spine CT are not getting a head CT
4 already so from true cost. I'm not talking a
5 societal cost. I'm not talking Medicare cost.
6 This is something the payers can think about
7 at another time but the true cost of doing
8 this is de minimis. The patient is on the
9 table. The extra time for the scanning is
10 about 30 seconds so the true cost of doing
11 this is de minimis.

12 From a real economic perspective
13 if the unintended consequence occurs, it's not
14 a bad thing. The radiation risk is no
15 different. The technical feasibility of doing
16 it is actually easier. Cervical spine
17 radiography is more difficult to interpret
18 even if it is compensated less. On every
19 economic count I would say that we shouldn't
20 worry about that but from on the ground, what
21 would it mean for payers it could be a
22 problem.

1 DR. SPENCER: But from a payer
2 point of view if the technologies are used
3 together 75 percent of the time they are going
4 to be bundled soon enough anyway.

5 DR. FORMAN: I would hope so.

6 DR. SPENCER: That is
7 exceptionally clear.

8 DR. BELLO: Cycle.

9 DR. FORMAN: What was that? Okay.

10 DR. SMITH-BINDMAN: The
11 interpretation is going to be bundled?

12 DR. BELLO: No. No interpretation
13 is going to be bundled.

14 DR. SMITH-BINDMAN: Right. This
15 is Rebecca Smith-Bindman. The cost in terms
16 of paying a radiologist to read a CT is not
17 comparable to reading a cervical spine plain.

18 DR. FORMAN: For no good reason
19 but I agree.

20 DR. SMITH-BINDMAN: For no good
21 reason but that is huge.

22 DR. FORMAN: I'll be at Medpac the

1 next two weeks.

2 CO-CHAIR GAZELLE: Let's go
3 through the whole summary of the measure first
4 and then we'll have a discussion, if we could.

5 DR. FORMAN: So anyway, getting
6 back to that, so that is the main caveat.
7 Everything else that we're talking about, the
8 evidence here is very comparable to the head
9 CT so I'm just going to run through this and
10 hopefully do it a little more efficiently than
11 yesterday.

12 So starting on Importance to
13 Measure report, I believe it meets completely
14 in terms of the magnitude that Dave indicated.
15 I can tell you from experience that the use
16 has wholly gone up in the last few years and
17 considerably so. There is a considerable
18 amount of evidence supporting that.

19 The Opportunity to Improvement,
20 1(b), I also believe is completely met in the
21 sense that there are clear benefits and there
22 is comparable data to the head CT that there

1 are a good number of cervical spine
2 radiographs and CTs that are currently being
3 done that are inappropriate and could be
4 excluded.

5 Outcome or Evidence to Support
6 Measure Focus, I think, as pointed out, there
7 are the two large studies, the Canadian study
8 and New Orleans or NEXUS criteria, both of
9 which have been validated, both of which count
10 to be considerably sensitive and specific,
11 relatively speaking, with the Canadian rule
12 being more sensitive than the NEXUS criteria
13 and more specific, according to this but,
14 again, applied in radiography.

15 The rating of the strength, the
16 quality of the evidence is strong and the only
17 real controversial issue is this issue of
18 radiography versus computed tomography. Was
19 the factual criterion importance to measure
20 report met? I would say yes.

21 Scientific Acceptability of Measured
22 Properties. We talked about how it's

1 structured and what the rules actually are.

2 In the summary, there is one typo where it
3 talks about four criteria -- five criteria and
4 it's four but other than that. On page 4 it
5 just mentions at one point the five criteria
6 patients require. It should read four. But
7 that's not critical. Again, measure
8 specifications, I think, meet completely under
9 the category of Scientific Acceptability
10 Measure Properties.

11 Then under Testing Analysis
12 Reliability Testing we really don't have the
13 CT studies. We have the radiography studies.
14 So that's why we're listing that as partially
15 met.

16 Exclusions justified primarily on
17 the basis that they hadn't been tested in
18 populations and primarily excluding children
19 under 16. I think there were pregnant women
20 and others in that category as well and over
21 65. Overall, to what extent was the criterion
22 scientific acceptability measured properties

1 met? We gave it completely.

2 CO-CHAIR GAZELLE: Scott here
3 interrupting. The question of testing is not
4 testing of the criteria, it's testing of this
5 measure and this measure is a composite of all
6 the different criteria.

7 DR. FORMAN: Okay.

8 CO-CHAIR GAZELLE: I would say it
9 hasn't been tested at all, if I'm interpreting
10 it correctly.

11 DR. FORMAN: Okay.

12 DR. BURSTIN: It is only eligible
13 for terminating.

14 DR. FORMAN: Okay. Thank you.

15 Then, I guess, under scientific
16 acceptability then we go back to partially
17 met. Then, under usability I think, in the
18 absence of having had testing and knowing the
19 exact same problems that we discussed with
20 other measures where we were required to
21 either parse from the chart or use a
22 computerized position under entry, it all

1 going to come back to the same basic issue.
2 Here I would say minimally met or partially
3 met. I'd say minimally.

4 So there is an existing measure
5 with NQF which is the cervical spine
6 radiography measure. The measures that are
7 not harmonized now would be harmonized after
8 testing, I presume.

9 DR. BURSTIN: They are not
10 harmonized now? They wouldn't be harmonized
11 after testing, so could you elaborate on why
12 they're not harmonized?

13 DR. FORMAN: He wrote that they
14 are not harmonized and I accepted that on its
15 face.

16 DR. BURSTIN: We can ask him that.

17 DR. FORMAN: Okay. So the
18 Distinctive or Added Value I think it really
19 does considerably update where we actually are
20 compared to where we were in terms of imaging
21 in the emergency room.

22 I do think for anyone that has

1 experienced it you see that even though the
2 economics have not yet adjusted appropriately,
3 everybody is getting cervical spine imaging if
4 they are already getting a head CT and there
5 is no good, proved reason to not do it other
6 than the financial concerns because the
7 radiation can be minimized, the lux in the
8 radiography, the speed and the risk is that
9 you do the head CT and you do the cervical
10 spine imaging, it's inadequate and you have to
11 bring the patient back again. There are real
12 good clinical care reasons to want to do that.

13 Under feasibility, again, getting
14 back to the issue of how you would be able to
15 capture the measure, you would be able to
16 capture the data in order to provide data at
17 the center or individual position basis so, I
18 think, well, at the start of the summary is
19 partially met or minimally met depending on
20 how you look at it. I think that is the
21 biggest hurdle once again.

22 I do think, much like the cervical

1 spine, much like the head CT issue, the
2 individual sheet can be filled out and I do
3 agree that even if people are going to figure
4 out how to game the system, so to speak, by
5 choosing certain categories it's going to
6 force them to have to choose a category
7 nonetheless and presumably most people are
8 going to actually be honest so hopefully it
9 will actually have the effect of requiring
10 some use. I think there is excessive use out
11 there. Overall I think that's it.

12 CO-CHAIR GAZELLE: All right.
13 Jacqueline.

14 DR. BELLO: Yes. Jacqueline
15 Bello. I was the second reviewer and I just
16 wanted to make a few additional comments.

17 First, to note that on page 3
18 under 1(b), Opportunity for Improvement,
19 "Studies have shown that a decrease in
20 cervical spine imaging goes up to..." And we
21 are left without how much it might be reduced
22 from that. I'm sure that the --

1 CO-CHAIR GAZELLE: Can we pause
2 for a second? I want to make sure the
3 developer is aware of what we are talking
4 about here.

5 DR. RAJA: I am and I'm actually
6 pulling up that data right now.

7 DR. BELLO: Thank you.

8 DR. RAJA: I'll have that for you
9 in a few minutes.

10 CO-CHAIR GAZELLE: That will need
11 to be added, though.

12 DR. BELLO: Right. Definitely.

13 DR. RAJA: Absolutely.

14 DR. BELLO: It'll come out in our
15 discussion. And, if I might continue, I had a
16 somewhat different idea from Howie in terms of
17 whether it would suddenly increase the number
18 of CTs. And that is because, at least from
19 the experience where we do a lot of trauma
20 and, as I mentioned yesterday, a lot of head
21 and spine imaging, very few of the patients at
22 our institution whose c-spine is being

1 evaluated for trauma aren't already getting a
2 head CT.

3 Now, the converse of that is not
4 true. If you have absolute head-only trauma
5 and you're happy, then you just get a head
6 plain but the number that don't already get a
7 head CT and because they are already getting
8 one, I'm of the impression that they are not
9 getting their head CT and then going over to
10 Plainville for the c-spine.

11 I really don't think it's going to
12 increase the number of c-spine CTs to that
13 same possible extent. The only other point I
14 wanted to add is that in addition to the two
15 rules, the Canadian rule and the NEXUS rule,
16 there is this third track that you can take
17 having to do with the range of motion and I
18 think that that muddies the water a little bit
19 compared to yesterday's discussion where we
20 had a combination of two rules just because
21 there are too few people with the courage of
22 my convictions who are going to jump in there

1 and do these range-of-motion exams, I think.

2 In terms of the section that we've
3 had that says how translatable are the
4 criteria, I think it's a little bit more muddy
5 and I would just maybe ask the developers to
6 expand on that a little bit. Other than that
7 I was in basic agreement.

8 CO-CHAIR GAZELLE: Thank you.

9 DR. GRIFFEY: I have a comment.

10 CO-CHAIR GAZELLE: Other comments.

11 Sure, Richard.

12 DR. GRIFFEY: Richard Griffey. I
13 agree with both the assessments of the
14 measure. The ACR recommendation for the
15 appropriance criteria is to CT these patients
16 which, I think, differs from our take in
17 emergency medicine and in emergency radiology.
18 I think it's not an inconsiderable concern
19 that this could increase CT.

20 I do agree with the authors that,
21 look, if we're applying this standard to X-ray
22 we should at least apply some standard, this

1 standard, to CT. There are two or three small
2 papers, Blackmore and Hanson and some others
3 that have tried to apply some science to the
4 decision step between identifying not just the
5 very low risk who don't need X-rays and the
6 group that is at higher risk who need primary
7 CT instead of secondary CT, instead of primary
8 X-ray but the science is not really fully
9 fleshed out there yet.

10 Those papers, and others, identify
11 if you are getting a head CT already, the
12 incremental issues of getting a c-spine, it
13 just makes sense to do that. It's cost-
14 effective and time-effective. In other
15 patients who meet the criteria for some
16 imaging, it would be a little concerning if
17 this is viewed as a rubber stamp to, if they
18 need imaging, go on to CT, in my view. At the
19 same time. We have to go on some standard so
20 I think it's imperfect but it is what it is.

21 CO-CHAIR GAZELLE: Thank you.

22 DR. CANTRILL: Steve Cantrill. I

1 have several concerns. First of all, this is
2 the title. The title is Appropriate Cervical
3 Spine Imaging in Adults with Trauma. I refer
4 you to the ordering sheet. What is the one
5 study you can order? Cervical c-spine CT.

6 This implies by definition that
7 plain films of the neck are no longer adequate
8 so, in fact, at least in our practice we have
9 a significant number of patients in minor
10 deceleration MVC with no loss of
11 consciousness and no head trauma who come
12 in complaining of neck pain. Of course they
13 are going to get their c-spine study and then
14 they don't need a head CT.

15 You get in the business, "Well,
16 they are going for a neck. Let's get a head."
17 "They are going for a head, let's get a neck."
18 But we have a significant number that do not
19 need their head done, so, in fact, there would
20 be an increase, at least in our practice, in
21 terms of the number of CTs of the neck that
22 would be done.

1 I am concerned that this becomes a
2 de facto standard and, as Richard mentioned,
3 based on really not a lot of data. We're
4 really not there yet. And I think the cost is
5 also an issue. The incremental cost of the
6 technical cost, absolutely.

7 The electrons are cheap and the
8 time is not an issue but it's the charge for
9 the radiologist that, in fact, will be borne
10 by society as currently we have our financing
11 apparently structured, I am also concerned
12 about the radiation exposure. I can't speak
13 with authority. Howie, in terms of plain
14 films of the neck versus CT of the neck, what
15 is the difference in terms of rads?

16 DR. FORMAN: Oh, I don't have a
17 hard estimate right now. I would say
18 comparable once you actually factor in the
19 actual number of films that you are actually
20 taking. You are taking typically seven
21 images, even though it's considered to be a
22 four view, but you end up taking somewhere

1 between two or three laterals.

2 You take one AP both of likes and
3 an APO from altadontoids so once we get to
4 that, dealing with a lot of images through a
5 narrow area and basically, radiation is at
6 least comparable. I would say in reality it's
7 higher.

8 DR. CANTRILL: Okay. One other
9 issue, we talk about efficiency standards and
10 I've got to tell you, Saturday night in the ED
11 and I am just up to my eyeballs in patients
12 and I'm trying to get necks cleared.

13 Plain films I can clear. C-
14 spines, CTs, that's the radiologist. So I've
15 got all these people laying around with hard
16 collars on and I talk to the radiologist who
17 says, "I've got 27 CTs I've got to read."

18 When you talk about efficiency,
19 part of efficiency is throughput. In fact, I
20 see this, not all the time but in certain
21 situations, in fact, it's going to decrease
22 our overall departmental efficiency.

1 DR. RAKSIN: Patti Raksin. I just
2 want to take the counterpoint to that and I
3 have a question for Howie because we used to
4 do planned radiographs on all of our trauma
5 patients and I can't tell you how many
6 patients then had to make the trip to CT,
7 because they couldn't see -- my life has been
8 made infinitely easier by the use of CT with
9 reconstructions. I can clear c-spines much
10 more efficiently.

11 DR. CANTRILL: I'm not saying it's
12 a bad study. I'm just saying --

13 DR. RAKSIN: So my question is
14 what percentage of patients do you think -- I
15 remember my own anecdotal experience but what
16 percent of the patients getting full
17 radiographs have inadequate visualization that
18 would require them to get a CT individually?

19 DR. FORMAN: Depends on the
20 radiologist.

21 DR. CANTRILL: It can be as high
22 as 68 percent.

1 DR. FORMAN: For someone like me
2 it's rarely.

3 CO-CHAIR GAZELLE: Jacqueline.

4 DR. BELLO: Jacqueline Bello.

5 Just on the point of interpretation, I would
6 propose that given the reformatted images in
7 the sagittal plain, if you can clear a patient
8 on the basis of a c-spine radiograph you can
9 if you're not ready to wait for the
10 radiologist to clear on the basis of the
11 reformatted images. I think that that is just
12 a practical point.

13 DR. CANTRILL: But remember that
14 most of us don't have diagnostic good quality
15 monitors. The radiologists won't let us have
16 them.

17 CO-CHAIR GAZELLE: Don.

18 DR. RUCKER: Don Rucker. I think
19 that Patti's point and Steve's point, the
20 other dynamic here, and I'm not sure how this
21 interprets it, seemingly many, if not most, of
22 the plain film c-spines we get, there is a

1 hedge on the report that says, "If you're
2 really worried about this, please get a CT
3 scan."

4 DR. BELLO: Based on the data.

5 DR. RUCKER: You know, we as ER
6 docs are left with these little time bomb
7 thank yous. I'm sure, Howie, you don't do it.

8 DR. FORMAN: I don't. I'm in the
9 private sector, though.

10 DR. RUCKER: Right. Places where I
11 worked have been major university kind of
12 hospitals and that is the norm to get those
13 hedge kind of things. If you're really
14 concerned, get a real test. This measure, I
15 think, you know, in terms of where it has to
16 be targeted, I think is in many ways not
17 entirely clear to me.

18 Is it sort of more of an ER, is it
19 more of a radiology? If you're putting that
20 boilerplate on your reports, or your house
21 staff is putting that boilerplate on your
22 reports, that's a problem.

1 CO-CHAIR GAZELLE: Kirk.

2 DR. SPENCER: Kirk Spencer. Can I
3 back up a second? Way back. Is the measure
4 to decrease the number of people who don't
5 need any imaging of their spine, in which case
6 X-rays and CTs should be together, or is it to
7 decrease the number of people that get CTs
8 instead of c-spines? What's the heart here?

9 CO-CHAIR GAZELLE: My sense is
10 it's not a comparative CT versus plain film.
11 It's a measure that the people who are getting
12 CT of the c-spine are getting it
13 appropriately.

14 DR. FORMAN: That's why it needs
15 to be harmonized as well. The measure is as
16 applicable -- this is Howie -- as applicable
17 to CT cervical spine as it is to plain
18 radiography. The only reason we are talking
19 about the comparative issue, I think, is
20 because I raised the concern about the
21 unintended consequence which I personally
22 don't think, from my true economic point of

1 view as opposed to from a financial payer
2 point of view, is concerned.

3 DR. SPENCER: Again, I'm sorry. I
4 still don't understand. Are we trying to not
5 image the c-spine of people who don't have a
6 clinical indication?

7 CO-CHAIR GAZELLE: This is only
8 about CT.

9 DR. SPENCER: All right. Then why
10 is it -- okay.

11 CO-CHAIR GAZELLE: Richard.

12 DR. GRIFFEY: My recommendation
13 is --

14 CO-CHAIR GAZELLE: I'm sorry.

15 DR. FORMAN: If there is already a
16 measure about c-spines, then why don't we
17 recommend to change the measure to imaging?
18 Then you cover any modality and achieve the
19 effect we're trying to get.

20 DR. BURSTIN: And just to remind
21 people, the prior measure was actually from
22 Harbor View Medical Center and it was the

1 percentage of patients undergoing cervical
2 spine radiographs in trauma who do not have
3 neck pain, distracting pain, neurologic
4 deficits, reduced level of consciousness, or
5 intoxication.

6 DR. BELLO: It's really the same
7 thing.

8 DR. GRIFFEY: I mean, if there
9 were another measure that was -- I don't
10 really understand exactly what harmonization
11 is but if there were a measure that allowed
12 for -- I like NEXUS or CCR. I like making
13 those different options available, which also
14 I believe includes the range of motion so I
15 think that's where that comes in, if you made
16 those sort of the standard for any imaging or
17 any modality.

18 DR. FORMAN: Although let's be
19 clear. Let's not include MR in this thing.

20 DR. CANTRILL: If I could -- Steve
21 Cantrill. If I could just speak to endorse
22 that. My suggestion is going to be to change

1 the title to Appropriate CT Cervical Spine
2 Imaging. Richard's suggestion really solves
3 the much bigger issue. If we just call it
4 cervical spine imaging, you can do whatever
5 you want. If you want to do plain films, you
6 can plain films. If you want to do CT, you
7 can do CT but we're saying, no matter what you
8 do, you've got to have an indication.

9 DR. SPENCER: Kirk Spencer. From
10 the narrow people, are there indications to do
11 one or the other in trauma?

12 DR. CANTRILL: Not in these
13 radiologist reports.

14 DR. SPENCER: Not in this group of
15 patients. Okay.

16 DR. GRIFFEY: If you have a hard
17 neurologic injury, then it's going to be an
18 indication for an MRI. I mean, is that what
19 you're asking?

20 DR. FORMAN: No. We're leaving
21 MRI out of it. We're talking about, between
22 radiography and --

1 DR. GRIFFEY: Between primary CT
2 and primary X-ray, the Harbor View group is
3 sort of at the lead, and, like I said, the
4 science is really not there yet.

5 CO-CHAIR GAZELLE: Jacqueline and
6 then Roger.

7 DR. BELLO: Jacqueline Bello. So
8 the one time that there would be an indication
9 for one instead of the other is if it's clear
10 that this patient is going to the OR to be
11 instrumented and the surgeon wants the CT.

12 DR. GRIFFEY: I wouldn't put that
13 in this level.

14 DR. BELLO: I'm not putting it in
15 here. I was answering the question, is there
16 in the world of neuroradiology when one is
17 indicated over the other. So that's the
18 issue.

19 DR. CANTRILL: Just a comment. I
20 think this is an example of a situation in
21 which our historical practice of telling the
22 radiologist what to do is a grave mistake. We

1 should just be telling him what the problem
2 is. We say, "We need imaging of the c-spine,"
3 and then let the radiologist figure out what's
4 the best way to do it. It should be bundled
5 and paid that way.

6 DR. FORMAN: That's a great payer
7 idea.

8 DR. RAKSIN: Patti Raksin.
9 Jacqueline is defending my brethren. This is
10 one case where a neurosurgeon may, in fact,
11 need a CT scan and an MRI and an angiogram
12 before going to the operating room with a
13 patient.

14 DR. CANTRILL: My comment
15 incorporates that thinking. It's the same.

16 DR. RAKSIN: But the radiologist
17 is not going to tell us what the appropriate
18 study is. How do I know I don't need a
19 myelogram?

20 CO-CHAIR GAZELLE: That is sort of
21 outside of this. I had a couple concerns
22 about the measure. One is I had to read the

1 numerator details about 10 times before I
2 could understand the cascading "or"
3 statements, particularly 2(a)3(2)(b). Maybe
4 it's simpler to people who do ED imaging but,
5 "None of the following risk factors that allow
6 safe assessment of range of motion."

7 DR. RAKSIN: Especially the
8 "sitting in the ER."

9 DR. BELLO: That goes to my point.

10 CO-CHAIR GAZELLE: "Patient found
11 sitting in the emergency department." I mean,
12 at some level, everybody's done that --

13 I have a concern on two levels.
14 One is, these things are not really clearly
15 defined. I mean, what is delayed onset of
16 neck pain? What does it mean to be found
17 sitting in the emergency department?
18 Moreover, I think it's going to be very
19 difficult to determine if they exist or don't
20 exist, all of them, from a medical record
21 review. I think that is a real problem with
22 this measure, personally.

1 PARTICIPANT: It would require a
2 separate sheet just like the other measures
3 that we have discussed.

4 DR. BELLO: No. But then you need
5 another one for the range of motion.

6 CO-CHAIR GAZELLE: And definitions
7 for all of these sort of vague things. I'm
8 concerned about that aspect of the feasibility
9 of the measure based on that.

10 DR. RAJA: If I may interrupt just
11 a second on that. You bring up a good point.
12 You are talking about two different things.
13 Number one, the feasibility and collection of
14 data which I agree is going to require a
15 separate form.

16 Number two, as far as the standard
17 division of these criteria, they are all part
18 of the Canadian cervical spine rules which
19 have been used now for about eight years or
20 nine years.

21 Just in October of 2009 the
22 Canadians published a article in DMJ in which

1 they actually used these rules in 12 centers
2 in Britain and actually found that they were
3 not just feasible but they actually reduced
4 imaging overuse.

5 This is by a wide variety of
6 emergency conditions, PAs and trainees, and
7 found that even though the criteria are
8 somewhat vague, they are actually able to be
9 used by a wide variety of people -- including,
10 actually, now, they've recently done a study
11 with paramedics, actually, that have found
12 that they can actually use the rule as well.
13 I agree that they seem vague but they have
14 been used, and it's been proved that they can
15 be used.

16 CO-CHAIR GAZELLE: No, no. That's
17 not what my point was. My point is not about
18 the NEXUS or the Canadian c-spine rules. My
19 point is about your 2(a)3(2)(b).

20 DR. RAJA: That is actually part
21 of the case.

22 CO-CHAIR GAZELLE: I understand

1 that and so, if you would let me finish, what
2 I'm trying to say is since it's already part
3 of the Canadian c-spine rules, why does it
4 need to be in this measure separately
5 specified?

6 DR. FORMAN: You need to specify
7 Canadian c-spine rules without all --

8 CO-CHAIR GAZELLE: They already
9 are in the two fours above.

10 DR. RAJA: You're right. They
11 don't need to. If we can simply say,
12 "Canadian Cervical Spine Rule," then that's
13 fine.

14 DR. FORMAN: That is the second of
15 the cascading "or" statements and then it's
16 followed by a restatement of part of the
17 Canadian c-spine rule. If you just take that
18 last one out, it clarifies the measure.

19 DR. RAJA: That's true.

20 DR. CANTRILL: Steve Cantrill. I
21 have a question. What would be involved and
22 since we already have the previous NQF

1 proposal in terms of limiting for plain films
2 and now we have one for CT, how do we go about
3 merging these together? Mechanistically what
4 do we have to do here? I think it's really
5 simple and it's an extension of, really, the
6 one that was passed in 2008.

7 DR. BURSTIN: Yes, I agree. I
8 think the simplest approach would be if we
9 could talk to the folks offline at the
10 Brigham. I think the simplest approach would
11 be for us to approach the Harbor View folks
12 and have them talk to these folks and see if
13 they can come up with a measure that actually
14 reflects cervical spine imaging broadly.

15 I think that is the simplest
16 approach. We could table that discussion
17 until a follow-up conference call so that this
18 could get sorted out.

19 CO-CHAIR GAZELLE: Should we then
20 not vote on this?

21 DR. BURSTIN: It's up to you. I
22 think it's fine to defer that until you have

1 more information.

2 CO-CHAIR GAZELLE: Could we take
3 sort of a straw poll to see if everyone is in
4 favor of that approach?

5 DR. BURSTIN: Sure.

6 CO-CHAIR GAZELLE: Is everyone in
7 favor of that approach, that is, taking it
8 offline? Anyone opposed? Okay.

9 Now we are moving onto -- that was
10 just number 8. We decided to go to 6 and then
11 13 is the order we're going to have. I don't
12 know what we're doing in terms of scheduled --
13 do we need a brief break or should we push
14 through and let people who need a break for
15 food or other things go?

16 All right. So we're going on to
17 number 6 which is Appropriate Head CT Imaging
18 in Adults with Acute Atraumatic Headache.
19 That is from Review Group 3. Who is going to
20 take the lead on describing the measure?

21 DR. RAKSIN: The three of us
22 discussed both of these measures but -- Patti

1 Raksin -- I will present measure number 6
2 which is entitled Appropriate Head CT Imaging
3 in Adults with Acute Atraumatic Headache.

4 The numerator in this case is the
5 number of denominator patients who have an
6 American College of Emergency Physicians
7 indications or head CT. The denominator is
8 the number of patients with acute headaches
9 who are undergoing CT.

10 In terms of 1(a), do we believe
11 that this met completely the criteria? We
12 believe this is an important area for
13 research. However, they said that the primary
14 aim was to specify a corporate criteria and
15 that is not what this measure is actually set
16 up to do. This will simply identify
17 individuals who meet existing criteria
18 outlined in a single guideline.

19 In terms of 1(b), opportunity for
20 Improvement, as the group pointed out, using
21 the Goldstein study 98 percent of patients
22 were determined to have a benign cause to

1 their headache. Fourteen percent of those
2 patients were in that study and about five
3 percent eventually had what they called a
4 pathological diagnosis. They also pointed out
5 the utilizations varied widely even within
6 their one medical center from 5.8 percent to
7 11.5 percent.

8 We did, however, ask the
9 developers this question. They called this
10 acute headache but they define that as less
11 than 14 days. Unless there is a neurologist
12 here we take exception to that. This is
13 really talking about subacute if they are
14 going to stretch it out to 14 days of
15 symptoms. For 1(b) we gave them a partial for
16 those reasons.

17 Things start to get a little bit
18 hairier when we get to 1(c), the Outcome or
19 Evidence to Support the Measure Focus. We
20 haven't actually talked so much about this,
21 but when we are developing these measures for
22 consideration, I think with the strength of

1 the evidence that is being used as a basis for
2 that measure, it's quite important and this is
3 a case where the evidence base is not very
4 strong. Their entire measure is based on this
5 American College of Emergency Physicians
6 Guideline which is really a consensus
7 statement.

8 As they themselves say, they are
9 using Class 2 evidence to make a Level C
10 recommendation and they have their own
11 internal system so I'll just tell you, Level
12 C in their system is, "Consensus
13 recommendation based on incomplete,
14 conflicting, or preliminary evidence."

15 Right. This is my point. I have
16 a little bit of a problem in using that
17 evidence base.

18 CO-CHAIR GAZELLE: We should pause
19 and have a discussion of the importance
20 because if it fails there, we don't need to go
21 on.

22 DR. BURSTIN: Because the evidence

1 focus is required to pass number one.

2 DR. CANTRILL: Actually, we have
3 many Level B recommendations here. They are
4 not all Level C.

5 DR. RAKSIN: Right.

6 DR. CANTRILL: In terms of
7 patients presenting to ED with headache and
8 abnormal findings in a neurologic examination.
9 And then patients presenting with new sudden-
10 onset severe headache and then HIV-positive
11 patients. Those are all Level B
12 recommendations.

13 DR. RAKSIN: In the body of this
14 proposed measure, and if you go back to the
15 document, I agree with you, part of it is
16 listed as a Level B recommendation but then
17 they have made an internal mistake in the body
18 of this document, because they have cited --

19 DR. CANTRILL: I have the
20 document.

21 DR. RAKSIN: I have the document
22 as well.

1 DR. CANTRILL: I've got it right
2 here. I've read you from the Level B
3 recommendation.

4 DR. RAKSIN: I understand that but
5 it's the entire way in which they've gone
6 about generating the recommendations that were
7 made in that document that is the problem
8 here. It really is nothing more than a
9 consensus statement.

10 CO-CHAIR GAZELLE: What I would
11 propose then is we should hear from the other
12 members of the review committee.

13 DR. BURSTIN: We've talked about
14 it and we agree.

15 CO-CHAIR GAZELLE: Then we could
16 have a brief discussion and vote on
17 importance. Then if we agree that it's
18 important, we'll continue on, but this will
19 simplify it. Are there other thoughts?

20 PARTICIPANT: So the three primary
21 reviewers, it's your view that it doesn't meet
22 essentially the clinical standard?

1 DR. CANTRILL: Again, I disagree.
2 Level B recommendations are based on strength
3 of evidence of Class 2 studies that directly
4 address the issue. That is reading from the
5 definition of Level B recommendations. What I
6 read you before were Level B recommendations
7 so I am confused about how we are saying these
8 are consensus recommendations.

9 CO-CHAIR GAZELLE: Judy.

10 MS. ZERZAN: This is Judy Zerzan.
11 So they say they are Level C and they include
12 everything. If they had perhaps picked out
13 the things that there was better evidence for,
14 then you could say it, but as this stands
15 covering the whole spectrum of headache I
16 think it's inadequate.

17 Also, they state at the end in
18 their rationale for using this guideline is
19 that it's the most recent literature review
20 published after August 2006. In my mind that
21 is old and I don't know if there are other
22 studies that support that but I thought this

1 is primarily based on expert opinion and that
2 is a very low level of evidence.

3 DR. CANTRILL: Do the authors have
4 any comment about that?

5 DR. RAJA: You both brought up
6 very good points, and if it says 2006, I
7 apologize, that must have been a typo. The
8 clinical policy came in in October of 2008.
9 You are both right in that a lot of this is
10 Level B evidence but there is some data behind
11 it. We have Class 2 studies that have
12 actually recommended a majority of these
13 points.

14 You are right in that we should
15 have excluded some of the Level C steps but
16 rather than make an individual decision on our
17 part, we deferred to the guideline of this
18 national body that underwent a whole lot more
19 review than the two of us could actually do so
20 we kept their guideline as a whole. If you
21 think that it might be more acceptable if it
22 simply came back as just the Level B

1 recommendations, we are happy to do that as
2 well.

3 CO-CHAIR GAZELLE: Helen, that
4 would be more than a revision because that
5 would require a discussion of the whole
6 committee.

7 DR. BURSTIN: It's just not clear
8 from reading it at this point what's in and
9 what's out. I don't think you have enough
10 information to know how significant a change
11 that is in terms of which elements of the
12 guideline are B versus C, I guess is the
13 question.

14 I don't know if you have a good
15 sense of it, Steve.

16 DR. CANTRILL: Well, we would have
17 to go through and actually line them up.

18 MS. ZERZAN: The next one that we
19 deal about this that is prepared by CMS, I
20 think there is a very nice job of outlining
21 all of the different guidelines about imaging
22 that was much more thorough and complete when

1 compared to this one.

2 CO-CHAIR GAZELLE: Then why don't
3 we --

4 DR. MECHTLER: There are multiple
5 guidelines out there with a headache
6 consortium, the American Academy of Neurology.
7 I would like to have seen the ASAB on this
8 article. They did reach out to specific
9 neurologists without naming them and headache
10 specialists but there was no consensus. There
11 was no support. I'm not sure what
12 recommendations were included in their
13 guidelines but I would like to see this
14 because this is a gray area although it's an
15 emergency room issue, as you know, headache
16 and neurology.

17 I would like to see a consensus
18 from multiple groups, and change the
19 guidelines accordingly. Because those
20 guidelines that were recommended are a tad
21 different than the guidelines that the
22 American Headache Society has supported.

1 CO-CHAIR GAZELLE: So unless there
2 are any more comments in favor of this, should
3 we just vote to recommend or not -- I mean, on
4 the importance issue? Okay.

5 On the importance criterion how
6 many people in the room would like to give it
7 a high? Okay, zero. How many people would
8 like to give it a middle? Looks like three.
9 How many people would like to give it a low?
10 Fifteen. And one abstention.

11 So we can move onto the next
12 measure which is measure 13, same topic.
13 Measure 13. Who is going to be the
14 discussant?

15 MS. ZERZAN: This is Judy Zerzan
16 and we all discussed this. The summary of
17 this measure is, it's developed by CMS using
18 their claims data, like one of the measures
19 that we looked at yesterday. We actually
20 think when you look at the numerator and
21 denominator, that what this measure is, is
22 about inappropriate CT scans.

1 It's not clear from their brief
2 description of the measure that that's what it
3 is but in the numerator is people that got a
4 CT scan that had reasons and they actually
5 give excellent detailed reasons about
6 diagnoses of those who wouldn't need a CT.

7 Going through our ratings, in 1(a)
8 the imaging of people with headache is
9 absolutely a big problem and growing so we
10 gave that a C. Moving on to 1(b) in the
11 Opportunity of Improvement, they give a range
12 of the data that they have used that has a
13 ratio ranging from zero to .8 so quite a wide
14 range. It seems like there is a lot of
15 variation and there is a lot of variation by
16 state. It seems that there is opportunity for
17 improvement so we gave this a C.

18 Moving on to 1(c), the
19 Relationship to Outcomes, we gave this a
20 partial because one thing that we were
21 concerned about is, we agreed that there is
22 inappropriate use and over-imaging of

1 headaches but if anyone needed imaging it's
2 people over 65. That is often an indication
3 and so while there is probably some overuse in
4 this population, it is much smaller than in
5 the general population so we weren't clear.

6 They don't measure the outcomes of
7 people that wouldn't be scanned by this and if
8 there would be unintended consequences. I
9 think it's about in that one study about five
10 percent of people have pathologic problems so
11 our concern with this is, could it have
12 unintended consequences so we gave it a P.

13 They did nicely review all the
14 guidelines and the evidence and sort of
15 summarized that, so overall for 1 we gave it
16 yes, the threshold criteria was met. They
17 nicely laid out the evidence some of which is
18 not super strong and some of it is based on
19 experts but on the whole all of the evidence
20 is sort of in the same direction so they put
21 it together.

22 Moving onto number 2, the Measure

1 Specifications, we gave this a C. In the
2 numerator is the number of ED visits with the
3 diagnoses noted in the denominator that had a
4 CT scan and they just sort of flipped through.
5 They have an extensive list of ICD-9 codes
6 that do that. It is not stratified or risk
7 adjusted and a better quality is a lower
8 score, so fewer CTs in the not-indicated
9 population.

10 Moving onto Testing and Analysis
11 for 2(b). We gave that a C. They developed
12 this measure with 100 percent Medicare fee-
13 for-service sample for 2007 and then tested it
14 on the 5 percent sample.

15 The validity testing, 2(c), we
16 gave a C. 2(d), the Exclusions Justified, we
17 also gave a C. They got their own technical
18 expert panel that reviewed this twice and we
19 thought that that was reasonable.

20 Risk adjustment is N/A.
21 Meaningful Differences and Importance we gave
22 a C because they did show quite a range in

1 this measure. They also talked about the case
2 count needed to get precision and gave
3 consideration to small numbers.

4 2g. The Comparability, was N/A.

5 2h. Disparities and Care was N/A. Overall for
6 our scientific acceptability, we wavered --
7 some of us gave a C and some of us gave a
8 partial just because we were worried about,
9 are you missing things in this age group. But
10 overall it passed.

11 Moving onto 3, the usability, this
12 criteria is not in use but they have tested
13 it. We gave it a partial because we weren't
14 sure about it. Well, actually, we gave it a
15 C. Never mind. We thought that one of the
16 things that really, this would improve the
17 usability on is that this is an area that
18 needs to stimulate more PY and this measure
19 used in a younger population. If we had our
20 druthers and could rewrite the measure we
21 would have substituted this measure into the
22 younger age group because we think that's

1 important although it's much harder to measure
2 those in different health systems and much
3 easier in Medicaid.

4 Harmonization is N/A. There is no
5 other measure similar. There are no competing
6 measures so that is also N/A. Overall we
7 thought that the usability criteria was met
8 and that this would help sort of push things
9 into looking at appropriate measures in the
10 younger population where overuse was a much
11 bigger problem than in this population.

12 In terms of feasibility we gave it
13 Cs for a., b., and c. because this is
14 electronic data, claims data that Medicare
15 has. For 4(d) we gave it a partial because we
16 were worried about the unintended consequences
17 of missing disease and we weren't sure of the
18 magnitude of that problem or if it was just an
19 uncomfortableness on our part.

20 For data collection it's C, it's
21 Claim Data. Overall feasibility is a C. I
22 think that's all. Oh, Recommendation. We

1 said yes, with added on that we want other
2 payers to use this, that the younger
3 population has the bigger impact.

4 CO-CHAIR GAZELLE: Okay. Thank
5 you. Are there any other comments from the
6 rest of the review group?

7 DR. MECHTLER: Well, it's an
8 interesting look at headaches, primary
9 headaches, because really you are excluding
10 all secondary headaches with neurological
11 deficits so you are really looking at primary
12 headaches. The question is, what percent of
13 primary headaches actually occur after the age
14 of 60. It's low.

15 Having said that, I think this
16 could be expanded to a younger age group that
17 would be academic and more intriguing as a
18 headache specialist. That is one issue. The
19 other issue is that they mention data should
20 be looked at requiring CT with contrast
21 because the indication for contrast in CT for
22 uncomplicated headaches with no history of

1 cancer, no history of infection, is
2 relatively low. We don't use contrast with
3 teens so that is something that needs to be
4 looked at. Otherwise, I think I agree with
5 Judy that this is a study that could be looked
6 at and has some merit.

7 CO-CHAIR GAZELLE: Thank you.

8 Patti, any comment?

9 DR. RAKSIN: No. I have pretty
10 much the same. The age group is the issue.
11 If we could merge this with the other study
12 looking at a different group, then I think
13 we'd be happy.

14 CO-CHAIR GAZELLE: Okay. Thank
15 you.

16 Steve, do you have any comments?

17 DR. CANTRILL: Steve Cantrill.

18 Yes, I have several problems with this
19 proposal. First of all, it attempts to --
20 obviously, you all are able to read this --
21 so, what it tends to do is cut down overuse
22 but gives absolutely no guidance in terms of

1 how to do it. How am I going to cut down the
2 number of head CTs I do? Just not CT on
3 Thursday or maybe not CT anyone over 60? That
4 would make everybody happy.

5 That's what is really lacking
6 here. The previous measure that we talked
7 about did have its limitations but its attempt
8 was to give guidance to the provider of care
9 so they can actually reasonably try to limit
10 and decrease the number of inappropriate CTs.
11 This does nothing of the same. This gives me
12 a number that I don't know what the heck to do
13 with it.

14 CO-CHAIR GAZELLE: Unless I'm
15 wrong it says that it reports the number of
16 CTs done in a series of conditions where it's
17 implied that CT is not appropriate.

18 DR. CANTRILL: No, it says, Of the
19 ED visits identified in the denominator,
20 visits with a coincident Brain CT study.

21 CO-CHAIR GAZELLE: Yes, so the
22 denominator by ICD-9 code lists all the

1 different commissions.

2 DR. RAKSIN: This is Patti. I can
3 clarify this. It's actually a little bit
4 tricky when you read it the first time because
5 the denominator has a number of exclusions
6 which functionally serve to limit things to
7 the number of patients who really don't have
8 a real indication for getting a CT. In that
9 sense I agree with you that it's not as clear
10 as the last one when you check off the box
11 yes, there's an indication, do it.

12 I look at this as a first step in
13 a QI process. This is an attempt to identify
14 the magnitude of a problem. I think once you
15 have identified the magnitude of the problem,
16 then you can take appropriate steps.

17 DR. CANTRILL: Can we make this a
18 little clearer and easier for people to use?

19 DR. RAKSIN: I don't disagree with
20 you. I had to read it three or four times.

21 DR. GRIFFEY: Richard Griffey. So
22 I guess I'm a little confused up front at how

1 a measure that is addressing the same issue
2 could be deemed important for one measure and
3 not important for another. That is the first
4 thing. I think that these measures get at the
5 same issue from different directions.

6 One is to say these are the people
7 who would be appropriate to have a CT. The
8 other it says get a CT in everyone but these
9 people. Not everyone but don't get them in
10 these people. It's kind of getting at the
11 same thing from two different directions.

12 This has a feel of a utilization
13 review is what it is and that is what sort of
14 makes it kind if impalpable. It's hard to
15 know how exhaustive or complete the list of
16 exclusions is. Not just in terms of the
17 things that should be there that aren't there
18 but should all things there be there.

19 One of the evidence citations in
20 this is the very clinical policy that was
21 found to be lacking in support of the other
22 measure. To me I don't feel the real

1 justification for one measure over the other
2 in the way it is right now.

3 DR. RAKSIN: This is Patti. If I
4 could clarify again. So the difference in our
5 analysis is that with the first measure in
6 order to pass that first hurdle you have to
7 show two things. One, that it's an important
8 clinical problem.

9 I think we all agree that it is.
10 The second part of that is whether the
11 evidence basis to back up the measure is there
12 and that is where we as a group had a problem
13 with that first measure. Yes, the same
14 clinical policy is cited but it's cited among
15 many other documents which form the evidence
16 basis for this measure.

17 DR. GRIFFEY: Which were also
18 cited in that policy.

19 DR. RAKSIN: Yes.

20 MS. ZERZAN: Because there are
21 points -- this is Judy Zerzan again. There
22 are parts of the American College of Emergency

1 Physicians that did have better evidence than
2 what they showed so I think this measure takes
3 the best of all those.

4 It also has the U.S. Headache
5 Consortium, the Singapore Ministry of Health,
6 the American College of Radiology. I think
7 while it doesn't tell people explicitly, it's
8 not a prospective measure. It's a
9 retrospective measure that is similar to the
10 one that we passed yesterday. Their rates are
11 zero to 80 percent which is a huge range.

12 I think probably the right answer
13 is not this measure should be zero but it also
14 probably shouldn't be 80 percent. Knowing the
15 spread of that will then allow people to look
16 at why is there variation, do more studies,
17 and figure out what is the right rate.

18 CO-CHAIR GAZELLE: Carl and then
19 Steve.

20 DR. D'ORSI: I'm sorry. Just a
21 point -- Carl D'Orsi. Just a point of
22 clarification. I'm still a little confused

1 and I'm very sorry. It's just not my area.
2 On the denominator exclusions are they sort of
3 the numerator over everything? In other
4 words, when this ratio was done with a number
5 greater than 1 -- be developed? I'm unclear.

6 DR. RAKSIN: No. This is Patti.
7 The denominator exclusions here are basically
8 most of the things that you would think of
9 that would give you positive findings.

10 DR. D'ORSI: So then why aren't
11 they the numerator and all the CTs a
12 denominator? Why was it written like this?
13 That's what I'm getting at.

14 MS. ZERZAN: We had sort of said
15 that we didn't really like the name of this in
16 the description because what this is the
17 number of people that should not get a head CT
18 but did and it's confusing.

19 DR. MECHTLER: The American
20 Headache Society and the AN have come up with
21 the -- if you have a primary headache with a
22 normal neurological examination there is no

1 indication for imaging. That is what has been
2 published over the last 10 or 15 years.

3 Having said that, over the age of
4 50 is one of those red flags that could be a
5 red flag. Once you get over 50 then it's
6 metastases, temporary otitis and other causes
7 of headaches that are quite significant.

8 If you do have a primary headache,
9 and most of this is in a denominator that is
10 included are primary headaches. They can be
11 cluster migraines, episodic tension headaches,
12 some rare forms of headaches such as exertion
13 and so on.

14 They are looking at patients who
15 have headaches over a specific age that should
16 not get imaging and if they do get imaging,
17 maybe take that information and find out what
18 percent of these patients could turn out to
19 have primary headaches at imaging.

20 CO-CHAIR GAZELLE: Richard. And
21 I'm just going to remind everyone we have a
22 tight schedule so let's try as best we can.

1 I don't want to cut off discussion but let's
2 try and make the comments short and new so
3 that we can get on to the voting.

4 MR. BACKUS: I think one of the
5 potential positive things about the measure is
6 that, again, with the Medicare population the
7 feasibility has improved. That said, how
8 often are these exclusion criteria actually
9 coded?

10 Someone comes in and you work them
11 all up and at the end of the day you don't
12 find anything concerning and headache gets
13 written on the chart but you wouldn't
14 necessarily include other elements that would
15 work for or against you. It sorts of relies
16 heavily on the coding piece of it. It good
17 for feasibility but it's also a threat to the
18 validity.

19 CO-CHAIR GAZELLE: Okay. Mike.

20 MR. BACKUS: This is Mike Backus.
21 I think, Steve, to your point it just tells
22 you that the measure is high but it doesn't

1 tell you what to do. That is why I really
2 contract this to the other measure because in
3 the other measure there is, to me, as I heard
4 the two groups debate about what is clinically
5 appropriate and I don't pass any judgment
6 there but in this case what it says is if you
7 are an outlier on one end or the other, then
8 you as an institution go back and look at
9 those clinical guidelines and now you as an
10 institution figure out where your standard of
11 practice is.

12 Are you happy with B level
13 evidence? Are you happy with C level
14 evidence? Where do you want to go. It at
15 least points you in the right direction. To
16 me the feasibility of the measure just becomes
17 overriding because so many of the other things
18 that we talked about is, you know, we've got
19 to get a paper form.

20 We've got to get the physician to
21 dole something out. We have to hope to go and
22 get a hospital with an ED and a physician

1 group with an ED to come and do paper forms
2 for stuff that if their percent of charges
3 reimbursement is going to cut their revenue.
4 And here, essentially, we are open to
5 something where we can at least go get a look
6 at the data set for free.

7 CO-CHAIR GAZELLE: So you are
8 speaking in favor of it.

9 MR. BACKUS: Strongly.

10 CO-CHAIR GAZELLE: Okay. Other
11 comments. Start by saying whether you are
12 speaking in favor or against.

13 DR. SPENCER: Well, I'm clarified.
14 One of my problems with the CMS measures that
15 I'm trying to figure out -- that maybe CMS can
16 answer -- we have ABNs now for anything we
17 order through Medicare so if I order a test,
18 our hospital screens it.

19 If it doesn't pass they stick a
20 form in my face and say, check another box.
21 We can't order an adequate test without
22 passing an ABN so if ABNs are going to be

1 everywhere, shouldn't all these eventually be
2 zero? Or are ABNs not everywhere, just
3 outpatient, beneficiary, notary?

4 DR. DEHN: That is where you don't
5 think it's going to be covered by Medicare.
6 This is not a payment. In other words, the
7 measure is not a payment, doesn't affect
8 payment. In other words, the ABN --

9 DR. SPENCER: No. They are
10 telling me to have the patient sign it again
11 because it looks like Medicare is not going to
12 cover it.

13 DR. DEHN: Okay. Susan may want
14 to comment but using this measure is not
15 related to the payment for Medicare services.

16 DR. ARDAY: Not at all.

17 PARTICIPANT: Maybe I can help.
18 The ABNs were introduced for physicians who
19 chose to practice medicine or perform
20 procedures in a similar gray area. In an
21 attempt to guarantee payment before that goes
22 on, they would like you to certify that's

1 done.

2 On the other hand, there is no
3 question right now for payment unless you were
4 to do an audit for medical necessity. Your
5 hospital is very aggressive in terms of asking
6 you to fill out that information. To get
7 Medicare to pay for a head scan is not an
8 issue.

9 CO-CHAIR GAZELLE: Ray.

10 DR. GIBBONS: Ray Gibbons. This
11 is just a point of clarification. It's about
12 Section 2(f)3 that describes the observed data
13 because I don't have the supplemental file.
14 This section gives the outliers. I would like
15 to know the median and interquartile range
16 that was observed. I'm sure you have it. I
17 just would like to know it because I think
18 it's relevant to the precision.

19 CO-CHAIR GAZELLE: All right. We
20 can ask them to get that while we have any
21 additional comments.

22 Rebecca.

1 DR. SMITH-BINDMAN: This is
2 Rebecca Smith-Bindman. I had one question and
3 I'm not sure if it's different than Richard's
4 or not but just the validity.

5 CO-CHAIR GAZELLE: Try and keep
6 that down in the back.

7 DR. SMITH-BINDMAN: My question is
8 just the validity in two ways about this
9 measure. The codes are very specific for
10 headache and I don't know the reliability of
11 doctors completing those codes. My guess is
12 not very high. My question is two-fold. I
13 think you are raising concerns about the
14 usefulness of this measure. I just also want
15 to raise concern about the sensitivity of this
16 measure for the exclusions. Are all indicated
17 CTs going to be captured and excluded from
18 these measures?

19 PARTICIPANT: And maybe if
20 headache has such a low need for imaging we'll
21 pay you. I just want to make sure that
22 patients who are generally in need of a CT are

1 not getting it based on compliance.

2 CO-CHAIR GAZELLE: Thank you.

3 Okay. Are there any other comments from the
4 steering committee? If not, we have a chance
5 for comments from the measure developer if
6 there are any. Any comments from the measure
7 developer?

8 All right. Any public comments?

9 Is there any public comments?

10 PARTICIPANT: Sure can't even get
11 a job. My own fucking --

12 CO-CHAIR GAZELLE: Hello? Is
13 somebody still on the phone there? Are you
14 still intending to be on the phone? Is there
15 someone on the phone who would like to be on
16 the phone still? Alright. The F word was
17 enough.

18 Okay. It's time to vote.

19 MR. CORBRIDGE: We need to dial
20 back in before we move forward. We just
21 disconnected the phone line.

22 PARTICIPANT: Is someone on the

1 phone?

2 MR. CORBRIDGE: We can leave it
3 open for individuals who want to --

4 CO-CHAIR GAZELLE: As far as I
5 know no one is on the line. Could we start
6 the voting? He already asked for public
7 comment and there weren't any.

8 MR. CORBRIDGE: Can we dial back
9 in quickly so we can just kind of set things
10 up for the voting? We'll just dial in
11 quickly.

12 CO-CHAIR GAZELLE: Okay. We are
13 going to be voting on this measure we've been
14 discussing.

15 DR. MECHTLER: Just a logistic.
16 In the denominator they said primary cough
17 heading -- primary cough headaches.

18 CO-CHAIR GAZELLE: Okay.

19 DR. RUCKER: I was wondering about
20 that.

21 CO-CHAIR GAZELLE: Okay. So we
22 are going to vote now. We are voting on the

1 importance criteria. Are you ready? How many
2 people would like to give it a high?
3 Importance, 13. How many people would like to
4 give it a middle or medium?

5 Keep your hands up until we're
6 ready. Six. No lows then. Okay. For
7 Scientific Acceptability how many people would
8 like to give it a high? Two. How many people
9 would like to give it a middle? How many
10 people would like to give it a low? Four.
11 Okay.

12 For Usability how many people
13 would like to give it a high? One. How many
14 people would like to give it a middle?
15 Thirteen. And how many lows? Five.

16 And for Feasibility how many
17 highs? Nine. How many middles? How many
18 lows? Okay.

19 Now we are voting to recommend for
20 endorsement or not to recommend for
21 endorsement. This would not be time limited.
22 Correct? How many people would like to vote

1 for the endorsement of the measure as written?

2 Fifteen. How many people would not vote for

3 endorsement of the measure as written? Four.

4 Okay.

5 DR. BURSTIN: Can I clarify? This
6 is with no conditions, right?

7 CO-CHAIR GAZELLE: No conditions.

8 DR. BURSTIN: No age changes or
9 nothing like that?

10 CO-CHAIR GAZELLE: No conditions.

11 Okay.

12 Now we are ready to move on to the
13 last of this group, the CT Pulmonary Measure
14 number 12, Simultaneous Use of Drain CT and
15 Sinus CT. The primary reviewer on this one is
16 from Maurice Oblan.

17 DR. SETZEN: Yes. Gavin Setzen.

18 CO-CHAIR GAZELLE: Okay. Please
19 summarize the measure and then take us through
20 the review.

21 DR. SETZEN: Just to give you some
22 background where this is coming from, given

1 some recent data and certainly in the last
2 decade with the data from CMS where there has
3 been five percent per annum increases in CT
4 imaging utilization as well as any JN data
5 about over-utilization, radiation risk and
6 cancer rates, as well as other potential
7 consequences from imaging of the use contrast
8 and false positives and things like that has
9 spurred a lot of the debate about
10 appropriateness, utilization, safety, and
11 efficiency.

12 I think that is largely what
13 drives this measure. I think it's a very
14 reasonable consideration just to give you the
15 numerator. The numerator is looking at
16 patients who present to the ED and most of
17 this data is 2007 claims data in hospital
18 outpatient or ED settings.

19 Patients who receive both head CT
20 and a sinus CT and the denominator is head CT
21 alone. The goal is that the lower the number,
22 the better the outcome. The idea is to reduce

1 the clinician's potential for inappropriately
2 ordering a sinus CT scan for somebody who is
3 being evaluated for headache and maybe getting
4 a head CT.

5 Part of the rationale behind that
6 is that your head CT which will demonstrate
7 much of the sinus and nasal pathology as
8 almost a screening mechanism. The same can't
9 be said for patients having a sinus CT and
10 evaluating the brain.

11 So in terms of the overall review
12 there is a handout as well that presents some
13 of the data similar to the headache data that
14 was just passed around so that's useful to
15 see. Going through the recommendation in
16 terms of importance to measure certainly high
17 impact and there is certainly a lot of
18 supporting data and literature.

19 I think it's also an important
20 opportunity to change clinician behavior with
21 respect to ordering appropriate studies,
22 lessening the potential radiation exposure and

1 things like that. From that perspective I
2 gave 1(a) a C rating.

3 There are many good citations.
4 There is good evidence from the American
5 College of Radiology, American Academy of
6 Otolaryngology, headache panels and other
7 consensus data that is out there in the
8 literature in support of what constitutes an
9 appropriate head CT and appropriate sinus CT.
10 We'll get into exclusion criteria and so on a
11 little later on.

12 In terms of opportunity for
13 benefits as a mechanism for ordering
14 appropriate studies on appropriate patients
15 with perspective safety and efficiency and
16 overall cost, I gave that a C as well.

17 Moving onto Outcome or Evidence to
18 Support the Focus, 1(c). It's important to
19 note that there are specific exclusion
20 criteria in the study and those are clearly
21 worked out in the literature be it the
22 American College of Radiology, Otolaryngology,

1 Head and Neck Surgery and others.

2 For example, abnormal neuro
3 examinations, headache worsened by valsalva,
4 headaches awakening one from sleep, new
5 headaches in an older patient, progressive
6 worsening from the sinus nasal standpoint,
7 sinus or nasal polyps, unilateral disease,
8 concern about malignancy, neoplasm, orbital
9 cellulitis and factors such as that are
10 exclusion criteria which did not alter the
11 data or skew the data or limit the potential
12 for having concurrent studies.

13 Good citations in terms of
14 evidence with respect to overall threshold in
15 terms of importance to measure and report, I
16 gave that a yes.

17 Moving onto number 2, Scientific
18 Acceptability, the measure specifications,
19 again, a low score being the goal with
20 reducing the number of concomitant head and
21 sinus CTs over the number of brain CTs alone.

22 2(a) would be a C. There are

1 certainly very specific CPT codes and those
2 exclusions which will allow for that process,
3 the exclusions we spoke about and, again, the
4 denominator exclusion.

5 These are claims with primary and
6 secondary diagnosis codes related to trauma,
7 concern about potential neoplasm, orbital
8 cellulitis, intracranial abscess. Those are
9 very clear in the document and that becomes
10 very helpful and important as well.

11 With respect to Stratification
12 Risk Adjustment, not applicable in this
13 scenario. The data source is claims data.
14 It's administrative and not necessarily
15 presenting as a burden to the ordering
16 physician or clinicians involved.

17 With respect to Testing and
18 Analysis there are proportions taken and the
19 developers are cognizant of potential issues
20 as it relates to appropriate coding for the
21 ordering entity to potentially input an
22 incorrect code or as it relates to code

1 modifiers so there is care taken to
2 specifically identify and address those as
3 well as thresholds at both ends of the
4 spectrum in terms of meeting the minimum
5 number of studies required to make sure this
6 is a reliable standard that facilitates better
7 validity.

8 The measure basically uses, as I
9 said, the Medicare outpatient SAFs. The data
10 for a lot of this is in the 2007 data
11 summarized in the supplemental handout. So
12 with respect to 2(c). I gave that a C as well.
13 The exclusions are certainly justified and
14 very specific for both the head and sinus
15 components with respect to imaging. The 2(d)
16 would be a C.

17 With respect Risk Adjustment and
18 so on, 2(e) is not applicable.

19 2(f), Meaningful Differences in
20 Performance, the summary and supporting data
21 demonstrates a few different things including
22 geographic variations in terms of utilization.

1 Certainly metropolitan areas is a much greater
2 density in terms of utilization. I think that
3 provides an important opportunity for
4 improvement.

5 Certainly there is an interesting
6 performance gap for those that haven't seen
7 the supporting data with respect to
8 inappropriate additional ordering of a sinus
9 CT concomitant with a head CT. I think that's
10 really the most important product where there
11 is an opportunity for improvement. For
12 measuring the scores and testing and current
13 use and so on, 2(f), I gave that a C.

14 For Comparability of Multiple Data
15 Sources, not applicable, 2(g).

16 2(h), not applicable.

17 Scientific Acceptability of the
18 Measure Properties, I gave that a C for number
19 2.

20 Moving onto Usability, 3(a) in
21 terms of Meaningful and Useful Information,
22 again, this is claims data review. Promotes

1 high quality, efficiency at the end of the
2 day. I gave 3(a) a C.

3 Harmonization not applicable.

4 3(c) not applicable.

5 With respect to overall usability
6 criteria being met, I gave that a C, number 3.

7 Number 4, moving onto feasibility,
8 again the data is extracted using coding by
9 another individual other than the person
10 obtaining the information. It's claims data
11 facility-level information. 4(a) I gave a C.

12 Electronic Sources, 4(b), a C.

13 Exclusions, 4(c), a C.

14 With respect to Susceptibility to
15 Inaccuracies and Errors, or Unintended
16 Consequences, just the potential for miscoding
17 including entry at the point of the ordering
18 physician but certainly the possibility of
19 modifiers and that is something that can be
20 monitored and tracked and excluded if
21 necessary.

22 So with respect to Data Collection

1 and Strategy, 4(d) was a C, 4(e) a C as
2 well.

3 Also there are no incremental
4 costs or administrative concerns other than
5 what would be absorbed on the measurer's end.
6 So with respect to 4, Criteria for
7 Feasibility, I gave that a C and overall
8 recommendation yes to proceed with the
9 measure.

10 CO-CHAIR GAZELLE: Okay. Thank
11 you very much.

12 DR. SETZEN: You're welcome.

13 CO-CHAIR GAZELLE: Other comments
14 from the review group? Steve.

15 DR. CANTRILL: Just one that I
16 think has been brought up before.
17 Unfortunately this really does limit the
18 population we're looking at which really
19 limits unfortunately the impact of this
20 measure.

21 CO-CHAIR GAZELLE: Because of the
22 Medicare only issue?

1 DR. CANTRILL: Yes.

2 DR. GRIFFEY: One or two small
3 comments. It was a little hard for me to get
4 a sense exactly of the magnitude of the
5 problem here. I would be surprised to learn
6 that it's a big huge utilization problem.

7 DR. SMITH-BINDMAN: It looks like
8 it's 5 percent in the numbers they quote is
9 the median so 5 percent of CTs haven't been
10 sinus CTs. It seems not that bad.

11 DR. GRIFFEY: I can't really
12 comment too much on its use in routine
13 practice and it's hard for me to imagine many
14 scenarios where this plays out outside of
15 that. I think the one thing that I think
16 always comes into play with this kind of
17 measure where it relies on these exclusions
18 is, again, the documentation of them.

19 If someone had -- I'm trying to
20 imagine a scenario. A concern for an abscess
21 that then gave you meningitis so you wanted to
22 order a head and a neck CT. If you didn't

1 find an abscess you wouldn't document an
2 abscess and then you wouldn't get the
3 exclusion and then you get dinged.

4 I think that's a very kind of rare
5 scenario. I'm really not that worried about
6 it but that is the kind of problem you get
7 when you rely on coding of negative findings
8 to enter you into the exclusion population.

9 That was the same thing with that
10 last measure. Unless you took the time to
11 document, oh, yes, by the way, they had some
12 dizziness, and you get that thrown out of the
13 measure, then you're at risk for getting
14 dinged for that.

15 CO-CHAIR GAZELLE: Yes, Roger.

16 DR. SNOW: Two words to confirm
17 that. Folks just don't document negative
18 things and they don't document in detail if
19 they think they've got enough to move. They
20 think in terms of two or three things. All
21 the other stuff is there and we just don't
22 know it.

1 CO-CHAIR GAZELLE: Ray.

2 DR. GIBBONS: Ray Gibbons. I want
3 to express some concern about the validity
4 numbers. It applies to any of the measures
5 where the range is actually very small. The
6 interquartile range is a series of small
7 numbers so in this case the interquartile
8 range is from .022 to .047.

9 I would point out that the number
10 of cases being used only allows 90 percent
11 competence elements of plus or minus .05. For
12 a small hospital that means one year there is
13 zero and the next year they are graded in the
14 75 percentile of the country and that's on the
15 basis of chance alone.

16 I would strongly suggest that this
17 measure needs to be reconfigured to use a much
18 higher number of cases as a cutoff to be fair
19 to smaller hospitals because it's
20 statistically not valid in that range. It's
21 just a fundamental limitation which I think
22 doesn't negate the potential use in larger

1 hospitals but will cut down the number of
2 hospitals that are in this sample.

3 DR. SETZEN: Right. That's a good
4 point. When you look at the weight of the
5 averages the standard deviation is .0020 and
6 so where they talk about 45 cases is the
7 minimum and then adjusting accordingly for
8 that small facility, that will present a
9 problem.

10 DR. GIBBONS: So I would suggest
11 the number has to be enough to make the 90
12 percent competence limits to be smaller than
13 the standard deviation.

14 MS. ARDAY: When we are doing this
15 and moving the competence down we were taking
16 into the account the ratio levels so it's not
17 like we set a single minimum case count.
18 Actually because of the nature of that for
19 distribution and the data they are varying the
20 case count requirements relative to what the
21 data --

22 DR. GIBBONS: Ray Gibbons again.

1 I didn't read this one through but I read the
2 CABG one through and that is not what it says.
3 It actually says that low case counts. At the
4 low numbers they used 45. That gives you the
5 same .05. That's not what the CABG 1 says.
6 If this one is different, then somebody better
7 --

8 CO-CHAIR GAZELLE: While we are
9 looking, other comments?

10 Carl.

11 DR. D'ORSI: Just very quickly.
12 This is to discourage bundling or routine
13 ordering, for example, of the sinus because
14 you can't clear the brain with an ordered
15 sinus CT. Is that correct?

16 PARTICIPANT: A lot of the
17 conventional thinking and data out there
18 depending who you read, up to 90 percent of
19 sinus headaches are actually migraine or a
20 typical headache variant and not sinus in
21 origin at all.

22 DR. SETZEN: So how often if

1 somebody correctly orders a sinus CT do they
2 then go ahead and simply order a brain CT?

3 PARTICIPANT: Rare.

4 PARTICIPANT: I'm not sure. My
5 experience has been usually if there is a
6 sinus CT the only time the head is CT'ed there
7 is some unusual abnormality that they pick up
8 on the sinus CT that is intracranial. I would
9 say that is probably an appropriate extension
10 of a head CT.

11 The other way around is that
12 really a head CT should give you the sinuses
13 and I concur with my ENT colleague that over
14 80 percent of chronic daily headaches are
15 actually migraines or chronic migraines. I
16 think that here the acute sinusitis, I would
17 love to see some history because are these
18 chronic pain syndromes or acute because that
19 information I would like to gather.

20 I'm not sure there is an
21 opportunity to gather any clinical
22 information. From what I see here you are

1 just looking at the acquisition of images,
2 head and sinus, without any history, without
3 any symptomatology in my mind this would be an
4 important study just getting information. I'm
5 not sure if that is feasible.

6 CO-CHAIR GAZELLE: Yes,
7 Jacqueline.

8 DR. BELLO: Jacqueline Bello. I
9 agree that this is a significant problem but
10 more so in the much younger age group where
11 the opportunity to scan through the lens is
12 just not resisted often enough in children who
13 have headache.

14 That said, for whatever group
15 you're applying it to given their methodology,
16 I don't understand why hydrocephalus is not in
17 the denominator exclusions.

18 DR. SETZEN: I think more
19 specifically any intracranial abnormality or
20 neurological issue clinically presenting would
21 be an exclusion. It's not specified as a
22 separate exclusion criteria.

1 DR. BELLO: My question is why
2 isn't it in terms of you're writing a measure.
3 Granted it would pertain much more to the age
4 group that I think needs this more but there
5 is plenty of shunted adults out there whether
6 it's for MPH or obstructed.

7 CO-CHAIR GAZELLE: So you're
8 proposing a modification.

9 DR. BELLO: If it were to fly I
10 believe that given their intent hydrocephalus
11 should be a denominator exclusion if it were
12 to fly.

13 DR. SETZEN: That's reasonable
14 especially given the high percentage of
15 asymptomatic patients.

16 CO-CHAIR GAZELLE: Any objections
17 to that on the steering committee? Is that
18 acceptable to the measure developers?

19 DR. DEHN: If you want to clarify
20 that. You know, if it's acceptable we'll take
21 care of that. This came from the experpal at
22 locus who suggested exclusion so their numbers

1 were based on that.

2 CO-CHAIR GAZELLE: Thank you.

3 Anymore comments from the steering committee
4 before we ask for additional comments from the
5 measure developers?

6 Yes, Rebecca.

7 DR. SMITH-BINDMAN: Rebecca Smith-
8 Bindman. Can the measure developers just
9 address the issues of sample size and
10 measurability of this relatively uncommon 5
11 percent issue and sample size for facility
12 levels and ability to actually come up with
13 useful rather than just noisy numbers.

14 CO-CHAIR GAZELLE: So let's ask
15 the measure developers for any comments but
16 please address those issues if you could and
17 then we can have final comments before we
18 vote.

19 DR. DEHN: Maybe while doing that
20 if I could refer you to 2(f)2 in the document
21 and then response.

22 DR. SMITH-BINDMAN: I have it

1 open. These are random and variable and the
2 sample size for this is --

3 CO-CHAIR GAZELLE: Okay. So let's
4 --

5 MS. ARDAY: We end up with 3,330
6 facilities in which our statisticians, you
7 know, thought we had sufficient case panels to
8 measure this. I want to remind everybody that
9 the denominator on this is all brain CTs.

10 There are a lot of them so we were
11 doing the statisticians. One of the reasons
12 the number is low is because the denominator
13 is all the brain CTs and then what you're
14 looking for is the simultaneous. These are
15 large denominators.

16 MR. CORBRIDGE: Well, all brain
17 CTs minus all the exclusions.

18 MS. ARDAY: Minus all the
19 exclusions. Correct.

20 DR. SETZEN: In 2007 -- Gavin
21 Setzen -- there were 120,000 brain CTs done
22 looking at claims data for patients presenting

1 to the ED with a headache diagnosis just to
2 put that in perspective.

3 MS. ARDAY: This is actually
4 beyond the emergency department.

5 DR. SETZEN: Head and sinus in
6 2007 there were 2.1 million in the
7 denominator, the numerator. Of those patients
8 who had combined head and sinus CTs, 80,000.

9 MS. ARDAY: Once we apply the case
10 counts the number and the denominator in the
11 aggregate is 1,909,644. The numerator, and
12 this is what gives you the small number.
13 Understand that the denominator is a large
14 number but 70,271.

15 We are working with a denominator
16 that is very large. The technical expert
17 panel because this was a debate as to whether
18 you narrow it and have the denominator be
19 defined with a primary diagnosis of headache.
20 Our expert panel thought we should do it with
21 all brain CTs.

22 DR. SETZEN: That factor was .037

1 when you do the math.

2 DR. SMITH-BINDMAN: I'm sorry.

3 This is Rebecca Smith-Bindman. The
4 denominator summing across the 3,000
5 facilities doesn't help me. What I want to
6 know is the mean number of relevant patients
7 at each facility. My guess is that's closer
8 to a few hundred in the median if you're using
9 a minimum of 45 and we would expect the
10 average of this to be two patients is 5
11 percent. One patient more than that puts you
12 way over the top and one patient less. We're
13 talking about a difference of a single patient
14 so we understand the competence interval. We
15 want to know the reliability of your measure
16 so we want the distribution of the number of
17 relevant cases per facility.

18 MS. ARDAY: What I can share with
19 you is they had for the facilities, and we
20 have this in the handout because we feel we
21 have the percentile distribution of the
22 numerator and the denominator, at the very low

1 end, you know, in the denominator we obviously
2 have 45 cases. We go up to a maximum of 4,000
3 cases.

4 At the median this is where we are
5 rank ordering the hospitals. At the median we
6 have 462 in the denominator. In terms of the
7 numerator the minimum obviously ends up being
8 zero. The maximum was 184. At median, half
9 the hospitals, the ranked order was 15.

10 DR. SMITH-BINDMAN: Say that one
11 more time?

12 MS. ARDAY: Fifteen.

13 DR. SMITH-BINDMAN: Is the median?

14 MS. ARDAY: Is the median.

15 DR. SMITH-BINDMAN: You are
16 looking at half that are less. The half that
17 are more you kind of get -- with the half that
18 are lower than that.

19 CO-CHAIR GAZELLE: The noise in
20 the ratio for the institutions that have
21 numbers less than 15 in the numerator is going
22 to be really high.

1 DR. SMITH-BINDMAN: So the
2 question is sort of how many facilities would
3 be consistently characterized from year to
4 year assuming the exact same performance
5 allowing for one patient to change.

6 CO-CHAIR GAZELLE: Okay. Other
7 comments from the measure developers before we
8 have final comments from the steering
9 committee, public comment and then vote?
10 Other comments from the developers? Any other
11 comments from the steering committee?

12 MR. BACKUS: This is Mike Backus.
13 So 15 is the numerator. What's the median
14 denominator, 400?

15 MR. BACKUS: 460, so it's 15 out
16 of 460.

17 MS. ARDAY: Because the
18 denominator is such a large number your
19 ability to -- a group of a few cases is not
20 going to make I think a huge difference.

21 DR. SMITH-BINDMAN: But isn't that
22 what you want to do, though? You want to

1 judge the quality at the facility level or am
2 I misunderstanding you?

3 MS. ARDAY: Yes, you do.

4 DR. SMITH-BINDMAN: So then --

5 MS. ARDAY: In other words, I
6 think how frequently a facility does a
7 simultaneous study, I think you'd have to have
8 it be fairly common to have it move from where
9 it sits in one year.

10 DR. SMITH-BINDMAN: That's my
11 question. I'm wondering if a third of the
12 facilities couldn't switch categories based on
13 single patients. That's my question. I can't
14 do it on the back of a napkin.

15 MR. BACKUS: Is your denominator
16 adjusted for exclusions?

17 MS. ARDAY: After exclusions 3.7
18 percent.

19 MR. BACKUS: And then one more
20 patient moves you to 4 so you would have 16
21 out of 400.

22 CO-CHAIR GAZELLE: It moves you

1 from 3.3 to 3.0 percent.

2 DR. RUCKER: But that is median.
3 The real issue is what you are doing at the
4 25th percentile and below. The hospital is at
5 45. That's what they're using. I will
6 reiterate my objection. It is unfair to those
7 hospitals. It's fine that the measure gives
8 you a value of .4 which the last one did. It
9 is not fine and it's giving you a value down
10 at this level because the .05 decision is
11 inadequate.

12 DR. SNOW: Is this another
13 opportunity where you wait until you accrue
14 enough cases before you --

15 DR. SMITH-BINDMAN: Use the
16 measure.

17 DR. RUCKER: You can do it either
18 way but the easiest thing is to just raise
19 that number so it is valid for -- it cast
20 aspersions on the whole measure unnecessarily
21 by getting too much noise at the low end.
22 Those numbers are not going to contribute

1 significantly to the national problem.

2 DR. SETZEN: The intention is good
3 but the unintended consequences for those
4 small institutions can be lasting and very
5 significant.

6 CO-CHAIR GAZELLE: Can we --

7 MS. ARDAY: This is Susan Arday,
8 CMS. Since this is pay for reporting and not
9 pay for performance are you recommending that
10 we establish a cutoff where it's 75 percentile
11 and above would be what would be publicly
12 reported?

13 CO-CHAIR GAZELLE: It may be pay
14 for reporting for CMS but for NQF it's
15 neither.

16 MS. ARDAY: I'm hearing gained and
17 hearing other things where it makes it sound
18 like there is some cumulative --

19 MR. DEHN: You have to understand
20 that, first of all, there is no -- I mean,
21 okay, let's start with the base of it. This
22 is something that the guidelines say should

1 not be done so basically the numbers should be
2 in medicine, I know it's not perfect, zero.
3 They say you shouldn't overexpose people and
4 you shouldn't do this.

5 I don't see CT scanners and this
6 is where we are telling hospitals, they are
7 not being dinged. All you're saying it is
8 inappropriate and should not be done. We
9 understand there are some variability. A
10 hospital would have to go just to move from 16
11 to 20.

12 Well, it's four cases but a
13 hospital is 16 cases and the next year four
14 more move to the other side means that we're
15 assuming that only the new ones are done
16 incorrectly. If they now have more of those,
17 that means they have a bigger pool so we can't
18 do it just that the new one is going to change
19 the variation.

20 Furthermore, moving from 16 to 20
21 means that you are increasing by 30 something
22 percent your inappropriate use of medicine in

1 this case. I mean, I know they are small
2 numbers and we're talking a two million
3 denominator and that affects the whole
4 picture.

5 DR. SMITH-BINDMAN: I don't think
6 it's a question of importance if the validity
7 of the measure that you're saying has been
8 used. The example you gave was a very nice
9 example of a situation where we have
10 sufficient sample size so if you can just
11 address that so it's 45 cases and one had
12 inappropriate and the next year two had
13 inappropriate can you really say something
14 about the quality of that institution, or
15 might the lack of validity of the exclusion
16 criteria being coded in the CMS records be
17 more important than the movement of that one
18 case?

19 CO-CHAIR GAZELLE: Or even one
20 person making a mistake.

21 DR. SMITH-BINDMAN: Or one person
22 making a mistake would you want to label that

1 hospital as over imaging?

2 MR. DEHN: I think one percent or
3 one case really in 45 people would say --
4 first of all, we're not saying 2.7 is good and
5 3.8 is bad. That's not something we're saying
6 first of all. I think it wouldn't shift in
7 that case. I would agree that --

8 DR. SMITH-BINDMAN: So if it's two
9 or three cases out of 45, it goes to 7 percent
10 versus --

11 CO-CHAIR GAZELLE: I think we have
12 had discussion on this topic so let's move to
13 -- unless there's new points that need to be
14 made let's move to opportunity for public
15 comment. Is there anyone on the phone? Any
16 other public comments?

17 DR. SMITH-BINDMAN: No.

18 CO-CHAIR GAZELLE: So can we move
19 to voting on this now? All right.

20 PARTICIPANT: This is not time
21 oriented?

22 CO-CHAIR GAZELLE: No, this would

1 not be time limited. There were no
2 modifications proposed so far.

3 PARTICIPANT: Hydrocephalus.

4 PARTICIPANT: There was not a
5 specific sample size modification.

6 CO-CHAIR GAZELLE: Okay. So in the
7 importance criteria who would like to vote
8 high? Okay, none. Who would like to vote
9 middle? Who would like to vote low?

10 Helen, do we need to continue?

11 All right. We are done with the
12 first two groups then, Head CT and Pulmonary
13 and Mammo. We need to move onto Cardiac.
14 Lunch is scheduled for 12:30 but could I say
15 one schedule question? All the documents that
16 came out when we were urged to make our travel
17 reservations cited an end time of 3:00 and the
18 current agenda says an end time of 4:00. A
19 lot of us have flights that won't allow us to
20 stay until 4:00.

21 DR. BURSTIN: Why don't we ask how
22 many folks need to leave by 3:00? Okay,

1 that's it, so 3:00 is the end. Again, if we
2 can't finish work we can always do it at our
3 last conference call. It's not ideal but -

4 CO-CHAIR GAZELLE: We only have
5 six and we've got three hours so we can do it.

6 DR. BURSTIN: Is that our average?

7 CO-CHAIR GAZELLE: We've been
8 going through these in about 25 minutes this
9 morning. Do you want to do them in order?
10 Does anyone have an objection? Anybody got to
11 leave early? Let's do Group 2, Ray Gibbons,
12 Measure 11.

13 DR. GIBBONS: This is Measure 11,
14 the Use of Stress echoes, Myocardial Profusion
15 Imaging abbreviated MPI. That is SPECT
16 nuclear imaging for those who wonder. And
17 Cardiac Stress MRI Post CABG.

18 The background for this is that
19 there is certainly an enormous problem in
20 terms of the rate of growth for cardiac
21 imaging. Some of it is nicely referred to in
22 the summary of evidence that's listed but it

1 is certainly well documented in the literature
2 that in the late 1990s and early 2000s the
3 rate of increase in cardiac imaging far
4 exceeded the rate of increase in other cardiac
5 conditions be it myocardial infarction or
6 cardiac treatments such as stenting.

7 The latest data that was published
8 in the American Journal of Radiology, if I
9 recall, last year showed that that trend
10 continues up until 2006 in the out-patient
11 area. The compounded rate of increase exceeds
12 15 percent per year. There is no question
13 that cardiac imaging has grown dramatically.

14 In response to that the ACC,
15 American College of Cardiology Foundation
16 working with various other partners, has tried
17 to develop appropriateness criteria that
18 attempt to indicate when imaging should and
19 should not be done.

20 By way of full disclosure, I was
21 on the very first technical panel for the very
22 first appropriateness criteria which were

1 indeed for SPECT myocardial perfusion imaging.

2 Since that time, also by way of
3 full disclosure, my laboratory and I have been
4 involved in evaluating appropriateness
5 criteria both at the Mayo Clinic and in
6 general, and have published a paper showing
7 the spectrum of the problem, and also
8 elucidating some of the problems with applying
9 appropriateness criteria, which will be
10 evident as we discuss these measures.

11 So this one is an attempt to look
12 at stress imaging after coronary artery bypass
13 grafting, particularly in the first five years
14 when one of the appropriateness criteria sets,
15 stress echo, said it was inappropriate. When
16 the stress SPECT criteria said it was of
17 uncertain appropriateness.

18 The measure is basically to look
19 at the total number of patients undergoing
20 coronary artery bypass grafting in the
21 denominator over the last five years. The
22 numerator is then to look at those patients

1 who have undergone SPECT imaging.

2 The numerator excludes certain
3 categories of patients and that is part of the
4 difficulty because the appropriateness
5 criteria talks about asymptomatic patients
6 following coronary artery bypass graphing.
7 Using administrative planned data that is hard
8 to come by so the numerator excludes a series
9 of patients who have certain ICD-9 codes.

10 It also excludes patients who have
11 undergone testing within six months of
12 coronary artery bypass graphing. It also
13 excludes patients who, following SPECT
14 imaging, have gone onto coronary angiography
15 or interventional procedures.

16 So in terms of the importance, I
17 think, I think it's an important area but I
18 would point out that it is not clear from the
19 available data just how high prevalence this
20 issue is in terms of absolute number. The
21 data submitted with the application shows
22 calculations across the country.

1 The denominator average across
2 3,000 hospitals is 240 and an average rate
3 that they show of .016, that would actually
4 come to 12,000 procedures annually compared to
5 the total volume or procedures reported in the
6 same document for 2007 of 789,000. That's 1.5
7 percent defect. That is small compared to the
8 annual rate of growth in outpatient SPECT
9 procedures.

10 MR. BACKUS: This is Mike. Is
11 this looking at strictly hospital or is this
12 looking at imaging if you're a cardiology
13 practice and you have your own camera?

14 DR. GIBBONS: Strictly facility and
15 strictly Medicare, too. Correct?

16 MR. BACKUS: Outpatient hospital.
17 So with respect to the Section 1(b), which
18 reports clear variation, one of the issues in
19 that variation is, again, the precision of the
20 measurement given the very low rate that we
21 are finding, a .016 across the country. The
22 issue of timing after coronary artery bypass

1 grafting as patients were tested within six
2 months or excluded and I don't understand that
3 exclusion.

4 Also the rate of cardiac
5 catheterization after those SPECT studies is
6 an exclusion. I would question whether some
7 of the variation is actually due to that
8 exclusion and I personally don't understand
9 that one either. I don't think any of those
10 three areas, the precision, the timing for the
11 path are opportunities for improvement.

12 With respect to Section 1(c). I
13 actually would wonder since subsequent tasks
14 are then excluding these patients whether the
15 consequence of this would be to inspire more
16 coronary angiograms after the unnecessary
17 stress procedures. There is potential harm,
18 I think, from that standpoint.

19 Do my other colleagues want to add
20 anything before I move on to the next section?

21 Summary statement on 1 would be -

22 CO-CHAIR GAZELLE: Let's have your

1 scoring on the importance

2 DR. GIBBONS: Partial.

3 CO-CHAIR GAZELLE: Partial.

4 DR. STILLMAN: I have one other
5 thing I can add in the support material.

6 There was a graph of the utilization rates
7 geographically across the country. The
8 highest -- the black ones tend to be ones in
9 more rural states. The inference, of course,
10 is that if you are one of those states you are
11 doing a poorer job but it may be in a more
12 rural environment where you don't have as many
13 cardiovascular specialists that this might be
14 the best kind of cure you can get.

15 I had a little bit of a problem
16 with that. Even the variability, if you look
17 at it, seems to be sort of lopsided in those
18 rural areas.

19 MR. BACKUS: This is Mike Backus.
20 In disclosure, we run a pretty significant MPI
21 management business at AIM. I would strictly
22 conjecture -- I would guess that because we

1 are only looking at the facility side and not
2 the practice side when you get to those more
3 rural areas perhaps the cardiology practices
4 there are on the border of whether or not they
5 have enough volume to own the cameras so that
6 may go out to the facility.

7 My only question with the measure
8 is, based on what we see, in the commercial
9 population for preauthorization there is
10 substantially much more of this interview done
11 in the office than done in the facility. I
12 would worry about an unintended consequence of
13 essentially, you know, as a referring
14 physician you have the ability to move where
15 that exam goes.

16 DR. STILLMAN: Arthur Stillman
17 again. You're dealing in rural areas where
18 cardiac cath is available.

19 MR. BACKUS: So then it doesn't
20 qualify for -- right. I understand the
21 exclusion if you ever take six months, you
22 might have something going on with the

1 transplant or the CABG so we'll take that out.
2 I understand why those are acceptable. It's
3 the same thing.

4 You have a cath done down the road
5 and you are effectively saying, "The fact that
6 I had a cath down the road meant that taking
7 that image was okay. Now I'm right at the
8 limits of my critical knowledge."

9 The stuff that I read or we talked
10 a lot about internally says that cath isn't
11 necessarily the only way to manage somebody
12 where stenosis has been found so I don't know
13 that the cath exclusion is the gold standard
14 there, the downstream cath.

15 My only concern is if we are only
16 looking at the facility side, you know, you
17 have the ability to move where those exams go
18 and that may be causing a data problem.

19 DR. DEHN: If this would help, we
20 certainly stipulate to the comments that were
21 made. One of the basic reasons that we wanted
22 to do this and talk about it is there seems to

1 be an increase number of acquisitions and
2 practices by hospitals.

3 As Mike said, currently about 80
4 percent, almost 85 percent of all myocardial
5 profusion imaging is done in physician's
6 offices, so this isn't a whole lot. We
7 recognize that.

8 What we would like to do is gather
9 -- you may or may not approve of that for that
10 particular reason but it would be a sham for
11 you to think that this is a great scientific
12 endeavor that we want.

13 What we want is -- hopefully you
14 will endorse the use of this as a baseline in
15 which to measure what we consider to be a
16 significant change in the way healthcare is
17 being practiced and that much of this will be
18 moving back to the hospital. How much we
19 don't know but we would like to monitor it.
20 That's the best I've got.

21 CO-CHAIR PETERSON: One question.
22 I'm sorry. I still don't get why are you not

1 calculating outpatient? Did I miss it?

2 DR. DEHN: It's a tricky thing,
3 folks. I appreciate that. The mandate that
4 this group has that we're advising is to look
5 only at inpatient hospitals.

6 DR. BURSTIN: Outpatient.

7 DR. DEHN: Excuse me. Outpatient
8 hospitals. We do have all the other data. We
9 could give that to you under the table but
10 that is not part of our mandate so we aren't
11 allowed to give it to you.

12 MS. ARDAY: Initially we were
13 given TRICIA money. TRICIA money could be
14 spent on hospital outpatient issues but not on
15 the broader --

16 DR. BURSTIN: But if you had the
17 broader set of imaging facilities you could
18 try whatever you want.

19 MS. ARDAY: That opportunity. I
20 mean in terms of the burden of caring it would
21 be a lot more but it would give us much
22 more --

1 DR. DEHN: I think candidly if
2 that would be the recommendation of this
3 committee, it would certainly give us some
4 opportunity to go back to Baltimore and say,
5 "This is the real way to do it." Suggestions
6 and modification from you would be welcome.

7 CO-CHAIR GAZELLE: I guess what
8 we're hearing is that we have one modification
9 proposed. Is there general support for that
10 around the table? You may not support the
11 measure but at least support the conditional
12 change.

13 DR. D'ORSI: Is this basically to
14 open up as much of the net as possible?

15 DR. SPENCER: If we don't, then we
16 can stop discussing the measure.

17 CO-CHAIR PETERSON: Okay. So now
18 we are moving one.

19 DR. STILLMAN: As far as the
20 measure specification the numerator is spelled
21 out with a variety of exclusion codes. This
22 gets to the heart of the difficulty of

1 defining asymptomatic in an administrative
2 database. The ICD-9 codes are very broad.
3 They include cardiac dysrhythmias, syncope,
4 palpitations, orthopnea, for example, other
5 chest pains, abnormal ECG.

6 It's a very broad set of diagnoses
7 that are then excluded from the numerator
8 which, from my standpoint, I would say as a
9 cardiologist I cannot justify testing in
10 somebody with palpitations but that is when
11 impact is --

12 CO-CHAIR PETERSON: This excludes,
13 right?

14 DR. STILLMAN: It excludes them
15 from the measure so it's okay to do that.
16 It's okay to do that.

17 For Section 2(a) I would,
18 therefore, give them a partial. For testing
19 and analysis we have already talked about this
20 issue but I would point out that the median
21 value -- the weighted average is .016. The
22 median value is actually zero. No, the 25th

1 is zero. The median value is .008 so we are
2 using a measure in small hospitals which is
3 plus or minus .05 trying to measure things at
4 a .008 level. It is not going to be valid in
5 a smaller number of hospitals. From a
6 validity standpoint I would give it minimal.

7 The exclusions I've gone through.
8 I really do not -- I don't understand
9 excluding people within six months of CABG.
10 In other words, you can test them at seven
11 months but you can't test them at five months.
12 I know of no science to support that and it's
13 not reflected in any national guideline that
14 I'm aware of.

15 CO-CHAIR PETERSON: I suspect that
16 having worked with these kind of curves before
17 and actually done a study, I think the early
18 exclusions were put in there in part for
19 return to work and other stress testing that
20 may be done as part of job requirements.

21 Six months is a broad window to
22 get to that but I think on each of these to

1 compensate for the fact that they don't have
2 asymptomatic is compensated by kicking out
3 codes which gets you out of it. To compensate
4 for the early testing that might be required
5 for reasons of work they have given a window
6 of time. I'm not justifying.

7 DR. STILLMAN: Likewise if you do
8 a test that is really -- taking that person to
9 TAP then excludes them.

10 CO-CHAIR PETERSON: And the
11 compensation there is if you have a positive
12 study or something that created a need so far
13 as the position to actually put a patient in
14 an invasive procedure and it in part would
15 maybe --

16 DR. STILLMAN: Encourage more
17 angiography after equivocal studies.

18 DR. SPENCER: This is Kirk
19 Spencer. I agree that's just disturbing. As
20 you said earlier, if you've got an unindicated
21 test, that's what we're trying to prevent is
22 unindicated CABG because they've got an

1 asymptomatic patient with some defect score of
2 six. Now people feel compelled to CABG when
3 they didn't need the stress to begin with so
4 that is disturbing.

5 MR. BACKUS: I agree it's
6 potential unintended consequence. I just
7 think to think that a physician who, you know,
8 a patient goes through CABG, the physician
9 comes back and has a reason to look. Let's
10 assume all that is excluded. It's an
11 asymptomatic patient and then six months down
12 the road or nine months down the road on an
13 asymptomatic patient they come in and they do
14 a stress test.

15 I don't think the incentives are
16 strong enough for them to turn around and say,
17 "Well, to meet an NQF measure I'm not going to
18 take a patient who has had a CABG into the
19 cath lab." I think --

20 DR. SPENCER: You're missing my
21 point. People will cath for --

22 MR. BACKUS: Why would they cath?

1 DR. SPENCER: The range of who to
2 cath. I mean, they're not pregnancy tests.
3 They are not yea or nay. They are normal or
4 outrageously abnormal and the problem is where
5 you cut off and where you need to cath is
6 poorly defined.

7 One of the reasons not to test
8 asymptomatic patients because you don't know
9 what the hell to do with the result once you
10 get it. If you're in the middle, you feel a
11 bias to cath. I'm not suggesting they are
12 going to do it for the measure. People feel
13 uncomfortable if they have a moderately
14 abnormal stress test sitting in a patient's
15 chart.

16 MR. BACKUS: So if your point is
17 that then they wouldn't go to the stress test,
18 I agree with you. The measure here, what we
19 are essentially saying is we have excluded all
20 kinds of things so that anybody doing these
21 tests is potentially fairly far out in the
22 inefficient curve. Right? So I don't know

1 that they are now going to say, "I was
2 inefficient on my stress test and now I'm
3 going to be --

4 DR. STILLMAN: Just from a
5 measurement standpoint let me sort of
6 summarize for this section by pointing out
7 that I find the exclusions hard to justify.
8 That includes palpitations, abnormal
9 electrocardiogram, cardiac catheter PCI after
10 the procedure and SPECT for stress echo
11 performed within six months.

12 DR. SPENCER: 427.61 which is a
13 PAC so I would point out that as these
14 exclusions increase the rate will decrease but
15 quality will not necessarily be any better
16 and, in fact, may be worse.

17 MS. ZERZAN: So could we perhaps
18 cut out some of those diagnoses that you think
19 are less gray areas?

20 CO-CHAIR PETERSON: There will be
21 at some point a fundamental decision here that
22 we'll have to say are we comfortable with ICD-

1 9 codes trying to define a asymptomatic really
2 at-risk population or not.

3 I do want to inject a little bit
4 of data both for and against so you can sort
5 of see. We have a paper that is actually
6 accepted from our group that is going to be
7 looking at 28,000 patients' data being linked
8 with United Healthcare looking at this pattern
9 of testing so it actually compliments in part
10 what they present here for 65 plus. This is
11 under 65.

12 We looked at 28,000 people
13 undergoing revascularization through the UHC's
14 national database 2004 through 2007. Of that
15 this will reflect both the PCI and the CABG
16 but I can give just the CABG number. 7,000 of
17 those underwent CABG procedures.

18 Rates of testing we excluded the
19 first three months window, I think, in ours
20 but rates of testing from three months then
21 onward to 24 months out after the procedure
22 that fall within the guideline of

1 inappropriate.

2 Fifty-one percent of the patients
3 undergoing CABG underwent a stress test so it
4 isn't small unfortunately in this country.
5 You would be surprised to know remarkably
6 recorrelated those episodes of chest pain.

7 They happened to happen at six
8 months and 12 months at convenient office
9 visits to the cardiologist. I'm sure it
10 happened to work out quite nice. The
11 diagnosis codes that were most common 75
12 percent of them were 414. as the most common
13 cause. Chest pain did account for 23 percent.

14 DR. SMITH-BINDMAN: What was the
15 other one, 414?

16 CO-CHAIR PETERSON: 414, ischemic
17 heart disease. You have disease. I'll keep
18 going. There are a couple of other factors
19 that relate to some of the things you were
20 going through. The degree to which actually
21 in this study places that were the highest
22 there was 40 percent variation in use of it

1 across major cities. Here, Phoenix, Orlando,
2 Dallas, Houston, Cleveland were the culprits.
3 Shocking.

4 The final thing is the rate of
5 people who undergo revascularization after the
6 procedure itself and of those tested 11
7 percent underwent angiograms and of those five
8 percent underwent repeat revascularization so
9 95 percent of these didn't yield any further
10 stuff.

11 DR. D'ORSI: Eric.

12 CO-CHAIR PETERSON: Yes.

13 DR. D'ORSI: Carl D'Orsi. Was the
14 numerator dissimilar to what was placed in
15 this numerator in your study? What was the
16 numerator?

17 CO-CHAIR PETERSON: The numerator
18 were people who would have fallen in this
19 category. It was considerably higher because
20 this is around 50 percent would have fallen in
21 --

22 DR. D'ORSI: What was the --

1 CO-CHAIR PETERSON: The
2 denominator was somebody who underwent a CABG.
3 Numerator would be people who got a
4 noninvasive stress test.

5 DR. D'ORSI: Just period. That's
6 all you were looking at.

7 CO-CHAIR PETERSON: In that first
8 two-year period.

9 DR. D'ORSI: So we don't know how
10 much of that was not warranted and how much
11 was.

12 CO-CHAIR PETERSON: Right.

13 MR. BACKUS: And you did facility
14 and office?

15 CO-CHAIR PETERSON: This would
16 have been across all.

17 MR. BACKUS: Yes, across all.
18 That's fine.

19 DR. D'ORSI: It just says these
20 are how many people had a stress test. We
21 don't know how many were good or how many were
22 bad.

1 CO-CHAIR PETERSON: Again, we
2 weren't doing this to propose this as a
3 measure but it just gives you a magnitude.
4 There is no doubt there's a problem. The
5 question is is claims data the way to get at
6 the question of reading it. That's where it
7 comes out for me.

8 DR. GIBBONS: I want to just point
9 out, you know, we see this map and you can see
10 that in terms of the cities you listed Orlando
11 is Florida, Dallas is in Texas, Phoenix is in
12 Arizona. All those states look good on this
13 measure even though they are bad in your
14 clinical studies.

15 CO-CHAIR PETERSON: And I
16 suspecting that is the outpatient. Sorry.
17 Where are we with regard to --

18 DR. GIBBONS: I scored for the
19 concerns that I've listed 2 as --

20 The exclusions, the case counts,
21 I'm very concerned at the rate we'll go down
22 as those exclusions increase but, in fact, the

1 quality may, in fact, we worse.

2 CO-CHAIR PETERSON: Yes.

3 DR. GIBBONS: May be inverse. For
4 3, Usability, 3(a). I thought was partial.
5 3(b). I guess is not applicable because as far
6 as I know there are no other measures. 3(c)
7 is not applicable.

8 I do think I have a bit of a
9 concern here in the sense as everybody looks
10 at the public domain where a lot of people
11 think more care is better care whether people
12 will actually recognize that being low here is
13 good.

14 As far as feasibility, the data
15 abstraction issue does get a little trickier
16 here because of the ability to reliably code,
17 for example, abnormal ECG which, at least,
18 when I asked somebody in CMS 10 years ago that
19 wasn't felt to be reliably prudent as a
20 diagnosis.

21 I would also point out that there
22 is more in weeks issue with respect to SPECT

1 imaging. Many of these are coded 78464 which
2 is single image rest or stress, so with the
3 numbers that are proposed it's conceivable and
4 we don't know. At least I don't know.

5 MR. BACKUS: You get a stress code
6 with it but you get a 9301.

7 DR. GIBBONS: But that's not
8 what's shown in the proposed measure. There
9 is no matching that I see so some of these may
10 be resting SPECTs which are not actually the
11 domain of the criteria.

12 In the interest of time, Mr.
13 Chairman, I personally didn't recommend it
14 because I had many concerns.

15 CO-CHAIR PETERSON: Great.

16 CO-CHAIR GAZELLE: Other
17 discussion of the group? Other discussion by
18 the committee?

19 DR. GRIFFEY: What about the
20 proposed modifications

21 DR. GIBBONS: They would require,
22 in my view, total redoing of the exclusions

1 and redoing of the data to see what it looks
2 like figuring out a new number of low end.
3 This would be extensive.

4 DR. D'ORSI: Carl D'Orsi. One
5 quickie. Why was there a bundling of stress
6 echo cardiac SPECT MPI and cardiac stress MRI?
7 Is there any trend where these are going out
8 and something else is coming in?

9 DR. GIBBONS: There are trends
10 with regard to patterns and utilization. All
11 of them would fall in the same bucket of
12 appropriateness as best we can tell.

13 DR. FIESINGER: I hear your
14 problems with measure but everything you're
15 saying details what I see where I live, it the
16 big institutes. It's a major cost excess.
17 It's something we've got to deal with. I don't
18 want to see the issue die even if this isn't
19 the right total approach. This is being
20 grossly overused.

21 DR. SPENCER: Yes. Kirk Spencer.
22 I also agree that it can't be recommended as

1 is. I don't want the two cardiologists on the
2 panel saying this is not a -- measure. It
3 would be that we don't understand it's a
4 problem.

5 DR. GIBBONS: Ray Gibbons. I'll
6 second that for the public record. I am on
7 public record in terms of what I've written
8 and what I've said. I think this is not a
9 well-designed measure. Personally I don't
10 think it goes far enough and it will cause
11 methodologic problems for all the reasons
12 given.

13 DR. SMITH-BINDMAN: This is
14 Rebecca Smith-Bindman. I know nothing about
15 this topic but from what you're saying you
16 have outlined very concrete things you want to
17 see happen and they don't seem that huge to
18 me. You're saying you want a completely
19 different sample and they are kind of nodding
20 over there that they can do it with the
21 sample.

22 You want some of these exclusions

1 which weaken the measure to be eliminated and
2 they are kind of nodding over there. And you
3 want some sample size corrections which won't
4 be as big a problem once you have these other
5 facilities. If they could do those things,
6 can you just --

7 DR. BURSTIN: No, the only
8 possibility here would be to just give them a
9 set of questions that you want to have
10 answered and have that measure come back with
11 different data so don't vote on it as is I
12 guess would be the only recommendation.

13 CO-CHAIR PETERSON: The other
14 thing that's relevant here to bring up, and
15 unfortunately I don't think they proposed it,
16 of the ACC measures there is a very similar
17 one you'll run into in a few minutes about
18 after PCI use of the test, why one group
19 proposed it in one form of data and one group
20 proposed it with another.

21 The ACC does use criteria that are
22 clinical to get to the asymptomatic population

1 but there are then the challenges just like we
2 had the Brigham situation that, in fact, there
3 are some challenges in collecting that
4 information in current practice. I don't know
5 how to do this in terms of order. We can
6 finish this measure and realize just in the
7 back of your mind that alternative potentially
8 is out there.

9 We'll take a few more comments and
10 then if people around the table want to do an
11 extensive rewrite and revote we could do that
12 and table it today. We have option B would be
13 we say no even with the rewrite we would not
14 be happy because we still don't think we can
15 get it in the asymptomatic population by
16 claims data when there is no reason for them
17 to do it.

18 DR. RUCKER: Could we consider the
19 PCI measure because it sounds like they'd need
20 to be harmonized and be pretty similar anyway.
21 Could we just consider that one?

22 CO-CHAIR PETERSON: They use

1 different data so they would need to be
2 harmonized so they one of them in the
3 asymptomatic population defines it and
4 attempts to get rid of the things that might
5 be reasonable reasons why you would order a
6 test based on claims data.

7 MS. ZERZAN: This is Judy Zerzan.
8 I'm a fan of these CMS measures because it
9 gives a set number to what happens around the
10 country and it's something that we can compare
11 our data to see are we way outliers or not.

12 It may not be a totally perfect
13 measure but I think the improvements would
14 help it a lot be something that would be
15 meaningful to my constituents. I guess I
16 would propose that we sort of decide if we
17 want to modify this and move on and consider
18 the other one in isolation even though they
19 may get the same thing.

20 DR. FIESINGER: Basically taking
21 your idea the measures we looked at are both
22 pre-op evaluation. They are very similar,

1 there is a lot of overlap. I would like some
2 way to look back after we look at all of these
3 whether it's harmonization or some other
4 format. We are all concerned about is it
5 including the same thing. We are all going
6 the same direction. Let's try to be on the
7 same bus so to speak.

8 DR. DEHN: I share your agony in
9 which exclusions to add and which not to. In
10 our committee the particular question was
11 chaired by Pam Douglas, who you probably all
12 know. This was pretty much what she said a
13 lot, that she recommended. Some of these you
14 could include and you don't have to include
15 but they tend to smooth.

16 I mean, you could probably do this
17 with none. I mean, with no exceptions at all
18 and still have some sort of meaningful
19 variation. Let me just say that we didn't
20 pull the exclusions out of our ears. They are
21 there and they are there for discussion if you
22 choose to do it or not.

1 As for expanding this coverage,
2 non-hospital based facilities would be a trip
3 to Hollywood for all of us. To the extent
4 that we could make this work, we will
5 certainly work with you and harmonize. I
6 mean, to add PCI in here would not be
7 difficult at all.

8 MR. BACKUS: That's a real
9 interesting thing that you started to get to
10 is that we work through this and we say in CMS
11 you are already looking at post-CABG, look at
12 post-PCI and you look at the way the ACC wants
13 to comment post-PCI you'll get a very quick
14 data validation or divergence of what
15 asymptomatic really is. You'll have two
16 different methods of defining it.

17 DR. BURSTIN: If I could just
18 suggest that perhaps we table this discussion
19 so we can complete the discussion of the ACC
20 measure and discussion the conclusion at that
21 time.

22 DR. SPENCER: Kirk Spencer. I

1 think the PCI and the CABG area also have a
2 number of different issues. I think it's
3 worth a brief discussion for the committee to
4 discuss whether to get this data without any
5 exclusion and then just consider, hey, you
6 don't want to be -- if the range is 12 to 85
7 percent, if you're in the 85 percent group,
8 that's a bad surgeon whose grafts are all
9 going down or what are you doing?

10 You don't want to be in the real
11 high range. That is almost cleaner than
12 trying to figure out the right reasons to do
13 it. I don't know.

14 DR. RUCKER: I think that would be
15 what Eric got. That's exactly what he did.

16 CO-CHAIR GAZELLE: He got dinged
17 in reviews. How low on the food chain is
18 that? We're stuck in real life.

19 DR. BURSTIN: That is pretty nice.

20 DR. RUCKER: I understand the
21 statistical predictive model of taking things
22 in and out of the model in terms of, gee, it

1 doesn't change it. I think part of the whole
2 NQF process is sort of having face validity to
3 these things. I think if you put in things
4 that just simply even at the onesies and
5 twosies of the story in USA Today or whatever
6 journal you've got at home -- just kidding --
7 you know, if they don't have face validity I
8 think it's a very corrosive type of outcome.
9 I think it harms the NQF process and all of
10 this things. There is sort of entire quality
11 metric when there are certain obviously
12 outliers because it's just corrosive to public
13 support for this. I think you have to be very
14 careful having things that have face validity.

15 DR. BURSTIN: I will just make the
16 point that actually, again, we want to stay
17 grounded in this which is fair evaluation
18 criteria. Very clearly in this last round of
19 updating saying exclusion should only be there
20 they have to be justified. We don't want the
21 onesies and twosies. You really want
22 exclusions but if you didn't have them, you

1 would significantly distort the measures. I
2 think we are trying to get away because
3 feasibility falls off the planet when you
4 start adding 100 onesies and twosies. Just
5 from our perspective I think there is some
6 valid consideration for having a set of
7 exclusions that in a sensitivity analysis
8 would significantly change your result as
9 opposed to the onesies and twosies just really
10 based on this.

11 CO-CHAIR PETERSON: So the issue
12 all comes back into how people can interpret
13 a number. On the one hand here you can say
14 that up to 20 or 25 percent based on how data,
15 which is probably similar to yours, people had
16 diagnosis that could be a very legitimate
17 reason for testing and certainly would have
18 been not covered by the data that supported
19 not testing in the population.

20 We could argue that there would be
21 the USA Today headline NQF says you shouldn't
22 be doing testing in a group that should be

1 tested by every other criteria and you are
2 going to condemn grandma to test fate.

3 On the flipside of this is to say
4 that, yes, the 20 percent is distributed
5 generally equally and we're not looking for
6 100 percent on this measure. We are looking
7 for outlier values for the guys who --
8 everybody.

9 Just from mammography logic we
10 were just trying to find outlier status as a
11 potential marker and that would be legitimate
12 around this table of understanding. The
13 question is how does it play outside of this.

14 DR. GRIFFEY: I wan to state that
15 a utilization rate is not a quality measure
16 and so it needs to indicate that there is some
17 appropriateness or inappropriateness.

18 While it would be great, I think
19 everyone would love to see the data, we also
20 want to know what do you make of this number
21 that you arrive at and how you compare with
22 someone else if it's not case-mix adjusted or

1 adjusted in some meaningful way. It's just a
2 number.

3 CO-CHAIR GAZELLE: Okay.

4 DR. BURSTIN: Think about this
5 over lunch and return fresh.

6 CO-CHAIR PETERSON: Okay. It's
7 lunchtime.

8 (Whereupon, the above-entitled
9 matter went off the record at 12:35 p.m. and
10 resumed at 12:55 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

1 CO-CHAIR PETERSON: Is anyone on
2 the phone? Okay. I will begin. Cardiac
3 stress imaging not meeting appropriate use
4 criteria: Routine testing after percutaneous
5 intervention. The denominator for this is
6 number of stress SPECT MPIs and stress echo
7 performed. The numerator is the number of
8 stress SPECT or stress echo studies done in
9 asymptomatic patients within two years of the
10 most recent PCI.

11 In part the measure, off the bat,
12 is that one of them is supposed to undergo the
13 procedure and then try to look at the number
14 who get tested. This one looks at the number
15 who get tested and then say how many of them
16 have gone for an inappropriate indication.
17 Different strategies but with a pretty similar
18 end. We'll talk about that in a bit.

19 Let's go through sort of the
20 evidence briefly. There is evidence that
21 would support an appropriate use published by
22 Neurology and Cardiology through an intense

1 process. They went through a random criteria
2 that comes out to say that this is an
3 inappropriate use if the patient is
4 asymptomatic.

5 There is also evidence given, and
6 I could provide more. We sort of heard it.
7 It's even higher than 60 percent in
8 percutaneous intervention of patients
9 undergoing testing in the first few years of
10 the procedure. There is a fair amount of this
11 done.

12 The flip side, though, is that
13 they list a series of studies. Ray and others
14 have published work looking at use of it in
15 appropriate indications. Of all the testing
16 done this is a modest to small percentage of
17 the total testing that is done. Depending on
18 what you use as numerators and denominators it
19 changes again with the total procedures done.
20 So in the importance category I gave this a C.

21 The 1(b), demonstrate quality
22 problems, I gave it a C.

1 Measure 1(c). I gave it a C.

2 When it gets to the 2 measures,
3 scientific acceptability measure, I gave it a
4 C. The rationale here having to capture and
5 exclude patients who have symptoms.

6 Reliability testing, they have
7 done some of this based on a system in a
8 couple pilot sites where they looked at
9 indications for a stress test. They were able
10 to do this but it's in a limited setting
11 today. There is by no means a universal or
12 even broad systems or even systems that
13 currently exist out there necessarily but you
14 capture point of order or point of capture
15 rationale for stress testing.

16 They have done some reliability
17 testing, got a C. The validity testing gets
18 NA and the exclusions or outcome measures, NA.
19 2(f), NA. 2g., multiple data sources
20 comparable results based on what they have
21 done so far by chart review in a small
22 setting. Disparities is NA.

1 Usability for the challenges are
2 the information is usable and understandable.
3 Harmonization I had NA but now it may become
4 an issue depending on what we do with the
5 other comparable settings for -- NA on the
6 3(c).

7 Feasibility issues they have shown
8 that this can be done in limited settings.
9 Rarely available in electronic records I would
10 say is an M. Exclusions, NA. 4(d), P and
11 4(d), P. Overall my recommendation was
12 generally for it but there was some caveats
13 around it that requires us actually coding why
14 we order stress tests which we currently do
15 not do.

16 DR. SPENCER: Kirk Spencer. I
17 really had almost identical gradings with
18 minor differences. I have a couple comments.
19 One, I would like to see the measure clarified
20 about whether the patients had symptoms at the
21 time of the PCI so the stress echo document
22 which makes and the stress nuclear document

1 make distinctions about asymptomatic
2 angioplasty patients whether they had symptoms
3 before their PCI or they did not.

4 If you have symptoms and had a
5 PCI, if you are recent enough to come back
6 you would likely get symptoms again so that is
7 a very reliable thing to follow. Whereas, if
8 you didn't have symptoms before your PCI and
9 you are asymptomatic afterward, is that
10 particularly reassuring. They don't make
11 distinctions. They are talking about both
12 groups of patients. I would like to see that
13 clarified.

14 The other are minor issues. The
15 second exception is they don't really deal
16 specifically with is there is certainly wiggle
17 room in a patient who has high-risk
18 angioplasty looking for restenosis. In fact,
19 the testing guideline makes it a 2(b)
20 indication to detect restenosis in selected
21 high-risk patients. We can either not exclude
22 those patients and if you measure isn't zero

1 percent you are doing okay. You're going to
2 have a 2 percent but it's a 2 percent that is
3 defensible or we can try to exclude those
4 patients. The guidelines in some respects
5 haven't kept up to the angioplasty literature
6 and the problem there is the left main. What
7 to do with looking at restenosis in left main
8 disease is sort of much less unclear. We are
9 doing a lot more left main angioplasty. I
10 think many cardiologists feel that it's
11 appropriate to stress people to look for
12 restenosis getting left main work done.

13 The third comment is there are
14 certainly easier areas to pick off. When we
15 talk about inappropriate stress testing, two
16 of the other things we're going to talk about
17 are the asymptomatic patients and the
18 appropriateness guidelines and that got a 1.
19 That's the lowest, 1 to 9. Instruct nuclear
20 that got a 1. Everyone agreed.

21 The pre-op patients for low risk
22 procedures both got 1 by both organizations.

1 PCI got kind of 3. If you're 4 you're
2 indeterminate. You're a 3 you're
3 inappropriate. The PCI area is not as clean
4 as asymptomatic patients initial testing and
5 pre-op in low risk procedures. This is a more
6 gray areas and this doesn't come out as
7 fairness to the gray areas of selected high-
8 risk PCI patients and patients that didn't
9 have symptoms before their initial
10 angioplasty.

11 CO-CHAIR PETERSON: Comments from
12 the group?

13 Ray.

14 DR. GIBBONS: Ray Gibbons. I
15 guess I'm the champion of sample size issues
16 in this group so I again want to raise sample
17 size issue here pointing out that they are
18 going to accept 35 cases and the four pilot
19 centers that they had the rates range from 0.9
20 to 4.2. With a precision of .05 we simply
21 aren't there at 45 cases and there even lower
22 per the number of cases so the number of cases

1 really should be increased if this is going to
2 be a reasonable measure.

3 CO-CHAIR GAZELLE: You are
4 speaking to the denominator. Is it
5 problematic to increase the denominator? You
6 would think there are not that many sites that
7 do fewer than 45 total.

8 DR. GIBBONS: It is number of PCI
9 and the second division is the logistics of
10 this, as Eric pointed out, a challenge. The
11 more cases you have to do, the bigger the
12 challenge.

13 CO-CHAIR PETERSON: I'm sorry,
14 Ray. Forty-five cases was?

15 DR. GIBBONS: That would have been
16 the CMS. They say 35 here.

17 CO-CHAIR PETERSON: Thirty-five.

18 DR. GIBBONS: So your precision
19 would be less than .05 for 90 percent
20 competence if you're zero.

21 CO-CHAIR PETERSON: I'm just
22 thinking most are at the center level.

1 DR. GIBBONS: Right, center level.
2 Your precision -- I mean, I didn't do the
3 calculation but it would be .04 or something
4 like that for your 90 percent but that would
5 take you from the bottom to the top on chance
6 alone the next year in their pilot data. They
7 didn't find large numbers.

8 CO-CHAIR PETERSON: The individual
9 center does how many PCIs a year? If almost
10 half those PCIs are done, even a quarter
11 something means you have plenty of data.

12 DR. GIBBONS: I would agree with
13 that but that's not what the pilot data shows.

14 DR. SPENCER: Oh, I sorry. This
15 is Kirk Spencer. One of the papers, the
16 feasibility paper looking at at least the
17 nuclear half of this suggesting the multi-
18 center declare appropriateness as one of the
19 five most common reasons for an inappropriate
20 nuclear stress test is in that table.

21 It says asymptomatic, post-
22 revascularization less than two years after

1 PCI. Then it says symptoms before PCI so,
2 again, in that document that is certainly a
3 cleaner group. When you leave the
4 asymptomatic group I think that is why the
5 department gets kind of a 3, especially if
6 we're thinking high risk. Some people are
7 thinking they didn't have symptoms beforehand.

8 I think we could make it a 1
9 appropriateness if you make it symptoms before
10 PCI and exclude -- I don't know how we define
11 high risk intervention.

12 DR. GIBBONS: Ray Gibbons. Just a
13 comment on that point. From our experience
14 it's time to apply these criteria. Although
15 clinicians commonly quote that issue, just as
16 Kirk did, they actually go to medical records
17 and see how well that's recorded.

18 It's recorded poorly for symptoms
19 prior to PCI. At the time point you do this
20 you would think most people would be able to
21 tell you they did have something before their
22 intervention within the last two years. They

1 don't do that reliantly.

2 CO-CHAIR PETERSON: Other
3 comments?

4 MR. CORBRIDGE: We are moving
5 faster than scheduled but he said he was going
6 to be online at 1:15.

7 CO-CHAIR PETERSON: Okay.

8 DR. SPENCER: Well, I'll add
9 another comment. Kirk Spencer. I would agree
10 that although the symptom status at the time
11 of the initial angioplasty clinically makes
12 sense, it drops feasibility even further and
13 we've already pushed it.

14 Because of the lack of electronic
15 record we've already agreed this is probably
16 a handwritten chart review sort of measure.
17 Not only to you have to chart review now at
18 the time of their PCI, or the time of their
19 stress test, now you have to chart review two
20 years back to the time of the PCI so I would
21 be willing to trade off the symptoms for the
22 following feasibility.

1 I think that's fair. Individually
2 when I see the patient I can sit there and
3 say, "Do you remember did you have symptoms
4 two years ago?" That's very easy and
5 appropriate to do but as a measure I would
6 agree that makes feasibility difficult and
7 probably should leave it out.

8 DR. GIBBONS: Does it impact the
9 data that much to leave it out?

10 MR. BACKUS: Mike Backus. I don't
11 have any asymptomatic patients getting PCI.

12 DR. SPENCER: Exactly.

13 DR. GIBBONS: Ray Gibbons.
14 Because I'm going to have to go, let me just
15 make more further comment on this issue. We
16 have the experience in doing this. We would
17 look at a clinician's note, let's say, in 2005
18 and they would say the patient was
19 asymptomatic prior to their previous
20 procedure. Because of our electronic record
21 we could then go back and actually look at
22 what the clinical note said before their prior

1 procedure and they would record the opposite.

2 When my research nurses first came to me with
3 this problem, it created an interesting
4 methodologic issue which would we accept --

5 DR. SMITH-BINDMAN: What do you
6 mean it was sort of the opposite?

7 DR. GIBBONS: Meaning the
8 clinician at the time said they were
9 symptomatic but the patient's recall was that
10 they were asymptomatic or vice versa. We
11 sometimes assume things and they will come up
12 subsequently when Art discusses one of the
13 other measures. We think when the patients
14 report something that is actually what has
15 happened but I can assure you as a clinical
16 researcher, especially regarding stress tests
17 and symptoms, that if you actually have the
18 documentation to check on that you are
19 surprised by how often their report of what
20 test they had is totally wrong.

21 CO-CHAIR PETERSON: But I guess
22 there is --

1 DR. RUCKER: My patients tell me
2 different early inconsistent stories all the
3 time.

4 CO-CHAIR PETERSON: We are going
5 to have to get Joe's comment on how this would
6 be operationalized. My general sense is at
7 the time of a procedure somebody would code
8 the indications for it. More broadly spoken
9 in all of stress testing this has to happen.
10 If they code it in theory, and we can talk
11 about whether we would want this or not, you
12 could code asymptomatic but was asymptomatic
13 prior to or something close to that. You
14 could put that in there. Or you could choose
15 not to and just say asymptomatic and we'll
16 just say there is a relatively small
17 collection of patients.

18 DR. SPENCER: Kirk Spencer. We're
19 trying to get rid of the ones that discuss
20 post-PTPA which is what many of them are now.

21 CO-CHAIR PETERSON: So Joe?

22 DR. ALLEN: Yes.

1 CO-CHAIR PETERSON: Welcome.

2 DR. ALLEN: We did not choose to
3 include symptom status in this measure prior
4 to the PCI even though some of the original
5 corporate use did have that as a caveat
6 because of the feasibility issue that Kirk had
7 been talking about as knowing what the symptom
8 status was as long as two years ago.

9 CO-CHAIR PETERSON: Joe, you can
10 say whatever you'd like but one thing is if
11 you could just give a little bit of a
12 background of how you believe this would
13 operationalize out. What would happen moving
14 forward to allow this to be feasible?

15 DR. ALLEN: Sure. Probably many
16 of these tests have been reviewed in a very
17 inefficient way which is the third-party
18 review. It is happening even if this measure
19 doesn't go forward before collecting
20 information.

21 The measure is meant to put some
22 parameters around what it is that is meant by

1 inappropriate measuring. We also have in ATC
2 a number of mechanisms in a number of
3 facilities that have instituted electronic
4 data collection of this type of information.
5 We have both lead based and registry based
6 ways to collect this as well as decisions in
7 talking with vendors about implementing their
8 decisions.

9 Although like some of the measures
10 that were discussed yesterday, it could be
11 that a lot of places might not have the
12 capability to do it. Right now they are doing
13 it very inefficiently by calling the third
14 party. We believe that in the very near term
15 there are electronic commissions to do this
16 and most actually prefer the electronic data
17 collection that right now requires a phone
18 call.

19 CO-CHAIR PETERSON: Just to
20 clarify one issue that has come up on the
21 committee in the space of transparency. A
22 couple of issues. One, while the ACC has

1 developed these criteria, the criteria in the
2 public domain, you may or may not be
3 developing a product in-house and/or with
4 other vendors but there are other vendors who
5 would be able to develop this product who are
6 developing these products rapidly as stand-
7 alones. Correct?

8 DR. ALLEN: Correct. We want the
9 measure to be out there based on the criteria,
10 transparent and anybody could use it. We
11 would develop a program around it for full
12 disclosure but we believe the way it's
13 implemented that we are going to have it out
14 there and the product advantage would be the
15 program, not the measures or the --

16 CO-CHAIR PETERSON: Great. Are
17 there any other statements that you have for
18 us? We can ask you some more questions.

19 DR. ALLEN: Actually, I have some
20 more questions. I know something came up
21 yesterday and I've heard the full discussion
22 today so let me see what questions you might

1 have and then I can ask others.

2 CO-CHAIR PETERSON: Just a second.

3 Kirk, do you want to ask a question?

4 DR. SPENCER: I was getting Dr.

5 Gibbons' comments on the other ones we're

6 going to discuss before he leaves.

7 CO-CHAIR PETERSON: Okay. He's

8 ready for your question.

9 DR. SPENCER: So I think we agree

10 on the chest pain two years ago making the

11 feasibility too low. Did you discuss -- one

12 of my senses is that appropriateness criteria

13 both rated these as 3's and not 1's, high-risk

14 angioplasty.

15 What do you do with the selected

16 high-risk patients or the left main patients.

17 We just leave them in there and then if you

18 have a rate of stress testing that is 3

19 percent that accounts for those, that's okay?

20 It's a little cleaner that the measure should

21 be kind of zero. Was there discussion about

22 that?

1 DR. ALLEN: Both on the
2 appropriate use criterion. In developing a
3 measure there is always the -- which may be
4 more precise and how much data collection and
5 feasibility you get into so we don't believe
6 that these rates will go to zero. We know
7 that based on our pilot study that they are as
8 high as 15, 20, or 30 percent overall for
9 inappropriate. The things we are focusing on
10 in these measures are the top three issues.
11 You are correct that we would assume that
12 those cases that would be exceptions would be
13 in the ones that you would show as your 3 or
14 5 percent, whatever it ends up being, kind of
15 the low rate after you view the things to get
16 rid of the patients that really shouldn't
17 happen.

18 DR. SPENCER: So you think it's
19 too hard to pull out selected high-risk
20 patients with left main angioplasty, proximal
21 IB angioplasty, that there be some agreement
22 on betting asymptomatic stress may be

1 appropriate?

2 DR. ALLEN: We didn't rate that
3 for the reason that we just talked about which
4 is the additional data collection we didn't
5 feel would be feasible to go to that level.
6 If we find that we continually have the 3 to
7 6 percent and it seems like it's coming around
8 to similar issues, we could always revisit
9 that but we feel like this was a reasonable
10 starting place.

11 DR. SPENCER: I guess the intended
12 harm there, then, is if you're a center high-
13 risk angioplasties often get sent to specific
14 centers that your inappropriate rate will be
15 higher if you do high-risk angioplasty so we
16 just have to list that as an unintended
17 consequence, I guess.

18 DR. ALLEN: Right.

19 CO-CHAIR PETERSON: Joe, another
20 question. Any reason you didn't include
21 bypass surgery, asymptomatic bypass surgery?

22 DR. ALLEN: We discussed the

1 bypass surgery as a part of this measure. We
2 felt that, given the different time frames,
3 that we did not want to do that for this
4 particular measure and it didn't come up as
5 frequently in the pilot either as one of the
6 reasons so it was both based on pilot data and
7 different time frames.

8 We didn't want to send the message
9 with the measure that, if we put it at two
10 years to make it equivalent to PCI, that it
11 might send the wrong message and then just
12 from the pilot data it didn't seem to raise up
13 to the common type issue.

14 DR. SPENCER: The pilot data did
15 include symptom status at the time of their
16 initial angioplasty. Right?

17 DR. ALLEN: Yes, it did.

18 DR. SPENCER: So it wasn't that
19 hard to get.

20 DR. ALLEN: We found that we got a
21 lot of questions on it and we didn't know if
22 the reliability was as good as many people

1 recorded it and whether or not it was
2 reliable.

3 DR. SPENCER: Do you have non-
4 published data to suggest that it's different
5 or better than the patients that didn't have
6 symptoms as thought they recorded as it could
7 be recorded?

8 DR. ALLEN: No, we don't have data
9 that would say there is difference.

10 DR. SPENCER: Subtract the total
11 patients from the ones that had symptoms.
12 You've got it but you haven't analyzed it, I
13 guess. If you know who was symptomatic, you
14 know who was asymptomatic. You just don't
15 know the number. Okay.

16 DR. D'ORSI: Excuse me. Is it
17 ever valid to have one of these stress tests
18 with asymptomatic patients after two years of
19 a PCI?

20 DR. ALLEN: So, after. You're
21 saying not based on this measure but after two
22 years, would it be something that you might

1 reasonably do.

2 DR. D'ORSI: What I'm saying is,
3 if this measure comes out three percent and
4 this is a quality forum and this is an outcome
5 measure, how would you interpret that.

6 DR. ALLEN: There are three
7 percent that are getting it. Even though we
8 have said it's inappropriate, there are
9 reasons that they might have received it and
10 could we look at that based on the data why
11 they might have received it different than
12 outcome?

13 DR. D'ORSI: Yes. In other words,
14 I'm getting at why do a metric if we don't
15 know what to do with that number.

16 DR. ALLEN: What all these
17 measures focused on is where we don't have a
18 demonstration of benefits based both on risk
19 and on other factors that would show that
20 these patients should be getting stress
21 imaging so it's not -- unlike an under-used
22 measure which ties to and improves outcome per

1 se, these are more clearly efficiency measures
2 where you are using a resource and putting the
3 patient through potential downstream impacts.
4 You could look at, if you did want to, and I'm
5 not sure you would get something different,
6 just whether or not patients avoiding this
7 didn't have subsequent procedures or something
8 like that that might be a temptation once you
9 start down the stream of seeing something and
10 starting in on the pilot data.

11 You see something and then you
12 follow up with a CAS or a CTA and things like
13 that so if you could look at a briefer
14 compensity, you know, in reducing this based
15 on this measure.

16 DR. D'ORSI: Carl D'Orsi again.
17 So do you feel perhaps it's a little premature
18 to make a measure, a quality measure, out of
19 this without a little more data?

20 DR. ALLEN: I'm sorry. Could you
21 repeat that?

22 DR. D'ORSI: Yes. Do you think

1 it's a little premature to make a quality
2 measure with an outcome end point at this
3 stage?

4 CO-CHAIR PETERSON: Can you
5 clarify your question?

6 DR. D'ORSI: In other words, we
7 are making a quality metric with an import.
8 We're saying, first of all, we don't know
9 what's good or bad. Your paper addresses how
10 many are getting this test and CABG.

11 CO-CHAIR PETERSON: We do. We do.
12 What the agency is saying is they do. They
13 are saying that in general that in this
14 indication all asymptomatic patients after
15 percutaneous intervention testing is probably
16 inappropriate. Then you stretched it and
17 said, can you come up with any indications in
18 a patient somewhere that would fit it? His
19 answer was, well, yes, maybe. So should the
20 number absolutely fall to zero? Maybe not
21 but, again, this gets back to Helen's point
22 that NCDR, in this case National Quality

1 Forum, is not in the business of trying to
2 find every single exclusion that might exist
3 on the planet but rather to get --

4 DR. D'ORSI: Right. Well, okay.

5 CO-CHAIR PETERSON: Because it's
6 interpretable and then interpretation is, yes,
7 everybody in the world has it down at two
8 percent and your site is at 40 percent.

9 DR. D'ORSI: Okay. I understand
10 that. Could you at least get a range that is
11 acceptable then?

12 CO-CHAIR PETERSON: It should be
13 close to zero.

14 DR. D'ORSI: So zero to one is
15 acceptable?

16 CO-CHAIR PETERSON: Unless you do
17 a lot of high-risk angioplasty.

18 DR. D'ORSI: All right. Thank
19 you.

20 CO-CHAIR GAZELLE: This is similar
21 to the discussion we had about age-stratifying
22 of mammo measures. The question comes down

1 to, are we willing to accept that there is
2 going to be some range, some variation that we
3 could explain versus we want to narrow it down
4 to no variation. As I did yesterday, I would
5 lean toward allowing there to be some
6 variation and having fewer exclusions and then
7 just understanding that we are not drawing a
8 threshold anywhere.

9 DR. SPENCER: The only problem --
10 Kirk Spencer. The only problem with that is,
11 again, it's a public measure. Patients who
12 get MRSA in the operating room we understand
13 that. It should be zero. That's really
14 clean. There is no good reason to get an MRSA
15 in the operating room.

16 Public measures that you should be
17 zero and you're not zero as a public measure -
18 - we understand that as doctors, that 3
19 percent is probably right.

20 DR. SMITH-BINDMAN: Is there a
21 need for -- this is Rebecca Smith-Bindman. Is
22 there a need for face validity to include

1 those exclusions? You just have to just help
2 us understand the magnitude of this.

3 CO-CHAIR PETERSON: Right. Why
4 don't you ask the question with regards to the
5 high-risk angioplasty.

6 DR. SMITH-BINDMAN: How many are
7 there?

8 DR. SPENCER: I bet it's small.
9 In a high-risk center it's probably still
10 three percent, five percent. And where that
11 should be even stressed there is even
12 contradictions about that. Therefore, it
13 wouldn't be a three. Their score would kind
14 of a six.

15 CO-CHAIR PETERSON: We are nervous
16 about doing a procedure which we -- we are
17 still nervous about doing it.

18 DR. SMITH-BINDMAN: There is still
19 face validity in this measure without having
20 all this.

21 DR. GRIFFEY: Just because Ray is
22 not here -- Richard Griffey -- do we still run

1 into the same issues that he raised concerns
2 about with respect to small sample sizes and
3 small facilities?

4 CO-CHAIR PETERSON: Yes, Joe. The
5 issue was raised as to how many cases most
6 centers saw that were done under this
7 indication.

8 DR. ALLEN: Say that again? How
9 many cases?

10 CO-CHAIR PETERSON: At a given
11 center how many cases given Ray's question of
12 whether or not we had a sufficient sample size
13 at any center? How many patients would get
14 testing for this reason?

15 DR. ALLEN: Right. We looked at
16 that in our pilot data and the reason why we
17 chose the 60-day time frame as to put this at
18 the imaging lab level to get enough volume for
19 each one of these measures that have to go
20 back into the actual data for a pilot. We did
21 look at that and made sure that the majority
22 of groups, and we had different sized groups

1 participate in our pilot, that all them could
2 collect enough data in 60 days to have at
3 least 30 cases.

4 CO-CHAIR PETERSON: So you are
5 saying within 60 days you would have 30 cases
6 that would fit this indication? I don't want
7 to pin you down but --

8 DR. ALLEN: Right, right, right.
9 We looked at whether or not we could collect
10 the information on the number for the
11 denominator that there would be at least 30
12 cases. Whether or not there would be 30 PCI
13 cases we looked at the -- we could find some
14 cases in those 30 that were PCI, and most
15 centers did, but it wouldn't be 30 PCI cases.
16 It would be 30 for the denominator.

17 CO-CHAIR PETERSON: Okay. Do you
18 have any idea how many cases might happen in
19 a typical practice in a year currently that
20 would fall into this category?

21 DR. ALLEN: I don't have that off
22 hand. I'll have to look it up.

1 DR. SPENCER: This is Kirk
2 Spencer. Is there any reason you would mind
3 including stress MRI and CTA that is also not
4 appropriate within two years? We don't want
5 unintended consequences to drive all the
6 business to CTA.

7 DR. ALLEN: Right.

8 CO-CHAIR PETERSON: And the second
9 rationale for that is actually we have another
10 measure pending that looks at a broader set of
11 stress testing and I can't think why we
12 wouldn't do that.

13 DR. ALLEN: That is a reasonable
14 suggestion. The reason we didn't include it
15 this last time was because we were still
16 updating the PT document that didn't speak to
17 that. It does not speak to that and we do have
18 criteria now on that so we can update it.

19 CO-CHAIR PETERSON: Okay. Does
20 anybody else have an issue if we agree to that
21 as a conditional amendment? No negatives?
22 I'll take that as a no. Okay. Any other

1 questions for Joe?

2 DR. GRIFFEY: I have a question.
3 This may be more for you all. Do you find
4 sufficient reason that we would not try to
5 combine this measure with the one we
6 previously discussed?

7 CO-CHAIR PETERSON: The data are
8 different so we would have to say extend their
9 measure to include post-CABG. Is that what
10 you're proposing?

11 DR. GRIFFEY: Yes.

12 CO-CHAIR PETERSON: The question
13 is a revamping of a question I asked earlier
14 to you. You may not be able to answer this
15 today or not. If not, we can come back as a
16 conditional and then we can come back with a
17 response one way or the other but re-raising
18 the issue of including expanding this to
19 include bypass surgery.

20 DR. ALLEN: You know, I think, as
21 I said, being that we could look at -- the
22 rates were kind of low for a look at another

1 type of procedure and, again, you know, the
2 different time frames. We would have to
3 reframe the measure.

4 CO-CHAIR PETERSON: But the
5 measure is more extreme. Right? So if it's
6 testing within the first two years when
7 testing within the first five years was
8 inappropriate, that seems to be okay.

9 DR. ALLEN: Right. It would just
10 be additional data collection to look at the
11 additional patient population.

12 CO-CHAIR PETERSON: Can we be
13 clear? One last thing, I'm not going to push
14 you here. The way you're setting this up you
15 would basically -- for most centers wouldn't
16 they need to code most of their indications
17 for procedures to be able to collect this?

18 DR. ALLEN: They'll have to at
19 least look to see if they finished it based on
20 that first measure and to any one of these
21 categories of did they have a PCI, were they
22 asymptomatic or their pre-op testing.

1 They don't have to do any further
2 coding of the patients once they have packed
3 them into the two categories. The patient
4 then qualifies or any one of those three
5 things and those that don't. By adding CABG
6 you add to an important category that they
7 would add a few more pieces that they have to
8 evaluate.

9 MR. BACKUS: This is Mike Backus.
10 So are we expecting for data collection that
11 this is done post-service chart review or are
12 we expecting that this is done pre-service
13 filling out a form which is I know how some of
14 the Brighams --

15 DR. ALLEN: This is measured at
16 the laboratory level so it is at the point at
17 which the imaging delivered. It wouldn't
18 necessarily be at the point of order and so
19 it's like sort of the same. Maybe the measure
20 would be aggregated at the lab level.

21 MR. BACKUS: No, I understand
22 that. My question is is the data collection

1 after the image is done on a chart review? We
2 did some stuff for Brigham and Womens this
3 morning.

4 DR. ALLEN: We are just matching
5 prospective data collection efforts because of
6 issues that -- you know, finding some of these
7 things in retrospect.

8 MR. BACKUS: What we're expecting,
9 just so I understand it, we're expecting a lab
10 or a physician to fill this out and say, I'm
11 doing this pre-service, and we're expecting
12 them to say that essentially I'm going against
13 the ACC guideline at the time they fill out
14 the form and then submit it?

15 DR. ALLEN: The question here is
16 why would anybody ever submit an inappropriate
17 order. What we learned from the pilot data
18 was the rate of orders per individual
19 physicians as they come in, they are coming in
20 from a number of different places because
21 that's one thing. Usually you have an average
22 of five imaging tasks coming from any

1 individual physician into the imaging lab so
2 a physician orders five over the course of a
3 year.

4 On average even a cardiologist
5 only 30 over the course of a year. On an
6 individual case basis a lot of physicians will
7 submit inappropriate orders because they feel,
8 well, this particular patient I can think of
9 reasons why. I can justify in my own head.

10 MR. BACKUS: Right.

11 DR. ALLEN: What we find is once
12 we get the pattern back through the measure
13 that they see that, oh, my one contributed to
14 a rate of 15 percent overall for inappropriate
15 imaging. Then you start to educate that
16 about, okay, even though you can individually
17 justify can you rethink about these particular
18 three or four issues when you go to order so
19 that you can reduce that number?

20 You start out usually with this
21 inclination to prompt the order and even do an
22 event inappropriate and then when it's

1 aggregated at the lab level you get enough
2 cases and feedback and you see how that one
3 contributed to a larger issue.

4 CO-CHAIR PETERSON: Point of clarity.
5 We actually yesterday passed the same measure
6 but you would have to put in for the study
7 based on the Brigham system as inappropriate
8 and circle that inappropriate back. We did,
9 in fact, by definition drive it down because
10 we do that.

11 DR. GRIFFEY: Well, in fairness
12 you had to specify your indication. That's
13 what you had to do.

14 CO-CHAIR PETERSON: That's what
15 we're going to get into.

16 DR. GRIFFEY: I understand that
17 but, I mean, saying that you're going to enter
18 an inappropriate indication up front makes it
19 sound kind of silly whereas at that point the
20 person may get some decision support from that
21 and decide against doing the study, or they
22 may have a reason that they feel is

1 appropriate and they may indicate that reason
2 at that point.

3 I mean, someone is not obviously
4 going to enter something knowingly this is not
5 recommended and intentionally writing this
6 like we said and so that is the value from
7 that kind of decision support up front. And
8 the issue is feasibility.

9 MS. ZERZAN: But I think the
10 difference is in those measures that person
11 came to the ED with a complaint. People
12 aren't coming to the cath lab saying cath me.
13 They have an appointment that is made for
14 that. It's kind of a different clinical
15 situation and I think there would be a ton of
16 pressure to go along with the procedure once
17 you have it scheduled and a patient is there
18 expecting a cath.

19 DR. SPENCER: Agreed.

20 CO-CHAIR PETERSON: So, Joe, this
21 gets back to the issue that -- you're
22 proposing that for all tests that are ordered

1 whether point of order or point of service,
2 hopefully point of order, that you would be
3 getting an indication for that test so that
4 would be for all tests.

5 DR. ALLEN: Okay.

6 CO-CHAIR PETERSON: So that would
7 be for all tests.

8 DR. ALLEN: Correct.

9 CO-CHAIR PETERSON: At that point
10 we're done. Right? Because we would have
11 enough information because you would be
12 collecting information at the point of that
13 order.

14 DR. ALLEN: We would certainly
15 know the date of their angioplasty.

16 CO-CHAIR PETERSON: You're
17 proposing to collect that all at one shot, not
18 to have it required beyond that, or are you?

19 DR. ALLEN: No, we would collect
20 it all up front and then feedback the pattern
21 over time. We both get, as you said, the
22 upfront. You know you are being watched for

1 these things and you are going to avoid them.
2 If you are inclined to say, well, but my
3 patients are different and special each and
4 every time, and that aggregates to a pattern
5 for an imaging lab, then they can pick that up
6 and do some education with that particular
7 group as to why this was deemed inappropriate.

8 CO-CHAIR PETERSON: Any other
9 questions for Joe? Any other comments from
10 the committee?

11 DR. RUCKER: Can you speak sort of
12 one more time to the end number? I'm not sure
13 from the other comments. What percentage of
14 the sites do we have a large enough sample
15 size to do this? I would be happy with just
16 the gut feel.

17 CO-CHAIR PETERSON: Out of a
18 typical center we anticipate how many centers
19 we anticipate will have more than 20 bases
20 that would meet this criteria.

21 DR. ALLEN: The majority of
22 centers because we are aggregating at the

1 imaging lab level would have enough volume in
2 the window that we are asking for this measure
3 to get data back. We have looked at their
4 pattern both at 60 days and at one year and
5 they didn't change based on how many patients
6 came in.

7 DR. RUCKER: Okay. Thanks.

8 CO-CHAIR PETERSON: Any other
9 questions? Comments from the public? So we go
10 to voting.

11 DR. GRIFFEY: We decided there is
12 no entertainment of combining measures.

13 CO-CHAIR PETERSON: I think it's
14 up to the committee now. We had one minor
15 expansion. This has already been conditional
16 and everybody agreed on the idea of
17 conditionally expanding it to include the
18 other CTA and MRI. That has been agreed to.

19 The question of the CABG can be
20 our committee's decision if we choose to do
21 that. Let's vote on that first and then vote
22 on the whole thing. I think that's fine.

1 DR. GRIFFEY: I was just thinking
2 if there is an issue of low number and you
3 made it one or the other, then you just
4 increased by some percent. If the criterion
5 standard is stricter --

6 CO-CHAIR PETERSON: We can put that
7 on, they can come back, agree or not, and we
8 can pass it conditional or not. If we want in
9 general to do that, if we were going to pass
10 it, we can vote for it now. How many are in
11 favor of increasing it to the PCI and CABG?
12 Okay.

13 Now it will be conditional on two
14 conditions both expanding the test and
15 doing --

16 Any other conditional changes?
17 Okay. Moving onto the importance of the
18 question. How many feel it is high
19 importance? Moderate? Low? Okay.
20 Scientific acceptability? How many vote this
21 high? How many vote this moderate? How many
22 vote it low? Okay. Usability. How many vote

1 it high? How many would vote it moderate?
2 How many would vote it low? One solid low.
3 Okay now, feasibility. How many are voting
4 high? How many are voting moderate? How many
5 vote low?

6 MR. CORBRIDGE: Could we do the
7 low one more time?

8 CO-CHAIR PETERSON: Sure. Low?
9 Anybody else want low? The final is to vote
10 on the measure. How many want to see this
11 measure passed with these two conditions?
12 Their arms are going up and down.

13 MR. CORBRIDGE: One more time.
14 Okay.

15 CO-CHAIR PETERSON: Joe, are you
16 able to stay on the line with us for awhile?

17 DR. ALLEN: Yes, I can stay on for
18 a bit.

19 CO-CHAIR PETERSON: Good. Okay.
20 So we are going to go back to further
21 discussion on the CMS measure for bypass
22 surgery. Further discussion now that we've

1 had this discussion hopefully. The issues
2 that were before us before had to do with
3 issues of the inclusion criteria were too
4 broad or were not broad enough to capture an
5 asymptomatic population. That was agreed to.
6 Everybody agreed that we would add
7 outpatients.

8 DR. RUCKER: Hadn't we just added
9 CABG to the --

10 CO-CHAIR PETERSON: We added CABG
11 to a measure that was done through this --

12 DR. BURSTIN: NQF will endorse
13 measures based on different data sources if
14 it's appropriate and adds value.

15 CO-CHAIR GAZELLE: Now we are
16 considering number 11.

17 DR. BURSTIN: Number 11. Admin.
18 data over age 65 CABG only.

19 CO-CHAIR GAZELLE: Other
20 discussion?

21 DR. SPENCER: So does this add
22 value if we have the ACC data? I think this

1 is a feasibility issue. We have every center
2 in the country whether they have decided to
3 fill out the ACC forms or not. That's the
4 value getting at the same idea.

5 MR. BACKUS: This is Mike Backus.
6 I think what you're going to get is right away
7 some validation or not of how well the coding
8 works. Either the two data sets are going to
9 converge and that is all great or they are
10 going to diverge and that's not bad because it
11 will fortunately get closer to the heart of
12 the question.

13 DR. SPENCER: I'm sorry. Is this
14 time limited?

15 DR. BURSTIN: The CMS 1 is tested
16 so it's not time limited, I believe this one
17 is.

18 DR. SPENCER: I will make a
19 proposal that I had some discussion with CMS
20 during lunch and they are willing to look at
21 changing the ICD-9 criteria and rediscussion
22 of the catheterization number 2 criteria. It

1 sounds like the third criteria really won't be
2 a big issue.

3 We give them time to rewrite and
4 revote but I'm letting it die this -- it's
5 important enough to not let it die this cycle
6 because we thought the imaging thing is not
7 coming up for two more years. I guess we can
8 turn it around in three or four weeks.

9 DR. SNOW: Just leave it tabled
10 then.

11 DR. SPENCER: If they let it die,
12 then it dies. If it comes back, we revote.

13 DR. DEHN: If I could speak from a
14 developer's standpoint, I mentioned before we
15 have a smaller group in Indiana and the result
16 of that was a long list. It sounds like this
17 group wants a shorter list. We can
18 accommodate that without difficulty but would
19 like some direction from you.

20 We can certainly turn this around
21 in no time if you would authorize some of the
22 cardiologists on this to work with us we can

1 do that. I just don't want us to go through
2 developing a whole list and then it still
3 doesn't meet what this group wants and this is
4 the group that really counts.

5 CO-CHAIR PETERSON: Just for point
6 of clarification, we had an offline
7 conversation while you guys were talking. The
8 last measure that we have now approved we are
9 going to approve with time-limited even though
10 there is some degree of testing that has been
11 out there. The degree to which that has been
12 spread beyond a sort of pilot test effort
13 we'll probably need some data.

14 Joe, we'll talk to you offline but
15 the ACC will have to develop a plan for how
16 they would implement this and get further
17 testing of its applicability.

18 DR. BURSTIN: It looks like there
19 is data for liability.

20 DR. SPENCER: Can you make a note
21 that was done after the vote?

22 CO-CHAIR PETERSON: It is a single

1 modality and no CABG data. CABG has not been
2 included.

3 DR. FIESINGER: Other question.
4 This is Troy Fiesinger. On the proposal to
5 reformulate the CMS measure are we going to
6 add post-PCI to that parallel with the ACC
7 measure? There is one about changing the
8 exclusions. I would like it to be parallel if
9 we're going to try to compare, as Michael
10 pointed out, to CMS database data.

11 DR. SPENCER: This is Kirk. I
12 voted against combining them and the ACC did.
13 There are different procedures. The reasons
14 to do a stress in the two I can imagine
15 different scenarios. They are very different.

16 CO-CHAIR PETERSON: I respectfully
17 disagree. Is there any other discussion?

18 MR. BACKUS: This is Mike. I
19 think the thing would be if you could stratify
20 the CMS data between the two because the
21 question to me on the ACC data is not the
22 measure or the value of the measure.

1 It's the feasibility of the
2 measure and so to the degree that the two
3 datasets show the same thing and one has
4 virtually no cost to the practice whereas the
5 other is a manual question or something to be
6 developed, then if we see the two shake out
7 the same and the group becomes happy with the
8 asymptomatic issue, we've got the same kind of
9 measure, same dataset, and we've taken burden
10 out of the practice.

11 DR. BURSTIN: Although there would
12 still be additional work to make a CMS measure
13 work for the under-65. A research
14 recommendation would be, I think, going
15 forward you would also want to be able to get
16 the admin data to look at what they're doing.

17 MR. BACKUS: The imaging rates in
18 over 65 is so much higher. It's three to four
19 times.

20 CO-CHAIR PETERSON: Any more
21 comments on this proposal on the table which
22 is to have the measure expanded to include PCI

1 but to report it as a CABG and a PCI measure
2 separately?

3 DR. BURSTIN: That is acceptable
4 to the measure developer to consider.

5 CO-CHAIR PETERSON: So that we
6 would expand this to include PCI but we would
7 report out CABG and PCI separately.

8 DR. SPENCER: The two-year PCI and
9 not the five-year like the CABG?

10 CO-CHAIR PETERSON: Yes.

11 DR. SPENCER: On paper CTA is not
12 an issue. Right?

13 DR. DEHN: If you don't need a
14 stress test it's fine. We threw it in the ACC
15 measure.

16 DR. BURSTIN: The bottom line is
17 that we should come up with a harmonized
18 measure to look at.

19 DR. RUCKER: Don Rucker. CTA, I
20 think, also has a different behavior. If you
21 are doing it for people who have known
22 coronary disease which presumably is the case

1 for people who have had a CABG or PCI, in
2 terms of durability finding plaque, I mean,
3 we're talking that we're moving from
4 luminology kind of studies to studies that
5 actually show intrinsic wall disease.

6 In the sort of people who are not
7 known to have heart disease, it's a very, very
8 different performance and potentially very
9 different durability. Here I think it's not
10 as important honestly because you already have
11 known disease and you are really looking at
12 luminal issues more than you are presence of
13 disease but I would just throw that out.

14 CO-CHAIR PETERSON: I think you
15 wanted to get some guidance from our
16 cardiologist here.

17 DR. RUCKER: The other aspect is
18 that for a long time these were local coverage
19 decisions and they are not all the same so
20 that there is coverage in some areas of the
21 country and some there isn't and that sort of
22 differentiation and variation we thought --

1 CO-CHAIR PETERSON: Neither point,
2 I think, would change your proposal including
3 this.

4 DR. RUCKER: They would argue that
5 it may or may not be covered but who cares.

6 DR. BURSTIN: I would suggest as
7 you vote on this we'll vote with the
8 expectation that we are going to get a revised
9 measure back and take a closer look at it,
10 obviously, after discussions to make sure it
11 actually meets --

12 CO-CHAIR PETERSON: Can we vote on
13 a revised measure with this many revisions?

14 DR. BURSTIN: It's up to you.

15 CO-CHAIR PETERSON: We should
16 agree to delegate the cardiologist leads out
17 of this group that have devoted the time
18 already to coordinate and then come back with
19 something that is consistent that they can
20 recommend.

21 MR. BACKUS: I'm willing to do
22 that. I would like to be with some of the

1 people from the original group so we're not
2 missing something they were thinking.

3 DR. FIESINGER: I would not like
4 only the cardiologists involved. I want to be
5 very clear on this. One of the challenges, if
6 we take all the exclusions out it becomes, I
7 personally believe, a non-existent -- we are
8 going to be so much pushback from all the
9 cardiology community that it could be invalid
10 by the community to go to the numbers. I
11 think we need to get other people on the table
12 that can look at that as well.

13 CO-CHAIR PETERSON: I think it
14 will be up to you all to pull what parts of
15 the committee you choose to. You can choose to
16 use us as resources or not. Ultimately this
17 will come back to this committee for a revote.
18 If we want to take a straw poll now to say
19 would we be interested in revisiting it, I
20 think in general the feeling is we would be
21 interested in revisiting it, but no promises.
22 Peter or Janice or somebody, be sure to send

1 me your paper.

2 DR. BRUETMAN: Sorry if I'm not
3 catching the whole picture but we've heard a
4 lot of things that were asked and I want to be
5 sure what the expectations are because it's
6 going to be a significant level of effort for
7 us.

8 I mean, five years of data and,
9 oh, we also wanted this and that. It won't
10 turn out like discussions on exclusions and
11 rewriting the data cost a few hundred thousand
12 dollars to do it again and I don't want you to
13 say, we should have done this.

14 DR. BURSTIN: If you all would
15 write up exactly what the committee based on
16 the discussions today exactly what the
17 committee is requesting, we'll run it back by
18 the committee and then we'll send it to you so
19 you don't have to do anything until we've
20 gotten agreement from the committee that
21 that's what they want.

22 CO-CHAIR PETERSON: Okay. Moving

1 on to 17.

2 DR. SPENCER: I think we can do
3 this quickly. So the idea on 17 is looking
4 for inappropriate people to stress in a group.
5 The three kinds of people we are going to look
6 at in separate measures are initial assessment
7 of asymptomatic patients, routine testing
8 after PCI, and preoperative testing in low
9 risk surgery patients but it's not looking at
10 the rate of stress in those three groups
11 because those are all three separate measures.

12 It actually looks at the
13 proportion of test requisitions and the
14 patient's chart that documents the use of a
15 nuclear stress echo with adequate data to
16 demonstrate avoidance of the common
17 inappropriate uses. It's kind of a very
18 different measure.

19 Of people that have stress
20 disorder how many of the charts have enough
21 data in them to prove it wasn't for all these
22 three bad reasons. I would propose that maybe

1 -- well, you don't know enough to vote no
2 against it yet. The three measures are
3 already identified so we are already looking
4 at asymptomatic patients, we're already
5 looking at post-PCI patients --

6 MR. BACKUS: I don't have a 17. I
7 have two versions of 16.

8 DR. SPENCER: Me too.

9 CO-CHAIR PETERSON: I have 17. It
10 was in the documents they sent out last
11 Thursday. For some reason the bookmark is
12 wrong.

13 MR. BACKUS: Oh, the bookmark is
14 wrong, that's all.

15 CO-CHAIR PETERSON: The bookmark
16 goes to 16. Just scroll down past 16.

17 MR. BACKUS: Oh, so it's like a
18 type 1 or something.

19 DR. SPENCER: I think two reasons
20 why maybe we don't need this one is, again,
21 all three criteria are separately identified
22 as looking for overuse. The other one is it's

1 a bit of a difficult thing. If the numerator
2 is the number of charts that have data to
3 answer but the denominator, again, is already
4 patients that are post-PCI, pre-op, or risk
5 stratified or asymptomatic so, in some
6 respects if you already know the denominator
7 is a post-PCI patient, you sort of already
8 know in the numerator that it was a post-PCI
9 patient. That is why the test was ordered.

10 I mean, that's why the test was done. You
11 don't know whether it done in under two years
12 or the chart doesn't document that but it's a
13 measure I don't think we need and it's a
14 measure that I don't think the numerator or
15 the denominator are different from each other.
16 I don't know if that made any sense. It's a
17 funny measure. How many charts of patients
18 that got stresses for one of those three
19 reasons have their data to tell me that is the
20 reason why they had the test.

21 DR. GRIFFEY: It would have been
22 nice for just an indication for example.

1 DR. SPENCER: What do you mean?

2 Do I have enough data to prove that it was or
3 wasn't ordered for one of these three bad
4 reasons.

5 CO-CHAIR PETERSON: So you're
6 saying it fails on the importance.

7 DR. SPENCER: Yes. I'm suggesting
8 we go with unimportance.

9 CO-CHAIR PETERSON: Joe, you want
10 to have any comments? The issues have been
11 raised about importance. When you fail on
12 importance you fail on the measure. Do you
13 want to address that issue?

14 DR. ALLEN: Sure. We developed
15 this measure to avoid one aspect. We know
16 that as far as people can record different
17 pieces of information. I thought the easiest
18 way to gain all their measures is to just vow
19 to document anything in the chart related to
20 these issues and, therefore, the patient comes
21 up uncategorizable rather than being able to
22 assess them into one of these categories.

1 It is the point that was brought
2 out related to having the dates recorded,
3 having these different pieces of information
4 that we need for each of the three recorded,
5 things like that, so we can evaluate this. We
6 understand there are some concerns about
7 importance.

8 We feel that it will help avoid
9 people just not recording things and then,
10 therefore, doing better or looking more like
11 they aren't doing inappropriate cuts just
12 because they fail to record that data.

13 CO-CHAIR GAZELLE: This is Scott.
14 I don't think you need this at all, I agree,
15 because it's already implied if we dump any of
16 the other measures you've got to have accurate
17 data so to have a free-standing measure that
18 assess the accuracy of data without having a
19 measure that assesses the use of the exam
20 wouldn't seem to be appropriate for this form
21 in my opinion.

22 DR. SPENCER: There are also a lot

1 of legal requirements that we have to have
2 this data.

3 CO-CHAIR PETERSON: Okay. So
4 we'll vote on importance. Public comment?

5 DR. BURSTIN: No.

6 CO-CHAIR PETERSON: Okay. How
7 many vote high importance? Moderate
8 importance? Low importance? Okay.

9 Moving onto -- anyone have a
10 preference? Let's do the other ACC measure,
11 16.

12 DR. STILLMAN: This measure is
13 cardiac stress imaging does not meet
14 appropriate use criteria: Testing in
15 asymptomatic, low risk patients. We talked
16 before about how we believe this is an
17 important area and essential to improve
18 efficiency.

19 I have a list here. So in terms
20 of demonstrating high impact on healthcare and
21 citations, I gave that a C. Certainly the
22 paper supports this.

1 Opportunities for improvement, I
2 also gave it a C. There are a substantial
3 number of patients who are asymptomatic with
4 variability that could be improved upon.

5 For the outcome or evidence to
6 support the focus I think there is adequate
7 evidence. I gave that a C.

8 Moving down to 2, the numerators,
9 this is the number of stress SPEC images and
10 stress echo performed for asymptomatic low CHD
11 risk patients for initial detection and risk
12 assessment. This was done with a number of
13 exclusions and I think there are some issues
14 with this. The first issue is low risk
15 because the way the risk is being assessed is
16 by the clinician. It's an opinion. What
17 would be more appropriate here, I think, would
18 be an objective measure such as a priming at
19 risk

20 Ray Gibbons when he was here
21 earlier commented about a study that he's
22 aware of which risk assessed by a physician

1 versus an objective measure could vary quite
2 a bit -- so I think that in itself could be a
3 problem.

4 The exclusion criteria I think had
5 the benefit of providing a more uniform sample
6 but there are a number of issues, I think,
7 that are related to it. It's not always
8 clear. Patients aren't always certain about
9 what test they had so you may not get good
10 data here to begin with. That was another
11 comment that Ray had. I think there are
12 unintended consequences from the exclusion
13 criteria which we will discuss later so I gave
14 this a P.

15 The denominator is the number of
16 stress SPEC MPIs and stress echoes performed
17 so it's pretty straightforward.

18 Moving to reliability testing.

19 CO-CHAIR GAZELLE: May I just make
20 some clarifications? The denominator is an
21 exclusion that I didn't understand. It says,
22 patients without collection criteria recorded.

1 Isn't that kind of a squishy easy out?

2 DR. STILLMAN: I think that's a
3 good point. We could be explicit as we found
4 out.

5 CO-CHAIR GAZELLE: Right but might
6 not be excluding many of the patients who are
7 trying to identify it?

8 DR. STILLMAN: That's a good
9 point. The intent here is to use registry
10 data or RAV data. The reliability testing,
11 again I think there is reasonable support for
12 this so I gave that a C. For validity I also
13 gave that a C also because there is reasonable
14 support.

15 The evidence supporting the
16 exclusion criteria, I think the intention here
17 is to be certain that the patient doesn't have
18 known coronary artery disease so I gave that
19 a C. The risk adjustment outcomes, the
20 resource measures are given and there was no
21 risk assessment.

22 For identification of differences

1 in performance, C. Comparability of local
2 data sources and methods, N. There really
3 hasn't been much done. NA for disparities.

4 Usability I gave that a C.
5 Harmonization, NA. Distinctive or additive
6 value, a C. For usability I gave that an
7 overall C.

8 Feasibility. The data generated
9 is a byproduct of care processes. I also it
10 a C. For electronic sources a P. The reason
11 why I gave that a P is, again, it's not always
12 clear in electronic records what procedures
13 patients have had. It might have been done at
14 other facilities. It's going to be a bit of
15 a dirty dataset.

16 For exclusions I gave that a P.
17 Again, the importance here is to have a
18 uniform dataset. I mentioned earlier the
19 problem of having some unreliable exclusion
20 data but I think worse than that is going to
21 be the unintended consequences for it.

22 Those could be, for example, you

1 want to do a SPEC study you can't do it if the
2 patient has had a calcium score so you might
3 be inclined to do a calcium score or do a CTA
4 or some other test in order to be able to do
5 your SPEC study. I think it really has a risk
6 of driving up other testing.

7 In the end for feasibility I gave
8 it an M and my final recommendation was not to
9 approve this as written.

10 CO-CHAIR PETERSON: Okay.

11 Comments from others in the group?

12 DR. SNOW: Yes. Interesting to
13 me, it came out very similar in some ways but
14 generally more harsh. I hate this measure.

15 CO-CHAIR PETERSON: Don't mince
16 words.

17 DR. SNOW: I think there is an
18 issue here. In fact, one of the things --

19 CO-CHAIR PETERSON: So just to be
20 clear when you vote, H does not stand for
21 hate.

22 DR. SNOW: This is Snow by the way

1 for the record. A lot of my displeasure was
2 in the second item because I think it's
3 already mentioned but I'm much more worried
4 about it, the risk being done by clinician
5 estimate.

6 Ray was particularly eloquent in
7 that particular one because they did a study,
8 the Mayo they can do it much more easily than
9 in other places, in which they had clinicians
10 estimate the risk and then went back and
11 looked at the data and they found that the
12 clinicians had overestimated the risk very
13 substantially and consistently toward
14 performing with procedure. I think there is
15 an inherent moral hazard in the way this thing
16 is structured that's very hard to get out of.
17 The two really had me going.

18 There were also some issues around
19 the volume. Again, 30 cases is a low number
20 and you are going to really penalize a smaller
21 establishment. At the end of it, there were
22 several instances in which Art gave something

1 a B or a C and I would give it an M but we
2 came out no for the global assessment I think
3 for similar kinds of reasons.

4 DR. STILLMAN: Can I add
5 something? I think some of the issues here
6 can be repaired potentially so for the
7 exclusions if it could be maybe just changed
8 to no known coronary disease, no history, I
9 think that would take care of a number of
10 issues.

11 For the risk assessment if that
12 were made a quantitative objective measure
13 like Framingham I think that would address
14 issues. Whether that could be done within the
15 registry and the labs being submitted I don't
16 know. Perhaps Joe can address that.

17 CO-CHAIR PETERSON: I think Joe
18 will address this. My suspicion is that the
19 tradeoff here -- this is where we really have
20 to explain the judgment here. The tradeoff is
21 just how difficult it is to calculate these
22 things and how often they are calculated in

1 the real world which is almost none.

2 The flip of that is to say which
3 way does the bias go here? Do physicians over
4 or under code risk? We tend to overestimate.

5 DR. SNOW: Overestimate.

6 DR. GRIFFEY: Except it's all
7 retrospective data.

8 CO-CHAIR PETERSON: Right. But
9 even a stronger measure do it public or report
10 it and we will really overcode risk. I guess
11 the point being this. The only defense I
12 might come back to is to say if you actually
13 did code it and it's low risk and/or it's
14 potentially eligible for chart reviews, you
15 could get around this by not doing it and then
16 if no one met the low risk category you could
17 do chart reviews or something.

18 I don't know. Joe, I'm sorry. I
19 shouldn't speak to this. Joe, I think it's
20 perfectly reasonable to have you speak to this
21 at this time.

22 DR. ALLEN: We had an extensive

1 discussion of risk calculation when we were
2 developing this measure. You'll notice in the
3 specifications that we do say that we would
4 encourage folks and would require folks in
5 calculating their risk to use all available
6 variables that they had for Framingham meaning
7 that everything that is available to them at
8 the time when they are doing this they should
9 use that to calculate risk and to use age-
10 based and gender-based averages for those that
11 are missing. The most common ones we found
12 in the pilot were cholesterol values that were
13 missing. Our group in the substitution is a
14 month between a full risk calculation meaning
15 that the measure would actually collect all
16 the variables and then do the risk calculation
17 to verify down the other side and just having
18 the physician code for the measure the risks
19 that they calculate based on what is available
20 at the time when they are going out to do some
21 information.

22 We found the data collection a

1 burden to have folks submit every variable for
2 Framingham and then on the backend ensure that
3 was calculated properly for measure data
4 collection was both too high on the clinician
5 because they may be missing a couple of
6 variables, specifically cholesterol values,
7 and the folks that would actually be
8 calculating it that they would have many more
9 calculations but that we would do two things.
10 We would require them to use as much
11 information as was available, and then, as CO-
12 CHAIR PETERSON said, if we were to go back we
13 would say of all the variables that are
14 available in the chart was it really used and
15 do an audit.

16 Those are the two approaches and
17 we would be open if there are suggestions that
18 people feel like we need something more
19 objective that goes further but we did do the
20 tradeoff. In the pilot many times cholesterol
21 values weren't available and it took much more
22 additional time to do that and there was a lot

1 of calculation burden on the measure side
2 actually because of each one of these.

3 CO-CHAIR GAZELLE: Scott Gazelle.
4 I'm leaning towards Roger on this. I think
5 this is a terrible measure. I think it's a
6 terrible measure because, first, I do feel we
7 need a measure that gets at appropriate use of
8 MPI. Everyone agrees it's growing fast but I
9 don't think this gets at it.

10 I've been staring for the last 10
11 minutes at the numerator and I can't
12 understand it. I'm sorry. Maybe it's because
13 it's late but what I can't understand so the
14 numerator is basically the number of stress,
15 MPI, stress echo performed in low risk
16 patients with the following exclusions and the
17 exclusions go on and on and on.

18 Patients qualify for this
19 numerator if asymptomatic and low risk and not
20 any of the following and any of the following
21 are, they've had a stress echo, they've had
22 MPI, and it goes on. I can't understand who

1 could get into the numerator after all these
2 exclusions.

3 It seems like we're not getting at
4 the issue of inappropriate use of stress MPI.
5 We might be identifying one or two patients
6 who had inappropriate stress MPIS. I have
7 real problems with this measure.

8 DR. GRIFFEY: Can I comment on
9 that? Richard Griffey. If you basically did,
10 as someone suggested, and said prior history
11 of CAD or prior testing, then you would cover
12 all of those without enumerating them and that
13 would make it very interpretable. It's kind
14 of like that other -- when we listed all the
15 criteria out of the Geneva score or whatever.

16 DR. SNOW: Snow here. I don't
17 agree with that. I think that one of the
18 problems that this -- as structured, that this
19 creates is, again, you can tick off the list
20 but as you describe you would still be in the
21 situation that if you had done calcium
22 scoring, which is probably not justified, you

1 then drop off the numerator.

2 I haven't faced this before but as
3 this has come up and I've been thinking about
4 it, I think this is probably almost
5 categorically not a good idea to have
6 procedures or tests of excludable items
7 because that just encourages the guy to do
8 something he shouldn't be doing. That should
9 be a criteria. If you take the more general
10 no-known cardiac disease, well, that's a
11 little bit different.

12 CO-CHAIR PETERSON: But also
13 vague.

14 DR. SNOW: It's still vague but
15 one of the things about vague assignments is
16 that psychologists -- why do we want
17 psychologists in here? The psychologists who
18 study this say that if you ask people to give
19 you information about something they will say,
20 I can't do it. It's too vague. Of course you
21 would say, do it. Make the choice. One, two,
22 three, four, five they give a Gaussian

1 distribution.

2 CO-CHAIR PETERSON: It's pretty
3 hard for a measure, though.

4 DR. SNOW: Yeah but, I mean, vague
5 information is not necessarily bad information
6 is the point.

7 DR. GRIFFEY: Richard Griffey.
8 This is done all the time though in measures
9 where you'll say no cardiac disease asterisk
10 and at the bottom it lists the exclusions
11 without making it hard to interpret but making
12 it very usable. I don't think it's that big
13 of a deal personally.

14 DR. RUCKER: I think one of the
15 challenges here is if you look at sort of the
16 cheapness of snip chips and some of these
17 other technologies, we are extraordinarily
18 close to having multivariate very high
19 fidelity predictions of pulmonary and fairly
20 genetically determined diseases in large part
21 given some of the expression things.

22 This sort of has the smell of

1 something that's going to go away pretty
2 quickly and seem obsolete to me. As you look
3 at these things they are moving very, very
4 rapidly and then you are actually going to
5 have I think -- right now they are sort of
6 comparable to Framingham, you know, but I
7 think they are going to exceed that.

8 If you're going to use a
9 Framingham that's a big data collection
10 workflow challenge for people to start looking
11 up on charts and calculating things. I
12 thought I would just throw that out.

13 MR. BACKUS: This is Mike Backus.
14 We struggled with this issue in our pre-auth
15 process. We kind of narrowed it down to five
16 or six factors that essentially -- as compared
17 to the 12 or 13. It amounted to cholesterol
18 and diabetes, smoking, five or six to try and
19 streamline that down.

20 Then essentially what we did is we
21 assigned points to that so as you looked at a
22 patient if they were high risk depending upon

1 what the ACC guideline was they essentially
2 automatically qualified. I don't like the
3 measure because of feasibility.

4 From a clinical perspective I
5 don't see that 30-person thing as a problem
6 because what it says is just go back and look
7 at the last 30. Any institution is going to
8 have done 30 stress procedures be it MPI or
9 stress echo. I'm not sure, however, this is
10 going to go away when you look at the
11 installed base of equipment and investment
12 that everybody has, I think it will be a long
13 time until this goes away, CMS CAMEN
14 performance notwithstanding.

15 CO-CHAIR PETERSON: Try to keep
16 new comments going. I think people are
17 generally settling in on opinions here.

18 DR. CANTRILL: Steve Cantrill.
19 2(a)9 and 2(a)10 I'm concerned that basically
20 poor documentation is being rewarded by
21 excluding the patient. I think that, to me,
22 is just as bad.

1 DR. SPENCER: Four quick comments.
2 One is the stress MRI should be included. The
3 second one is I like the measure, again,
4 because this is a real problem and it's an
5 important problem, although, again, we haven't
6 solved how to measure it. As written not
7 great but, again, clearly agreeing that this
8 is a problem.

9 The exclusion criteria, let me
10 just defend those for a second. I think that
11 big long list is meant to say two things. One
12 is the first half of what you said, their
13 known history of coronary heart disease, so we
14 can just get rid of that.

15 The second half is there because
16 of the initial assessment. I'm not sure why
17 this had to focus on the initial assessment of
18 an asymptomatic patient. I mean, the second
19 time when an asymptomatic patient is being
20 assessed it's even worse than the first time.

21 DR. SPENCER: I'd love to hear the
22 logic of why you would want to focus on

1 initial assessment, but that's what the second
2 half of those tests are for because their
3 exclusions have already been assessed.

4 Lastly, again, I just don't know where
5 the data comes from. If this is coming from -
6 - I can't believe that we are going to review
7 data charts, clinic charts and requisitions.
8 Maybe I'm just naive but five things that say,
9 forty-two year old guy doing great. EKG
10 normal. Plan stress. And then the req.
11 You've got to check a box on the req and the
12 req has boxes on it. You can really submit a
13 req to your stress lab that they'll take that
14 says, none, asymptomatic.

15 DR. BURSTIN: It happens all the
16 time.

17 DR. SPENCER: Not with imaging.
18 They get EKGs. They don't get echos. I mean,
19 we don't do those. That's why I like the lab
20 measure.

21 MR. BACKUS: This is Mike Backus.
22 I can tell you from our data, and I'm sure NIA

1 would corroborate it, we have a huge focus in
2 cardiac right now as lots of people with the
3 ACC know. In stress echo, in MPI we routinely
4 see between four and five percent of the
5 requests that come in the door that after
6 discussion the physician either withdraws or
7 not. In stress echo, frankly, we see it
8 higher. When we look at the demographic data
9 of the patients, what we tend to find is that
10 MPI is ordered more on older men, stress echo
11 is ordered more on younger women. Relative to
12 the risk score or pre-test probability, MPI
13 tends to be ordered on essentially sicker men
14 and stress echo is essentially ordered on
15 healthier women. In the stress echo realm we
16 see higher withdrawal rates and stuff than
17 that so I would tell you that the ACC in their
18 pilots came up with numbers 15 percent
19 inappropriate, 15 percent questionable, 70
20 percent all good. Those are more stunning
21 numbers to me than we come up with when we do
22 pre-auth. We see it. We see it all the time.

1 DR. SPENCER: Is this fixable?

2 DR. GRIFFEY: If you just said --

3 DR. SPENCER: Do we think it's a
4 problem?

5 DR. GRIFFEY: If you just said no
6 CAD you wouldn't get at everybody but you
7 would get the tip of the iceberg. Right?

8 DR. SPENCER: Low risk and no known
9 CAD.

10 DR. GRIFFEY: And if you fix the
11 denominator, meaning get rid of the exclusions
12 for no information, would we have something
13 that we could approve?

14 DR. SPENCER: The only other thing
15 would be the assessment of low risk. How do
16 you determine low risk? It sounds like --

17 DR. SPENCER: If we demand
18 Framingham the feasibility goes to --

19 DR. GRIFFEY: The question is is
20 something better than nothing.

21 DR. STILLMAN: Although, as Eric
22 pointed out, you tend to overestimate risk

1 rather than underestimate it so it might not
2 be such a bad thing.

3 DR. GRIFFEY: If someone is
4 asymptomatic I guess they could still be
5 determined to be moderate risk.

6 DR. CANTRILL: If the doc says
7 they're low risk, yeah, maybe they're no risk.

8 DR. SNOW: This is Snow. The
9 tendency is to try to come up with something
10 that will get to the test. You've decided you
11 want the test for whatever reason. Maybe the
12 patient said he wanted the test so, okay. How
13 can I get this for you, Joe? Well, give him
14 medium risk.

15 CO-CHAIR PETERSON: Joe, in the
16 interest of time you've heard the major
17 complaints and comments about suggested
18 changes. Do you want to make some general
19 comments and then maybe we'll have you respond
20 to a few specifics.

21 DR. ALLEN: Sure. In general,
22 people are saying it's an issue and it was the

1 most frequent inappropriate indication that we
2 found in our pilot so that's one of the
3 reasons why we are putting a measure forward
4 in this area. Not to focus on it, not look at
5 a frequent inappropriate, a large percentage
6 of the inappropriate.

7 The exclusion of not recorded,
8 it's just a matter of fact that when you go to
9 do these measures some data will be missing.
10 The original PERC measure was found that if
11 you under-reported you would come out on that
12 measure poorly. That had to come out and we
13 could see that but you'll still be adding
14 those patients back in and increasing your
15 denominator making your inappropriate rate
16 look better.

17 We would still encourage you to
18 keep that exclusion in because at least your
19 denominator becomes smaller. Even if you
20 don't have the data for whatever reason, it
21 reports the true inappropriate. If you don't
22 have the data you can't report it or look at

1 it so it would just automatically fall in.

2 There is a denominator to make your work
3 better.

4 Then the reason why we focused on
5 initial was because there are concerns that
6 once you're past and you say you do have a
7 health component that is really high, you are
8 no longer in the initial risk assessment
9 period so there is a difference there and how
10 do you handle patients where you already have
11 some information? They are no longer truly
12 patients that can be assessed by Framingham
13 because you have additional data now.

14 CO-CHAIR GAZELLE: If I could
15 comment on the denominator exclusion. I think
16 what our feeling is they should not be
17 excluded from the denominator but they would
18 also then end up in the numerator as being
19 inappropriate.

20 DR. ALLEN: You wouldn't have
21 enough information.

22 CO-CHAIR GAZELLE: Right. So they

1 are inappropriate. If you don't have the
2 information, they are inappropriate.

3 DR. ALLEN: You don't know that
4 they might have been sent for some other
5 reasons that we are not tracking because there
6 are more reasons such as PCI asymptomatic
7 patients and the peri-op patients. They could
8 be symptomatic but you just don't have the
9 information.

10 CO-CHAIR GAZELLE: We would agree
11 that part of the hope here is that we are
12 documenting the reason for appropriate
13 imaging. If it's not documented, then it's
14 inappropriate. It's inappropriate imaging.
15 You got to have some reason for it.

16 CO-CHAIR PETERSON: And since the
17 public reporting of this there will be a
18 strong indication for sites to appropriately
19 document why they are doing what they're doing
20 and don't have the reason for any of it.

21 DR. SPENCER: That's the way it's
22 all done. I can talk to a patient for an hour

1 about smoking cessation. If I didn't write it
2 down, you know, I get dinged on it.

3 DR. ALLEN: So it would get added
4 back into all of the numerators for each of
5 the three measures we're discussing then? If
6 you don't have enough information, it depends
7 on how much information I guess you have on
8 which should go in but, I mean, if you have
9 very little information, their symptom status
10 or things like that, it just goes into all
11 three, or you don't have enough history on
12 PCI. That's the challenge that we have. How
13 do you add them back in and make them
14 inappropriate because you may end up double
15 counting some in the measure.

16 CO-CHAIR GAZELLE: I don't see how
17 they are double counted. So if somebody ends
18 up in the denominator for having had one of
19 the exams and they end up in the numerator for
20 having had one where there are not documented
21 indications essentially for it being
22 appropriate so it's not double counted.

1 DR. ALLEN: It would be counted in
2 this measure and the PCI measure and the peri-
3 op measure.

4 CO-CHAIR GAZELLE: We're just
5 considering one measure right now. We're not
6 voting on them as a block.

7 DR. ALLEN: Correct.

8 MR. BACKUS: Right, but he's
9 saying the same problem exists in PCI.

10 CO-CHAIR GAZELLE: But we haven't
11 approved it.

12 MR. BACKUS: PCI, I thought we did.

13 DR. BURSTIN: We already did PCI.

14 MR. BACKUS: We already did PCI.

15 CO-CHAIR GAZELLE: It goes for
16 recommendation, right? We shouldn't reuse the
17 fact that it might be also included in the
18 other one to support this measure.

19 DR. GRIFFEY: No, he's saying the
20 other way.

21 DR. BURSTIN: I think he's saying
22 the other direction.

1 MR. BACKUS: And I think he's
2 probably right but we're not talking about
3 that.

4 DR. BURSTIN: Right. We should
5 probably revisit that exclusion in that
6 measure.

7 CO-CHAIR PETERSON: Another
8 proposal I think you mentioned would there be
9 a problem in expanding this to MRI?

10 DR. ALLEN: To MRI?

11 CO-CHAIR PETERSON: Yes.

12 DR. ALLEN: No.

13 CO-CHAIR PETERSON: Okay.

14 Was there anything else on yours,
15 Kirk?

16 DR. SPENCER: I don't know that we
17 fixed the two that -- no, nothing new.

18 CO-CHAIR PETERSON: Were there
19 other things that we could -- well, I mean, I
20 guess the point is is there anything else you
21 want him to address or are we going to vote on
22 what we have? Is there anyway else to improve

1 the measure, at least on your belief, or want
2 him to address answers to why we don't have
3 something better?

4 DR. BURSTIN: I think if we
5 actually think there is something we wanted to
6 improve then, again, I think the resubmitted
7 measure should come back in and we should
8 actually vote on that because I think it's
9 probably substantially different.

10 DR. SPENCER: It might be helpful
11 to have committee discussion about the initial
12 assessment again. I mean, you give an example
13 of calcium scores. I think that is a very
14 unique situation. I mean, prior testing, that
15 gets covered because that's prior evidence of
16 CAD so that is not a good example. Right?

17 DR. ALLEN: First, I think you
18 could have a clinical stress test, which often
19 happens in exercise, the standard without
20 imaging so now you have a patient who you have
21 an initial global risk assessment but do you
22 have a non-determinant first time test?

1 DR. SPENCER: That's a code for a
2 test. What if your prior testing was normal?
3 I'm just trying to get rid of normal testing
4 on top of a normal test.

5 DR. ALLEN: We do have appropriate
6 use. We didn't know the measure around the
7 repeat testing because we didn't find in our
8 pilot that was coming up as frequently as the
9 things that we put forward. An additional
10 measure for repeat testing could be a target.

11 DR. SPENCER: So your prior test
12 was equivocal so you didn't have CAD
13 definitely. If it was normal, I just don't
14 know why a prior normal echo makes it okay to
15 get an inappropriate stress nuclear.

16 DR. ALLEN: It wouldn't.

17 DR. SPENCER: It would here.

18 DR. ALLEN: -- criteria as being
19 inappropriate. We just aren't measuring it
20 with this particular measure.

21 DR. SPENCER: Okay. It wouldn't
22 catch everybody but it would catch some so

1 that doesn't fake the number, we just lose
2 catching it. Okay.

3 CO-CHAIR GAZELLE: Everyone agrees
4 that overuse of stress imaging is a big deal.
5 I'm just not convinced this is going to catch
6 much of it so I don't think it's of value.

7 CO-CHAIR PETERSON: Okay. Any
8 other comments internally? I guess the
9 options now are two. One of them would be to
10 say we need to have substantial changes to the
11 measure that we currently have and have a
12 revote of this internally or we say, no, we
13 have enough information here. Substantial
14 changes would not change how we would count
15 the vote today and we move it forward to a
16 vote.

17 DR. GRIFFEY: Specifically the
18 changes would include the risk assessment, the
19 exclusion criteria, and this issue with the
20 denominator data.

21 DR. BURSTIN: In addition to MRI.

22 CO-CHAIR PETERSON: In addition to

1 MRI. So let's have a vote. Just to be clear,
2 the vote is substantial changes versus no, we
3 want to vote on this today. If we want to
4 vote on this today, then we will accept only
5 minor changes. I guess the MRI could
6 theoretically be put in there. Everything
7 else could be more substantial and we would
8 vote on it up or down based on that change.
9 Does that work?

10 DR. GRIFFEY: With some of the
11 other measures we've had a similar change.

12 CO-CHAIR PETERSON: Right, but
13 would there be interest to receive that?

14 DR. BURSTIN: Do you want to
15 essentially have this measure attempt to come
16 back with substantial changes which was
17 outlined and then review it at a later date or
18 not? Is it fixable?

19 CO-CHAIR PETERSON: Okay. How
20 many would vote that it's reasonable to
21 reconsider the measure after substantial
22 changes?

1 DR. BURSTIN: Okay. We're done.

2 CO-CHAIR PETERSON: Great. So you
3 are going to get the substantial changes
4 requested.

5 DR. SNOW: With no guarantee.

6 DR. BURSTIN: All of it is just
7 recommended conditions. The conditions come
8 back to you. You review it again and you
9 make --

10 CO-CHAIR PETERSON: You would have
11 to resubmit and say, we don't care to do that.
12 Okay.

13 Next. Two more. Okay. We are
14 at --

15 DR. SPENCER: We could clear out
16 the ACC.

17 CO-CHAIR PETERSON: Yes, clear out
18 the ACC and let's get Joe done.

19 DR. FIESINGER: This is Troy
20 Fiesinger. I'm got the pre-op ACC and then
21 Don is doing the pre-op CMS.

22 PARTICIPANT: Could you give us a

1 number, please?

2 DR. FIESINGER: It is 14-10.

3 That's what we're on now. They are very
4 similar I'll warn you ahead of time. For this
5 measure it is essentially looking at cardiac
6 stress imaging in pre-op evaluation of low
7 risk surgery patient. For example, cataract
8 surgery, there is a long list of others,
9 endoscopy, so on and so forth. Something I do
10 every week doing these kind of clearances in
11 the office. So the numerator is number of
12 stress echo cardiograms, SPECT MPIs in low
13 risk surgery patients as part of preoperative
14 evaluation. That's your numerator. Your
15 denominator is the number of SPECT MPIs stress
16 echo cardiograms performed overall.

17 The second measure has a different
18 denominator that looks at the same issue in a
19 different way. So to go through it item by
20 item, 1(a). High Impact. I gave it an M as in
21 Martin and the question I have is overall
22 volume of these procedures as a percentage of

1 the total number of SPECTs and echos because
2 I very rarely ever send any of these low risk
3 patients on for additional imaging. If they
4 do, they've got symptoms or have very low MET
5 scores or things like that. That's what I was
6 asking you earlier for overall prevalence
7 data.

8 CO-CHAIR PETERSON: Joe, I don't
9 know if you want to give this as well.

10 DR. ALLEN: Sure. The quick
11 answer, it is variable by institution. Some
12 institutions have a high volume of this and
13 have an issue with this. Others don't based
14 on the referral pattern so there would be
15 variability both on referral patterns but also
16 in the amount of time that they've been
17 productive. In some cases there will be a
18 very low volume of peri-op cases. In other
19 cases it was the number 1 and number 2 issue.

20 DR. FIESINGER: In terms of
21 overall inappropriate SPECTS and echos how
22 does it compare to the asymptomatic patients

1 getting and opposed CABG or PCI patients
2 getting them. How big a slice of the pie is
3 this piece?

4 DR. ALLEN: Again, it depends on
5 the institution. For some it was the biggest
6 piece of the pie. Others it was the smallest
7 piece of the pie.

8 DR. FIESINGER: From the
9 nuclear --

10 DR. ALLEN: In the top four.

11 DR. FIESINGER: Of a percentage of
12 inappropriate studies the asymptomatic low
13 risk CHD was 45 percent, the post-PCI was 24
14 percent, the pre-op was 3.7 percent.

15 DR. ALLEN: In our pilot we had
16 all outpatient cardiology practices so we
17 didn't tend to deal as much with hospital-
18 based referrals from anesthesiologists so it
19 was very low in our pilot. Other studies have
20 it much higher when they had a closer
21 association of the lab with anaesthesiology
22 especially in outpatient and hospital time.

1 DR. FIESINGER: My past experience
2 is low risk surgery patients generally we're
3 doing in the outpatient setting. What I'm
4 doing is based on the hospital is high risk
5 surgery or intermediate risk surgery and a
6 high risk patient. This seemed to stratify
7 pretty starkly but thanks for the information.

8 For 1(b), Opportunity for
9 Improvement, I gave this an M also and that
10 ties into the low overall percentage of this
11 inappropriate test in view of all the tests.

12 In terms of 1(c), Relationship to
13 Outcomes, I agree the evidence says these
14 shouldn't be done and the standard should be
15 zero so I did give that a C.

16 When you come to the end it was
17 Threshold Criteria of Importance Met. I
18 hesitate to say yes based on the overall
19 prevalence. If we are going to drive this to
20 zero is that going to impact the overall
21 problem as much as driving the other numbers
22 to zero in the asymptomatic patient as opposed

1 to a CABG patient. I personally think it
2 should never be done. I agree completely but
3 I'm not sure this is where efforts should be
4 focused.

5 Do you want me to stop there or
6 keep going?

7 CO-CHAIR GAZELLE: Vote on it.

8 CO-CHAIR PETERSON: I think that we
9 need discussion.

10 DR. FIESINGER: I welcome other
11 people's opinions. I'm really on the fence.
12 Personally I think one thing we're looking
13 societally and then towards the healthcare
14 system. I hesitate.

15 DR. RUCKER: One additional data
16 point on the 10 things that Troy and I talked
17 about was that in the numbers on the CMS on
18 the Lewin Group data, I think the average --
19 they were saying there is a big issue on what
20 exactly the mix of low risk is because there
21 are two very different definitions of low risk
22 in these two measures. The incidence of the

1 study in low risk was .005 on average so that
2 would be one in 200 Medicare patients as I
3 understand the math in the Lewin analysis are
4 getting that, the top 1 percent. The heaviest
5 users I think are roughly one in 40 so you are
6 plowing through a lot of old folks who
7 presumably, if any of them have any kind of
8 sort of little symptoms, especially when you
9 consider that over the 30 days what Troy
10 looked at was 60 in 30 days. If you are 65 to
11 85 you have -- roughly if you live 20 years on
12 average into Medicare you have 240 month-long
13 cohorts of 30. If you have one stress just in
14 general, you are basically at .005 as the rate
15 even skipping any surgery or relationship to
16 surgery. Some of these numbers look, at least
17 by this, look to be very low. I think neither
18 of them had risk stratification of the patient
19 as opposed to risk stratification of the
20 procedure. I would just throw that out.

21 CO-CHAIR PETERSON: It's
22 interesting. I was just looking up some of

1 the references that were given, the Mayo study
2 done by Dr. Gibbons. It appears, unless I'm
3 misreading this, that other than asymptomatic
4 testing in an asymptomatic population, low
5 risk population, that pre-op testing was the
6 second most common and very close in its order
7 of magnitude. Of the inappropriate testing
8 this was the big one.

9 DR. RUCKER: So that's the Mayo.

10 CO-CHAIR PETERSON: That's the
11 Mayo clinic. I can find a few more here.

12 DR. BURSTIN: So you're saying
13 it's a big problem potentially?

14 CO-CHAIR PETERSON: I'm thinking
15 according to this it is. I think actually
16 pre-op testing in general is one of the big
17 abuse areas that could be cut back pretty
18 easily.

19 DR. RUCKER: So then we should go
20 on.

21 CO-CHAIR PETERSON: Mostly the
22 issues of people not being eligible by the

1 pre-op guidelines.

2 DR. BURSTIN: This is also
3 probably a pretty significant bias in
4 generalists versus specialists so I think if
5 you're at a cardiology practice versus a
6 primary care practice, the access and the
7 likely utilization of these tests is very
8 different.

9 CO-CHAIR PETERSON: Everybody in-
10 house gets tested because we feel they sent
11 them to us for pre-op evaluation.

12 DR. GIBBONS: This is a very
13 serious problem because the number should be
14 zero. I'm torn a bit because I see the
15 problems you are talking about here but having
16 a measure as a starting point for management,
17 we don't have it. We all know it's out there.
18 An interesting point that it seems to vary a
19 great deal from institution to types of
20 institutions. I think that is important. It
21 probably varies across other elements or
22 domains of this section, too.

1 MS. ZERZAN: This is Judy. I
2 think one question would be this measure
3 versus the CMS measure. I think something has
4 to be done in this area, I totally agree. One,
5 both, who knows is the question.

6 DR. FIESINGER: We have that
7 question, too. We'll bring that up at the
8 end. I'm going to continue this.

9 So we go to 2(a) Measure
10 Specifications. We already talked about the
11 60-day time period, ACC folks thought that
12 replicated 12 months. Initially I was happy
13 with the numerator being just factoring in PCI
14 but I do need to add stress MRI. That is a
15 question.

16 DR. SPENCER: I can't imagine
17 anybody doing a CTA for pre-op assessment but,
18 okay.

19 DR. FIESINGER: For the
20 denominator the same issue. They have the
21 same exclusion, insufficient data. I would
22 add those patients back in considering

1 previous discussion.

2 In terms of testing and analysis I
3 gave it a N because it said no direct
4 reliability testing done. This is 2(b). I
5 don't think that is a make or break but it
6 sounds like more testing should be done with
7 this measure.

8 2(c) I gave it a C. To me it's
9 clear in the evidence that you shouldn't do
10 this. Summary of evidence supporting the
11 exclusions is 2(d). I think we need to kick
12 out the inadequate data and exclude them so I
13 gave it an M based on that.

14 In terms of 2(f). I gave it a P
15 with a little bit of a question. The answer
16 is probably with a wide variability maybe
17 there are some high outliers we can chase to
18 get corrective behavior so I'm okay with that.

19 One thing I threw in there, too,
20 is there was not risk stratification of
21 patients. Risk stratification was solely
22 surgery type which is a standard question.

1 When I do this I'm doing it at least by risk
2 index, I'm asking other questions from the
3 guidelines. What are your thoughts about
4 patient risk being put into this or why did
5 you leave outpatient risk?

6 DR. SPENCER: I mean, for minimal
7 risk surgery patient risk doesn't enter in.
8 You just can't have unstable angina or
9 unstable arrhythmias being put in with heart
10 failure. Basically you have an acute MI and
11 MBT or heart failure you don't have to risk
12 assessment in the new guideline. You don't
13 even get into the mets if it's low-risk
14 surgery. That's what one of the changes was.
15 Low risk is low risk.

16 DR. RUCKER: None of that is
17 actually asked in either of these. So, you
18 know, active angina or something is not --

19 DR. SPENCER: Right. The
20 patients --

21 DR. RUCKER: -- or assurance of --

22 DR. SPENCER: Whatever the table

1 of guidelines is.

2 DR. RUCKER: Which wouldn't be a
3 problem except for the fact that you have
4 these very low Ns, one in 200. You can
5 certainly manage the one in 200 in elderly
6 patients that are having some kind of active
7 cardiac.

8 DR. SPENCER: All the things on
9 that list is grossly inappropriate to send
10 them to a stress test, too. So that's why it
11 kind of doesn't matter for this measure.
12 People with pulmonary heart failure, MBP,
13 probably shouldn't go for a stress test.
14 Probably shouldn't go to the stress lab
15 either.

16 DR. FIESINGER: Okay.

17 DR. SPENCER: It's a new measure,
18 you know.

19 DR. FIESINGER: We'll go to number
20 3, Usability. I gave it a P. That's probably
21 based on my question about the prevalence.
22 For 3(a), rather, I gave that a P.

1 3(b), harmonization, I gave that
2 an M. To me the issue is the CMS measure
3 which is extremely similar and we need to
4 address that. 3(c), the Singular Additive
5 Value, I gave it a P. It's the same issue.
6 There is a companion measure that is very
7 similar.

8 Overall I would P. We have to
9 address the issue of the second measure and
10 how to handle this. In terms of Feasibility,
11 4(a), I gave it a P. 4(b) I gave it a P but
12 it's going to require a paper data capture
13 tool, at least by what I saw here. That could
14 be a bit of an issue if that's how you propose
15 to do it. So I would wonder if it can be done
16 solely by administrative data. Overall
17 Feasibility I give it a P.

18 Overall recommend for endorsement,
19 I think I would put that to a vote. It's the
20 prevalence question that just sticks. In
21 principle I agree with driving this to zero
22 completely.

1 CO-CHAIR GAZELLE: Okay. Comments
2 from the group?

3 Helen, maybe you can address this.
4 I still have problems with this 60-day
5 sampling period as opposed to a whole year.
6 I mean, it seems that most of these measures
7 are burdensome and, yet, most of them we
8 require a year's worth of data. Is there a
9 precedent in the NQF for other measures that
10 have sampling?

11 DR. BURSTIN: It's whatever
12 sampling frame is appropriate as long as it's
13 justified.

14 CO-CHAIR GAZELLE: Are there other
15 measures that are readily chosen?

16 DR. RUCKER: Was it 60 days or 60
17 days within surgery? I thought it was 60 days
18 within surgery as opposed to 30 days within
19 surgery.

20 PARTICIPANT: For the ACC it's a
21 60-day sampling period.

22 DR. RUCKER: Okay. I missed

1 surgery.

2 CO-CHAIR GAZELLE: It's 60-day
3 sampling period. Select a starting month;
4 January, March, May, etc. Begin a 60-day
5 sampling period and you must have at least 30
6 stress echoes or SPECT orders. It's fairly
7 low.

8 DR. BURSTIN: It's a reasonable
9 number to measure that.

10 CO-CHAIR GAZELLE: But it's a
11 fairly low threshold to get 30.

12 DR. GIBBONS: But these pre-ops
13 are the rates you're talking about?

14 CO-CHAIR GAZELLE: Thirty
15 numerator events.

16 DR. BURSTIN: That's a lot. It's
17 huge.

18 DR. GIBBONS: Unless you have some
19 real outliers for ordering it on every
20 cataract or every colonoscopy you're not going
21 to get that.

22 CO-CHAIR GAZELLE: Other comments?

1 DR. SPENCER: This is Kirk
2 Spencer. I think we let them off the hook too
3 easy. I would like to hear the justification
4 on the pre-op. Apparently if you put any
5 other indication for the stress you don't get
6 in the numerator or you don't get -- yeah, you
7 don't get counted at all.

8 You have pre-op and you check the
9 box that says remote history of CAD or
10 abnormal EKG this measure doesn't count you.
11 They should count. I don't care what other
12 symptoms you have. If you're going for a low-
13 risk surgery and you are being pre-oped there
14 is nothing else you can check on the box that
15 makes it appropriate I don't think. Is there?
16 ACC?

17 CO-CHAIR GAZELLE: Joe, can you
18 respond?

19 DR. ALLEN: Sure. There is no
20 other reason as recorded for the imaging
21 because if you have a high pre-test
22 probability patient who would have otherwise

1 qualified now, you know, this comes to a
2 feasibility issue. Can you get the additional
3 data? We did in this case leave it more
4 vague. There are other reasons that a patient
5 could show up that would be a justification
6 for the test.

7 DR. SPENCER: There are some other
8 reasons to have a stress test coincidentally
9 30 days before your surgery but I thought I
10 read that literally is what the requisition
11 said. The requisition says pre-op and
12 abnormal EKG. Right? You're saying they let
13 the people off the hook who are trying to --
14 then don't check the pre-op box. Just check
15 the box that says abnormal EKG. That's my
16 point. You're checking pre-op on the box,
17 then you need to make sure it's valid. Maybe
18 that's an education issue. People see their
19 score is high and they say, I better just
20 check chest pain and not check the pre-op box.
21 Right? That's what I'm getting at. They say
22 pre-op. Shouldn't we ding them?

1 DR. ALLEN: The primary reason is
2 just not record peri-op, that's it.

3 DR. SPENCER: Well I second that, I
4 don't care.

5 CO-CHAIR PETERSON: Just as a
6 clarification of the sixty days, it's not the
7 numerator. It's the denominator and so given
8 the rate you could get to 30 stress SPECTs
9 just because of sampling because the rate is
10 so low.

11 DR. CANTRILL: Isn't that true of
12 the previous one, too?

13 PARTICIPANT: I had a problem with
14 the previous one, too, but we didn't like the
15 previous one for other reasons.

16 CO-CHAIR PETERSON: So, to
17 clarify, on both of these we would want to
18 have notes to sample the year. Were there
19 other comments on this one?

20 Joe, you haven't spoken yet since
21 some of the issues that you heard were on the
22 table. Do you have more things to say?

1 DR. ALLEN: I've heard a lot about
2 the volume and whether or not this is an
3 issue. I've spoken to that and there has been
4 a number of comments from the table. Most of
5 the other comments were -- stress MRI, CT.

6 DR. RUCKER: Joe, the one question
7 -- Don Rucker -- was your definition of low
8 risk in this measure, and not in the CMS
9 measure, is 1 percent MRI or mortality rate?
10 To me that seems like a pretty high -- my
11 understand is that well-done CABGs have a
12 mortality rate now under 2 percent so I'm
13 thinking 1.9 percent in some procedure, let's
14 say, morbidity, mortality rate is actually
15 fairly high risk procedure.

16 That part of the definition seemed
17 a little -- it seemed like there would be some
18 better definition for that or some
19 clarification. In part this is motivated by
20 knowing that on the CMS list, there is a very
21 heterogeneous list of procedures with orders
22 of magnitude difference and risk on that list.

1 DR. ALLEN: The definition is
2 taken from the guideline. That is what we
3 chose, based on the guideline literature, to
4 support the guideline. There were other data
5 to support the different kind of points. We
6 could go into it further, but that's why we
7 chose --

8 DR. RUCKER: I mean, general
9 anesthesia was very proud of themselves when
10 they went from one in 10,000 mortality to one
11 in 30,000 when they instituted pulse ox just
12 to put one in a 100 or, if you will, whatever
13 that is, 110,000 into perspective in terms of
14 risk.

15 CO-CHAIR PETERSON: I think it's
16 very unclear.

17 DR. FIESINGER: Quick question I
18 didn't ask earlier. Is sinus testing an issue
19 or is this all tests done anywhere meaning the
20 patient imaging department versus a free-
21 standing facility?

22 CO-CHAIR PETERSON: This is

1 anywhere.

2 DR. FIESINGER: The CMS data we
3 looked at shows decline in testing use in
4 hospitals outpatients.

5 CO-CHAIR PETERSON: So the options
6 here, and we're at a juncture point unless
7 there are further comments, we can either
8 break now and review the CMS measures which is
9 the same topic but a different dataset in a
10 slightly different variable and then vote on
11 both. Or we could have this one revised and
12 brought back to the group. I don't think we
13 are ready to vote on this. What would your
14 pleasure be?

15 MS. ZERZAN: CMS.

16 CO-CHAIR PETERSON: CMS? Okay.

17 DR. RUCKER: Let me say very
18 quickly since I think we discussed everything.
19 Impact, I think we discussed that at length.
20 I would say that is sort of a P. SPECT in and
21 of itself is clearly an issue. The pre-op I
22 defer to the numbers that were cited.

1 1(b) Opportunity for Improvement,
2 I would put that as a partial too. Again, my
3 concerns here were in the Lewin Group analysis
4 the rate that they cited, .005, you know, as
5 a ratio of pre-op past here to low-risk
6 surgery that one in 200 patients. I just
7 wonder when you have something that is one in
8 200 so 199 people aren't doing it and one
9 person is doing it I just wonder what -- I
10 think there may be some reasons that are quite
11 rational as opposed to just --

12 DR. BURSTIN: Was the analysis
13 again limited to only hospital outpatient
14 departments on this one? So it would
15 significantly expand the numbers if you went
16 beyond hospital outpatient departments I
17 assume. Right?

18 DR. RUCKER: It's just a ratio.

19 DR. BURSTIN: It's still only
20 including hospital outpatient.

21 DR. RUCKER: Okay. All right. We
22 talked about that, 1(c), Outcome or Evidence

1 Support Measure. I was unclear about that.
2 I guess I have to give it an N. As far as I
3 can tell from the references here there wasn't
4 any actual research cited. These were, as far
5 as I can tell, all guidelines so this was a
6 series of various guidelines.

7 DR. BURSTIN: Most of our evidence
8 is based on guidelines. That's actually quite
9 appropriate.

10 DR. RUCKER: Okay. All right. So
11 they were based on guidelines.

12 DR. BURSTIN: High quality
13 guidelines, our stock in trade.

14 DR. RUCKER: Okay. If that is your
15 stock in trade you've got more stock.

16 On the threshold importance, I
17 guess if we take the numbers that Kirk gave it
18 was probably met. On 2(a), Measure
19 Specification, this was a pretty
20 straightforward measure. It's declined. The
21 numerator, number of stress echoes SPECT MPI
22 and stress MRI studies performed at the

1 hospital outpatient facility in the 30 days
2 preceding low-risk non-cardiac surgery.
3 That's pretty crisp. The denominator, is also
4 relatively crisp. The number of low-risk non-
5 cardiac surgeries performed at the hospital
6 outpatient facility. Just to point out, there
7 is no risk stratification of the patients
8 whatsoever including even the active MI after
9 heart failure. The way that the denominator
10 is done here --

11 DR. BURSTIN: They are all low
12 risk, so we have already --

13 DR. RUCKER: No, no, no. The
14 surgery is low risk. The patient risk is
15 totally unspecified. They could be one second
16 from, you know, demise.

17 Did the denominator detail? 2(a)8
18 is several pages of procedures most of which
19 are, you know, upper and lower endoscopies,
20 some ENT procedures, some opto procedures.
21 There are some interesting things in here. I
22 don't know if vascular endoscopy is.

1 Some of these procedures seem
2 pretty high risk. For example, there is
3 laposcopic gastric bypass with Roux-en-Y. If
4 you are getting a gastric bypass procedure
5 with an Roux-en-Y, that strikes me as
6 different than an endoscopy for a nasal
7 cauterization which is also in the same risk.

8 DR. SPENCER: You realize you're
9 450 pounds if you're having that done.

10 DR. RUCKER: Right. Exactly.
11 This is an assigned mix by what is given here
12 different than just the 1 percent or 1 to 5
13 percent ratio.

14 Some other things; pyelotomy. One
15 of the very lowest rhinoscopy, epistaxis
16 control which I think is a swizzle stick
17 endoscopy which I generally don't do under
18 general. Those are the procedures. Risk
19 adjustment we talked about. No risk
20 adjustment.

21 2(b), Reliability testing. I
22 think here there is the same text that shows

1 up in a lot of these which is minimum case
2 counts were developed to ensure 98 percent
3 confidence level. Case counts requirements
4 range between 31 and 67.

5 Again, the echo raised comments, I
6 don't understand the statistical validity of
7 requiring 31 to 67 patients when you have
8 something that averages one in 200 and the
9 worse case, the absolute worse case, I think,
10 was cited as the top worse 1 percent was
11 around one in 40 or one in 35. Those numbers
12 seem off to me just from a raw power
13 conclusion.

14 2(c), Validity, I think, you know,
15 the claims as claims are -- I would say that's
16 a C. Exclusions there are essentially none so
17 I made that NA. Risk adjustment we talked
18 about is the end. 2(f). Identification of
19 Difference in Performance, again, is the same
20 issue with the number.

21 2g, Comparability, NA.
22 Disparities, NA. Overall scientific

1 acceptability because of the issues and the
2 risk I would say that is an M. On the
3 usability I thought other than the risk
4 stratification I thought it was a P so that
5 would work.

6 Harmonization, obviously Troy
7 discussed. Distinct added value, again,
8 that's sort of the same as Harmonization.
9 Feasibility, I would say those are all Cs to
10 me other than 4(d) because I think it's pretty
11 feasible to do off the claims data for
12 Medicare. I think that is a very
13 straightforward thing. That risk procedure I
14 would make it a P on 4(d). The other is 4(d),
15 also a C. I guess that's all I have to say.

16 CO-CHAIR PETERSON: Okay. We have
17 an issue. We are going to lose two more of us
18 now. I've got a 4:40 flight.

19 DR. SMITH-BINDMAN: Have you
20 thought about importance?

21 CO-CHAIR PETERSON: I guess the
22 question is we could vote about importance.

1 How many think that this measure meets
2 importance?

3 PARTICIPANT: Just this one or the
4 two?

5 CO-CHAIR PETERSON: Just the one.
6 I think it's going to make importance
7 unfortunately. I don't think it's going to be
8 dinged on that. It's going to need to go to
9 question and I think we need to have another
10 call to finish this and the other measure. It
11 would be unfair to CMS not to and we could
12 invite them and ACC both on that call.

13 (Whereupon, at 3:15 p.m. the
14 meeting was adjourned.)

15
16
17
18
19
20
21
22

A				
abbreviated 6:2 146:15	262:3,17 275:16 275:18,20 284:11 289:20 291:16 303:12	added 57:18 60:11 97:1 227:8,10 268:3 302:7	288:16 adopted 37:22 47:21	221:19 224:16,18 227:5,6
ability 133:12 138:19 153:14 154:17 169:16	accept 29:20 190:18 196:4 210:1 274:4	adding 180:4 217:5 265:13	adult 13:11 adults 48:16 64:3 81:18 82:3 132:5	agreeing 260:7 agreement 12:16 62:7 202:21 237:20
able 26:13 58:14,15 78:8 98:20 186:9 193:20 200:5 215:14 216:17 226:16 232:15 241:21 248:4	acceptability 47:10 54:21 55:9,22 56:16 95:6 114:7 119:18 122:17 186:3 225:20 302:1	addition 61:14 273:21,22	advantage 200:14 advice 22:6 advising 156:4 affect 109:7	agrees 254:8 273:3 ahead 4:22 130:2 276:4
ABN 108:22 109:8	acceptable 25:20 38:20 88:21 132:18,20 154:2 209:11,15 233:3	additional 16:17 59:16 110:21 122:8 133:4 203:4 216:10,11 232:12 253:22 266:13 272:9 277:3 280:15 292:2	afterward 188:9 age 13:11 95:9,22 97:13,16 98:10 105:3,15 115:8 131:10 132:3 227:18 252:9	aim 82:14 152:21 airplane 13:16 algorithm 13:17 18:16 19:3,9,10 algorithms 12:10 ALLEN 197:22
abnormal 85:8 119:2 158:5 162:4 162:14 163:8 169:17 291:10 292:12,15	accepted 57:14 164:6	additive 15:15 247:5 288:4	agency 208:12 agenda 145:18 age-stratifying 209:21	198:2,15 200:8,19 202:1 203:2,18,22 204:17,20 205:8 205:20 206:6,16 207:20 212:8,15 213:8,21 214:7,13 215:20 216:9,18 217:15 218:4,15 219:11 222:5,8,14 222:19 223:21 226:17 241:14 251:22 264:21 266:20 267:3 268:3 269:1,7 270:10,12 271:17 272:5,16,18 277:10 278:4,10 278:15 291:19 293:1 294:1 295:1
abnormality 130:7 131:19	access 283:6	address 9:21 15:1 16:4 24:16 87:4 121:2 133:9,16 143:11 241:13 250:13,16,18 270:21 271:2 288:4,9 289:3	aggregate 135:11 aggregated 217:20 220:1	allow 76:5 103:15 120:2 145:19 198:14
ABNs 108:16,22 109:2,18	accommodate 4:5 229:18	addressed 14:14 addresses 208:9 addressing 101:1 adds 227:14	aggregates 223:4 aggregating 223:22 aggressive 110:5 ago 35:13 169:18 195:4 198:8 201:10	allowed 72:11 156:11
above-entitled 182:8	account 128:16 165:13	adequate 64:7 108:21 238:15 244:6	agony 176:8 agree 36:10,18 39:5 52:19 59:3 62:13 62:20 77:14 78:13 80:7 85:15 86:14 86:17 98:4 100:9 102:9 131:9 144:7 160:19 161:5 162:18 171:22 192:12 194:9 195:6 201:9 214:20 225:7 235:16 242:14 255:17 267:10 279:13 280:2 284:4 288:21	allows 127:10 alones 200:7 Alright 112:16 altadontoids 66:3 alter 119:10 alternative 174:7
abscess 120:8 125:20 126:1,2	access 283:6	Adjoin 3:19 adjourned 303:14 adjusted 58:2 94:7 139:16 181:22 182:1	ago 35:13 169:18 195:4 198:8 201:10	
absence 56:18	accommodate 4:5 229:18	adjusting 128:7 adjustment 14:19 94:20 120:12 121:17 246:19 300:19,20 301:17	agony 176:8 agree 36:10,18 39:5 52:19 59:3 62:13 62:20 77:14 78:13 80:7 85:15 86:14 86:17 98:4 100:9 102:9 131:9 144:7 160:19 161:5 162:18 171:22 192:12 194:9 195:6 201:9 214:20 225:7 235:16 242:14 255:17 267:10 279:13 280:2 284:4 288:21	
absolute 61:4 149:20 301:9	account 128:16 165:13	admin 227:17 232:16	agreed 92:21 189:20 194:15	
absolutely 29:11 42:10 60:13 65:6 92:9 98:22 208:20	accuracy 242:18 achieve 22:3 71:18 acquisition 131:1 acquisitions 155:1 ACR 62:14	Administrative 4:10		
absorbed 124:5	act 28:9 active 286:18 287:6 299:8	administrative 120:14 124:4 149:7 158:1		
abstention 91:10	actual 65:19 212:20 298:4			
abstraction 169:15	acute 48:16 81:18 82:3,8 83:10 130:16,18 286:10			
abuse 282:17	add 16:19 36:16 61:14 151:19 152:5 176:9 177:6 194:8 217:6,7 227:6,21 231:6 250:4 268:13 284:14,22			
academic 97:17				
Academy 90:6 118:5				
ACC 147:14 173:16,21 177:12 177:19 183:8,18 199:22 218:13 227:22 228:3 230:15 231:6,12 231:21 233:14 243:10 259:1				

183:10,13	annual 150:8	80:16 81:4,7	66:5 82:12 90:14	assigned 258:21
ambiguous 8:11	annually 150:4	171:19	95:17 104:1	300:11
amendment 214:21	annum 116:3	approaches 253:16	109:20 147:11	assignments
American 10:13	answer 103:12	appropriance	149:17 178:1	256:15
82:6 84:5 90:6,22	108:16 208:19	62:15	190:3 243:17	association 278:21
102:22 103:6	215:14 240:3	appropriate 5:18	265:4 284:4	assume 33:16 34:2
104:19 118:4,5,22	277:11 285:15	7:12 15:7 48:15	areas 5:8 122:1	161:10 196:11
147:8,15	answered 173:10	49:5 50:15,16	151:10 152:18	202:11 297:17
amount 53:18	answering 74:15	64:2 73:1 75:17	153:3,17 163:19	assuming 138:4
185:10 277:16	answers 271:2	81:17 82:2 96:9	189:14 190:6,7	142:15
amounted 258:17	anticipate 223:18	99:17 100:16	234:20 282:17	assurance 286:21
anaesthesiology	223:19	101:7 107:5	argue 180:20 235:4	assure 196:15
278:21	anybody 146:10	117:21 118:9,9,14	Arizona 168:12	asterisk 257:9
analogous 183:15	162:20 200:10	118:14 120:20	arms 226:12	asymptomatic
analysis 55:11	214:20 218:16	130:9 184:3,21	arrhythmias 286:9	132:15 149:5
94:10 102:5	226:9 284:17	185:15 189:11	arrive 181:21	158:1 160:2 161:1
120:18 158:19	anymore 12:1	195:5 202:2 203:1	Art 196:12 249:22	161:11,13 162:8
180:7 281:3 285:2	133:3	214:4 221:1	arteriogram 32:9	164:1 173:22
297:3,12	anyway 22:14 45:3	227:14 242:20	artery 148:12,20	174:15 175:3
analyzed 205:12	52:4 53:5 174:20	243:14 244:17	149:6,12 150:22	177:15 184:9
and/or 25:10 200:3	270:22	254:7 267:12	246:18	185:4 188:1,9
251:13	AP 66:2	268:22 272:5	Arthur 2:13 153:16	189:17 190:4
anecdotal 67:15	apart 41:6	289:12 291:15	article 77:22 90:8	192:21 193:4
anecdotally 32:4	APO 66:3	298:9	ASAB 90:7	195:11,19 196:10
anesthesia 295:9	apologize 41:17	appropriately 58:2	aside 11:18	197:12,12,15
anesthesiologists	88:7	70:13 267:18	asked 113:6 169:18	202:22 203:21
278:18	apparently 43:10	appropriateness	215:13 237:4	205:14,18 208:14
angina 286:8,18	65:11 291:4	116:10 147:17,22	286:17	216:22 227:5
angiogram 8:7	appears 282:2	148:4,9,14,17	asking 73:19 110:5	232:8 238:7 239:4
28:15 32:9 36:13	applicability	149:4 171:12	224:2 277:6 286:2	240:5 243:15
40:3,11,16 75:11	230:17	181:17 189:18	aspect 77:8 234:17	244:3,10 254:19
angiograms 35:22	applicable 70:16	192:18 193:9	241:15	260:18,19 261:14
151:16 166:7	70:16 120:12	201:12	aspersions 140:20	264:4 267:6
angiography	121:18 122:15,16	approve 155:9	assess 241:22	277:22 278:12
149:14 160:17	123:3,4 169:5,7	230:9 248:9	242:18	279:22 282:3,4
angioplasties	application 149:21	263:13	assessed 244:15,22	ATC 199:1
203:13	applied 54:14	approved 230:8	260:20 261:3	Atraumatic 81:18
angioplasty 188:2	applies 127:4	269:11	266:12	82:3
188:18 189:5,9	apply 10:13 62:22	Arday 109:16	assesses 242:19	attempt 99:7
190:10 194:11	63:3 135:9 193:14	128:14 134:5,18	assessment 22:8	100:13 109:21
201:14 202:20,21	applying 62:21	135:3,9 136:18	76:6 238:6 244:12	147:18 148:11
203:15 204:16	131:15 148:8	137:12,14 138:17	246:21 250:2,11	274:15
209:17 211:5	appointment	139:3,5,17 141:7	260:16,17 261:1	attempts 98:19
222:15	221:13	141:7,16 156:12	263:15 266:8	175:4
ANN 2:20	appreciate 156:3	156:19	271:12,21 273:18	attention 12:20
announcement 5:2	approach 21:21	area 9:9 15:16	284:17 286:12	at-risk 164:2
5:4	40:19 80:8,10,11	16:22 17:1 38:12	assessments 62:13	audit 110:4 253:15

August 87:20	139:14 155:18	ballpark 19:1	32:21 53:13,20	97:3 142:17
authority 65:13	157:4 161:9	Baltimore 157:4	72:14 82:10,12	191:11
authorize 229:21	173:10 174:7	base 84:3,17	132:10 198:12	biggest 58:21 278:5
authors 62:20 88:3	176:2 180:12	141:21 259:11	199:14 200:12	billing 34:10 41:12
automatically	188:5 194:20	based 11:10 19:9	202:5 228:16	Bindman 133:8
259:2 266:1	195:21 208:21	31:10 50:2,2,3	236:7 243:16	bit 4:4 29:12 34:16
availability 9:6	212:20 215:15,16	65:3 69:4 77:9	261:6	35:8 61:18 62:4,6
12:7	219:12 220:8	84:4,13 87:2 88:1	Bello 1:14 52:8,12	83:17 84:16 100:3
available 50:6	221:21 224:3	93:18 112:1 133:1	59:14,15 60:7,12	152:15 164:3
72:13 149:19	225:7 226:20	139:12 153:8	60:14 68:4,4 69:4	169:8 184:18
153:18 187:9	229:12 235:9,18	175:6 177:2	72:6 74:7,7,14	198:11 226:18
252:5,7,19 253:11	236:17 237:17	180:10,14 186:7	76:9 77:4 131:8,8	240:1 245:2
253:14,21	249:10 251:12	186:20 199:5,5	132:1,9	247:14 256:11
average 136:10	253:12 259:6	200:9 202:7 204:6	beneficiary 109:3	283:14 285:15
146:6 150:1,2	265:14 268:4,13	205:21 206:10,18	benefit 245:5	288:14
158:21 218:21	271:7 274:16	207:14 216:19	benefits 53:21	black 18:12 152:8
219:4 280:18	275:8 282:17	220:7 224:5	118:13 206:18	Blackmore 63:2
281:1,12	284:22 296:12	227:13 237:15	benign 82:22	block 269:6
averages 128:5	backend 253:2	252:10,19 274:8	best 75:4 103:3	body 17:15 85:13
252:10 301:8	background	277:13 278:18	105:22 152:14	85:17 88:18
avoid 26:15 223:1	115:22 146:18	279:4,18 285:13	155:20 171:12	boilerplate 69:20
241:15 242:8	198:12	287:21 295:3	bet 211:8	69:21
avoidance 238:16	Backus 1:14 27:10	298:8,11	better 20:7 22:20	bomb 69:6
avoiding 207:6	28:8 30:10 34:7,8	baseline 155:14	23:5 35:16 87:13	bookmark 239:11
Aw 39:15	34:15 106:4,20,20	bases 223:19	94:7 103:1 116:22	239:13,15
awakening 119:4	108:9 138:12,12	basic 57:1 62:7	121:6 129:6	border 153:4
aware 60:3 159:14	138:15 139:15,19	154:21	163:15 169:11	borne 65:9
244:22	150:10,16 152:19	basically 6:18 66:5	205:5 242:10	Bossley 2:17 4:16
awhile 226:16	152:19 153:19	104:7 121:8 142:1	263:20 265:16	bottom 192:5
A-F-T-E-R-N-O-...	161:5,22 162:16	148:18 157:13	266:3 271:3	233:16 257:10
183:1	167:13,17 170:5	175:20 216:15	292:19 294:18	box 100:10 108:20
a.m 1:10 4:2	177:8 195:10,10	254:14 255:9	betting 202:22	261:11 291:9,14
	217:9,9,21 218:8	259:19 281:14	beyond 135:4	292:14,15,16,20
	219:10 228:5,5	286:10	222:18 230:12	boxed 28:3
B	231:18 232:17	basis 10:5 55:17	297:16	boxes 261:12
b 85:3,11,16 86:2	235:21 239:6,13	58:17 68:8,10	bias 162:11 251:3	Boy 35:12
87:2,5,6 88:10,22	239:17 258:13,13	84:1 102:11,16	283:3	brain 99:20 117:10
89:12 96:13	261:21,21 269:8	127:15 219:6	big 8:1 17:15,16	119:21 129:14
107:12 174:12	269:12,14 270:1	bat 184:11	24:2 28:4,7 36:19	130:2 134:9,13,16
250:1	bad 13:4 40:20	battle 35:19	49:22 92:9 125:6	134:21 135:21
back 26:5 27:7,8,11	51:14 67:12	BD 38:14	171:16 173:4	break 81:13,14
34:11 41:19 43:6	125:10 144:5	bear 16:20	229:2 257:12	285:5 296:8
50:10 53:6 56:16	167:22 168:13	behavior 117:20	258:9 260:11	brethren 75:9
57:1 58:11,14	178:8 208:9	233:20 285:18	273:4 278:2	brief 3:2 81:13
70:3,3 85:14	228:10 238:22	behooves 27:7	280:19 282:8,13	86:16 92:1 178:3
88:22 102:11	241:3 257:5	belief 271:1	282:16	briefer 207:13
107:8 111:6	259:22 264:2	believe 18:2 20:13	bigger 73:3 96:11	briefly 184:20
112:20 113:8				

Brigham 7:13 80:10 174:2 183:16 218:2 220:7	233:3,16 235:6,14 237:14 243:5 261:15 269:13,21 270:4 271:4 273:21 274:14 275:1,6 282:12 283:2 289:11 290:8,16 297:12 297:19 298:7,12 299:11	208:10 217:5 224:19 225:11 227:9,10,18 231:1 231:1 233:1,7,9 234:1 278:1 280:1	98:17 99:18 100:17 124:15 125:1 259:18,18 264:6 293:11	carry 34:9 CAS 207:12 cascading 76:2 79:15 case 7:3 39:8 70:5 75:10 78:21 82:4 84:3 95:1 107:6 127:7 128:17,20 129:3 134:7 135:9 143:1,18 144:3,7 168:20 208:22 219:6 233:22 292:3 301:1,3,9,9
Brighams 217:14	273:21 274:14	234:1 278:1 280:1	capability 199:12	case 7:3 39:8 70:5 75:10 78:21 82:4 84:3 95:1 107:6 127:7 128:17,20 129:3 134:7 135:9 143:1,18 144:3,7 168:20 208:22 219:6 233:22 292:3 301:1,3,9,9
bring 58:11 77:11 173:14 284:7	275:1,6 282:12 283:2 289:11 290:8,16 297:12 297:19 298:7,12 299:11	CABGs 294:11	capacity 32:8	cases 127:10,18 128:6 136:17 137:2,3 138:19 140:14 142:12,13 143:11 144:9 190:18,21,22,22 191:11,14 202:12 212:5,9,11 213:3 213:5,12,13,14,15 213:18 220:2 249:19 277:17,18 277:19
Britain 78:2	290:8,16 297:12 297:19 298:7,12 299:11	CAD 255:11 263:6 263:9 271:16 272:12 291:9	capture 34:17 44:15 58:15,16 186:4,14,14 227:4 288:12	case-mix 181:22 cast 140:19 cataract 276:7 290:20 catch 12:17 25:11 33:15,17,21 34:2 272:22,22 273:5 catching 237:3 273:2 categorically 256:5 categories 59:5 139:12 149:3 216:21 217:3 241:22 category 46:19 55:9,20 59:6 166:19 185:20 213:20 217:6 251:16 cath 153:18 154:4,6 154:10,13,14 161:19,21,22
broad 158:2,6 159:21 186:12 227:4,4	bus 176:7	calcium 248:2,3 255:21 271:13	captured 111:17	
broader 156:15,17 214:10	business 64:15 152:21 209:1 214:6	calculate 250:21 252:9,19	cardiac 3:12 145:13 146:17,20 147:3,4,6,13 151:4 153:18 158:3 163:9 171:6 171:6 184:2 243:13 256:10 257:9 262:2 276:5 287:7 299:5	
broadly 50:6 80:14 197:8	bypass 148:12,20 149:6,12 150:22 203:21,21 204:1 215:19 226:21 300:3,4	calculated 250:22 253:3	cardiograms 276:12,16	
brought 88:5 124:16 242:1 296:12	byproduct 247:9	calculating 156:1 252:5 253:8 258:11	cardiologist 158:9 165:9 219:4 234:16 235:16	
BRUETMAN 237:2		calculation 192:3 252:1,14,16 254:1	cardiologists 172:1 189:10 229:22 236:4	
bucket 16:14 171:11	C	calculations 149:22 253:9	cardiology 147:15 150:12 153:3 184:22 236:9 278:16 283:5	
Building 1:9	c 9:21 10:8,20 14:9 14:18 16:4 66:13 84:9,12 85:4 87:11 88:15 89:12 92:10,17 94:1,11 94:16,17,22 95:7 95:15 96:13,20,21 107:13 118:2,16 119:22 121:12,16 122:13,18 123:2,6 123:11,12,13 124:1,1,7 185:20 185:22 186:1,4,17 243:21 244:2,7 246:12,13,19 247:1,4,6,7,10 250:1 279:15 285:8 301:16 302:15	call 8:7 73:3 80:17 146:3 199:18 303:10,12	cardiovascular 152:13	
builds 10:16	124:1,1,7 185:20 185:22 186:1,4,17 243:21 244:2,7 246:12,13,19 247:1,4,6,7,10 250:1 279:15 285:8 301:16 302:15	called 83:3,9	care 58:12 95:5 99:8 121:1 132:21 169:11,11 247:9 250:9 275:11 283:6 291:11 293:4	
bundled 52:4,11,13 75:4	CABG 129:2,5 146:17 154:1 159:9 160:22 161:2,8,18 164:15 164:16,17 165:3 167:2 178:1	calling 199:13	careful 179:14	
bundling 129:12 171:5		CAMEN 259:13	cares 235:5	
burden 120:15 156:20 232:9 253:1 254:1		camera 150:13	caring 156:20	
burdensome 289:7		cameras 153:5	Carl 1:15 29:14,16 103:18,21 129:10 166:13 171:4 207:16	
BURSTIN 2:16 4:9 4:13 6:4,18,22 7:2 7:18 22:21 23:15 26:3 45:13 47:1,5 47:17 56:12 57:9 57:16 71:20 80:7 80:21 81:5 84:22 86:13 89:7 115:5 115:8 145:21 146:6 156:6,16 173:7 177:17 178:19 179:15 182:4 183:21 227:12,17 228:15 230:18 232:11		Canadian 54:7,11 61:15 77:18 78:18 79:3,7,12,17		
		Canadians 77:22		
		cancer 98:1 116:6		
		candidly 157:1		
		Cantrill 1:15 7:9 7:11,21 8:5 9:7,13 22:7 23:11,22 27:5,5,12 35:7,7 47:14 63:22,22 66:8 67:11,21 68:13 72:20,21 73:12 74:19 75:14 79:20,20 85:2,6 85:19 86:1 87:1 88:3 89:16 98:17		

162:2,5,11 221:12 221:12,18 catheter 163:9 catheterization 151:5 228:22 cause 82:22 165:13 172:10 causes 105:6 causing 154:18 cauterization 300:7 caveat 29:1 53:6 198:5 caveats 187:12 CBT 40:10,21 41:1 41:12,17,21 42:9 CCI 183:8 CCR 72:12 cellulitis 119:9 120:8 center 58:17 71:22 83:6 191:22 192:1 192:9,18 203:12 211:9 212:11,13 223:18 228:1 centers 78:1 190:19 203:14 212:6 213:15 216:15 223:18,22 certain 5:8 8:15 26:13 59:5 66:20 149:2,9 179:11 245:8 246:17 certainly 11:3 14:4 16:21 17:8 45:10 116:1 117:16,17 120:1 121:13 122:1,5 123:18 146:19 147:1 154:20 157:3 177:5 180:17 188:16 189:14 193:2 222:14 229:20 243:21 287:5 certainty 13:7 certify 109:22 cervical 49:5 50:5	50:14,16,17,21 51:3,16 52:17 54:1 57:5 58:3,9 58:22 59:20 64:2 64:5 70:17 72:1 73:1,4 77:18 79:12 80:14 cessation 268:1 chain 178:17 CHAIR 253:12 chaired 176:11 Chairman 170:13 challenge 27:19 183:17 191:10,12 258:10 268:12 challenges 174:1,3 187:1 236:5 257:15 champion 190:15 chance 112:4 127:15 192:5 change 71:17 72:22 89:10 90:18 117:20 138:5 142:18 155:16 157:12 179:1 180:8 224:5 235:2 273:14 274:8,11 changed 7:18 250:7 changes 115:8 185:19 225:16 264:18 273:10,14 273:18 274:2,5,16 274:22 275:3 286:14 changing 228:21 231:7 characteristic 46:10 characterized 138:3 charge 65:8 charges 108:2 chart 34:11 42:4 44:19,21 56:21 106:13 162:15 186:21 194:16,17	194:19 217:11 218:1 238:14 240:12 241:19 251:14,17 253:14 charts 41:14 238:20 240:2,17 258:11 261:7,7 chase 285:17 CHD 244:10 278:13 cheap 65:7 cheaper 50:22 cheapness 257:16 check 100:10 108:20 196:18 261:11 291:8,14 292:14,14,20,20 checking 292:16 chest 13:15 32:7 33:18 35:12,13 40:17 158:5 165:6 165:13 201:10 292:20 Chicago 38:12 children 55:18 131:12 chin 33:20 chips 257:16 choice 256:21 cholesterol 252:12 253:6,20 258:17 choose 59:6 176:22 197:14 198:2 224:20 236:15,15 choosing 59:5 chose 109:19 212:17 295:3,7 chosen 289:15 chronic 130:14,15 130:18 circle 220:8 citations 10:7 101:19 118:3 119:13 243:21 cited 85:18 102:14 102:14,18 145:17 296:22 297:4	298:4 301:10 cities 166:1 168:10 Claim 96:21 claims 41:12 91:18 96:14 116:17 120:5,13 122:22 123:10 134:22 168:5 174:16 175:6 301:15,15 302:11 clarification 6:5 41:9 103:22 110:11 230:6 293:6 294:19 clarifications 245:20 clarified 108:13 187:19 188:13 clarifies 79:18 clarify 100:3 102:4 115:5 132:19 199:20 208:5 293:17 clarity 220:4 Class 84:9 87:3 88:11 classification 12:8 clean 190:3 210:14 cleaner 178:11 193:3 201:20 clear 6:6 20:21 34:16 52:7 53:21 66:13 67:9 68:7 68:10 69:17 72:19 74:9 89:7 92:1 93:5 100:9 120:9 129:14 149:18 150:18 216:13 236:5 245:8 247:12 248:20 274:1 275:15,17 285:9 clearances 276:10 cleared 66:12 clearer 100:18 clearly 76:14 118:20 179:18	207:1 260:7 296:21 Cleveland 166:2 clinic 148:5 261:7 282:11 clinical 9:1,2,6 11:13,14,16,19 12:6,18 21:8 22:12 23:7,20 25:10 31:17 37:14 39:12,12,16 43:20 44:8 45:2 46:1 58:12 71:6 86:22 88:8 101:20 102:8 102:14 107:9 130:21 168:14 173:22 183:12 195:22 196:15 221:14 259:4 271:18 clinically 27:6,19 107:4 131:20 194:11 clinician 117:20 196:8 244:16 249:4 253:4 clinicians 120:16 193:15 249:9,12 clinician's 117:1 195:17 close 37:7 38:4 197:13 209:13 257:18 282:6 closer 136:7 228:11 235:9 278:20 cluster 105:11 CMS 89:19 91:17 108:14,15 116:2 141:8,14 143:16 169:18 175:8 177:10 191:16 226:21 228:15,19 231:5,10,20 232:12 259:13 275:21 280:17 284:3 288:2 294:8 294:20 296:2,8,15
---	--	--	---	--

296:16 303:11 code 40:10,11,21 41:1,21 99:22 120:22,22 169:16 170:5 197:7,10,12 216:16 251:4,13 252:18 272:1 coded 106:9 143:16 170:1 codes 41:12,17 42:9 94:5 111:9,11 120:1,6 149:9 157:21 158:2 160:3 164:1 165:11 coding 34:3,9 106:16 120:20 123:8 126:7 187:13 217:2 228:7 cognizant 120:19 cohorts 281:13 coincident 99:20 coincidentally 292:8 collars 66:16 colleague 130:13 colleagues 151:19 collect 199:6 213:2 213:9 216:17 222:17,19 252:15 collected 183:11 collecting 174:3 198:19 222:12 collection 13:19 16:5 77:13 96:20 123:22 183:12 197:17 199:4,17 202:4 203:4 216:10 217:10,22 218:5 245:22 252:22 253:4 258:9 College 82:6 84:5 102:22 103:6 118:5,22 147:15 colonoscopy	290:20 combination 61:20 combine 215:5 combined 135:8 combining 224:12 231:12 come 11:20 35:1,3 36:12 39:7 57:1 60:14 64:11 80:13 104:20 108:1 133:12 149:8 150:4 161:13 173:10 183:17 188:5 190:6 196:11 199:20 204:4 208:17 215:15,16 218:19 225:7 233:17 235:18 236:17 251:12 256:3 262:5,21 264:9 265:11,12 271:7 274:15 275:7 279:16 comes 7:3 13:14 72:15 106:10 125:16 161:9 168:7 180:12 185:2 206:3 209:22 229:12 241:20 261:5 292:1 comfortable 163:22 coming 115:22 171:8 203:7 218:19,22 221:12 229:7 261:5 272:8 comment 33:15 39:6 62:9 74:19 75:14 88:4 98:8 109:14 113:7 125:12 138:9 144:15 177:13 189:13 193:13 194:9 195:15 197:5 243:4	245:11 255:8 266:15 commented 244:21 comments 16:11,17 45:5 46:7 59:16 62:10 91:2 97:5 98:16 106:2 108:11 110:21 112:3,5,6,8,9 124:13 125:3 129:9 133:3,4,15 133:17 138:7,8,10 138:11 144:16 154:20 174:9 187:18 190:11 194:3 201:5 223:9 223:13 224:9 232:21 241:10 248:11 259:16 260:1 264:17,19 273:8 289:1 290:22 293:19 294:4,5 296:7 301:5 commercial 153:8 commissions 100:1 199:15 committee 1:3,9 3:4,12 86:12 89:6 112:4 132:17 133:3 138:9,11 157:3 170:18 176:10 178:3 199:21 223:10 224:14 236:15,17 237:15,17,18,20 271:11 committee's 224:20 common 9:15 31:12 139:8 165:11,12 192:19 204:13 238:16 252:11 282:6 commonly 193:15 community 236:9 236:10 companion 288:6	Comparability 15:2 95:4 122:14 247:1 301:21 comparable 52:17 53:8,22 65:18 66:6 186:20 187:5 258:6 comparative 70:10 70:19 compare 175:10 181:21 231:9 277:22 compared 57:20 61:19 90:1 150:4 150:7 258:16 compares 37:10 compelled 161:2 compensate 160:1 160:3 compensated 51:18 160:2 compensation 160:11 compensity 207:14 competence 127:11 128:12,15 136:14 191:20 competing 96:5 complaining 64:12 complaint 221:11 complaints 264:17 complementary 15:12 complete 89:22 101:15 177:19 completed 7:16 completely 36:9,18 53:13,20 55:8 56:1 82:11 172:18 183:15 280:2 288:22 completing 111:11 complex 22:10 compliance 22:20 112:1 complicate 29:2 compliments 164:9	component 266:7 components 121:15 composite 56:5 compounded 147:11 compute 15:18 computed 54:18 computerized 56:22 conceivable 170:3 concern 8:10 17:14 18:20 20:8 38:15 39:18 62:18 70:20 76:13 93:11 111:15 119:8 120:7 125:20 127:3 154:15 169:9 concerned 19:18 65:1,11 69:14 71:2 77:8 92:21 168:21 176:4 259:19 concerning 10:7 63:16 106:12 concerns 24:16 58:6 64:1 75:21 111:13 124:4 168:19 170:14 212:1 242:6 266:5 297:3 conclusion 177:20 301:13 concomitant 119:20 122:9 concrete 172:16 concur 130:13 concurrent 119:12 condemn 181:2 condition 26:6 conditional 157:11 214:21 215:16 224:15 225:8,13 225:16 conditionally 224:17 conditions 7:14
--	---	--	---	--

<p>22:16 45:19,19 48:10 78:6 99:16 115:6,7,10 147:5 225:14 226:11 275:7,7 conference 80:17 146:3 conferences 16:15 confidence 301:3 confirm 126:16 conflicting 84:14 confused 87:7 100:22 103:22 confusing 104:18 conjecture 152:22 conscientiousness 64:11 consciousness 72:4 consensus 84:6,12 86:9 87:8 90:10 90:17 118:7 183:4 consent 45:20 consequence 38:18 39:10 51:13 70:21 151:15 153:12 161:6 203:17 consequences 16:3 50:12 93:8,12 96:16 116:7 123:16 141:3 214:5 245:12 247:21 conservatively 22:2 consider 155:15 174:18,21 175:17 178:5 233:4 281:9 considerable 53:17 considerably 53:17 54:10 57:19 166:19 consideration 7:14 83:22 95:3 116:14 180:6 considered 65:21 considering 227:16 269:5 284:22 consistent 11:8</p>	<p>235:19 consistently 138:3 249:13 consortium 90:6 103:5 constituents 175:15 constitutes 118:8 cont 2:10 continually 203:6 continue 60:15 86:18 145:10 284:8 continues 147:10 contract 107:2 contradictions 211:12 contrast 18:4 41:20 97:20,21 98:2 116:7 contribute 140:22 contributed 219:13 220:3 control 300:16 controversial 54:17 convenient 165:8 conventional 129:17 converge 228:9 conversation 230:7 conversations 5:11 5:13 converse 61:3 convictions 61:22 convinced 47:19 273:5 coordinate 235:18 CORBRIDGE 2:18 5:3 46:5,20 112:19 113:2,8 134:16 194:4 226:6,13 coronary 32:9 35:19,22 36:13 148:12,20 149:6 149:12,14 150:22 151:16 233:22 246:18 250:8</p>	<p>260:13 corporate 82:14 198:5 correct 30:1 114:22 129:15 134:19 150:15 200:7,8 202:11 222:8 269:7 corrections 173:3 corrective 285:18 correctly 37:13 56:10 130:1 corroborate 262:1 corrosive 179:8,12 cost 32:12 39:20 50:20,21 51:4,5,5 51:7,10 52:15 63:13 65:4,5,6 118:16 171:16 232:4 237:11 costs 124:4 couch 113:16 cough 113:17 count 32:19 51:19 54:9 95:2 128:17 128:20 273:14 291:10,11 counted 39:17 268:17,22 269:1 291:7 counterpoint 67:2 counter-argument 20:13 counting 268:15 country 127:14 149:22 150:21 152:7 165:4 175:10 228:2 234:21 counts 129:3 135:10 168:20 230:4 301:2,3 couple 16:18 30:19 75:21 165:18 186:8 187:18 199:22 253:5 courage 61:21</p>	<p>course 50:6 64:12 152:9 219:2,5 256:20 cover 71:18 109:12 255:11 coverage 177:1 234:18,20 covered 9:8 109:5 180:18 235:5 271:15 covering 87:15 CO-CHAIR 1:13 1:13 4:3,12,20 5:17 6:15,20 7:1,5 7:10,22 8:21 9:10 16:9 19:17 21:1,7 21:13 23:2,13,17 24:3,14 25:12,19 26:10,18,22 29:14 29:21 30:4,7 31:14 32:18 33:13 34:1 36:3 37:1 39:9,22 40:5 41:8 43:17 44:13 45:4 45:17 46:9,22 47:3,9,15 48:1,22 53:2 56:2,8 59:12 60:1,10 62:8,10 63:21 68:3,17 70:1,9 71:7,11,14 74:5 75:20 76:10 77:6 78:16,22 79:8 80:19 81:2,6 84:18 86:10,15 87:9 89:3 90:2 91:1 97:4 98:7,14 99:14,21 103:18 105:20 106:19 108:7,10 110:9,19 111:5 112:2,12 113:4,12,18,21 115:7,10,18 124:10,13,21 126:15 127:1 129:8 131:6 132:7 132:16 133:2,14 134:3 137:19</p>	<p>138:6 139:22 141:6,13 143:19 144:11,18,22 145:6 146:4,7 151:22 152:3 155:21 157:7,17 158:12 159:15 160:10 163:20 165:16 166:12,17 167:1,7,12,15 168:1,15 169:2 170:15,16 173:13 174:22 178:16 180:11 182:3,6 183:3 184:1 190:11 191:3,13 191:17,21 192:8 194:2,7 196:21 197:4,21 198:1,9 199:19 200:16 201:2,7 203:19 208:4,11 209:5,12 209:16,20 211:3 211:15 212:4,10 213:4,17 214:8,19 215:7,12 216:4,12 220:4,14 221:20 222:6,9,16 223:8 223:17 224:8,13 225:6 226:8,15,19 227:10,15,19 230:5,22 231:16 232:20 233:5,10 234:14 235:1,12 235:15 236:13 237:22 239:9,15 241:5,9 242:13 243:3,6 245:19 246:5 248:10,15 248:19 250:17 251:8 254:3 256:12 257:2 259:15 264:15 266:14,22 267:10 267:16 268:16 269:4,10,15 270:7 270:11,13,18</p>
--	---	---	--	---

273:3,7,22 274:12 274:19 275:2,10 275:17 277:8 280:7,8 281:21 282:10,14,21 283:9 289:1,14 290:2,10,14,22 291:17 293:5,16 295:15,22 296:5 296:16 302:16,21 303:5 Co-Chairmen 1:10 CPT 120:1 crazy 45:11 created 160:12 196:3 creates 255:19 crisp 299:3,4 criteria 6:8 8:16 9:20 12:11,12 25:6,11 44:7,9 50:15 54:8,12 55:3,3,5 56:4,6 62:4,15 63:15 77:17 78:7 82:11 82:14,17 93:16 95:12 96:7 106:8 114:1 118:10,20 119:10 123:6 124:6 131:22 143:16 145:7 147:17,22 148:5,9 148:14,16 149:5 170:11 173:21 179:18 181:1 184:4 185:1 193:14 200:1,1,9 201:12 214:18 223:20 227:3 228:21,22 229:1 239:21 243:14 245:4,13,22 246:16 255:15 256:9 260:9 272:18 273:19 279:17 criterion 6:9 54:19	55:21 91:5 202:2 225:4 critical 55:7 154:8 Cs 96:13 302:9 CT 5:19 7:12 8:7 11:8 19:9 21:18 25:4,7 27:1,8,9 28:4 30:11 32:8,9 32:10 33:4 34:3 40:3,4,10,15 41:6 45:10 48:15 49:5 49:12 50:4,5,13 50:16,21,22 51:3 51:3 52:16 53:9 53:22 55:13 58:4 58:9 59:1 61:2,7,9 62:15,19 63:1,7,7 63:11,18 64:5,14 65:14 67:6,8,18 69:2 70:10,12,17 71:8 73:1,6,7 74:1 74:11 75:11 80:2 81:17 82:2,7,9 91:22 92:4,6 94:4 97:20,21 99:2,3 99:17,20 100:8 101:7,8 104:17 111:22 115:13,14 115:15 116:3,19 116:20,20 117:2,4 117:6,9 118:9,9 122:9,9 125:22 129:15 130:1,2,6 130:8,10,12 142:5 145:12 294:5 CTA 207:12 214:3 214:6 224:18 233:11,19 248:3 284:17 CTPA 8:6 14:11 23:12 24:22 30:22 31:19 34:3 35:11 38:21 CTPA-gram 39:15 41:11 CTPD 8:7 CTPDs 8:18	CTs 33:17,18 54:2 60:18 61:12 64:21 66:14,17 70:6,7 94:8 99:2,10,16 104:11 111:17 119:21,21 125:9 125:10 134:9,13 134:17,21 135:8 135:21 CT'ed 130:6 CT/Pulmonary 3:4 culprits 166:2 cumulative 141:18 cure 152:14 current 122:12 145:18 174:4 currently 54:2 65:10 155:3 186:13 187:14 213:19 273:11 curve 162:22 curves 159:16 cut 98:21 99:1 106:1 108:3 128:1 162:5 163:18 282:17 cutoff 127:18 141:10 cuts 242:11 cycle 52:8 229:5 C-O-N-T-E-N-T-S 3:1 c-spine 60:22 61:10 61:12 63:12 64:5 64:13 68:8 70:12 71:5 75:2 78:18 79:3,7,17 c-spines 67:9 68:22 70:8 71:16	15:2,18,22 16:5 17:3 18:13 26:5,7 31:22 32:2 37:20 38:11 53:22 58:16 58:16 60:6 65:3 69:4 77:14 88:10 91:18 92:12 96:14 96:14,20,21 97:19 108:6 110:12 116:1,2,4,17,17 117:13,13,18 118:7 119:11,11 120:13,13 121:9 121:10,20 122:7 122:14,22 123:8 123:10,22 128:19 128:21 129:17 134:22 147:7 149:7,19,21 154:18 156:8 164:4,7 168:5 169:14 171:1 173:11,19 174:16 175:1,6,11 177:14 178:4 180:14,18 181:19 183:11,12 186:19 192:6,11 192:13 195:9 199:4,16 202:4 203:4 204:6,12,14 205:4,8 206:10 207:10,19 212:16 212:20 213:2 215:7 216:10 217:10,22 218:5 218:17 224:3 227:13,18,22 228:8 230:13,19 231:1,10,20,21 232:16 237:8,11 238:15,21 240:2 240:19 241:2 242:12,17,18 243:2 245:10 246:10,10 247:2,8 247:20 249:11 251:7 252:22	253:3 258:9 261:5 261:7,22 262:8 265:9,20,22 266:13 273:20 277:7 280:15,18 284:21 285:12 288:12,16 289:8 292:3 295:4 296:2 302:11 database 158:2 164:14 231:10 dataset 232:9 247:15,18 296:9 datasets 232:3 date 222:15 274:17 dates 242:2 Dave 53:14 day 3:2 31:2,7 106:11 123:2 days 83:11,14 213:2,5 224:4 281:9,10 289:16 289:17,17,18 292:9 293:6 299:1 de 51:8,11 65:2 deal 12:3 34:22 36:19 89:19 171:17 188:15 257:13 273:4 278:17 283:19 dealing 66:4 153:17 deals 10:10 dealt 14:10 28:15 debate 107:4 116:9 135:17 decade 116:2 deceleration 64:10 decide 175:16 220:21 decided 81:10 224:11 228:2 264:10 deciding 36:12 decision 26:5 63:4 88:16 140:10 163:21 183:4
---	--	---	--	--

220:20 221:7 224:20 decisions 199:6,8 234:19 declare 192:18 decline 296:3 declined 298:20 decrease 59:19 66:21 70:4,7 99:10 163:14 deemed 101:2 223:7 defect 150:7 161:1 defend 260:10 defending 75:9 defense 251:11 defensible 189:3 defer 80:22 296:22 deferred 88:17 deficits 72:4 97:11 define 83:10 164:1 193:10 defined 28:2 76:15 135:19 162:6 defines 175:3 defining 158:1 177:16 definitely 32:17 36:16,20 60:12 272:13 definition 64:6 87:5 220:9 294:7 294:16,18 295:1 definitions 77:6 280:21 degree 165:20 230:10,11 232:2 DEHN 109:4,13 132:19 133:19 141:19 144:2 154:19 156:2,7 157:1 176:8 229:13 233:13 delayed 76:15 delegate 235:16 delivered 217:17 demand 263:17	demise 299:16 demographic 262:8 demonstrate 18:10 117:6 185:21 238:16 demonstrates 17:4 121:21 demonstrating 243:20 demonstration 206:18 denominator 8:3 8:17 11:7 29:8 45:22 49:10,17 82:5,7 91:21 94:3 99:19,22 100:5 104:2,7,12 105:9 113:16 116:20 120:4 131:17 132:11 134:9,12 135:7,10,13,15,18 136:4,22 137:1,6 138:14,18 139:15 143:3 148:21 150:1 167:2 184:5 191:4,5 213:11,16 240:3,6,15 245:15 245:20 263:11 265:15,19 266:2 266:15,17 268:18 273:20 276:15,18 284:20 293:7 299:3,9,17 denominators 134:15 185:18 density 122:2 department 76:11 76:17 135:4 193:5 295:20 departmental 66:22 departments 297:14,16 depending 58:19 129:18 185:17 187:4 258:22 depends 67:19	268:6 278:4 describe 255:20 describes 110:12 describing 81:20 description 8:2 92:2 104:16 detail 9:8 14:3 126:18 299:17 detailed 92:5 details 9:12 76:1 171:15 detect 188:20 detection 244:11 determine 43:20 76:19 263:16 determined 12:19 82:22 257:20 264:5 develop 147:17 200:5,11 230:15 developed 36:21 37:8 91:17 94:11 104:5 200:1 232:6 241:14 301:2 developer 25:20 31:15 36:6 41:9 43:19 45:21 60:3 112:5,7 233:4 developers 38:16 62:5 83:9 120:19 132:18 133:5,8,15 138:7,10 developer's 229:14 developing 83:21 200:3,6 202:2 230:2 252:2 deviation 128:5,13 devoted 235:17 diabetes 258:18 diagnoses 92:6 94:3 158:6 163:18 diagnosis 83:4 120:6 135:1,19 165:11 169:20 180:16 diagnostic 10:6 68:14	dial 112:19 113:8 113:10 diaphragm 33:19 33:20 die 171:18 229:4,5 229:11 dies 229:12 difference 30:3 37:17,22 65:15 102:4 136:13 138:20 205:9 221:10 266:9 294:22 301:19 differences 14:22 94:21 121:19 187:18 246:22 different 5:12 16:16 22:15 26:8 35:1 39:7 43:2 51:15 56:6 60:16 72:13 77:12 89:21 90:21 96:2 98:12 100:1 101:5,11 111:3 121:21 129:6 172:19 173:11 175:1 177:16 178:2 184:17 197:2 204:2,7 205:4 206:11 207:5 212:22 215:8 216:2 218:20 221:14 223:3 227:13 231:13,15 231:15 233:20 234:8,9 238:18 240:15 241:16 242:3 256:11 271:9 276:17,19 280:21 283:8 295:5 296:9,10 300:6,12 differentiation 234:22 differently 33:6 43:3 differs 62:16	difficult 5:13 51:17 76:19 177:7 195:6 240:1 250:21 difficulty 149:4 157:22 229:18 dimer 21:4 23:21 24:10 29:10 ding 292:22 dinged 126:3,14 142:7 178:16 268:2 303:8 direct 23:1 285:3 direction 30:9 93:20 107:15 176:6 229:19 269:22 directions 101:5,11 directly 87:3 director 4:18 dirty 247:15 disagree 87:1 100:19 231:17 discarded 11:22 disclosure 147:20 148:3 152:20 200:12 disconnected 112:21 discount 40:7 discourage 129:12 discuss 38:9 48:21 49:1 148:10 178:4 197:19 201:6,11 245:13 discussant 91:14 discussed 14:3 15:21 16:7,15 48:19 56:19 77:3 81:22 91:16 199:10 203:22 215:6 296:18,19 302:7 discusses 196:12 discussing 113:14 157:16 268:5 discussion 5:20 6:1 10:15 16:20 21:17
---	---	--	---	---

23:18 44:14 45:8 53:4 60:15 61:19 80:16 84:19 86:16 89:5 106:1 144:12 170:17,17 176:21 177:18,19,20 178:3 183:4 200:21 201:21 209:21 226:21,22 227:1,20 228:19 231:17 252:1 262:6 271:11 280:9 285:1	dividing 35:20 division 77:17 191:9 dizziness 126:12 DMJ 77:22 doc 264:6 docket 183:20 docs 69:6 doctors 111:11 210:18 document 45:1 85:15,18,20,21 86:7 120:9 126:1 126:11,17,18 133:20 150:6 187:21,22 193:2 214:16 240:12 241:19 267:19 documentation 41:22 44:2,4 125:18 196:18 259:20 documented 10:2 11:7 147:1 267:13 268:20 documenting 267:12 documents 102:15 145:15 238:14 239:10 doing 23:21 28:4 28:17 31:1 35:21 40:15 45:3 51:7 51:10,15 81:12 128:14 133:19 134:11 140:3 152:11 162:20 168:2 178:9 180:22 189:1,9 195:16 199:12 211:16,17 218:11 220:21 225:15 232:16 233:21 242:10,11 251:15 252:8 256:8 261:9 267:19,19 275:21 276:10 279:3,4	284:17 286:1 297:8,9 dole 107:21 dollars 237:12 domain 169:10 170:11 200:2 domains 283:22 dominate 38:13 Don 27:16,18 34:18 68:17,18 233:19 275:21 294:7 DONALD 1:19 door 262:5 double 268:14,17 268:22 doubt 168:4 Douglas 176:11 downstream 154:14 207:3 Dr 4:9,9,13,21 6:4 6:18,22 7:2,9,11 7:18,21 8:5 9:7,13 16:13,18 18:14,19 18:21 19:4,6,12 19:21 20:5,6,10 20:12 21:5,11,15 22:7,7,21,21 23:3 23:9,11,15,22 24:2,5,18 25:2,17 25:22 26:3,12,20 27:2,5,12,16,17 28:11,13,20 29:11 29:16 30:2,6 31:20 32:3,21,22 33:9,14 34:12,18 35:7,15 36:9 37:2 37:12,14,19 38:2 38:5,7,8 39:20 40:2,6,8,9,13,14 40:21,22 41:5,15 42:1,8,15,20,21 43:13,15 44:1,20 45:13 47:1,5,14 47:17 48:19 49:11 52:1,5,6,8,9,10,12 52:14,18,20,22 53:5 56:7,11,12	56:14 57:9,13,16 57:17 59:14 60:5 60:7,8,12,13,14 62:9,12 63:22 65:16 66:8 67:1 67:11,13,19,21 68:1,4,13,18 69:4 69:5,8,10 70:2,14 71:3,9,12,15,20 72:6,8,18,20 73:9 73:12,14,16,20 74:1,7,12,14,19 75:6,8,14,16 76:7 76:9 77:4,10 78:20 79:6,10,14 79:19,20 80:7,21 81:5,21 84:22 85:2,5,6,13,19,21 86:1,4,13 87:1 88:3,5 89:7,16 90:4 97:7 98:9,17 99:18 100:2,17,19 100:21 102:3,17 102:19 103:20 104:6,10,19 108:13 109:4,9,13 109:16 110:10 111:1,7 113:15,19 115:5,8,17,21 124:12,15 125:1,2 125:7,11 126:16 127:2 128:3,10,22 129:11,22 131:8 131:18 132:1,9,13 132:19 133:7,19 133:22 134:20 135:5,22 136:2 137:10,13,15 138:1,21 139:4,10 140:2,12,15,17 141:2 143:5,21 144:8,17 145:21 146:6,13 150:14 152:2,4 153:16 154:19 156:2,6,7 156:16 157:1,13 157:15,19 158:14	160:7,16,18 161:20 162:1 163:4,12 165:14 166:11,13,22 167:5,9,19 168:8 168:18 169:3 170:7,19,21 171:4 171:9,13,21 172:5 172:13 173:7 174:18 175:20 176:8 177:17,22 178:14,19,20 179:15 181:14 182:4 183:21 187:16 190:14 191:8,15,18 192:1 192:12,14 193:12 194:8 195:8,12,13 196:5,7 197:1,18 197:22 198:2,15 200:8,19 201:4,4 201:9 202:1,18 203:2,11,18,22 204:14,17,18,20 205:3,8,10,16,20 206:2,6,13,16 207:16,20,22 208:6 209:4,9,14 209:18 210:9,20 211:6,8,18,21 212:8,15 213:8,21 214:1,7,13 215:2 215:11,20 216:9 216:18 217:15 218:4,15 219:11 220:11,16 221:19 222:5,8,14,19 223:11,21 224:7 224:11 225:1 226:17 227:8,12 227:17,21 228:13 228:15,18 229:9 229:11,13 230:18 230:20 231:3,11 232:11 233:3,8,11 233:13,16,19 234:17 235:4,6,14
--	---	--	---	--

236:3 237:2,14	drives 116:13	ears 176:20	67:10	160:16 252:4
238:2 239:8,19	driving 248:6	easier 22:19 39:10	effort 230:12 237:6	265:17
240:21 241:1,7,14	279:21 288:21	39:11 51:16 67:8	efforts 218:5 280:3	encourages 256:7
242:22 243:5,12	drop 20:3 256:1	96:3 100:18	eight 77:19	endeavor 155:12
246:2,8 248:12,17	drops 19:22 194:12	189:14	Eighteen 46:22	ended 28:18
248:22 250:4	Drug 4:10	easiest 140:18	eight-hour 13:15	endorse 72:21
251:5,6,22 255:8	druthers 95:20	241:17	either 13:22 25:14	155:14 227:12
255:16 256:14	due 151:7	easily 13:21 249:8	44:6 46:2 48:7	endorsement 48:8
257:4,7,14 259:18	dump 242:15	282:18	56:21 140:17	48:9,10,13 114:20
260:1,21 261:15	durability 234:2,9	easy 8:10 44:15	151:9 188:21	114:21 115:1,3
261:17 263:1,2,3	dynamic 68:20	195:4 246:1 291:3	204:5 228:8 262:6	288:18
263:5,8,10,14,17	dysrhythmias	ECG 158:5 169:17	286:17 287:15	endoscopies 299:19
263:19,21 264:3,6	158:3	echo 148:15 163:10	296:7	endoscopy 276:9
264:8,21 266:20	D'Orsi 1:15 29:16	171:6 184:6,8	EKG 261:9 291:10	299:22 300:6,17
267:3,21 268:3	29:16 30:2,6	187:21 238:15	292:12,15	ends 121:3 137:7
269:1,7,13,19,21	103:20,21 104:10	244:10 254:15,21	EKGs 261:18	202:14 268:17
270:4,10,12,16	129:11 157:13	259:9 262:3,7,10	elaborate 57:11	enormous 146:19
271:4,10,17 272:1	166:11,13,13,22	262:14,15 272:14	elderly 287:5	ensure 253:2 301:2
272:5,11,16,17,18	167:5,9,19 171:4	276:12,16 301:5	electrocardiogram	ENT 130:13 299:20
272:21 273:17,21	171:4 205:16	echoes 146:14	163:9	enter 126:8 220:17
274:10,14 275:1,5	206:2,13 207:16	245:16 290:6	electronic 96:14	221:4 286:7
275:6,15,19 276:2	207:16,22 208:6	298:21	123:12 187:9	entertainment
277:10,20 278:4,8	209:4,9,14,18	echos 261:18 277:1	194:14 195:20	224:12
278:10,11,15	d-dimer 9:3,5	277:21	199:3,15,16	entire 15:16 84:4
279:1 280:10,15	11:15,17,18 12:7	economic 51:12,19	247:10,12	86:5 179:10
282:2,9,12,19	17:13 18:10 19:19	70:22	electronically	entirely 45:20
283:2,12 284:6,16	19:22 21:8 23:7	economics 58:2	13:22	69:17
284:19 286:6,16	23:10 24:7,20	ED 9:18 39:2 66:10	electrons 65:7	entitled 82:2
286:19,21,22	25:10,15,15,16,17	76:4 85:7 94:2	elements 15:18	entity 9:15 120:21
287:2,8,16,17,19	26:13,19 28:14,22	99:19 107:22	89:11 106:14	entry 44:15,17
289:11,16,22	29:7 31:18 34:6	108:1 116:16,18	127:11 283:21	56:22 123:17
290:8,12,16,18	37:6,16 44:11,12	135:1 221:11	Eleven 47:12	enumerating
291:1,19 292:7	46:2,3	edge 17:21	eligible 56:12	255:12
293:1,3,11 294:1	D-dimered 32:6	educate 219:15	251:14 282:22	enumerator 8:22
294:6 295:1,8,17	D-dimers 12:5	education 223:6	eliminated 173:1	environment
296:2,17 297:12	27:20,21 28:6	292:18	eloquent 249:6	152:12
297:18,19,21	37:15	effect 21:19 59:9	elucidating 148:8	episodes 165:6
298:7,10,12,14	D.C 1:10	71:19	embolism 5:19	episodic 105:11
299:11,13 300:8		effective 63:14	7:13 8:8 9:19	epistaxis 300:15
300:10 302:19		effectively 32:6	25:5,8 32:10 45:1	equally 181:5
Drain 115:14	E	154:5	emergency 9:16	equipment 35:22
dramatically	earlier 160:20	efficiency 1:3,8	12:17 57:21 62:17	259:11
147:13	215:13 244:21	66:9,18,19,22	62:17 76:11,17	equivalent 38:3
draw 12:20	247:18 277:6	116:11 118:15	78:6 82:6 84:5	204:10
drawing 210:7	295:18	123:1 207:1	90:15 102:22	equivocal 160:17
drive 214:5 220:9	early 35:8 146:11	243:18	135:4	272:12
279:19	147:2 159:17	efficiently 53:10	encourage 21:21	ER 30:22 31:1,7,11
	160:4 197:2			

69:5,18 76:8	everybody's 76:12	exceptionally 52:7	172:22 176:9,20	exposure 65:12
Eric 1:10,13 4:8	evidence 10:9	exceptions 176:17	179:22 180:7	117:22
166:11 178:15	17:15,16 30:20	202:12	186:18 187:10	express 38:15
191:10 263:21	50:1 53:8,18 54:5	excess 171:16	210:6 211:1 231:8	127:3
Errors 16:3 123:15	54:16 83:19 84:1	excessive 59:10	236:6 237:10	expression 257:21
especially 43:21	84:3,9,14,17,22	excludable 256:6	244:13 247:16	extend 215:8
76:7 132:14 193:5	87:3,13 88:2,10	exclude 36:6,15	250:7 254:16,17	extension 80:5
196:16 278:22	93:14,17,19	42:12 186:5	255:2 257:10	130:9
281:8	101:19 102:11,15	188:21 189:3	261:3 263:11	extensive 94:5
essential 243:17	103:1 107:13,14	193:10 285:12	285:11 301:16	171:3 174:11
essentially 8:8 29:3	118:4,17 119:14	excluded 13:18	Excuse 156:7	251:22
30:18 31:6 86:22	146:22 184:20,20	36:5,10 54:4	205:16	extent 55:21 61:13
108:4 153:13	185:5 244:5,7	88:15 111:17	exercise 271:19	177:3
162:19 218:12	246:15 271:15	123:20 151:2	exertion 105:12	extra 51:9
258:16,20 259:1	279:13 285:9,10	158:7 161:10	exhaustive 101:15	extract 34:11
262:13,14 268:21	297:22 298:7	162:19 164:18	exist 36:1 76:19,20	extracted 123:8
274:15 276:5	evidence-based	266:17	186:13 209:2	extracting 15:22
301:16	49:14	excludes 149:2,8,10	existing 57:4 82:17	extraordinarily
establish 141:10	evident 148:10	149:13 158:12,14	exists 269:9	257:17
establishment	evolving 34:21	160:9	expand 62:6 233:6	extreme 216:5
249:21	exact 33:15 56:19	excluding 55:18	297:15	extremely 288:3
estimate 65:17	138:4	97:9 151:14 159:9	expanded 97:16	eye 45:15 46:16
249:5,10	exactly 6:22 26:1	246:6 259:21	232:22	eyeballs 66:11
estimates 9:16	38:5 72:10 125:4	exclusion 36:16	expanding 177:1	
European 10:12	178:15 195:12	106:8 118:10,19	215:18 224:17	F
11:10 18:7	237:15,16 280:20	119:10 120:4	225:14 270:9	F 112:16
evaluate 217:8	300:10	126:3,8 131:21,22	expansion 224:15	FAAFP 1:16
242:5	exam 41:10 153:15	132:11,22 143:15	expect 136:9	FAAOA 1:20
evaluated 61:1	242:19	151:3,6,8 153:21	expectation 235:8	face 57:15 108:20
117:3	examination 85:8	154:13 157:21	expectations 237:5	179:2,7,14 210:22
evaluating 117:10	104:22	178:5 179:19	expecting 19:2	211:19
148:4	examinations	209:2 245:4,12,21	217:10,12 218:8,9	faced 256:2
evaluation 8:4	119:3	246:16 247:19	218:11 221:18	FACEP 1:15
10:17 39:14	example 74:20	260:9 265:7,18	experience 53:15	facilitates 121:6
175:22 179:17	119:2 129:13	266:15 270:5	60:19 67:15 130:5	facilities 134:6
276:6,14 283:11	143:8,9 158:4	273:19 284:21	193:13 195:16	136:5,19 138:2
event 23:14 34:17	169:17 240:22	exclusions 13:12	279:1	139:12 156:17
219:22	247:22 271:12,16	14:16,18 16:2	experienced 58:1	173:5 177:2 199:3
events 290:15	276:7 300:2	55:16 94:16 100:5	experpal 132:21	212:3 247:14
eventually 83:3	exams 62:1 154:17	101:16 104:2,7	expert 88:1 94:18	facility 128:8
109:1	268:19	111:16 120:2,3	135:16,20	133:11 136:7,17
everybody 58:3	exceed 258:7	121:13 123:13	experts 93:19	139:1,6 150:14
99:4 134:8 169:9	exceeded 147:4	125:17 131:17	explain 210:3	153:1,6,11 154:16
181:8 209:7	exceeds 147:11	134:17,19 139:16	250:20	167:13 295:21
224:16 227:6	excellent 92:5	139:17 159:7,18	explicit 246:3	299:1,6
259:12 263:6	exception 83:12	163:7,14 168:20	explicitly 36:6	facility-level
272:22 283:9	188:15	168:22 170:22	45:12 103:7	123:11

FACR 1:14	fast 27:10 254:8	43:13 171:13	finished 216:19	focused 206:17
FACS 1:20	faster 194:5	175:20 231:3,4	first 49:2 53:3	266:4 280:4
fact 10:13 13:2	fate 181:2	236:3 275:19,20	59:17 64:1 98:19	focusing 202:9
41:11 64:8,19	favor 81:4,7 91:2	276:2 277:20	100:4,12 101:3	folks 80:9,11,12
65:9 66:19,21	108:8,12 225:11	278:8,11 279:1	102:5,6,13 141:20	126:17 145:22
75:10 154:5 160:1	feasability 226:3	280:10 284:6,19	144:4,6 145:12	156:3 252:4,4
163:16 168:22	feasibility 5:20	287:16,19 295:17	147:21,22 148:13	253:1,7 281:6
169:1 174:2	15:17 48:5 51:15	296:2	164:19 167:7	284:11
188:18 220:9	58:13 77:8,13	Fifteen 91:10 115:2	183:21 185:9	follow 188:7
248:18 265:8	96:12,21 106:7,17	137:12	196:2 208:8 216:6	207:12
269:17 287:3	107:16 114:16	Fifty-one 165:2	216:7,20 224:21	followed 79:16
facto 65:2	123:7 124:7	figure 25:5 46:21	244:14 254:6	following 4:6 76:5
factor 65:18 135:22	169:14 180:3	59:3 75:3 103:17	260:12,20 271:17	149:6,13 194:22
factoring 284:13	183:19 187:7	107:10 108:15	271:22	254:16,20,20
factors 36:14 76:5	192:16 194:12,22	178:12	fit 19:9 50:15	follow-up 80:17
119:9 165:18	195:6 198:6	figuring 171:2	208:18 213:6	food 81:15 178:17
206:19 258:16	201:11 202:5	file 110:13	five 4:11 48:6 55:3	force 59:6
factual 54:19	221:8 228:1 232:1	fill 110:6 218:10,13	55:5 83:2 93:9	forecast 13:6
fail 241:11,12	247:8 248:7 259:3	228:3	114:15 116:3	form 35:6 77:15
242:12	263:18 288:10,17	filled 59:2	148:13,21 159:11	102:15 107:19
fails 84:20 241:6	292:2 302:9	filling 217:13	166:7 192:19	108:20 173:19
failure 286:10,11	feasible 78:3 131:5	film 68:22 70:10	211:10 216:7	217:13 218:14
287:12 299:9	198:14 203:5	films 64:7 65:14,19	218:22 219:2	242:20
fair 127:18 179:17	302:11	66:13 73:5,6 80:1	237:8 256:22	Forman 1:17 26:12
185:10 195:1	FEBRUARY 1:7	final 26:5 133:17	258:15,18 261:8	26:12,20 27:2,16
fairly 139:8 162:21	fee 94:12	138:8 166:4 226:9	262:4	32:3,21 33:9
257:19 290:6,11	feedback 220:2	248:8	five-year 233:9	35:15 40:8,13
294:15	222:20	finally 47:18	fix 263:10	49:11 52:5,9,18
fairness 190:7	feel 18:11 20:7,18	financial 58:6 71:1	fixable 263:1	52:22 53:5 56:7
220:11	101:12,22 136:20	financing 65:10	274:18	56:11,14 57:13,17
fake 273:1	161:2 162:10,12	find 24:8 105:17	fixed 270:17	65:16 67:19 68:1
fall 19:14 29:7	189:10 203:5,9	106:12 126:1	flag 105:5	69:8 70:14 71:15
164:22 171:11	207:17 219:7	163:7 181:10	flags 105:4	72:18 73:20 75:6
208:20 213:20	220:22 223:16	192:7 203:6 209:2	flavors 11:20	79:6,14
266:1	225:18 242:8	213:13 215:3	fleshed 63:9	format 176:4
fallen 166:18,20	253:18 254:6	219:11 262:9	flight 13:16 302:18	forms 105:12 108:1
falls 180:3	283:10	272:7 282:11	flights 145:19	228:3
false 28:17 116:8	feeling 236:20	finding 150:21	flip 185:12 251:2	forth 276:9
family 36:13	266:16	218:6 234:2	flipped 94:4	fortunately 228:11
fan 175:8	felt 10:12 169:19	findings 85:8 104:9	flipside 181:3	Forty-five 191:14
FANTA 2:19	204:2	126:7	floodgates 33:12	forty-two 261:9
far 77:16 113:4	fence 280:11	fine 7:2 30:6 35:21	Florida 168:11	forum 1:1 206:4
145:2 147:3	fewer 94:8 191:7	79:13 80:22 140:7	fly 132:9,12	209:1
157:19 160:12	210:6	140:9 167:18	focus 10:10 54:6	forward 112:20
162:21 169:5,14	fidelity 257:19	224:22 233:14	83:19 85:1 118:18	198:14,19 232:15
172:10 186:21	Fiesinger 1:16	finish 79:1 146:2	244:6 260:17,22	265:3 272:9
241:16 298:2,4	28:13 40:2,9,14	174:6 303:10	262:1 265:4	273:15

for-service 94:13	127:21 163:21	77:6 78:16,22	generated 15:19	116:14 130:12
found 76:10,16	fundamentally	79:8 80:19 81:2,6	247:8	156:9,11,21 157:3
78:2,7,11 101:21	35:2	84:18 86:10,15	generating 86:6	158:18 159:6
154:12 204:20	funny 240:17	87:9 89:3 90:2	genetically 257:20	164:16 173:8
246:3 249:11	further 166:9	91:1 97:4 98:7,14	Geneva 12:12,12	198:11 229:3
252:11,22 265:2	194:12 195:15	99:14,21 103:18	12:13 21:10 39:19	250:1 256:18,22
265:10	217:1 226:20,22	105:20 106:19	44:7 255:15	264:13 271:12
Foundation 147:15	230:16 253:19	108:7,10 110:9,19	geographic 121:22	275:22 277:9
four 22:15 55:3,4,6	295:6 296:7	111:5 112:2,12	geographically	279:15 288:17
65:22 100:20	Furthermore	113:4,12,18,21	152:7	298:2
114:10 115:3	142:20	115:7,10,18	getting 17:22 26:15	given 10:20 68:6
142:12,13 190:18	G	124:10,13,21	34:13 42:22 51:3	115:22 131:15
219:18 229:8	gain 33:3 241:18	126:15 127:1	53:5 58:3,4,13	132:10,14 150:20
232:18 256:22	gained 141:16	129:8 131:6 132:7	61:1,7,9 63:11,12	156:13 160:5
260:1 262:4	game 59:4	132:16 133:2,14	67:16 70:11,12	172:12 185:5
278:10	gaming 26:15	134:3 137:19	100:8 101:10	204:2 212:10,11
fours 79:9	gap 10:8 45:9 122:6	138:6 139:22	104:13 112:1	246:20 257:21
Fourteen 48:6 83:1	gaps 45:8	141:6,13 143:19	117:3 126:13	282:1 293:7
fourth 12:5	gastric 300:3,4	144:11,18,22	131:4 140:21	300:11
frame 212:17	gather 130:19,21	145:6 146:4,7	189:12 195:11	gives 13:8 98:22
289:12	155:8	151:22 152:3	201:4 206:7,14,20	99:11 110:14
frames 204:2,7	gathers 11:11	157:7 170:16	208:10 222:3	129:4 135:12
216:2	Gaussian 256:22	178:16 182:3	228:4 255:3 278:1	140:7 168:3 175:9
Framingham	Gavin 1:20 7:7	191:3 209:20	278:2 281:4	giving 6:21 140:9
250:13 252:6	16:13 115:17	227:15,19 242:13	292:21 300:4	99:11 110:14
253:2 258:6,9	134:20	245:19 246:5	Gibbons 1:17	129:4 135:12
263:18 266:12	Gazelle 1:10,13 4:3	254:3,3 266:14,22	24:18,18 38:8,8	140:7 168:3 175:9
frankly 262:7	4:12,20 5:17 6:15	267:10 268:16	110:10,10 127:2,2	giving 6:21 140:9
free 108:6 295:20	6:20 7:1,5,10,22	269:4,10,15 273:3	128:10,22,22	glob 35:5
free-standing	8:21 9:10 16:9	280:7 289:1,14	146:11,13 150:14	global 250:2
242:17	19:17,18 21:1,1,7	290:2,10,14,22	152:2 168:8,18	271:21
frequent 265:1,5	21:13 23:2,13,17	291:17	169:3 170:7,21	go 4:22 7:22 8:3 9:7
frequently 139:6	24:3,14,14 25:12	gee 178:22	171:9 172:5,5	9:12 13:16 27:8
204:5 272:8	25:19 26:10,18,22	gender-based	190:14,14 191:8	34:11 41:18 43:6
fresh 182:5	29:14,21 30:4,7	252:10	191:15,18 192:1	47:7 53:2 56:16
frightening 33:10	31:14 32:18 33:13	general 93:5 148:6	192:12 193:12,12	63:18,19 80:2
front 100:22	34:1,1 36:3 37:1	157:9 197:6	195:8,13,13 196:7	81:10,15 84:20
220:18 221:7	39:9,22 40:5 41:8	208:13 225:9	201:5 244:20	85:14 89:17 107:8
222:20	43:17 44:13 45:4	236:20 256:9	282:2 283:12	107:14,21 108:5
fucking 112:11	45:17 46:9,22	264:18,21 281:14	290:12,18	130:2 137:2
fulfill 8:15	47:3,9,15 48:1,22	282:16 295:8	give 8:1,20 10:7	142:10 153:6
full 67:16 147:20	53:2 56:2,8 59:12	300:18	25:22 26:4,8	154:17 157:4
148:3 200:11,21	60:1,10 62:8,10	generalists 283:4	46:12,13,14 91:6	162:17 168:21
252:14	63:21 68:3,17	generally 111:22	91:8,9 92:5,11	183:7 184:19
fully 63:8	70:1,9 71:7,11,14	181:5 187:12	99:8 104:9 114:2	193:16 195:14,21
functionally 100:6	74:5 75:20 76:10	248:14 259:17	114:4,8,9,10,13	198:19 202:6
fundamental		279:2 300:17	114:14 115:21	203:5 212:19
				219:18 221:16
				224:9 226:20
				230:1 236:10
				241:8 251:3

253:12 254:17 258:1 259:6,10 265:8 268:8 276:19 282:19 284:9 287:13,14 287:19 295:6 303:8 goal 116:21 119:19 goes 22:17 59:20 76:9 109:21 144:9 153:15 161:8 172:10 239:16 253:19 254:22 259:13 263:18 268:10 269:15 going 4:3,6,8,13,17 5:21 9:7 18:17 21:19,20 24:2 26:8 27:12 31:21 33:4 34:2,11 36:19 42:4,6 43:3 43:5,19 44:1,3 45:11 48:20 49:1 51:2 52:3,11,13 53:9 57:1 59:3,5,8 61:9,11,22 64:13 64:16,17 66:21 72:22 73:17 74:10 75:12,17 76:18 77:14 81:11,16,19 83:14 91:13 92:7 99:1 105:21 108:3 108:22 109:5,11 111:17 113:13,22 117:15 137:21 138:20 140:22 142:18 146:8 153:22 159:4 161:17 162:12 163:1,3 164:6 165:18,20 171:7 176:5 178:9 181:2 183:5,7 189:1,16 190:18 191:1 194:5 195:14 197:4 200:13 201:6 210:2	216:13 218:12 220:15,17 221:4 223:1 225:9 226:12,20 228:6,8 228:10 230:9 231:5,9 232:14 235:8 236:8 237:6 238:5 247:14,20 249:17,20 252:20 258:1,4,7,8 259:7 259:10,16 261:6 270:21 273:5 275:3 279:19,20 280:6 284:8 288:12 290:20 291:12 302:17 303:6,7,8 gold 154:13 Goldstein 82:21 good 9:12 17:5 20:3 20:18 31:20 32:15 35:9 42:18 43:11 52:18,20 54:1 58:5,12 68:14 77:11 88:6 89:14 106:16 118:3,4 119:13 128:3 141:2 144:4 167:21 168:12 169:13 204:22 208:9 210:14 226:19 245:9 246:3,8 256:5 262:20 271:16 gotten 39:2 237:20 graded 127:13 gradings 187:17 grafting 148:13,20 151:1 grafts 178:8 grandma 181:2 Granted 132:3 graph 152:6 graphing 149:6,12 grave 74:22 gray 90:14 109:20 163:19 190:6,7	great 14:3 24:11 29:12 75:6 155:11 170:15 181:18 200:16 228:9 260:7 261:9 275:2 283:19 greater 13:11 104:5 122:1 Griffey 1:18 16:18 18:19 19:4,12,21 20:6,12 21:5,11 21:15 23:9 28:20 28:20 32:22 33:2 34:12,13 62:9,12 62:12 71:12 72:8 73:16 74:1,12 100:21,21 102:17 125:2,11 170:19 181:14 211:21,22 215:2,11 220:11 220:16 224:11 225:1 240:21 251:6 255:8,9 257:7,7 263:2,5 263:10,19 264:3 269:19 273:17 274:10 Griffey's 22:8 grossly 171:20 287:9 ground 51:20 grounded 179:17 group 3:5,7,8,10,13 3:15,17,18 7:13 16:12 17:19 18:11 18:18 19:7,10,13 19:18 20:19 22:4 24:16 37:9,11 38:4 45:15 50:19 63:6 73:14 74:2 81:19 82:20 95:9 95:22 97:6,16 98:10,12 102:12 108:1 115:13 124:14 131:10,14 132:4 138:19 146:11 156:4	164:6 170:17 173:18,19 178:7 180:22 190:12,16 193:3,4 223:7 229:15,17 230:3,4 232:7 235:17 236:1 238:4 248:11 252:13 280:18 289:2 296:12 297:3 groups 37:18 90:18 107:4 145:12 188:12 212:22,22 238:10 growing 92:9 254:8 grown 147:13 growth 146:20 150:8 guarantee 109:21 275:5 guess 5:7 20:8 27:19 30:19 56:15 89:12 100:22 111:11 136:7 152:22 157:7 169:5 173:12 175:15 190:15 196:21 203:11,17 205:13 229:7 251:10 264:4 268:7 270:20 273:8 274:5 298:2 298:17 302:15,21 guidance 98:22 99:8 234:15 guideline 10:11 49:15 82:18 84:6 87:18 88:17,20 89:12 159:13 164:22 188:19 218:13 259:1 286:12 295:2,3,4 guidelines 11:8,10 38:1 89:21 90:5 90:13,19,20,21 93:14 107:9 141:22 189:4,18	283:1 286:3 287:1 298:5,6,8,11,13 gut 223:16 guy 256:7 261:9 guys 25:2 37:8 181:7 230:7 <hr/> H <hr/> H 248:20 hairier 83:18 half 4:14 31:5 137:8,16,16,17 192:10,17 260:12 260:15 261:2 HAMMERSMIT... 2:20 hand 110:2 180:13 213:22 handle 266:10 288:10 handout 117:12 121:11 136:20 hands 114:5 handwritten 194:16 hand-held 47:20 Hanson 63:2 happen 165:7 172:17 197:9 198:13 202:17 213:18 happened 165:7,10 196:15 happening 198:18 happens 175:9 261:15 271:19 happy 61:5 89:1 98:13 99:4 107:12 107:13 174:14 223:15 232:7 284:12 Harbor 71:22 74:2 80:11 hard 5:8 13:5 27:4 65:17 66:15 73:16 101:14 125:3,13 149:7 163:7
--	---	---	--	---

202:19 204:19 249:16 257:3,11 harder 96:1 harm 151:17 203:12 harmonization 15:14 72:10 96:4 123:3 176:3 187:3 247:5 288:1 302:6 302:8 harmonize 177:5 harmonized 57:7,7 57:10,10,12,14 70:15 174:20 175:2 233:17 harms 179:9 harsh 248:14 hate 248:14,21 hazard 249:15 head 21:18 30:11 31:12 48:15 49:13 51:3 53:8,22 58:4 58:9 59:1 60:20 61:2,5,7,9 63:11 64:11,14,16,17,19 81:17 82:2,7 99:2 104:17 110:7 116:19,20 117:4,6 118:9 119:1,20 121:14 122:9 125:22 130:6,10 130:12 131:2 135:5,8 145:12 219:9 headache 48:16 81:18 82:3 83:1 83:10 85:7,10 87:15 90:5,9,15 90:22 92:8 97:18 103:4 104:20,21 105:8 106:12 111:10,20 117:3 117:13 118:6 119:3 129:20 131:13 135:1,19 headaches 82:8 93:1 97:8,9,10,12	97:13,22 105:7,10 105:11,12,15,19 113:17 119:4,5 129:19 130:14 heading 113:17 headline 180:21 head-only 61:4 Head/Spine 3:4 health 96:2 103:5 266:7 healthcare 155:16 164:8 243:20 280:13 healthier 262:15 hear 86:11 171:13 260:21 291:3 heard 107:3 185:6 200:21 237:3 264:16 293:21 294:1 hearing 5:8 141:16 141:17 157:8 heart 70:8 157:22 165:17 228:11 234:7 260:13 286:9,11 287:12 299:9 heaviest 281:4 heavily 106:16 heck 99:12 hedge 69:1,13 Heidi 2:17 4:16 Helen 2:16 89:3 145:10 289:3 Helen's 208:21 hell 162:9 Hello 112:12 help 96:8 109:17 136:5 154:19 175:14 211:1 242:8 helpful 17:8 26:4 120:10 271:10 helping 4:19 hesitate 279:18 280:14 hesitation 20:20	heterogeneous 294:21 hey 43:7 178:5 high 6:16,17 8:22 10:19 11:13,17,20 12:4,7,9 13:1 17:6 18:17 19:8,16 20:11 21:2,8 23:5 24:6 30:20 31:4 32:17 37:16 38:3 38:6 46:2,3,12 47:8,11 48:5 67:21 91:7 106:22 111:12 114:2,8,13 117:16 123:1 132:14 137:22 145:8 149:19 178:11 190:7 193:6,11 202:8 203:12 225:18,21 226:1,4 243:7,20 253:4 257:18 258:22 266:7 276:20 277:12 279:4,6 285:17 291:21 292:19 294:10,15 298:12 300:2 higher 63:6 66:7 127:18 166:19 185:7 203:15 232:18 262:8,16 278:20 highest 152:8 165:21 highs 30:18 47:16 48:2,6 114:17 high-risk 188:17 188:21 201:13,16 202:19 203:15 209:17 211:5,9 high-sensitive 11:15 25:15,16 high-sensitivity 9:3 23:10 24:9 historical 74:21 history 35:2 36:14	97:22 98:1 130:17 131:2 250:8 255:10 260:13 268:11 291:9 hit 31:11 HIV-positive 85:10 Holly 26:11 Hollywood 177:3 home 179:6 Homer 1:9 honest 59:8 honestly 8:6 234:10 hook 291:2 292:13 hope 52:5 107:21 267:11 hopefully 53:10 59:8 155:13 222:2 227:1 horizon 45:10 hospital 42:21 107:22 108:18 110:5 116:17 127:12 140:4 142:10,13 144:1 150:11,16 155:18 156:14 278:17,22 279:4 297:13,16 297:20 299:1,5 hospitals 28:13 69:12 127:19 128:1,2 137:5,9 140:7 142:6 150:2 155:2 156:5,8 159:2,5 296:4 hour 4:14,15 267:22 hours 146:5 house 69:20 283:10 Houston 166:2 Howard 1:17 26:10 26:12 Howie 32:4 38:10 49:2,7 60:16 65:13 67:3 69:7 70:16 Howie's 35:9 huge 45:9 52:21	103:11 125:6 138:20 172:17 262:1 290:17 hundred 136:8 237:11 hurdle 58:21 102:6 hydrocephalus 131:16 132:10 145:3 hypotension 14:17 hypotensive 13:14
I				
Ian 2:18 4:19 5:1 6:5 IB 202:21 ICD 163:22 ICD-9 94:5 99:22 149:9 158:2 228:21 iceberg 263:7 idea 18:22 60:16 75:7 116:22 175:21 213:18 224:16 228:4 238:3 256:5 ideal 29:18,19 146:3 identical 187:17 identification 14:21 246:22 301:18 identified 29:5 99:19 100:15 239:3,21 identify 29:4 41:10 63:10 82:16 100:13 121:2 246:7 identifying 23:19 63:4 255:5 IEP-005-10 3:5 IEP-006-10 3:8 IEP-008-10 3:7 IEP-011-10 3:13 IEP-012-10 3:10 IEP-014-10 3:18				

IEP-015-10 3:15	imperfect 13:7 63:20	improvement 10:1 11:3 17:2 30:13 30:16 53:19 59:18 82:20 92:11,17 122:4,11 151:11 244:1 279:9 297:1	106:14 158:3 176:14,14 198:3 203:20 204:15 210:22 214:14 215:9,19 224:17 232:22 233:6 273:18	74:17 111:16
IEP-016-10 3:17	implement 230:16	improvements 175:13	included 42:3,10 43:12 90:12 105:10 231:2 260:2 269:17	indication 11:7 31:13 34:14 42:18 71:6 73:8,18 74:8 93:2 97:21 100:8 100:11 105:1 184:16 188:20 208:14 212:7 213:6 220:12,18 222:3 240:22 265:1 267:18 291:5
IEP-017-10 3:15	implemented 200:13	improves 206:22	includes 72:14 163:8	
II 17:7	implementing 199:7	Inaccuracies 16:2 123:15	including 45:14 78:9 121:21 123:17 176:5 214:3 215:18 235:2 297:20 299:8	
ill 28:1	implicit 12:20 17:4 43:22 44:8	inadequate 58:10 67:17 87:16 140:11 285:12	inclusion 227:3	indications 37:5 45:2 73:10 82:7 185:15 186:9 197:8 208:17 216:16 268:21
image 71:5 154:7 170:2 218:1	implicitly 42:3	inappropriate 10:6 54:3 91:22 92:22 99:10 122:8 142:8 142:22 143:12,13 148:15 165:1 184:16 185:3 189:15 190:3 192:19 199:1 202:9 203:14 206:8 208:16 216:8 218:16 219:7,14,22 220:7 220:8,18 223:7 238:4,17 242:11 255:4,6 262:19 265:1,5,6,15,21 266:19 267:1,2,14 267:14 268:14 272:15,19 277:21 278:12 279:11 282:7 287:9	incomplete 84:13	individually 22:17 67:18 195:1 219:16
images 65:21 66:4 68:6,11 131:1 244:9	implied 99:17 242:15	inappropriate 10:6 54:3 91:22 92:22 99:10 122:8 142:8 142:22 143:12,13 148:15 165:1 184:16 185:3 189:15 190:3 192:19 199:1 202:9 203:14 206:8 208:16 216:8 218:16 219:7,14,22 220:7 220:8,18 223:7 238:4,17 242:11 255:4,6 262:19 265:1,5,6,15,21 266:19 267:1,2,14 267:14 268:14 272:15,19 277:21 278:12 279:11 282:7 287:9	inconsiderable 62:18	individual 58:17 59:2 88:16 123:9 192:8 218:18 219:1,6
imagine 125:13,20 231:14 284:16	implies 64:6	inappropriately 117:1	inconsistent 197:2	individually 22:17 67:18 195:1 219:16
imaging 1:3,8 5:19 7:12 11:9 35:19 48:15 49:5,12 50:13,22 57:20 58:3,10 59:20 60:21 63:16,18 64:3 70:5 71:17 72:16 73:2,4 75:2 76:4 78:4 80:14 81:17 82:2 89:21 92:8 93:1 105:1 105:16,16,19 111:20 116:4,7 121:15 144:1 146:15,16,21 147:3,13,18 148:1 148:12 149:1,14 150:12 155:5 156:17 170:1 184:3 206:21 212:18 217:17 218:22 219:1,15 223:5 224:1 229:6 232:17 243:13 261:17 267:13,14 271:20 273:4 276:6 277:3 291:20 295:20	import 208:7	inappropriateness 181:17	incorporates 75:15	individually 22:17 67:18 195:1 219:16
importance 6:8,10 6:14,16 9:14 46:10,11 53:12 54:19 84:19 86:17 91:4,5 94:21 114:1,3 117:16 119:15 143:6 145:7 149:16 152:1 185:20 225:17,19 241:6 241:11,12 242:7 243:4,7,8,8 247:17 279:17 298:16 302:20,22 303:2,6	importance 6:8,10 6:14,16 9:14 46:10,11 53:12 54:19 84:19 86:17 91:4,5 94:21 114:1,3 117:16 119:15 143:6 145:7 149:16 152:1 185:20 225:17,19 241:6 241:11,12 242:7 243:4,7,8,8 247:17 279:17 298:16 302:20,22 303:2,6	incentives 161:15	incorrect 120:22	individually 22:17 67:18 195:1 219:16
imagine 125:13,20 231:14 284:16	important 12:21 16:22 50:9 82:12 84:2 86:18 96:1 101:2,3 102:7 117:19 118:18 120:10 122:3,10 131:4 143:17 149:17 217:6 229:5 234:10 243:17 260:5 283:20	incidence 280:22	incorrectly 142:16	individually 22:17 67:18 195:1 219:16
imaging 1:3,8 5:19 7:12 11:9 35:19 48:15 49:5,12 50:13,22 57:20 58:3,10 59:20 60:21 63:16,18 64:3 70:5 71:17 72:16 73:2,4 75:2 76:4 78:4 80:14 81:17 82:2 89:21 92:8 93:1 105:1 105:16,16,19 111:20 116:4,7 121:15 144:1 146:15,16,21 147:3,13,18 148:1 148:12 149:1,14 150:12 155:5 156:17 170:1 184:3 206:21 212:18 217:17 218:22 219:1,15 223:5 224:1 229:6 232:17 243:13 261:17 267:13,14 271:20 273:4 276:6 277:3 291:20 295:20	important 12:21 16:22 50:9 82:12 84:2 86:18 96:1 101:2,3 102:7 117:19 118:18 120:10 122:3,10 131:4 143:17 149:17 217:6 229:5 234:10 243:17 260:5 283:20	incident 31:9	increase 38:19 50:13 60:17 61:12 62:19 64:20 147:3 147:4,11 155:1 163:14 168:22 191:5	individually 22:17 67:18 195:1 219:16
impact 30:20 97:3 117:17 124:19 158:11 195:8 243:20 276:20 279:20 296:19	impression 61:8	inclination 219:21	increased 191:1 225:4	individually 22:17 67:18 195:1 219:16
impacts 207:3	improperly 11:2	inclined 223:2 248:3	increases 116:3 225:11 265:14	individually 22:17 67:18 195:1 219:16
impalpable 101:14	improve 95:16 243:17 270:22 271:6	include 36:15 44:6 72:19 87:11	incremental 63:12 65:5 124:3	individually 22:17 67:18 195:1 219:16
	improved 106:7 244:4		indeterminate 190:2	individually 22:17 67:18 195:1 219:16
			index 286:2	individually 22:17 67:18 195:1 219:16
			Indiana 229:15	individually 22:17 67:18 195:1 219:16
			indicate 147:18 181:16 221:1	individually 22:17 67:18 195:1 219:16
			indicated 53:14	individually 22:17 67:18 195:1 219:16

263:12 266:11,21 267:2,9 268:6,7,9 273:13 279:7 inherent 249:15 initial 25:3 190:4,9 194:11 204:16 238:6 244:11 260:16,17 261:1 266:5,8 271:11,21 Initially 156:12 284:12 inject 164:3 injury 73:17 inpatient 156:5 input 120:21 inspire 151:15 installed 259:11 instances 249:22 instituted 199:3 295:11 institutes 171:16 institution 31:16 60:22 107:8,10 143:14 259:7 277:11 278:5 283:19 institutions 35:17 36:1 137:20 141:4 277:12 283:20 Instruct 189:19 instrumented 74:11 insufficient 284:21 insurance 38:11 intended 203:11 intending 112:14 intense 184:22 intent 24:13 132:10 246:9 intention 141:2 246:16 intentionally 221:5 interest 6:3 170:12 264:16 274:13 interested 23:19 236:19,21 interesting 97:8	122:5 177:9 196:3 248:12 281:22 283:18 299:21 intermediate 9:2,5 10:19 11:14 12:6 12:9 17:6,11 18:11 19:14 21:3 21:8 22:1 37:10 38:4 39:11,16 44:10 279:5 internal 84:11 85:17 internally 154:10 273:8,12 international 38:1 interpret 51:17 180:12 206:5 257:11 interpretable 209:6 255:13 interpretation 52:11,12 68:5 209:6 interpreting 56:9 interprets 68:21 interquartile 110:15 127:6,7 interrupt 77:10 interrupting 56:3 interval 136:14 intervention 184:5 185:8 193:11,22 208:15 interventional 149:15 interview 153:10 intoxication 72:5 intracranial 120:8 130:8 131:19 intriguing 97:17 intrinsic 234:5 introduce 4:15 18:4 20:14 introduced 109:18 Introductions 3:2 invalid 236:9 invasive 160:14	inverse 169:3 investment 259:11 invite 303:12 involved 79:21 120:16 148:4 236:4 in-house 200:3 ischemic 165:16 isolation 175:18 issue 8:5,19 14:4,15 16:8 38:9 54:17 54:17 57:1 58:14 59:1 65:5,8 66:9 70:19 73:3 74:18 87:4 90:15 91:4 97:18,19 98:10 101:1,5 110:8 124:22 131:20 133:11 140:3 149:20 150:22 158:20 169:15,22 171:18 180:11 187:4 190:17 193:15 195:15 196:4 198:6 199:20 204:13 212:5 214:20 215:18 220:3 221:8,21 225:2 228:1 229:2 232:8 233:12 241:13 244:14 248:18 255:4 258:14 264:22 273:19 276:18 277:13,19 280:19 284:20 288:2,5,9,14 292:2,18 294:3 295:18 296:21 301:20 302:17 issues 15:21 16:20 18:5 39:7 63:12 120:19 133:9,16 150:18 156:14 178:2 183:6,15 187:7 188:14 190:15 199:22	202:10 203:8 212:1 218:6 219:18 227:1,3 234:12 241:10,20 244:13 245:6 249:18 250:5,10 250:14 282:22 293:21 302:1 item 249:2 276:19 276:20 items 256:6 It'll 60:14 <hr/> J <hr/> Jacqueline 1:14 59:13,14 68:3,4 74:5,7 75:9 131:7 131:8 Jaguar 32:13 Janice 236:22 January 290:4 JN 116:4 job 4:17 89:20 112:11 152:11 159:20 Joe 197:21 198:9 203:19 212:4 215:1 221:20 223:9 226:15 230:14 241:9 250:16,17 251:18 251:19 264:13,15 275:18 277:8 291:17 293:20 294:6 Joe's 197:5 John 37:4 join 4:10,21 joined 22:22 journal 147:8 179:6 judge 139:1 judgment 12:20 17:4 21:9 39:13 43:22 44:8 107:5 250:20 Judy 2:14 45:6,7	48:18 87:9,10 91:15 98:5 102:21 175:7 284:1 jump 61:22 juncture 296:6 justification 13:3 102:1 291:3 292:5 justified 55:16 94:16 121:13 179:20 255:22 289:13 justify 158:9 163:7 219:9,17 justifying 160:6 <hr/> K <hr/> KAREN 2:21 keep 5:10,16 6:12 45:15 46:16 50:10 111:5 114:5 165:17 259:15 265:18 280:6 keeping 6:3 kept 88:20 189:5 kick 285:11 kicking 160:2 kidding 179:6 kind 17:21 19:1 21:16 31:6 43:7 69:11,13 101:10 101:14 113:9 125:16 126:4,6 137:17 152:14 159:16 172:19 173:2 190:1 193:5 201:21 202:14 211:13 215:22 220:19 221:7,14 232:8 234:4 238:17 246:1 255:13 258:15 276:10 281:7 287:6,11 295:5 kinds 162:20 238:5 250:3 Kirk 2:12 33:13,14 42:1 70:1,2 73:9
---	---	--	--	--

160:18 171:21 177:22 187:16 192:15 193:16 194:9 197:18 198:6 201:3 210:10 214:1 231:11 270:15 291:1 298:17 know 4:16 11:22 17:14 18:6,22 22:22 31:2,4,9,10 35:16 43:2,4 44:22 47:18 49:20 69:5,15 75:18 81:12 87:21 89:10 89:14 90:15 99:12 101:15 107:18 110:15,17 111:10 113:5 126:22 132:20 134:7 136:6,15 137:1 142:2 143:1 153:13 154:12,16 155:19 159:12 161:7 162:8,22 165:5 167:9,21 168:9 169:6 170:4 170:4 172:14 174:4 176:12 178:13 179:7 181:20 193:10 200:20 202:6 204:21 205:13,14 205:15 206:15 207:14 208:8 215:20 216:1 217:13 218:6 222:15,22 239:1 240:6,8,11,16 241:15 250:16 251:18 258:6 261:4 262:3 267:3 268:2 270:16 272:6,14 277:9 283:17 286:18 287:18 292:1 297:4 299:16,19	299:22 301:14 knowing 56:18 103:14 198:7 294:20 knowingly 221:4 knowledge 154:8 known 12:14 233:21 234:7,11 246:18 250:8 260:13 263:8 knows 8:22 284:5 <hr/> L <hr/> L 1:22 lab 11:19 27:13 161:19 212:18 217:20 218:9 219:1 220:1 221:12 223:5 224:1 261:13,19 278:21 287:14 label 143:22 laboratory 148:3 217:16 labs 27:11,14,15 250:15 lack 143:15 194:14 lacking 99:5 101:21 laid 93:17 laposcopic 300:3 large 54:7 134:15 135:13,16 138:18 192:7 223:14 257:20 265:5 largely 116:12 larger 127:22 220:3 lasting 141:4 Lastly 261:4 LASZLO 1:18 late 147:2 254:13 laterals 66:1 latest 147:7 laying 66:15 lead 74:3 81:20 199:5	leadership 183:5 leads 235:16 lean 210:5 leaning 254:4 learn 125:5 learned 218:17 leave 4:14 43:16,17 113:2 145:22 146:11 193:3 195:7,9 201:17 229:9 286:5 292:3 leaves 201:6 leaving 73:20 left 59:21 69:6 189:6,7,9,12 201:16 202:20 legal 243:1 legitimate 180:16 181:11 length 296:19 lens 131:11 lessening 117:22 letting 229:4 let's 7:6 19:16 47:3 53:2 64:16,17 72:18,19 105:22 106:1 133:14 134:3 141:21 144:12,14 146:11 151:22 161:9 176:6 184:19 195:17 224:21 243:10 274:1 275:18 294:13 level 43:20 72:4 74:13 76:12 84:9 84:11 85:3,4,11 85:16 86:2 87:2,5 87:6,11 88:2,10 88:15,22 107:12 107:13 139:1 140:10 159:4 191:22 192:1 203:5 212:18 217:16,20 220:1 224:1 237:6 301:3 levels 76:13 128:16	133:12 Lewin 280:18 281:3 297:3 liability 230:19 life 67:7 178:18 likelihood 17:20 20:2 likes 66:2 Likewise 160:7 limit 99:9 100:6 119:11 124:17 limitation 127:21 limitations 14:7 99:7 limited 114:21 145:1 186:10 187:8 228:14,16 297:13 limiting 80:1 limits 124:19 128:12 154:8 line 46:6 89:17 112:21 113:5 226:16 233:16 lines 21:17 linked 164:7 list 94:5 101:15 185:13 203:16 229:16,17 230:2 243:19 255:19 260:11 276:8 287:9 294:20,21 294:22 listed 85:16 146:22 168:10,19 255:14 listening 5:14 listing 55:14 lists 99:22 257:10 literally 292:10 literature 10:2,12 10:15 18:22 19:2 87:19 117:18 118:8,21 147:1 189:5 295:3 little 4:4 16:14 17:10 20:20 29:12 34:16 35:8 47:20	53:10 61:18 62:4 62:6 63:16 69:6 83:17 84:16 100:3 100:18,22 103:22 118:11 125:3 152:15 164:3 169:15 198:11 201:20 207:17,19 208:1 256:11 268:9 281:8 285:15 294:17 live 171:15 281:11 local 23:16 234:18 247:1 locus 132:22 logic 181:9 260:22 logistic 113:15 logistics 191:9 long 198:8 229:16 234:18 259:12 260:11 276:8 289:12 longer 64:7 266:8 266:11 long-standing 50:4 look 5:1 6:7 17:7 25:7 35:10 42:12 42:13 43:1,8 58:20 62:21 91:20 97:8 100:12 103:15 107:8 108:5 128:4 148:11,18,22 152:16 156:4 161:9 168:12 176:2,2 177:11,12 184:13 189:11 195:17,21 206:10 207:4,13 212:21 213:22 215:21,22 216:10,19 228:20 232:16 233:18 235:9 236:12 238:5 257:15 258:2 259:6,10 262:8 265:4,16,22 281:16,17
---	--	--	--	---

looked 25:9 42:4 91:19 97:20 98:4 98:5 164:12 175:21 186:8 212:15 213:9,13 224:3 249:11 258:21 281:10 296:3	249:1 253:22 281:6 290:16 294:1 301:1 lots 27:21,21 262:2 loudly 5:7 love 130:17 181:19 260:21 low 6:19,21 9:3 10:20 11:13,16,21 12:1,9 20:18,19 21:6,7 22:4 23:6 23:20 24:10,21 25:10,14 28:5 29:5,5 31:12,17 32:6,16 37:6,10 37:14,15,15 46:1 46:15 47:7 48:6 63:5 88:2 91:9 97:14 98:2 111:20 114:10 119:19 129:3,4 134:12 136:22 140:21 145:9 150:20 169:12 171:2 178:17 189:21 190:5 201:11 202:15 215:22 225:2,19,22 226:2 226:2,5,7,8,9 238:8 243:8,15 244:10,14 249:19 251:13,16 254:15 254:19 263:8,15 263:16 264:7 276:6,12 277:2,4 277:18 278:12,19 279:2,10 280:20 280:21 281:1,17 282:4 286:15,15 287:4 290:7,11 291:12 293:10 294:7 299:11,14	lows 47:12,13 48:3 114:6,15,18 low-probability 17:9 low-risk 286:13 297:5 299:2,4 luminal 234:12 luminology 234:4 lunch 3:14 145:14 182:5 228:20 lunchtime 182:7 lux 58:7	232:5 map 168:9 March 290:4 margin 17:16 marker 181:11 Martin 276:21 matching 170:9 218:4 material 152:5 materials 6:11 math 136:1 281:3 matter 34:4 73:7 182:9 265:8 287:11 matters 34:5 Maurice 115:16 maximum 137:2,8 Mayo 148:5 249:8 282:1,9,11 MBA 1:17,19 MBP 287:12 MBT 286:11 MD 1:13,13,14,15 1:15,16,17,17,18 1:18,19,19,20,21 1:22 2:12,13,14 mean 6:13 22:12 28:21 30:8,8,17 33:5 40:14 51:21 72:8 73:18 76:11 76:15,16 91:3 136:6 141:20 143:1 156:20 162:2 176:16,17 177:6 192:2 196:6 220:17 221:3 234:2 237:8 240:10 241:1 257:4 260:18 261:18 268:8 270:19 271:12,14 286:6 289:6 295:8 meaning 6:16 196:7 252:6,14 263:11 295:19 meaningful 14:21 94:21 121:19	122:21 175:15 176:18 182:1 means 33:3 46:15 127:12 142:14,17 142:21 183:10 186:11 192:11 meant 154:6 198:21,22 260:11 measurability 133:10 measure 6:8,9,12 8:2 9:14 10:10 11:4 14:6 15:19 20:22 21:12 24:12 24:15,20,22 25:10 25:20 27:17,18 28:21 29:17 30:1 30:15 31:15 32:15 32:20 33:16 34:20 35:4 36:6,15,21 38:16 39:18 41:9 43:19 44:16,18 45:16,21 47:10 48:14 49:9 50:5 53:3,13 54:6,19 55:7,10 56:5,5 57:4,6 58:15 62:14 69:14 70:3 70:11,15 71:16,17 71:21 72:9,11 75:22 76:22 77:9 79:4,18 80:13 81:20 82:1,15 83:19 84:2,4 85:14 91:12,12,13 91:17,21 92:2 93:6,22 94:12 95:1,18,20,21 96:1,5 99:6 101:1 101:2,22 102:1,5 102:11,13,16 103:2,8,9,13 106:5,22 107:2,3 107:16 109:7,14 111:9,14,16 112:5 112:6 113:13 115:1,3,13,19
looking 8:15 31:6 96:9 97:11 98:12 105:14 116:15 124:18 129:9 131:1 134:14,22 137:16 150:11,12 153:1 154:16 164:7,8 167:6 177:11 181:5,6 185:14 188:18 189:7 192:16 234:11 238:3,9 239:3,5,22 242:10 258:10 276:5 280:12 281:22	25:10,14 28:5 29:5,5 31:12,17 32:6,16 37:6,10 37:14,15,15 46:1 46:15 47:7 48:6 63:5 88:2 91:9 97:14 98:2 111:20 114:10 119:19 129:3,4 134:12 136:22 140:21 145:9 150:20 169:12 171:2 178:17 189:21 190:5 201:11 202:15 215:22 225:2,19,22 226:2 226:2,5,7,8,9 238:8 243:8,15 244:10,14 249:19 251:13,16 254:15 254:19 263:8,15 263:16 264:7 276:6,12 277:2,4 277:18 278:12,19 279:2,10 280:20 280:21 281:1,17 282:4 286:15,15 287:4 290:7,11 291:12 293:10 294:7 299:11,14	<hr/> M <hr/> M 15:20 16:6 187:10 248:8 250:1 276:20 279:9 285:13 288:2 302:2 magnitude 53:14 96:18 100:14,15 125:4 168:3 211:2 282:7 294:22 main 53:6 189:6,7 189:9,12 201:16 202:20 major 69:11 166:1 171:16 183:6 264:16 majority 6:20 88:12 212:21 223:21 making 6:13,15 72:12 143:20,22 201:10 208:7 257:11,11 265:15 malignancy 119:8 mammo 145:13 209:22 mammography 181:9 manage 154:11 287:5 management 152:21 283:16 mandate 156:3,10 manual 44:19,21	meant 154:6 198:21,22 260:11 measurability 133:10 measure 6:8,9,12 8:2 9:14 10:10 11:4 14:6 15:19 20:22 21:12 24:12 24:15,20,22 25:10 25:20 27:17,18 28:21 29:17 30:1 30:15 31:15 32:15 32:20 33:16 34:20 35:4 36:6,15,21 38:16 39:18 41:9 43:19 44:16,18 45:16,21 47:10 48:14 49:9 50:5 53:3,13 54:6,19 55:7,10 56:5,5 57:4,6 58:15 62:14 69:14 70:3 70:11,15 71:16,17 71:21 72:9,11 75:22 76:22 77:9 79:4,18 80:13 81:20 82:1,15 83:19 84:2,4 85:14 91:12,12,13 91:17,21 92:2 93:6,22 94:12 95:1,18,20,21 96:1,5 99:6 101:1 101:2,22 102:1,5 102:11,13,16 103:2,8,9,13 106:5,22 107:2,3 107:16 109:7,14 111:9,14,16 112:5 112:6 113:13 115:1,3,13,19	
looks 6:12 91:8 109:11 125:7 169:9 171:1 184:14 214:10 230:18 238:12 276:18 lopsided 152:17 lose 273:1 302:17 loss 64:10 lot 5:20 10:10 11:11 12:15 18:13 28:18 39:21 42:22 50:1,14 60:19,20 65:3 66:4 88:9,18 92:14,15 116:9 117:17 121:10 129:16 134:10 145:19 154:10 155:6 156:21 169:10 175:14 176:1,13 189:9 199:11 204:21 209:17 219:6 237:4 242:22	25:10,14 28:5 29:5,5 31:12,17 32:6,16 37:6,10 37:14,15,15 46:1 46:15 47:7 48:6 63:5 88:2 91:9 97:14 98:2 111:20 114:10 119:19 129:3,4 134:12 136:22 140:21 145:9 150:20 169:12 171:2 178:17 189:21 190:5 201:11 202:15 215:22 225:2,19,22 226:2 226:2,5,7,8,9 238:8 243:8,15 244:10,14 249:19 251:13,16 254:15 254:19 263:8,15 263:16 264:7 276:6,12 277:2,4 277:18 278:12,19 279:2,10 280:20 280:21 281:1,17 282:4 286:15,15 287:4 290:7,11 291:12 293:10 294:7 299:11,14 lower 9:1 94:7 116:21 137:18 190:21 299:19 lowest 23:14 189:19 300:15	mandate 156:3,10 manual 44:19,21	mean 6:13 22:12 28:21 30:8,8,17 33:5 40:14 51:21 72:8 73:18 76:11 76:15,16 91:3 136:6 141:20 143:1 156:20 162:2 176:16,17 177:6 192:2 196:6 220:17 221:3 234:2 237:8 240:10 241:1 257:4 260:18 261:18 268:8 270:19 271:12,14 286:6 289:6 295:8 meaning 6:16 196:7 252:6,14 263:11 295:19 meaningful 14:21 94:21 121:19	122:21 175:15 176:18 182:1 means 33:3 46:15 127:12 142:14,17 142:21 183:10 186:11 192:11 meant 154:6 198:21,22 260:11 measurability 133:10 measure 6:8,9,12 8:2 9:14 10:10 11:4 14:6 15:19 20:22 21:12 24:12 24:15,20,22 25:10 25:20 27:17,18 28:21 29:17 30:1 30:15 31:15 32:15 32:20 33:16 34:20 35:4 36:6,15,21 38:16 39:18 41:9 43:19 44:16,18 45:16,21 47:10 48:14 49:9 50:5 53:3,13 54:6,19 55:7,10 56:5,5 57:4,6 58:15 62:14 69:14 70:3 70:11,15 71:16,17 71:21 72:9,11 75:22 76:22 77:9 79:4,18 80:13 81:20 82:1,15 83:19 84:2,4 85:14 91:12,12,13 91:17,21 92:2 93:6,22 94:12 95:1,18,20,21 96:1,5 99:6 101:1 101:2,22 102:1,5 102:11,13,16 103:2,8,9,13 106:5,22 107:2,3 107:16 109:7,14 111:9,14,16 112:5 112:6 113:13 115:1,3,13,19

116:13 117:16	265:3,10,12	MECHTLER 1:18	11:12 14:1 37:4	mince 248:15
119:15,18 121:8	268:15 269:2,2,3	90:4 97:7 104:19	45:8 60:20 65:2	mind 5:16 6:12
122:18 124:9,20	269:5,18 270:6	113:15	229:14 247:18	87:20 95:15 131:3
125:17 126:10,13	271:1,7 272:6,10	median 110:15	249:3 270:8	174:7 214:2
127:17 132:2,18	272:20 273:11	125:9 136:8 137:4	mentions 55:5	minds 50:11
133:5,8,15 134:8	274:15,21 276:5	137:5,8,13,14	merge 98:11	minimal 15:20
136:15 138:7	276:17 283:16	138:13 140:2	merging 80:3	159:6 286:6
140:7,16,20 143:7	284:2,3,9 285:7	158:20,22 159:1	merit 98:6	minimally 57:2,3
146:12,13 148:18	287:11,17 288:2,6	Medicaid 96:3	message 204:8,11	58:19
153:7 155:15	288:9 290:9	medical 13:20 34:7	met 1:9 7:15 25:6	minimis 51:8,11
157:11,16,20	291:10 294:8,9	34:8 41:13 42:4	44:10 53:20 54:20	minimize 23:8
158:15 159:2,3	298:1,18,20 303:1	71:22 76:20 83:6	55:15 56:1,17	minimized 58:7
161:17 162:12,18	303:10	110:4 193:16	57:2,3 58:19,19	minimum 121:4
168:3,13 170:8	measured 54:21	Medicare 51:5	82:11 93:16 96:7	128:7,17 136:9
171:14 172:2,9	55:22 217:15	94:12 96:14 106:6	123:6 251:16	137:7 301:1
173:1,10 174:6,19	measurement 36:7	108:17 109:5,11	277:4 279:17	Ministry 103:5
175:13 177:20	150:20 163:5	109:15 110:7	298:18	minor 64:9 187:18
181:6,15 183:8	measurer's 124:5	121:9 124:22	metastases 105:6	188:14 224:14
184:11 186:1,3	measures 3:4,12	150:15 281:2,12	methodologic	274:5
187:19 188:22	4:18 15:10,11	302:12	172:11 196:4	minus 127:11
191:2 194:16	18:12 44:3 56:20	medicine 9:16	methodology	134:17,18 159:3
195:5 198:3,18,21	57:6 77:2 81:22	22:13 62:17	131:15	minutes 4:11 60:9
200:9 201:20	83:21 91:18 96:6	109:19 142:2,22	methods 177:16	146:8 173:17
202:3 204:1,4,9	96:9 101:4 108:14	medium 31:3 47:4	247:2	254:11
205:21 206:3,5,22	111:18 127:4	48:6 114:4 264:14	metric 179:11	miscoding 123:16
207:15,18,18	148:10 169:6	mediums 48:3	206:14 208:7	misreading 282:3
208:2 210:11,17	173:16 175:8,21	Medpac 52:22	metropolitan 122:1	missed 289:22
211:19 214:10	180:1 183:16	meet 19:9 55:8	mets 286:13	missing 95:9 96:17
215:5,9 216:3,5	186:2,18 196:13	63:15 82:17 86:21	MI 286:10 299:8	161:20 236:2
216:20 217:19	199:9 200:15	161:17 223:20	Michael 1:14 231:9	252:11,13 253:5
219:12 220:5	202:10 206:17	230:3 243:13	microphones 5:12	265:9
224:2 226:10,11	207:1 209:22	meeting 1:4 47:18	middle 6:17 46:13	misspoke 41:18
226:21 227:11	210:16 212:19	121:4 184:3	47:5,12 91:8	mistake 74:22
230:8 231:5,7,22	221:10 224:12	303:14	114:4,9,14 145:9	85:17 143:20,22
231:22 232:2,9,12	227:13 238:6,11	meets 53:13 235:11	162:10	misunderstanding
232:22 233:1,4,15	239:2 241:18	303:1	middles 114:17	139:2
233:18 235:9,13	242:16 246:20	MEMBER 1:14,14	migraine 129:19	misuse 28:5
238:18 240:13,14	257:8 265:9 268:5	1:15,15,16,17,17	migraines 105:11	mix 280:20 300:11
240:17 241:12,15	274:11 280:22	1:18,18,19,19,20	130:15,15	modality 71:18
242:17,19 243:10	289:6,9,15 296:8	1:21,22 2:12,13	Mike 29:15 34:8	72:17 231:1
243:12 244:18	measuring 122:12	2:14	40:1 106:19,20	model 178:21,22
245:1 248:14	199:1 272:19	members 86:12	138:12 150:10	moderate 31:4
250:12 251:9	mechanism 117:8	men 262:10,13	152:19 155:3	225:19,21 226:1,4
252:2,15,18 253:3	118:13	meningitis 125:21	195:10 217:9	243:7 264:5
254:1,5,6,7 255:7	mechanisms 199:2	mention 50:10	228:5 231:18	moderately 162:13
257:3 259:3 260:3	Mechanistically	97:19	258:13 261:21	moderates 30:18
260:6 261:20	80:3	mentioned 6:5	million 135:6 143:2	modest 185:16

modification 25:13 132:8 145:5 157:6 157:8	94:10 95:11 118:17 119:17 122:20 123:7 128:15 142:20 155:18 157:18 194:4 198:13 225:17 234:3 237:22 243:9 244:8 245:18 258:3	narrow 66:5 73:10 135:18 210:3	288:3 292:17 303:8,9	nice 89:20 143:8 165:10 178:19 240:22
modifications 145:2 170:20	modified 12:11,12 45:20	narrowed 258:15	needed 15:18 93:1 95:2	nicely 93:13,17 146:21
modified 12:11,12 45:20	modifiers 121:1 123:19	nasal 117:7 119:6,7 300:6	needs 36:13,21 70:14 95:18 98:3 127:17 132:4 181:16	night 66:10
modify 175:17	modify 175:17	national 1:1 88:18 141:1 159:13 164:14 208:22	negate 127:22	nilly 8:13
moment 49:21	moment 49:21	nature 128:18	negative 17:20 19:7 19:19,22 20:1,2 23:7,10,20 24:10 25:16,17 31:18 37:16 46:3 126:7 126:17	nine 77:20 114:17 161:12
money 156:13,13	MPH 1:13,13,18,22 2:14 132:6	nay 162:3	neither 141:15 235:1 281:17	nodding 172:19 173:2
monitor 155:19	MPI 146:15 152:20 171:6 254:8,15,22 255:4 259:8 262:3 262:10,12 298:21	NCDR 208:22	neoplasm 119:8 120:7	noise 137:19 140:21
monitored 123:20	MPIs 184:6 245:16 255:6 276:12,15	near 199:14	nephropathy 18:5	noisy 133:13
monitors 68:15	MRI 73:18,21 75:11 146:17 171:6 214:3 224:18 260:2 270:9,10 273:21 274:1,5 284:14 294:5,9 298:22	nearly 50:6	nervous 211:15,17	non 11:16 32:6 205:3 299:4
month 252:14 290:3	MRSAs 210:12,14	necessarily 13:4 39:8 106:14 120:14 154:11 163:15 186:13 217:18 257:5	net 157:14	non-cardiac 299:2
months 7:16,17 32:1 149:11 151:2 153:21 159:9,11 159:11,21 161:11 161:12 163:11 164:19,20,21 165:8,8 284:12	muddies 61:18	necessary 14:19 123:21	neuro 119:2	non-determinant 271:22
month-long 281:12	muddy 62:4	necessity 110:4	neurologic 72:3 73:17 85:8	non-existent 236:7
moral 249:15	multi 192:17	neck 49:18 64:7,12 64:16,17,21 65:14 65:14 72:3 76:16 119:1 125:22	neurological 97:10 104:22 131:20	non-high 9:4 12:4
morbidity 294:14	multiple 15:2 28:16 90:4,18 122:14 186:19	necks 66:12	neurologist 83:11	non-hospital 177:2
morning 6:6 146:9 183:14 218:3	multivariate 257:18	need 6:2,10 13:16 13:17 29:1,6,22 32:22 34:15 35:10 38:6 45:1,12 60:10 63:5,6,18 64:14,19 70:5 75:2,11,18 77:4 79:4,6,11 81:13 81:14 84:20 92:6 111:20,22 112:19 144:13 145:10,13 145:22 160:12 161:3 162:5 174:19 175:1 210:21,22 216:16 230:13 233:13 236:11 239:20 240:13 242:4,14 253:18 254:7 273:10 280:9 284:14 285:11	neurologists 90:9	non-measured 38:20
mortality 294:9,12 294:14 295:10	must-pass 6:9	neurology 90:6,16 184:22	neurology 90:6,16 184:22	non-penetrating 30:12
motion 61:17 72:14 76:6 77:5	MVC 64:10	neurosurgeon 74:16	neuroradiology 74:16	non-significant 30:12
motivated 294:19	myelogram 75:19	never 95:15 280:2	neurosurgeon 75:10	norm 69:12
move 7:4 30:8 48:14 91:11 112:20 115:12 126:19 139:8 142:10,14 144:12 144:14,18 145:13 151:20 153:14 154:17 175:17 273:15	myocardial 146:14 147:5 148:1 155:4	new 47:21 54:8 85:9 106:2 119:4 142:15,18 144:13 171:2 259:16 270:17 286:12 287:17	normal 104:22 162:3 261:10 272:2,3,4,13,14	North 1:9
movement 143:17	N	NEXUS 54:8,12 61:15 72:12 78:18	not 157:14	notary 109:3
moves 139:20,22	N 15:1 247:2 285:3 298:2	NIA 261:22	noted 94:3	note 59:17 118:19 195:17,22 230:20
moving 6:3 81:9 92:10,18 93:22	naive 261:8		notes 293:18	noticed 94:8
	name 5:6 104:15		notice 7:21 252:2	notwithstanding 259:14
	naming 90:9		not-indicated 94:8	Number 48:15
	napkin 139:14		no-known 256:10	NQF 2:16,17,18,19 2:20,21 3:5,7,8,10

3:13,15,15,17,18 4:17 57:5 79:22 141:14 161:17 179:2,9 180:21 227:12 289:9 NQF-endorsed 15:10 Ns 287:4 nuclear 146:16 187:22 189:19 192:17,20 238:15 272:15 278:9 number 5:18 7:6 7:11 11:6 14:9 18:1,6 20:18 23:14 29:18 31:17 46:12 49:1 54:1 60:17 61:6,12 64:9,18,21 65:19 70:4,7 77:13,16 81:10,17 82:1,5,8 85:1 93:22 94:2 99:2,10,12,15 100:5,7 104:4,17 115:14 116:21 119:17,20,21 121:5 122:18 123:6,7 127:9,18 128:1,11 134:12 135:10,12,14 136:6,16 138:18 140:19 148:19 149:20 155:1 159:5 164:16 171:2 175:9 178:2 180:13 181:20 182:2 184:6,7,13 184:14 190:22,22 191:8 199:2,2 205:15 206:15 208:20 213:10 218:20 219:19 223:12 225:2 227:16,17 228:22 240:2 244:3,9,12 245:6,15 249:19 250:9 254:14	273:1 276:1,11,15 277:1,19,19 283:13 287:19 290:9 294:4 298:21 299:4 301:20 numbers 20:21 95:3 125:8 127:4 127:7 129:4 132:22 133:13 137:21 140:22 142:1 143:2 170:3 192:7 236:10 262:18,21 279:21 280:17 281:16 296:22 297:15 298:17 301:11 numerator 8:2,14 11:5 17:12 22:10 23:6 25:13 32:19 38:17 40:18 46:1 49:9,14 76:1 82:4 91:20 92:3 94:2 104:3,11 116:15 116:15 135:7,11 136:22 137:7,21 138:13 148:22 149:2,8 157:20 158:7 166:14,15 166:16,17 167:3 184:7 240:1,8,14 254:11,14,19 255:1 256:1 266:18 268:19 276:11,14 284:13 290:15 291:6 293:7 298:21 numerators 185:18 244:8 268:4 nurses 196:2 NW 1:9 N/A 94:20 95:4,5 96:4,6 <hr/> O <hr/> objection 140:6 146:10	objections 132:16 objective 244:18 245:1 250:12 253:19 Oblan 115:16 obligation 7:17 observed 110:12,16 obsolete 258:2 obstructed 132:6 obtain 44:12 obtained 44:11 obtaining 123:10 obviously 12:15 98:20 137:1,7 179:11 221:3 235:10 302:6 occasion 39:2 occur 97:13 occurs 51:13 October 77:21 88:8 office 153:11 165:8 167:14 276:11 offices 155:6 offline 80:9 81:8 230:6,14 oh 24:3 35:21 65:16 96:22 126:11 192:14 219:13 237:9 239:13,17 okay 4:12,20 7:1,10 30:2,6 37:1 40:14 45:18 46:8,15 47:3,7,11 48:7 49:11 52:9 56:7 56:11,14 57:17 66:8 71:10 73:15 81:8 91:4,7 97:4 98:14 106:19 108:10 109:13 112:3,18 113:12 113:18,21 114:6 114:11,18 115:4 115:11,18 124:10 134:3 138:6 141:21 145:6,8,22 154:7 157:17 158:15,16 182:3,6	184:2 189:1 194:7 201:7,19 205:15 209:4,9 213:17 214:19,22 216:8 219:16 222:5 224:7 225:12,17 225:19,22 226:3 226:14,19 237:22 243:3,6,8 248:10 264:12 270:13 272:14,21 273:2,7 274:19 275:1,12 275:13 284:18 285:18 287:16 289:1,22 296:16 297:21 298:10,14 302:16 old 87:21 261:9 281:6 older 119:5 262:10 once 29:17 32:10 58:21 65:18 66:3 100:14 105:5 135:9 162:9 173:4 207:8 217:2 219:11 221:16 266:6 ones 6:13 25:6 142:15 152:8,8 197:19 201:5 202:13 205:11 252:11 onesies 179:4,21 180:4,9 ongoing 14:13 15:5 online 5:14 194:6 onset 13:15 76:15 85:10 onward 164:21 op 269:3 open 108:4 113:3 134:1 157:14 253:17 opened 33:12 operating 75:12 210:12,15 operationalize	198:13 operationalized 197:6 opinion 88:1 242:21 244:16 opinions 259:17 280:11 opportunities 151:11 244:1 opportunity 9:22 17:1 53:19 59:18 82:19 92:11,16 117:20 118:12 122:3,11 130:21 131:11 140:13 144:14 156:19 157:4 279:8 297:1 opposed 50:3 71:1 81:8 180:9 183:13 278:1 279:22 281:19 289:5,18 297:11 opposite 196:1,6 option 174:12 options 72:13 273:9 296:5 opto 299:20 orbital 119:8 120:7 order 4:4,6 8:10 26:21 27:3,13 39:14 42:12 44:15 44:17,22 47:19 58:16 64:5 81:11 102:6 108:17,17 108:21 125:22 130:2 137:9 146:9 174:5 175:5 186:14 187:14 217:18 218:17 219:18,21 222:1,2 222:13 248:4 282:6 ordered 8:12 26:14 28:15 33:17,18,22 34:4 40:3 42:5,18 43:10 129:14 221:22 240:9
---	---	--	---	---

241:3 262:10,11 262:13,14 ordering 10:6 38:19 41:3 43:9 64:4 117:2,21 118:13 120:15,21 122:8 123:17 129:13 137:5 290:19 orders 130:1 218:18 219:2,7 290:6 294:21 organization 30:14 organizations 189:22 oriented 144:21 origin 129:21 original 198:4 236:1 265:10 originally 50:3 Orlando 166:1 168:10 Orleans 54:8 orthopnea 158:4 otitis 105:6 Otolaryngology 118:6,22 outcome 10:9 26:1 54:5 83:18 116:22 118:17 179:8 186:18 206:4,12 206:22 208:2 244:5 297:22 outcomes 92:19 93:6 246:19 279:13 outlet 13:8 outlier 107:7 181:7 181:10 outliers 110:14 175:11 179:12 285:17 290:19 outlined 82:18 172:16 274:17 outlining 89:20 outpatient 109:3 116:18 121:9	150:8,16 156:1,6 156:7,14 168:16 278:16,22 279:3 286:5 297:13,16 297:20 299:1,6 outpatients 227:7 296:4 outrageously 162:4 outs 32:19 outside 29:13 75:21 125:14 181:13 out-patient 147:10 overall 10:22 15:4 15:15,19 16:6 55:21 59:11 66:22 93:15 95:5,10 96:6,21 117:11 118:16 119:14 123:5 124:7 187:11 202:8 219:14 247:7 276:16,21 277:6 277:21 279:10,18 279:20 288:8,16 288:18 301:22 overcode 251:10 overestimate 251:4 251:5 263:22 overestimated 249:12 overexpose 142:3 overlap 176:1 overriding 107:17 overuse 8:6 25:1 26:2 28:5 29:17 29:22 40:17 45:21 78:4 93:3 96:10 98:21 239:22 273:4 overused 11:1 171:20 over-imaging 92:22 over-used 24:12 over-utilization 116:5 ox 295:11	O-F 3:1 <hr/> P P 14:5,13 15:4,6,16 15:17 16:6 93:12 187:10,11 245:14 247:10,11,16 285:14 287:20,22 288:5,8,11,11,17 296:20 302:4,14 PAC 163:13 PACE 2:21 packed 217:2 page 55:4 59:17 pages 299:18 paid 75:5 pain 13:15 32:7 33:19 35:12,13 40:17 64:12 72:3 72:3 76:16 130:18 165:6,13 201:10 292:20 pains 158:5 palpitations 158:4 158:10 163:8 Pam 176:11 panel 94:18 135:17 135:20 147:21 172:2 panels 118:6 134:7 paper 13:22 107:19 108:1 148:6 164:5 192:16 208:9 233:11 237:1 243:22 288:12 papers 63:2,10 192:15 parallel 231:6,8 paramedics 78:11 parameters 198:22 parse 56:21 part 17:5 66:19 77:17 78:20 79:2 79:16 85:15 88:17 96:19 102:10 117:5 149:3 156:10 159:18,20	160:14 164:9 179:1 184:11 204:1 257:20 267:11 276:13 294:16,19 partial 14:5 83:15 92:20 95:8,13 96:15 152:2,3 158:18 169:4 297:2 partially 55:14 56:16 57:2 58:19 PARTICIPANT 77:1 86:20 109:17 111:19 112:10,22 129:16 130:3,4 144:20 145:3,4 275:22 289:20 293:13 303:3 participate 44:17 213:1 particular 155:10 176:10 204:4 219:8,17 223:6 249:7 272:20 particularly 17:9 33:19 76:3 148:13 188:10 249:6 partners 147:16 parts 102:22 236:14 party 199:14 PAs 78:6 pass 85:1 102:6 107:5 108:19 225:8,9 passed 80:6 95:10 103:10 117:14 220:5 226:11 passing 6:10 108:22 path 151:11 pathologic 93:10 pathological 83:4 pathology 117:7 patient 8:19 13:1 13:14 32:7 39:4	51:8 58:11 68:7 74:10 75:13 76:10 109:10 119:5 136:11,12,13 138:5 139:20 160:13 161:1,8,11 161:13,18 185:3 188:17 195:2,18 207:3 208:18 216:11 217:3 219:8 221:17 240:7,9 241:20 246:17 248:2 258:22 259:21 260:18,19 264:12 267:22 271:20 276:7 279:6,22 280:1 281:18 286:4,7 291:22 292:4 295:20 299:14 patients 9:17 10:17 11:6 13:11,12 17:6,9,11,12,17 18:1,18 19:8,10 19:13 23:6,19 24:6 25:14 28:22 29:4,7 30:21 31:18 35:3 39:1 49:17 50:14 51:2 55:6 60:21 62:15 63:15 64:9 66:11 67:5,6,14,16 72:1 73:15 82:5,8,21 83:2 85:7,9,11 100:7 105:14,18 111:22 116:16,19 117:9 118:14 132:15 134:22 135:7 136:6,10 139:13 148:19,22 149:3,5,9,10,13 151:1,14 162:8 164:7 165:2 184:9 185:8 186:5 187:20 188:2,12 188:21,22 189:4
---	--	--	--	---

189:17,21 190:4,8 190:8 195:11 196:13 197:1,17 201:16,16 202:16 202:20 205:5,11 205:18 206:20 207:6 208:14 210:11 212:13 217:2 223:3 224:5 238:7,9 239:4,5 240:4,17 243:15 244:3,11 245:8,22 246:6 247:13 254:16,18 255:5 262:9 265:14 266:10,12 267:7,7 276:13 277:3,22 278:1 279:2 281:2 284:22 285:21 286:20 287:6 297:6 299:7 301:7	184:10 187:21 188:3,5,8 190:1,3 190:8 191:8 193:1 193:1,10,19 194:18,20 195:11 198:4 204:10 205:19 213:12,14 213:15 216:21 225:11 232:22 233:1,6,7,8 234:1 238:8 267:6 268:12 269:2,9,12 269:13,14 278:1 284:13 PCIs 192:9,10 PCPI 4:17 PE 8:17 9:1 10:18 11:13,14 13:2 19:15,15,20 31:13 32:16 33:17,22 34:22 42:17,19 PECT 33:5 penalize 249:20 pending 214:10 people 6:21 8:7,12 8:15 10:3 13:18 20:7 24:9 27:21 28:19 29:13 31:11 35:1,20 43:8 46:11,13,14,16 47:10,11 59:3,7 61:21 66:15 70:4 70:7,11 71:5,21 73:10 76:4 78:9 81:14 91:6,7,9 92:3,8 93:2,7,10 100:18 101:6,9,10 103:7,15 104:17 114:2,3,7,8,10,12 114:14,22 115:2 142:3 144:3 159:9 161:2,21 162:12 164:12 166:5,18 167:3,20 169:10 169:11 174:10 180:12,15 189:11 193:6,20 204:22	221:11 233:21 234:1,6 236:1,11 238:4,5,19 241:16 242:9 253:18 256:18 258:10 259:16 262:2 264:22 282:22 287:12 292:13,18 297:8 people's 280:11 PERC 29:3 265:10 percent 13:7 17:19 18:3 19:5,8,11,16 20:5,7,10,15 29:18,19 30:2,14 30:21 51:2 52:3 67:16,22 82:21 83:1,3,6,7 93:10 94:12,14 97:12 103:11,14 105:18 108:2 116:3 125:8 125:9 127:10 128:12 129:18 130:14 133:11 136:11 139:18 140:1 142:22 144:2,9 147:12 150:7 155:4,4 165:2,12,13,22 166:7,8,9,20 178:7,7 180:14 181:4,6 185:7 189:1,2,2 191:19 192:4 201:19 202:8,14 203:7 206:3,7 209:8,8 210:19 211:10,10 219:14 225:4 262:4,18,19,20 278:13,14,14 281:4 294:9,12,13 300:12,13 301:2 301:10 percentage 67:14 72:1 132:14 185:16 223:13 265:5 276:22	278:11 279:10 percentile 127:14 136:21 140:4 141:10 percutaneous 184:4 185:8 208:15 perfect 142:2 175:12 perfectly 251:20 perform 109:19 performance 4:18 10:8 14:22 121:20 122:6 138:4 141:9 234:8 247:1 259:14 301:19 performed 27:1 163:11 184:7 244:10 245:16 254:15 276:16 298:22 299:5 performing 249:14 perfusion 148:1 peri 269:2 period 167:5,8 266:9 284:11 289:5,21 290:3,5 peri-op 267:7 277:18 293:2 person 24:22 123:9 143:20,21 160:8 220:20 221:10 297:9 personal 22:5 personally 70:21 76:22 151:8 170:13 172:9 236:7 257:13 280:1,12 perspective 51:12 118:1,15 135:2 180:5 259:4 295:13 pertain 132:3 PEs 18:18 19:2,8 19:11 37:6,17 Peter 236:22	Peterson 1:10,13 155:21 157:17 158:12 159:15 160:10 163:20 165:16 166:12,17 167:1,7,12,15 168:1,15 169:2 170:15 173:13 174:22 180:11 182:6 183:3 184:1 190:11 191:13,17 191:21 192:8 194:2,7 196:21 197:4,21 198:1,9 199:19 200:16 201:2,7 203:19 208:4,11 209:5,12 209:16 211:3,15 212:4,10 213:4,17 214:8,19 215:7,12 216:4,12 220:4,14 221:20 222:6,9,16 223:8,17 224:8,13 225:6 226:8,15,19 227:10 230:5,22 231:16 232:20 233:5,10 234:14 235:1,12,15 236:13 237:22 239:9,15 241:5,9 243:3,6 248:10,15 248:19 250:17 251:8 253:12 256:12 257:2 259:15 264:15 267:16 270:7,11 270:13,18 273:7 273:22 274:12,19 275:2,10,17 277:8 280:8 281:21 282:10,14,21 283:9 293:5,16 295:15,22 296:5 296:16 302:16,21 303:5 PE-gram 43:10,11 PHD 1:13 2:13
---	--	---	---	---

Phoenix 166:1 168:11	249:9	246:3,9 251:11	post 146:17 192:21	prefer 199:16
phone 23:3 39:6 112:13,14,15,16 112:21 113:1 144:15 184:2 199:17	plain 28:1 52:17 61:6 64:7 65:13 66:13 68:7,22 70:10,17 73:5,6 80:1	257:6 270:20 280:16 283:16,18 292:16 296:6 299:6	post-CABG 177:11 215:9	preference 243:10
physician 17:5 44:5 107:20,22 120:16 123:18 153:14 161:7,8 218:10 219:1,2 244:22 252:18 262:6	Plainville 61:10	pointed 54:6 82:20 83:4 191:10 231:10 263:22	post-PCI 177:12,13 231:6 239:5 240:4 240:7,8 278:13	pregnancy 162:2
physicians 44:21 82:6 84:5 103:1 109:18 218:19 219:6 251:3	plan 230:15 261:10	pointing 163:6 190:17	post-PTPA 197:20	pregnant 55:19
physician's 155:5	planet 180:3 209:3	points 88:6,13 102:21 107:15 144:13 258:21 295:5	post-service 217:11	preliminary 5:19 31:22 84:14
pick 33:6 40:10,11 40:18 41:21 130:7 189:14 223:5	planned 67:4 149:7	policy 7:19 88:8 101:20 102:14,18	potential 24:19 30:13,16 38:17 106:5 116:6 117:1 117:22 119:11 120:7,19 123:16 127:22 151:17 161:6 181:11 207:3	premature 207:17 208:1
picked 87:12	planning 41:10	poll 81:3 236:18	potentially 22:9 26:6 120:21 162:21 174:7 234:8 250:6 251:14 282:13	preoperative 238:8 276:13
picking 5:12	plaque 234:2	polyps 119:7	pounds 300:9	prepared 48:20 89:19
picture 8:1 143:4 237:3	play 36:12 39:7 125:16 181:13	pool 142:17	power 301:12	preponderance 17:15
pie 278:2,6,7	plays 125:14	poor 259:20	practical 68:12	presence 234:12
piece 106:16 278:3 278:6,7	please 8:4 49:8 69:2 115:18 133:16 276:1	poorer 152:11	practice 10:14 64:8 64:20 74:21 107:11 109:19 125:13 150:13 153:2 174:4 213:19 232:4,10 283:5,6	present 1:12 2:10 43:21 82:1 116:16 128:8 164:10
pieces 217:7 241:17 242:3	pleasure 296:14	poorly 162:6 193:18 265:12	practiced 155:17	presentation 39:4
pike 35:10	plenty 132:5 192:11	population 11:20 13:10 93:4,5 94:9 95:19 96:10,11 97:3 106:6 124:18 126:8 153:9 164:2 173:22 174:15 175:3 180:19 216:11 227:5 282:4,5	practices 153:3 155:2 278:16	presented 9:18 39:1
pilot 186:8 190:18 192:6,13 202:7 204:5,6,12,14 207:10 212:16,20 213:1 218:17 230:12 252:12 253:20 265:2 272:8 278:15,19	plowing 281:6	populations 55:18	preauthorization 153:9	presenting 9:18 49:18 85:7,9 120:15 131:20 134:22
pin 213:7	plus 30:14 127:11 159:3 164:10	position 56:22 58:17 160:13	precedent 289:9	presents 117:12
PIOPED 17:7	point 6:4 14:14 22:17 24:19 29:6 29:12 35:9 38:10 40:22 41:2,8,16 41:17 49:21 50:20 52:2 55:5 61:13 68:5,12,19,19 70:22 71:2 76:9 77:11 78:17,17,19 84:15 89:8 103:21 103:21 106:21 110:11 123:17 127:9 128:4 149:18 158:20 161:21 162:16 163:13,21 168:8 169:21 179:16 186:14,14 193:13 193:19 208:2,21 217:16,18 220:4 220:19 221:2 222:1,1,2,9,12 230:5 235:1 242:1	positions 12:17	preceding 299:2	presiding 1:10
place 12:1 203:10		positive 9:3,4 11:15 11:16 17:13 21:3 27:20,22 28:6,15 28:17 37:6,15 38:3 104:9 106:5 160:11	precise 202:4	pressure 42:22 221:16
placed 166:14		positives 116:8	precision 95:2 110:18 150:19 151:10 190:20 191:18 192:2	presumably 59:7 233:22 281:7
places 24:7 33:5 69:10 165:21 199:11 218:20		possibility 123:18 173:8	prediction 12:19	presume 57:8
		possible 20:18 34:6 61:13 157:14	predictions 257:19	pretty 20:11 98:9 152:20 174:20 176:12 178:19 184:17 245:17 257:2 258:1 279:7 282:17 283:3 294:10 298:19 299:3 300:2 302:10
			predictive 20:1 178:21	prevalence 17:18 19:7,15,20 37:17 149:19 277:6 279:19 287:21 288:20
				prevent 160:21

139:1 143:14	258:2 296:18	67:1,1,13 75:8,8	290:13	11:22 14:22 15:12
163:15 169:1	quite 8:6 13:13	75:16 76:7 81:21	rating 54:15 118:2	23:18 34:19 39:14
179:10 181:15	84:2 92:13 94:22	82:1 85:5,13,21	ratings 92:7	39:16 43:9 45:2
185:21 206:4	105:7 165:10	86:4 98:9 100:2	ratio 17:20 20:3	55:12 57:18 61:11
207:18 208:1,7,22	245:1 297:10	100:19 102:3,19	92:13 104:4	63:8 65:3,4 69:2
298:12	298:8	104:6	128:16 137:20	69:13 72:6,10
quantitative	quote 125:8 193:15	ramping 36:20	297:5,18 300:13	73:2 74:4 76:14
250:12		random 134:1	rational 297:11	80:4,5 83:13 84:6
quarter 192:10	R	185:1	rationale 11:1	86:8 95:16 97:9
question 31:20	radiation 39:21	range 17:19 22:1	87:18 117:5 183:9	97:11 99:5 100:7
37:4,13 43:18	51:14 58:7 65:12	61:17 72:14 76:6	186:4,15 214:9	104:15 107:1
56:3 67:3,13	66:5 116:5 117:22	77:5 92:11,14	RAV 246:10	122:10 124:17,18
74:15 79:21 83:9	radiograph 68:8	94:22 103:11	raw 301:12	125:11 126:5
89:13 97:12 110:3	radiographs 54:2	110:15 127:5,6,8	Ray 24:18 38:8	130:12 137:22
111:2,7,12 132:1	67:4,17 72:2	127:20 162:1	110:9,10 127:1,2	143:13 144:3
138:2 139:11,13	radiography 50:2,3	178:6,11 190:19	128:22 146:11	159:8 160:8 164:1
143:6 145:15	50:17,22 51:17	209:10 210:2	172:5 185:13	177:15 179:21
147:12 151:6	54:14,18 55:13	301:4	190:13,14 191:14	180:9 187:17
153:7 155:21	57:6 58:8 70:18	range-of-motion	193:12 195:13	188:15 191:1
168:5,6 176:10	73:22	62:1	211:21 244:20	202:16 210:13
181:13 183:18	radiologist 43:1	ranging 92:13	245:11 249:6	229:1 230:4
201:3,8 203:20	52:16 65:9 66:14	rank 137:5	RAYMOND 1:17	234:11 247:2
208:5 209:22	66:16 67:20 68:10	ranked 137:9	Ray's 212:11	248:5 249:17,20
211:4 212:11	73:13 74:22 75:3	rapidly 34:20	reach 90:8	250:19 251:10
215:2,12,13	75:16	200:6 258:4	read 9:11 52:16	253:14 261:12
217:22 218:15	radiologists 35:18	rare 35:17,17	55:6 66:17 75:22	266:7 280:11
224:19 225:18	68:15	105:12 126:4	86:2 87:6 98:20	realm 262:15
228:12 231:3,21	radiology 32:7	130:3	100:4,20 129:1,18	reason 25:3 27:13
232:5 263:19	62:17 69:19 103:6	rarely 68:2 187:9	154:9 292:10	32:14 43:11 52:18
276:21 284:2,5,7	118:5,22 147:8	277:2	readily 289:15	52:21 58:5 70:18
284:15 285:15,22	rads 65:15	rate 103:17 146:20	reading 52:17 87:4	155:10 161:9
287:21 288:20	raise 111:15 140:18	147:3,4,11 150:2	89:8 168:6	174:16 180:17
294:6 295:17	190:16 204:12	150:8,20 151:4	ready 46:4 68:9	203:3,20 210:14
302:22 303:9	raised 24:17 70:20	163:14 166:4	114:1,6 115:12	212:14,16 214:2
questionable	212:1,5 241:11	168:21 181:15	201:8 296:13	214:14 215:4
262:19	301:5	201:18 202:15	real 39:8 50:21	220:22 221:1
questions 23:1	raising 111:13	203:2,14 218:18	51:12 54:17 58:11	239:11 240:20
30:10 45:5 173:9	Raja 4:9,21 22:21	219:14 238:10	69:14 76:21 100:8	247:10 264:11
200:18,20,22	23:3 24:2,5 25:2	265:15 281:14	101:22 129:1	265:20 266:4
204:21 215:1	25:17,22 29:11	293:8,9 294:9,12	140:3 157:5 177:8	267:12,15,20
223:9 224:9 286:2	31:20 36:9 37:12	294:14 297:4	178:10,18 251:1	291:20 293:1
quick 5:4 37:3 43:7	37:19 38:5 40:22	rated 201:13	255:7 260:4	reasonable 8:16
177:13 260:1	41:15 42:8 43:15	rates 28:18 103:10	290:19	13:3,8,13 20:15
277:10 295:17	44:1,20 60:5,8,13	116:6 152:6	reality 66:6	94:19 116:14
quickie 171:5	77:10 78:20 79:10	164:18,20 190:19	realize 50:18 174:6	132:13 175:5
quickly 113:9,11	79:19 88:5	202:6 215:22	300:8	191:2 203:9
129:11 238:3	Raksin 1:19 48:19	232:17 262:16	really 5:5 8:19	214:13 246:11,13

251:20 274:20 290:8 reasonably 99:9 206:1 reasons 16:7 28:1 58:12 83:16 92:4 92:5 134:11 154:21 160:5 162:7 172:11 175:5 178:12 183:12 192:19 204:6 206:9 219:9 231:13 238:22 239:19 240:19 241:4 250:3 265:3 267:5,6 292:4,8 293:15 297:10 reassuring 188:10 Rebecca 1:21 18:15 37:1,3 52:15 110:22 111:2 133:6,7 136:3 172:14 210:21 recall 6:1 147:9 196:9 receive 116:19 274:13 received 206:9,11 recognize 155:7 169:12 recommend 48:7,8 48:10 71:17 91:3 114:19,20 170:13 235:20 288:18 recommendation 45:15 62:14 71:12 84:10,13 85:16 86:3 96:22 117:15 124:8 157:2 173:12 187:11 232:14 248:8 269:16 recommendations 18:7 85:3,12 86:6 87:2,5,6,8 89:1 90:12 recommended	88:12 90:20 171:22 176:13 221:5 275:7 recommending 48:12 141:9 recommends 21:12 21:16 reconfigured 127:17 reconsider 274:21 reconstructions 67:9 record 13:20 34:7,8 76:20 172:6,7 182:9 194:15 195:20 196:1 241:16 242:12 249:1 293:2 recorded 13:22 193:17,18 205:1,6 205:7 242:2,4 245:22 265:7 291:20 recording 242:9 records 41:12,13 143:16 187:9 193:16 247:12 recorrelated 165:6 red 105:4,5 rediscussion 228:21 redoing 170:22 171:1 reduce 116:22 219:19 reduced 59:21 72:4 78:3 reducing 119:20 207:14 refer 64:3 133:20 reference 12:4 references 282:1 298:3 referral 277:14,15 referrals 278:18 referred 146:21 referring 153:13	reflect 164:15 reflected 159:13 reflects 80:14 reformatted 68:6 68:11 reformulate 231:5 reframe 216:3 regard 168:17 171:10 regarding 196:16 regards 211:4 registry 199:5 246:9 250:15 regress 30:8 reimbursement 108:3 reiterate 140:6 relate 165:19 related 109:15 120:6 241:19 242:2 245:7 relates 120:20,22 Relation 15:9 relationship 92:19 279:12 281:15 relative 128:20 262:11 relatively 8:16 9:15 12:13 54:11 98:2 133:10 197:16 299:4 relevant 110:18 136:6,17 173:14 reliability 14:8 55:12 111:10 136:15 186:6,16 204:22 245:18 246:10 285:4 300:21 reliable 121:6 188:7 205:2 reliably 169:16,19 reliantly 194:1 relies 106:15 125:17 rely 126:7 remarkably 165:5	remember 67:15 68:13 195:3 remind 71:20 105:21 134:8 remote 291:9 repaired 250:6 repeat 23:15 166:8 207:21 272:7,10 replicated 284:12 report 6:8 9:14 53:13 54:20 69:1 119:15 196:14,19 233:1,7 251:9 265:22 reported 141:12 150:5 reporting 15:8 30:5 141:8,14 267:17 reports 69:20,22 73:13 99:15 150:18 265:21 req 261:10,11,12 261:13 requested 275:4 requesting 237:17 requests 262:5 require 21:3,9,14 21:15 39:13 44:2 44:4 55:6 67:18 77:1,14 89:5 170:21 252:4 253:10 288:12 289:8 required 56:20 85:1 121:5 160:4 222:18 requirements 128:20 159:20 243:1 301:3 requires 21:6,7 187:13 199:17 requiring 39:18 59:9 97:20 301:7 requisition 292:10 292:11 requisitions 238:13 261:7	research 28:17 45:14 82:13 196:2 232:13 298:4 researcher 196:16 reservations 145:17 residents 28:16 resisted 131:12 resource 207:2 246:20 resources 236:16 respect 17:10 21:18 117:21 119:14 120:11,17 121:12 121:15,17 122:7 123:5,14,22 124:6 150:17 151:12 169:22 212:2 respectfully 231:16 respects 189:4 240:6 respond 26:7 264:19 291:18 response 133:21 147:14 215:17 rest 6:11 97:6 170:2 restatement 79:16 restenosis 188:18 188:20 189:7,12 resting 170:10 resubmit 275:11 resubmitted 271:6 result 27:14 162:9 180:8 229:15 results 8:11 26:20 27:7,8 186:20 resumed 182:10 rethink 219:17 retrospect 218:7 retrospective 103:9 251:7 retrospectively 41:1,19 return 159:19 182:5 reuse 269:16
---	---	---	---	--

revamping 215:13	Rich 16:14	risk 8:17 10:18,20	rounds 28:16	SAFs 121:9
revascularization 164:13 166:5	Richard 1:18 18:14 28:20 33:2 34:12	14:19 18:3 19:13	routine 125:12 129:12 184:4	sagittal 68:7
revascularization 166:8	62:11,12 65:2	20:19 29:5,6 32:6	238:7	sample 94:13,14 128:2 133:9,11
revascularization 192:22	71:11 100:21	32:16,17 37:9,10	routinely 262:3	134:2 143:10
revenue 108:3	105:20 211:22	51:14 58:8 63:5,6	Roux-en-Y 300:3,5	145:5 172:19,21
review 3:2,4,5,7,8	255:9 257:7	76:5 94:6,20	rubber 63:17	173:3 190:15,16
3:10,12,13,15,17	Richard's 73:2	116:5 120:12	Rucker 1:19 27:17	212:2,12 223:14
3:18 16:12 17:8	111:3	121:17 126:13	27:18 34:18,18	245:5 293:18
24:16 25:4 42:4,9	rid 175:4 197:19	189:21 190:5,8	68:18,18 69:5,10	sampling 289:5,10
76:21 81:19 86:12	202:16 260:14	193:6,11 203:13	113:19 140:2,17	289:12,21 290:3,5
87:19 88:19 93:13	263:11 272:3	206:18 238:9	174:18 178:14,20	293:9
97:6 101:13	right 7:1,5 23:13	240:4 243:15	197:1 223:11	SARAH 2:19
115:20 117:11	25:2,18 27:16	244:11,11,14,15	224:7 227:8	Saturday 66:10
122:22 124:14	31:21 37:21 38:7	244:19,22 246:19	233:19,19 234:17	saw 212:6 288:13
186:21 194:16,17	41:18,20 42:10,20	246:21 248:5	235:4 257:14	saying 18:16 19:5
194:19 198:18	44:1 45:4,17 46:9	249:4,10,12	280:15 282:9,19	21:5 36:4 43:1
217:11 218:1	46:18 47:2,16	250:11 251:4,10	286:16,21 287:2	49:20 67:11,12
261:6 274:17	48:1,4,13 49:20	251:13,16 252:1,5	289:16,22 294:6,7	73:7 87:7 108:11
275:8 296:8	50:17 52:14 59:12	252:9,14,16	295:8 296:17	142:7 143:7 144:4
reviewed 94:18	60:6,12 65:17	254:15,19 258:22	297:18,21 298:10	144:5 154:5
198:16	69:10 71:9 79:10	262:12 263:8,15	298:14 299:13	162:19 171:15
reviewer 7:8 48:17	81:16 84:15 85:5	263:16,22 264:5,7	300:10	172:2,15,18
49:8 59:15 115:15	86:1 88:9,14	264:7,14 266:8	rule 8:7 29:3 32:18	179:19 205:21
reviewers 86:21	102:2 103:12,17	271:21 273:18	33:7 34:14 35:13	206:2 208:8,12,13
reviewing 16:16	107:15 110:3,19	276:7,13 277:2	36:4 49:15 54:11	213:5 220:17
41:22	112:8 115:6 128:3	278:13 279:2,4,5	61:15,15 78:12	221:12 241:6
reviews 44:19,21	144:19 145:11	279:6 280:20,21	79:12,17	264:22 269:9,19
178:17 251:14,17	153:20 154:7	281:1,18,19 282:5	rules 29:3 49:16	269:21 280:19
revised 235:8,13	158:13 162:22	285:20,21 286:1,4	55:1 61:15,20	282:12 292:12
296:11	167:12 171:19	286:5,7,7,11,15	77:18 78:1,18	says 12:18,22 30:21
revision 89:4	178:12 192:1	286:15 291:13	79:3,7	62:3 66:17 69:1
revisions 235:13	199:12,17 203:18	294:8,15,22	rule-out 32:5 34:13	88:6 99:15,18
revisit 203:8 270:5	204:16 209:4,18	295:14 299:7,12	34:19 35:3 36:17	101:8 107:6 129:2
revisiting 236:19	210:19 211:3	299:14,14 300:2,7	38:9,19 39:3	129:3,5 145:18
236:21	212:15 213:8,8,8	300:18,19 301:17	42:14	154:10 167:19
revote 174:11	214:7 216:5,9	302:2,3,13	rule-outs 45:16	180:21 192:21
229:4,12 236:17	219:10 222:10	risks 18:4 252:18	run 53:9 152:20	193:1 245:21
273:12	228:6 233:12	road 154:4,6	173:17 211:22	259:6 261:14
rewarded 259:20	246:5 251:8 258:5	161:12,12	237:17	264:6 279:13
rewrite 95:20	262:2 263:7	Roger 1:22 74:6	rural 152:9,12,18	291:9 292:11,15
174:11,13 229:3	266:22 269:5,8,16	126:15 254:4	153:3,17	scan 28:12 44:22
rewriting 237:11	270:2,4 271:16	room 5:9 11:3 46:7	<hr/> S <hr/>	69:3 75:11 92:4
re-raising 215:17	274:12 286:19	46:16 47:2 57:21	safe 76:6	94:4 110:7 117:2
rhinoscopy 300:15	292:12,21 297:17	75:12 90:15 91:6	safety 12:17 17:17	131:11
	297:21 298:10	188:17 210:12,15	116:10 118:15	scanned 93:7
	300:10	roughly 281:5,11		scanners 142:5
		round 179:18		

scanning 28:18 45:11 51:9	37:21 38:10 47:4 59:15 60:2 70:3 77:11 79:14 102:10 172:6 188:15 191:9 201:2 214:8 249:2 260:3,10,15,18 261:1 276:17 282:6 288:9 293:3 299:15	202:19 send 27:14,14 28:9 28:22 29:7 204:8 204:11 236:22 237:18 277:2 287:9 senior 4:18 sense 26:8 30:15 36:3 50:12 53:21 63:13 70:9 89:15 100:9 125:4 169:9 194:12 197:6 240:16 senses 201:12 sensitive 54:10,12 sensitivity 9:5 11:17,21,21 12:1 12:5,7 18:16 24:1 24:7 37:16 46:2,3 111:15 180:7 sent 203:13 239:10 267:4 283:10 separate 28:21 34:20,22 35:4 40:4 46:18 77:2 77:15 131:22 238:6,11 separately 79:4 233:2,7 239:21 series 99:16 127:6 149:8 185:13 298:6 serious 283:13 serve 100:6 service 222:1 services 109:15 set 82:15 108:6 113:9 128:17 156:17 158:6 173:9 175:9 180:6 214:10 sets 148:14 228:8 setting 26:17 32:19 49:13 50:7 186:10 186:22 216:14 279:3 settings 116:18	187:5,8 settling 259:17 Setzen 1:20 16:13 16:13 115:17,17 115:21 124:12 128:3 129:22 131:18 132:13 134:20,21 135:5 135:22 141:2 seven 65:20 159:10 seventeen 47:7 severe 85:10 shake 232:6 sham 155:10 shape 35:6 share 136:18 176:8 sheet 59:2 64:4 77:2 shift 144:6 shock 14:17 Shocking 166:3 short 106:2 shortcomings 14:3 shorter 229:17 shot 222:17 show 94:22 102:7 150:3 202:13 206:19 232:3 234:5 292:5 showed 103:2 147:9 showing 17:16 31:11 148:6 shown 59:19 170:8 187:7 shows 38:12 149:21 192:13 296:3 300:22 shuffle 4:4 shunted 132:5 sicker 262:13 side 5:11 142:14 153:1,2 154:16 185:12 252:17 254:1 sign 109:10 significance 31:8	significant 16:8 64:9,18 89:10 105:7 131:9 141:5 152:20 155:16 237:6 283:3 significantly 20:1 141:1 180:1,8 297:15 silly 220:19 similar 5:21 49:12 49:22 96:5 103:9 109:20 117:13 173:16 174:20 175:22 180:15 183:13 184:17 203:8 209:20 248:13 250:3 274:11 276:4 288:3,7 simple 22:13 80:5 simpler 76:4 simplest 80:8,10,15 simplified 12:13 simplify 22:9 24:15 86:19 simply 44:9 79:11 82:16 88:22 130:2 179:4 190:20 simultaneous 41:7 115:14 134:14 139:7 Singapore 103:5 single 8:18 82:18 128:17 136:13 139:13 170:2 209:2 230:22 Singular 288:4 sinus 115:15 116:20 117:2,7,9 118:9 119:6,7,21 121:14 122:8 125:10 129:13,15 129:19,20 130:1,6 130:8 131:2 135:5 135:8 295:18 sinuses 130:12 sinusitis 130:16
-------------------------------------	--	---	--	---

sit 195:2	Smith-Bindman	33:2 37:12 42:1	54:11 108:8,12	109:9 157:15
site 44:17 209:8	1:21 18:14,15,21	46:20 71:3,14	191:4	160:18,19 161:20
sites 186:8 191:6	19:6 20:5,10	103:20 104:1	SPEC 244:9 245:16	162:1 163:12
223:14 267:18	28:11 37:2,3,14	136:2 155:22	248:1,5	171:21,21 177:22
sits 139:9	38:2,7 39:20 40:6	168:16 191:13	special 223:3	177:22 187:16,16
sitting 76:8,11,17	40:21 41:5 42:20	192:14 207:20	specialist 97:18	192:14,15 194:8,9
162:14	52:10,14,15,20	228:13 237:2	specialists 90:10	195:12 197:18,18
situation 26:16	111:1,2,7 125:7	251:18 254:12	152:13 283:4	201:4,9 202:18
74:20 143:9 174:2	133:7,22 136:2,3	sort 28:3 30:7	specific 9:12 44:2,4	203:11 204:14,18
221:15 255:21	137:10,13,15	34:22 37:7 48:20	54:10,13 90:8	205:3,10 210:9,10
271:14	138:1,21 139:4,10	69:18 72:16 74:3	105:15 111:9	211:8 214:1,2
situations 66:21	140:15 143:5,21	75:20 77:7 81:3	118:19 120:1	221:19 227:21
six 114:6 146:5	144:8,17 165:14	93:14,20 94:4	121:14 145:5	228:13,18 229:11
149:11 151:1	172:13,14 196:5	96:8 101:13 104:2	203:13	230:20 231:11
153:21 159:9,21	210:20,21 211:6	104:14 138:2	specifically 33:1	233:8,11 238:2
161:2,11 163:11	211:18 302:19	152:17 163:5	121:2 131:19	239:8,19 241:1,7
165:7 211:14	smoking 36:14	164:4 175:16	188:16 253:6	242:22 260:1,21
258:16,18	258:18 268:1	176:18 179:2,10	273:17	261:17 263:1,3,8
Sixteen 48:3,11	smooth 176:15	183:11 184:19	specification 14:6	263:14,17 267:21
sixty 293:6	snip 257:16	185:6 189:8	157:20 298:19	270:16 271:10
size 29:18 133:9,11	Snow 1:22 126:16	194:16 196:6	specifications 11:5	272:1,11,17,21
134:2 143:10	140:12 229:9	217:19 223:11	55:8 94:1 119:18	275:15 284:16
145:5 173:3	248:12,17,22,22	230:12 234:6,21	252:3 284:10	286:6,19,22 287:8
190:15,17 212:12	251:5 255:16,16	240:7 257:15,22	specifics 264:20	287:17 291:1,2
223:15	256:14 257:4	258:5 281:8	specified 79:5	292:7 293:3 300:8
sized 212:22	264:8,8 275:5	296:20 302:8	131:21	spend 28:7
sizes 212:2	societal 51:5	sorted 80:18	specify 29:22 33:1	spent 156:14
skew 119:11	societally 280:13	sorts 106:15	79:6 82:14 220:12	spillover 21:22
skipping 281:15	society 65:10 90:22	sound 141:17	SPECT 20:14	spine 49:5 50:5,14
sleep 119:4	104:20	220:19	146:15 148:1,16	50:16,17,21 51:3
slice 278:2	solely 285:21	sounds 174:19	149:1,13 150:8	51:16 52:17 54:1
slightly 296:10	288:16	229:1,16 263:16	151:5 163:10	57:5 58:3,10 59:1
small 63:1 95:3	solid 226:2	285:6	169:22 171:6	59:20 60:21 64:3
125:2 127:5,6,12	solved 260:6	source 13:19 14:7	184:6,8 276:12,15	70:5,17 72:2 73:1
128:8 135:12	solves 73:2	120:13	290:6 296:20	73:4 77:18 79:12
141:4 143:1 150:7	somebody 36:13	sources 15:3	298:21	80:14
159:2 165:4	39:15 47:2 112:13	122:15 123:12	spectrum 87:15	spines 66:14
185:16 186:21	117:2 129:6 130:1	186:19 227:13	121:4 148:7	split 4:8
197:16 211:8	154:11 158:10	247:2,10	SPECTs 170:10	spoke 120:3
212:2,3	167:2 169:18	so-called 32:5 36:4	277:1,21 293:8	spoken 197:8
smaller 93:4	197:7 236:22	space 199:21	speed 58:8	293:20 294:3
127:19 128:12	268:17	speak 5:6 31:22	spelled 157:20	spread 103:15
159:5 229:15	somewhat 60:16	59:4 65:12 72:21	Spencer 2:12 33:14	230:12
249:20 265:19	78:8	176:7 214:16,17	33:15 42:1,2,15	spurred 116:9
smallest 278:6	soon 32:2 52:4	223:11 229:13	42:21 52:1,6 70:2	squishy 246:1
smell 257:22	sorry 5:22 18:15	251:19,20	70:2 71:3,9 73:9,9	staff 69:21
Smith 133:7	20:2 27:18 32:1,4	speaking 41:7	73:14 108:13	stage 208:3

stamp 63:17	status 181:10 194:10 198:3,8 204:15 268:9	94:6 240:5	strikes 300:5	218:2 262:16
stand 41:20 200:6 248:20	stay 42:16 145:20 179:16 226:16,17	stratify 231:19 279:6	strong 20:9 54:16 84:4 93:18 161:16 267:18	stunning 262:20
standard 62:21,22 63:1,19 65:2 72:16 77:16 86:22 107:10 121:6 128:5,13 154:13 225:5 271:19 279:14 285:22	steering 1:3,8 3:4 3:12 112:4 132:17 133:3 138:8,11	straw 81:3 236:18	stronger 251:9	subacute 83:13
standards 66:9	stenosis 154:12	stream 207:9	strongly 108:9 127:16	submit 218:14,16 219:7 253:1 261:12
standing 295:21	stenting 147:6	streamline 22:14 258:19	structure 12:19 21:12 43:8	submitted 149:21 250:15
standpoint 25:21 50:20,21 119:6 151:18 158:8 159:6 163:5 229:14	step 36:2 63:4 100:12	Street 1:9	structured 17:8 21:10,21 39:13 55:1 65:11 249:16 255:18	subsequent 151:13 207:7
stands 87:14	STEPHEN 1:15	stress 146:14,17 148:12,15,16 151:17 159:19 161:3,14 162:14 162:17 163:2,10 165:3 167:4,20 170:2,5 171:5,6 184:3,6,6,8,8 186:9,15 187:14 187:21,22 189:11 189:15 192:20 194:19 196:16 197:9 201:18 202:22 205:17 206:20 214:3,11 231:14 233:14 238:4,10,15,19 243:13 244:9,10 245:16,16 254:14 254:15,21 255:4,6 259:8,9 260:2 261:10,13 262:3,7 262:10,14,15 271:18 272:15 273:4 276:6,12,15 281:13 284:14 287:10,13,14 290:6 291:5 292:8 293:8 294:5 298:21,22	struggle 10:4	subsequently 196:12
staring 254:10	steps 88:15 100:16	strength 54:15 83:22 87:2	struggled 258:14	substantial 244:2 273:10,13 274:2,7 274:16,21 275:3
starkly 279:7	Steve 27:5 35:7 63:22 72:20 79:20 89:15 98:16,17 103:19 106:21 124:14 259:18	stuck 178:18	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	substantially 153:10 249:13 271:9
start 4:22 5:6,18 7:6 22:2 49:8 58:18 83:17 108:11 113:5 141:21 180:4 207:9 219:15,20 258:10	Steve's 68:19	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	study 11:2,2 13:3 13:17 17:7 26:14 28:9,10 29:9,10 31:6,21 32:5,10 34:3 39:2 54:7 64:5,13 67:12 75:18 78:10 82:21 83:2 93:9 98:5,11 99:20 118:20 131:4 139:7 159:17 160:12 165:21 166:15 202:7 220:6,21 244:21 248:1,5 249:7 256:18 281:1 282:1	substituted 95:21
started 177:9	stick 108:19 300:16	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	stuck 178:18	substitution 252:13
starting 22:3 53:12 203:10 207:10 283:16 290:3	sticks 288:20	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	Subtract 205:10
starts 18:4 20:14	Stillman 2:13 152:4 153:16,16 157:19 158:14 160:7,16 163:4 243:12 246:2,8 250:4 263:21	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	sudden 13:15 85:9
state 5:6 87:17 92:16 181:14	stimulate 95:18	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	suddenly 60:17
stated 48:11	stipulate 154:20	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	suffers 14:2
statement 13:21 84:7 86:9 151:21	stock 298:13,15,15	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	sufficient 134:7 143:10 212:12 215:4
statements 76:3 79:15 200:17	stop 7:4 157:16 280:5	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	suggest 19:3 127:16 128:10 177:18 205:4 235:6
states 152:9,10 168:12	stories 197:2	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	suggested 132:22 255:10 264:17
stating 49:9	story 179:5	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	suggesting 41:4,16 162:11 192:17 241:7
statistical 178:21 301:6	straightforward 245:17 298:20 302:13	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	suggestion 72:22 73:2 214:14
statistically 127:20	Strategy 124:1	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	suggestions 157:5 253:17
statisticians 134:6 134:11	Strategy/Implem... 16:5	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	Suite 1:9
	stratification 120:11 281:18,19 285:20,21 299:7 302:4	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	summarize 115:19 163:6
	stratified 19:13	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	summarized 93:15 121:11
		studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	summarizing 49:8
		studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	studies 10:6 11:11 13:5 14:9 18:6 37:20 43:2 54:7 55:13,13 59:19 87:3,22 88:11 103:16 117:21 118:14 119:12 121:5 151:5 160:17 168:14 184:8 185:13 234:4,4 278:12,19 298:22	summary 30:20

53:3 55:2 58:18 91:16 121:20 146:22 151:21 285:10 summing 136:4 super 93:18 supplemental 110:13 121:11 support 10:10 22:7 54:5 83:19 87:22 90:11 101:21 118:8,18 152:5 157:9,10,11 159:12 179:13 184:21 220:20 221:7 244:6 246:11,14 269:18 295:4,5 298:1 supported 90:22 180:18 supporting 18:13 53:18 117:18 121:20 122:7 246:15 285:10 supports 243:22 suppose 49:3 supposed 184:12 sure 5:5 8:5 24:5 26:16 42:14 49:19 59:22 60:2 62:11 68:20 69:7 81:5 90:11 95:14 96:17 110:16 111:3,21 112:10 121:5 130:4,20 131:5 165:9 198:15 207:5 212:21 223:12 226:8 235:10 236:22 237:5 241:14 259:9 260:16 261:22 264:21 277:10 280:3 291:19 292:17 surface 40:10 surgeon 74:11 178:8	surgeries 299:5 surgery 119:1 203:21,21 204:1 215:19 226:22 238:9 276:7,8,13 279:2,5,5 281:15 281:16 285:22 286:7,14 289:17 289:18,19 290:1 291:13 292:9 297:6 299:2,14 surprised 125:5 165:5 196:19 surveyed 24:8 Susan 109:13 141:7 Susceptibility 16:2 123:14 suspect 159:15 suspecting 168:16 suspicion 42:19 250:18 switch 139:12 swizzle 300:16 symptom 194:10 198:3,7 204:15 268:9 symptomatic 196:9 205:13 267:8 symptomatology 131:3 symptoms 83:15 186:5 187:20 188:2,4,6,8 190:9 193:1,7,9,18 194:21 195:3 196:17 205:6,11 277:4 281:8 291:12 syncope 158:3 syndromes 130:18 system 44:15,17 59:4 84:11,12 186:7 220:7 280:14 systems 96:2 186:12,12 S-E-S-S-I-O-N	183:1 <hr/> T <hr/> T 2:12 table 51:9 80:16 156:9 157:10 174:10,12 177:18 181:12 183:5 192:20 232:21 236:11 286:22 293:22 294:4 tabled 229:9 tad 90:20 take 30:17 31:1,3 38:16 42:6 43:7 61:16 62:16 66:2 67:2 79:17 81:2 81:20 83:12 100:16 105:17 115:19 132:20 153:21 154:1 161:18 174:9 192:5 214:22 235:9 236:6,18 250:9 256:9 261:13 298:17 taken 120:18 121:1 232:9 295:2 takes 103:2 talk 5:7 14:16 66:9 66:16,18 80:9,12 128:6 154:22 184:18 189:15,16 197:10 230:14 267:22 talked 10:3 30:11 30:13 54:22 83:20 86:13 95:1 99:6 107:18 154:9 158:19 203:3 243:15 280:16 284:10 297:22 300:19 301:17 talking 51:4,5 53:7 60:3 70:18 73:21 77:12 83:13 136:13 143:2	188:11 198:7 199:7 230:7 234:3 270:2 283:15 290:13 talks 55:3 149:5 TAP 160:9 target 13:10 272:10 targeted 69:16 tasks 151:13 218:22 teachability 22:18 teaching 10:4 tease 40:15 technical 51:15 65:6 94:17 135:16 147:21 Technically 18:6 technologies 52:2 257:17 technology 34:21 47:22 teens 98:3 telephone 183:22 tell 32:13 41:5 53:15 66:10 67:5 75:17 84:11 103:7 107:1 171:12 193:21 197:1 240:19 261:22 262:17 298:3,5 telling 74:21 75:1 109:10 142:6 tells 106:21 temporary 105:6 temptation 207:8 ten 47:17 tend 152:8 176:15 251:4 262:9 263:22 278:17 tendency 264:9 tends 98:21 262:13 tension 105:11 term 199:14 terminating 56:13 terms 8:14 9:13,20 9:22 10:5,17,18 11:4 12:8,16	13:10 14:11 15:21 17:22 38:13 52:15 53:14 57:20 60:16 62:2 64:21 65:13 65:15 69:15 80:1 81:12 82:10,19 85:6 89:11 96:12 98:22 101:16 110:5 117:11,16 118:12 119:13,15 121:4,22 122:2,21 126:20 132:2 137:6 146:20 149:16,20 156:20 168:10 172:7 174:5 178:22 234:2 243:19 277:20 279:12 285:2,14 288:10 295:13 terrible 254:5,6 test 11:19 42:17 69:14 108:17,21 159:10,11 160:8 160:21 161:14 162:7,14,17 163:2 165:3 167:4,20 173:18 175:6 181:2 183:13 186:9 192:20 194:19 196:20 208:10 222:3 225:14 230:12 233:14 238:13 240:9,10,20 245:9 248:4 264:10,11 264:12 271:18,22 272:2,4,11 279:11 287:10,13 292:6,8 tested 49:15,16 55:17 56:9 94:13 95:12 151:1 166:6 181:1 184:14,15 228:15 283:10 testing 7:15 14:8,12 14:13 15:5 18:4 20:13 38:13 55:11
---	---	---	---	--

55:12 56:3,4,4,18 57:8,11 94:10,15 120:17 122:12 149:11 158:9,18 159:19 160:4 164:9,18,20 180:17,19,22 184:4 185:9,15,17 186:6,15,17,17 188:19 189:15 190:4 197:9 201:18 208:15 212:14 214:11 216:6,7,22 230:10 230:17 238:7,8 243:14 245:18 246:10 248:6 255:11 271:14 272:2,3,7,10 282:4,5,7,16 285:2,4,6 295:18 296:3 300:21 tests 162:2,21 187:14 196:16 198:16 205:17 221:22 222:4,7 256:6 261:2 279:11 283:7 295:19 Texas 168:11 text 300:22 thank 9:9 16:9 46:8 56:14 60:7 62:8 63:21 69:7 97:4 98:7,14 112:2 124:10 133:2 209:18 thanks 16:10 224:7 279:7 theme 38:13 theoretically 32:16 39:5 274:6 theory 197:10 they'd 174:19 thick 43:2 thing 13:4 22:3 32:5 40:20 50:9	51:14 72:7,19 92:20 101:4,11 125:15 126:9 140:18 152:5 154:3 156:2 166:4 173:14 175:19 176:5 177:9 188:7 198:10 216:13 218:21 224:22 229:6 231:19 232:3 240:1 249:15 259:5 263:14 264:2 280:12 285:19 302:13 things 4:8 5:16 16:19 29:2 32:17 36:11 47:20 69:13 76:14 77:7,12 81:15 83:17 87:13 95:9,16 96:8 100:6 101:17,18 102:7 104:8 106:5 107:17 113:9 116:8 118:1 121:21 126:18,20 141:17 159:3 162:20 165:19 172:16 173:5 175:4 178:21 179:3,3,10,14 189:16 196:11 202:9,15 207:12 217:5 218:7 223:1 237:4 242:5,9 248:18 250:22 253:9 256:15 257:21 258:3,11 260:11 261:8 268:10 270:19 272:9 277:5 280:16 287:8 293:22 299:21 300:14 think 5:13,21 8:16 9:10,21 12:21 13:1,4,5,8 15:6	16:3,7,20,22 17:1 17:3 19:4,12,19 20:12 21:16,18,20 22:1,2,16,21 23:4 24:11 25:22 27:19 28:1,4,7 29:12,21 31:10 33:17,20 34:16,18,21 35:2 35:5,9,9 36:10,11 39:5,16 41:16 45:7 51:6 54:6 55:8,19 56:17 57:18,22 58:18,20 58:22 59:10,11 61:11,18 62:1,4 62:16,18 63:20 65:4 67:14 68:11 68:18 69:15,16 70:19,22 72:15 74:20 76:18,21 80:4,8,10,15,22 83:22 87:16 88:21 89:9,20 91:20 93:9 95:22 96:22 97:15 98:4,12 100:14 101:4 102:9 103:2,6,12 104:8 106:4,21 109:5 110:17 111:13 116:12,13 117:19 122:2,9 124:16 125:15,15 126:4,19,20 127:21 130:16 131:18 132:4 138:20 139:6,7 143:5 144:2,6,11 149:17,17 151:9 151:18 155:11 157:1 159:17,22 161:7,7,15,19 163:18 164:19 169:8,11 172:8,10 173:15 174:14 175:13 178:1,2,14 179:1,3,8,9,13 180:2,5 181:18	182:4 189:10 191:6 193:4,8,20 195:1 196:13 201:9 202:18 207:22 214:11 215:20 219:8 221:9,15 224:13 224:22 227:22 228:6 231:19 232:14 233:20 234:9,14 235:2 236:11,13,20 238:2 239:19 240:13,14 242:14 244:6,13,17 245:2 245:4,6,11 246:2 246:11,16 247:20 248:5,17 249:2,14 250:2,5,9,13,17 251:19 254:4,5,9 255:17 256:4 257:12,14 258:5,7 259:12,16,21 260:10 263:3 266:15 269:21 270:1,8 271:4,5,6 271:8,13,17 273:6 280:1,8,12,18 281:5,17 282:15 283:4,20 284:2,3 285:5,11 288:19 291:2,15 295:15 296:12,18,19 297:10 300:16,22 301:9,14 302:10 302:12 303:1,6,7 303:9 thinking 75:15 129:17 191:22 193:6,7 225:1 236:2 256:3 282:14 294:13 third 11:15 61:16 139:11 189:13 199:13 229:1 third-party 198:17 Thirteen 114:15	Thirty 290:14 Thirty-five 191:17 thoracic 32:9 thorough 89:22 thought 87:22 94:19 95:15 96:7 134:7 135:20 169:4 205:6 229:6 234:22 241:17 258:12 269:12 284:11 289:17 292:9 302:3,4,20 thoughts 86:19 286:3 thousand 237:11 threat 106:17 three 4:7 35:13 43:4 48:3,13 63:1 66:1 81:21 86:20 91:8 100:20 126:20 144:9 146:5 151:10 164:19,20 202:10 206:3,6 211:10,13 217:4 219:18 229:8 232:18 238:5,10,11,22 239:2,21 240:18 241:3 242:4 256:22 268:5,11 threshold 20:4,16 29:22 93:16 119:14 210:8 279:17 290:11 298:16 thresholds 121:3 threw 233:14 285:19 thromboembolism 15:11 throughput 66:19 throw 234:13 258:12 281:20 thrown 126:12 Thursday 99:3 239:11 tick 255:19
--	--	---	--	--

ties 206:22 279:10	toggle 21:19	trend 33:9 147:9	20:10 30:10 31:2	uncategorizable
tight 105:22	token 22:13	171:7	32:1 37:18 42:6	241:21
time 5:8 8:19 27:1	told 35:21	trends 171:9	42:13,17 49:16	uncertain 148:17
28:14 33:12 43:21	tomography 54:18	TRICIA 156:13,13	53:1 54:7 61:14	unchanged 45:22
51:7,9 52:3 63:19	tomorrow 5:21	trickier 169:15	61:20 63:1 66:1	unclear 104:5
65:8 66:20 69:6	ton 221:15	tricky 100:4 156:2	76:13 77:12,16	189:8 295:16
74:8 100:4 112:18	tool 12:19 288:13	tried 63:3 147:16	79:9 88:19 101:11	298:1
114:21 126:10	tools 19:14	trip 67:6 177:2	102:7 107:4 111:8	uncomfortable
130:6 137:11	top 136:12 192:5	triple 32:5,18 33:7	114:8 125:2	162:13
144:20 145:1,17	202:10 272:4	34:13,19 35:3,13	126:16,20 136:10	uncomfortableness
145:18 148:2	278:10 281:4	36:4,17 38:9,19	143:2,12 144:8	96:19
160:6 170:12	301:10	39:3 40:2 41:20	145:12 172:1	uncommon 133:10
177:21 187:21	topic 91:12 144:12	42:14 43:4 45:16	177:15 184:9	uncomplicated
193:14,19 194:10	172:15 296:9	troublemaker 12:2	189:15 192:22	97:22
194:18,18,20	torn 283:14	Troy 1:16 39:22	193:22 194:19	underestimate
196:8 197:3,7	total 47:14 148:19	231:4 275:19	195:4 198:8	264:1
204:2,7,15 212:17	150:5 170:22	280:16 281:9	201:10 204:9	undergo 166:5
214:15 216:2	171:19 185:17,19	302:6	205:18,21 209:7	184:12
218:13 222:21	191:7 205:10	true 16:1 32:13	214:4 216:6 217:3	undergoing 31:19
223:4,12 226:7,13	277:1	43:4,15 51:4,7,10	225:13 226:11	72:1 82:9 148:19
228:14,16 229:3	totally 175:12	61:4 70:22 79:19	228:8 229:7	164:13 165:3
229:21 234:18	196:20 284:4	265:21 293:11	231:14,20 232:2,6	185:9
235:17 251:21	299:15	truly 266:11	239:7,19 240:11	undergone 149:1
252:8,20 253:22	track 61:16	try 5:10 35:5 37:12	249:17 253:9,16	149:11
257:8 259:13	tracked 123:20	99:9 105:22 106:2	255:5 256:21	understand 17:3
260:19,20 261:16	tracking 267:5	111:5 156:18	260:11 270:17	22:10 35:15 37:9
262:22 264:16	trade 194:21	176:6 184:13	273:9 275:13	37:13 71:4 72:10
271:22 276:4	298:13,15	189:3 215:4 231:9	280:21,22 302:17	76:2 78:22 86:4
277:16 278:22	tradeoff 250:19,20	258:18 259:15	303:4	131:16 135:13
284:11	253:20	264:9	twosies 179:5,21	136:14 141:19
times 28:17 76:1	trainees 78:6	trying 10:18 16:21	180:4,9	142:9 151:2,8
100:20 232:19	transcription 5:4	23:8 42:2 43:14	two-fold 111:12	153:20 154:2
253:20	5:15	46:20 66:12 71:4	two-year 167:8	159:8 172:3
time-effective	translatable 62:3	71:19 79:2 108:15	233:8	178:20 209:9
63:14	transparency	125:19 159:3	type 179:8 199:4	210:12,18 211:2
time-limited 48:11	199:21	160:21 164:1	204:13 216:1	217:21 218:9
230:9	transparent 200:10	178:12 180:2	239:18 285:22	220:16 242:6
timing 150:22	transplant 154:1	181:10 197:19	types 283:19	245:21 254:12,13
151:10	trauma 30:12,13	209:1 246:7 272:3	typical 129:20	254:22 281:3
tip 263:7	49:6,13,18 50:8	292:13	213:19 223:18	294:11 301:6
title 64:2,2 73:1	60:19 61:1,4 64:3	turf 35:19	typically 65:20	understandable
today 4:8 6:3 7:4	64:11 67:4 72:2	turn 105:18 161:16	typo 55:2 88:7	187:2
174:12 179:5	73:11 120:6	183:19 229:8,20	T-A-B-L-E 3:1	understanding
180:21 186:11	Traumatic 48:16	237:10		181:12 210:7
200:22 215:15	travel 145:16	turning 35:18	<hr/>	underwent 88:18
237:16 273:15	treat 9:15	twice 94:18	U	164:17 165:3
274:3,4	treatments 147:6	two 5:16 11:20 20:5	UHC's 164:13	166:7,8 167:2
			Ultimately 236:16	

under-reported 265:11	USA 179:5 180:21	utilizations 83:5	variations 121:22	115:2 133:18
under-used 206:21	usability 15:6	U.S 103:4	varied 83:5	138:9 145:7,8,9
under-65 232:13	22:18 47:13 48:2		varies 283:21	173:11 224:21,21
unfair 140:6	56:17 95:11,17	V	variety 78:5,9	225:10,20,21,22
303:11	96:7 114:12	V 1:15	157:21	225:22 226:1,2,5
unfortunately	122:20 123:5	vague 77:7 78:8,13	various 147:16	226:9 230:21
27:22 37:19	169:4 183:19	256:13,14,15,20	298:6	235:7,7,12 239:1
124:17,19 165:4	187:1 225:22	257:4 292:4	vary 245:1 283:18	243:4,7 248:20
173:15 303:7	247:4,6 287:20	valid 41:15 127:20	varying 128:19	270:21 271:8
uniform 245:5	302:3	140:19 159:4	vascular 299:22	273:15,16 274:1,2
247:18	usable 187:2	180:6 205:17	vendors 199:7	274:3,4,8,20
unilateral 119:7	257:12	292:17	200:4,4	280:7 288:19
unimportance	use 18:10 21:12,21	validated 54:9	venous 15:10	296:10,13 302:22
241:8	24:11 50:13,15	validation 177:14	verify 27:4 252:17	voted 231:12
unindicated 160:20	53:15 56:21 59:10	228:7	versa 196:10	voting 46:10 48:7
160:22	59:10 67:8 78:12	validity 14:12,13	version 6:2	106:3 113:6,10,13
unintended 16:3	92:22 95:12 97:2	15:4 94:15 106:18	versions 239:7	113:22 114:19
38:18 39:10 50:12	98:2 100:18	111:4,8 121:7	versus 37:10,15	144:19 224:10
51:13 70:21 93:8	115:14 116:7	127:3 143:6,15	54:18 65:14 70:10	226:3,4 269:6
93:12 96:16	122:13 125:12	159:6 179:2,7,14	89:12 144:10	vow 241:18
123:15 141:3	127:17,22 140:15	186:17 210:22	210:3 245:1 274:2	VQ 8:11
153:12 161:6	142:22 146:14	211:19 246:12	283:4,5 284:3	
203:16 214:5	155:14 165:22	301:6,14	295:20	W
245:12 247:21	173:18,21 174:22	valsalva 119:3	vice 196:10	W 1:19
unique 271:14	184:3,21 185:3,14	value 15:15 20:2	view 22:18 52:2	wait 27:13 68:9
United 164:8	185:18 198:5	57:18 140:8,9	63:18 65:22 71:1	140:13
universal 186:11	200:10 202:2	158:21,22 159:1	71:2,22 74:2	waiting 4:21
universality 12:16	236:16 238:14	221:6 227:14,22	80:11 86:21	wall 234:5
universally 8:9	242:19 243:14	228:4 231:22	170:22 202:15	wan 181:14
university 69:11	246:9 252:5,9,9	247:6 273:6 288:5	279:11	want 5:3 8:20
unnecessarily	253:10 254:7	302:7	viewed 26:14 63:17	17:22 18:2 20:19
140:20	255:4 258:8 272:6	values 181:7	virtually 232:4	23:14 24:19 26:2
unnecessary	296:3	252:12 253:6,21	visit 8:19 183:8	26:16 28:8 29:1
151:16	useful 117:14	variability 142:9	visits 31:1 94:2	32:14 33:16,21
unreliable 247:19	122:21 133:13	152:16 244:4	99:19,20 165:9	38:9,15 39:14
unspecified 299:15	usefulness 111:14	277:15 285:16	visualization 67:17	42:7 45:11 49:19
unstable 13:12	users 281:5	variable 134:1	voice 20:20	58:12 60:2 67:2
286:8,9	uses 12:1 121:8	253:1 277:11	volume 150:5	73:5,5,6 97:1
unusual 130:7	238:17	296:10	153:5 212:18	106:1 107:14
update 57:19	usually 34:9,10	variables 252:6,16	224:1 249:19	109:13 111:14,21
214:18	130:5 218:21	253:6,13	276:22 277:12,18	113:3 127:2
updating 179:19	219:20	variant 129:20	294:2	132:19 134:8
214:16	utilization 101:12	variation 92:15,15	vote 3:6,9,10 45:18	136:5,15,16
upfront 222:22	116:4,10 121:22	103:16 142:19	46:4,5,17 47:2,4	138:22,22 143:22
upper 299:19	122:2 125:6 152:6	150:18,19 151:7	48:9,12 80:20	146:9 151:19
urged 145:16	171:10 181:15	165:22 176:19	86:16 91:3 112:18	155:12,13 156:18
	283:7	210:2,4,6 234:22	113:22 114:22	164:3 168:8

171:18 172:1,16 172:18,22 173:3,9 174:10 175:17 178:6,10 179:16 179:20,21 181:20 190:16 197:11 200:8 201:3 204:3 204:8 207:4 210:3 213:6 214:4 225:8 226:9,10 230:1 232:15 236:4,18 237:4,12,21 241:9 241:13 248:1 256:16 260:22 264:11,18 270:21 271:1 274:3,3,14 277:9 280:5 293:17 wanted 4:15 22:22 25:4 59:16 61:14 125:21 154:21 234:15 237:9 264:12 271:5 wants 74:11 177:12 229:17 230:3 warn 276:4 warranted 167:10 Washington 1:9 wasn't 169:19 204:18 238:21 241:3 298:3 watched 222:22 water 61:18 wavered 95:6 way 22:9 31:12 33:3 35:6 39:1,11 70:3 75:4,5 86:5 102:2 126:11 130:11 136:12 140:18 147:20 148:2 154:11 155:16 157:5 168:5 175:11 176:2 177:12 182:1 198:17 200:12 215:17 216:14 241:18	244:15 248:22 249:15 251:3 267:21 269:20 276:19 299:9 ways 49:12 69:16 111:8 199:6 248:13 weaken 173:1 WEDNESDAY 1:6 week 276:10 weeks 53:1 169:22 229:8 weight 128:4 weighted 158:21 welcome 3:2 124:12 157:6 198:1 280:10 Wells 12:10,11 21:10 39:19 44:7 44:9 well-designed 172:9 well-done 294:11 went 25:9 182:9 183:14 185:1 249:10 295:10 297:15 weren't 93:5 95:13 96:17 113:7 168:2 253:21 we'll 7:19 36:16 43:6 46:16 53:4 86:18 111:20 113:10 118:10 132:20 154:1 163:22 168:21 174:9 184:18 197:15 230:13,14 235:7 237:17,18 243:4 264:19 284:7 287:19 we're 24:5 31:7 34:11 36:4 47:22 48:7 53:7 55:14 62:21 65:3 71:19 73:7,20,21 81:11 81:12,16 114:5	124:18 136:12 142:14 143:2 144:4,5 156:4 157:8 160:21 178:18 181:5 189:16 193:6 197:18 201:5 208:8 218:8,9,11 220:15 222:10 231:9 234:3,3 236:1 239:4 255:3 268:5 269:4,5 270:2 275:1 276:3 279:2 280:12 296:6 we've 4:7 16:14 24:7 45:19 47:21 62:2 86:13 107:18 107:20 113:13 146:5,7 171:17 194:13,15 226:22 232:8,9 237:3,19 274:11 whacks 31:5 whatnot 4:5 whatsoever 299:8 white 18:12 wholly 53:16 wide 78:5,9 92:13 285:16 widely 83:5 wiggle 188:16 willing 194:21 210:1 228:20 235:21 willy 8:12 window 159:21 160:5 164:19 224:2 withdrawal 262:16 withdraws 262:6 women 55:19 183:16 262:11,15 Womens 218:2 wonder 22:8 31:7 146:16 151:13 288:15 297:7,9	wondering 30:22 113:19 139:11 word 112:16 words 104:4 109:6 109:8 126:16 139:5 159:10 206:13 208:6 248:16 work 7:19 50:11 106:10,15 146:2 159:19 160:5 165:10 177:4,5,10 185:14 189:12 229:22 232:12,13 266:2 274:9 302:5 worked 7:2 28:14 69:11 118:21 159:16 workflow 258:10 working 135:15 147:16 works 228:8 world 39:8 74:16 209:7 251:1 worried 27:6 69:2 95:8 96:16 126:5 249:3 worry 51:20 153:12 worse 163:16 169:1 247:20 260:20 301:9,9,10 worsened 119:3 worsening 119:6 worth 178:3 289:8 wouldn't 21:9 29:7 29:9 34:4 57:10 74:12 92:6 93:7 106:13 126:1,2 144:6 162:17 211:13 213:15 214:12 216:15 217:17 242:20 263:6 266:20 272:16,21 287:2 write 237:15 268:1 writing 132:2 221:5	written 104:12 106:13 115:1,3 172:7 248:9 260:6 wrong 99:15 196:20 204:11 239:12,14 wrote 45:14 57:13 <hr/> X <hr/> X-ray 62:21 63:8 74:2 X-rays 63:5 70:6 <hr/> Y <hr/> yea 162:3 yeah 257:4 264:7 291:6 year 31:2 127:12 127:13 138:3,4 139:9 142:13 143:12 147:9,12 192:6,9 213:19 219:3,5 224:4 261:9 289:5 293:18 years 14:10 35:13 50:7 53:16 77:19 77:20 105:2 148:13,21 169:18 184:9 185:9 192:22 193:22 194:20 195:4 198:8 201:10 204:10 205:18,22 214:4 216:6,7 229:7 237:8 240:11 281:11 year's 289:8 yesterday 5:22 6:7 6:14 7:3 14:4 15:21 16:7 21:18 30:11 44:14 49:22 53:11 60:20 91:19 103:10 199:10 200:21 210:4 220:5 yesterday's 61:19 yield 166:9
---	---	--	---	---

younger 95:19,22 96:10 97:2,16 131:10 262:11	277:19 281:4 294:9 300:12,12 301:10	14 83:11,14 14-10 276:2 143 3:10 144 3:13	2(d) 94:16 121:15 285:11 2(e) 121:18 2(f) 14:21 121:19 122:13 186:19 285:14 301:18	193:5 201:18 202:13 203:6 210:18 287:20
yous 69:7	1's 201:13	15 105:2 137:9,21 138:13,15 147:12 183:20 202:8 219:14 262:18,19	2(f)2 133:20 2(f)3 110:12 2(g) 122:15 2(h) 122:16 2.1 135:6 2.7 144:4 20 47:15 50:7 142:11,20 180:14 181:4 202:8 223:19 281:11 200 281:2 287:4,5 297:6,8 301:8 2000s 147:2 2004 164:14 2005 195:17 2006 87:20 88:6 147:10 2007 94:13 116:17 121:10 134:20 135:6 150:6 164:14 2008 80:6 88:8 2009 77:21 2010 1:7 23 165:13 234 3:15 24 1:7 7:16 164:21 278:13 240 3:17 150:2 281:12 25 50:7 146:8 180:14 25th 140:4 158:22 27 66:17 271 3:18 28,000 164:7,12	3's 201:13 3(a) 122:20 123:2 169:4 287:22 3(b) 15:9 169:5 288:1 3(c) 123:4 169:6 187:6 288:4 3,000 136:4 150:2 3,330 134:5 3.0 140:1 3.3 140:1 3.7 139:17 278:14 3.8 144:5 3:00 145:17,22 146:1 3:15 303:13 30 30:14 51:10 142:21 202:8 213:3,5,11,12,14 213:15,16 219:5 249:19 259:7,8 281:9,10,13 289:18 290:5,11 292:9 293:8 299:1
<hr/> Z <hr/>	1(a) 9:20 82:10 92:7 118:2 276:20 1(b) 9:22 53:20 59:18 82:19 83:15 92:10 150:17 185:21 279:8 297:1 1(c) 10:9,20 83:18 92:18 118:18 151:12 186:1 279:12 297:22 1,000 9:17 1,909,644 135:11 1.5 30:21 31:10 150:6 1.9 294:13 1:15 194:6 10 76:1 105:2 169:18 254:10 10,000 295:10 100 13:7 94:12 180:4 181:6 295:12 11 146:12,13 166:6 227:16,17 11.5 83:7 11:00 4:14 110,000 295:13 113 3:10 12 7:17 78:1 115:14 165:8 178:6 258:17 284:12 12,000 150:4 12:30 145:14 12:35 182:9 12:55 182:10 183:2 120,000 134:21 13 17:19,20 19:16 49:1,3 81:11 91:12,13 114:3 258:17 13th 1:9	15 105:2 137:9,21 138:13,15 147:12 183:20 202:8 219:14 262:18,19 16 55:19 139:20 142:10,13,20 239:7,16,16 243:11 17 238:1,3 239:6,9 18 13:11 46:15 183 3:14 184 137:8 185 3:15 19 47:1 199 297:8 1990s 147:2	200 281:2 287:4,5 297:6,8 301:8 2000s 147:2 2004 164:14 2005 195:17 2006 87:20 88:6 147:10 2007 94:13 116:17 121:10 134:20 135:6 150:6 164:14 2008 80:6 88:8 2009 77:21 2010 1:7 23 165:13 234 3:15 24 1:7 7:16 164:21 278:13 240 3:17 150:2 281:12 25 50:7 146:8 180:14 25th 140:4 158:22 27 66:17 271 3:18 28,000 164:7,12	3(a) 122:20 123:2 169:4 287:22 3(b) 15:9 169:5 288:1 3(c) 123:4 169:6 187:6 288:4 3,000 136:4 150:2 3,330 134:5 3.0 140:1 3.3 140:1 3.7 139:17 278:14 3.8 144:5 3:00 145:17,22 146:1 3:15 303:13 30 30:14 51:10 142:21 202:8 213:3,5,11,12,14 213:15,16 219:5 249:19 259:7,8 281:9,10,13 289:18 290:5,11 292:9 293:8 299:1 30,000 295:11 30-person 259:5 303 3:19 31 301:4,7 35 190:18 191:16 301:11
<hr/> 0 <hr/>	10 76:1 105:2 169:18 254:10 10,000 295:10 100 13:7 94:12 180:4 181:6 295:12 11 146:12,13 166:6 227:16,17 11.5 83:7 11:00 4:14 110,000 295:13 113 3:10 12 7:17 78:1 115:14 165:8 178:6 258:17 284:12 12,000 150:4 12:30 145:14 12:35 182:9 12:55 182:10 183:2 120,000 134:21 13 17:19,20 19:16 49:1,3 81:11 91:12,13 114:3 258:17 13th 1:9	<hr/> 2 <hr/>	2006 87:20 88:6 147:10 2007 94:13 116:17 121:10 134:20 135:6 150:6 164:14 2008 80:6 88:8 2009 77:21 2010 1:7 23 165:13 234 3:15 24 1:7 7:16 164:21 278:13 240 3:17 150:2 281:12 25 50:7 146:8 180:14 25th 140:4 158:22 27 66:17 271 3:18 28,000 164:7,12	30 30:14 51:10 142:21 202:8 213:3,5,11,12,14 213:15,16 219:5 249:19 259:7,8 281:9,10,13 289:18 290:5,11 292:9 293:8 299:1 30,000 295:11 30-person 259:5 303 3:19 31 301:4,7 35 190:18 191:16 301:11
<hr/> 0.9 190:19 0020 128:5 005 281:1,14 297:4 008 159:1,4 016 150:3,21 158:21 022 127:8 037 135:22 04 192:3 047 127:8 05 127:11 129:5 140:10 159:3 190:20 191:19	10 76:1 105:2 169:18 254:10 10,000 295:10 100 13:7 94:12 180:4 181:6 295:12 11 146:12,13 166:6 227:16,17 11.5 83:7 11:00 4:14 110,000 295:13 113 3:10 12 7:17 78:1 115:14 165:8 178:6 258:17 284:12 12,000 150:4 12:30 145:14 12:35 182:9 12:55 182:10 183:2 120,000 134:21 13 17:19,20 19:16 49:1,3 81:11 91:12,13 114:3 258:17 13th 1:9	<hr/> 2 <hr/>	2006 87:20 88:6 147:10 2007 94:13 116:17 121:10 134:20 135:6 150:6 164:14 2008 80:6 88:8 2009 77:21 2010 1:7 23 165:13 234 3:15 24 1:7 7:16 164:21 278:13 240 3:17 150:2 281:12 25 50:7 146:8 180:14 25th 140:4 158:22 27 66:17 271 3:18 28,000 164:7,12	<hr/> 4 <hr/>
<hr/> 1 <hr/>	10 76:1 105:2 169:18 254:10 10,000 295:10 100 13:7 94:12 180:4 181:6 295:12 11 146:12,13 166:6 227:16,17 11.5 83:7 11:00 4:14 110,000 295:13 113 3:10 12 7:17 78:1 115:14 165:8 178:6 258:17 284:12 12,000 150:4 12:30 145:14 12:35 182:9 12:55 182:10 183:2 120,000 134:21 13 17:19,20 19:16 49:1,3 81:11 91:12,13 114:3 258:17 13th 1:9	<hr/> 2 <hr/>	2006 87:20 88:6 147:10 2007 94:13 116:17 121:10 134:20 135:6 150:6 164:14 2008 80:6 88:8 2009 77:21 2010 1:7 23 165:13 234 3:15 24 1:7 7:16 164:21 278:13 240 3:17 150:2 281:12 25 50:7 146:8 180:14 25th 140:4 158:22 27 66:17 271 3:18 28,000 164:7,12	4 3:2 29:19 55:4 123:7 124:6 139:20 140:8 190:1 4(a) 15:17 123:11 288:11 4(b) 15:20 123:12 288:11 4(c) 16:1 123:13 4(d) 96:15 124:1 187:10,11 302:10 302:14,14
1 3:2,5,10,15 10:22 19:5,8,11 93:15 104:5 129:5 151:21 189:18,19 189:20,22 193:8 228:15 239:18	13 17:19,20 19:16 49:1,3 81:11 91:12,13 114:3 258:17 13th 1:9	2(a) 11:5 119:22 158:17 284:9 298:18 2(a)10 259:19 2(a)3(2)(b) 76:3 78:19 2(a)8 299:17 2(a)9 259:19 2(b) 14:8 94:11 188:19 285:4 300:21 2(c) 94:15 121:12 285:8 301:14	<hr/> 3 <hr/>	3 3:8,18 59:17 81:19 95:11 123:6 169:4 190:1,2

4(e) 124:1	67 301:4,7
4,000 137:2	68 67:22
4.2 190:20	
4:00 145:18,20	<hr/> 7 <hr/>
4:40 302:18	7 3:5 4:7 144:9
40 165:22 209:8	7,000 164:16
281:5 301:11	70 262:19
400 138:14 139:21	70,271 135:14
414 165:12,15,16	75 52:3 127:14
427.61 163:12	141:10 165:11
45 3:6 128:6 129:4	750 31:2
136:9 137:2 140:5	78464 170:1
143:11 144:3,9	789,000 150:6
190:21 191:7	
278:13	<hr/> 8 <hr/>
450 300:9	8 4:7 49:1,2,4,4
460 138:15,16	81:10 92:13
462 137:6	80 3:8 103:11,14
48 3:7	130:14 155:3
	80,000 135:8
<hr/> 5 <hr/>	85 155:4 178:6,7
5 4:7 5:18 7:6,11	281:11
51:1 94:14 125:8	89 3:9
125:9 133:10	
136:10 202:14	<hr/> 9 <hr/>
300:12	9 164:1 189:19
5.8 83:6	9:00 1:10
50 30:2 105:4,5	9:23 4:2
166:20	9:30 4:10
50,000 31:1	90 32:8,11 127:10
500 9:17	128:11 129:18
	191:19 192:4
<hr/> 6 <hr/>	9301 170:6
6 4:7 48:15 49:3	95 166:9
81:10,17 82:1	98 82:21 301:2
203:7	
60 97:14 99:3 185:7	
213:2,5 224:4	
281:10 289:16,16	
289:17	
60-day 212:17	
284:11 289:4,21	
290:2,4	
600 1:9	
601 1:9	
65 55:21 93:2	
164:10,11 227:18	
232:18 281:10	