

NATIONAL QUALITY FORUM

+ + + + +

HEALTH AND WELL BEING
STEERING COMMITTEE MEETING

+ + + + +

TUESDAY
April 29, 2014

+ + + + +

The Steering Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 8:30 a.m., Thomas McInerney and Sarah Sampsel, Co-Chairs, presiding.

PRESENT:

THOMAS MCINERNEY, MD, Co-Chair
SARAH SAMPSEL, MD, Co-Chair
CHISARA ASOMUGHA, Centers for Medicare &
Medicaid Services
JOHN AUERBACH, Northeastern University
MICHAEL BAER, AmeriHealth Caritas Family of
Companies
RON BIALEK, Public Health Foundation
JUAN EMILIO CARILLO, Weill Cornell Medical
College, NYP
JANE CHIANG, American Diabetes Association
ERIC FRANCE, Kaiser Permanente
RENEE FRAZIER, Healthy Memphis Common Table
RON INGE, Delta Dental of WA
DAVID KROL, Robert Wood Johnson Foundation
MARGARET LUCK, Mary's Center for Maternal &
Child Care
PATRICIA MCKANE, Michigan Department of
Community Health
AMY MINNICH, Geisinger Health System
JACQUELINE MOLINE, North Shore Long Island
Jewish Health System

MARCEL SALIVE, National Institute on Aging
KATIE SELLERS, Association of State and
Territorial Health Officials
JASON SPANGLER, Amgen, Inc.
MIKE STOTO, Georgetown University
ROBERT VALDEZ, Robert Wood Johnson
Foundation Center for Health Policy
ARJUN VENKATESH, Yale University School of
Medicine

NQF STAFF:

HELEN BURSTIN, Senior Vice President,
Performance Measurement
ANN HAMMERSMITH, JD, General Counsel
ADEELA KHAN, Project Manager, Performance
Measurement
ALLEN LEAVENS, MD, Senior Director
ELISA MUNTHALI, Managing Director
KAITLYNN ROBINSON-ECTOR

ALSO PRESENT:

KRISHNA ARAVAMUDHAN, Dental Quality Alliance
JAMES CRALL, Dental Quality Alliance
JILL HERNDON, Dental Quality Alliance
ROBYN NISHIMI, Healthcare Quality Consultant
PAMELA OWENS, AHRQ
PATRICK ROMANO, UC Davis, AHRQ*

* present by teleconference

T-A-B-L-E O-F C-O-N-T-E-N-T-S

	PAGE
Welcome	
Elisa Munthali	5
Instructions and Disclosure of Interest	
Ann Hammersmith.	7
Project Introduction, Overview of Evaluation	
Process and Portfolio Review	
By Adeela Khan22
By Elisa Munthali.28
Population Health Across NQF Programmatic	
Areas	
MAP Population Health Families	
Population Health Community Action Guide	
By Allen Leavens, MD48
Consideration of Candidate Measures	
0727: Gastroenteritis Admission Rate	
(PDI 16)(AHRQ)	347
NQF Member and Public Comment.	205
Consideration of Candidate Measure (continued)	

T-A-B-L-E O-F C-O-N-T-E-N-T-S (con't)

PAGE

2508: Prevention Dental Sealants for 6-9 Year Old Children at Elevated Caries Risk (Dental Quality Alliance).96
2509: Prevention Dental Sealants for 10-14 Year Old Children Elevated Caries Risk (Dental Quality Alliance).	176
2528: Prevention Topical Fluoride for Children at Elevated Caries Risk, Dental Services (Dental Quality Alliance)	208
2511 Utilization of Services, Dental Services (Dental Quality Alliance)	259
2517: Oral Evaluation Dental Services (Dental Quality Alliance).	270
2518: Care Continuity, Dental Services (Dental Quality Alliance	316
NQF Member and Public Comment.	391
Adjourn.	410

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:33 a.m.)

3 MS. MUNTHALI: Hello everyone and
4 good morning. My name is Elisa Munthali. I'm
5 the Managing Director for the Performance
6 Measurement Department at NQF. Welcome to the
7 Standing Committee Meeting for Health and Well
8 Being.

9 Before I turn the meeting over to
10 Ann Hammersmith, who is our general counsel
11 who will lead us, lead the Committee through
12 introductions and the disclosure of interest
13 process, there are a couple of housekeeping
14 items that I wanted to bring to everyone's
15 attention.

16 We just wanted to remind everyone
17 that this meeting is open to the public. It
18 is being recorded and transcribed so we ask
19 that if you'd like to make a comment please
20 remember to turn on your microphones and speak
21 into the mike.

22 There are restrooms just beyond

1 the elevators for all of those who are here in
2 the conference center and they're to the
3 right. There will be several opportunities
4 for breaks throughout the next two days,
5 including lunch.

6 And there will be opportunities
7 for members of the public to make comment on
8 the Committee's deliberations as well. And if
9 you are trying to access Wi-Fi the user name
10 is "guest," all lowercase, and the password is
11 "NQF," uppercase, "guest."

12 And we ask for your full
13 attention. We ask that you put your phones on
14 mute throughout the deliberations of the
15 meeting and if you'd like to make a phone call
16 or answer a call you may do so by stepping
17 out.

18 I'd also like to also introduce my
19 colleagues who are working on the project,
20 Adeela Kahn, who is the Project Manager,
21 Kaitlynn Robinson-Ector, who's over there,
22 who's a Project Analyst, Ashley Morsell, who's

1 another Project Manager who is providing
2 analytics for this project, and Robyn Nishimi
3 who is our Project Consultant.

4 And with that I'll turn it over to
5 Ann Hammersmith.

6 MS. HAMMERSMITH: Thank you,
7 Elisa. As Elisa said we're going to combine
8 introductions and disclosures. If you recall
9 probably several months ago you received a
10 rather long form from us in which we asked you
11 about your professional activities.

12 So what we'd like to do this
13 morning is not have you recite your resume,
14 not have you recite every single thing you
15 might have put on the form, but we are looking
16 for you to disclose things that are relevant
17 to the work of this Committee, relevant to the
18 work of this Committee only.

19 We are especially interested in
20 your disclosure of grant activity, research,
21 or consulting. I do want to stress that NQF's
22 conflict of interest regime is a bit different

1 from others, we don't look solely at financial
2 conflicts of interest.

3 You may have something that you
4 did as a volunteer, you may have sat on a
5 committee with Professional Society or
6 something like that where you were not paid,
7 if that is relevant to the work today we would
8 expect you to disclose that.

9 And, last but not least, I want to
10 remind you that you are sitting as an
11 individual on this Committee, you are here
12 because you're an expert, you're not
13 representing your employer, and you are not
14 representing anyone who may have nominated you
15 to serve on the Committee.

16 So with that let's go around the
17 table, tell us who you're with and if you have
18 anything you would like to disclose.

19 MR. MCINERNEY: Hi. Tom
20 McInerney, primary care pediatrician from
21 Rochester, New York and immediate past
22 president of American Academy of Pediatrics

1 and I have nothing to disclose.

2 MS. SAMPSEL: Good morning, I'm
3 Sarah Sampsel. I'm a Senior Research
4 Associate with Impact International and I will
5 just disclose I am working on a research
6 project for CMS for end stage renal disease,
7 so if some of these measures are under
8 consideration for that project.

9 And then I worked at NCQA for
10 approximately six years, however that COI has
11 expired and I did not work on any of the
12 measures that are coming through here today.

13 MS. KHAN: Sorry to interrupt. I
14 just want to add that we're actually going to
15 be passing out little slips of paper that have
16 a two or 3-year term listed on them and so
17 we're just going to have you pick from the cup
18 and if you could just announce the number that
19 you get so we know to mark whether you're here
20 for a two or a 3-year term. So, did you get,
21 Sarah?

22 MS. SAMPSEL: Yes.

1 MS. KHAN: Okay, great.

2 MR. MCINERNEY: I drew a 2-year
3 term.

4 MS. SAMPSEL: Mine is two as well.

5 MR. BAER: Next? My name is Mike
6 Baer. I am a family doctor from AmeriHealth
7 Caritas Pennsylvania, a managed care
8 organization in Pennsylvania. I have no
9 disclosures, and two years.

10 MR. KROL: Hi, everybody, I'm
11 David Krol. I'm a pediatrician from
12 Princeton, New Jersey. I work for the Robert
13 Wood Johnson Foundation and I have nothing to
14 disclose.

15 MS. MINNICH: Good morning. My
16 name is Amy Minnich. I am from Geisinger
17 Health System, currently work in Health
18 Services as Director for Case Management and
19 I have nothing to disclose.

20 MR. KROL: And I'm a 2-year term.

21 MS. MINNICH: I'm the first lucky
22 number three.

1 MS. FRASIER: Oh, okay. Maybe you
2 took the three, good. That's what they say in
3 cards. In the casino you got my card. Good,
4 thank you.

5 I'm Renee Frasier, CEO of Healthy
6 Memphis Common Table. I think the only thing
7 we should disclose is that we do public
8 reporting and we are a grantee of Robert Wood
9 Johnson's aligning forces for quality
10 initiative and as part of that we do look at
11 these measures and we use NQF as our
12 guideline.

13 But I did want to disclose that
14 and I think that would appropriate for me to
15 disclose. And also serving on another NQF
16 Committee, Population Health, so that would be
17 appropriate I believe.

18 Oh, I got your card, too. Three
19 years, now what does that mean? I'll have to
20 find out.

21 (Laughter)

22 MS. LUCK: I'm Margaret Luck. I'm

1 Director of Quality and Outcomes at Mary's
2 Center, a federally qualified health center
3 with sites here in the District of Columbia
4 and in Maryland, and I have no disclosures.
5 I'll go down to the bottom. Two.

6 MR. SALIVE: Hi, I'm Marcel
7 Salive. I'm a Medical Officer at the National
8 Institute on Aging, part of NIH here in
9 Bethesda, no disclosures.

10 MR. FRANCE: Good morning, my name
11 is Eric France. I'm a pediatrician and public
12 health physician for Kaiser Permanente
13 Colorado and I am two years and have nothing
14 to disclose.

15 MR. SALIVE: And I picked the 3-
16 year number.

17 MALE PARTICIPANT: Sorry about
18 that.

19 (Laughter)

20 MS. ASOMUGHA: Good morning, my
21 name is Chisara Asomugha. I'm a pediatrician,
22 I'm also the Senior Technical Advisor for the

1 Centers for Clinical Standards and Quality at
2 CMS. Two years.

3 MR. SPANGLER: Good morning. I'm
4 Jason Spangler, I'm a preventative medicine
5 physician. I currently am the Executive
6 Director of Medical Policy at Amgen, the
7 biotech company, where I'm in charge of our
8 quality strategy and quality activities.

9 So my disclosure would be related
10 to any products that Amgen has, but there are
11 none that are relevant to this Committee or
12 the measures that we're looking at, and if
13 there happen to be some I'll disclose that at
14 that time.

15 The only other thing I'd mention
16 is I am also on another NQF panel. I'm on the
17 Cardiovascular Steering Committee as well.

18 MS. CHIANG: Good morning. My
19 name is Jane Chiang and I am the Senior Vice
20 President at the American Diabetes
21 Association. I'm a pediatric endocrinologist.
22 My disclosures, so I oversee medicine there

1 including the Clinical Practice Guidelines.

2 I am a liaison to the Clinical
3 Recognition Program at NCQA and I am also the
4 liaison to various collaborative
5 organizations.

6 MR. SPANGLER: Also, excuse me,
7 sorry. I was three years.

8 MS. CHIANG: I'm also three.

9 MR. AUERBACH: Good morning. I'm
10 John Auerback and I'm currently a professor at
11 Northeastern University and also oversee an
12 institute there called the Institute on Urban
13 Health Research and Practice, and I'm formerly
14 the State Health Commissioner for
15 Massachusetts and before that, the City of
16 Boston's Health Commissioner.

17 I have previously worked in those
18 capacities looking at population-based
19 measures that look more broadly at community
20 settings, non-clinical settings, and
21 population-based measures and I'm still
22 involved in doing some of that work, but I

1 don't have a specific disclosure to make at
2 this time. A 3-year term.

3 MS. SELLERS: Good morning. My
4 name is Katie Sellers. I am the Chief Science
5 and Strategy Officer at the Association of
6 State and Territorial Health Officials.

7 I do not have anything to disclose
8 and I will be serving a 2-year term.

9 MS. MCKANE: Hi, I'm Patricia
10 McKane and I'm a Senior MCH epidemiologist at
11 the Michigan Department of Community Health
12 and I, as part of that work with MDCH and also
13 with the Association of Maternal Child Health
14 I do look at population indicators and I've
15 worked on life course indicators but nothing
16 specific that's what these indicators are
17 going to look at and I also got a 2-year term.

18 MR. INGE: Good morning. My name
19 is Ron Inge and I am a general dentist and I
20 noticed that I'm representing the forgotten
21 part of the body and also my name is also
22 missing off the list of the standing

1 committee.

2 I am a Chief Dental Officer for
3 Delta Dental of Washington and Executive
4 Director of the Institute for Oral Health. I
5 have no disclosures and 3-year term.

6 MS. MOLINE: Good morning, I'm
7 Jacki Moline. I'm the Chair of Population
8 Health. I'm an internist and occupational
9 medicine specialist.

10 I receive grant funding to run
11 large clinical programs that have nothing to
12 do with the measures that we're discussing
13 today and I have a 3-year term.

14 MR. BIALEK: Good morning. I'm
15 Ron Bialek, President of the Public Health
16 Foundation and nothing specific to disclose
17 other than also working on population health
18 measures outside of the clinic settings.

19 And my thing says 3-hour term, so
20 I don't what that --

21 (Laughter)

22 MR. BIALEK: No, it's a -- Okay,

1 okay. Yes, right, right. No, a 3-year term,
2 thank you.

3 MR. VENKATESH: My name is Argun
4 Venkatesh and I'm an emergency physician at
5 Yale University and I engaged in measure
6 development activities for the Yale Center for
7 Outcomes Research as well as the American
8 College of Emergency Physicians, none of which
9 are measures being considered here.

10 I do have to recuse myself from
11 measures 0272 and 0274 as I was part of the
12 technical expert panels that informed their
13 development. Team three.

14 MR. VALDEZ: Good morning, I'm
15 Robert Valdez. I'm a Health Services
16 Researcher. I'm currently a professor at the
17 University of New Mexico.

18 And as far as disclosures, I am on
19 the National Advisory Board of the Prevention
20 Institute and they're engaged in a variety of
21 projects doing population health measures,
22 developments, none of which are part of our

1 discussions today, and I'm on group two.

2 MR. CARILLO: Good morning, Emilio
3 Carillo. I am Vice President for Community
4 and Population Health at New York Presbyterian
5 Hospital and associate professor in medicine
6 and public health at Weill Cornell Medical
7 School.

8 I have no other work on measures,
9 relating or otherwise. I do participate and
10 take lead in two CMS and New York State
11 healthcare delivery release sign projects,
12 which do use some of the measures that we look
13 at.

14 I also serve on the care
15 coordination NQF expert panel and I drew a 3-
16 year term and I have nothing to disclose
17 otherwise.

18 MS. HAMMERSMITH: Okay, thank you
19 for those disclosures. One thought that I
20 want to leave you with is that you're a very
21 important part of an effective conflict of
22 interest regime.

1 If you think that you have a
2 conflict at any time please do speak up. If
3 you think that a fellow committee member has
4 a conflict or if you think that someone is
5 behaving in a biased manner, please don't sit
6 in silence, we want you to tell us.

7 You are always welcome to bring it
8 up humbly in a meeting, you can go to your
9 Chair who will then consult with NQF staff, or
10 you can go directly to NQF staff.

11 So in that spirit, based on the
12 disclosures this morning, do you have anything
13 that you want to discuss with each other or
14 any questions? Okay, thank you.

15 MS. MUNTHALI: Thank you, Ann.
16 There is one more staff introduction that we'd
17 like to make. Helen?

18 MS. BURSTIN: Good morning,
19 everybody, sorry to be a couple minutes late.
20 Helen Burstin, I'm the Senior VP here
21 overseeing our work in performance
22 measurement.

1 Lots of familiar faces, I don't
2 know why some of you were sad to get three
3 years, that's a wonderful thing. The logic of
4 the standing committees is, and for some of
5 you who've been in our committees know, it's
6 such a steep learning curve that in some ways
7 you get comfortable evaluating the measures
8 and more than anything else you get
9 comfortable with each other.

10 You have a good sense of who knows
11 which area, you're very comfortable relying on
12 each other's expertise. So even if you got
13 two years the wonderful news is we would love
14 to have you reapply for a second term and the
15 idea of doing two and 3-year years is just
16 that the committee doesn't turn over at once
17 since we're just starting this.

18 And we're really excited to
19 actually have this group, focus on this topic,
20 it is, you know, one of the pillars of the
21 National Quality Strategy, the National
22 Prevention Strategy, and there's just so much

1 more work I think we need to do in this area.

2 You're going to have a lot of very
3 interesting sort of methodologic questions,
4 you're going to hopefully help us explore this
5 whole issue of levels of analysis, you know,
6 this question of are there certain measures
7 that are better at a population level but
8 maybe not work as well at a clinician level?

9 Are there certain measures that
10 logically cascade to let us really be able to
11 see, you know, the different effects of
12 clinician versus population versus community,
13 so all of those issues are front and center.

14 This is such an important area and
15 we recognize a lot of these issues are going
16 to probably, a lot of these measures will
17 generate a lot of discussion and that's okay.

18 You're first measure will take an
19 hour to review and that's okay because it
20 happens all the time and I think we've finally
21 built it into our timelines so you won't fall
22 too far behind.

1 But you have great, experienced
2 Chairs who have both been with us before,
3 Sarah, on our last population health project,
4 and then Dr. McInerney chairing our prior
5 child health project.

6 So I think you're in great hands.
7 Thank you for all your efforts and we'll be
8 here in and out over the next couple of days,
9 and a fabulous staff as well who will take
10 great care of you. Thanks.

11 MS. KHAN: Good morning everyone.
12 I just wanted to go over some ground rules and
13 the rules of our Standing Committee. So
14 you've all been selected to serve either a two
15 or 3-year term.

16 You'll be working with NQF staff
17 to achieve the goals of this project, which is
18 to review all of our measures and evaluate
19 them against the NQF criteria.

20 We'll be going over the criteria
21 briefly before we start evaluating, but
22 essentially you'll be making recommendations

1 to the NQF membership for endorsement, you'll
2 be responding to comments submitted during the
3 review period, and also respond to any
4 directions from the CSAC, which is our
5 Consensus Standards Approval Committee.

6 You'll also be in charge of
7 overseeing the Health and Well Being Portfolio
8 of Measures, which we'll also be going over in
9 a little bit more detail later on in the
10 presentation.

11 Just to go over some meeting
12 expectations, NQF is continuing to improve our
13 committee meetings based on input from a
14 variety of stakeholders and we've made a few
15 changes to our meeting process since the last
16 time maybe some of you have been here.

17 We'd like to recognize that we're
18 fortunate to have the measure developers
19 present and we'll be asking them to briefly
20 introduce their measure as they come up for
21 discussion.

22 The selected workgroup

1 representatives will then begin the discussion
2 of the measures in relation to the measure
3 evaluation criteria. We've also provided a
4 designated place for the developers, they'll
5 be right up here in the front.

6 At the main table during
7 introduction and discussion of their measures
8 by sitting at the table they'll be more easily
9 able to respond to questions from the
10 committee and correct any issues about their
11 measures during their discussion.

12 As in the case with the committee
13 members, developers are asked to please put up
14 your card when you would like to respond to a
15 question or correct any statements about the
16 measure.

17 During the measure evaluation
18 committee members can often offer suggestions
19 for improvement of the measures, but these
20 suggestions can only be considered for future
21 improvements.

22 The committee is expected to

1 evaluation and make recommendations on the
2 measures per the submitted specifications and
3 testing.

4 This multi-stakeholder group
5 brings varied perspectives, values, and
6 priorities to the discussion and respect for
7 differences of opinion and collegial
8 interactions among the committee members and
9 measure developers is expected.

10 The workgroup and the full
11 committee meeting agendas are typically quite
12 full and all of the committee members, co-
13 chairs, developers, staff, are responsible for
14 ensuring that the work of the meeting is
15 completed during the time allotted.

16 Just some additional ground rules,
17 the committee members should be prepared,
18 having reviewed the measures beforehand.
19 Again, base the evaluation and recommendations
20 on the measure evaluation criteria and
21 guidance, remain engaged in the discussion
22 without distractions, attend the meeting at

1 all times except during the breaks, keep
2 comments concise and focused, avoid dominating
3 a discussion and allow other to contribute,
4 and indicate agreement without repeating
5 what's already been said.

6 So these are the eight steps of
7 our consensus development process, the first
8 is the call for nominations followed by our
9 call for candidate standards, which is when
10 our developers provide their measures to us.

11 Currently we're in the standards
12 review, the committee review of the submitted
13 and maintenance measures recommended for
14 endorsement.

15 After this meeting we'll be
16 drafting our report and then the report will
17 be going to public and member comment. We'll
18 have a call to reconcile all the comments that
19 we receive and then the measures will go to
20 our membership for voting.

21 After they've gone through voting
22 they'll be sent to our Consensus Standards

1 Approval Committee followed by our Board of
2 Directors and then if there are any appeals
3 they'll be received after the measures are
4 approved by the Board.

5 This is just a high level overview
6 of our NQF measure criteria. The first is
7 important to measure and report, that's a must
8 pass criteria. The measure won't be able to
9 move forward unless it passes this criteria.

10 The second is scientific
11 acceptability of the measure properties. This
12 is also a must pass criteria and this is where
13 we're looking at the testing, so the
14 reliability of validity testing of the
15 measures.

16 Then we'll be assessing
17 feasibility and use and usability. And,
18 finally, we'll be voting on the overall
19 endorsement of the measure and we'll be
20 looking at harmonization and selection of best
21 in class once the measures have been endorsed
22 by the committee.

1 And so I'll turn it over Elisa now
2 to, she's going to be doing a quick portfolio
3 review of the measures in our portfolio.

4 MS. MUNTHALI: Thank you, Adeela.
5 As Adella and Helen both mentioned the
6 committee, the members of the committee will
7 be serving multiple terms, we hope consecutive
8 terms.

9 And we are hoping that that will
10 give you more insight in the measurement
11 frameworks that make up the measures in the
12 Health and Well Being Portfolio at NQF, but
13 that you'll be also more aware of the
14 portfolio and the measures and how important
15 they are within the context of the NQF
16 portfolio.

17 We also hope that it will enable
18 you to better address those issues around
19 standardization and achieving parsimony within
20 the NQF portfolio and you'll be able to better
21 address and identify the measurement gap areas
22 in Health and Well Being.

1 We are hoping that you will also
2 become more familiar with the Health and Well
3 Being and population health work that is
4 taking place at NQF.

5 Our colleague Allen Leavens will
6 be talking about two very important projects
7 after I'm done speaking around population
8 health and for that reason we're trying to
9 make sure that the work that's happening
10 across all of the projects is informative and
11 not duplicative.

12 We're also hoping that you'll be
13 able to better receive input from external
14 stakeholders and be able to provide feedback
15 on how the portfolio should evolve.

16 MS. BURSTIN: Could I just add one
17 quick one? And one more task I'd like to put
18 on your plate which is often times there are
19 great measures that are in use out there in
20 the real world that don't come our way.

21 So we've sort of affectionately
22 referred to this as the need to prospect for

1 measures. So I think part of what we'd also
2 love to have you do for us over the years is
3 as you come upon a measure that may be in use
4 in a community, in a clinic in a given
5 locality, please let us know and we'd be
6 delighted to work with them, partner with them
7 with a measure developer.

8 We've been working on this concept
9 of an incubator. You're able to take those
10 ideas from the field, get them in, get them
11 standardized and tested. So please consider
12 that one of your roles as well.

13 MS. MUNTHALI: And as Helen also
14 mentioned earlier the work that we're doing at
15 NQF, particularly around Health and Well Being
16 is it has really been informed by the National
17 Quality Strategies three part aim of better
18 care, making sure that populations are
19 healthy, and making sure that that care that
20 they receive is affordable.

21 Specifically we're focusing beyond
22 the clinical care delivery system, but looking

1 at, you know, the provision of the clinical
2 preventative services across the life span,
3 across settings, but also looking at healthy
4 lifestyle behaviors and those social and
5 economic and environmental determinants of
6 health.

7 The work that we're doing here at
8 NQF around Health and Well Being was also
9 informed by our first project, our first
10 population measures project, and in that
11 because it was the first around we did
12 significant foundational work which included
13 a background paper that was developed by Don
14 Jacobson at the Public Health Institute and
15 Steve Teutsch at the L.A. County Department of
16 Public Health.

17 A big piece of their paper was an
18 environmental scan of existing population and
19 community level measures, but there was also
20 guidance on how we should be measuring and
21 assessing population health, the determinants
22 of health, and improvement activities.

1 Steve and Don also wanted to
2 emphasize the importance of making sure that
3 the clinical care delivery system and public
4 health system were aligned in health
5 improvement.

6 And also that NQF really adopt an
7 integrated measurement, framework to include
8 total population, the determinants of health,
9 and improvement activities. And so that work
10 led to a 2-phase project.

11 In the first phase many around the
12 table, Ron and Sarah, were a part of that
13 work. We reviewed and endorsed 19 clinical
14 preventative services in immunization
15 measures.

16 And as you remember from the
17 preceding slide that is a tenet of the NQS and
18 the committee had some, you know, very strong
19 recommendations for developers.

20 There were a number of
21 immunization measures that you'll see tomorrow
22 when we talk about gaps and we talk about

1 harmonization that came forward.

2 And they expressed a desire for
3 developers to work together on a universal
4 measure that integrates multiple populations.
5 There were also concerns that some of the
6 measures that came forward were not
7 standardized with current standards from the
8 Advisory Committee on immunization practices.

9 And then the second phase we were
10 focused on the other two parts of the NQS, the
11 part that focuses on healthy lifestyle
12 behaviors and the social determinate, so those
13 broader population health measures.

14 Despite targeted outreach we only
15 received nine measures, five of which were
16 endorsed. The committee had a number of
17 recommendations and one was to really bring
18 into NQF those measures that address the
19 social, the upstream determinates of health
20 around social, economic, and environment
21 factors, measures that assess the physical
22 environment including air pollution, built

1 environments, and clean water.

2 They also wanted to see
3 population-based blood pressure measures so
4 that those can be aligned with the Million
5 Hearts Campaign and they wanted more
6 comprehensive population health measures,
7 those that looked beyond process but were
8 composites that took into account outcomes and
9 access and structure and population
10 experience.

11 So in the NQF portfolio, in the
12 entire portfolio of -- Is it about 700
13 measures?

14 FEMALE PARTICIPANT: Six twenty-
15 four.

16 MS. MUNTHALI: Six twenty-four.
17 There are about 70 endorsed measures in Health
18 and Well Being. They cut across settings and
19 life span and they include the following
20 domains and sub-topics, health related
21 behaviors and practices to promote healthy
22 living, community level indicators of health

1 and disease and community interventions.

2 We have a number of measures that
3 assess primary prevention and/or screening and
4 some measures that address modifiable social
5 economic and environmental determinates of
6 health.

7 And what I've done here is just to
8 give you a sample of some of those measures,
9 I've included those major domains as column
10 headings and the number across the Health and
11 Well Being Portfolio are in parentheses.

12 As you can see we have quite a few
13 measures as I mentioned before in primary
14 prevention and screening, about 25, and we
15 have 12 in the community level indicators of
16 health and disease category.

17 Not as many social determinate
18 measures and even fewer health related
19 behavior measures. At the bottom, I think
20 it's the third column, the last two rows,
21 you'll see two measures.

22 One of them is an osteoporosis

1 screening measure that has been assigned to
2 the Endocrine Project and another HIV and AIDS
3 screening measure that's assigned to our
4 Infectious Disease Project.

5 I bring these here to you just to
6 emphasize the importance of us looking beyond
7 just those measures that have been assigned to
8 his particular committee.

9 It's important for us as staff to
10 make sure that we are aligning our work across
11 and that you're informed about all of the
12 other work that is related to Health and Well
13 Being, health related behaviors, primary
14 prevention, those modifiable social, economic,
15 environmental determinates of health, and the
16 community level indicators of Health and Well
17 Being that are outside of this project.

18 And so with regards to our current
19 measures under review there are 15 measures
20 across levels of analysis including healthcare
21 and providers and communities and the
22 committee will be reviewing these measures,

1 some have been previously endorsed.

2 Six are newly submitted oral
3 health measures and we've included a listing
4 of the measures here. These are the eight
5 measures from AHRQ, they address the community
6 level indicators of health and disease and the
7 six oral health measures that I mentioned
8 before, and one primary prevention screening
9 measure on breast cancer screening.

10 And so I don't know if you have
11 any questions on the portfolio or on the
12 evaluation process or on the project, the
13 scope and the goals?

14 MR. AUERBACH: This is John
15 Auerbach. I'd start out by saying I apologize
16 if this is something you already said or I
17 should've known already from reading the
18 material.

19 But in terms of the initial
20 measures that we're looking at today and
21 tomorrow those don't seem to include the ones
22 that were the modifiable, environmental, and

1 social determinant measures.

2 Is that because they're not
3 assigned to this committee or because we'll be
4 addressing those but at a later time?

5 MS. MUNTHALI: That is a good
6 question. You actually will be addressing
7 those at a later time. They're not due for a
8 maintenance review and so once a measure is
9 endorsed there's a 3-year period in which it's
10 under maintenance.

11 Developers can submit annual
12 updates to those measures. They're not
13 material changes, perhaps updates to coding.
14 After three years they go through the same
15 process, reviewing it against the importance
16 to measure and report, scientific
17 acceptability of the measure properties,
18 feasibility, use and usability.

19 So those will probably, we do have
20 some that were due for a review in this
21 project but we had to push them out to the
22 next round of Health and Well Being projects,

1 so you'll see more of those, about ten of
2 those, and we're hoping to put out a call for
3 additional measures, too, and hopefully more
4 of those will come in.

5 MR. FRANCE: This is Eric France.
6 Could you remind the expected meeting
7 schedules over the subsequent years? We've
8 had a certain experience to date and I'm
9 curious what it's going to look like in the
10 next year and the next year.

11 MS. MUNTHALI: In terms of the in-
12 person meetings?

13 MR. FRANCE: Both phone calls and
14 in-person meetings.

15 MS. MUNTHALI: Okay. So I'll have
16 Adeela talk about the schedule. She will talk
17 about it at the end of the meeting, but I'll
18 have her go over it now.

19 But in terms of the next in-person
20 meeting that will be contingent on when we
21 receive funding for the next project and so we
22 are hoping, we are very hopeful that we'll

1 receive funding for the next project sometime
2 early next year.

3 And so that in-person meeting will
4 probably happen by mid-year 2015 I would say.
5 And so I'll turn it over to Adeela to talk
6 about this particular project.

7 MS. KHAN: Sure. So as I
8 mentioned before we had our measure submission
9 deadline in February. We had our workgroup
10 calls in March and April and our in-person
11 meeting is today, April 29th and 30th.

12 After this, our report is going to
13 be posted for public and member comment June
14 4th to July 3rd and we'll have a call with the
15 committee August 6th to discuss the comments
16 that we received.

17 After that it'll go to a member
18 vote in August as well and then to CSAC in
19 September and the Board in September as well.
20 So at that point in time the CSAC and the
21 Board, the Steering Committee, they're not
22 really doing anything, they're not doing a

1 heavier lift, it's usually the co-chairs
2 that'll be attending the CSAC meeting and the
3 Board meeting to talk about any issues that
4 come up during the in-person meeting.

5 And then if we receive an appeal
6 we would convene the committee again to
7 discuss the contents of the appeal and we
8 would process that. I don't know if you have
9 anything to add?

10 MS. MUNTHALI: No, not to add to
11 that, but I did want to get back to that point
12 of the modifiable determinants of health.
13 That has been a constant struggle for us. It
14 was for our first population health project
15 and for those who were on the project you can
16 definitely chime in.

17 That's an area that we're hoping
18 to address. Not just through this project,
19 but also through the project that Allen
20 Leavens will talk about. This is a community
21 action guide, so really trying to put out a
22 practical guide that communities can use to

1 improve population health.

2 But what it also does is to put
3 forward a core set of measures and resources
4 that communities can use and I think this goes
5 back to the point that Helen brought up.

6 If you hear of any measures that
7 have been used at the community level, knowing
8 that this is, you know, NQF hasn't been in
9 this space for long and many people may not
10 know about our endorsement process. We'd love
11 to hear about it.

12 There were several recommendations
13 that our past committee had in our final
14 report and I'll circulate that and how we can
15 get more people to the table and through our
16 endorsement process.

17 MR. BIALEK: There was one
18 particular struggle I recall with the
19 population health measures before which came
20 down to some of the policy measures like the
21 tobacco tax where it's a valid, reliable, huge
22 impact on populations, et cetera.

1 And I'm wondering if NQF has
2 grappled with that any longer if policy types
3 of measures are now up for consideration or if
4 they're still pretty much off the table?

5 MS. BURSTIN: That's a great
6 question, Ron, I mean I don't think anything's
7 truly off the table, it all goes back to the
8 criteria. If it's evidence-based, if it's
9 reliable and valid I think it's fair game.

10 I think one of the challenges last
11 time in particular was this very interesting
12 issue and many of you have lived in this sort
13 of population health space specifically of
14 what's the locus of accountability, I think is
15 where it got a bit complex.

16 You're going to see that today,
17 for example, with the AHRQ measures,
18 community-based measures, you know. So for
19 example, State-based measures that baby
20 percent tax.

21 I think we should, in some ways it
22 would great to actually have a measure like

1 that come forward and actually begin to chew
2 on what is the actually evidence? What kind
3 of testing is required, a population level
4 versus a clinician level?

5 So I don't think we have any
6 guardrails, Ron, I think this is really an
7 opportunity for, particularly as you hear more
8 about the work Allen will describe of really
9 trying to think about what is the right place
10 for standardized measures in that broader
11 population health space.

12 Anything you want to add, Robyn,
13 or Elise, or anything?

14 MR. VALDEZ: I guess I have one
15 question I think that follows from what Helen
16 just said which was something we struggled
17 with in the workgroup but I think applies to
18 the measure evaluation criteria.

19 Going forward is that historically
20 we've thought about the purpose of the NQF
21 endorsement to be for measures that are
22 suitable for public reporting and

1 accountability and I think that can envision
2 what public reporting looks like for a lot of
3 these measures.

4 Accountability becomes a lot more
5 challenging in the absence of accountability
6 programs that may fit what is a varying
7 definition of community between measures.

8 And so if a measure is specified
9 at the County or at the State or maybe not
10 even clearly within what community, how do we,
11 what's the guidance on envisioning
12 accountability because I could see it being
13 used for a variety of things in the future but
14 it may not be how we discuss it over the next
15 couple days?

16 MS. BURSTIN: Another good
17 question and one certainly, as Ron knows and
18 others, we struggled with the last round as
19 well and I think at this point it's so unclear
20 exactly what accountability will be in our
21 sort of emerging healthcare system.

22 I think it's fine to just have

1 measures come in and then I think we also
2 heard on the last committee was that, you
3 know, our co-chair kept making the point as a
4 State Health Officer, I was accountable for
5 those measures.

6 So I think it's just not our
7 traditional lens of the doc, the nurse, the
8 clinic accountability, it is a larger level of
9 accountability and these are the kind of
10 things we'd love to explore with you as we
11 kind of get this work off and going.

12 MS. FRASIER: I would just make
13 the comment, it's the whole reason I agreed to
14 serve, is to figure out how we do this, how we
15 figure out the accountability beyond the
16 individual provider side because it's a much
17 broader landscape of what impacts well being
18 and I think it's the only reason I agreed to
19 serve.

20 MS. BURSTIN: And we're glad you
21 did, Renee, because I think one of the other
22 challenge as well is if you have a measure

1 that's at the community level do you also want
2 to have sort of a companion measure that
3 allows the clinicians in that community to see
4 how they're doing and how comparable do those
5 need to be is another really important issue.

6 Is it enough to say to say they're
7 sort of in the same general area? You're
8 looking at smoking and smoking, but A may not
9 be specified the same way, or do you want to
10 have them comparable enough so that you're not
11 looking at measurement noise and you're
12 actually looking at true signal.

13 So there's are all the kinds of
14 issues we'll exploring.

15 MS. MUNTHALI: Okay. So we'll
16 turn it over to Allen Leavens who's our Senior
17 Director in Strategic Partnerships. Allen
18 will first talk about the MAP Families of
19 Population Health Measures and the Community
20 Action Guide, which we both work on.

21 MR. LEAVENS: Great. Thank you,
22 Elisa, and good morning to everyone. So this

1 first slide is showing the three population
2 health projects that NQF has currently
3 ongoing.

4 And the Health and Well Being
5 endorsement project, you can see on top, which
6 you're all here today to work on, and then the
7 MAP Family of Population Health Measures is a
8 project looking at basically the application
9 of these types of measures.

10 And the Population Health
11 Community Action Guide, which Elisa just
12 eluded to, is a much broader project looking
13 not only at the measures, but how can
14 communities take steps toward improving
15 population health with their populations.

16 So each of these projects is
17 aligned around the National Quality Strategy
18 three part aim, particularly the Health and
19 Well Being component, but what we've been
20 trying to do with all of these projects is
21 loop in the stakeholders and the committees
22 from each of the projects so that we're not

1 working in isolation.

2 Each of these projects has
3 information and input from the committees that
4 can valuable to the other committees. So
5 today I'm just going to take a little bit of
6 time to go through where we are with the other
7 two projects and then we'll be interested in
8 your input on those.

9 So just to give everybody a little
10 context in case you're not familiar with MAP,
11 the Measure Applications Partnership
12 originated through the Affordable Care Act and
13 basically the purpose is to convene multi-
14 stakeholder groups to provide input on
15 selection of quality measures for public
16 reporting payment and other programs.

17 So someone had brought up this is
18 sort of the traditional, what folks at NQF
19 think about in terms of the measures that are
20 endorsed, these are the types of programs that
21 we've traditionally thought about that MAP
22 weighs in on.

1 So in terms of definitions for
2 family of measures as well as core measure
3 sets, families of measures are intended to be
4 basically a group of measures that span
5 programs, settings, level of analysis, and
6 populations for specific topic areas related
7 to the National Quality Strategy.

8 So we have a family of measures
9 focused on safety, care coordination, diabetes
10 and cardiovascular, which would be a
11 prevention treatment of the leading causes of
12 mortality and we've recently just convened the
13 group to focus on the population health family
14 of measures.

15 Core measure sets are derived from
16 the families so if you think about having a
17 family for each of these components of the
18 National Quality Strategy then you could draw
19 from each of those families, say if you're
20 trying to focus on a specific care setting
21 like outpatient or hospital, what are the
22 right measures from each of the National

1 Quality Strategy priority areas that are felt
2 to be high value that apply for each of those
3 settings, so basically just subsets of the
4 families of measures.

5 So what was interesting for the
6 MAP task force that weighed in on the
7 population health family of measures is that
8 we were starting to think a bit more broadly
9 about the application of measures beyond the
10 traditional programs that MAP weighs in on.

11 So one of the task force members
12 suggested developing use cases to help
13 everybody kind of think how that might
14 actually apply.

15 So the first use case, again, more
16 traditional looking at hospital and clinician
17 programs such as hospital value based
18 purchasing, physician quality reporting
19 system, et cetera.

20 The next level up was accountable
21 care organizations. It's not a big jump from
22 the first use case, but trying to think a

1 little bit more systemwide and how measures
2 might be used more at a population level
3 rather than just an individual clinician or
4 hospital setting.

5 And then the next level was
6 community health needs assessment. Now this
7 still has somewhat of a healthcare focus
8 because it's driven by nonprofit hospitals,
9 but thinking more broadly not just in the
10 clinical setting but looking into the
11 community and what are the needs of that
12 community in terms of trying to improve more
13 upstream determinants of health.

14 And just, actually we had those
15 three use cases and then when the committee
16 met it was felt that we didn't have something
17 that even pushed the boundaries far enough so
18 someone suggested a public health use case
19 which wasn't explicitly defined but could be
20 something like a health department or a social
21 services agency but thinking even more broadly
22 beyond a typical clinical setting.

1 So some of the topic areas that
2 the group used in terms of developing what we
3 called high leveraged opportunities were very
4 similar to what Elisa described for the
5 categories that you're using to think of the
6 measures as they're coming through for
7 endorsement such as the prevention and
8 treatment, typical measures, immunizations, et
9 cetera, maternal child health, nutrition,
10 physical activity and then some of the more
11 upstream measures like social determinants of
12 education, poverty, et cetera.

13 So those were the broad categories
14 that the group used in terms of trying to
15 think about what measures existed and what
16 measures were still needed.

17 And so what you see up here are
18 areas that the group specifically identified
19 as GAP areas for measures. So the measures
20 that were selected for the family are still
21 tentative. I didn't put those up because
22 we're still finalizing those.

1 But even among the measures that
2 were selected these were areas that were felt
3 to still be lacking in both endorsed measures
4 and even in some cases indicators or other
5 metrics that may exist.

6 MR. CARILLO: Take questions now
7 or later?

8 MR. LEAVENS: We can start for
9 questions now. Just put it under a mike,
10 please.

11 MR. CARILLO: Any measures in
12 terms of culture, cultural competency,
13 language, health literacy, in that realm in
14 this domain?

15 MR. LEAVENS: Yes. I mean I don't
16 if it's captured specifically there but that
17 did come up in the group discussion. We also
18 have patient and family-centered care family
19 and I think it came up more directly in that
20 family of measures.

21 MR. CARILLO: Right, because
22 that's an important consideration, population

1 health.

2 MR. LEAVENS: Right. So, again,
3 this --

4 MR. MCINERNEY: Another question,
5 sorry.

6 MR. LEAVENS: Sure.

7 MR. MCINERNEY: I just saw that
8 the Institutes of Medicine has started to
9 address the social determinants of health and
10 they have an initial set of recommendations
11 and I wonder if there'll be some harmonization
12 between what we're doing and what they're
13 doing?

14 MR. LEAVENS: A great point. So
15 we did think about some, particularly for the
16 other project that I'll talk about in a
17 second.

18 Some of these other resources that
19 we have to look to, particularly IOM, some of
20 the work that is going around, healthy people,
21 and these other efforts that are focusing on
22 population health and we definitely want to

1 leverage that work forward.

2 So I won't go into each of these
3 in detail, but you can see that they do align
4 with a lot of the things that Elisa was
5 talking about and thinking more broadly about
6 upstream determinants of health rather than
7 just the typical clinical focus even if those
8 are prevention-oriented, which I think even a
9 step beyond those.

10 So the other project that we'll
11 talk about a little bit, and Elisa is also
12 very involved in this and Renee is also on
13 that committee, so please, you know, jump in
14 if I miss anything.

15 But essentially what this project
16 is focusing on is taking a much further step
17 back and looking not just at measurement but
18 how do you establish best principles for
19 bringing together the right stakeholders to
20 improve the health of a community?

21 So you can see some of the
22 questions that guided this work bringing the

1 right individuals and stakeholder groups
2 together.

3 The processes and methods that are
4 evidence-based and can most effectively bring
5 about improvements in health, what data
6 exists, the right measures, what incentives
7 drive alignment and coordination, and then
8 also thinking about affordability of all these
9 interventions that are needed to effectively
10 improve health.

11 So this project has been going on
12 since last Fall. There are multiple
13 stakeholders involved. The first year of the
14 project has involved doing an environmental
15 scan of existing efforts, so, again, the IOM
16 work, there's a lot of work being done through
17 the Government through CDC, AHRQ, HRSA, and
18 others that we've looked at.

19 A lot of collaborations, there's a
20 strong effort to do called the Practical
21 Playbook, that's doing something that's
22 somewhat similar, so we did essentially an

1 environmental scan to make sure that we're
2 trying to capture the good work that others
3 are already doing and incorporate it into this
4 work rather than duplicating existing work.

5 And what you see here is a list of
6 ten, what we call key elements, that looking
7 across all these different efforts were found
8 to be emphasized as important to work on
9 improvements in population health.

10 And I'll just go through these
11 real quickly, but the first step is really
12 looking, doing a self-assessment to see where
13 your organization is in terms of their
14 efforts.

15 So looking at your strengths and
16 weaknesses and what you really accomplish,
17 making sure you have the right leadership
18 within your organization and across
19 organizations that are collaborating together,
20 establishing an organizational planning and
21 priority setting process to make sure that you
22 have clear focus on your goals, doing a

1 community health needs assessment and asset
2 mapping process, so this is something that
3 really received a lot of emphasis because
4 essentially until you know what the needs are
5 for a particular community and what strengths
6 you already have it's difficult to make a
7 forward progress.

8 An agreed upon prioritized set of
9 health improvement activities, so once you
10 know what needs exist and your strengths how
11 do you go about making those improvements and
12 making sure you're using evidence-based
13 practices as part of that effort.

14 And then Number 6 is particularly
15 relevant to this group and also the MAP task
16 force and that's the selection and use of the
17 measures and performance targets that are
18 needed to achieve your goals.

19 Number 7, audience-specific
20 strategic communication, so this is something
21 that was just brought up in terms of making
22 sure, you know, everybody understands, using

1 plan language, what you're trying to
2 accomplish.

3 Number 8, joint reporting on
4 progress, so this ties in closely to the
5 measures making sure that it's very
6 transparent, what results are being achieved
7 or aren't being achieved, and that those are
8 areas that still need improvement.

9 And then the last two, looking
10 more broadly in terms of if your effort is
11 successful how you scale that out to either
12 other communities or even more broadly within
13 your current community and how do you sustain
14 this effort over time particularly given that
15 many projects start based on a grant that will
16 only exist for a few years and then you need
17 some sort of plan in place to make sure that
18 you can sustain that effort over time.

19 So the current state of this
20 project is we've just created a draft, the
21 committee has created a draft, we're calling
22 Action Guide, that was recently put up for

1 public comments and we've received a lot of
2 positive feedback.

3 That will be discussed actually
4 this Thursday, so if you're really motivated
5 I would encourage you to listen in to that web
6 meeting on Thursday for a couple hours to take
7 a look at the guide, it's still up on our
8 site, and we would be happy to receive
9 additional feedback on that. Thank you.

10 MR. BIALEK: I recognize that the
11 Community Action Guide goes well beyond
12 governmental public health, but the question
13 is, you know, I look at this and I think about
14 National Voluntary Accreditation for public
15 health agencies and also a lot of the quality
16 improvement and performance management efforts
17 going on in public health today and I'm
18 wondering how this aligns with those
19 activities?

20 MR. LEAVENS: So we actually have,
21 Kaye Bender is our co-chair for the committee,
22 so --

1 MR. BIALEK: Oh, I know.

2 MR. LEAVENS: Yes, yes. So she's
3 definitely, yes, she's been a great asset to
4 the group and we're definitely trying to stay
5 aligned with that effort.

6 MS. SAMPSEL: John?

7 MR. AUERBACH: Could you go back
8 to the previous working group, the group that
9 was the application of population health
10 measures?

11 And maybe I, could you summarize
12 what the outcome will be of that? Is there a
13 product or a deadline for the release of some
14 set of recommendations?

15 MR. LEAVENS: Right. So the
16 measure applications partnership actually has,
17 we're working on three different what we're
18 calling families of measures.

19 One for population health and then
20 the two others, we'll each be choosing a sort
21 of set of measures related to that particular
22 National Quality Strategy area.

1 So the one for population health
2 will have a defined set of both NQF endorsed
3 measures as well some non-endorsed measures
4 that relate to these topic areas and that will
5 be included in a report that will put up for
6 public comment in June and then the final
7 report will be released July 1st.

8 And the intent of those measures
9 traditionally has been to help support MAP
10 workgroups and the selection of measures for
11 the federal programs, such as PQRS, Hospital
12 Value-Based Purchasing, et cetera.

13 But, again, with the use cases the
14 task force was starting to try to think more
15 broadly how can, for instance, align better
16 with private efforts not just the federal
17 programs, but if, say individuals are, and
18 trying to establish and improvement program in
19 their community, what sort of measures might
20 they want to look to first as high value that
21 have been sort of vetted by this committee and
22 with stakeholder input to say that these are

1 good measures that you may want to start with
2 or at least look at.

3 MR. AUERBACH: May I ask just
4 maybe a follow-up question? It's just related
5 to the issue that, you know, I think is
6 admirable of trying to have alignment between
7 these various processes.

8 Because I noted as we had our
9 telephone conversations about the measures
10 that we're looking at today that there were a
11 number of measures, for instance we were, my
12 subcommittee was looking at diabetes where
13 there were natural complimentary, what might
14 be called broader population measures that
15 were suggested or just came up through that
16 process.

17 But we really try to stay focused
18 on the clinical measure in front of us. On
19 the other hand it just seemed like that was an
20 opportunity for us to think creatively about
21 whether there was something connected to that
22 specific clinical measure that might be worth

1 considering at a population level in terms of
2 thinking about social determinants of health
3 or modifiable indicators.

4 So is there a thought about what
5 to do when that occurs? Is it inappropriate
6 for us in those discussions to say, you know,
7 to broaden those discussions to say okay,
8 we've talked about the clinical measure, let's
9 talk for a few minutes about whether or not
10 there might be some population-based measure
11 that might correlate well with that for, that
12 might be suggested to these other activities
13 that are underway?

14 MR. LEAVENS: Yes.

15 MS. MUNTHALI: No, I think that's
16 definitely within the parameters and call of
17 the committee and I would suggest that we
18 probably do that tomorrow during our
19 discussion of measurement GAP areas and
20 harmonization because we don't want to kind of
21 cloud the valuation of the measures that are
22 in front of us, but we also want to take away

1 from the richness of this to help inform not
2 just this project but all of the other work
3 that we have around population health.

4 MR. CARILLO: Yes, just following
5 up on Ron's point, you know, as some of you
6 probably know the IRS, as part of Schedule H,
7 basically has a requirement that every
8 institution, private or otherwise, to maintain
9 their tax exempt status must conduct a
10 community health needs assessment.

11 So this is something that's so
12 wide sweeping across the Country, but I think
13 that there should be some alignment with that
14 along with the other things that Ron
15 mentioned.

16 MR. LEAVENS: So I'll just make a
17 quick comment on that. Actually that third
18 use case where we talked about the community
19 health needs assessment is directly driven by
20 that in sort of thinking about how perhaps the
21 MAP family of measures may help support groups
22 that are trying to think about what measures

1 do they need to accomplish that.

2 MR. VALDEZ: Robert Valdez. In
3 many ways the ten best practices, or whatever
4 it is you called them that's coming out in
5 your report, in many ways reflect the
6 learnings that come out of the American
7 Hospital Association's Foster McGaw prize
8 winners set of elements that made them winners
9 and why they were actually, to move population
10 health orientations out of the hospital and
11 into the community.

12 So I think this lines up fairly
13 well with those activities and if they could
14 be at least illustrated that way you can bring
15 this list to life actually.

16 MS. MUNTHALI: I just wanted to
17 add one more thing. I did circulate to the
18 committee the draft Action Guide. I'll do so
19 again and encourage you to participate on the
20 call on May 1st.

21 You might not want to sit in
22 another meeting, but it's just a short call

1 from 12 noon to 2:00 p.m. Eastern time on May
2 2nd, 1st sorry, on Thursday. I'll send that
3 information to you as well.

4 So during that call we will
5 discuss the comments that were received during
6 the public comment period that Allen mentioned
7 and hopefully further refine the Guide before
8 we put out the guide for year one later on
9 this year.

10 MR. LEAVENS: So, thank you, and
11 if there are any other questions or input I
12 will be happy to receive those. Thank you.

13 MS. KHAN: Thanks, Allen. I guess
14 we can turn it over to our co-chairs now to
15 lead the rest of the meeting.

16 MS. SAMPSEL: Great. So, thank
17 you, Allen, for that overview and we are now
18 going to move into the measure evaluation and
19 review section of our Agenda.

20 And before doing that, you know,
21 just wanted to bring up some general reminders
22 about what we want to do through this process.

1 I think, and hopefully, most everyone had the
2 opportunity to participate in at least one of
3 the workgroup calls over the past few weeks,
4 and those really set the stage for this
5 conversation here today.

6 But they're also reminders
7 regarding the measures and reviewing the
8 measures as they're specified and presented to
9 us.

10 You know, in an ideal situation
11 there are perfect measures and they meet all
12 the criteria that we all want to cover, but
13 the reality is, is, you know, we're basing
14 measures on evidence as well as use and how
15 they were developed by each of the measure
16 developers.

17 So one of the changes in the
18 process that Elisa mentioned earlier is that
19 the measure developers will have an
20 opportunity to introduce their measures to us
21 today as well as be here for any questions
22 that we have.

1 I, personally, from serving on
2 other standing committees think that is a
3 great improvement, especially for those
4 measures that we're not as familiar with.

5 We'll be reviewing a number of
6 dental measures today and, you know, a lot of
7 us aren't familiar with the data that supports
8 dental measures as well as some of that
9 scientific background because it's not the
10 typical diabetes or heart disease type
11 measures.

12 So as we go through this part of
13 the section the developers will introduce
14 their measures at the start and, you know, for
15 those that are here in person they'll have
16 lovely front row seats up here towards the
17 front of the table.

18 And then we'll have the workgroup
19 members who had been assigned the measures to,
20 between each of us go through and bring out
21 the most salient points of each measure for
22 the entire group to discuss, ask questions, to

1 lead us into our formal evaluation.

2 I think there will be a break
3 before we go into our first one, not a break,
4 but more of one for NQF staff to hand us all
5 our little voting mechanisms, make sure they
6 all work, and that's part of the process as
7 well, is ensuring that those votes are
8 captured and we will ask that everybody makes
9 sure they're paying attention, that these
10 little voting mechanisms are working for
11 everybody.

12 With that, Tom, do you have
13 anything else you want to add?

14 MR. MCINERNEY: No, that was
15 great, thanks.

16 MS. SAMPSEL: Elisa?

17 MS. MUNTHALI: That's perfect.

18 MS. SAMPSEL: Okay. Then our
19 first measure this morning is the
20 Gastroenteritis Admission Rate and I think
21 that's Mike Stoto and Jacki Moline.

22 MS. KHAN: I just want to check

1 with Kathy, do we have Pamela Owens and
2 Patrick Romano and Carol Stocks on the phone?

3 OPERATOR: No, ma'am, they haven't
4 joined yet.

5 MS. KHAN: Okay.

6 MS. SAMPSEL: So I think what
7 we're going to do since the AHRQ folks have
8 not joined yet this morning is we're going to
9 skip ahead in the Agenda a bit and go ahead
10 and start with the dental measures, and we do
11 have a representative here from the Dental
12 Quality Alliance who's going to introduce the
13 measure set before we get going in our
14 conversations.

15 Okay, so now we're going to have a
16 five to 10-minute break. So if folks could
17 come back and be ready to start by a quarter
18 of.

19 (Whereupon, the foregoing matter
20 went off the record at 9:35 a.m. and went back
21 on the record at 9:45 a.m.)

22 MS. SAMPSEL: Okay, folks, if we

1 can go ahead and get seated we're going to get
2 started again.

3 So, with that, as introduced prior
4 to our brief little break we are going to jump
5 to the dental measures and we're going to
6 start it off with having the Dental Quality
7 Alliance and Dr. Crall and --

8 FEMALE PARTICIPANT: Krishna
9 Aravamudhan.

10 MS. SAMPSEL: -- well we're just
11 going to go with Krishna, are going to
12 introduce their measure set and then we'll go
13 ahead and start our discussion. So I will
14 turn it over to the two of you.

15 MS. ARAVAMUDHAM: I'd like to
16 start off by really, really thanking the
17 committee for letting us do this and provide
18 you a quick overview of our measures.

19 There are six measures that were
20 submitted and we'd like to take this
21 opportunity to address the concerns that were
22 expressed by the workgroup, the comments that

1 were made up front, and some of these go
2 across all the measures so hopefully we'll be
3 able to give you some additional input from
4 our end on how things work and what our
5 thought processes were as we develop these
6 measures.

7 So thank you very much for
8 indulging us and I will start off, and I'll
9 team with Dr. Crall. I'd like him to
10 introduce himself as well before he speaks.

11 I am the lead staff for the Dental
12 Quality Alliance and simply am the messenger
13 here and hopefully we'll bring you accurately
14 the thought processes that went in.

15 Dr. Crall is the chair of our
16 measure development committee that led all
17 this work. It took us two years to put all of
18 this together and we're so glad to be here at
19 this point.

20 Over the last two years we've put
21 together a whole set of measures that deals
22 with the pediatric population. It's a set of

1 ten measures, but we've chosen to only submit
2 six to NQF due to a number of reasons,
3 including time and resources that it takes to
4 prepare an NQF submission.

5 So we took the most, you know, the
6 six of these and then submitted to NQF. I
7 jump straight to the concerns that were
8 expressed by the workgroup and try to address
9 each of these.

10 The first concern, of course, was
11 the lack of outcome measures and that most of
12 these measures are access or process measures.
13 Dentistry and dental data is very, very
14 limited in terms of lacking any diagnostic
15 coding in the system.

16 We simply don't have diagnostic
17 codes in the claims data for us to be able to
18 measure outcomes. All of these measures are
19 based off of dental claims data. These are
20 meant for programmatic plan level assessment.

21 So given that broad limitation we
22 simply couldn't go down the path of outcome

1 measures. Is my mike okay?

2 MALE PARTICIPANT: Move back --

3 MS. ARAVAMUDHAM: Okay, move back
4 a little. Okay. So we couldn't measure
5 outcomes that's the first thing. The next big
6 concern that was expressed by the workgroup,
7 of course, was the concept of dental versus
8 oral health services.

9 For this portion I'm going to turn
10 to Dr. Crall to take us through the thought
11 process that we had.

12 MR. CRALL: Oops, sorry. Thank
13 you, Krishna. So thank you again for the
14 opportunity, both to hear from the workgroup
15 in advance and to be here today to give you
16 the overview of these measures.

17 As Krishna said the DQA's a fairly
18 new organization, launched just a little over
19 three years ago over in the Humphrey Building
20 here in Washington and then we put together
21 the structure and have been working on these
22 measures ever since.

1 The initial set of measures really
2 reflect to some degree, as Krishna indicated,
3 the limitations of data that are widely
4 available, but we believe because we wanted to
5 have measures that were broadly impactful
6 across both public sector programs as well as
7 private commercial programs, that we wanted to
8 start with a set of measures that actually
9 could be widely used and for which data would
10 be available.

11 So I'm going to talk just briefly
12 about this notion about dental services and
13 oral health services because it is an area
14 that if you're not sort of immersed in this
15 may strike you as a little unusual.

16 So as I mentioned we're looking
17 for measures that will really apply broadly
18 across public programs for children, being
19 primarily Medicaid and the CHIP Program,
20 although clearly with the advent of the ACA
21 and the inclusion of pediatric services,
22 including oral health services, as the States

1 look to implement those with guidance from
2 DHHS.

3 That will be a relevant universe
4 as well as well as just kids who are covered
5 by commercial plans, employer sponsor
6 benefits. So the whole DQA was actually
7 started at the behest of CMS.

8 They came initially to the ADA and
9 asked them to convene a group, a broad group
10 stakeholders, and we have about 30 members of
11 the DQA now including several federal
12 agencies, all the dental provider
13 organizations, but also organizations like the
14 joint commission and the AMA, we have AHIP.

15 So we have a fairly broad group
16 that we've looked to try to get input from and
17 to reach consensus as we put forward these
18 measures.

19 We looked to develop measures that
20 were applicable in the current day
21 marketplace. Always, of course, with an eye
22 on the future and we know there's a great deal

1 of speculation about how benefits will be
2 transformed or changed going forward, but we
3 are looking to develop measures that could be
4 immediately applicable.

5 So we know from data compiled by
6 the National Association of Dental Plans that
7 roughly 99 percent of the dental benefits that
8 are provided in this Country are provided
9 through what are known as standalone dental
10 plans.

11 And at the State level,
12 particularly in public programs, what that
13 means is that many States have chosen to what
14 is so called carve out dental benefits and
15 they deal with those directly, not under some
16 kind of a global arrangement.

17 And typically even when there is a
18 global arrangement it's very common for the
19 overarching entity to subcontract out with a
20 standalone dental plan to provide those
21 benefits.

22 They simply have the

1 infrastructure, they have the networks, and
2 it's a different sector, not that there isn't
3 some overlap between the two and not that many
4 groups aren't working to try to build better
5 integration across the various health
6 disciplines.

7 So that's the rationale for us
8 starting with dental measures and oral health
9 measures. I'll say that we, the DQA as
10 Krishna mentioned, we developed a broader set
11 of measures and we were able to bring forward
12 for the DQA right now.

13 So in our measures we have
14 measures that parallel the CMS 416 approach
15 and this is fairly new within CMS. Up until
16 about 2010 they looked at only dental measures
17 and dental defined all the way back to October
18 '89 as services provided by or under the
19 supervision of a dentist.

20 In 2010 CMS changed its EPSDT
21 reporting requirements that States comply with
22 and added some additional measures that are

1 referred to as oral health measures.

2 And those oral measures, you know,
3 it's a little bit of a cumbersome definition.
4 The term non-dentist has been used over time,
5 a lot of people have, you know, some concern,
6 you know, they just don't get it when you say
7 non something, but that's basically the way
8 that they are defined.

9 And in the CMS parlance it's
10 licensed practitioners that is not a dentist,
11 so it's provided by or under the direction of
12 somebody who's not a dentist.

13 And the examples that they provide
14 include things like pediatricians or family
15 physicians or nurse practitioners or dental
16 hygienists, who in some States are allowed to
17 practice without direct supervision in
18 typically what's known as community based
19 practice.

20 So CMS using the segmentation of
21 dental services, oral health services, and
22 then they also include a measure that is both

1 so that you get the combination.

2 So if you look at the data from a
3 recent CMS 416 report you basically see that
4 over 97 percent of all children receive dental
5 services. Only about 7 percent receive oral
6 health services and the overlap between the
7 two is about 4 percent.

8 So, you know, as I said, there's
9 been a lot of work over a decade or more,
10 probably closer to two decades, because a
11 certain general's report was done and, you
12 know, an oral health was done in the year
13 2000.

14 But still it's slow to change
15 systems as you know. So the DQA we want to
16 clear up the misconception, this is not just
17 about something that a dentist would directly
18 do.

19 As long as it's under some sort of
20 a system or arrangement where the dentist is
21 the responsible person for supervising or
22 authorizing the care, other types of providers

1 including dental therapists, advanced practice
2 therapists, which are relatively new and used
3 in a few States in this County, and dental
4 hygienists could be included.

5 The oral health services as I
6 mentioned earlier are primarily capturing data
7 that are provided by medical primary care
8 providers.

9 So in our overall DQA measure set
10 we have multiple denominators and the
11 denominators do this differentiation between
12 dental services, oral health services, or the
13 combined measure.

14 We also took some direction from
15 measures that had already been endorsed by NQF
16 and so we know in the annual dental visit
17 measure, the HEDIS measure, it's reference to
18 visits with a dental practitioner.

19 On the primary care side you have
20 primary care as prevention as a measure 1419
21 and then you also have children who receive
22 preventative dental and that must have seen a

1 dentist.

2 So that helped to formulate our
3 approach up to this point. And with that I'm
4 going to turn it over to Krishna.

5 MS. ARAVAMUDHAM: Thank you very
6 much. So that was in terms of the dental and
7 oral health services component that the
8 workgroup had significant concerns on
9 throughout all the different measures.

10 There were also some concerns
11 regarding the exclusion language, the intent
12 of what we wanted to do is very similar to
13 what the workgroup expressed. We simply
14 picked up the language from what CMS currently
15 has.

16 So we are totally willing to
17 editorially revise the footnote that exists
18 for the exclusion to make it clearer if it is
19 not so, the intent is definitely what the
20 workgroup suggested.

21 The other two concerns that were
22 expressed were very specific to the sealant

1 measure and the care continuity measure. With
2 the sealant measure there was some concern
3 based on why is this limited to a specific age
4 group, a specific tooth, and so on and so
5 forth.

6 So the reason is we followed the
7 evidence-based guidelines very, very closely.
8 The evidence-based guidelines, and there's
9 very strong evidence from Cochrane Reviews,
10 we've tried to make sure that we present it to
11 you within our evidence forums everything that
12 exists and we are very pleased that many of
13 our measures are actually strongly supported
14 by Cochrane Reviews, which is a big deal.

15 So the sealant measure is one
16 measure that is strongly supported by evidence
17 and the importance of this measure is there is
18 a huge performance gap of the community.

19 We have known about this for a
20 long time and we're still not able to see that
21 improvement go through. So this is very near
22 and dear to our hearts in terms of moving this

1 measure forward.

2 And the reason why it is based on
3 age is we want to really spend the target, the
4 resources to where it's absolutely needed.
5 It's needed in the high risk groups and there
6 is risk assessment performed, there are codes
7 available for risk, there are new codes.

8 Those codes were actually
9 implemented as part of this process so we were
10 able to influence a procedure coding system to
11 help make measurement possible.

12 We have some logic in there for
13 risk, past history of caries is one of the
14 most important and valid indicators for caries
15 risk, so we have some logic in there, it's an
16 "or" clause.

17 If you have the code for risk
18 assessment use it, if not at least go back and
19 identify the core group of kids that
20 absolutely need this intervention. So that's
21 what this measure is focused on.

22 So ages 6 to 9 aligns with the

1 eruption of the permanent molars and then we
2 have the risk logic, the tooth is permanent
3 molars, that's what the guideline says,
4 evidence is there to support that that's the
5 tooth that gets affected and, you know, you
6 have both resource and outcomes based on all
7 of that. So that's in terms of the sealant
8 measure.

9 The next concern that was
10 expressed was about the care continuity
11 measure and the evidence that's available for
12 the care continuity measure.

13 So anyone who has worked in the
14 evidence-based guidelines space will recognize
15 this very clearly as soon as you have evidence
16 presented to the panel, it really can go
17 either way unless you have strong RCTs all
18 telling you the quality, quantity, consistency
19 criteria met and everything going in one
20 direction.

21 So, again, we have Cochrane
22 Reviews, but it is a weak level of evidence

1 for this based on Cochrane Reviews which rely
2 on RCTs.

3 But then we went ahead and looked
4 for other guidelines as well to support this
5 and I'd like to quote this from the Bright
6 Future's Guideline, which is "The evidence and
7 rationale section where lack of evidence is
8 problematic in many spaces, not simply in
9 dentistry, especially when it comes to
10 screening and intervention."

11 And this is what Bright Future
12 says for these components the expert panels
13 relied in a direct approach buttressed by
14 their considerable expertise and clinical
15 experience and that's exactly what we did as
16 well.

17 So we took the evidence that's
18 there today, ran it through a consensus
19 process, as Dr. Crall mentioned, the DQA is
20 really in alliance of watershed of everything
21 that represents the dental community.

22 And everyone agreed that this is

1 something that's really important to move the
2 ball forward in terms of oral health. So
3 that's there in terms of the evidence for care
4 continuity.

5 Every other measure we have the
6 evidence listed inside the evidence testing
7 forms and that's about it in terms of, you
8 know, addressing the concerns expressed from
9 the committee.

10 Again, we'd like to thank you all
11 for your attention and for the time that you
12 gave us this morning and we'll willing to
13 answer any questions.

14 MR. CRALL: And I would just like
15 to add, I mean you can hear Krishna's evidence
16 base, she was involved in the evidence base
17 world before she came to us and the DQA.

18 The other person with us here
19 today is Dr. Jill Herndon, sitting at the
20 table here from the University of Florida,
21 Institute for Child Health Policy.

22 We awarded a contract through a

1 competitive process to the Institute to do the
2 testing of our measures. They had access to
3 data from two large States for a Medicaid
4 Program, CHIP Program, and some commercial
5 data as well.

6 And Jill and her colleagues have
7 just been an incredible asset and resource to
8 us in doing the testing which we know is very
9 important as the broaden measures movement
10 moves forward, but historically it hasn't been
11 all that robust particularly within the dental
12 measures world.

13 So Jill is here as well for if we
14 get into any technical questions about the
15 testing.

16 MS. SAMPSEL: So what we're going
17 to do know is go ahead and move into the
18 measures and what, you know, with the new
19 process of the having the developers here as
20 well as Dr. Crall, you all are also, can turn
21 this up if you have any questions through any
22 of the process, but we'll ask members to do

1 that as well.

2 But we'll go ahead and start going
3 through the measures and, Tom.

4 MR. MCINERNEY: I just want to
5 provide a little background and ask for a
6 little help in my understanding. You know,
7 we've had water fluoridation for a long, long
8 time and that certainly is a big help in
9 preventing dental caries.

10 However, it's my impression as a
11 pediatrician that water fluoridation now is
12 not as effective as it used to be for several
13 different reasons.

14 Mainly, families are not using tap
15 water that much anymore. Unfortunately, in
16 many cases they're using juice or other
17 beverages and worse yet these are often high
18 in sugars.

19 But they've turned to bottled
20 waters in huge amounts and bottled water
21 generally does not have any fluoride and so I
22 think, my suspicion is that the incidents of

1 dental caries may have hit a nadir and is now
2 on the way back up again because of this.

3 And so it makes this whole
4 business of trying to prevent dental caries
5 even more important now than it was perhaps
6 ten or 15 years ago and I just wonder if you
7 could confirm that for me please?

8 MR. CRALL: Certainly. I'll start
9 and then Krishna can add. Well, as a
10 pediatrician you're absolutely right. Your
11 reality I think confirms the broader data
12 sources.

13 Clearly data from in Haines,
14 periodic in Haines have shown us that what
15 there's an increase in for the most part is
16 what we call early childhood caries, so that's
17 tooth decay occurring in children before the
18 age of six years of age.

19 And we know that that's an
20 absolutely critical time. There is some
21 evidence from both the U.S. and from other
22 Countries to suggest that those first three to

1 five years of life are really important in
2 setting a positive health trajectory for
3 children.

4 And consistent with recent
5 guidelines, and I'll say recent meaning that
6 they're, you know, probably at least ten plus
7 years in the dental world and actually they're
8 11 years old in the pediatric world as well,
9 the AAP Guidelines, that now suggest that
10 individuals who are trained to do so should
11 start assessing caries risk in young children
12 as early as six months of age.

13 Any child found to be at high risk
14 should be referred to what we call a dental
15 home for analogous to the medical home by one
16 year of age.

17 So, again, just as with the
18 sealants, changing systems, changing provider
19 behaviors, is not easy, but by creating
20 measures and highlighting the importance of
21 things that are clearly evidence-based like
22 sealants and fluorides, absolutely we think

1 that that's the first place to start and
2 hopefully will be at least one part of the
3 continuing emphasis on that.

4 Because the fight on water
5 fluoridation is not over, the anti-
6 fluoridationists are very strong and
7 persuasive and it's a constant battle to keep
8 water fluoridation even in places that have
9 it.

10 But you're, I think you're right.
11 In the National data, again, that we have
12 dated from many States, and then within that
13 the whole issue about caries risk and we know
14 at a population level low income individuals,
15 individuals from certain races and
16 ethnicities, and recent immigrants are often
17 times the groups that are at high risk.

18 The challenge moving forward, and
19 it's a movement that we fully support is to
20 once you get beyond the population
21 characteristics that define risk, to start
22 looking individual, child by child, and to be

1 able to differentiate because not all children
2 on Medicaid are at high risk for caries, but
3 just a higher proportion than in other
4 segments of the population.

5 MR. MCINERNEY: Okay.

6 MS. ARAVAMUDHAM: Just another
7 note about water fluoridation. We were at a
8 public health conference just yesterday where
9 we talked about there is a water fluoridation
10 challenge in every one of the 50 States, every
11 single one there is some challenge.

12 Infrastructure is becoming old and
13 now the County Governments are faced with a
14 challenge of, you know, renewing the
15 infrastructure and spending money towards
16 fluoridating the water versus just letting it
17 go.

18 And so we're facing a challenge in
19 every State of this Country, so that's
20 something we are trying to address as well.

21 MR. MCINERNEY: Okay.

22 MS. SAMPSEL: So with that we'll

1 move into Measure 2508, Prevention, Dental
2 Sealants for 6 to 9 Year Old Children at
3 Elevated Caries Risk. Dr. Krol, did you want
4 to kick us off?

5 MR. KROL: Sure. So this measure
6 is a process measure focused on whether 6 to
7 9 year old children at moderate to high caries
8 risk receive a dental sealant on a first
9 permanent molar.

10 The connection between the process
11 and the health outcome is stated in the
12 following way. Timely placement of dental
13 sealants on permanent first molars have
14 demonstrated effectiveness in reducing caries,
15 dental decay, tooth decay, among children
16 thereby improving oral health, overall health,
17 and overall well-being.

18 A clinical practice guideline from
19 the American Dental Association and a Cochrane
20 Review are presented as evidence to support
21 the measure.

22 The Cochrane Review, as well as a

1 meta-analysis are used as evidence in the ADA
2 clinical practice guideline. The ADA
3 guideline does not give an age or a specific
4 molar for sealant placement, but says sealants
5 should be placed on pits and fishers of
6 children's and adolescent's permanent teeth
7 when it is determined that the tooth of the
8 patient is at risk for developing caries.

9 The strength of the recommendation
10 is graded B directly based on Category 2
11 evidence or an extrapolated recommendation for
12 Category 1 evidence.

13 The evidence upon which this
14 recommendation is based is rated as 1(a),
15 which is evidence from systematic reviews of
16 randomized controlled trials. Evidence rating
17 is high, although the age range is not clearly
18 delineated in the evidence as far as I could
19 tell, but rather based on risk level.

20 Is this how, can we just go
21 through 1(a) through like we did on the call
22 or is there a way that you'd like me to do

1 this any differently?

2 MS. SAMPSEL: I guess if others on
3 the call have anything to add to 1(a) or if
4 there's discussion about that. Okay?

5 MR. KROL: Okay.

6 MS. SAMPSEL: Proceed.

7 MR. KROL: Okay. 1(b),
8 performance gap, data are made available that
9 demonstrates a variation in performance of
10 dental sealant placement on children ages 6 to
11 9. It's not clear how the risk status of the
12 6 to 9 year olds was documented or applied to
13 the numerator and denominator.

14 It's also not clear how many first
15 permanent molars are sealed. Presumably, a
16 child with four first molars with one sealed
17 was qualified as much as a child with four
18 molars and four sealants and one molar and one
19 sealant, et cetera.

20 There are also data that support
21 the existence of disparities by age, race,
22 ethnicity, geographic area, and family income.

1 So I rated that as a high rated choice. Shall
2 I stop there or go onto 1(c) and should I just
3 continue through or what would you prefer?

4 MS. SAMPSEL: I think Jason may
5 have a comment.

6 MR. SPANGLER: I have a process
7 question. Are we going to be voting on these
8 each at a time? Because I know I've done this
9 before, we voted on evidence and then we voted
10 on performance GAP and then we voted on
11 importance and stuff like that or are we --

12 MS. SAMPSEL: We're going to go
13 through the full discussion first.

14 MR. SPANGLER: Okay.

15 MS. SAMPSEL: So that's, it is a
16 good question. So we'll have each member of
17 the committee deal with your assigned measure,
18 is go through the discussion bringing up the
19 salient points for each of the high level
20 variables of, you know, whether it's the
21 importance to measure and report, feasibility,
22 et cetera.

1 We'll have a full discussion with
2 the opportunity for the developers to answer
3 any questions, to offer any clarification, and
4 then we'll go through and vote on each area
5 because there are some areas that, you know,
6 they are must pass elements and we won't go
7 any further if the measure doesn't meet those
8 must pass elements.

9 So, you know, just bring up the
10 highlights for any specific measure and then
11 we'll have discussion.

12 MR. KROL: Okay. So as far as
13 high priority the data are made available for
14 the percentage of children who have dental
15 disease.

16 The ADA previous reported on
17 higher disease rates in certain populations,
18 i.e, minority populations and poor
19 populations, and the disease is noted as the
20 utmost common chronic disease of childhood.

21 So let's move to reliability and
22 all that, so 2(a)(1) and 2(b)(1)

1 specifications. So the information provided
2 regarding the numerator and the denominator
3 with respect to age and dental service code
4 per sealant and per tooth upon which it was
5 placed well define each.

6 We talked about this on the call
7 and I'll bring this up if you feel it's
8 appropriate, but please stop me if you don't
9 think it is.

10 So what's not clear is the
11 definition of elevated risk, is there's a very
12 large number of CDT codes to determine that.
13 Now it was addressed on here so I'm not sure
14 if you want me to go into all that.

15 Not knowing what those stand for
16 and not knowing how that array of CDT codes is
17 seen as an accurate definition of elevated
18 risk it's hard to determine the quality of the
19 measure.

20 It's also not clear to me how a
21 child with one of four teeth sealed is of
22 equal quality to one who is two of four or

1 four of four and does not see it possible to
2 determine that with this measure.

3 Is the child at elevated risk, or
4 the tooth, or both, and can a tooth be at risk
5 but not a child? How does one determine that
6 here or is that not important?

7 One of the evidence sources,
8 Beauchamp, gives either as the determination
9 of risk, so it's either child or tooth. So I
10 had some concerns about the consistent
11 implementation of the definition of risk
12 status.

13 What doesn't quite make sense
14 also, and this is a separate issue, is how the
15 service is provided by and independent dental
16 hygienist as coded in the numerator?

17 The logic states that if the
18 rendering provider taxonomy code equals any of
19 those listed in Table 1 then include them in
20 the numerator. If there is one code listed
21 that wouldn't qualify but has a notation that
22 states it is not applicable for this measure.

1 So that one didn't quite make
2 sense and that was somewhat addressed in the
3 presentation. Reliability testing, not done
4 using statistical tests with the measure as
5 specified.

6 The authors though do make a case
7 that the measure relies on standard data
8 fields commonly used in administrative data
9 and that inter-rater reliability doesn't
10 apply.

11 Yes, I can skip some of that to
12 move more quickly. Why don't I go to validity
13 testing. So the validity testing for this
14 measure assessed critical data element
15 validity, measures score validity, and
16 potential threats to validity.

17 All of that seemed to be well
18 done. I had no concerns about that. No
19 issues for any of the other 2(b)'s. Addressed
20 missing data although there was some
21 discussion on our call about the exclusions.

22 I don't know if anybody wants to

1 bring that up at this point. That was
2 addressed a bit and the willingness to change
3 the language. I know, Bob, you had brought
4 that up on the call previously.

5 MR. VALDEZ: Yes. Yes, I brought
6 that up on the call and it affects all of the
7 dental measures and as part of the
8 presentation and certainly in the presentation
9 they made clear the intent of what they wanted
10 to say much better than what they put in this
11 document.

12 I don't know how you handle that,
13 whether it's an amendment that they do?
14 Because I think they were asking that on the
15 call as well.

16 MS. KHAN: So just one
17 housekeeping thing. There can only be three
18 mikes on at a time so if someone has it on
19 just turn it off when you're done speaking.

20 But what we can do is after the
21 in-person meeting we can reopen their forums
22 and they can clarify whatever it is that needs

1 clarification in the forum.

2 MR. KROL: Sorry. Do you want me
3 to continue?

4 MS. SAMPSEL: Yes.

5 MR. KROL: So Criterion 3,
6 feasibility, the overall rating was high
7 there. These are administrative data so as
8 long as someone decides to bill for the
9 service then it'll be accurate.

10 As far as usability and use, it's
11 right now currently used in Texas for their
12 Medicaid and CHIP Programs, also being
13 suggested for use in Connecticut.

14 It's not quite yet, it's not yet
15 clear evidence that it's being shown to
16 improve care or quality, but likely too early
17 as it's just been implemented in Texas, but
18 that was addressed previously. And I guess
19 that's it.

20 MS. SAMPSEL: Okay, so let's go
21 back up a little bit to the top and talk
22 briefly about importance and see if anybody

1 had any questions or additional comments or if
2 there was anything that the DQA wanted to
3 address in that area.

4 MR. BIALEK: A question about one
5 of the comments from the workgroup regarding
6 the data, and there was a comment that if the
7 sealant was applied by a dental hygienist it
8 wasn't captured and then I think the response
9 back was well, yes, it is, but I wasn't sure?

10 MS. ARAVAMUDHAN: It is captured.
11 So the other codes that are listed within the
12 spec sheet, each of those spec sheets
13 references a user guide. We did not put that
14 in the appendix, we should have.

15 But the user guide specifically
16 describes each of the codes and it is captured
17 in the numerator.

18 MR. VALDEZ: This is Robert
19 Valdez.

20 MS. ARAVAMUDHAN: Go ahead.

21 MR. VALDEZ: Were you referring to
22 the exclusion of the provider specific piece

1 or the hygienist that was under the direct
2 supervision of a dentist?

3 MR. BIALEK: I was just referring
4 to whether or not the child received the
5 sealant, didn't matter really who applied it.
6 And I was, the bulleted point in here looked
7 like it might be excluded if it was applied by
8 the hygienist and the response was well, it's
9 not. It doesn't matter who applies it that
10 it's captured.

11 MS. ARAVAMUDHAN: So the way the
12 dentist services again works is anything
13 that's done or under the supervision, and most
14 of the State Practice Acts have either direct
15 or remote supervision.

16 Any kind of remote supervision,
17 anything would fall under the dental services
18 and that would be captured.

19 MS. SAMPSEL: John, did you have a
20 question?

21 MR. AUERBACH: My question was
22 about numerator and denominator and, you know,

1 in part, and maybe you can speak to the
2 coverage issue and I know in many States oral
3 health coverage changes quite regularly in
4 terms of coverage.

5 And also, so just in terms of
6 that, just the, you know, your comfort level
7 with variations in terms of coverage and
8 therefore presumably reporting, and I don't
9 know whether for instance public health
10 programs sometimes provide sealant programs
11 but may not be reporting those in ways that
12 can be captured.

13 And then just on the third part of
14 that, is just the size of the population that
15 may not be say Medicaid eligible are
16 considered to be a moderate to high risk?

17 MR. CRALL: Let me start with that
18 and then let Krishna add. So I think the key
19 phrase that David used was if somebody submits
20 a claim and it's billable, because that is the
21 primary data source for this and that does
22 capture the vast majority of all the services

1 that were provided.

2 I think, you know, your point is
3 right. If for some reason somebody had a,
4 let's say a grant funded program in the
5 community and they had someone that the State
6 laws allowed to provide those sealants, that
7 wouldn't necessarily be captured here because
8 this comes through claims data.

9 But, again, you know, the evidence
10 we have is that the vast majority of services
11 are captured in this mechanism and we didn't
12 get into the, sort of the weeds about direct
13 supervision or any of those things.

14 If it's allowed, if that practice
15 and setting is allowed in any State then
16 that's what should be captured in the measure.

17 MS. ARAVAMUDHAN: If I might add
18 on to that. I was very enamored with the
19 discussion this morning in terms of, you know,
20 all of the different types and population
21 health.

22 I think what our strategy is to

1 look, I don't know whether this is a right
2 term, but a family of measures, if you will,
3 where the concept focuses we need to get the
4 sealant rate up. That's the goal.

5 And then you have okay, here is a
6 measure that applies to the plan and here is
7 the measure that apply to another because the
8 attribution is different, the my patients is
9 different for each of these groups.

10 And so then you work on each of
11 your parts in the healthcare delivery system
12 and then all of your targeted National goal of
13 improving sealants.

14 So the mechanics of the measure
15 will be different for each of these
16 components, but all of you are going towards
17 that goal. So these measures simply address
18 the program and the plan level.

19 And then you'll have other similar
20 kind of components adding on. So, yes, I
21 think we answered two of your questions, we
22 may not have answered one, but I forgot which

1 one that was.

2 MR. AUERBACH: The size of the
3 population that may fall into the risk
4 category --

5 MS. ARAVAMUDHAN: Yes.

6 MR. AUERBACH: -- but may not be
7 captured because of Medicaid or insurance
8 coverage.

9 MS. ARAVAMUDHAN: So the way the
10 risk logic, there are two things to this.
11 There was a lot of churn, I think you're
12 referring to the churn in Medicaid with oral
13 health where people come in and go out.

14 And that was a huge concern for us
15 and the way we -- And there's a lot of debate
16 in the oral health community as how to address
17 this churn, whether the program plan should be
18 accountable for everyone who's in the program,
19 even for 90 days, versus only accountable for
20 those who are in the program for 11 out of 12
21 months.

22 Those are two extremes. And you

1 go to the 11 out of 12 months enrollment you
2 see that you lose two-thirds of the children
3 in some of the programs.

4 So then is fair to simply hold the
5 program accountable for that few kids that are
6 there with them? And then the 90 days is it
7 simply not sufficient for the patient to
8 navigate the healthcare system.

9 It's ideal, but it's simply not
10 possible to navigate the healthcare system,
11 get the insurance card, make the appointment,
12 get your needed care and then come to the
13 prevention end.

14 So the measure testing has a lot
15 of data where we looked at different types of
16 enrollment periods and we picked the 180 which
17 balances the need to account for enough
18 children while at the same time giving enough
19 time to actually make this a reasonable,
20 realistic measure. So we did that to include.

21 In terms of we're at risk status,
22 again, we have new procedure codes that come

1 through the claim system in terms of recording
2 high, moderate, and low risk, so it's an
3 individual based risk.

4 As Dr. Crall mentioned the
5 traditional method would have been everyone in
6 Medicaid is high risk, but then we don't want
7 that. We heard from many Medicaid directors,
8 that, look, we're in a stage where we really
9 need to target our resources and give the kids
10 that absolutely need this, make sure they get
11 it.

12 And so they were like individual
13 leveled risk assessment is very, very
14 important. So that's why we have the new
15 codes that help the provider capture risks and
16 then transmit it upwards and also we have the
17 backup plan of, you know, looking past
18 history.

19 Enrollment is not required in the
20 past. As long as you have the data you can
21 capture that.

22 MR. CRALL: And if I may, I

1 actually heard a little different, I had a
2 little different take on your question. So in
3 adult Medicaid, clearly, there is what you
4 might you refer to as variation.

5 It's not a required service.
6 States get pressed for fiscal, you know,
7 financial sort of reasons and they drop it,
8 California dropped adult Medicaid, you know,
9 three, four years later we're putting back in
10 some benefits, so that does vary quite a bit.

11 The kids piece is EPSDT. Sealants
12 are clearly sort of outlined as a necessary
13 service, so there's good evidence for it and
14 so, you know, while some States might look to
15 things to like evidence-based guidelines and
16 revisions of evidence-based guidelines which
17 are done maybe every five to ten years and
18 modify their conditions of medical necessity
19 accordingly.

20 By and large you're not seeing
21 sort of any quibbling about whether or not
22 sealants are a covered service in a Medicaid

1 program.

2 And I can just say in terms of the
3 extent of the covered population in this year,
4 in my State of California, 51 percent of the
5 kids are on Medicaid.

6 So with the ACA and other
7 expansions of States and then entertaining, so
8 by the time you add the commercial piece on
9 that we typically have lagged, coverage has
10 lagged for dental services compared to medical
11 services.

12 We used to say for every child
13 that lacked medical insurance or health
14 insurance, as it's generally referred to,
15 there were 2.6 kids who lacked dental
16 insurance.

17 But that gap is being closed
18 through a variety of recent legislation and
19 State actions and changes in eligibility, so
20 it's a shrinking population. We capture the
21 majority of kids through public programs plus
22 the commercial sector, employer sponsored

1 piece that comes along on top of that.

2 But there's still a segment of
3 kids who are not eligible for any coverage,
4 that's a challenge regardless of what measure
5 you're trying to implement I think.

6 MS. SAMPSEL: Yes, before we do
7 anymore questions diving further down in the
8 criteria I want to bring everybody back to the
9 evidence section and Criterion 1.

10 And what we're going to start
11 doing and we'll ask Kaitlynn to help us with
12 a dry run is go ahead and go back to the
13 evidence section and do the initial vote on
14 number one importance to measure and report.

15 But before we do that, you know,
16 again, any questions, comments, anything else
17 anybody wanted clarified on the impact of this
18 measure?

19 MS. MUNTHALI: And I just want to
20 add that the criterion and sub-criteria within
21 importance to measure and report are must
22 pass, and so if you have any points of

1 clarification for DQA I would recommend that
2 you ask them now.

3 MS. LUCK: Hi. I was wondering if
4 you could walk us through the
5 operationalization of the elevated risk factor
6 in the numerator and denominator? How is that
7 operationalized in measuring this measure?
8 Thank you.

9 MS. ARAVAMUDHAN: So, again, the
10 way that it's operationalized is there are
11 three CDT codes, the procedure codes. Since
12 the dental system does not report diagnostic
13 coding we sort of went a roundabout way to get
14 CDT codes in place to capture risks.

15 So 0601, 0602, 0603, are low,
16 medium, and high.

17 MR. CRALL: Caries risk.

18 MS. ARAVAMUDHAN: Caries risk.

19 MR. CRALL: The designation of
20 caries risk on the part of the clinician.

21 MS. ARAVAMUDHAN: Caries risk.
22 Right. It's a descriptor of risk assessment

1 performed, finding of low risk, risk
2 assessment performed, finding of moderate
3 risk, risk assessment performed, finding of
4 high risk.

5 So when you have the two codes of
6 02 and 03 reported that is flagged as yes,
7 this is a person that's moderate or high and
8 should become part of this measure.

9 Now in cases where you don't have
10 the risk, when the systems are still gearing
11 up towards that risk assessment then we have
12 this place where that you can look back for
13 three years and see whether the child has
14 received restorations and all those, I know we
15 only have the codes listed and those codes are
16 simply restoration codes and pulp therapy
17 codes.

18 So if you have any of those
19 treatments done they are simply indicative
20 that you've had the disease and that is in any
21 amount of literature you see past history is
22 the most important valid predictor of future

1 disease.

2 So you want to be able to prevent.
3 So in cases that you've had any kind of
4 restorations then you would pick up the case
5 as well.

6 MR. CRALL: And I would just add
7 that one of the benefits of having Jill and
8 her team involved in the testing of these
9 measures is that we were actually able and we
10 put them through many iterations of well, what
11 if we use this set of codes versus what if we
12 use that set codes?

13 And so we could actually look and
14 see what the results were across different
15 States and different covered populations
16 within those States, whether or not you, you
17 know, the codes, and I apologize that those
18 explanations for those current codes aren't in
19 there because everybody, those numbers unless
20 you live in that world, but as Krishna said
21 they were indicative that the child had
22 already had some restorative care or treatment

1 for the, what we call the pulp, the inner
2 portion of the tooth where the nerves and the
3 blood vessels are.

4 And we took our guide to some
5 degree in that from members of our Measures,
6 Development, and Maintenance Committee,
7 several of whom basically have a background or
8 experience working with plans, dental plans,
9 and one in particular has had experience
10 working in the largest benefit plan for kids
11 that are covered in Medicaid.

12 So, you know, they in fact use
13 similar types of procedures but we did the
14 testing again through the Institute of Child
15 Health Policy on those two State data sets to
16 see what the results would be.

17 And one last point that David
18 brought up is that, and he's right, you know.
19 If we had our way we would have every child's
20 teeth sealed, you know, early before they got
21 decay early on.

22 The problem you encounter in

1 trying to develop a measure to capture it is
2 that without the, you know, longitudinal
3 history of the child from birth onwards you
4 may not be able to tell even which teeth are
5 yet unsealed and therefore candidates for
6 sealant versus not.

7 But we, and Krishna will probably
8 have a better grasp on the details of this,
9 but even in our testing we found that if we
10 look and a child only had one sealant there's
11 still a performance gap around that.

12 So it won't be the perfect
13 measure, it won't be the end all measure, but
14 we think it's a good place to start.

15 MS. SAMPSEL: Arjun, did you have
16 a question?

17 MR. VENKATESH: I think they're
18 more related in measureabilities, that would
19 be later, right?

20 MS. SAMPSEL: Okay, thank you.

21 MR. BAER: Question? Sorry. Yes,
22 question on the three risk codes. Is there a

1 validated screening tool?

2 MR. CRALL: I would say that the
3 evidence for the validation of that is not
4 robust simply because there are new codes that
5 are being implemented.

6 The description suggests the, or
7 the descriptor on the CDC code would point to
8 the use of code, excuse me, risk assessment
9 tools such as one that's called CAMBRA, which
10 is Caries Management by Risk Assessment, been
11 developed out of the University of California,
12 San Francisco, and widely used more on the
13 Western part of the Country.

14 The American Academy of Pediatric
15 Dentistry has a tool, the ADA has a tool, the
16 evidence on the validation sort of part of
17 those is not robust because it's basically a
18 new phenomenon.

19 It really came almost online as we
20 were developing these measures and that's why
21 we incorporated them into the approach for
22 assessing risk.

1 MS. ASOMUGHA: We can we still ask
2 questions about measure sets? Ask questions
3 about measure sets or not?

4 MS. SAMPSEL: We're going to --

5 MS. ASOMUGHA: Okay.

6 MS. SAMPSEL: Let's focus on
7 importance right now so we can start moving
8 towards the vote. Any other questions on
9 importance? Okay, Kaitlynn?

10 MS. ROBINSON-ECTOR: Okay. Yes,
11 so just to vote make sure that your clicker is
12 pointing toward the vote snap, towards me.

13 And we're actually going to go
14 ahead and read off the questions, so high
15 impact is addressing a specific National
16 health goal, priority, or data demonstrated,
17 a high impact aspect of healthcare, so the
18 numbers affected, resource use, and the
19 severity in consequences.

20 So if you agree that the measure
21 has a high impact please press one, if you
22 think it's moderate press two, if it's low

1 then press three, and four for insufficient
2 evidence.

3 The slides in the front are the
4 voting slides so that has the details on what
5 you're voting on.

6 MS. SAMPSEL: Okay. Has everyone
7 voted?

8 (Off microphone discussion)

9 MS. SAMPSEL: Okay. Now I think
10 everybody can vote.

11 MS. ROBINSON-ECTOR: How many
12 people are we waiting -- Good.

13 MR. FRANCE: Just to clarify how
14 these work, push the button once that's it, or
15 do you hit the send button at the bottom after
16 you do it?

17 MS. ROBINSON-ECTOR: No, you don't
18 need to hit send, just press your button.
19 We're trying to get to 21 votes.

20 MS. SAMPSEL: Yes, we're at 21.

21 MS. ROBINSON-ECTOR: Okay.

22 MS. MUNTHALI: And, Kaitlynn, can

1 you just clarify, is this the test or is the
2 actual vote on the measure?

3 MS. ROBINSON-ECTOR: This is the
4 actual vote.

5 MS. MUNTHALI: Okay.

6 MS. ROBINSON-ECTOR: But we can
7 redo it.

8 MS. MUNTHALI: No, it looks like
9 everybody's device is working.

10 MS. ROBINSON-ECTOR: Okay. Okay,
11 so for --

12 MS. MUNTHALI: So just to clarify
13 that means we'll move over to 1(b)?

14 MS. SAMPSEL: Yes.

15 MS. ROBINSON-ECTOR: Yes. Well we
16 are setting it off.

17 MS. SAMPSEL: Wait, we have to
18 announce the vote for 1(a).

19 MS. ROBINSON-ECTOR: So for 1(a)
20 we have 15 voted for high, 5 voted for
21 moderate, and 1 voted for low.

22 MS. NISHIMI: I just want to

1 clarify for the committee why we have to do
2 all this announcing, because there is a
3 transcript and people on the phone also can't
4 see, obviously, the screen, so that's why you
5 might think it's a little bit cumbersome, but
6 there's a reason we're doing it.

7 MS. ROBINSON-ECTOR: So 1(c) is
8 for evidence for measures of health outcome,
9 is there a rationale/causal path that supports
10 the relationship of the health outcome, do
11 processes or structures appear? One for yes,
12 two for no. Okay, and --

13 MR. KROL: Was 1(a) and 1(b)
14 combined? We skipped 1(b)?

15 MS. ROBINSON-ECTOR: No. So the
16 order it goes in is we're looking at high
17 impact and then we're looking at evidence and
18 then we're looking at performance gap.

19 MS. KHAN: Okay, so --

20 MS. ROBINSON-ECTOR: And it's
21 actually, it's a 1(c) evidence for a process
22 measure, so it's the next. I'm sorry.

1 MS. KHAN: Yes, okay.

2 MS. ROBINSON-ECTOR: So this is
3 1(c) evidence structure process, intermediate
4 outcome. Based on the information submitted
5 quantity and quality and consistency of body
6 of evidence are met as follows, consistency,
7 moderate or high, quantity and quality,
8 moderate or high, or low with special
9 circumstances.

10 One is yes, two is no, evidence
11 does not meet guidance, three is no,
12 insufficient information submitted. So I'm
13 going to click the timer for 60 seconds.

14 (Pause)

15 MS. ROBINSON-ECTOR: Still waiting
16 for one more.

17 (Pause)

18 MS. ROBINSON-ECTOR: Okay. So for
19 1(c) we had 21 votes for yes.

20 (Pause)

21 MS. ROBINSON-ECTOR: Oh, 20.
22 Okay, so 1(b), importance to measure and

1 report. 1(b), performance gap, data
2 demonstrated considerable variation or overall
3 less than optimal performance across providers
4 and/or population groups.

5 One is high, two is moderate,
6 three is low, four is insufficient evidence.

7 (Pause)

8 MS. ROBINSON-ECTOR: I think we're
9 still waiting for one vote.

10 (Pause)

11 MS. ROBINSON-ECTOR: If you all
12 could just press it one more time, please.
13 Okay, great, there we go. Okay. Okay, so we
14 had 12 for high, eight for moderate and one
15 for low.

16 MS. SAMPSEL: Okay. So before we
17 move into this next area of voting this would
18 be any questions on the specifications
19 specifically. Go ahead.

20 MR. VENKATESH: So I had I guess a
21 series of questions around the validity of a
22 claims-based measure and then another question

1 around the level of analysis, which may be
2 more of a question for NQF.

3 Around the claims-based measure I
4 think a little education for me may even help,
5 which is that are these measures all assigned
6 by the qualified provider?

7 And then when the claim, when it's
8 coded are these codes that you guys eluded to
9 the three codes, these CDT codes, do those
10 function like g codes do for physicians where
11 they're optional or are they required in the
12 coding of each claim?

13 MS. ARAVAMUDHAN: Okay. I'm going
14 to try to answer, but I'm going to actually
15 request Dr. Inge to chime in as well. He
16 might have a better sense of this.

17 So the coding is by, so the first
18 questions was the claims coming in by
19 provider. So there are different ways in
20 which the program, so standalone dental plans
21 as we showed in the data, 99 percent are just
22 dental, and we do have a footnote and the user

1 guide simply states that standalone dental
2 plans ignore this whole provider filter.

3 You don't even need it. Simply,
4 all your claims process it. It's only when
5 you're using this measure at the program level
6 that this whole provider thing and the filter
7 comes into play.

8 And in those cases many programs
9 maintain separate filing systems, so you don't
10 have to even use the logic, they can use the
11 filing system and say okay, here are all the
12 dental services and it goes that way.

13 So it really depends, and we have
14 more information in the user guide how to
15 apply that and when to apply that. In terms
16 of whether the codes itself are required or
17 not required, we really want to, part of the
18 quality improvement effort through this
19 process is not simply to improve the sealant
20 rates, but also to move this concept of risk
21 based care into the community.

22 And that's really, really

1 important to us. And so measuring this at the
2 program level and the plan level hopefully the
3 plans will start requiring this. We are still
4 working to set frequencies and how often it
5 should be done.

6 So this is all hopefully will
7 evolve and use of implementation of this
8 measure will actually push the system in this
9 direction.

10 MR. CRALL: So I would just say I
11 think, you know, the short answer to this is
12 these are new codes and the ability to require
13 that that field be filled out or not may vary
14 by program, but at the current time I would
15 suspect that the vast majority, it's an
16 optional entry not a required entry but Dr.
17 Inge or other, you know, may have more insight
18 in that.

19 MS. ARAVAMUDHAN: And if I can
20 just take a moment to add on, and that's why
21 we have the other filter of the past history.

22 MR. INGE: So in regards to the

1 codes being required, they are required for
2 reimbursement. So that if there's any program
3 in which a dentist or any other healthcare
4 provider wishes to be reimbursed for those
5 services then it will be required.

6 It's not optional. There were
7 some codes previously in the CDT that were
8 supposed to be applied only at moderate at
9 high risk, but we had no risk codes to
10 associate with them, and so these codes help
11 to add to that and allow us to reimburse for
12 codes based upon risk that we now have risk
13 codes.

14 So there is not an optional use of
15 those codes. Whenever they are used it's for
16 a specific purpose of defining the risk
17 category of the patient.

18 MR. CRALL: So if I could just
19 clarify my comment on optional. I meant that
20 if a clinician were to bill for a sealant I
21 think it's, in most plans today, it's optional
22 whether or not they include the risk, that's

1 what I meant.

2 So what Ron is I think eluding to
3 is that if a provider, and if any plan
4 provider that asks for reimbursement and for
5 the assessment, obviously the provider would
6 have to enter a code to register that they
7 performed the service and then if there was
8 reimbursement they would be compensated.

9 MR. VENKATESH: So just help me
10 kind of summarize that for the group in
11 understanding. Does that mean that even
12 though it is technically optional we would
13 still be capturing, the denominator would
14 capture the universe of sealant being used?

15 MR. CRALL: I think, you will
16 capture the sealants being used. What will
17 I'm sure start slowly and then depending upon
18 whatever incentives might be built into the
19 reimbursement side of things or performance or
20 any other sort of motivation for a clinician
21 to enter the risk code on top of that sealant
22 code then the capturing of the risk is

1 probably low now because of the newness of the
2 measures and it will increase over time.

3 But the capturing of the sealant
4 itself I think is basically going to occur as,
5 you know, regardless.

6 MR. VENKATESH: Okay. So then
7 would it be safe then to say that initially in
8 the use of this measure that the denominator
9 and the population being measured is going to
10 be largely those that have a prior history as
11 they capture as opposed to the risk code?

12 MR. CRALL: I would think that it
13 would be a fair assumption and, again, Jill,
14 you know, did the testing on the data that
15 existed within those States and we have
16 results from that, so that, clearly, that's
17 the mechanism that prior to the introduction
18 of these risk assessment codes you had to
19 develop some sort of a, you know, you could
20 call it a proxy measure of risk or some sort
21 of an algorithm that led you to believe that
22 that child actually had the disease process in

1 the absence of a diagnostic code that enters
2 it.

3 So, yes, I would think certainly
4 early on that the majority of them are going
5 to be captured that way.

6 MR. VENKATESH: All right. Last
7 question I promise related to that. So then
8 do you guys have any validation data that
9 suggests that coding is consistent between
10 providers? That risk assessment is validly
11 represented by the administrative code?

12 MR. CRALL: The data we have for,
13 on the actual use of those three codes would
14 be meager at this point in time because those
15 codes are just being introduced, you know,
16 into the systems.

17 What we do have are data that
18 demonstrate that using the prior experience
19 and that set of codes that David eluded to
20 actually captures information and we have that
21 at the program level and we have it for plans.

22 And that's really been the

1 essential focus of the DQA up to this point in
2 its history. We haven't moved on to that
3 provider level piece yet.

4 MR. INGE: Just a comment.
5 Request and stimulated thought from me, in
6 that with this measure it should require that
7 the risk assessment code accompany the sealant
8 code because currently we have sealants being
9 applied to very low risk populations and that
10 could distort the numbers.

11 So with this measure and the
12 subsequent measure having the risk assessment
13 code be required to accompany the sealant code
14 I think would give us a better view of its
15 effectiveness.

16 MR. FRANCE: I guess I would just
17 maybe disagree and say that there's also the
18 bias that, as a dentist I might say, I must
19 code risk with each sealant out of pride in
20 order to be paid for it.

21 And so over time 98 percent of
22 high risk are receiving sealants and so I'm

1 curious about your thoughts about how that
2 distortion of the data over time might make it
3 difficult for you to use it as a performance
4 improvement metric when billing and
5 performance might be very strongly linked.

6 MR. CRALL: Well I, you know, to
7 your point, I think that it wouldn't be the
8 first case in which that there were some sort
9 of suggestion that that might be happening,
10 right.

11 And so that's the ongoing
12 challenge in terms of designing the program or
13 the benefit plan, or the benefit structure
14 within the program to find a way whereby we
15 could actually demonstrate that the kids who
16 are designated as high risk actually are at
17 high risk.

18 There will be some subjectivity in
19 this. This is not, you know, the measurements
20 that you typically have for diabetes where you
21 have a biological marker where you can read
22 out a number on a scale.

1 It's a multi-factorial chronic
2 disease where you have a variety, you have
3 clinical factors, you have other types of
4 factors that come into play in the assessment
5 of risk.

6 It is not physics, it's healthcare
7 for humans and therefore there's going to be
8 some variation in terms of how providers code
9 it. To Ron's comment, again, even within low
10 risk populations there may be high risk
11 individuals.

12 Well off kids still get caries.
13 So the question about, you know, would you
14 actually capture risk and what's the validity
15 of that in a high risk population is, I would
16 say, a to be determined.

17 But it will come about, I believe
18 our job is to design the measure as best we
19 can under the available data and the
20 circumstances. After that it's the
21 interaction of the program design, the
22 reimbursement structure and all of that is

1 going to determine how the numbers go.

2 But your point I think is clearly
3 a valid one and, you know, I think as in many
4 other areas of health services research there
5 may be a few people who seriously try to game
6 a system.

7 I think the vast majority probably
8 try to use the codes if they're educated will
9 in a consistent way, but it's going to be that
10 interaction of benefit design reimbursement
11 and clinical practice that's going to
12 ultimately determine what the performance is.

13 MS. ARAVAMUDHAN: I would like to
14 add one thing to the previous comment.

15 MS. SAMPSEL: Oh, sure, go ahead.

16 MS. ARAVAMUDHAN: So one thing to
17 point out is for the commercial sector it's
18 not really dental insurance, it is really a
19 dental benefit.

20 There is an annual maximum and
21 after that the plan does not pay. Typically
22 benefit plans pay 100 percent for

1 preventative, but then they come down to 80 or
2 even 50 percent for restoratives and there's
3 a lot of out of pocket expense for the
4 patient.

5 So there's a lot going on on the
6 benefit side versus Medicaid. And in terms of
7 requiring these codes obviously as measure
8 developers and DQA we cannot mandate that.
9 It's something that the plans and payers
10 programs have to do.

11 What would skew the data is if the
12 benefit design at the population level simply
13 limits risk and does not allow that provider
14 judgement to come through to actually get at
15 the individual risk.

16 That's not happening today and
17 hopefully we will have mechanisms to capture
18 individual risk from the provider level
19 upwards to influence what gets treated.

20 MS. SAMPSEL: Okay. If no other
21 questions we'll move -- Oh, sorry. Ron, go
22 ahead.

1 MR. BIALEK: I may have missed
2 this. I was trying to read through the
3 document and understand it a little bit
4 better.

5 The risk assessment, if the child
6 never accesses the system is the child in the
7 data as at risk or no? So, it's no, okay.

8 So then the question if those who
9 never access the system are excluded from the
10 measure then aren't you potentially masking
11 the potential increase disparities, sort of
12 cherry pick those who access the system, apply
13 sealants, and those who never access who could
14 be the majority within the Medicaid Program or
15 the CHIP Program are never seen and never had
16 sealants applied?

17 MS. ARAVAMUDHAN: So if I can
18 respond to that first. It's like we really
19 believe that there is no one magic measure and
20 one magic score.

21 There is a picture that needs to
22 built and there are many puzzles to that

1 picture. So that's why when you saw the list
2 of measures we developed it's more did you get
3 the patient linked to care?

4 Was the patient diagnosed? Was
5 the patient prevented? Was the patient
6 treated? And then did you get that patient
7 healthy? So you need to have that framework
8 and a set of measures that follows the patient
9 through the healthcare delivery system in
10 order to see whether, you know, you improved
11 your population health.

12 So this is one piece of the
13 puzzle. We have the oral evaluation and the
14 utilization that target exactly what you said,
15 did we get the people into the system?

16 So we really want to see that
17 measure go up and as that measure goes up this
18 is sort of okay, now, did we get the
19 prevention done?

20 So there are many pieces to this
21 that, you know, there's no one magic measure
22 and that comment will hopefully be addressed

1 through another measure.

2 MR. CRALL: Yes, and I would just
3 add, you know, so if we were trying to use
4 this measure as an indicator of the
5 epidemiology of the disease in these molars
6 then I think there might be some very serious
7 concerns.

8 But if we're dealing with it
9 within a context of accountability, so a
10 Medicaid Program basically has a set of
11 enrolled individuals, they have a certain set
12 of requirements that flow from that, States
13 have used a variety of ways to try to modify
14 utilization based on those responsibilities.

15 They'll contract with plans. They
16 may use incentives. I mean CMS has an oral
17 health initiative right now that is basically
18 asking all the States to increase the number
19 of kids who get sealants by 10 percentage
20 points above where there are now.

21 So, you know, States that
22 administer their own programs will develop

1 their own incentives for doing that. States
2 that contract out with plans will try to
3 provide, you know, some other mechanism so
4 that the plans will be able to differentiate
5 themselves and to demonstrate increases in
6 performance.

7 But that's where the
8 accountability piece lies and where it stops.
9 It's not meant to be an epidemiological
10 indicator, it's meant to be something that
11 helps you demonstrate whether or not there was
12 a change in performance at the program or plan
13 level.

14 MS. ARAVAMUDHAN: And one more
15 thing is, as the program administrator looks
16 at the score and says okay, why am I doing so
17 badly?

18 They'll go and look at am I not
19 getting the kids into the chair, or the kids
20 that are coming into the chair are not getting
21 the service.

22 So there's more than access and

1 process components to the measures score when
2 the denominator is all enrollees, so hopefully
3 we can dig into the data that way.

4 The original DQA measures have
5 something called Denominator 1 and Denominator
6 2 where Denominator 1 is all enrollees and
7 Denominator 2 is utilizers.

8 And when you see the differential
9 you can see sometimes services are 90 percent
10 at the process level, so those who come to the
11 chair get it, but it's an access problem. So
12 people need to dig into the data to figure out
13 why it is.

14 MS. SAMPSEL: Okay. With no other
15 questions we'll turn it over to Kaitlynn and
16 just to go through the process one more time,
17 Kaitlynn's going to read the criterion that
18 we're voting on, provide the options for
19 answers and which buttons you would need to
20 push.

21 We will direct our buttons towards
22 Kaitlynn as well as she will then click on

1 that little thing in the right hand corner of
2 the front screen that starts the timer and we
3 just all have one minute to get our vote to
4 Kaitlynn. So with that, Kaitlynn.

5 MS. ROBINSON-ECTOR: Okay. So
6 2(a), reliability including 2(a)(1), precise
7 specifications and 2(a)(2), testing
8 appropriate methods and scope with adequate
9 results.

10 For high press button one, for
11 moderate press button two, for low press
12 button three, for insufficient evidence press
13 button four, and time begins now.

14 (Pause)

15 MS. ROBINSON-ECTOR: Okay. So we
16 now have all 21 votes and voting will close
17 now. Okay, we had three votes for high, 12
18 votes for moderate, one vote for low, and five
19 votes for insufficient.

20 MS. SAMPSEL: All right, can we
21 hold on a second? Emilio, you had a question?

22 MR. CARILLO: Can we review the

1 algorithm for insufficient in terms of
2 exempted, not exempted?

3 MS. KHAN: Sure. Everyone has a
4 copy at their desk.

5 MR. CARILLO: Because whether
6 people vote for that or not depends on
7 understanding the full intent of that.

8 MS. KHAN: Sure. So we're looking
9 at reliability right now. The algorithm is
10 the third page, it says 15 on the bottom. So
11 when we want to rate something inefficient
12 we're actually, if you start at, let's see,
13 well I guess maybe Elisa you should, you're
14 the methods person.

15 MS. MUNTHALI: You guess. Okay,
16 so does everyone have the algorithm in front
17 of them?

18 (Multiples yeses)

19 MS. MUNTHALI: Okay. So we, let's
20 just start from the beginning. I think that
21 would be a lot easier. Are the submitted
22 specifications precise and ambiguous and

1 complete so that they can be consistently
2 implemented?

3 And if yes we would go to number
4 two, which is the second blue box on the
5 algorithm. And then here it's asking whether
6 the empirical reliabilities tested conducting
7 using the statistical test with the measure as
8 specified.

9 If we say no then we would go
10 towards the right and then it would ask us was
11 empirical validity testing of patient-level
12 data conducted? If we say no then we would
13 rate the measure at this point as
14 insufficient.

15 If we say yes we'd use the ratings
16 for a validity testing for our patient-level
17 data elements, and that would be on the next
18 page, which is Page 16.

19 So I don't know, for those who
20 voted insufficient, and, Emilio, are there any
21 specific questions that you had? Would you
22 like us to go further down the algorithm?

1 MR. CARILLO: No. Basically when
2 there is insufficient evidence, but there are
3 other rationale, other thinking for the
4 committee that might exempt the sufficient --

5 MS. MUNTHALI: Oh, so for like
6 evidence?

7 MR. CARILLO: Right.

8 MS. MUNTHALI: That's more
9 specific to the evidence sub-criterion, and so
10 for that, what Emilio is talking about, if you
11 go to the first page, which is importance to
12 measure and report and the sub-criterion of
13 evidence, if in the submission we did not find
14 sufficient evidence the committee can apply an
15 exception rule knowing that there's evidence
16 to support the measure.

17 It's not quite the same for
18 reliability and validity when we're assessing
19 it at the data element or measure score level.
20 And so I think that's what you were trying to
21 see if we can kind of apply an exception to
22 this --

1 MR. CARILLO: Yes. Right.

2 MS. MUNTHALI: -- knowing that you
3 may not feel that it meets all of these
4 criterion based on the guidelines.

5 MR. CARILLO: Correct.

6 MS. MUNTHALI: Okay. Are there
7 any other questions or concerns before we move
8 on. By the vote the measure has passed
9 reliability and now we'll be talking about
10 validity.

11 MS. ROBINSON-ECTOR: Okay. So
12 validity, including 2(b), specifications
13 consistent with evidence, 2(b)(2), testing,
14 appropriate method and scope with adequate
15 results and threats, 2(b)(3), exclusions,
16 2(b)(4) risk adjustment, stratification,
17 2(b)(5), meaningful differences, 2(b)(6),
18 comparability, data sources.

19 One is high, two is moderate,
20 three is low, four is insufficient, and voting
21 will begin now.

22 (Pause)

1 MS. ROBINSON-ECTOR: We're still
2 waiting for three votes. Okay, now two votes.
3 And one more vote. Okay, we're still waiting
4 for one vote. Okay, so all votes are in and
5 voting is now closed.

6 Okay. For high we had one vote,
7 for moderate we had 14 votes, for low we had
8 four votes, and for insufficient evidence
9 there were two votes.

10 MS. CHIANG: So I struggled with
11 this one because there were, it wasn't
12 entirely clear to me how we were supposed to
13 vote given that there was so many different
14 criteria.

15 So there's some that I agreed with
16 that had higher level and others that were
17 lower level, so it was very hard for me to
18 then put an aggregate response. I don't if
19 others --

20 MS. MUNTHALI: Yes, and that's
21 somewhat the difficulty of doing this exercise
22 is that we have this criterion, it's been

1 vetted, it's scientific, but then there is a
2 judgement call, of course.

3 That we're asking many of you
4 around the table with your different
5 perspectives to weigh in and so it's that
6 balance that we're trying to consider with the
7 voting on the evaluation criterion and sub-
8 criterion.

9 MR. VENKATESH: But I think what
10 you're eluding to is that it was hard to
11 evaluate 2(a), like make a composite score of
12 2(a), (b), (c), (d), and (e), at the same time
13 when you may have high for (a) and (b) or low
14 for (c) and something like that.

15 MS. CHIANG: And that's what I was
16 trying to say.

17 MS. MUNTALI: And then coming up
18 with like a binary decision point, yes.

19 MS. SAMPSEL: Okay, if no other
20 questions we'll go ahead and move into
21 Criterion 3, which is feasibility and before
22 we go to vote were there any other questions

1 or comments, considerations, from the
2 committee? Okay, Kaitlynn, go ahead.

3 MS. ROBINSON-ECTOR: Okay.
4 Usability, meaningful, understandable, and
5 useful for public reporting and
6 accountability, 3(b), meaningful,
7 understandable, and useful for quality
8 improvement.

9 One is high, two is moderate,
10 three is low, and four is insufficient
11 information, and voting will begin now.

12 MR. SPANGLER: Wait. I have a
13 question real quick.

14 MS. ROBINSON-ECTOR: Oh, you had a
15 question.

16 MR. SPANGLER: I thought three was
17 feasibility and four was usability?

18 MS. ROBINSON-ECTOR: You are
19 correct, and actually it's use and usability
20 for four. We've just noted that these are the
21 old criterion slides that were implemented a
22 couple of years ago and so we probably should

1 take a break to correct it, so we'll do so.

2 If we can take --

3 MS. SAMPSEL: Okay.

4 MS. ROBINSON-ECTOR: If we could
5 ask the chairs for about five minutes?

6 MS. SAMPSEL: Okay. Everybody,
7 let's take a break.

8 (Whereupon, the foregoing matter
9 went off the record at 11:04 a.m. and went
10 back on the record at 11:13 a.m.)

11 MS. SAMPSEL: So we think things
12 have been, or we know things have been
13 readjusted and now the votes will now align
14 with the review forms that we all worked on.

15 And so we'll pick up with
16 feasibility here and just wanted to start
17 again with the discussion about feasibility
18 and since this was one of the measures that I
19 reviewed, you know, I think in the general
20 terms of is the measure feasible for reporting
21 by the intended audience of reporting, which
22 would be health plans and integrated delivery

1 systems, this measure does seem to meet all
2 feasibility criteria.

3 It seems that some of the
4 discussions that happened on the workgroup
5 were more about can you capture the codes and
6 could a plan capture a code because they are
7 dependant on claims and, especially with the
8 new codes on the risk assessment.

9 So with that I will go ahead and
10 open up for any other additional questions or
11 comments that folks had about feasibility of
12 this measure before we go to vote on
13 feasibility.

14 (Pause)

15 MS. SAMPSEL: Okay, go ahead,
16 Kaitlynn.

17 MS. ROBINSON-ECTOR: Okay. For
18 feasibility 3(a), data generated during care,
19 3(b), electronic sources, and 3(c), data
20 collection can be implemented, eMeasure,
21 feasibility, assessment of data elements and
22 logic.

1 One is high, two is moderate,
2 three is low, and four is insufficient, and
3 voting begins now.

4 (Pause)

5 MS. ROBINSON-ECTOR: Okay, we're
6 still waiting on two votes and there's about
7 30 seconds left. Okay, we're still waiting on
8 one vote and there's 20 seconds left. Still
9 waiting on one more vote. Okay, we have all
10 of our votes and voting closes now.

11 Okay, for high there were 14
12 votes, for moderate there were six votes, for
13 low there was one vote.

14 MS. SAMPSEL: Jane?

15 MS. CHIANG: Is abstaining from a
16 vote an option?

17 MS. SAMPSEL: No.

18 MS. MUNTHALI: So the only reason
19 that we would, you know, say that someone
20 could abstain is if they were involved in
21 measure development.

22 We really value everyone's

1 perspective, so perhaps you can, if you'd like
2 to say the reasons why you're uncomfortable
3 with the criteria and how this measure meets
4 it or does not we can note it for our public
5 record and the report.

6 MR. FRANCE: Just a process
7 comment. I find it a little distracting when
8 I'm trying to decide to hear "still two votes,
9 still one vote, 20 seconds."

10 I'd prefer if it were quiet when
11 I'm voting personally and then at the moment
12 that everybody's done we're done rather than
13 the commentary about how many votes are left.

14 MS. MUNTHALI: Okay, thank you.
15 That's noted, Kaitlynn.

16 MS. SAMPSEL: Okay. We'll now
17 move onto usability and use of this measure,
18 and prior to going to vote are there any other
19 comments or questions?

20 You know, during the workgroup
21 discussion and comments received prior to the
22 meeting there really weren't any comments on

1 this part of it, but this is the criterion
2 regarding extent to which potential audiences
3 are using or could use performance results for
4 both accountability and performance
5 improvement.

6 And I know the DQA talked about
7 that a little bit to address some other
8 questions. So any other questions or comments
9 regarding usability? Go ahead, Kaitlynn.
10 Oops, sorry. David?

11 MR. KROL: So this one for 4(b)
12 for the progress demonstrated as far as, I
13 don't know, this is the one where there may be
14 a combination of some, the question that came
15 up earlier about (a), (b), (c) trying to find
16 out how you weigh each of those to get to your
17 final number.

18 Because this is so new in Texas
19 and maybe Connecticut, I'm not sure that
20 they've gotten to the point where they're
21 showing if it's having sufficient evidence to
22 see if progress has been demonstrated on

1 improvement yet.

2 So, for instance, in my, I'm not
3 sure how I'll factor in an insufficient for
4 4(b) with other numbers for the others. I
5 don't want to give a, you know, does one
6 insufficient of those three make the whole
7 thing insufficient? I have some challenge on
8 that one, 4.

9 MS. KHAN: So I would note that as
10 part of 4(b) if it's a new measure we're not
11 really looking for if there's been progress
12 demonstrated, but if the rationale exists.

13 So there are some nuances for
14 maintenance measures versus the new measures
15 and we've tried to note them in the voting
16 slides, but essentially we just want to make
17 sure that it's, there's a credible rationale
18 for demonstrating improvement as part of 4(b),
19 yes.

20 MS. SAMPSEL: Okay, Kaitlynn.

21 MS. ROBINSON-ECTOR: Okay. So for
22 usability and use, 4(a), accountability,

1 transparency, use and accountability within
2 three years, public reporting within six
3 years, or if new, credible plan, and 4(b),
4 improvement, progress demonstrated, if new
5 credible rationale, and 4(c), benefits
6 outweigh evidence of unintended negative
7 consequences to patients and/or populations.

8 One is high, two is moderate,
9 three is low, four is insufficient, and voting
10 begins now.

11 (Pause)

12 MS. ROBINSON-ECTOR: Okay. We now
13 have 21 votes and voting will end. For high
14 there were nine votes, for moderate there were
15 11 votes, for low there was zero votes, for
16 insufficient information there was one vote.

17 MR. CARILLO: Just a question.
18 For me, I don't if anybody else, it's kind of
19 hard to see the small print on that screen.
20 I wonder whether they can be substituted for
21 this display here?

22 MS. KHAN: Unfortunately --

1 MR. CARILLO: For displaying --
2 You can't.

3 MS. KHAN: -- the software that we
4 use for voting can only be displayed on that
5 laptop.

6 MR. CARILLO: I see.

7 MS. KHAN: And so that's why, but
8 --

9 MR. CARILLO: All right, I'll move
10 up if I have to.

11 MS. KHAN: Okay.

12 MR. CARILLO: Thank you.

13 MS. SAMPSEL: So we can move onto
14 the overall vote for endorsement.

15 MS. ROBINSON-ECTOR: Okay. So
16 does the measure meet NQF criteria for
17 endorsement? Note, this may not yet be a
18 recommendation for endorsement. Final
19 recommendation for endorsement may depend on
20 assessment of any related and competing
21 measures.

22 One is yes, two is no. Voting

1 begins now.

2 (Pause)

3 MS. ROBINSON-ECTOR: Okay, we now
4 have all of our votes and voting will close.
5 So for the recommendation of the measure there
6 were 18 votes for yes and three votes for no.
7 So the measure will pass recommendation.

8 MS. MUNTHALI: And just for our
9 transcript and our recording, the measures
10 2508, Prevention of Dental Sealants for 6 to
11 9 Year Old Children at Elevated Caries Risk.

12 MS. SAMPSEL: Okay. So as, you
13 know, both Helen and Elisa and I announced
14 earlier this morning, the first measure's
15 always the hardest one and, you know, we kind
16 of go through some quirks, we learn about the
17 process, and we learn about working together
18 for the first one.

19 And we'll probably continue to do
20 that through the rest of the measures, but
21 we'll go ahead and move on to 2509 and before
22 doing so I want to do a couple things.

1 One, we're going to switch the
2 process a little bit and have the discussants
3 go through each criterion one at a time so we
4 don't forget anything from, you know, if we go
5 through it all together then we're at jeopardy
6 of forgetting some of those conversations by
7 the time that we vote.

8 So we'll go through Criterion 1,
9 we'll go through and vote, go through
10 Criterion 2, vote, go through Criterion 3 and
11 vote.

12 You know, appreciate the concern
13 about announcing of the votes, but what we'll
14 ask Kaitlynn to do is at 45 seconds in if she
15 still doesn't have all 21 just notify folks
16 because we do need to get all of the votes in
17 within that 60 seconds or we'll have to all
18 re-vote and we want to avoid that as well.

19 Anything other process wise we
20 want to --

21 MS. MUNTHALI: No, that's it.

22 MS. SAMPSEL: Okay, so with that,

1 oh, John?

2 MR. AUERBACH: You know, I hope
3 this isn't inappropriate, but for those of us
4 who are new I wonder if we could take five
5 minutes just to have a discussion about
6 process or just ask questions, or about the
7 appropriateness of, you know, participating in
8 particular ways?

9 MS. SAMPSEL: Sure.

10 MR. AUERBACH: There's a cluster
11 of us that are new down this end.

12 MS. SAMPSEL: Okay.

13 MR. AUERBACH: And I just think it
14 would be helpful to know certain things that
15 I think maybe the more experienced folks know.

16 MS. SAMPSEL: Okay, ask away.

17 MR. AUERBACH: Well I'll start,
18 but I hope I'm not the only one. It would be
19 helpful for me to understand the expectations
20 with regard to committee members and
21 presenting as we go through.

22 I didn't come in with any

1 particular expectations about formalized
2 presenting and so just the, a little bit of
3 just discussing the balance between committee
4 members versus the developers of the criteria,
5 or proposals, would be helpful for example.

6 MS. SAMPSEL: Okay. And I'll let
7 NQF staff respond to this as well regarding
8 their expectations, but I think this is an
9 area that past committee members can help with
10 as well.

11 And, you know, my perspective is,
12 you know, if you have a question ask it. You
13 know, if it's helping or if there's something
14 you want answered in order to understand how
15 you would want to vote.

16 But when we're presenting the
17 measures, at least my perspective is to do the
18 highlights and stop at those things either in
19 the workgroup that we brought up or as I
20 myself in understanding the measures would
21 want some kind of explanation for in order to
22 give a response to and that's where I think

1 it's the improved process of having the
2 developers here in the room as well.

3 So, you know, I don't think it's,
4 you know, it's not necessary for you to go
5 into extraordinary detail, but to be able to
6 hit those things that really could impact a
7 vote, you know, with any of the criterion.

8 MS. MUNTHALI: And just to add, we
9 have just put up on the screen a script for
10 introducing measures for the discussion and we
11 are going to copy them for the entire
12 committee and this is something we sent out
13 with materials in preparation for today's
14 meeting, and really just to be, as Sarah said,
15 just to give highlights of the criterion in
16 order.

17 So for importance we'd give, just
18 as we did, for evidence, opportunity for
19 improvement, impact, and then we'd move on to,
20 we'd for each one of those, after each one,
21 we'd have a committee discussion and committee
22 vote on whatever sub-criterion that is, and so

1 we've indicated so on the script.

2 And then we'd go down to the next
3 criterion of scientific acceptability to
4 measure and report, and then we would talk
5 about reliability, have a committee
6 discussion, vote on that, go down to validity,
7 have a committee discussion, vote on that, and
8 then we'd also go to feasibility, vote on that
9 criterion, and then we would go to use and
10 usability and have a discussion and vote on
11 that.

12 So just very high level, a
13 synopsis of what the workgroup discussed, any
14 of the issues that came up during the
15 workgroup we'd like to discuss as well, and
16 this is the opportunity to really ask
17 developers who are here.

18 We appreciate your attendance and
19 your explanation in advance of some of the
20 issues that came up in the workgroup, but this
21 is your opportunity to ask questions of them
22 as well.

1 MS. KHAN: And I just want to add,
2 we are getting copies for you, but if you, in
3 the meantime, it is posted on the SharePoint
4 site, so you can, if any of you have your
5 laptops you can pull it up and just kind of
6 follow the way the outline works, and
7 hopefully that'll streamline the discussion
8 quite a bit.

9 MS. SAMPSEL: Jane?

10 MS. CHIANG: So just to reiterate
11 what John said, I think what we're asking for
12 is in regard to the voting process there, we
13 can have a robust discussion about the various
14 measures, et cetera, but when there are times
15 when you have a series of questions and you
16 are asked, kind of like what David was saying,
17 you have to weigh these different factors, it
18 makes it very difficult.

19 Because these are important
20 measures it makes it very difficult for me to
21 rank order them quickly and then to make a
22 vote. And I guess I'm a little cautious

1 because we can all say that yes, for (a), (b),
2 and (c) it meets the high, but then there's a
3 couple that are concerning, do we just give
4 that whole thing an insufficient measure or a
5 low, insufficient evidence or low?

6 So that's kind of what I'm
7 referring to, and the other thing that I'd
8 like to hear a little bit more that if there
9 is, if I prefer to abstain from a vote of if
10 there's disagreement where is, at what point
11 do we talk about that?

12 So I would like to know what's the
13 process for that?

14 MS. MUNTHALI: Yes, those are very
15 good questions and that's why we've come up
16 with the algorithms as a guidance to
17 evaluating the measures, but also we would
18 like you to talk about those issues.

19 We realize that consensus doesn't
20 mean agreement by the entire committee and so
21 we, even if you have some concerns we want to
22 represent the opinions, all of the opinions of

1 the Committee in our final report. That will
2 be the recommendation on the different
3 measures.

4 And so, but I'd like to hear what
5 other Committee think and I think, Arjun, you
6 have a question.

7 MR. VENKATESH: Yes. I think it's
8 related to that in a sense that one of the
9 criticisms of the previous consensus
10 development process has been that it was not
11 consistent within committees.

12 And so that a measure, you know,
13 may not be found to have validity, but a very
14 similar measure could come to that committee
15 later on and then be viewed as such.

16 And I think one of the things
17 we're struggling with as a new group coming
18 here is getting some general sense of what
19 that shared consistency and reliability looks
20 like within the way we vote, you know.

21 So when I say moderate am I
22 thinking the same thing as somebody else when

1 they say moderate? Would it be too much to
2 ask if we said that in the kind of, either
3 during the lead discussant portion of
4 presenting kind of the workgroup's thoughts
5 about a measure or when people speak about
6 this measure that to some degree you kind of
7 say, you know, I think, I feel like it's a
8 moderate because of this or whatever the
9 concern is?

10 And I think that that would then
11 help people understand how to, kind of in a
12 shared way, interpret things that they see?

13 MS. MUNTHALI: Yes, absolutely.
14 And this, I would just call the committee's
15 attention again to the algorithms because the
16 algorithms were developed for that reason, to
17 bring about consistency.

18 So we're applying these and using
19 them in all of our consensus development
20 projects. So it's giving you guidance on
21 where you would rate that measure based on the
22 criterion and sub-criterion.

1 And I think definitely, this is
2 the day that you are voting on those measures
3 and so I think it's really within your purview
4 to say this is the reason why, but we want to
5 have some sort of rationale associated with it
6 that is based on something that can be applied
7 for another measure that may come up during
8 the next cycle of Health and Well Being
9 measures.

10 So that's really the reason why we
11 put together the guidance because we knew
12 people were struggling. It's really, really
13 hard to kind of come up with standardization
14 when you're using, you know, this scientific,
15 you know, measure evaluation guidance, but
16 also subjectivity depending on what your
17 perspective is.

18 MS. NISHIMI: And I really do want
19 to emphasize folks having the algorithm in
20 front of them as they think about the high,
21 low, moderate.

22 They are really intended to remove

1 the subjectivity and sort of group think,
 2 loudest voice, saying it's low type of thing.
 3 I mean it's really important for you to sort
 4 of internalize the messaging that's carried
 5 within this document.

6 It's developed specifically to
 7 even out steering committee performance.

8 MS. MUNTHALI: Are there other
 9 questions about the process?

10 MS. KHAN: I will add that if we
 11 do have a vote where we have a significant
 12 number or even like one or two people that
 13 were in disagreement we are more than welcome
 14 to capture your comments as part of our
 15 report.

16 We actually really do look for
 17 that feedback as to why someone didn't agree
 18 with why a measure didn't meet the criteria,
 19 so feel free to share your thoughts whenever.

20 MS. SAMPSEL: Okay.

21 MS. KHAN: Oh, I think Patty --

22 MS. SAMPSEL: Oh, I'm sorry, go

1 ahead.

2 MS. MCKANE: There it goes. When
3 do we have that opportunity to share our
4 thoughts that may be, some of mine I know were
5 a little bit divergent and it's based on my
6 background and my perspective of this, and
7 also my interpretation of your algorithm.

8 So is that going to be after the
9 close of the meeting or, do I have to remember
10 that or do we speak out at other times? And
11 I'm also, you know, one of the people that
12 I'm, this is, and I also see like a lot of
13 gray areas sometimes where it's just not
14 clear, you know.

15 When I'm doing this, you know,
16 there's tons of different interpretations and,
17 you know, I know you're trying to bring
18 consistency and to try to ensure that
19 everybody has a voice and that's great, but in
20 some ways it becomes difficult for me to
21 translate all that into a vote.

22 Because sometimes I see things as

1 being, I'll see it has strengths here, it has
2 weaknesses here, and is that weakness, does
3 that override, and to me in some places that's
4 so important, it's not being addressed, but
5 when I have to follow that algorithm then that
6 goes away.

7 MS. KHAN: So what I would say is
8 that as we move towards using kind of this
9 script as the way we're going to have
10 discussion is that if you have an issue with
11 one of the criteria, say evidence for example,
12 I would bring it up during that discussion, we
13 would take the vote, if you wanted to make a
14 comment post-vote we would more, we'll capture
15 that in the transcript and we'll have that in
16 the report as well.

17 So it's really just whenever the
18 Committee has the discussion. We don't want
19 to be too prescriptive either because we want
20 the conversation to kind of flow naturally,
21 but I would say I think going to this kind of
22 script model will be helpful for everyone to

1 be able to voice their opinion in an organized
2 way.

3 MS. SAMPSEL: Okay. Any other
4 questions about process? Then we will go
5 ahead and move to Measure 2509 and prior to
6 the discussion leaders starting I wanted to
7 find out, Krishna, Dr. Crall, any brief
8 comments about this measure?

9 MS. ARAVAMUDHAN: I'll keep it
10 really brief. This is very, very similar to
11 the previous measures it simply goes to the
12 next age cohort when the next molar comes into
13 the mouth and, again, the rationale,
14 everything is the same.

15 So I don't want to belabor it, I
16 just want to point out one thing that during
17 the break we had a conversation about the
18 measure testing and validity where we have
19 very high kappa statistics to demonstrate that
20 there is a lot of concordance with the claims
21 data as well as the chart reviews.

22 We can definitely have Dr. Herndon

1 present a little bit more if you have any
2 questions regarding that, but I did want to
3 call that out to your attention.

4 MS. SAMPSEL: Robert?

5 MR. VALDEZ: I'm sorry, I didn't
6 get my tag up fast enough. This actually
7 isn't about the measures, it's a follow up to
8 this last discussion.

9 The one are that we don't as a
10 group have a chance to really talk is whether
11 or not we in fact are endorsing or not
12 endorsing after we've taken all of these
13 criterion into account, because one of the
14 things that we're asked to think about is,
15 well how does this measure fit with other
16 measures that are likely to come?

17 The developers in fact talked to
18 us in this last measure as an example that
19 they had a measure that was dental, they had
20 a measure that was oral, and they had a
21 measure that was dental or oral to capture the
22 fact that the children in fact had received

1 the arrangements.

2 But we never really had an
3 opportunity to talk about that before we were
4 asked to actually vote on that kind of issue,
5 because it's not really part, it wasn't really
6 part of the discussion piece.

7 So it would helpful to at least
8 have some discussion about the overall
9 assessment of endorsements as a group because
10 it requires us to in fact create all these
11 other things plus the sense of the family of
12 measures that potentially could be brought
13 forth.

14 MS. MUNTHALI: Absolutely, and I
15 think that would be another discussion point
16 for tomorrow when we talk about the portfolio
17 in more detail when we're talking about GAPs
18 and harmonization.

19 I think one of the recommendations
20 you can make is, you know, to see a measure
21 like DQA said that they are in the process of
22 developing. I think that is within the

1 committee's purview.

2 MS. SAMPSEL: Okay, so with that
3 Measure 2509, Margaret, Ron, which of you were
4 going to lead?

5 (Off microphone discussion)

6 MS. SAMPSEL: Oh, okay. So Ron by
7 default.

8 MR. INGE: By default just like on
9 our conference call this measure is very
10 similar to the one we just discussed. All of
11 the evidence, all of the parameters are the
12 same.

13 We're simply looking at a
14 different tooth in the mouth based upon the
15 eruption pattern. I'm not sure if you want me
16 to go through each step again because we'll
17 repeat what we spoke about the first time, so
18 I'm asking that question.

19 MS. SAMPSEL: Yes. I mean I don't
20 think it's necessary to go through the same
21 talking points.

22 MR. INGE: Okay.

1 MS. SAMPSEL: But what we'll want
2 to do is go ahead and start with Criterion 1
3 so then we can ask to go to a vote and to the
4 same option, open it up to see if anybody has
5 any different discussion on Criterion 1 to
6 start with.

7 MR. INGE: Okay.

8 MS. SAMPSEL: And then as we go
9 through.

10 MR. INGE: Okay. And we lost
11 Kaitlynn.

12 FEMALE PARTICIPANT: She's
13 standing out --

14 MS. SAMPSEL: Oh, there she is.

15 MR. INGE: Okay, so Criterion 1 is
16 the evidence. It's stated similar to the
17 first in regards to the prevalence of decay
18 and also the studies that support the
19 placement of sealants for the reduction of
20 decay.

21 I think that's really the only
22 real comment about the evidence from that

1 standpoint. Performance in the measure,
2 again, something that can be captured through
3 CDT codes that are very commonly used to
4 identify risk.

5 It's also an opportunity with the
6 new risk codes as well as the use of history,
7 that is the restoration that had been placed
8 previously, will also help to define risk of
9 a patient population.

10 MS. SAMPSEL: Okay. From the
11 committee, for Criterion Number 1 and
12 importance to measure and report were there
13 any other additional questions or comments
14 that anybody wanted out on the table for
15 discussion before vote?

16 Okay, Kaitlynn. Oh, I'm sorry,
17 Jane?

18 MS. CHIANG: So is the evidence
19 better or is it the same?

20 MR. INGE: It's basically the
21 same.

22 MS. CHIANG: Okay, thank you.

1 MS. ROBINSON-ECTOR: Okay. For
2 1(a), evidence outcome, health outcome with
3 rationale yes, or quantity and quality,
4 consistency of body of evidence, moderate or
5 high.

6 MS. KHAN: It's actually 1(a),
7 evidence for a processed measure.

8 MS. ROBINSON-ECTOR: Oh, gosh,
9 okay. There we go. Okay, so 1(a), evidence
10 process, so 1(a), evidence for quantity or
11 quality, consistency from SR was submitted,
12 box 5(a) high, 5(b) moderate, 5(c) low.

13 If QQC not submitted and graded
14 guideline recommendation box 6, yes moderate,
15 no low. If empirical evidence without SR box
16 9, yes moderate, no, no, low, sorry.

17 If expert opinion, box 12, yes,
18 insufficient without exception, no,
19 insufficient. One is high, only eligible if
20 QQC submitted, two is moderate, three is low,
21 four is insufficient evidence, five is
22 insufficient evidence with exception.

1 MS. KHAN: Right. So we're
2 looking at algorithm 1, it's the first page,
3 guidance for evaluating the clinical evidence,
4 and the box numbers are the ones that are
5 called out into the voting slide.

6 (Pause)

7 MS. SAMPSEL: Okay, are folks
8 ready to vote?

9 MS. ROBINSON-ECTOR: Okay. The
10 vote is now open.

11 (Pause)

12 MS. ROBINSON-ECTOR: Okay, there
13 are two more votes out.

14 (Pause)

15 MS. ROBINSON-ECTOR: Okay. All
16 votes are in. For 1(a), evidence, seven voted
17 for high, there were 14 votes for moderate,
18 zero votes for low, zero votes for
19 insufficient evidence, and zero votes for
20 insufficient evidence with exception.

21 MS. SAMPSEL: Thank you. So, oh,
22 sorry, we're on 1(b).

1 MS. ROBINSON-ECTOR: Okay, so
2 1(b), performance gap, data demonstrated
3 considerable variation or overall less than
4 optimal performance across providers and/or
5 population groups, disparities and care.

6 One is high, two is moderate,
7 three is low, and four is insufficient.
8 Voting begins now.

9 (Pause)

10 MS. ROBINSON-ECTOR: All votes are
11 in and voting will end now. For 1(b) there
12 were 13 votes for high, eight votes for
13 moderate, zero votes for low, and zero votes
14 for insufficient.

15 MS. SAMPSEL: And, Ron, before we
16 move to vote on this, for 1(c) were there any
17 notes, comments, regarding priority on this
18 measure?

19 MR. INGE: None that I have. Let
20 me just look.

21 MR. MCINERNEY: On Page 64 it says
22 that the high priority yes because it was

1 specifically requested by CMS and it was
2 recommended as moderate.

3 MS. ROBINSON-ECTOR: 1(c), high
4 priority, addresses a specific National health
5 goal, priority, or data demonstrated at a high
6 impact aspect of healthcare, numbers affected,
7 resource, use, severity, consequences.

8 One is high, two is moderate,
9 three is low, and four is insufficient.
10 Voting begins now.

11 (Pause)

12 MS. ROBINSON-ECTOR: We now have
13 all 21 votes and voting will close. For 1(c),
14 high priority, there were 16 votes for high,
15 four votes for moderate, one vote for low, and
16 zero votes for insufficient.

17 MS. SAMPSEL: Okay, so we'll move
18 into the next area of scientific acceptability
19 and, Ron, I'll ask you if you'll look at the
20 discussant guide and just if you could
21 highlight those areas in that guide.

22 What we want to make sure, and

1 again this is just going back to process, is
2 making sure that we do for each measure,
3 consider them individually, that even though
4 these all came together, they are individual
5 measures.

6 And, you know, we're not going to
7 ask anybody to repeat the conversation that we
8 just had on the other measure, at the same
9 time we do want to get on record that we had
10 this conversation, that we are all considering
11 the correct measure, which was 2509, and let's
12 just bring up the specific details about the
13 measure regarding numerator, denominator, and
14 scientific acceptability properties.

15 MR. INGE: So in regards to the
16 liability and validity the methodology --

17 (Off microphone discussion)

18 MR. INGE: The methodology that
19 was put forth is very specific. The tooth
20 number, the use of identifying codes, very
21 specific, was repeatable in two different
22 populations, so I felt that it had a high

1 reliability as well as validity within the
2 measure.

3 MS. SAMPSEL: Krishna, go ahead.

4 MS. ARAVAMUDHAN: Sure. I do want
5 to point out here once again that this is
6 where, you know, we failed to mention last
7 time that we do have very high kappa scores.

8 We did conduct testing to seek, in
9 accordance with reviews, to whether it's the
10 claims data, so very high kappa scores in
11 terms of inter-rater liability.

12 We also had data for different
13 calendar years as well as data between
14 different plans, so all that information for
15 this measure is available within your measure
16 tasking form.

17 MS. SAMPSEL: And other questions,
18 comments, concerns, about scientific
19 acceptability? Go ahead, Ron.

20 MR. BIALEK: I've been grappling
21 with the criteria that the, the document that
22 has the population health guidance on that and

1 it, in 2(b)(5) it talks about will the
2 measure, you know, allow for determining a
3 variation across populations and improving
4 health.

5 Or there is evidence of overall
6 less than optimal performance of significant
7 variation across populations and so, I mean
8 the way I'm interpreting that is that if there
9 is noted disparities as has been suggested by
10 the measure developers then those disparities
11 should be able to be teased out in the
12 measure. Is that what this says or not?

13 MS. MUNTHALI: Adeela, can you
14 actually pull up the guidance so we can walk
15 the committee through it? It's the Population
16 Health Measure Evaluation Guidance.

17 (Off microphone discussion)

18 MS. MUNTHALI: It's the one
19 before, yes.

20 Okay, so what we did just for
21 background, earlier when I mentioned the first
22 project on population health measures I talked

1 about the foundation of work that the
2 committee did and part of that was really
3 looking at the NQF measure evaluation criteria
4 to see if the criteria are applicable to
5 population level measures.

6 And by and large the committee
7 felt that they were, but with some nuances or
8 guidance around nomenclature on making sure
9 that the references that we had to the
10 healthcare system were applicable and more
11 widely applied to population health settings.

12 And so, Ron, you were talking
13 about 2(b)(5)?

14 MR. INGE: Yes.

15 MS. MUNTHALI: Okay. And so what
16 you have on the left side is our current
17 measure evaluation guidance for non-population
18 based measures and what you have on the right
19 side is the guidance that the committee came
20 up with.

21 What the added is the text in red.
22 And so Ron is talking about the variation

1 across populations and improving health and
2 wondering whether or not this, would this sort
3 of difference in population should be
4 reflected in the measure as disparities.

5 And so this is one thing you might
6 factor in as you're rating the, I think it's
7 validity, is it? Am I correct? Yes.

8 MALE PARTICIPANT: Yes.

9 MS. MUNTHALI: So, Krishna, did
10 you want to respond to -- Okay, they haven't
11 done so.

12 MS. ARAVAMUDHAN: We do have data
13 there to show you disparities by age, by
14 different things, so, yes, there are
15 disparities in population and yes, we hope
16 that this measure will trigger improvement in
17 those areas.

18 MS. MUNTHALI: And we just wanted
19 to let everyone know this is available on your
20 SharePoint site. So you can refer to it as
21 you're evaluating these measures.

22 MS. ROBINSON-ECTOR: Okay. So

1 2(a), reliability, including 2(a)(1), precise
2 specifications, 2(a)(2), testing, appropriate
3 method, and scope with adequate results.

4 One is high, two is moderate,
5 three is low, and four is insufficient, and
6 voting begins now.

7 (Pause)

8 MS. ROBINSON-ECTOR: Okay, we're
9 still waiting for two votes.

10 (Pause)

11 MS. ROBINSON-ECTOR: One vote.

12 (Pause)

13 MS. ROBINSON-ECTOR: So we missed
14 one vote, so we have to go back and enter it
15 again. Okay, voting begins now.

16 (Pause)

17 MS. ROBINSON-ECTOR: We have all
18 of the votes and voting is now closed. Okay,
19 so for high there were five votes, there were
20 15 votes for moderate, zero votes for low, and
21 one vote for insufficient.

22 Okay. So for validity, including

1 2(b)(1), specifications consistent with
2 evidence, 2(b)(2), testing, appropriate method
3 and scope with adequate results and threats
4 addressed, 2(b)(3), exclusions, 2(b)(4), risk
5 adjustment/stratification, 2(b)(5), meaningful
6 differences, 2(b)(6), comparability, multiple
7 specifications, 2(b)(7), missing data,
8 eMeasures, compositives, PROs, PMs.

9 One is high, two is moderate,
10 three is low, and four is insufficient.
11 Voting begins now.

12 (Pause)

13 MS. ROBINSON-ECTOR: We now have
14 all of our votes and voting will close. There
15 are four votes for high, 16 votes for
16 moderate, one vote for low, and zero votes for
17 insufficient.

18 MS. SAMPSEL: So before we move
19 onto the next major criterion I just wanted to
20 pause for a minute and, you know, to reflect
21 and see if there were any overall concerns
22 that anybody wanted to air about the

1 scientific acceptability of this measure?

2 Okay. So then moving into
3 feasibility and, Ron, again, this goes back to
4 the measure worksheet and any comments that
5 should be brought up would be about data
6 sources, if you could comment data sources,
7 and if any feasibility concerns had been
8 brought up regarding this measure before we
9 vote.

10 MR. INGE: The only concern would
11 be that the data source is claims data and
12 that claims data does not account for those
13 individuals who have not entered the system,
14 that would be the only challenge around the
15 data source.

16 MS. SAMPSEL: Okay. Any other
17 questions or comments about feasibility?
18 Okay, Kaitlynn.

19 MS. MCKANE: It's just that it's,
20 the claims are within the Medicaid claims,
21 right?

22 MR. INGE: Medicaid or commercial.

1 MS. MCKANE: Or commercial?

2 MS. SAMPSEL: So these are
3 Medicaid and commercial, Krishna?

4 MS. ARAVAMUDHAN: I'm sorry, yes.
5 The measure will apply to both Medicaid and
6 commercial sectors. So as was pointed out
7 before, anyone who has not had a touch point
8 with a system, who's had no claims, but is
9 sort of enrolled just not seeking care, will
10 not be reflected in this measure, but it goes
11 back to the point that we made that there's no
12 one magic measure, there are other measures
13 like the utilization which will pickup
14 enrollees who are not using the system.

15 MS. SAMPSEL: David?

16 MR. KROL: Yes. That just, it
17 just struck me that that brings up the
18 question if the individual has come for the
19 first time to see the dentist and how does the
20 risk status, if the risk status at that first
21 visit isn't determined by the three CDT codes
22 then presumably they wouldn't have any risk

1 status at all because the basis of risk
2 status, the alternative basis of risk status
3 is based on previous interaction with the
4 system by having had a number of CDT codes
5 before.

6 So what happens to the individual
7 that's never had an interaction with the
8 system and this is their first time being
9 measured? The first time, do you follow what
10 I'm saying?

11 MS. ARAVAMUDHAN: Okay, yes. We
12 tried to do things like add some more risk
13 logic to say okay, if they have never been
14 with the system automatically bump them up to
15 high risk and capture that.

16 But there were validity issues, we
17 went through a whole face validity process
18 with that and there was simply not agreement
19 that that would be a good thing to do with the
20 measure, especially being a performance
21 measure.

22 So what passed the face validity

1 is use this measure to identify the core group
2 that can be identified using claims. Now
3 let's say we had great performance with that
4 core group, we'd say okay, it's time to move
5 on, let's figure out where the kids were
6 losing.

7 Now because we're seeing a
8 performance gap even with the kids that can
9 identified using claims data, this is a
10 measure to push towards that. So eventually
11 by then we are hoping that the CDT codes will
12 kick in.

13 The user services, the oral
14 evaluation measures will help improve that
15 access concern and then move the system
16 forward. So this is meant just for that core
17 group that can be identified as high risk.

18 MR. KROL: Okay, so they wouldn't
19 be included in the denominator, those folks
20 that came for the first time --

21 MS. ARAVAMUDHAN: Now denominators
22 simply are enrollees, it doesn't even require

1 a use of the health system.

2 MR. KROL: Well actually it's not,
3 it's enrollees who are at elevated risk.

4 MS. ARAVAMUDHAN: They are at --

5 MR. KROL: So, and you just said,
6 if I heard you correctly, it's individuals who
7 have not had a visit before and don't have one
8 of the three CDT codes that define risk, they
9 will not, you won't be able to assess risk?

10 MS. ARAVAMUDHAN: Correct. So
11 they have to be in the system this year or the
12 past three years. So then you could identify
13 them as the core group.

14 So you're right that we will lose
15 some people, but, again, the point was if we
16 did great with that core group that absolutely
17 needed this prevention we'd be looking at the
18 next set, but we just aren't doing so well
19 even with that core group.

20 MR. CRALL: And I was just going
21 to add, this is an older group and it is the
22 group that historically we know is most likely

1 to use services within the pediatric
2 population, sorry.

3 MS. SAMPSEL: Any other questions
4 or concerns? Kaitlynn.

5 MS. ROBINSON-ECTOR: For
6 feasibility, 3(a), data generated during care,
7 3(b), electronic sources, and 3(c), data
8 collection can be implemented, eMeasure,
9 feasibility, assessment of data, elements, and
10 logic.

11 One is high, two is moderate,
12 three is low, four is insufficient. Voting
13 begins now.

14 (Pause)

15 MS. ROBINSON-ECTOR: Thank you.
16 We now have all of our votes and voting will
17 close. For feasibility there were 13 votes
18 for high, eight votes for moderate, zero votes
19 for low, and zero votes for insufficient.

20 MS. SAMPSEL: Okay, and we'll move
21 into usability and use and I think, as has
22 been previously stated, this measure is in

1 current use and has been required some States.

2 Ron, were there any other comments
3 gathered on usability and use?

4 MR. INGE: No, just that it's in
5 limited use at this time.

6 MS. SAMPSEL: Krishna, anything?

7 MS. ARAVAMUDHAN: Nothing. It's a
8 new measure and this is very parallel to the
9 previous measure.

10 MS. SAMPSEL: And, Kaitlynn.

11 MS. ROBINSON-ECTOR: Usability and
12 use, 4(a), accountability, transparency, used
13 in accountability within three years, public
14 reporting within six years, or if new,
15 credible plan, and 4(b), improvement, progress
16 demonstrated, if new, credible rationale, and
17 4(c), benefits outweigh evidence of unintended
18 negative consequences to patients/populations.

19 One is high, two is moderate,
20 three is low, and four is insufficient, and
21 voting begins now.

22 (Pause)

1 MS. ROBINSON-ECTOR: We need one
2 more vote. All of the votes are in and voting
3 will now close. For usability and use there
4 were ten votes for high, nine votes for
5 moderate, one vote for low, and one vote for
6 insufficient information.

7 MS. SAMPSEL: Okay. So before we
8 go to the overall suitability for endorsement
9 we'll go ahead, again, and pause for any
10 questions or comments or concerns because this
11 is the overall vote.

12 MS. ASOMUGHA: So I don't know if
13 it's a concern or an anxiety, but I know we're
14 supposed to be looking at these measures
15 individually, but you could almost assume that
16 the previous one is like a pair to it,
17 correct, or no? I mean --

18 MS. ARAVAMUDHAN: I don't quite
19 understand the concept of a paired measure as
20 NQF uses that term.

21 MS. ASOMUGHA: Oh.

22 MS. ARAVAMUDHAN: I see paired

1 measures more as in order to interpret the
2 measure you have to have both these scores, if
3 I'm thinking right, that's not the case with
4 this. It can stand independently.

5 MS. ASOMUGHA: Sorry, I wasn't
6 using it in the way that NQF is using it.

7 (Laughter)

8 MS. ARAVAMUDHAN: So with that I,
9 both the measures can stand independently. I
10 think from a best practice standpoint the
11 advice we would go to give to programs and
12 plans adopting these measures is use both
13 together because you want to make sure that
14 the child is being followed.

15 MS. ASOMUGHA: Right.

16 MS. ARAVAMUDHAN: The advantage of
17 using both together is, again, like Dr. Crall
18 pointed out, it's one sealant at one of the
19 four teeth.

20 The thing that we are, we're
21 trying to change provider behavior here, so to
22 track both measures will actually help see if

1 the system is keeping up.

2 MS. ASOMUGHA: Right, okay. Thank
3 you.

4 MS. MUNTHALI: I'm sorry. I just
5 wanted to clarify how we are using the term
6 paired, it is how you described it. So the
7 measures can stand on their own, but have
8 separate scores and that is different from a
9 composite where we would actually have the
10 measures reported together and they have one
11 single score.

12 So the committee could recommend
13 that if they wish, but I'll allow the
14 discussion.

15 MS. CHIANG: So I think, I had the
16 same question. I think that it's just --

17 MALE PARTICIPANT: Turn on the
18 microphone.

19 MS. CHIANG: I actually have the
20 same question and I think it's just to make
21 sure that we're consistent in our response,
22 right?

1 Because, and perhaps it could be
2 because maybe some of were new and really
3 didn't understand the voting process and maybe
4 we changed our answers since between the two,
5 but I had that same concern.

6 MS. ARAVAMUDHAN: If I might just
7 add, as a developer when I went into the
8 forums to submit the forms we had the ability
9 to give them as individual measures and also
10 check off a box to say if we believe that it
11 could be paired.

12 Now we did not check off the box
13 so it's coming to as an individual, but we
14 have no problem, you know, going back and
15 saying, you know, pair these as well.

16 I'm not understanding what it
17 would do to the health system in terms of
18 advocating it as a pair, so I would be, I
19 think we would be okay with having them
20 endorsed individually as well as paired, not
21 just going to the paired option which would
22 force the system to have two measures.

1 I think we don't want that. So if
2 we can look at individual endorsement as well
3 as a paired version if that's okay with the
4 committee.

5 MS. ROBINSON-ECTOR: Overall
6 suitability for endorsement, does the measure
7 meet NQF criteria for endorsement? Note, this
8 may not yet be a recommendation for
9 endorsement.

10 Final recommendation for
11 endorsement may depend on assessment of any
12 related and competing measures. One is yes,
13 two is no, and voting begins now.

14 (Pause)

15 MS. ROBINSON-ECTOR: We're still
16 waiting on one vote. All votes are in and
17 voting is now closed.

18 For overall suitability for
19 endorsement for Measure 2509, Prevention
20 Dental Sealants for 10 to 14 Year Old Children
21 at Elevated Caries Risk, there were 18 votes
22 for yes and three votes for no, so the measure

1 is endorsed.

2 MS. SAMPSEL: Okay, so with that,
3 one, lunch is here, but before we go to lunch
4 we need to open up for a public comment and,
5 Kathy, can you open the lines for public
6 comment please.

7 OPERATOR: Yes, ma'am. At this
8 time if you would like to make a comment
9 please press star then the number one on your
10 telephone keypad.

11 There are no public comments at
12 this time.

13 MS. KHAN: Okay, I believe we're
14 going to take a break for lunch. Everyone is
15 welcome to lunch. So we'll be back here at
16 12:35, 12:45, sorry, a half an hour.

17 (Whereupon, the foregoing matter
18 went off the record at 12:11 p.m. and went
19 back on the record at 12:48 p.m.)

20 MR. MCINERNEY: I want to thank
21 everyone for being so diligent and sitting
22 down again getting ready to go, on time, after

1 a short lunch break.

2 And just before the lunch break,
3 Elisa and Sarah and Adeela and I were
4 remarking that although the voting on the
5 first measure, I think there was some degree
6 of sort of a little confusion and a novice
7 feeling about how the whole process worked,
8 and then we did some explanation and changed
9 a little bit the methodology of voting on the
10 second measure, and I think people felt a
11 little bit more confident about that.

12 But as we looked at the votes on
13 the two measures, they really were fairly
14 similar. So I think we can feel pretty good
15 about the fact that even though we may have
16 felt at times a little uncertain about that
17 first vote, it really came out pretty well.

18 And once we were feeling a little
19 more knowledgeable, we ended up voting pretty
20 much the same way anyway on the second
21 measure. So that, I think, is good. And I
22 know this is, for those who haven't done this

1 before it can be a bit overwhelming.

2 Even though I'd been through the
3 process once before a couple of years or three
4 years ago, it's a pretty rigorous analysis
5 that we're required to do as we go through the
6 algorithms, and it does require a certain
7 amount of discipline to follow the guidelines
8 on how to do the voting and then judge these
9 measures.

10 So thanks everyone for sticking
11 with it, and we'll hopefully, as we move
12 through the afternoon, we'll feel a little bit
13 more confident with each vote, each measure.

14 So at this point we're moving on
15 to Measure Number 2528, the Prevention Topical
16 Fluoride for Children at Elevated Caries Risk,
17 Dental Services. And that is on Page 86 of
18 your measure worksheet. It's actually the
19 last, I think it's the last measure on the
20 worksheet. There are 91 pages on the
21 worksheet if you're on the same worksheet that
22 I'm on.

1 So the brief description of the
2 measure is the percentage of enrolled children
3 age 1 to 21 years who are at elevated risk,
4 that is, either moderate or high who received
5 at least two topical fluoride applications
6 within the reporting year.

7 And who wanted to lead the
8 discussion on this?

9 MS. SAMPSEL: Robert, did you want
10 to start or -- can you use your microphone?
11 And also, I guess, before we do that did the
12 Dental Quality Alliance have any quick
13 comments?

14 MS. ARAVAMUDHAN: I can give you a
15 quick overview of this measure. This is the
16 second preventive service in terms of what we
17 know is dentistry. This is again very well
18 supported by Cochrane Reviews and evidence
19 based guidelines.

20 It has been more than a decade
21 since we know that this works and we're still
22 seeing a performance gap. So hopefully

1 putting a measure in place will help us move
2 that needle forward.

3 The performance at the PC
4 requires, is both reflective of an access
5 problem as well as a performance problem at
6 the provider level in applying these sealants
7 of these fluoride, topical fluorides.

8 The one thing that I would like to
9 state that the measure is very specific to at
10 least two topical fluoride applications,
11 because we have evidence and guidelines that
12 shows that, you know, simply just one
13 application, it's not cutting it.

14 The guidelines definitely talk
15 about applications every three to six months,
16 and so anyone who's identified at a moderate
17 or high we'd like to at least see that two
18 varnish applications each year be met.

19 The risk criteria, the risk logic,
20 everything is the same as the previous
21 measures. The CAPA statistics, the
22 reliability, validity, everything was, scores

1 are all presented and very similar to the
2 sealant measures.

3 MR. MCINERNEY: Okay.

4 MR. BAER: The ambulatory care,
5 clinician office, clinic, is that just
6 dentists or are you including primary care
7 folks who are applying varnish?

8 MS. ARAVAMUDHAN: So this is again
9 a dental services measure like we went, and
10 there is a currently endorsed measure that's
11 a sister measure to this that measures the
12 oral health services.

13 MS. SAMPSEL: Now we'll ask
14 Robert, if you could, using the script that
15 was passed out so we can walk through each
16 criteria in this group and have discussion.

17 MR. VALDEZ: Okay. Evidence. The
18 committee in looking at this has certainly
19 found that there was moderate evidence to good
20 evidence. Although there was some questions
21 about the degree to which studies supported
22 this particular measure, its focus, it follows

1 ADA recommendations that were supported by
2 systematic review.

3 Did you want to do one? All of
4 them?

5 MR. MCINERNEY: I'd like to make
6 just one comment. There are pediatricians in
7 the country who will apply dental fluoride
8 especially for Medicaid patients. For
9 pediatricians, it's one of the few procedures
10 that we can do and get paid for.

11 And so not only because it's the
12 right thing to do, but also because we get
13 paid for doing it, some pediatricians who have
14 a high proportion of Medicaid patients will
15 apply dental sealants.

16 So what I'm a bit concerned about
17 with this measure is as you're looking at the
18 measure, if a child has had dental sealant
19 twice a year in a pediatrician's office, how
20 is that recorded or you just miss those?

21 MR. CRALL: I would say Dr.
22 McInerney, with the use of this measure it

1 would not look at those children. However, as
2 I showed on the initial slide, with what we
3 know from CMS and Medicaid data at least up
4 this point in time that applies to about four
5 percent.

6 And as you well know, most of the
7 programs around the country, across the
8 states, are really emphasizing sort of the
9 birth to three years or perhaps just a bit
10 beyond for the pediatrician again trying to
11 take advantage of the periodicity schedules
12 around well child visits and immunizations and
13 all those encounters that occur.

14 As we pointed out, we have a
15 parallel measure for this that is the oral
16 health as well as a measure that looks at
17 both. So we fully embrace the concept of
18 applying all those measures. This is the only
19 one we got the form completed on and filled
20 out up to this point.

21 MS. ARAVAMUDHAN: If I might add a
22 little bit more is as we worked through our

1 committees and, you know, figured out what to
2 submit, we were also weighing the decision of
3 as a health system who should be held
4 accountable for this, which portion should be
5 held accountable.

6 The second thing we were thinking
7 about is typically for, especially when you
8 look at risk status, we would like for the
9 pediatricians to assess the risk and give the
10 varnish and then refer.

11 So if you go to this thing about
12 two fluoride varnish applications, then whom
13 are you putting the burden on? Those were
14 some of the discussions that went on.

15 The pediatrician, the oral health
16 service measure that's currently endorsed
17 simply asks for one application which is fine.
18 So there are many who wants us to consider
19 whether taking this for both systems was
20 needed or not.

21 MR. CRALL: And I guess just to
22 add because Krishna's comment just triggered

1 a thought. So you have the already endorsed
2 measure that looks to capture it on the
3 primary care side, and then with this measure
4 would add the capturing it on the dental side.

5 And then obviously, I mean we
6 fully encourage, you know, programs to try to
7 look at both of those measures to understand
8 what was going on in both segments of their
9 delivery system.

10 MR. MCINERNEY: Make sure the
11 patients aren't falling through the cracks
12 between the two.

13 MR. VALDEZ: Tom, we had a long
14 discussion in the working group around this
15 issue, because the real question was what are
16 we really interested in? Are we really
17 interested in whether the kids got the
18 services that they required and needed, or are
19 we more interested in sort of which provider
20 was actually providing it or getting paid for
21 it?

22 And I think there's a great

1 concern that by splitting up measures like
2 this we weren't really looking at the priority
3 of looking at whether or not the children were
4 getting the services they needed even at the
5 programmatic level, and potentially limiting
6 delivery models that would use alternative
7 ways of providing the services.

8 MR. MCINERNEY: So do we have any
9 further discussion on the 1a, the evidence to
10 support the focus? Yes?

11 MR. AUERBACH: I wonder if you
12 could talk about the rationale of the age
13 group? 1 to 21 is a very broad age group and
14 it transcends some definition of pediatrics
15 and may affect insurance coverage as well.
16 And so maybe you could just talk about why
17 that age range is selected.

18 MS. ARAVAMUDHAN: Sure. I'll
19 start first and maybe Dr. Crall can add on.
20 The 21 mark is what CMS used to define a
21 child. So all our measures are for 21 simply
22 because of how Medicaid programs define a

1 child.

2 We do have a clause within the
3 user guide that in the exchanges marketplaces
4 HHS has chosen to define a child as under 19.
5 So the child cut-off, really, because these
6 are program-level measures, it depends on what
7 the program defines as a child in terms of
8 benefit coverage. So the 21 is what the
9 Medicaid programs and CMS uses as its
10 definition for a child.

11 MR. CRALL: Yes, so I think that
12 is the rationale for why that particular span.
13 Within our measures, we also promote the
14 notion of stratification by age, and we
15 basically adopted the same stratifications
16 that CMS uses in the 416 EBSDT report.

17 So that you can actually, you
18 know, that programs that once they get beyond
19 just an aggregate measure if they're really
20 trying to understand, you know, whether or not
21 services are being provided across a fairly
22 broad age span like 1 to 21, if you really

1 wanted to know, are the preschool age kids
2 getting it and at what rate? Are the
3 elementary age kids getting it? Are the
4 adolescents getting it?

5 Our measures encourage the use of
6 stratification by age and some other factors
7 that would help understand the delivery across
8 that relatively large age span that you're
9 highlighting here.

10 MR. AUERBACH: So I would just
11 say, I wonder if you could maybe talk about
12 the downside of a measure that's 1 to 21. I
13 would say, you know, as somebody who's worked
14 in government for awhile, CMS may consider
15 children up to 21 to be potentially eligible,
16 but once children are independent of their
17 parents and through their parents' coverage
18 they're not covered on, many children 18 and
19 older or even, you know, younger than that are
20 no longer covered on their parents' Medicaid.
21 So, you know, many are not, change doctors,
22 it's a complicated system.

1 So I guess just view, I wonder if
2 you've considered that from a data collection
3 perspective there are challenges that arise
4 when you've got that, that we should note that
5 when you have that broad of range of age.

6 MR. CRALL: I'll start out, and it
7 actually reminds me of a brief discussion we
8 were having at the lunch break.

9 So I think, you know, the
10 guidelines clearly talk about caries and
11 caries risk being applicable across this broad
12 age range, so, and in fact, you know, some of
13 the probably more dated thinking really
14 focuses around dental caries being a disease
15 of childhood and not of adulthood.

16 So from the standpoint of the
17 evidence and from the standpoint of the
18 mechanisms that we currently have for managing
19 or reducing or controlling the risk of dental
20 caries, not, you know, eliminating the risk
21 for dental caries over time, we think it's
22 important that individuals again identified as

1 a high risk regardless of their age throughout
2 this spectrum, this age spectrum, that that
3 should trigger the more intensive application
4 of topical fluoride.

5 So for that reason we use the 1 to
6 21 to sort of set the entire boundary of the
7 measure. I mean we could bring you five
8 different measures that sliced it by age
9 groups, but I don't think that that would
10 necessarily add anything.

11 And the way that we have tried to
12 accommodate that in all of our measures is to
13 encourage the, look at the analysis of what
14 the data tell you, stratifying by age group.

15 MR. VENKATESH: I guess building
16 on this age question, I was just looking
17 through your evidence submission form, and you
18 have the evidence rated as moderate with the
19 kind of reference as being to evidence that's
20 limited 6 to 18 years of age.

21 And so I guess my question is, if
22 the evidence is for 6 to 18 and a measure that

1 was 6 to 18 could still be used for
2 accountability purposes in any CMS program, I
3 don't think that the CMS program would require
4 you have to have a measure that goes to 21,
5 and it would be able to be used in a
6 marketplace plan.

7 Would it just be more consistent
8 with the evidence and more a reduced
9 measurement burden to have a measure that was
10 that age range?

11 MS. ARAVAMUDHAN: So I can tell
12 you from the guidelines work that again the
13 age range of 18 was picked based on the
14 commercial plans, and that was the way, you
15 know, most of the marketplace is commercial so
16 we picked the 18 for the guidelines.

17 But if you look at the
18 recommendations, the strength varies from 6 to
19 18 and 18 on forward but the recommendations
20 are still the same in terms of, you know, how
21 frequently fluoride applications need to be
22 done for the high and moderate risk.

1 So the recommendations, per se,
2 don't vary. The strength of the
3 recommendations vary. I will also tell you
4 that our current measure description, sort of,
5 what we'd like to do is for the programs to be
6 able to use the best measure they need, and
7 CMS/Medicaid was what was really looking for
8 this measure.

9 MR. VENKATESH: And I would add, I
10 think, you know, using an age range like 6 to
11 18, actually, would actually send the wrong
12 message.

13 I mean we are doing everything we
14 can to increase the emphasis on early
15 childhood caries and understanding that it's
16 not whether there are primary teeth or whether
17 there are permanent teeth that are affected,
18 that's it's the underlying disease process
19 that needs to be dealt with. And so starting
20 at 6 would actually set up back, I think,
21 considerably.

22 MR. BIALEK: I'm wondering if we

1 could speak a little bit to the efficacy of
2 the two applications per year for this entire
3 population. Because I'm looking at an ADA
4 article that suggests that for under the age
5 6 it's not necessarily effective. And I'm
6 just wondering if you can speak to it.

7 And I'm maybe, I'm just going
8 through this stuff really quickly, but, you
9 know, what is the evidence based on? I know
10 about the caries piece, but the efficacy of
11 the intervention for this whole population.

12 MR. CRALL: There are individual
13 articles that either because of the design or
14 the population focus or whatever, certainly
15 the summaries of studies that have been done
16 in multiple populations sometimes with
17 slightly different age groups, et cetera,
18 generally will differentiate for the
19 effectiveness in primary teeth versus
20 permanent teeth, and that brings in the age
21 group piece.

22 You know, again it's much more

1 common and historically has been more common
2 for topical fluorides to be used in older
3 children simply because we haven't served the
4 younger population as well as we could.

5 But the evidence is not that
6 dissimilar in the consensus in terms of the
7 overall effectiveness on topical fluoride
8 applications at this intensity for whether the
9 effect is on permanent teeth or primary teeth.
10 Some variation, yes, but overall generally
11 considered to be effective.

12 What, sometimes there, you know,
13 where some efforts have been made recently to
14 look at some systematic analysis of different
15 types of providers, sometimes that evidence
16 softens up a bit just because it's a
17 relatively recent phenomenon of engaging those
18 other than dentists in the delivery.

19 But by and large not, you know, a
20 radical difference in terms of the overall
21 effectiveness of topical fluoride on caries
22 whether it be in primary or permanent teeth.

1 MS. ARAVAMUDHAN: And if I might
2 add, the clinical recommendation, I'm trying
3 to pull it up on my phone really quick, but it
4 does go to the younger age group. It doesn't
5 start at 6 to 18.

6 And I'd also like to point out
7 that the reason why pediatricians are paid
8 for, reimbursed and encouraged to do this is
9 because, you know, it is effective in the
10 younger age ranges as well.

11 The United States Preventive Task
12 Force Service came out with a draft for
13 recommendations which is available right now
14 that again promotes the use of topical
15 fluoride varnish.

16 MR. BIALEK: So in the
17 documentation that you submitted I didn't see
18 that in there. Again maybe I am missing it.
19 But you provided the evidence about the
20 efficacy for the age group that the measure
21 applies to? That's in there where there is
22 documentation of the efficacy?

1 MS. ARAVAMUDHAN: Let me get my
2 computer really quick and take a look at that,
3 but I can tell you that the guidelines do
4 recommend younger than 6 years old and it is
5 a recommendation for red varnish at least
6 every three to six months.

7 Oh, there is, Jill just pointed me
8 to the evidence summary form under Section
9 18.7.7, the recommendations 2.26 percent for
10 red varnish at least three to six months for
11 children younger than 6 years, and then
12 varnish or gel for children 6 to 18. So both
13 of them are referenced.

14 MS. CHIANG: I have a question
15 about feasibility and efficacy. So I also
16 have this concern about the large age range
17 because usually pediatricians don't recommend
18 you go to a dentist when you're a year old.

19 So if you have this, and then also
20 there's the transition period between 18 to 21
21 where we know that this cohort, at least for
22 the other ADA, the diabetes group, we know

1 that they kind of fall off and neglect their
2 health care.

3 So I have a question about
4 feasibility, how you would actually implement
5 this in those age cohorts and then how
6 feasibility would impact efficacy. So how do
7 you know if you're not getting good results
8 because it's not effective? Because one thing
9 that I also don't understand is in age
10 development, different age cohorts have
11 different, you know, growth and development
12 impacts them.

13 And so at each stage it's a little
14 bit different. I don't know how it is in
15 teeth, but I'm assuming it's the same. So
16 perhaps it's more effective less than 6 years
17 old. But I just don't understand. If you
18 don't define that then how do you know?

19 You have two things. One is the
20 feasibility problem. How do you know that
21 that's not going to impact the efficacy
22 problem? Does that make sense? So if you

1 don't have it divided up into age cohorts for
2 the feasibility and you get poor efficacy, how
3 can you distinguish between those two?

4 MR. CRALL: Okay. So I think what
5 I'm hearing in these questions, so if you only
6 had, let's say you used sort of the current
7 CMS approach and you included, you know, all
8 the age groups into one aggregate measure
9 that's obviously going to have a whole variety
10 of elements that go into giving you that one
11 aggregate measure.

12 And again, so no one measure is
13 going to really sort of be able to untangle
14 that. The options you have then are basically
15 to design measures around very narrow age
16 groups or to promote people to actually use
17 the data that come in to provide the
18 information on the aggregate measure and to
19 stratify and to look within those age groups.
20 That's clearly feasible through the reporting
21 mechanisms that are inherent in this measure.

22 You know, I was part of a group

1 with Mathematica that just completed a tool
2 kit for states how to use their data better
3 and to go beyond just the use of those
4 aggregate measures. CMS supported that work.

5 So there, clearly, we're trying to
6 move the field in that direction. And that's
7 the current mechanism that's currently,
8 basically, being employed, to say, you know,
9 we have an aggregate measure. It's got a
10 historic precedence.

11 It does show considerable
12 variation across state programs at least and
13 differences between different segments of the
14 population, but it in the aggregate itself,
15 you know, it's going to have a lot of
16 different underlying components, one of which
17 we know is age.

18 And to your point in terms of
19 caries risk, you know, one of the major
20 factors in caries risk is the composition of
21 the bacteria and the amounts of certain types
22 of bacteria.

1 The other that's going to vary
2 very much, and I think on a developmental
3 stage, is going to be things like diet which
4 is another major sort of significant
5 component.

6 Really, you know, there's a
7 clinical science aspect of that that needs to
8 be developed, but in the measures world, I
9 think, using age stratification is probably as
10 good as we're going to get at this stage of
11 the ability to collect data and to report it
12 and analyze it.

13 MS. CHIANG: Yes, so thank you. I
14 think the reason why I'm asking these
15 questions because that impacts how I'm going
16 to vote, and the feasibility versus the
17 validity of the recommendation.

18 MS. ARAVAMUDHAN: And if I might
19 just add on, if you look at feasibility from
20 a data collection standpoint, again all these
21 are standard CDT codes, very similar to the
22 previous measure so it will be collected.

1 In terms of the younger age group
2 where you mentioned, you know, pediatricians
3 referring, there is a Bright Futures guideline
4 which says, you know, refer, especially when
5 you do the risk assessment. AAP is definitely
6 behind risk assessment. Do the risk
7 assessment and refer.

8 So that is part of the Bright
9 Futures guidelines, so part of this measure
10 will be to promote within the system that
11 interaction between the medical-dental field
12 to make this happen as a system.

13 There are about 108 million kids
14 who see the physician and don't see the
15 dentist, 27 million that see a dentist don't
16 see a physician. So there's a lot of, you
17 know, coordination that can happen between,
18 and we're hoping that measures like this will
19 actually promote that kind of referral and a
20 dental home being established.

21 MR. VENKATESH: I guess sort of
22 related to the under age 6 age group there's

1 a line in here, where in the consensus
2 guidelines they conclude that for the under 6
3 age group the only fluoride that would be
4 recommended is the 2.6 percent and that for
5 the other forms the harms would outweigh the
6 benefits.

7 Will the measure be able to
8 incorporate that in, or is there, could you
9 potentially in that under 6 age group have
10 high performance but be giving fluorides that
11 are potentially harmful?

12 MS. ARAVAMUDHAN: The use of
13 varnish is probably the most prevalent. The
14 gel, and Dr. Inge can comment on this in terms
15 of the claims they receive, it's really very,
16 very low in terms of how much it's used. The
17 coating as such does not discriminate between
18 the type of fluoride that's applied, but
19 varnish is the most common that's used.

20 MR. MCINERNEY: Okay, if there are
21 no further questions we should -- oh.

22 MR. VALDEZ: I was just wanting to

1 make sure, because our conversation went
2 beyond our script. So I was just following
3 along and letting it go because the
4 conversation is probably the most important.

5 But your script has us voting
6 evidence and then I was going to talk about
7 something else and then we're going do another
8 vote before we even leave 1. Okay, just
9 checking.

10 MR. MCINERNEY: Okay. So we'll
11 vote on the evidence. Kaitlynn, could you put
12 that evidence on, please?

13 MS. ROBINSON-ECTOR: Importance to
14 measure and report 1a, evidence. Importance
15 to measure and report 1a, evidence structure,
16 process and intermediate outcome. 1 is high,
17 2 is moderate, 3 is low, 4 is insufficient
18 evidence, 5 is insufficient evidence with
19 exception. Voting begins now.

20 We're still waiting for one more
21 vote. Okay, we now have all of our votes and
22 voting is closed. For evidence, there were

1 two votes for high, 15 votes for moderate, one
2 vote for low, one vote for insufficient
3 evidence, and one vote for insufficient
4 evidence with exception.

5 MR. VALDEZ: Our next voting area
6 is looking at opportunity for improvement.
7 We'll have some presentation and then
8 discussion.

9 The presenters, or the developers
10 presented data that was fairly convincing to
11 the committee, or to the workgroup, that there
12 were, in fact, disparities in oral health
13 status and in the use of oral health services
14 such as these.

15 The evidence was also presented
16 about disparities by race and ethnicity,
17 geographic location and other factors that
18 were using data from several Medicaid and CHIP
19 programs in the state of Texas and in Florida
20 where they demonstrated both the differences
21 among the individuals but also among the
22 different programs. The evidence was

1 considered moderate by the workgroup.

2 MR. MCINERNEY: Thank you. Any
3 discussion on this measure? Okay.

4 MS. ROBINSON-ECTOR: Importance to
5 measure and report 1b, performance gap. One
6 is high, 2 is moderate, 3 is low, and 4 is
7 insufficient. Voting begins now.

8 Still waiting for one vote. Now
9 all of our votes are in, voting is closed.
10 For performance gaps there were six votes for
11 high, 14 votes for moderate, zero votes for
12 low, and zero votes for insufficient.

13 MR. VALDEZ: The next area is
14 priority, and this measure clearly looks at
15 whether or not children over a wide range, 1
16 to 21, are in fact receiving a service that is
17 preventive in nature.

18 And most of the workgroup
19 committee members thought that in fact this
20 was an important issue, however, there was
21 some question whether or not there are other
22 sources of fluoride that in fact could serve

1 similar kinds of preventive needs in
2 populations that may or may not be accounted
3 for.

4 And the question was raised about
5 whether or not there was simply this kind of
6 service, but everybody was in fairly good
7 accord that this was a high priority issue.

8 MR. MCINERNEY: Any further
9 discussion on priority? Okay, Kaitlynn, let's
10 do the vote please.

11 MS. ROBINSON-ECTOR: Importance to
12 measure and report 1c, high priority. One is
13 high, 2 is moderate, 3 is low, and 4 is
14 insufficient, and voting begins now.

15 And we're waiting for one more
16 vote. We now have all of the votes and voting
17 is now closed. There were 13 votes for high,
18 seven votes for moderate, zero votes for low,
19 and zero votes for insufficient.

20 MR. VALDEZ: The next section that
21 we're taking up has to do with reliability
22 regarding specifications and reliability

1 testing. The measure is well defined, relies
2 on the same data we've been talking about all
3 morning and afternoon.

4 The reliability testing also is
5 considered of high value and came across from
6 multiple programs as well as looking at
7 different time frames.

8 MR. MCINERNEY: Any further
9 discussion on this measure? Yes, John?

10 MR. AUERBACH: You know, this is a
11 situation where I am concerned about the age
12 range. And so I would be, I'm torn about sort
13 of voting favorably versus not, just because
14 I would feel more comfortable if it was a
15 range that was narrower.

16 So that's more of an observation
17 and I guess the guidelines would just be
18 struggle with that and come out with what you
19 feel is the right vote. But since you were
20 saying you wanted some notation on what people
21 were observing, I just wanted to say that that
22 was one of those things that is challenging.

1 MS. MUNTHALI: We'll make sure we
2 capture that in the report. Perhaps you could
3 also make a recommendation that the next time
4 the measure is up for maintenance review, you
5 know, the developer could change age of the
6 age range or now or whatever the guidelines
7 are saying, because it is supported by the
8 guidelines.

9 So I guess the committee can
10 discuss that, if you'll allow that, Tom and
11 Sarah.

12 MS. SAMPSEL: Is that something
13 the committee would like to recommend? Eric,
14 did you have a comment on the age issue?

15 MR. BAER: I have a comment.

16 MS. SAMPSEL: Okay.

17 MR. BAER: With respect to the
18 age, I live in the Medicaid world therefore
19 this is the age group that is defined and this
20 is the age group. That aside, would changing
21 the age range have any effect on harmonization
22 with other efforts? Meaning, you know, a lot

1 of the CMS -- maybe I'm not sure. I'm brand
2 new to this.

3 I'm not sure what harmonization
4 is, but if it's bumping it up against other
5 measures that might be out there already, CMS
6 has a lot of measures out there already for
7 this EPSDT age range which happens to be up to
8 the age of 21. So, starting at 1. Yes.

9 MS. SAMPSEL: That's a really
10 important consideration is that consistency
11 and harmonization across other measures
12 looking at that same population, so that's a
13 very good point.

14 MR. SALIVE: Well, I didn't hear a
15 compelling reason to change the age range, so
16 I'm speaking against that. I mean I think,
17 you know, there's a lot of recommended
18 treatment with these topical fluorides under
19 the age of 6 that I saw, and so it seems like
20 why are we going to tinker with this?

21 MR. FRANCE: Well, at the risk of
22 going to a different area, I was looking at

1 the validity issue versus reliability and
2 concern about missing data.

3 So it doesn't capture of course
4 what's happening in pediatrics, and so if it's
5 supposed to be a reflection of the use of
6 varnishes among children or is interpreted as
7 such, it will underestimate its use since it's
8 not harmonizing or summing with what's
9 happening in pediatric and the medicine
10 offices.

11 MS. ARAVAMUDHAN: Again I want to
12 go back to Dr. Crall's comment. We had to
13 balance this need to capture everything versus
14 make the measure usable for a Medicaid program
15 where the financing system is different
16 between medical and dental.

17 As Dr. Crall pointed out, the kids
18 who actually only get oral health services is
19 simply three percent of the population. So
20 given that our focus was, okay, taking that
21 into consideration, do we want a usable
22 measure that programs can use and report on

1 and actually be comparable broadly between
2 Medicaid, commercial and such?

3 And so that's the reason why we
4 said, you know what, this is the way to go.

5 MR. MCINERNEY: If I remember our
6 guidelines, we are supposed to vote on the
7 measure as it has been specified. I don't
8 think we're allowed to change the measure at
9 this point. We can recommend that in the
10 future the measure be changed. Is that
11 correct?

12 MS. BURSTIN: At times when there
13 are sort of very narrow things that come up in
14 committees, that can be a negotiation between
15 the developer and the committee. But
16 obviously narrow things with complete
17 agreement on both sides.

18 MR. BIALEK: It looks like the
19 workgroup raised a couple of validity issues
20 beyond the age group. And there's a statement
21 in here that says, workgroup members ranked
22 the measure low against the validity

1 criterion. And I'm wondering if the workgroup
2 can speak to that.

3 MR. VALDEZ: That's our next topic
4 and discussion for voting. We're focused on
5 reliability.

6 MR. MCINERNEY: We'll do the
7 reliability first, then we'll move to --

8 MR. BIALEK: No problem.

9 MR. MCINERNEY: -- validity.
10 Okay, I think then we're ready to vote on
11 reliability. Please, Kaitlynn?

12 MR. VENKATESH: So it is a
13 reliability comment as I think about it. In
14 Table 1b.2, you show the performance scores by
15 year. And so when I look at Program 3 from
16 2010 to 2011, it drops 13 percent, from 35 to
17 22. But then when I look at Program 2, for
18 example, it goes up 5, from 22 to 27. Those
19 seems like widely divergent directions for
20 something that's been coded the same between
21 years.

22 And so I'm wondering how reliable

1 the codes are for the measure, and this gets
2 to a little bit of, this is why I wasn't sure
3 whether to put it in the reliability or the
4 validity side of this. But should we be
5 concerned that we're not measuring what we
6 think we're measuring there?

7 MS. ARAVAMUDHAN: Could you please
8 point out which form you're looking at? Is it
9 the measure testing form?

10 MR. VENKATESH: The measure
11 testing form, sub-criteria 2a2 to 2b2 to 2b6,
12 that one.

13 MS. ARAVAMUDHAN: Okay, let me get
14 there.

15 MR. VENKATESH: My concern is that
16 it just jumps so much within a year and in
17 different directions that it's not measuring
18 what it thinks it is.

19 MS. LUCK: What page are you on?

20 MR. VENKATESH: Seventeen of 18.

21 MS. ARAVAMUDHAN: So we see that
22 in our submission under the section under

1 reliability we don't have any tables listed.

2 MR. VENKATESH: You have it listed
3 under validity. And we want to move the
4 discussion to there, that section, we can have
5 it in that part. I'm fine with that, unless
6 it's because of something you think has
7 something to do with the testing on the
8 reliability side. That's why I asked the
9 question that way.

10 MR. CRALL: Okay, sorry. I think
11 we found the table. Can you just restate that
12 please?

13 MR. VENKATESH: Sure. So Program
14 3 in 2010 has a 35 percent score, right, and
15 then in 2011 drops to 22 percent. It's a big
16 change downwards. Program 2, for example,
17 though in 2010 has a 22 percent score, and in
18 2011 jumps up by five percent.

19 And so when I see fairly large
20 magnitudes of change going in divergent
21 directions, I'm wondering how reliably it's
22 measuring the underlying construct.

1 And so is it because one of those
2 programs did a quality improvement effort and
3 the other one did not? I mean do we have any
4 background context as to why that would
5 happen, or is it simply that there's that much
6 noise in the data year to year?

7 MR. CRALL: Well, I may have
8 always been, but I'm clearly right now, I'm
9 completely blind as to what those programs
10 represent. Jill may actually know what
11 programs there are.

12 I think the fact that in two out
13 of the three they were fairly similar and, you
14 know, there was an incremental increase from
15 the 2010 to the 2011 year certainly speaks,
16 you know, gave us some degree of confidence
17 that in fact that they're measuring in a
18 fairly reliable way.

19 The reason for that difference in
20 that one program from one year to the next, I
21 personally am not sure what that is, you know,
22 the reason for that. Jill, do you happen to

1 know what Program 1, 2, and 3?

2 (Off microphone comments)

3 MR. CRALL: Okay, 1 is Texas
4 Medicaid, 2 is Florida CHIP, 3 is commercial.
5 So the one thing that I know in terms of the
6 testing is that the data we had for commercial
7 actually is relatively small.

8 You know, we actually, we wanted
9 to bring that in even though that isn't the
10 primary sort of data source that the
11 contractor had available, but we did want to
12 bring in some information from a commercial
13 side to look at, test it across all the
14 different sectors.

15 So it possibly is a small numbers
16 piece that could differ from one year to the
17 next. If it had gone the other way I don't
18 think it would have even been quite so much of
19 a concern. Whether or not there's some policy
20 changes in that commercial plans or whether
21 the composition of those commercial plans that
22 are aggregated there change from one year to

1 the next, I don't know. We don't know.

2 So the composition within the CHIP
3 program and within the Medicaid program, the
4 other two that held fairly consistent, I
5 think, would be reasonably consistent over
6 years. But the composition of what's in the
7 commercial one may introduce the variability.

8 MR. MCINERNEY: Okay, I think
9 we're ready for the vote. Kaitlynn, please?
10 Thank you.

11 MS. ROBINSON-ECTOR: Scientific
12 acceptability of measure properties
13 reliability. One is high, 2 is moderate, 3 is
14 low, and 4 is insufficient. And voting
15 starts.

16 We're still waiting for two votes.
17 We have all of the votes. And there were 15
18 votes for high, 13 votes for moderate, three
19 votes for low, and one vote for insufficient.

20 MS. KHAN: I think it's three
21 votes for high. Yes.

22 MR. VALDEZ: Okay, now we get to

1 take on validity, which was the question
2 several people brought up in our last
3 discussion and rightly so, because the
4 workgroup also had some concerns on the
5 validity side.

6 Most of it, I'm going to cut to
7 the quick, were around the confidence that the
8 performance measure, in fact, was a valid
9 indicator of quality. And in large part
10 because most of this was on professional
11 guideline recommendations and not on any
12 studies that we had presented, as I recall.

13 But David, you'll have to help me
14 on this one because my memory is failing.

15 MR. MCINERNEY: Do you have a
16 comment on validity, Arjun? No further
17 comments on validity? All right. Oh, I'm
18 sorry, you do.

19 MS. ARAVAMUDHAN: Yes, sorry. I
20 do want to talk about the fact that in our
21 evidence forum in terms of the comment about,
22 you know, connection to the outcomes, we did

1 talk about the Cochrane Reviews as well as the
2 evidence based guidelines.

3 We did include an image from the
4 Cochrane Review which had run a meta-analysis
5 to show the impact on the outcome. So just
6 wanted to put that on the table. Thank you.

7 MS. ROBINSON-ECTOR: For validity,
8 1 is high, 2 is moderate, 3 is low, and 4 is
9 insufficient. You can start voting.

10 There's one vote for high, 11
11 votes for moderate, seven votes for low, and
12 one vote for insufficient.

13 MS. MUNTHALI: So what we're
14 discussing is what we need to reach consensus,
15 and so we're just at that low threshold of 60
16 percent and so we will proceed.

17 MR. MCINERNEY: Okay.

18 MR. VALDEZ: The next section is
19 feasibility. The designers demonstrated that
20 it was feasible using data from multiple
21 programs, multiple states, and commercial
22 plans using the data that we've been talking

1 about all afternoon. So the workgroup had no
2 additional comments to make.

3 MR. MCINERNEY: Any discussion on
4 the feasibility? Okay.

5 MS. ROBINSON-ECTOR: For
6 feasibility, 1 is high, 2 is moderate, 3 is
7 low, and 4 is insufficient. And voting starts
8 now. All the votes are in and voting is now
9 closed. For feasibility there were ten votes
10 for high, ten votes for moderate, zero votes
11 for low, and zero votes for insufficient.

12 MR. VALDEZ: The next section is
13 usability and use. The measure is currently
14 in use in Texas and in Florida in
15 Medicaid/CHIP programs, and I think it was
16 also a commercial plan. There was no
17 information particularly presented with regard
18 to improvement over time and there were no
19 other additional comments by the workgroup.

20 MR. MCINERNEY: Any further
21 comments on usability? Okay, Kaitlynn, let's
22 vote these.

1 MS. ROBINSON-ECTOR: For usability
2 and use, 1 is high, 2 is moderate, 3 is low,
3 and 4 is insufficient information. And you
4 can start voting. Okay, we now have all of
5 our votes and voting is closed.

6 For usability and use there were
7 six votes for high, ten votes for moderate,
8 two votes for low, and two votes for
9 insufficient information.

10 MR. MCINERNEY: Okay, are we ready
11 to vote on the whole measure as a pass or no
12 pass for endorsement? Any further comments on
13 this measure before we vote?

14 MS. MUNTHALI: We just wanted to,
15 before you vote on overall endorsement if you
16 had any comments, since feasibility was split
17 50/50, we would like to reflect any viewpoints
18 that you would like us to include in the
19 report, if there are any comments for the
20 committee.

21 MR. VENKATESH: I would just add
22 that on 4b, I think, is the criteria about

1 improvement and progress and we only have
2 those two years of data. And so if, in fact,
3 it's not because of substantial differences in
4 the populations between the two, it's very
5 possible that we don't know from actually
6 monitoring progress when you see change
7 between two years.

8 And so I would say in the report
9 that this is a measure that needs a lot of
10 surveillance to see what the pattern is in a
11 fixed or more set to find a denominator over
12 time. Because if it continues to have that
13 much noise to it, it really wouldn't meet
14 criteria.

15 MR. MCINERNEY: Any other
16 comments? Kaitlynn?

17 MS. ROBINSON-ECTOR: Overall
18 suitability for endorsement, 1 is yes, and --

19 MS. CHIANG: I think that the
20 program itself makes sense. I just am
21 concerned about some of these things that
22 we're discussing.

1 So I guess I would like to hear
2 some of the thoughts of maybe some of the
3 other committee members, or maybe the
4 developers could provide a little bit of
5 guidance.

6 I don't know if the other people
7 feel that way, but I personally think that
8 this is a good program but I have concerns
9 about that, some of the things that we've
10 discussed.

11 MR. CRALL: Along the last point
12 that was raised, clearly these measures
13 generally are looked at for longer trends than
14 just from one year to the next.

15 And I think the first thing either
16 CMS or a state Medicaid program, or anyone who
17 is in a position of being held accountable, if
18 they saw a significant change in reporting
19 from one year to the next in terms of
20 performance, the first thing they would do, I
21 would think, would be to question data
22 quality, and was there systematic in the input

1 of the data that, you know, perhaps omitted
2 something from one year to the next.

3 In the examples that we had, we
4 had again, we had a Medicaid program that the
5 population's fairly consistent over time and
6 the administration of the program. We have a
7 CHIP program that is consistent over time.

8 The one piece that we had which
9 showed a significant change from one year to
10 the next was in an aggregate of commercial,
11 which is sort of an artificial sort of sector,
12 really, that we created.

13 I mean it's an important sector,
14 don't get me wrong, in terms of what I'm
15 saying, but that I think that, you know, that
16 longer trend analysis, it's a very valid
17 point. You don't look at these things from
18 one year to the next and make those decisions.

19 The other important part is that
20 if you take it down the next level then,
21 clearly, I think, at a program level, if
22 you're using contractors to administer the

1 benefits, what you're looking for is variation
2 across the various plans who are contracted to
3 administer those benefits and capture a
4 variation there is important.

5 But again, looking in one year, I
6 don't think people are in a decision making
7 capacity looking to go from one year to the
8 next. Generally there is a longer time period
9 where you would be able to make sure that the
10 data, all the data was entered in and that it
11 was truly a difference or a change in
12 performance or the impact of some policy
13 change as opposed to just a data submission
14 issue.

15 MR. AUERBACH: Just in this spirit
16 of making recommendations for the future, I
17 would say if this comes, when this comes up
18 again I would really look at that age
19 indicator. I think what you're going to find
20 is it's a very different Medicaid population
21 when you get into the teen years because
22 Medicaid eligibility is only due for children

1 who are dependent.

2 And what you see in the drop-off
3 of the numbers is you're just measuring a
4 really different cohort under Medicaid in the
5 upper teen years. And so the ones who are
6 eligible then tend to be more disabled because
7 they're not out in the work force and
8 independent.

9 And so, but measure it. I mean,
10 you know, if it's too late to sort of figure
11 that out, I would just say pay attention to
12 that so that you don't, because I think what
13 we'll find is Medicaid directors discount
14 those upper years and just say these are not
15 the same. It's not the same cohort we're
16 measuring.

17 So I think if we pay attention to
18 that, well, maybe the next time it comes up
19 you can adjust for that.

20 MS. ARAVAMUDHAN: Definitely from
21 a developer standpoint these are all extremely
22 useful comments. I'm taking notes in terms

1 of, you know, the age cohorts as well as the
2 question about monitoring over time and
3 seeing, really, how the measure does. So
4 we'll definitely keep that in mind.

5 MR. MCINERNEY: I think we can say
6 -- oh. Go ahead, Ron.

7 MR. BIALEK: I just wanted to
8 raise the potential harm, just put it out
9 there, and this may be overstating it but I
10 just want to put it out there.

11 That when you have an aggregate
12 population like this and if you start holding
13 the plans accountable for increase in the
14 percentages, you may encourage the plans to
15 reach out to those who are easiest to reach,
16 both age group as well as socioeconomic group
17 as well as race and ethnicity, et cetera. So
18 you could actually increase your percentage,
19 and at the same time increase your disparity,
20 but be hiding the disparity here because we
21 have such a large group.

22 And I just wanted to put that out

1 there because I think it's an important
2 consideration for us.

3 MR. MCINERNEY: That's a great
4 point, Ron. And I think hopefully as you're
5 going forward in time you'll try and observe
6 and see if you can see that effect by looking
7 at the sociodemographic characteristics of
8 those who've had the varnish applied and those
9 who haven't.

10 As I hear the discussion I have a
11 perception that many folks feel this is a
12 somewhat immature measure that needs some work
13 and some time for us to be feeling it's a
14 little bit more reliable.

15 But I don't think that should
16 influence our vote tremendously, it's just
17 something for the developers to note. So if
18 there's no further -- oh, I'm sorry. David?

19 MR. KROL: Just to respond to Ron.
20 Though the approach, since all of these
21 children are at risk, at elevated risk, you'll
22 still be reaching out to kids with elevated

1 risk.

2 So yes, there may be some. So say
3 I target a certain subset of children at
4 elevated risk, I'm still targeting children at
5 elevated risk. It's not like I would target
6 the well and versus the unwell, or the
7 diseased versus the not diseased.

8 That's not an issue here, and that
9 I'd be much more concerned about than say, you
10 know, I want to try to reach the kids that
11 aren't being reached but are at high risk.
12 It's a subtle but extremely important, you may
13 still find disparities within those at
14 elevated risk, but they're all at elevated
15 risk.

16 MR. MCINERNEY: Okay, Kaitlynn, I
17 think we're now ready for the final vote on
18 this measure, please.

19 MS. ROBINSON-ECTOR: Overall
20 suitability for endorsement, 1 is yes and 2 is
21 no. And you can now vote.

22 Now have all of the measures and

1 voting's closed. For suitability for
2 endorsement there were 17 votes for yes and
3 three votes for no. So for Measure 2528
4 Prevention Topical Fluoride for Children with
5 Elevated Caries Risk, Dental Services, the
6 measure passes.

7 MR. MCINERNEY: Okay. We're now
8 moving to 2511, Utilization of Services,
9 Dental Services. I haven't found that one
10 yet, frankly.

11 (Off microphone comments)

12 MR. MCINERNEY: And who would like
13 to discuss this? Roberta or Chisara? Robert,
14 I mean.

15 MS. ARAVAMUDHAN: Just a very
16 quick overview. This is a basic health
17 services measure in terms of simply seeing,
18 you know, the utilization with the program, so
19 any dental service just simply gets captured.
20 The denominator is anyone enrolled in the
21 program.

22 And so this is the type of measure

1 that we were talking about in terms of, you
2 know, capturing that access issue that we
3 mentioned with the other measures.

4 The one unique thing about this
5 measure that is not there with any of the
6 other six measure is that it does compete with
7 a currently endorsed measure, so we would be
8 happy to answer any questions as to why we
9 developed this measure knowing that there is
10 a currently endorsed NQF measure when we come
11 to that criteria.

12 MR. VALDEZ: Okay, here we go
13 again. The title to the measure is
14 Utilization of Services, Dental Services, and
15 I'll just point out that the people raised
16 some concerns about the title of the measure
17 since this is a measure not in general of
18 dental services but focused on children. So
19 that should just be noted.

20 With regard to evidence, the
21 developers presented a series of arguments
22 about access to care and access to care being

1 the gateway to really understanding quality of
2 care and program performance.

3 What else came up during that
4 discussion? The only other thing that came up
5 during the discussion was the fact that this
6 is a gateway measure to any kind of health
7 services research activity.

8 MS. SAMPSEL: Chisara, did you
9 have anything? We had started 2511 while you
10 were out of the room and now chewing
11 something, so it seems appropriate to ask if
12 you had anything to add.

13 MS. ASOMUGHA: For the evidence
14 section alone?

15 MS. SAMPSEL: We're at just the
16 very beginning of this one.

17 MS. ASOMUGHA: No, nothing else to
18 add.

19 MR. MCINERNEY: Any other
20 discussion? Okay, Kaitlynn, let's do the
21 first vote on evidence, please.

22 MS. ROBINSON-ECTOR: 1a, evidence,

1 structure, process, intermediate outcome. 1
2 is high, 2 is moderate, 3 is low, 4 is
3 insufficient evidence, 5 is insufficient
4 evidence with exception. And you can start
5 voting now.

6 We are just waiting on one more
7 vote. Oh, okay, sorry. All the votes are in.
8 Evidence, there were nine votes for high,
9 moderate there were nine votes, for low there
10 was one vote, and for insufficient evidence
11 there was one vote.

12 MR. VALDEZ: The next section is
13 opportunity for improvement. The developers
14 presented information about differential
15 access to services, oral health services,
16 dental services in particular through a
17 variety of studies in the literature. The
18 workgroup rated it moderate, moderate-high.

19 MR. MCINERNEY: Any discussion on
20 this measure? Okay, Kaitlynn. Thank you.

21 MS. ROBINSON-ECTOR: For
22 performance gap, 1 is high, 2 is moderate, 3

1 is low, and 4 is insufficient, and you can
2 start voting.

3 We have all the votes and voting
4 is closed. For performance gap there were 18
5 votes for high, two votes for moderate, zero
6 votes for low, and zero votes for
7 insufficient.

8 MR. VALDEZ: Priority. With
9 regard to priority, the developers presented
10 information on utilization barriers, which
11 seemed to be pretty low, utilization, that is.

12 The workgroup discussed the issue
13 and really identified and said while this
14 measure is not really a measure of quality, it
15 is really the gateway to assessing quality,
16 understanding whether or not any one of these
17 children receive services are not. It's a
18 signal certainly to payors and others whether
19 the issue is a serious issue for concern or
20 not.

21 MR. MCINERNEY: Any further
22 discussion on priority? Okay, Kaitlynn,

1 please.

2 MS. ROBINSON-ECTOR: For higher
3 priority, 1 is high, 2 is moderate, 3 is low,
4 and 4 is insufficient, and voting is open.
5 Okay, all the votes are in and voting is now
6 closed.

7 There were 16 votes for high, four
8 votes for moderate, zero votes for low, and
9 zero votes for insufficient.

10 MR. VALDEZ: The next section is
11 reliability. Certainly defining the numerator
12 was fairly easy. The question is whether a
13 child received any services on the dental
14 side, and the denominator was the population
15 enrolled in the health plan or program. The
16 reliability testing met the standards that the
17 working group found acceptable.

18 MR. MCINERNEY: Further comments
19 on the reliability? Okay, Kaitlynn, please.

20 MS. ROBINSON-ECTOR: For
21 reliability, 1 is high, 2 is moderate, 3 is
22 low, and 4 is insufficient, and voting is now

1 open. And we're still waiting for one vote.

2 All of the votes are now in and
3 voting is closed. For reliability, there were
4 12 votes for high, seven votes for moderate,
5 one vote for low, and zero votes for
6 insufficient.

7 MR. VALDEZ: With regard to
8 validity, the working group raised the same
9 questions that we raised earlier, having to do
10 with the splitting off of use of oral health
11 or dental services focused on who the provider
12 was rather than whether the child or children
13 in the program received the services.

14 This is the same issue we've
15 talked about and the developers have said they
16 have parallel measures and sort of either/or
17 measures as well that we've learned about
18 today.

19 There were some other questions
20 about the validity. Let's see. There was
21 some questions about whether the service was
22 needed or not and whether that should be taken

1 into account in looking at whether children
2 receive services, given that we're looking at
3 children of all ages and any service.

4 MR. MCINERNEY: Any further
5 discussion on validity? Okay, we'll vote
6 please.

7 MS. ROBINSON-ECTOR: For validity,
8 1 is high, 2 is moderate, 3 is low, 4 is
9 insufficient, and voting is open.

10 All votes are in and voting is now
11 closed. For validity, there were six votes
12 for high, 12 votes for moderate, two votes for
13 low, and zero votes for insufficient.

14 MR. VALDEZ: With regard to
15 feasibility, the same data sources we've been
16 looking at today were used for this particular
17 measure across several Medicaid and CHIP
18 programs in two states and a commercial plan.

19 No other questions were raised
20 other than those about using administrative
21 claims for these kinds of activities in
22 populations.

1 MR. MCINERNEY: Thank you. Any
2 further discussion on feasibility? All right,
3 let's vote.

4 MS. ROBINSON-ECTOR: For
5 feasibility, 1 is high, 2 is moderate, 3 is
6 low, and 4 is insufficient, and voting is now
7 open. And we're waiting for one more vote.

8 Okay, all the votes are in and
9 voting is now closed. For feasibility, there
10 were 16 votes for high, four votes for
11 moderate, zero votes for low, and zero votes
12 for insufficient.

13 MR. VALDEZ: With regard to use,
14 the current measure is currently being used in
15 two states and two programs. No, it's being
16 used in Texas, CHIP and Medicaid, and also
17 being used by CMS. It's clearly an indicator
18 of access to care that people considered
19 fundamental.

20 MR. MCINERNEY: Any further
21 discussion on usability? Whoa. All right,
22 let's vote please.

1 MS. ROBINSON-ECTOR: For usability
2 and use, 1 is high, 2 is moderate, 3 is low,
3 and 4 is insufficient information. Voting is
4 now open.

5 And we're still waiting for two
6 more responses. All of the votes are in and
7 voting is now closed. For usability and use,
8 we have 14 votes for high, six votes for
9 moderate, zero votes for low, and zero votes
10 for insufficient information.

11 MR. MCINERNEY: Okay, we're now
12 ready to vote on whether to adopt this
13 measure, or endorse this measure I should say.
14 Any last minute comments before we vote on the
15 suitability for endorsement? Oh, this is
16 really good. All right, let's vote.

17 MS. ROBINSON-ECTOR: For overall
18 suitability for endorsement, 1 is yes and 2 is
19 no. Voting is open.

20 And we're still waiting for two
21 more responses. All votes are in and voting
22 is now closed. For overall suitability for

1 endorsement, Measure 2511, Utilization of
2 Services, Dental Services had 19 votes for yes
3 and one vote for no. The measure passes.

4 MR. MCINERNEY: Thank you,
5 everyone. I think we're hitting our stride
6 here. Okay, the next measure for --

7 MS. SAMPSEL: Actually, Tom, we're
8 going to take a break. We're seeing a lot of
9 people walk around, so kind of an indication
10 folks might need a break.

11 MR. MCINERNEY: Okay. It's 2:10,
12 take a what, ten-minute break. Get back at
13 2:20, please.

14 (Whereupon, the foregoing matter
15 went off the record at 2:10 p.m. and went back
16 on the record at 2:19 p.m.)

17 MR. MCINERNEY: 2517, Page 74 in
18 the workbook. The measure title is Oral
19 Evaluation Dental Services.

20 And the description, brief
21 description of the measure is percentage of
22 enrolled under children under 21 years who

1 received a comprehensive or periodic oral
2 evaluation within the reporting year. Would
3 the measure developer like to say anything
4 about this one?

5 MS. ARAVAMUDHAN: Just that,
6 again, it's based on the same data sources, so
7 no complications here in terms of risk or
8 anything. But the evidence is weak and we
9 recognize that.

10 And like I, like we said at the
11 beginning of the session this morning, this is
12 based on the, this is evidence and form. So
13 what is available and what the dental
14 community feels is required in terms or
15 establishing a dental home.

16 The oral evaluation is simply the
17 procedure that's used as a marker to see
18 whether kids are actually in the dental home.

19 MR. CRALL: And I think the only
20 thing I would add is that this is, I think, an
21 effort on our part to sort of push the
22 envelope just a bit. There's certainly, at

1 the last measure that you approved, looks at
2 whether a child gets any services.

3 And, you know, clearly that can
4 span the entire gambit, it really doesn't give
5 us a good indicator of whether or not that
6 service was for an emergency visit. There are
7 other sort of measures that look at the full
8 gamut of diagnostic procedures where, again,
9 if a child gets one radiograph that counts.

10 In this case we really are looking
11 for something, putting forward something that
12 we believe is more of a indicator of a dental
13 home or a regular and ongoing use of services.
14 And inherent in the use of the codes for this
15 measure is the development of a treatment
16 plan.

17 So this at least, those two codes
18 basically say, all right, this child got a
19 full assessment of their oral health status
20 and the treatment plan was developed. That's
21 what's inherent in the use of the code.

22 And so to us that's a marker that,

1 okay, someone is actually, this child is
2 really entering the sort of mainstream of oral
3 healthcare not on some episodic or sporadic
4 basis.

5 There are limitations both in
6 terms of, you know, one measured in the data.
7 And there, as Christian indicated, we know in
8 terms of the evidence. But that's the context
9 in which we're trying to use this measure
10 along with the other measures.

11 MR. MCINERNEY: Thank you. Renee
12 or Ron, who, which of you wants to lead the
13 discussion? Oh, David, did you?

14 MR. KROL: Just to, I think you
15 answered, Jim, I just wanted to make sure I
16 understand it correctly.

17 So this measure is essentially a
18 subset of the previous measure? This is a
19 more specific, this is one of the many
20 potential services that could have been
21 utilized in the previous measure, is that
22 right? Okay.

1 And then I guess I'll hear this
2 from Ron or Renee, is the evidence that goes
3 from the measure, or the measure to the
4 outcome, that connects the measure to the
5 outcome?

6 I would assume that in the review,
7 in the previous one there was some evidence
8 that showed any service received lead to a
9 healthier, lead to an outcome. And then now
10 we'll hear that just having the oral health
11 evaluation, the oral evaluation actually is
12 connected to an outcome, right?

13 That's what we would expect to
14 see. We would expect to see evidence that in
15 the previous one, and I guess I should have
16 asked this previously because it's going to
17 come up in the continuity, my review of
18 continuity, that any dental service will lead
19 to a, presumably, an improved health outcome.
20 And the evidence shows that.

21 And then in this one, specifically
22 evidence shows that an oral health, that just

1 having an oral health evaluation with the
2 treatment, all that's tied to that, including
3 the treatment plan, actually leads to a health
4 outcome.

5 And I ask that question because
6 it's, and I didn't, I apologize that I didn't
7 read probably as deeply into this one as I
8 should have. But my limited knowledge of the
9 evidence is that for instance, fluoride
10 varnish, it's very clear you apply fluoride
11 varnish there's going to be a pretty clear
12 difference or improvement in health outcome.
13 You apply a sealant there's going to be a very
14 clear difference in health outcome.

15 But essentially just in the last
16 one, just walking in the door of the dentist's
17 office actually has a connection to an
18 improved health outcome. I'm assuming that in
19 that one there was evidence and now in this
20 one, just having, just the fact that a dentist
21 did an oral health evaluation actually has an
22 improved, leads to an improved health outcome

1 I think will be very important to see.

2 MR. INGE: Can I comment? Because
3 I actually saw them both very differently.

4 MR. MCINERNEY: Okay.

5 MR. INGE: I see these as process
6 measures and that they do not lead to a
7 specific health outcome or improvement. It's
8 that they are the foundation that enters
9 someone into the system.

10 Access being, I guess you could
11 call it access and outcome, having access to
12 the service is what they actually define.
13 That a patient had an opportunity to access
14 the system.

15 It doesn't define whether or not
16 what occurred and whether or not what occurred
17 moved in a positive direction. It's merely a
18 measure of the ability of, if we're at a plan
19 level, the plan to provide access to
20 healthcare services.

21 That's how I interpret it. I'm
22 not, and developers, please comment. Please.

1 MS. ARAVAMUDHAN: And that's
2 exactly what our thought process was. And
3 we'd like to take you back to our kind of
4 framework where you have to follow the patient
5 through the deliver system.

6 And first one is linked to care
7 and then diagnosed and then prevent and then
8 treatment and then healthy. So this sort of
9 address that link to care and the diagnosed
10 component.

11 And so if you know that a child
12 actually has been evaluated and the treatment
13 plan generated, that's the first step. And
14 then next you would want to do, okay, was the
15 treatment plan rendered and completed and is
16 the patient health?

17 So again, it's not a simple
18 measure that can be used on its own, it's part
19 of the bigger picture.

20 MR. INGE: So it would be helpful
21 to learn from the NQF folks, if it exists, and
22 I didn't see one as far as continuity expect

1 for melanoma for NQF, whether, for instance,
2 NQF has shown that a visit to a physician, as
3 a process measure, or a annual physical exam
4 for example, as a process measure actually has
5 been shown and endorsed by NQF as a leading,
6 as a quality measure?

7 Because I, there is maybe not a
8 one-to-one correlation between the two,
9 they're not equivalent necessarily, but it is,
10 I guess this question, just walking into the
11 door of the physicians office lead to a health
12 outcome?

13 And maybe I'm not making sense but
14 I'm just struggling with, I'm struggling with
15 that connection between the measure and the
16 outcome. I know that it's a process measure
17 but --

18 MS. ARAVAMUDHAN: Right.

19 MR. INGE: -- I don't know what
20 NQF has found in other settings. And when I
21 looked, I'm getting ahead of myself here I
22 apologize.

1 But when I looked for the terms
2 continuity in NQF's measures, there's nothing
3 that, for instance, that really except for
4 melanoma, and that's really just a data base
5 not necessarily the fact that you've actually
6 had continuity in melanoma care, that there's
7 any quality measures endorsed by NQF.

8 MS. MUNTHALI: That's actually a
9 very good question and it's one that
10 committees struggle with constantly throughout
11 our projects. And what we often remind
12 committees about is that the outcome, you want
13 the process to be proximal to the outcome.

14 And it really should be based in
15 evidence. And so there should be evidence to
16 support, whatever process it is would lead to
17 this outcome. Whether, you know, positive or
18 negative.

19 And so I would, this is a good
20 discussion for the Committee to have. Knowing
21 that this measure is also part of one that
22 seems a little more comprehensive, that's

1 another discussion topic for the Committee to
2 have, so I will just leave it there and if
3 there are other questions.

4 MR. MCINERNEY: Well Mark Twain, a
5 long time ago said, you know, if one visits a
6 physician, one stands about a 50-50 chance of
7 benefitting from the encounter. And some
8 people think that may still be true today.

9 But in seriousness, if I remember
10 correctly, there are some HEDIS measures. And
11 for children at least so many visits in the
12 first year of life to a physician and so many
13 in the second --

14 MR. KROL: Yes.

15 MR. MCINERNEY: Right?

16 MR. KROL: Yes.

17 MR. MCINERNEY: And were those NQF
18 endorsed or are they just NCQA HEDIS measures?

19 MS. MUNTHALI: We do have some
20 measures that are based on HEDIS that are
21 endorsed by NQF. Not all of them are though.

22 MR. MCINERNEY: Yes.

1 MR. BIALEK: A number of years ago
2 for the annual physical that was recommended
3 by the medical community. And ultimately the
4 evidence showed that it varied by age groups
5 and so the recommendation changed based on the
6 science.

7 In this instance I don't, I'm not
8 hearing that there's a lot of science around
9 the annual dental visit. It sounded like
10 there was some expert opinion around it which
11 sometimes can be the evidence that we want to
12 use. So that's one comment.

13 The other is that the measure
14 seems to be measuring two different things.

15 One is a comprehensive oral exam
16 and the other is a periodical oral exam. And
17 it doesn't seem appropriate for a measure to
18 have two different things that it's measuring
19 in the one measure.

20 MR. INGE: So if I could respond
21 to that. And a suggestion to the developers
22 that it should be just an oral evaluation.

1 The descriptors that you have for
2 a comprehensive and a periodic reflect back to
3 the coding that used by dentists to submit
4 those evaluations.

5 So a recommendation would be that
6 the statement would only be for a oral
7 evaluation and that would encompass all of
8 those exam codes and that would make it more
9 clear that it's an access process.

10 MR. SPANGLER: Also, Ron, you
11 could, they could both be one in the same,
12 right? You have a comprehensive periodic,
13 they don't have to be exclusionary right? Or
14 do they?

15 MR. INGE: What occurs at the exam
16 can be the same. But in regards to reporting,
17 we have CDT codes that specify the difference.

18 This is where it gets complicated.
19 So because of the administration, a
20 comprehensive exam is applied to a patient,
21 usually at their first visit to a dentist.
22 Then that patient becomes a patient of record.

1 Based upon the benefit plan
2 design, there are then periodic evaluations
3 that are allowed, and which our profession has
4 adopted themselves to that are reimbursed on
5 a regular basis. Within six months or twice
6 within a benefit period.

7 Then there are limitations on a
8 lot of plans, not so much on Medicaid, where
9 a patient who has been within a practice for
10 a extended period of time, it is assumed that
11 the doctor is managing their evaluations on a
12 regular basis and therefore a comprehensive
13 exam isn't necessary two years later, three
14 later. Because they're in continued care.

15 It's not until there is a break in
16 care of at least a year's time period that a
17 comprehensive can then come back into play.

18 MR. SPANGLER: So it's like an
19 initial visit with a new patient versus a
20 followup visit --

21 MR. INGE: Followup --

22 MR. SPANGLER: -- with an

1 established patient?

2 MR. INGE: Correct.

3 MR. SPANGLER: Okay, I got it.

4 MS. ARAVAMUDHAN: As the developer
5 we would be very willing to make that change
6 editorial. We actually started that way and
7 then people started, is this code included,
8 that code included. So we tried to make the
9 description more, but we can do that as an
10 editorial change, definitely.

11 And in terms of the evidence, if I
12 might, there is a distinct difference in the
13 evidence between children and adults. And
14 we're very cognizant of that.

15 So as we are looking at the adult
16 populations we are struggling with a measure
17 like this for the adult population. It's not
18 as straightforward.

19 But for children, because of the
20 growth and development and the guidance and
21 the habit that needs to be formed in the child
22 in terms of maintaining oral health, the

1 guidelines definitely say, given the limited
2 evidence this is how we would extrapolate it.

3 MR. MCINERNEY: For the annual?

4 MS. ARAVAMUDHAN: For the annual.

5 If you actually look at the guidelines,
6 especially the NICE guidelines for dental
7 recall, it's every, if I'm right, it's every
8 three months to at most every 12 months. So
9 we picked the 12 months, we didn't go any --

10 MR. SALIVE: So how does this
11 differ from the last one? I mean that's what
12 I don't understand.

13 I was fine with the last one as a
14 measure of access, but this one, you know, it
15 doesn't seem that different to me. I guess
16 maybe I'm just, I mean, I have conceive of,
17 you know, I guess I have that physician
18 analogy and I'm, but I'm not, I'm willing to
19 overlook that.

20 But if there's only three types of
21 visits, prevention, diagnosis or treatment
22 right? And presumably you're going to do

1 prevention in the pediatric population that's
2 why, the whole point.

3 So saying they have to have
4 diagnosis, you know, here, is that really any
5 different? I mean no one is going to just
6 have treatment, they are going to be looked at
7 for diagnosis first. So how does this really
8 differ from the last one?

9 MS. ARAVAMUDHAN: Okay, I'll start
10 first and then Dr. Crall can jump in. So we
11 had a lot of problem as we looked at the
12 previous measure and this measure.

13 And the thing is, like Dr. Crall
14 said with the previous measure, if you go to
15 the emergency room it's counted. So the true
16 measure of access is this when you can
17 actually get the child into care.

18 So the previous measure, like we
19 mentioned, is a basic health services measure
20 in terms of utilization of care. Period.

21 This one is actually saying, okay,
22 take out those emergency visits, those problem

1 focus visits that anyone else has and let's
2 talk about the child actually entering care
3 and having a dental home. So that we see as
4 a significant difference between the previous
5 measure and this measure.

6 MR. CRALL: And I don't think I
7 have a whole lot more to add to that. And
8 again, they tend to be looked at as, okay, we
9 have a rate or a count for this particular
10 measure. And then you have other, you know,
11 context to whether or not the child is
12 enrolled and did or didn't get services.

13 But this one really is, as I said,
14 an effort to move forward to say there is a
15 logical sequence and a process of care that we
16 would specify is followed. But unless we have
17 ways of measuring that we don't know to what
18 extent that's being followed or how consistent
19 that's being done across age groups, across
20 plans, across a variety of things.

21 And so this is really an effort to
22 try to put a little finer point on something

1 that is a proxy for that ongoing source of
2 care. There are other frameworks for
3 categorizing groups of procedures or services
4 beyond diagnosis, prevention and treatment, as
5 you said, in the current CMS 416 scope.

6 They just look at the measure that
7 you previously passed, that the child got any
8 service. And then jump to prevention and jump
9 to treatment have nothing in, well there's a
10 new one for all the diagnostic services. But
11 we're looking specifically for an examination
12 which is an indicator to us that that child's
13 oral health has been assessed and that
14 somebody has a plan that then we could, you
15 know, look to follow up and see what their,
16 either subsequent use of services either by
17 periodicity or by nature could be tracked back
18 to that particular marker.

19 MR. VENKATESH: So I guess I'm in
20 complete agreement with Marcel about trying to
21 understand how this is an incrementally
22 different measure with respect to the outcome

1 of access, if that's what the important thing
2 is.

3 But what concerns me more, I
4 guess, was your answer where I did not realize
5 in the previous measure that emergency
6 department visits would be constituted a form
7 of access. Because I thought the billing
8 codes that you guys had listed here are
9 nothing that I've ever billed before.

10 MR. CRALL: No, I think treatment
11 for an emergent condition by a dentist would
12 be covered. So I think, I think I did hear
13 Kristen say --

14 MR. VENKATESH: Okay.

15 MR. CRALL: -- emergency room,
16 that's because we're working on another set of
17 measures and her mind is over there probably.
18 But no, you're right, that isn't the case.
19 No.

20 MR. VENKATESH: Okay. So if
21 that's the case then it just seems to me like
22 to try to parse out something like prevention

1 separate from diagnosis, separate from
2 treatment when in reality these things happen
3 concurrently or some of the reasons why these
4 things are important, like you said, teaching
5 about oral health.

6 I can't imagine that you would not
7 discuss oral health and that type of
8 prevention and education would not occur in
9 the context of only a treatment visit that's
10 captured by the previous measure.

11 It seems odd to me that we have to
12 have another measure to just say, did you code
13 for an exam part? And so that's where I guess
14 what I'm getting at is, when we started the
15 day, one of the things Helen brought up was
16 the idea of measure parsimony. Right.

17 Having a limited number of
18 measures that are able to capture the largest
19 amount of information. And in my, what I'm
20 asking here is, by this addition of an
21 incremental measure, right, are we just
22 measuring another thing but not actually

1 getting any other meaningful information for
2 community level health improvement?

3 MR. CRALL: I think it's a
4 legitimate question and again, usual
5 processes, particularly in pediatric offices
6 I can speak to, you know, is that from an
7 efficiency standpoint, absolutely.

8 You know, bringing in a child you
9 basically do the assessment, you develop the
10 treatment plan, you do the preventive services
11 and try to do that all at once because it
12 avoids, you know, and it starts you, if
13 there's any additional treatment that's
14 necessary, it starts you and puts you into a
15 different phase.

16 But again, we can't, if we could
17 make assumptions we wouldn't need measures.
18 So it really does help us to understand the
19 extent to which that child's oral health is
20 being assessed on some periodicity.

21 And treatment plans can get very
22 prolonged. You can have programs where

1 preventative services actually might be
2 provided and not necessarily combined with an
3 examination.

4 We feel because of the importance
5 of the ongoing source of care, which I know
6 we're going to get to in the next measure, and
7 the fact that there is that process of care,
8 that there is an addition to that.

9 And I can only add from a
10 historical standpoint, I chaired an expert
11 panel for NCQA and this is back in the late
12 '90's, this is one of the recommendations that
13 that group made for an additional measure as
14 well. But that's just historic.

15 MR. BAER: From a Medicaid
16 standpoint I agree with this measure because
17 we do have something called a Special Needs
18 Unit and we get calls everyday to make special
19 arraignments to get people care. And we'll
20 get a lot of calls with urgent needs.

21 And those are the ones that we
22 see, you know. They may do us a special

1 favor, but are they going to keep that child
2 long term?

3 So, you know, if that child can
4 stay with that plan long enough to get a
5 comprehensive exam and not a reactive
6 evaluation due to a painful tooth, abscess,
7 you know, whatever, I do feel that that's how
8 I see this from a Medicaid standpoint. It
9 would be helpful for me to see this data.

10 MR. INGE: And actually I was
11 going to repeat the same thing. This
12 eliminates those episodic incidences because
13 unfortunately in dentistry a majority of the
14 care is delivered by general dentists. And it
15 usually, not usually, quite often it's
16 episodic.

17 And it's just getting the patient
18 out of pain. It isn't actually putting them
19 on a path for a better health outcome. By
20 doing an evaluation you at least put them on
21 the path for a better health outcome.

22 MR. MCINERNEY: Now, move into

1 evidence.

2 MR. BAER: Okay. So the evidence,
3 based upon the data sets that we've been
4 talking about all morning, being able to
5 capture this information on a claims database,
6 there are specific codes.

7 And as I recommended that we just
8 refer to the oral evaluations, which will
9 cover all of those exam codes, and be able to
10 identify when a patient has been seen in an
11 office to begin a pathway to better
12 healthcare.

13 Unless there are any other
14 challenges or questions about the dataset?

15 MR. MCINERNEY: Are we ready, oh,
16 here we go, Eric.

17 MR. FRANCE: So it seems like this
18 question is about tracking the recommended
19 interval that children should be seen every
20 year from ages 1 through 21. And I know in
21 the evidence package it refers to that
22 Cochrane review.

1 Only one randomized trial and they
2 say there's very low quality evidence to know
3 what the right interval is. So from the
4 evidence quality review about what the right
5 interval is, this is saying we don't really
6 know.

7 And as a pediatrician I'm often
8 looking at the HEDIS NCQA about how often
9 should children come in for a visit. And I
10 look at that and say, wow, that's, you know,
11 it's good that they get their shots, they get
12 their developmental screening but the actual
13 need for the visit itself, is that truly an
14 evidence-based intervention?

15 And that's where I can get stuck
16 and say I don't need to pay much attention to
17 that metric because I don't know what the
18 strong evidence is behind it.

19 So this feels similar in the sense
20 that what the right periodicity is for the
21 visit for a child between age 1 and age 21
22 that therefore provides good prevention of

1 carries is not strong.

2 MS. ARAVAMUDHAN: And I like I
3 said, like we said this morning, this is a
4 situation where it's evidence informed, not
5 really, you know, evidence based because you
6 don't have that strong evidence to support it.

7 I actually picked up the words
8 evidence informed from the Bright Futures
9 guidelines where, you know, sometimes you kind
10 of have the same problems in terms of your
11 periodicity schedules. The variety of the
12 screenings that are recommended in the Bright
13 Futures, this is really that parallel in
14 dentistry.

15 MR. MCINERNEY: So if there's no
16 further discussion on evidence, shall we vote
17 on that please.

18 MS. ROBINSON-ECTOR: For evidence
19 1 is high, 2 is moderate, 3 is low, 4 is
20 insufficient evidence and 5 is insufficient
21 evidence with exception. Voting is open.

22 So we now have all of our votes

1 and voting is now closed.

2 MS. MUNTHALI: So we haven't
3 reached consensus, it looks like a split vote.
4 Am I counting this right, is it 1 high, 9
5 moderate? And I can't read, I'm sorry, below
6 --

7 MS. ROBINSON-ECTOR: It's 5 below.

8 MS. MUNTHALI: -- 5 below.

9 MS. ROBINSON-ECTOR: 4, yes.

10 MS. MUNTHALI: It's 10 and 10, so
11 what, we are missing one vote so let's re-
12 vote. Sorry, about that, I think we're back
13 to 21 in the room.

14 MS. ROBINSON-ECTOR: Okay, so
15 voting is now open. We're waiting on one
16 vote. Okay, all the votes are in and voting
17 is now closed. Okay, there were 10 votes.

18 (Off record comments.)

19 MS. ROBINSON-ECTOR: Okay, sorry
20 about that I think we're going to have to re-
21 vote again.

22 (Off record comments.)

1 MS. ROBINSON-ECTOR: Okay, sorry.
2 So there are 10 for moderate, 6 votes for low,
3 4 votes for insufficient and 1 vote for
4 insufficient evidence with exception.

5 MS. MUNTHALI: So based on the
6 Committee's voting, this measure will not go
7 forward. It has failed on evidence for
8 importance to measure and report. So, sorry.

9 MR. KROL: Remind me what
10 insufficient evidence with exception means?

11 MS. MUNTHALI: So I will point you
12 to your algorithms. If you go to the back
13 page of the first page, which would be page,
14 well I guess it's 9, it's not in the back it's
15 the second page.

16 So if you, to answer this. If you
17 had said on Number 7, is empirical evidence
18 submitted but without systematic review and
19 grading of the evidence, if you answered no
20 you go to 10. Are there or should there be
21 performance measures of related health outcome
22 or evidence based intermediate clinical

1 outcomes of process?

2 This is what I was talking about
3 before. If you had said no to that you'd go
4 over again to Number 11, is there evidence for
5 systematic assessment of expert opinion that
6 the benefits of what is being measured
7 outweighs potential harms.

8 And then the Committee would make
9 a decision on whether or not you agree it's
10 okay to hold providers accountable for
11 performance in the absence of empirical
12 evidence, as you were talking about before, of
13 benefits to patient.

14 If that is the case you would rate
15 it as insufficient evidence with exception.

16 MR. KROL: So that falls in the
17 negative camp rather than positive camp? I
18 guess that's my question.

19 FEMALE PARTICIPANT: No, it will
20 move forward.

21 MR. KROL: So then it's 11, but we
22 have to have 60 percent?

1 MS. MUNTHALI: Yes.

2 MR. KROL: Oh, I see what you're
3 saying.

4 MS. MUNTHALI: Yes, sorry.

5 MR. KROL: You don't have to have
6 more than 50 percent.

7 MS. MUNTHALI: Sorry, I should
8 have explained that.

9 MR. KROL: I get it.

10 MS. MUNTHALI: So yes. But that
11 is a positive, that's, you're making --

12 MR. KROL: Okay.

13 MS. MUNTHALI: -- an exception to
14 the rule. So, I mean besides the conversation
15 there was a lot of rich discussion before but,
16 so that we can note it for, not just us but
17 for the developers, is there anything else
18 you'd like to say about your vote? Either
19 way, if you voted for it or against, we'd like
20 to make note of that.

21 MR. BAER: I'm going to make a
22 comment. I think without this measure it

1 makes the previous measure almost irrelevant.

2 To me. Just my opinion.

3 MR. MCINERNEY: Thank you. So
4 noted.

5 MR. VENKATESH: I would only kind
6 of encourage the developers to think about, if
7 you read about this measure or bring it
8 forward again, how you interpret it vis a vis
9 the first measure. You can imagine a world
10 where both of these measures are endorsed and
11 they're both being used.

12 And I can understand from
13 Michael's perspective how that can be useful.
14 But if you think about it, if the scores for
15 both measures go up we all feel good that
16 access is getting better. If the scores for
17 both measures go down we all feel bad because
18 access is getting worse.

19 But the more likely thing that can
20 happen, because the visitations are different
21 between the two, is that the measure, first
22 one, could go down, while the second one goes

1 up potentially because you're having better
2 preventative visits and reduced --

3 MR. CRALL: I actually think the
4 other scenario is probably more likely and
5 would tell you whether or not you actually
6 have people, you know, that are basically
7 getting care for episodic sort of things that
8 somebody has to arrange for versus not. So I
9 don't necessarily agree that that's the more
10 likely scenario at all.

11 MR. VENKATESH: I just think that
12 you should have some analysis that show the
13 incremental of what, who's getting captured or
14 not captured between the two.

15 MS. LUCK: I'm wondering, for a
16 possible re-submission in the future, if given
17 the fact that there's only this one, the
18 Cochrane study that cites one randomized
19 controlled trial which didn't clearly show an
20 impact, whether you might not be advised to
21 really explicitly look for a rate as
22 insufficient evidence with exception in the

1 measure form.

2 Saying that, because as you read
3 through the algorithm, Lisa, I thought okay,
4 so the experts, we don't have evidence but
5 what is it here, the final one, is there
6 evidence of a systematic assessment of expert
7 opinion, kind of work that angle in order to
8 get Committee approval.

9 MR. KROL: She means on re-
10 submission. She's suggesting --

11 MS. ARAVAMUDHAN: No, but we don't
12 have the ability to take that on the
13 submission form, it's your judgement. I think
14 we give you whatever we have.

15 MS. MUNTHALI: But I think what
16 she's saying is to make sure that you provide
17 the information. I think if you had
18 additional information on the submission form
19 that could speak to that.

20 MS. LUCK: And following the
21 algorithm step by step, if a systematic review
22 is provided then your pushed into the rating

1 it as low, moderate or high. Whereas if the
2 systematic review is not provided, you could
3 maybe mention it and say that we don't want to
4 officially submit this as evidence --

5 MR. CRALL: Right.

6 MS. LUCK: -- because we accept
7 that it's not enough. However, the expert --

8 MR. CRALL: Right, the guidelines
9 suggest that every six months or, you know, at
10 the discretion based on risk. I mean that's
11 what we have as guidelines that are synthesis
12 of that but don't reach the level of
13 systemized, randomized, control trial. Yes.

14 MS. SAMPSEL: Okay, any other
15 comments?

16 MS. KHAN: Sorry, just a quick
17 process check. We've developed a new
18 consensus process, so basically any measure
19 that falls within the 40 to 60 range is going
20 to keep going forward.

21 With noting that what your scores
22 were because if you think about, it's 10

1 moderate and 1 voting, one saying it's okay
2 with an exception, so it's really 11. And
3 then it's 4 said insufficient information and
4 6 said low. So it's a vote 11 to ten.

5 So we're going to keep going
6 forward with the voting on the measure. It
7 will go out for public comment. There'll be
8 no overall vote, but we are going to send it
9 out for public comment.

10 And then once the Committee is
11 able to reconcile all the comments that's when
12 we'll come up with the final vote. Does that
13 sound good to everybody?

14 MR. KROL: I can't help but feel
15 like that some of this may be the challenge of
16 a large part of this room being very familiar
17 with medical related work and medical coding
18 versus dental and dental coding.

19 And I just, what I sense, and that
20 maybe completely wrong, but what I sense here
21 is that a potential analogy for the challenge
22 we're having here is for those pediatricians

1 in the room, the question of quality access to
2 care being defined as children, a child say 1
3 to 4 having a 99392 code, if you know what I'm
4 saying there, so that's the, that's our
5 periodic comprehensive evaluation. That's our
6 equivalent.

7 Versus a whole series of 99213s.
8 Which are just, you know, a problem. I'm
9 addressing a problem all year long.

10 And that I think is, so that I
11 think, in speaking that language of the
12 physicians, it's, is the first measure that we
13 addressed was the 99392s plus all the 99213s
14 thrown together in one year versus this, just
15 pulling out the 99392. The periodic.

16 And whether there's a question of
17 is, is that actually truly better access to
18 care or what children should be providing,
19 being provided versus say a series of, put out
20 the fire, put out the fire, put out the fire
21 all year long.

22 I don't know if that helps this

1 conversation but, because I sort of live in
2 this world straddling medicine in dentistry,
3 I think I see that there may be a little bit
4 of a challenge here for us on the medical side
5 understanding this.

6 I don't know if that helps or
7 changes, I'm not trying to persuade anyone
8 from changing anything but I just think there
9 maybe, this may be a larger issue or just the
10 challenge that we're facing here. So I'll
11 contribute that.

12 MR. MCINERNEY: So we should
13 continue to vote on the different, the next
14 measures since we've decided that the rules
15 allow us to do that.

16 So the next would then be the
17 scientific, the opportunity for improvement.
18 Would you like to talk to that, Ron, please?

19 MR. INGE: So the opportunity for
20 improvement again refers to the two systems
21 where it's currently being measured and the
22 opportunity that it is readily available in

1 both delivery systems, medicaid and commercial
2 environment. And a measure of potentially
3 access provided by a plan.

4 MR. MCINERNEY: Any discussion on
5 that? Okay, let's vote then on the
6 performance gap or opportunity for improvement
7 please.

8 MS. ROBINSON-ECTOR: For
9 performance gap, 1 is high, 2 is moderate, 3
10 is low and 4 is insufficient. Voting is now
11 open. All of the votes are in and voting is
12 now closed.

13 For performance gap there were 8
14 votes for high, 10 votes for moderate, 1 vote
15 for low and 2 votes for insufficient.

16 MR. INGE: So the next is
17 priority. And as was mentioned by the
18 developers, the priority is to be able to
19 evaluate children being introduced into a
20 healthcare path as opposed to episodic care
21 which would just simply be problem solving.

22 And then the introduction into a

1 path towards better healthcare is a path
2 towards quality measurement so that it follows
3 along line of the placement of fluoride, which
4 we've discussed earlier we well as the
5 placement of sealants.

6 MR. MCINERNEY: Any comments on
7 this measure? Okay. Let's vote on priority,
8 please.

9 MS. ROBINSON-ECTOR: For high
10 priority, 1 is high, 2 is moderate, 3 is low
11 and 4 is insufficient. And voting is now
12 open.

13 And we're waiting for one more
14 vote.

15 All of the votes are in and voting
16 is now closed. For high priority there were
17 5 votes for high, 11 votes for moderate, 4
18 votes for low and 1 vote for insufficient.

19 MR. INGE: The next one's
20 reliability. The methodology suggested by the
21 developers is very specific and repeatable and
22 so the reliability would be high in regards to

1 identifying the parameters or the specific
2 codes that apply to this measure.

3 MR. MCINERNEY: Any comments on
4 reliability? Okay. Let's vote on reliability
5 please. Thanks.

6 MS. ROBINSON-ECTOR: For
7 reliability, 1 is high, 2 is moderate, 3 is
8 low and 4 is insufficient. And voting is now
9 open.

10 All votes are in and voting is now
11 closed. For reliability, there are 6 votes
12 for high, 12 votes for moderate, 3 votes for
13 low and 0 votes for insufficient.

14 MR. INGE: Okay. Under validity
15 we raised the challenges of the previous
16 measure, whether or not this is a subset of
17 that measure and does it have evidence to
18 support its efficacy. So that is a challenge
19 that we've just discussed. And under validity
20 that would be the only challenge that I would
21 see.

22 MR. MCINERNEY: Any further

1 discussion on validity? Okay. Let's vote
2 please.

3 MS. ROBINSON-ECTOR: For validity,
4 1 is high, 2 is moderate, 3 is low and 4 is
5 insufficient. Voting is now open.

6 Okay, and we're still waiting on
7 one vote. All votes are in and voting is now
8 closed. For validity there is 1 vote for
9 high, 12 votes for moderate, 8 votes for low
10 and 0 votes for insufficient.

11 MR. INGE: Okay. On feasibility
12 the data source being measured is very
13 consistent, being measured through claims
14 data. Again, a very reliable source in
15 regards to the reporting of these specific
16 codes and being able to capture that
17 information. From that standpoint feasibility
18 would be fairly straightforward.

19 MR. MCINERNEY: Further discussion
20 on feasibility? Okay. Let's vote please.

21 MS. ROBINSON-ECTOR: For
22 feasibility, 1 is high, 2 is moderate, 3 is

1 low and 4 is insufficient. And voting is now
2 open.

3 All of the votes are in and voting
4 is now closed. For feasibility there were 17
5 votes for high, 4 votes for moderate, 0 votes
6 for low and 0 votes for insufficient.

7 MR. MCINERNEY: Feasibility.

8 MR. INGE: Usability. Currently
9 it's in use in two programs that the
10 developers mentioned. It is reportable to the
11 public. Is an indication or measure that can
12 be used on a plan level, programmatic level,
13 to show improvement over time.

14 MR. MCINERNEY: Thank you. Any
15 further comments on usability? Seeing none
16 let's vote please.

17 MS. ROBINSON-ECTOR: For usability
18 1 is high, 2 is moderate, 3 is low and 4 is
19 insufficient information. Voting is now open.

20 Okay, and we're still waiting on
21 one vote. Okay. All the votes are in and
22 voting is now closed. For usability there

1 were 7 votes for high, 8 votes for moderate,
2 5 votes for low and 1 vote for insufficient
3 information.

4 MR. MCINERNEY: All right, thank
5 you. So to vote on this measure overall for
6 endorsement we're in somewhat limbo territory
7 here I think. Because of the first vote being
8 an 11 to 10 votes we did not achieve a 60
9 percent majority. I'll leave it up to the
10 Committee whether we want to vote for overall
11 suitability for endorsement if that's
12 permissible.

13 MS. KHAN: The other option would
14 be to wait until public and member comment and
15 then do a vote.

16 MS. MUNTHALI: The other thing I
17 just want to add, since usability and use was
18 voted so lowly we would want to get some input
19 from you as well on that.

20 MR. MCINERNEY: Yes, Eric.

21 MR. FRANCE: I would just hesitate
22 to vote, it could make things more confusing

1 in some ways. It's like doing a test without
2 needing it.

3 MS. FRAZIER: Yes, I guess I got
4 confused in the process. Because I think it
5 seemed that if you don't vote for number one,
6 which we didn't have consensus, it really
7 marginalizes all the other votes. So I can't
8 imagine voting for an overall if we've
9 marginalized everything else. I mean, I would
10 be, I would feel very uncomfortable. It just
11 seemed to be so inconsistent.

12 It just marginalizes this specific
13 process and what we did for just this measure,
14 I think we just kind of marginalize it a
15 little bit. Especially if we vote at the end.
16 Just for this measure. I'm not sure why we
17 did that, but --

18 MS. KHAN: I do want to note also
19 that we were in the sort of 40 to 60 gray zone
20 with validity as well. So it wasn't just
21 evidence, it was also validity. So we did it
22 twice.

1 MS. FRAZIER: Well that means I
2 definitely don't want to vote for the last
3 one. I mean you just validated what, I don't,
4 think we shouldn't vote for the last.

5 MR. VENKATESH: I would also say
6 that earlier, just a few minutes ago, we said
7 we would not vote at the end. And so there
8 are things I wrote down to give as feedback to
9 the developers that otherwise would have been
10 part of maybe a discussion in the interim.

11 And so if we're really going to
12 change course to vote, when we said we weren't
13 going to at the end, that to me seems somewhat
14 drastic when we have another option which is
15 to get more information, wait until the
16 comment period's over.

17 MR. BAER: I'll make that a
18 motion, that we delay.

19 MR. BIALEK: Second.

20 MR. MCINERNEY: Hearing no further
21 discussion on delaying the vote, all in favor
22 of delaying the vote -- Do we need to use the

1 clickers or can we just do a hand --

2 MS. KHAN: We can just do hands.

3 MR. MCINERNEY: Hand vote. All in
4 favor of delaying the vote, aye. And, all
5 right, clear majority to --

6 MS. KHAN: We can hear anyone's
7 feedback at this point if you wanted to
8 provide your feedback to the developers.

9 MR. VENKATESH: We can do it
10 offline. It'll save time.

11 MR. MCINERNEY: Sorry. The next
12 one is 2518, Care Continuity Dental Service.
13 This is a continuity measure. Percentage of
14 enrolled children age 2 to 21 years enrolled
15 in two consecutive years who received a
16 comprehensive or periodic oral evaluation in
17 both years. Measure developers want to say
18 something about this one please?

19 FEMALE PARTICIPANT: This is just
20 taking, unfortunately, the previous measure a
21 step further and looking for services over two
22 years. Again, it's continuity in terms of

1 keeping the children in the dental home and
2 making sure that they're receiving the
3 services over two years.

4 MR. CRALL: Guess the only thing
5 I'd add is, you know, it is a different
6 measure and the data we have suggests that
7 it's not as close as overlap as the previous
8 measure would have been to the use of services
9 measure. So that issue about the longer time
10 period for the assessment of performance on
11 the measure, I think, makes it a different
12 measure. It's not just sort of more of the
13 same.

14 MR. MCINERNEY: David.

15 MR. KROL: Sure. So this measure
16 is a process measure that focused on whether
17 a child received a comprehensive or periodic
18 oral evaluation in each of two consecutive
19 years.

20 The connection between the process
21 and health outcome is stated in the following
22 way, "Clinical oral evaluations play an

1 essential role in caries identification,
2 prevention and treatment thereby promoting
3 improved oral health, overall health and
4 quality of life."

5 Two clinical practice guidelines
6 are presented as evidence to support the
7 measure. One from UK NICE and the American
8 Academy of Pediatric Dentistry. One of the
9 two, the UK one, comments on the frequency
10 interval of the evaluations shortest being
11 three, longest being 12, while the AAPD
12 guideline gives the average interval but does
13 not recommend an interval.

14 Limited evidence is provided to
15 show the process contribution to a health
16 outcome. The AAPD guideline states, "Early
17 detection and management of oral conditions
18 can improve a child's oral health." And
19 that's my emphasis, can, not theirs. But it's
20 interesting that that word may have been
21 purposefully used since the evidence isn't
22 necessarily there.

1 And the grades of D or GPP of the
2 evidence are provided by the UK NICE review
3 but not by the AAPD review. There's no
4 grading of the quality or definition of the
5 grading of the quoted evidence provided for
6 either of the systematic reviews.

7 The UK review, however, states
8 that there is a lack of high quality evidence
9 across studies, though that may not reflect
10 importance and professional agreement exists
11 around at least yearly intervals for recall
12 visits, as we've talked about.

13 A more recent systematic review
14 was Cochrane that included only RCTs and only
15 included one study. That was rated as very
16 low quality.

17 MR. MCINERNEY: Any further
18 discussion?

19 MS. SAMPSEL: Actually we have a
20 new member who joined. So, Mike, we're hoping
21 that could as a matter of public record,
22 introduce yourself as well as update any

1 changes that you may or may not have had to
2 disclosures or conflicts of interest.

3 MR. STOTO: Okay. Well, thank
4 you. Well first of all let me apologize for
5 being late, I had another important meeting I
6 had to go to today back at home.

7 I'm Mike Stoto. I'm on the
8 faculty at Georgetown University and I don't
9 have any changes to make to my disclosures.

10 MS. KHAN: Sorry. We're going to
11 be giving you a slip of paper also that will
12 state whether you have a two or three year
13 term on the Committee.

14 MR. STOTO: Okay.

15 MS. KHAN: So if you could just
16 announce that when you get your paper.

17 MR. STOTO: It's a lottery huh?
18 Two. Is that good or bad?

19 MR. MCINERNEY: Well the pay is
20 the same whether it's two or three years.

21 (Laughter.)

22 MR. MCINERNEY: Okay. So thanks

1 for reminding me, that introduction. So now
2 remind me, where are we.

3 MS. KHAN: Evidence.

4 MR. MCINERNEY: Evidence? Okay.
5 Any further discussion on evidence? Yes.

6 MR. BIALEK: I don't know if this
7 fits with the evidence discussion or further
8 down. But for the population here, two
9 consecutive years, if you're not in the same
10 plan for two consecutive years how is that
11 impacted with regard to the measure?

12 MS. ARAVAMUDHAN: The denominator
13 is conditioned based on enrollment
14 requirements for both years. So it would only
15 capture those in the denominator, so that is
16 adjusted for.

17 MR. MCINERNEY: Arjun.

18 MR. BIALEK: For the individuals
19 who are not enrolled for two consecutive years
20 which is, I mean, do you have data on the
21 proportion of the population that's not
22 enrolled for two consecutive years in a

1 specific health plan? Especially Medicaid,
2 Chip?

3 MS. ARAVAMUDHAN: I believe we do
4 have that data but it wasn't -- Do we have the
5 two-year enrollment?

6 FEMALE PARTICIPANT: I don't think
7 it's in the application.

8 MS. ARAVAMUDHAN: It's not in the
9 application, but that was part of our
10 Committee review that we did in terms of
11 understanding the feasibility of these
12 measures. Either we went back to, you know,
13 90 day, 180 day, 11 out of 12 months and did
14 the whole iteration before we came up with the
15 conclusion that this was going to be feasible
16 and valid for the measuring of the plan at the
17 program level.

18 MR. BIALEK: So you don't have the
19 proportion of the population?

20 MS. ARAVAMUDHAN: Not in the
21 application, no.

22 MR. BIALEK: Why wouldn't you have

1 that -- out of the application?

2 MS. ARAVAMUDHAN: Yes, we have it
3 available. We can share it with you at some
4 point.

5 MR. BIALEK: That would be good,
6 thank you.

7 MR. VENKATESH: I was just going
8 to say that I think this measure has a couple
9 features to it that make it a much better
10 measure of access and something that I think
11 seems a lot stronger than the previous measure
12 that we evaluated. And that is that it
13 includes this concept of two visits.

14 And the language that was getting
15 used in the previous measure a lot was that,
16 you know, you needed to have one periodic or
17 comprehensive exam to have a pathway but there
18 really wasn't data, or there wasn't evidence
19 to suggest that by having one that you
20 therefore have a pathway.

21 To me when I see this measure and
22 interpretation it said this is a pathway

1 because it's suggesting that the second visit
2 suggests that hopefully that something happens
3 between the two. There's some construct for
4 continuity then. So to me this is a much
5 better measure of access.

6 And also I think we shouldn't
7 overplay some of the level of evidence work
8 from the Cochrane review, because if you think
9 about what happens in the Cochrane review the
10 question they're asked is, right, does this
11 having a periodic evaluation lead to some
12 health outcome change.

13 But if we're viewing this as a
14 measure of access, so within the National
15 Quality structure if you think about the
16 community domain and access underneath that,
17 that's not the question that they asked when
18 they reviewed a lot of this evidence.

19 And so if you are looking for an
20 access measure and something that has that
21 kind of continuity element to it I think this
22 addresses those in some ways better so than

1 just a straight utilization measure of a
2 single visit. And I think it's good for that
3 case.

4 MR. STOTO: So I guess the issue
5 with this one is that they call it care
6 continuity and I wonder whether or not just
7 two visits in two subsequent years really is
8 a measure of continuity.

9 I mean, continuity I think usually
10 means that all of your services are
11 coordinated from a variety of different
12 providers and so on. And this strikes me as
13 not getting at that concept.

14 MS. ASOMUGHA: That's precisely
15 what I was going to say as well. It really
16 doesn't get at that whole continuity question.
17 I also -- And I stepped out right when
18 somebody was asking a question about what
19 happens if they change plans or doctors or
20 whatnot, does that still get followed.

21 So I don't consider it to be the
22 best measure of access or continuity for that

1 matter.

2 MR. MCINERNEY: Yes.

3 MS. MCKANE: And mine is similar.

4 I had to step out so if this was covered I
5 apologize. But I was wondering how you
6 decided on just two years. And, you know, the
7 same thing, when I think in continuity of care
8 I think in longer terms. But I also recognize
9 that with the population that may be difficult
10 to track. So I was wondering how you arrived
11 at two years as your period?

12 MS. ARAVAMUDHAN: So this is
13 really difficult for us, right? And in terms
14 of measuring you would hope that the primary
15 use of any measure is more longitudinal. That
16 the program or plan will pick a measure and
17 then keep it for over time so they can see
18 change over time.

19 So if you think about this measure
20 and then look at it over time then that trend
21 data is really, really useful even though the
22 population might shift between year one, year

1 two. Year two, year three or year three, year
2 four.

3 There is some continuity built
4 into that that helps at a program again
5 bringing us back from the individual patient,
6 individual provider, to looking at this as an
7 access measure at the plan level, program
8 level, how does that work. I think that's the
9 thought process that went into looking at the
10 measure the way it is.

11 MR. CRALL: Yes and the other is
12 just looking empirically and noticing the
13 falloff. The longer you make that period the
14 smaller the, you know, the proportion of the
15 population that you can actually look at. So
16 I mean we actually look at it empirically.

17 MR. MCINERNEY: All right. Any
18 further discussion on 1A, evidence? Yes.

19 MR. STOTO: One more thing. I
20 mean reading through this whole set of
21 measures it struck me you guys did a really
22 good job in trying to do the best you could

1 with the data you had. And in some cases you
2 did a very good job I thought.

3 But in this case it seemed to be
4 too much of a stretch that this particular
5 proposed measure would actually address
6 continuity. Maybe it's a useful thing to have
7 but I certainly want to call it continuity.
8 And I can't say that the evidence that's cited
9 about the importance of continuity relates to
10 this, was addressed by this measure.

11 MS. ARAVAMUDHAN: The continuity
12 of care is the name of the measure. If there
13 is a better way to express it I think we would
14 be open to it. But the intent of this measure
15 is simply to say dental home one here, dental
16 home and then see if that patient was within
17 the system. So definitely if there is a
18 different way to express the measure title we
19 are open to that suggestion.

20 MR. MCINERNEY: No further
21 discussion. Let's vote on evidence please.

22 MS. ROBINSON-ECTOR: Okay. For

1 evidence 1 is high, 2 is moderate, 3 is low,
2 4 is insufficient evidence, 5 is insufficient
3 evidence with exception. Voting is open.

4 Okay. Just waiting on one vote.
5 All of the votes are in and voting is now
6 closed.

7 For evidence, 0 voted high, 11
8 voted moderate, 5 voted low, 4 voted
9 insufficient evidence and 2 voted insufficient
10 evidence with exception.

11 MR. MCINERNEY: So if we add the
12 11 moderate and the 2 insufficient with
13 exception that gets to 13 out of 22.

14 MS. KHAN: So it's 59 percent.

15 MALE PARTICIPANT: All right, if
16 it was 13 out of 21 it would have been good.

17 MS. KHAN: So we'll just follow
18 the same procedure we did before. At the end
19 we can decide, or you all can decide as the
20 Committee whether or not you want to do an
21 overall vote or not.

22 MR. KROL: Okay to go for the

1 performance category?

2 MR. MCINERNEY: Yes, David.

3 MR. KROL: So for performance gap
4 extensive data are made available that
5 demonstrate a considerable variation and less
6 than optimal performance of annual access to
7 dental services, though not specifically using
8 this process measure, these disparities are
9 found by age, race, ethnicity, geography as
10 well as family income, insurance status and
11 education.

12 MR. MCINERNEY: Any further
13 discussion on performance gap? Yes?

14 MS. MCKANE: I have a question.
15 It says, I was just reading whatever this is
16 -- Sorry. I was reading the summary and it
17 says that these data however do not relate to
18 the proposed measure of continuity of care so
19 the data aren't provided for this. I mean I
20 totally agree that there is disparities in
21 care, but the data that applied aren't related
22 directly to this measure?

1 Okay. I just wanted to clarify
2 that because that's what I was reading and I
3 just wanted to make sure.

4 MS. ARAVAMUDHAN: Yes. If we had
5 cited something from the literature then we
6 probably had made a comment that that is not
7 very specific to this measure. But our own
8 testing data that's including in the measure
9 testing form is definitely against this
10 particular measure.

11 MS. MCKANE: Okay. Thank you.

12 MR. MCINERNEY: Okay. Any further
13 discussion on performance gap? Okay. Let's
14 vote please.

15 MS. ROBINSON-ECTOR: For
16 performance gap, 1 is high, 2 is moderate, 3
17 is low and 4 is insufficient. And voting is
18 now open.

19 All votes are in and voting is now
20 closed. For performance gap 4 voted high, 13
21 voted moderate, 3 voted low and 2 voted
22 insufficient.

1 MR. MCINERNEY: Okay.

2 MR. KROL: So high priority. Data
3 are made available for the percentage of
4 children who have untreated decay. Data was
5 previously provided on higher disease rates in
6 certain populations, minority and poor
7 populations, and the specific disease, dental
8 care, is noted as noted as the most common
9 chronic disease of childhood.

10 MR. MCINERNEY: Any further
11 discussion on priority? Okay then let's vote.

12 MS. ROBINSON-ECTOR: For high
13 priority, 1 is high, 2 is moderate, 3 is low
14 and 4 is insufficient. And voting is now
15 open.

16 All of the votes are in and voting
17 is now closed. For high priority 7 voted
18 high, 10 voted moderate, 3 voted low and 2
19 voted insufficient.

20 MR. KROL: So which is 2A,
21 reliability and validity. So 2A, let's see.
22 Yes, the only things that I had down here you

1 could probably ignore. They're my nitpicking
2 about the language and the numerator and
3 denominator. It's just left out, the age
4 group and the denominator. But I think that's
5 a nitpick that's not an issue.

6 And then just back to the whole
7 logic about who provides services as far as
8 the rendering provider taxonomy code. And
9 then there's that one code that would qualify
10 but it has a notation that states it's not
11 applicable for this measure. We talked about
12 this in a previous measure, didn't quite make
13 sense. Otherwise numerator, denominator
14 exclusions are clearly described.

15 Let's see. And then specifically
16 about reliability testing. Well I'm talking
17 about both. Okay. So for reliability, wasn't
18 done using statistical tests with the measure
19 as specified. The authors make a case that
20 because the measure relies on standard data
21 fields commonly used in administrative data
22 that integrated reliability does not apply.

1 As for the flow chart that we got,
2 since they did do empirical validity testing
3 with patient-level data, I'm using the rating
4 from the validity testing of the patient-level
5 evidence. And that's just following the
6 protocol of how you put it in.

7 So as far as validity testing for
8 the measure it assessed critical data element
9 validity, empirical measures for validity face
10 and then potential threats. The critical data
11 element validity focused on the accuracy of
12 the dental procedure codes reported in the
13 plan's data. This was done looking at whether
14 the code in the plan's data was supported by
15 the dental record. They found agreement,
16 concordance between dental records,
17 administrative claims data with good numbers,
18 kappa point 642 which is substantial.

19 Face validity was gauged through
20 feedbacks elicited through public comment
21 periods, stakeholder feedback, though no
22 measure of that face validity was obtained,

1 though they did state that unanimous agreement
2 among the group of stakeholders that the
3 calculated measure can be used to evaluate
4 quality of care was obtained.

5 And additional face validity test
6 via consensus process to determine the final
7 denominator definition regarding length of
8 enrollment, six months. They also looked at
9 whether long, meaning great than six month
10 gaps in enrollment, might be a threat to
11 validity and they found it would not be.

12 MR. MCINERNEY: Any further
13 discussion on reliability? Okay. Let's vote
14 please.

15 MS. ROBINSON-ECTOR: For
16 reliability, 1 is high, 2 is moderate, 3 is
17 low and 4 is insufficient. And voting is
18 open.

19 All votes are in and voting is now
20 closed. For reliability 4 voted high, 16
21 voted moderate, 2 voted low and 0 voted
22 insufficient.

1 MR. KROL: Yes, so I'm not sure
2 that when -- Essentially it defaulted from
3 reliability to validity. So it's sort of
4 redundant, I'm not sure if you want us to go
5 through that again with the validity? Okay.

6 Do you want me to repeat what I
7 said for the reliability and validity? Okay.
8 Thank you.

9 MR. MCINERNEY: Any discussion on
10 validity? Yes?

11 MR. STOTO: I'm trying to find the
12 exact spot. But I think I recall that reading
13 that the RAND/UCLA Delphi process method
14 didn't actually consider this measure itself.
15 So they can't really cite that as in support
16 of the validity if they didn't support this
17 measure. Is that correct?

18 MS. ARAVAMUDHAN: You mean for the
19 face validity of the measure?

20 MR. STOTO: The face validity,
21 yes.

22 MS. ARAVAMUDHAN: So yes, it was

1 part of the Delphi process that it was
2 considered. I'm not sure what we wrote on the
3 form. But we also, an array of Delphi
4 processes is we go at it iteratively at
5 different stages in the measure development
6 process.

7 So initially as we do an
8 environmental scan and then when we later on
9 do the draft measure concept. And then as we
10 do the measure, so definitely in the later
11 stages of the game this was included. This
12 measure was not identified in the
13 environmental scan, that's the section that
14 you might be referring to.

15 MR. STOTO: So was this particular
16 version of the measure -- I mean, I can see
17 that continuity for sure would score high in
18 face validity. But this idea about received
19 a comprehensive evaluation in both years --

20 MS. ARAVAMUDHAN: Definitely.

21 MR. STOTO: Was that the specific
22 one that was scored high there?

1 MS. ARAVAMUDHAN: Yes. When we
2 have our draft measures, before we test we
3 send it out once. Then in between, once we
4 have all the testing data, before we actually
5 finalize the measure we take another check on
6 face validity.

7 Then after we finalize the
8 complete specs and all the i's are dotted, t's
9 are crossed, we set it out again for a vote.
10 So we have multiple places where we check for
11 face validity.

12 MR. MCINERNEY: Okay. Any further
13 discussion on validity? All right, let's vote
14 please.

15 MS. ROBINSON-ECTOR: For validity,
16 1 is high, 2 is moderate, 3 is low and 4 is
17 insufficient. And voting is now open.

18 All votes are in and voting is now
19 closed. For validity 0 voted high, 16 voted
20 moderate, 5 voted low and 1 voted
21 insufficient.

22 MR. STOTO: Could I -- I'm sorry

1 to come back to it, but I found the language
2 that I was looking for. I mean, can I bring
3 it up again? Yes.

4 This is in the section that says
5 face validity in the Appendix about 2A, 2B and
6 so on. It says, "Continuity was identified in
7 the Delphi process and although care
8 continuity was not explicitly evaluated
9 through the Delphi process the measure
10 concepts for oral evaluation and specifically
11 a comprehensive or period oral evaluation were
12 evaluated."

13 Oral evaluation is a central
14 component of the proposed method and got a
15 high score. So this comes back to the first
16 thing that we talked about with this measure,
17 is that oral evaluation scored well in terms
18 of validity. But not, as I read this text
19 here, as a measure of continuity. That's the
20 concern that I have.

21 MS. ARAVAMUDHAN: So that
22 pertained to the environmental scan results

1 and how we use the Delphi process to wiggle
2 down the concepts that we were trying to go
3 ahead and double up the measures with.

4 So initially when we got our list
5 of 200-odd measures that were out there to be
6 part of the scan this was not included in that
7 list. So it did not go through that formal
8 Delphi process at that point in time. That's
9 what that section is talking about in terms of
10 environmental scan. I'm trying to pull that
11 up quickly, but I'm hoping that it's listed
12 under that section.

13 Later on, as our process works, we
14 have our committee which then looks at all of
15 these measures and votes on, okay, it passes
16 this step, passes this step. And when then we
17 go to take formal votes through the broader
18 DQA which has 32 different organizations
19 sitting at the table, and so it has to pass
20 all those votes before it, you know, comes to
21 any kind of final step.

22 And there are at least two

1 different steps of interim reports and
2 consensus building before any measure is
3 finalized.

4 MR. STOTO: Then I have a very
5 specific question. And that is were the
6 experts asked whether this measure, as
7 currently stated, is a measure of continuity?

8 MS. ARAVAMUDHAN: The measure has
9 -- I'm sorry.

10 MR. STOTO: That's the question.
11 And the way I read this it says no, but maybe
12 this is incorrect.

13 MS. ARAVAMUDHAN: So we have
14 always called this care continuity, but we
15 have not asked that specific question, does
16 this reflect care continuity. This measure we
17 have always called it a care continuity
18 measure.

19 MR. CARILLO: Why not just call it
20 two-year care continuity?

21 MR. STOTO: Okay.

22 MS. ARAVAMUDHAN: And we can

1 editorially revise it. If it's not, if in
2 your expertise this doesn't really address
3 that, we're happy to revise it and simply call
4 it oral evaluation over two years.

5 MR. STOTO: Or two year care.

6 MS. ARAVAMUDHAN: Or two year care
7 continuity, whichever works.

8 MR. KROL: Start over. So these
9 are administrative data so as long as someone
10 decides to build for the service it will be
11 recorded in the normal operation of business
12 care. Most of these electronic billing
13 processes so they'll be captured
14 electronically. And there shouldn't be
15 additional cost to implement this data
16 collection.

17 MR. MCINERNEY: Any other comments
18 on feasibility? All right, let's vote please.

19 MS. ROBINSON-ECTOR: Feasibility,
20 1 is high, 2 is moderate, 3 is low and 4 is
21 insufficient. And voting is now open. Okay.
22 We're just waiting on one vote.

1 We still need one more vote.

2 Sorry, we're going to have re-vote it. Must
3 have lost one. Okay, it went through.

4 So for high there are 11 votes.
5 For moderate there were 10 votes. And for low
6 there were 1 votes.

7 MR. KROL: I'm going to stop
8 turning it off, you guys got me all nervous
9 about leaving it on.

10 Currently used in Texas for their
11 Medicaid and Chip programs. It's also being
12 suggested for use in Connecticut. As far as
13 -- It's not quite clear if there's evidence
14 that it's been shown to improve care or
15 quality, but likely it's just too early as
16 it's just been implemented.

17 And it doesn't seem to be that
18 there is any evidence that this will have any
19 negative consequences to patients. Although
20 unless providers feel that the burden of
21 measures like this, in doing all this for
22 Medicaid, makes them decide to leave Medicaid.

1 I don't know if that would necessarily be an
2 issue because I think this was specific to
3 Medicaid versus the payers.

4 But I know there's already a
5 challenge of trying to get providers to
6 participate in Medicaid, if this is seen as a
7 burden to them then it's just one more reason
8 to leave.

9 MR. MCINERNEY: Any further
10 comments on usability? All right, let's vote
11 please.

12 MS. ROBINSON-ECTOR: For
13 usability, 1 is high, 2 is moderate, 3 is low
14 and 4 is insufficient information. And voting
15 is now open.

16 All of the votes are in and voting
17 is now closed. For usability there were 4
18 vote for high, 13 votes for moderate, 3 votes
19 for low and 2 votes insufficient information.

20 MR. MCINERNEY: Okay. Well if you
21 all remember on the first critical vote we
22 reached only 59 percent, one percent short of

1 the 60 percent required to do a final vote.
2 But I'll ask the group would we want to do a
3 final, overall suitability for endorsement
4 vote? Go ahead.

5 MR. INGE: I vote no. And to stay
6 consistent with our process from the previous.

7 MR. MCINERNEY: Okay.

8 MR. VALDEZ: And I second.

9 MS. ARAVAMUDHAN: We agree.

10 MR. MCINERNEY: All right. So we
11 have some recommendations to not vote, to
12 delay the vote. All those in favor of
13 delaying the vote? Okay, great. We'll delay
14 the vote.

15 Well we've earned the break. A 15
16 minute break.

17 MS. SAMPSEL: No, I think it's
18 five minute break.

19 MR. MCINERNEY: Only five minutes?

20 MS. SAMPSEL: Maybe 10. How about
21 10?

22 MR. MCINERNEY: All right, 10.

1 We'll compromise at 10, all in favor of 10
2 minutes. Ten minute break. Thank you very
3 much.

4 MS. ARAVAMUDHAN: Thank you, for -
5 -

6 MR. MCINERNEY: Thank you.

7 (Whereupon, the meeting in the
8 above-entitled matter went off the record at
9 3:45 p.m. and went back on the record at 3:57
10 p.m.)

11 MR. MCINERNEY: We'll try and get
12 through them this afternoon, but if for one
13 reason or another we get hung up on the first
14 we can do the second one, add that to
15 tomorrow's work.

16 So we now have, these measures are
17 our measures and we have the AHRQ developer
18 here, Pam Owens, want to say a word or two?

19 MS. OWENS: Sure. My name is Pam
20 Owens. I'm the scientific lead of the AHRQ
21 quality indicator so I'll be representing the
22 two measures this afternoon, if we get to two,

1 and the six measures tomorrow. I'll provide
2 a broad overview that basically encompasses
3 all of the measures in just a moment.

4 But on the phone I have Patrick
5 Romano, he is a pediatrician and internist at
6 UC Davis and is the actual measure developer.
7 And so Patrick has all the clinical knowledge
8 and I have the data knowledge and hopefully
9 together we can answer your questions.

10 MR. MCINERNEY: Okay. And the
11 first measure that we're going to discuss is
12 the gastroenteritis admission rate, which is
13 on Page 37 of your worksheet. This measure is
14 admissions for principle diagnosis of
15 gastroenteritis or a principle diagnosis of
16 dehydration with a secondary diagnosis of
17 gastroenteritis per 100,000 population, ages
18 3 months to 17 years. And it excludes cases
19 transferred from another facility, cases with
20 gastrointestinal abnormalities or bacterial
21 gastroenteritis and obstetric admissions.

22 Now, did you want to say any word

1 about this measure, please?

2 MS. OWENS: That would be great.

3 So just to give you a broad overview, what
4 we'll be talking about this afternoon and
5 tomorrow are what we would call in the family
6 of prevention quality indicators.

7 The two today are part of the
8 pediatric quality indicator group. So they're
9 prevention quality indicators, but geared
10 towards kids.

11 All of these measures are
12 avoidable hospitalizations or ambulatory care-
13 sensitive condition indicators. They were
14 designed to assess population access to
15 timely, high-quality outpatient services for
16 the purposes of managing a chronic disease,
17 preventing complications of a chronic disease
18 or diagnosing acute illnesses before they
19 progress to inpatient treatment.

20 These are not measures of hospital
21 quality but rather measures of potentially
22 avoidable hospitalizations if appropriate

1 outpatient care, other healthcare services or
2 community services was accessed and received.

3 These measures have a denominator
4 of a population base. In other words it's a
5 geographic orientation, it is not at the
6 hospital level. And these measures are
7 derived from the healthcare cost and
8 utilization project which is a voluntary
9 federal, state, private industry partnership
10 that collects data from 46 states, all
11 inpatient hospital discharges from 46 states,
12 that includes 4,651 hospitals in 2011. And
13 roughly that turns out to be five million
14 pediatric discharges, including births, per
15 year.

16 So just so you have a sense that
17 this is a very comprehensive database, the
18 database is actually grounded in the uniform
19 bill. This is what the hospitals submit as
20 their bill. So all of the data elements are
21 standardized through the National Uniform
22 Billing Committee so every data element has a

1 standard definition. So when we talk about
2 principle diagnosis versus secondary
3 diagnosis, that has a distinct meaning.

4 And it initially it is collected
5 as part of the routine process to get
6 reimbursement. We get it from the billing
7 side. If CMS uses it it's the claims side.
8 In other words it's adjudicated. So just to
9 give you some context on the data.

10 And, Patrick, do you want to say
11 anything about the gastroenteritis measure
12 itself?

13 MR. ROMANO: Yes. This is Patrick
14 Romano, can everyone hear me?

15 MR. MCINERNEY: Yes.

16 MR. ROMANO: Okay. Thank you.
17 Yes, I just wanted to add that this measure
18 differs from some of the other prevention
19 quality indicators that we'll be talking about
20 because it has two components to the logic.
21 So it allows for a principle diagnosis of
22 gastroenteritis or dehydration. But if the

1 principle diagnosis is dehydration then there
2 must be a secondary diagnosis of
3 gastroenteritis.

4 And just to give you a brief
5 historical perspective on that. So this
6 started out as two separate indicators in our
7 development process but as we went through our
8 delphi process with a series of expert panel
9 discussions and testing of the indicators it
10 was decided to bring the two indicators
11 together, to combine them, to improve the
12 reliability. And also it was felt that
13 hospitalizations for dehydration with other
14 identified causes were not of as much
15 interest.

16 They were more likely to be due to
17 underlying medical conditions, chronic
18 conditions, that a child might have. And not
19 as likely to be amenable to ambulatory care
20 and urgent care.

21 So that's why these two indicators
22 were brought together as you see the current

1 construction.

2 So I'll turn it back over now to
3 the panel.

4 MR. MCINERNEY: Thank you very
5 much. Now time for discussion, Michael or
6 Jacqueline, who --

7 MR. CARILLO: Okay. A question?

8 MR. MCINERNEY: Sure.

9 MR. CARILLO: Now, we have
10 principle diagnosis which is, you know, put
11 together after the fact. You have first
12 diagnosis, second diagnosis, which as a
13 patient comes in. Can you clarify are we
14 talking about the principle diagnosis and then
15 talking about the secondary diagnosis from the
16 other side?

17 MR. ROMANO: Well both the
18 principle diagnosis and the secondary
19 diagnosis are established after the patient
20 leaves the hospital. They are defined in
21 regulation as diagnoses that are determined
22 through review of the medical record, usually

1 within 24 to 72 hours after the patient leaves
2 the hospital.

3 The principal diagnosis is the
4 principally responsible for occasioning the
5 admission of the patient to the hospital for
6 care. And the secondary diagnoses represent
7 other diagnoses that were established during
8 the hospital stay or were pertinent to the
9 treatment to the patient in the hospital.

10 So neither of these -- I think you
11 may be thinking of the admission diagnosis,
12 which is something completely different and
13 isn't used in the AHRQ I algorithms.

14 MR. CARILLO: Thank you.

15 MR. MCINERNEY: Yes. And from my
16 perspective it's sometimes in my mind it's
17 sort of a tossup, the coder who looks at the
18 admission and decides how to code this,
19 whether they would put dehydration first or
20 gastroenteritis first. And if they did put
21 gastroenteritis first, I mean dehydration
22 first, you would miss a significant number of

1 children with gastroenteritis.

2 So I think that makes sense to me
3 that if the dehydration is the first code then
4 checking what's next. And if it's
5 gastroenteritis then include it. That makes
6 sense, logically.

7 David.

8 MR. KROL: Just, I see a comment
9 here that may address my question but I don't
10 have the context for it. And that's is this
11 measure looking at admission versus community
12 management? Or admission versus community
13 management and emergency
14 department/observation unit management?
15 Because, you know, a scenario where an office
16 pediatrician or a home decides not to manage
17 any of these kids and sends them all to the
18 emergency department and lets the emergency
19 department decide whether they get admitted or
20 not is very different from the community
21 managing them well, not necessarily sending
22 them to the emergency department to be tanked

1 up or oral rehydration attempted.

2 Can you, I see this, it starts
3 with concur, but I don't know what that's
4 responding to and maybe that addresses that.

5 MS. MOLINE: David, transfers from
6 another organization or another facility are
7 excluded. So it's only from the community.
8 So it's --

9 MR. KROL: No, no. No, I'm sorry.
10 I misspoke. I'm just saying, so say a patient
11 goes on their volition to the emergency
12 department or an office sends a child to an
13 emergency department to get IV'd in the ED and
14 let them decide, you know, that happens.

15 At least as a resident that
16 happened quite a bit where, you know, the
17 office decided they didn't want to put an IV
18 in so they sent them to ED, or didn't have the
19 time to start an oral rehydration in their
20 office so they'd send them to the ED, for us
21 to do that and then we'd send them home and
22 not admit them. So --

1 MS. OWENS: So the attribution in
2 terms of where the problem lies in the
3 outpatient arena, whether that be, you know,
4 that there's increased utilization in the ED,
5 and that's, you know, maybe that's patient
6 choice whether it be in the community. Maybe
7 it's actually patient non-compliance with, you
8 know, with some sort of treatment that
9 somebody prescribed. Right?

10 That's not what this measure is
11 about. This measure is at the county level
12 are there higher rates of inpatient
13 hospitalization for gastroenteritis. Now
14 where that problem lies in terms of access to
15 outpatient care or community characteristics,
16 this measure doesn't say what that is. If
17 that helps.

18 And there is no, in this measure,
19 there is no measurement of ED utilization
20 other than the inpatient stay may have started
21 in the ED.

22 MR. MCINERNEY: While we're on the

1 subject, the problem of the observation
2 status, because as I understand it now CMS
3 defines a hospitalization as two midnights.
4 And we know in pediatrics we can have children
5 be admitted for 24 hours and go home without
6 hitting that two midnight and therefore it's
7 not called an admission. And I don't know,
8 what do we do with that situation?

9 MS. OWENS: So in this particular
10 database, if that observation stay then
11 becomes longer than two days it would be
12 counted as an inpatient hospitalization. We
13 have a different database that captures
14 observation stays that would count for those
15 that are less than two days.

16 MR. MCINERNEY: Oh, okay.

17 MS. OWENS: So they're not in this
18 data.

19 MR. AUERBACH: Just clarify about
20 the comment -- Was just going to clarify about
21 the comment that you made in response to the
22 data because I think it will apply to a number

1 of other metrics that we're looking at later.

2 Are you saying that this should
3 not be interpreted as a measurement of quality
4 of care? Yes? Okay.

5 So can I ask then for a
6 clarification about that? So when are we,
7 when should we consider these to be indicators
8 of quality of care versus something else? So
9 if it's not a measure of quality of care, what
10 is it?

11 MS. OWENS: Well, I mean, in the
12 sense that it could be a measure of access.

13 MR. AUERBACH: Sure.

14 MS. OWENS: It could be a measure
15 of quality. I mean, what I'm saying is we are
16 not attributing it to any one thing other than
17 we know that this particular hospitalization
18 was preventable if certain things had fallen
19 into place.

20 MR. AUERBACH: Sure. So again, I
21 guess partly this is really a question for
22 NQF. Do we give guidance for certain measures

1 that this should not be interpreted as a
2 measure of quality because in fact, I mean, it
3 also could be a measure of social determinants
4 of health or greater poverty in a community.
5 Or a population that's at greater risk for
6 social reasons, correct?

7 So I guess I'm just asking for
8 clarification about how do we tell people
9 looking at these that get approved to
10 distinguish between those that really are a
11 reflection of quality of care and those that
12 may not be?

13 MS. MUNTHALI: So you'd be looking
14 at the measure as it's specified and the
15 intent of it as Pam has stated. So we will
16 make sure in the report that the measure is
17 indeed, well, through your evaluation that it
18 is indeed evaluating what it says it's going
19 to evaluation. Attribution is where it is
20 supposed to be. The evidence supports the
21 measure. All of those things will support
22 the, you know, the intent of the measure.

1 MS. OWENS: And can I ask if
2 Patrick wants to, you know, since he was from
3 the beginning in terms of development, do you
4 have any other things you'd like to add to
5 this conversation, Patrick?

6 MR. ROMANO: Yes. Just two quick
7 points basically. One is I think perhaps a
8 more general way of describing these measures
9 would be that they're measures of health
10 system performance.

11 So quality of course is part of
12 that, but when we think about health system
13 performance it's a broader concept. NQF has,
14 for example, evaluated and endorsed measures
15 of efficiency which is another component of
16 health system performance. It's sometimes put
17 under a broader definition of quality but it's
18 really kind of a bit separate conceptually.

19 So I think that's the way we
20 conceptualize these from the beginning.

21 In terms of the comment about
22 observation units, I just wanted to add that

1 of course CMS has not yet implemented the two
2 midnight rule. Many of us who are on the
3 front lines are thankful that they have
4 deferred the two midnight rule. But
5 nonetheless it's probably coming. I think as
6 the two midnight rule is implemented obviously
7 we'll have to revisit these indicators and the
8 specifications. Look at the impact of that.

9 You know, right now I think many
10 hospitals are effectively working with a one
11 midnight rule which means that observation
12 stays have to be pretty short and are easier
13 to distinguish from hospitalizations. But as
14 we go to a two midnight situation obviously
15 there's going to be more overlap, sort of
16 conceptually between what counts as an Ob stay
17 and what counts as a hospitalization.

18 So that clearly will have an
19 impact, perhaps more for the Medicare
20 population than for this population of
21 children. But it will cause some re-
22 examination and re-analysis and perhaps re-

1 specification of the indicators.

2 So we're just working now with
3 what we have based on historical data over the
4 last decade.

5 MR. STOTO: So this is an
6 interesting question, I actually was talking
7 about it in class yesterday. And I hope what
8 I'm about to say is right, so let me know if
9 I've missed it.

10 What I have always understood
11 about these measures is that they are not a
12 measure of the quality of the care provided by
13 the hospital to which the children are
14 admitted. But they are a measure of the
15 performance of the healthcare system in the
16 communities from which they come. And I think
17 they --

18 MR. ROMANO: Exactly.

19 MR. STOTO: Yes. And I think
20 that's an important distinction and an
21 important set of measures to have in this
22 group that we're considering now. Is that?

1 That's correct?

2 MR. MCINERNEY: So we'll start now
3 at the end of the table and work our way up.

4 MR. ROMANO: Perfect from our
5 perspective.

6 MR. AUERBACH: So I'm a little
7 uncomfortable with that. Because I think that
8 that, normally if you said health system
9 performance I would assume that what you're
10 saying is the healthcare provider in the
11 community, or the primary care provider, has
12 somehow failed in terms of the pediatrician in
13 this case.

14 I mean, I like a notion writ large
15 of health system performance that includes
16 poverty and, you know, the larger social
17 determinates. But I think that that's unfair,
18 you know, in this context.

19 I think we don't currently hold
20 our health system accountable for addressing
21 all the social determinants of health. We
22 might want to but we don't. And so that's why

1 I think it implies, I think the term health
2 system and performance does imply the
3 traditional way we thought of a health care
4 provider having responsibility. Somebody else
5 has failed in that system other than the
6 hospital. And I think it's more complicated
7 than that.

8 MR. STOTO: It's a really deep
9 issue. But I think that as we're moving to a
10 world of population health where we have to
11 bear in mind that health is a shared
12 responsibility, having measures like this that
13 work well, if understood properly, are
14 important.

15 So if a community has a high
16 number and it doesn't say who's at fault, but
17 it does say something needs to be looked at.
18 And so if it's interpreted that way I think
19 it's an important and useful set of measures
20 to have.

21 MS. SELLERS: So this is all kind
22 of leading into what I was going to circle

1 back with you about, which is your reports.
2 And forgive me for not being very familiar
3 with how they look when they come out.

4 But do you have a systematic way
5 of gathering committee input on, you know,
6 what this a measure of and how this measure
7 should be used and what it should not be
8 interpreted as? And would that be a place
9 where we could elaborate on this being a
10 measure of community health or community
11 health system performance or whatever, you
12 know, whatever language there is consensus on?

13 MS. ASOMUGHA: I'd also add to
14 that that when it comes to how we measures,
15 say for instance from CMS, when we have not
16 only the pay for reporting but pay for
17 performance, the issue that would definitely
18 arise is how dare you CMS and any other payer
19 decide that we're accountable for something
20 that the other part of the system had more of
21 the responsibility over.

22 So if the hospitals are being

1 excluded from this and it's more a measure of
2 the community health system, I could foresee
3 providers saying that's not our fault. And if
4 we did it the other way I could see hospitals
5 saying it's not our fault either. They do it
6 now.

7 So just --

8 (Off microphone comment.)

9 MS. ASOMUGHA: Well it doesn't
10 matter, you're right, it doesn't matter what
11 we do, they're going to complain. But that
12 being said, who's the accountable party is
13 probably the most important, to me, question
14 to be answered. And we do need to have these
15 kinds of measures going forward, whether it
16 creates a stink or not, this is the future and
17 it's now.

18 MR. VENKATESH: So I think what
19 this discussion starts to get to, which is
20 something that came up in our workgroup
21 discussion around a lot of these measures,
22 requires us to kind of go back to where the

1 measure specified in the denominator
2 statement.

3 And this is the discussion that we
4 kind of had earlier in the level today about
5 where this committee is going to have to do
6 some work around levels of analysis.

7 And so the denominator statement
8 for this measure, and correct me but I think
9 it's going to be very similar across a lot of
10 the PQIs, is that it's a population ages 3
11 months to 17 years, so that's the age for this
12 measure, in a metropolitan area or county.

13 And so the measure is being
14 specified is metropolitan area MSA,
15 metropolitan statistical area, so think of it
16 then as that if we're endorsing it just by a
17 metropolitan statistical area or just by a
18 county, then that's all the result that you
19 get out of it is.

20 If it's used outside of that
21 that's kind of a secondary discussion we can
22 have. But if we just start with the first

1 part of the discussion, which is metropolitan
2 statistical area or county, then I think the
3 next question we have to ask is, is that a
4 meaningful number. And so I think of this, I
5 always take this back to where I work and how
6 that means locally.

7 And so in New Haven County, our
8 PQI rate is considerably higher than the
9 county to the south of us, Fairfield County.
10 That is partially driven by social
11 determinants of health. It may be driven by
12 a variety of market structure factors and a
13 variety of things.

14 There's an adjacent county that
15 has a very low number. That's because their
16 admission would actually be counted in our
17 county, because we have the hospitals. And so
18 the -- And the reason it's calculated that way
19 is because when you only have hospital data to
20 calculate from you're not calculating based on
21 the patient's residence. Although this
22 specifies residence.

1 And so the reason I just bring
2 this up is that thinking about it that way if
3 a county has a lot more gastroenteritis than
4 another county, is that meaningful information
5 from which you can glean things about any of
6 those things? Efficiency, access, quality,
7 any dimension, to then do actually even
8 something about it?

9 So I think it has to be meaningful
10 in the first place, at the county level. Or
11 meaningful at the MSA level. And then it has
12 to be something that you could do something
13 with. And I think that's true for, many of
14 the PQIs do fit that construct somewhat better
15 where I could see there being opportunities
16 for quality improvement and things along those
17 lines.

18 In the case of gastroenteritis it
19 seems harder for me. Because I'm not sure
20 what I would do if we have more
21 gastroenteritis in kids unless we think that
22 -- Unless there's feasibility to that being

1 how care is structured around access to
2 community-based care for gastroenteritis and
3 that's not necessarily, I don't think, always
4 the case.

5 And so that's why I think there's
6 a challenge with these measures. But I think
7 we need to be specific when we talk about it
8 at what the denominator we're talking about is
9 each time, because that impacts whether or not
10 we think it's valid. Whether or not we think
11 it's useful.

12 MR. CARILLO: Yes, I want to go
13 back to John's point. I mean, there's a lot
14 of studies, classic studies, that show that
15 the impact on health, the attributable impact
16 on health of social determinants surpasses
17 that of healthcare. And this speaks also to
18 the denominator issue in terms of what is the
19 social conditions in a particular area that
20 you're capturing.

21 So I mean I wonder have there been
22 any studies trying to look at to separate the

1 availability of healthcare in the setting of
2 certain PQIs? Because certainly, I mean, it's
3 a big issue.

4 Now you can say the two travel
5 together. I mean travel to healthcare and
6 adverse social deterministic conditions do
7 travel together. But I think that it's an
8 important issue to consider with this measure,
9 these measures.

10 MS. FRAZIER: I just want to
11 reemphasize the system, this concept of health
12 system, which I think is the quandary we find
13 ourselves in when we're trying to really, as
14 someone who works in the community, trying to
15 figure out how to impact change around
16 population health when we start thinking about
17 system responsibility it turns into nobody's
18 responsibility.

19 So I know that the goal is to
20 create population health measures and I agree
21 with that. I'm just trying to reconcile the
22 need to have something to have actionable at

1 a community level because we all rally around
2 this population health conversation. And this
3 is part of the other work that I'm doing on
4 this population health committee, that
5 committee is around trying to figure out how
6 to rally the troops to create some actionable.

7 So these measures, even though
8 they need to have a base of population health
9 as a denominator from a scientific
10 perspective, but I think in looking at it as
11 only a measure of something called a system I
12 think is also too broad in my mind too. It's
13 probably way too broad to figure out how do you
14 tackle this thing called not a system, it's a
15 system of, you know, impact. I don't know how
16 you'd tackle that?

17 MR. MCINERNEY: Anybody else?

18 MR. BIALEK: Yes, I need a little
19 help understanding the user of this particular
20 measure, because I think that's really key.
21 If it's -- And if we approve the measure
22 ultimately, is it limited to the county and

1 city? Or is it a measure that can be taken
2 and let's say CMS use it to rate individual
3 physicians?

4 MS. OWENS: So a couple things.
5 The PQIs have been used in the national
6 healthcare quality report and the national
7 healthcare disparities report to say how we're
8 doing as a nation with respect to these.

9 In other words, where does the
10 nation need to prioritize whether it be access
11 to care, whether it be community-level
12 initiatives, that kind of thing around various
13 conditions. So that's the broad stroke.

14 These indicators have also been
15 used in public reporting mechanisms such as
16 Monarch, where states are actually reporting
17 at the county level their PQI rates or PDI
18 rates to say what counties need to do more in
19 this particular area and do we need to drill
20 down and look at gastroenteritis, in this
21 county is particularly high. What do we need
22 to do? Do we need to look at rotovirus

1 vaccines for instance? Do we need to do
2 something else in the community?

3 If it's about asthma, do we need
4 to look at the treatment? Do we need to look
5 at the environment, some of the social
6 determinants.

7 So those are some instances where
8 it's being used at the county level. I will
9 tell within Monarch the developers, or the
10 people that are creating Monarch websites, are
11 actually wanting to go down to the zip code
12 level. There's some concern with that because
13 of the granularity and the small cell sizes.
14 So we aren't actually advocating that.

15 In terms of CMS. CMS has been,
16 I'll be honest with you, CMS is interested in
17 the PQIs. They are looking to AHRQ for
18 guidance on how to best use the PQIs for what
19 they are intended for.

20 So using them in the ACO programs
21 that are supposed to be comprehensive. Maybe
22 that makes sense. Again, we're looking at it

1 and we're looking at the reliability and
2 validity as they then can look at their
3 beneficiary population that are within the
4 ACOs.

5 May not be so appropriate for
6 physician groups. Right? Because of the
7 accountability issue that you're bringing up.
8 Again, it's about appropriate use. And AHRQ
9 being at the table to guide them.

10 As part of that conversation this
11 coming year AHRQ is undertaking an initiative
12 with all of its indicators to say what is the
13 appropriate use for this measure. It comes up
14 at every NQF meeting. And I feel that we need
15 some parameters around that discussion.

16 So we will be bringing in experts
17 to really put those parameters there. So it
18 is not everybody, this is not a free-for-all
19 grab whatever you want and use it however you
20 want. That's not the intent of these
21 measures.

22 MR. STOTO: I think, given all of

1 that, to me the issue is what does the
2 evidence that connects this outcome to the
3 quality of care received in the community?

4 So for something like diabetes I
5 think there's pretty strong evidence that if
6 you get good primary care you're less likely
7 to be admitted to the hospital for,
8 particularly emergent care, for instance.

9 I don't know. I haven't looked at
10 the question about this gastroenteritis,
11 whether that's through or not. I'm just
12 glancing at the materials put together it's
13 suggested that there's socioeconomic
14 differences, which is not at all the kind of
15 thing you'd be looking for. Is that fair?

16 MS. OWENS: Patrick, do you want
17 to answer that and then I'll give my two cents
18 based on an NIH study?

19 MR. ROMANO: Yes. I would say
20 that there's two strands of evidence. First
21 of all, there's absolutely no question that
22 all of the PQIs, including these pediatric

1 versions, are sensitive to socioeconomic
2 determinants. So clearly there's a
3 relationship between neighborhood household
4 income and other markers of STS and PQI rates.
5 So we know this.

6 But the two strands of evidence
7 relative to making it a performance measure
8 are, one, that I think there's very strong
9 evidence supporting oral rehydration as the
10 primary treatment for mild to moderate
11 dehydration presenting in really any clinical
12 setting, whether it's the physician's office
13 or an urgent care center or even a hospital
14 emergency department.

15 So with successful implementation
16 of oral rehydration hospitals around the world
17 have been able to demonstrate less use of
18 intravenous fluids and fewer hospital
19 admissions. So that's the one clinical
20 argument.

21 The second is sort of a construct
22 validity argument, which is the correlation

1 between PQI rates and primary care resources.
2 So in areas where there's a better supply of
3 family physicians and other primary care
4 physicians, primary care pediatricians, these
5 PQI rates tend to be lower.

6 And of course that may be somewhat
7 confounded with socioeconomic determinants but
8 nonetheless there are a couple of studies that
9 have adjusted for socioeconomic factors and
10 still shown that primary care access is
11 associated with lower PQI hospitalization
12 rates including dehydration and
13 gastroenteritis.

14 So those are the two major themes
15 in the literature that support this type of
16 indicator.

17 MR. MCINERNEY: So I've been in
18 practice for over 40 years and that was before
19 oral rehydration solutions were in vogue or
20 recommended in the gastroenteritis guidelines.
21 And certainly in our pediatric practice 30
22 years ago we admitted a lot more children for

1 intravenous rehydration with gastroenteritis.

2 But as we've been able to use oral
3 rehydration solutions the number of patients
4 that we've admitted has been vanishingly small
5 in the past ten years or so. So to me it is
6 a PQI or an ambulatory sensitive care
7 condition that the medical system or the
8 physicians and the primary care doctors in the
9 community have some control over. And so I
10 think in that respect it is worth measuring.
11 Certainly there are plenty of other
12 confounders.

13 The other point I think I would
14 make is that many people would say that an
15 ambulatory care organization ought to be
16 looking at the really big picture to improve
17 the health of the population for which they
18 are responsible. And community not-for-profit
19 hospitals are supposed to be trying to figure
20 out how to improve the health of the community
21 as a whole. And so, again, that would make
22 sense.

1 Now that being said, right now
2 there are really no pediatric ACL measures,
3 the ACL just applies for adults only. But if
4 we ever do get to some pediatric ACL measures
5 and recommendations from CMS this conceivably
6 would be a good one.

7 MR. STOTO: Ron and I are working
8 in Montgomery County on health improvement
9 activities and in fact this is, not this
10 particular one, but this family of measures is
11 something we're considering to use for exactly
12 that purpose.

13 MR. AUERBACH: I think that part
14 of what would make me feel comfortable, maybe
15 just making a recommendation, would be
16 understanding that in the release of the
17 recommendations or the approval process as we
18 go through this, if there is a mechanism for
19 doing what Katie was suggesting which is
20 distinguishing in the explanation of the
21 metrics between those that we think are
22 overwhelmingly measures of quality of care and

1 those where we think it's much more
2 complicated than that and also does involve
3 the strong consideration of the social
4 determinants of health.

5 The flip side of it is as I talk
6 to community health center docs is they don't
7 want to get blamed for poor quality when in
8 fact the patients they're treating are just at
9 higher risk and have a whole range of
10 different issues that just make it harder for
11 them to do simple things for families with
12 less socioeconomic problems, you know, are
13 able to do.

14 So just if there's -- That is a
15 heavy burden for you to distinguish between
16 those but I do think that, you know, if it's
17 possible to do that in a way so that the usage
18 can be clearer about when there are multiple
19 factors and it shouldn't be misinterpreted.

20 MS. BURSTIN: It's a very good
21 point. And just for those of you who are
22 relatively new to our process we do provide

1 all of that context from these discussions in
2 the report.

3 I also think it's important to
4 anchor on the fact of what the level of
5 analysis of this measure is. Nowhere does it
6 say -- And NQF is really pretty stringent
7 about measures at the intended level analysis
8 at which they have been tested.

9 So nowhere in this does it say
10 it's appropriate for a clinic or an individual
11 clinician's office. Or a hospital for that
12 matter. It is only higher levels of analysis,
13 which I think is why there was a comfort that
14 this did reflect a broader sense of systemic
15 care that frankly could potentially be very
16 useful to those within the community for
17 improvement. And potentially to be able to
18 benchmark yourself across communities.

19 But absolutely that, you know,
20 there's nothing in that level of analysis we
21 looked at, nothing there says clinic,
22 physician, clinician, hospital. It's really

1 only at those higher levels of analysis at
2 which this measure is intended.

3 MR. BIALEK: Helen, that was very
4 helpful. Thank you. And that raises another
5 question around this, as well as a number of
6 the other measures, which is the whole
7 stratification piece.

8 You know, if one is looking at the
9 county level metropolitan area, one looking at
10 the aggregate one won't make as much of an
11 impact necessarily as if one has some
12 stratification in there. And is that
13 something that AHRQ has considered with these
14 measures?

15 MS. OWENS: So in HQR and HDR you
16 can look at the breakout of the rates by race.
17 You can look at it by payer. And, when you
18 get to the adult measures, various age groups,
19 that kind of thing. You can look at it by
20 income. Or rural/urban, that would be
21 another. Although once you get to the county
22 level pretty, depending upon how that county

1 sits, it's kind of a moot point. Because it's
2 either all rural or all urban.

3 All that to be said is yes but
4 then that becomes at the reporting level.
5 That goes how do you then report his measure
6 out to make things comparable, right. So the
7 measure itself is not a stratified measure.
8 You could stratify it. It is only age and sex
9 adjusted. Okay?

10 MR. MCINERNEY: I think in my mind
11 a little bit of what Helen is talking about is
12 the difference between measurement for
13 improvement versus measurement for judgement.
14 And, you know, I think it would be helpful
15 over time to look at a measure like this and
16 find out, you know, are the number of
17 admissions for children with diarrhea or
18 dehydration increasing or decreasing over time
19 for a geographic area. And, you know,
20 hopefully they're decreasing.

21 Now then the question is well why.
22 And, you know, is it better medical care or is

1 it better social determinants of health. Well
2 that's something one might want to then try
3 and examine. But I think you'd like to know
4 at least is it getting better or worse.

5 You know, it's sort of you're
6 looking at your speedometer on your car and
7 going faster or slower then you could say well
8 all right, why. You know, what kind of road
9 am I on, is that why I'm going faster or
10 slower.

11 So I think in that respect it's
12 probably a worthwhile measure. And then you
13 can drill down after that.

14 This is a rich, rich discussion
15 because I think that this is something that's
16 important for not only the two pediatric
17 measures that we're going to consider but the
18 rest of the PQI measures that we're going to
19 be reviewing tomorrow. So I think it's
20 important to set the stage. And we should
21 have everybody have some input before we get
22 into the nitty-gritty of the measures.

1 So I see a couple more people
2 interested in saying a few more words.
3 Please.

4 MS. ASOMUGHA: I just want to make
5 sure that I'm understanding sort of the
6 universe of this measure. So what we're
7 looking at, it's not hospital. It's not a
8 measure of quality. But it's really about how
9 well we're treating gastroenteritis in the
10 community.

11 So whether somebody either in
12 their house is able to provide oral
13 rehydration because they've been educated
14 either by a clinician or a family member. Or
15 maybe it's the fact that they went to a
16 clinician or something and so they never got
17 to the hospital. But that's what we're
18 looking at is --

19 MALE PARTICIPANT: Or avoided the
20 problem in the first place.

21 MS. ASOMUGHA: Altogether. Yes.
22 So they washed their hands and it was never --

1 Right. Right. Am I right in thinking --
2 Okay. Just want to make sure.

3 MS. LUCK: I can imagine a
4 scenario in which the community's ability to
5 prevent hospitalization remains exactly the
6 same and rates go up or down because of other
7 social determinants of health, for example
8 changes in water qualities.

9 And that's going back to what John
10 said which is when you define it as a measure
11 of health system performance, well then now
12 we're holding the health sector responsible
13 for water quality, which may be where we need
14 to go but we need to keep that in mind.

15 MR. VENKATESH: I guess sort of
16 related to than and what Tom just said is that
17 I can see a lot of use for this measure from
18 a perspective of within area improvement. So
19 seeing how you're doing over time and the area
20 can decide whether it's being driven by water
21 quality, whether it's driven by the structure
22 of ambulatory care, things like that.

1 I guess my question is for these
2 measures, is what's the threshold for how
3 valid they need to be to be compared between
4 or across the areas for endorsement? Because
5 I could see this being something that makes
6 sense, could be endorsed, can be used and a
7 county could re-use and repeated measurement.

8 Where I kind of struggle with it a
9 little bit more, in comparison to even some of
10 the other ones, is what's the meaning of this
11 measure's score in comparison to other
12 counties? And how much do I get hung up on
13 that when we think about the validity of the
14 measure?

15 MS. ASOMUGHA: And I'll just add
16 something to what Arjun said, that's exactly
17 it. So I don't think personally after reading
18 and hearing the discussion that you would want
19 to make it comparable across communities only
20 because the drivers for whatever the rates or
21 the score is could be very different across
22 communities.

1 And you'd want to be able to say,
2 as an individual community or metropolitan
3 area or whatnot, that okay these were the
4 issues that were potentially driving this and
5 that might not look the same as, you know,
6 Orange County and Los Angeles County in
7 southern California.

8 MR. MCINERNEY: We get to say
9 that. But I'm just the just Chair.

10 MS. OWENS: So I guess my question
11 would be --

12 MR. SALIVE: These measures are
13 fine. And I think is CDC is done we would be
14 all in favor of that for, you know, comparing.
15 And if you publish a map, everyone's
16 publishing maps these days, you know, it's
17 not, I mean, no one wants to be that one with
18 the dark red. But there are, you know, it
19 raises a issue and so I think we have to look
20 at this with these criteria we have and not
21 get all bent out of shape by how it might be
22 used.

1 I mean, these are existing
2 measures. They're in use, right? So I mean
3 that is reported in here, who's using it. I
4 went in on the one I had and who's using it,
5 you know, it's reported. The maps are out
6 there on the diabetes measures. They are
7 published every year. So the genie is out of
8 the bottle.

9 MR. AUERBACH: I hear folks saying
10 use the data but don't misinterpret it. But
11 I would just say pay real good attention to
12 what's in writing. Because the writing
13 sometimes does say this is about the
14 healthcare system. I mean, I'm just looking
15 at this measure as written and it says it is
16 a measure of experiencing better management of
17 acute gastroenteritis. That's what it's
18 measuring.

19 And I think that there was similar
20 language in the justification that it was not
21 attributable to hospitals, attributable to
22 care and the community.

1 So we just have to -- We shouldn't
2 say hey this really isn't a measure of quality
3 but then we have some language in there that
4 I think it would lead folks that are trying to
5 do that to interpret it in a certain way. We
6 just have to go through and edit it carefully
7 I think so it says the right things.

8 MR. MCINERNEY: Okay. Well it is
9 almost 4:45. And if you look at our agenda we
10 have NQF member and public comment at 4:45.
11 And I've been informed Adeela that we really
12 should open it up for that. Obviously that
13 would be for the dental measures only, since
14 we haven't done any other measures.

15 And I think we should do that and
16 then probably we'll just have to really,
17 overnight get ourselves ready and gird our
18 loins to do all of the PQI measures tomorrow.
19 I suspect some folks are going to have flights
20 that they need to catch and we can't stay
21 until 5 o'clock tomorrow since we're
22 supposedly going to adjourn at 3:00.

1 So I think let's go ahead and
2 we'll open it up for the public comment then
3 we'll get to the measures tomorrow.

4 OPERATOR: If you want to make a
5 public comment please press star then the
6 number one.

7 There are no public comments at
8 this time.

9 MR. MCINERNEY: Do we want to,
10 since we have another 15 minutes or so before
11 we're ready to adjourn, do we want to try and
12 tackle that first measure, the gastroenteritis
13 measure on Page 37?

14 All right. Let's go ahead and do
15 that. And I don't know, who wants to discuss
16 that? Michael or Jacqueline?

17 MS. KHAN: Oh there's a mistake
18 actually.

19 MR. MCINERNEY: Oh.

20 MS. KHAN: It's 727, it's Amy and
21 Tom.

22 MR. MCINERNEY: Oh, Amy. Please.

1 MS. MINNICH: After all this
2 discussion I don't know about this, we'll give
3 it a try.

4 So just to recap, this measure is
5 for gastroenteritis admission rate. The
6 numerator statement is, "Discharges from age
7 3 months to 17 years with a principle
8 diagnosis of gastroenteritis or a secondary
9 diagnosis of gastroenteritis with a principle
10 diagnosis code dehydration."

11 Denominator statement is,
12 "Population 3 months through 17 years in a
13 metropolitan area or county."

14 The level of analysis, as we've
15 already said, is by county or city. And
16 population national, regional population or
17 state.

18 As far as the evidence, this is
19 purely an outcome measure so there is no
20 further discussion on that. The author
21 actually did demonstrate significant, there
22 were six randomized trials that were reported.

1 And so there was strong evidence on this
2 measure. Do you have any questions?

3 MR. MCINERNEY: Mike.

4 MR. STOTO: Yes. I understand
5 that the general rule that outcome measures
6 don't, you know, don't need to cite the
7 evidence. But for this kind this strikes as
8 one where it really would be helpful to know.
9 And that's what the question I was asking
10 about and Patrick kind of answered. And there
11 is a bit in here that I've found about that.

12 And really the question is to what
13 degree does this reflect variation that can be
14 attributed to the health system broadly
15 defined or is it just socioeconomic
16 differences?

17 And I guess what I hear is that
18 there's some evidence of that but that it's
19 more than just socioeconomic. Is that
20 correct? Yes.

21 MR. MCINERNEY: Any other comments
22 on the evidence criteria?

1 MR. FRANCE: I would probably just
2 point out that the precipitous drop in this
3 rate over the last seven or eight years
4 probably suggests that it's not socioeconomic,
5 but it's care delivery systems and new
6 vaccines that's driving that.

7 MR. MCINERNEY: I think that's a
8 reasonable assumption since we know that
9 childhood poverty has remained level over the
10 past 20 years. So we probably can attribute
11 it to that.

12 Okay. So let's vote on the first
13 measure. And could we go ahead and do that?
14 Thanks.

15 MS. ROBINSON-ECTOR: For evidence,
16 1 is yes and 2 is no. Voting is open.

17 Okay. We're still waiting for one
18 vote. All votes are in and voting is now
19 closed. For evidence we have 21 votes for yes
20 and 1 vote for no.

21 MR. MCINERNEY: Okay. Performance
22 gap.

1 MS. MINNICH: So looking at the
2 opportunities for improvement -- I'm sorry.

3 Opportunities for improvement,
4 there are actually two specific things that
5 were noted. One was of disparities and lower
6 economic zip codes. And secondly a
7 performance gap looking at variation across
8 providers. So those were the two areas that
9 our group concluded.

10 MR. MCINERNEY: Any other
11 discussion on performance gap? Okay, let's
12 vote please.

13 MR. STOTO: Some of that evidence
14 is really pretty low. Or pretty old I should
15 say. '92, '88. Yes.

16 MR. MCINERNEY: Okay.

17 MR. ROMANO: Well, could I address
18 that?

19 MR. MCINERNEY: Sure.

20 MR. ROMANO: I think that more
21 recent analysis have actually shown that these
22 disparities unfortunately have increased.

1 This has been tracked in the national
2 healthcare disparities report and elsewhere.

3 So, for example, the ratio between
4 the lowest income and the highest income
5 communities has actually risen from 1.48 to
6 1.64 even while the overall rate has dropped
7 by 2/3rds since 2005.

8 And similarly the ratio between
9 rural and urban communities has risen from
10 about 2 to about 2.5, 2.46. So rural
11 communities, the kids are nearly 2-1/2 times
12 more likely to be hospitalized. So if
13 anything the disparities have actually
14 increased during this time while the overall
15 rates have decreased.

16 That's from 2011 data compared
17 with 2005.

18 MR. STOTO: It would be good if
19 the record actually reflected that. That's --

20 MR. MCINERNEY: Thank you for that
21 information.

22 MR. CARILLO: But the rates of

1 decrease, are they different from one to the
2 other? Very often you see that there's a
3 decrease and the decrease is quite large for
4 the better off community than the other. So
5 you still have a --

6 MR. ROMANO: Right --

7 MR. CARILLO: -- disparity gap.

8 MR. ROMANO: -- the disparities
9 have widened in relative terms. So the rates
10 have dropped faster for higher income
11 communities and for urban communities and
12 western communities and communities with a
13 high supply of primary care physicians
14 compared with the alternatives.

15 Does that makes sense?

16 MR. CARILLO: A complicated topic.

17 MS. OWENS: To Michael's point,
18 I'm under the impression I can update the
19 forms, correct? To put more recent data in
20 there.

21 MR. MCINERNEY: Okay. Well let's
22 vote on the performance gap please.

1 MS. ROBINSON-ECTOR: For
2 performance gap, 1 is high, 2 is moderate, 3
3 is low and 4 is insufficient. And voting is
4 now open.

5 All votes are in and voting is now
6 closed. For performance gap, 13 voted high,
7 8 voted moderate, 1 voted low and 0 voted
8 insufficient.

9 MR. MCINERNEY: Okay. Priority.

10 MS. MINNICH: So under the
11 priority section the workgroup did feel that
12 there was significant priority and that one
13 out of every 50 children experienced an acute
14 stay related to GI care. And there was still
15 high utilization for hospital versus
16 outpatient management.

17 MR. MCINERNEY: Further
18 discussion? Okay. Let's vote please.
19 Thanks.

20 MS. ROBINSON-ECTOR: For high
21 priority, 1 is high, 2 is moderate, 3 is low
22 and 4 is insufficient. And voting is now

1 open.

2 I think we're just waiting for one
3 vote.

4 All votes are in and voting is now
5 closed. For priority, 15 voted high, 7 voted
6 moderate, 0 voted low and 0 voted
7 insufficient.

8 MR. MCINERNEY: Okay. We'll now
9 move to scientific acceptability.

10 MS. MINNICH: So looking at the
11 statements again. The numerator statement, 3
12 months to 17 years with ICD-9 code of
13 gastroenteritis or as secondary diagnosis of
14 gastroenteritis with a principle diagnosis of
15 dehydration excluding pregnancy and OB related
16 cases, transfer from other institutions, age
17 less than or equal to 90 days and any
18 diagnosis code of gastroenteritis
19 abnormalities or bacterial gastroenteritis.

20 Denominator statement simply
21 looking at the population age, 3 months to 17
22 years in a metropolitan area. Exclusions were

1 not applicable. The data source was purely
2 administrative claims so there were no
3 concerns related to multi-source data.

4 MR. MCINERNEY: Any comments on
5 the reliability measure? Okay, let's vote --
6 Oh, sorry. Go ahead, Ron.

7 MR. BIALEK: There was a comment
8 submitted about, let's see from J.H.M.
9 Armstrong Institute. And I'm just wondering
10 if you can respond to that?

11 This was about the due to
12 stratification of data calculation processes,
13 datasets are poor quality, e.g., missing
14 patient-level data elements such as gender,
15 age, discharge, et cetera. Am I reading from
16 the wrong?

17 MS. OWENS: Okay. So I think it's
18 a misunderstanding of the age group data,
19 because it's actually not missing these data
20 elements. Particularly gender and age or
21 discharge status, principal and secondary
22 condition.

1 I'll go back and look at it but I
2 think it's a misunderstanding.

3 MS. ASOMUGHA: The comment wasn't
4 saying that the data is excluded. It's just
5 noting that they are excluded. And that if
6 the state agencies or vendors don't enforce
7 data quality standards there could be
8 differences in the communities that may be
9 reflective of poor hospital coding and
10 associated records being excluded from
11 analysis.

12 So they're wondering about the
13 quality of data from the hospitals.

14 MS. OWENS: So to that point I
15 think more of the concern would not be coming
16 of the hospital per se but may be coming out
17 of one of the programs of the programs of the
18 community level if they have incomplete data.
19 And as with all measures you have incomplete
20 data, you will have an incomplete measure.
21 Then you work with whoever's giving you the
22 data to get complete data so that you can

1 actually populate it.

2 So I think it's a function of
3 who's putting the data in rather than the data
4 it's tested on.

5 MR. MCINERNEY: Okay. Let's vote
6 on the reliability please.

7 MS. ROBINSON-ECTOR: For
8 reliability 1 is high, 2 is moderate, 3 is low
9 and 4 is insufficient. And voting is now
10 open.

11 All of the votes are in and voting
12 is now closed.

13 For reliability 17 voted high, 5
14 voted moderate, 0 voted low and 0 voted
15 insufficient.

16 MR. MCINERNEY: Good. Okay.
17 Validity please.

18 MS. MINNICH: There were two
19 comments specifically referencing around
20 validity testing. One was the impact of the
21 vaccine, the rotovirus vaccine, versus the
22 decreased PCP access and oral administration

1 of hydration.

2 Second was something that we
3 talked about earlier, was just looking at the
4 insurance clarity around 24 hour admissions as
5 compared to observation status.

6 MR. MCINERNEY: Any further
7 discussions on validity? Yes?

8 MR. FRANCE: Arjun pointed out that
9 in certain counties you might have this bias
10 if your hospitals in this county and the
11 neighboring counties don't have the same
12 hospitals. Is that a very common occurrence
13 across all counties as you look at the data?

14 MS. OWENS: Well it doesn't matter
15 which county the hospital is in because you're
16 looking at hospitalizations as it's got the
17 patient residence in it.

18 MR. FRANCE: Patient residence.
19 Okay. Thank you.

20 MS. OWENS: So it's a little
21 different.

22 MR. FRANCE: All right. Thanks.

1 MR. MCINERNEY: Okay. Shall we
2 vote please.

3 MS. ROBINSON-ECTOR: For validity,
4 1 is high, 2 is moderate, 3 is low and 4 is
5 insufficient. And voting is now open.

6 All of the votes are in and voting
7 is now closed.

8 For validity, 13 voted high, 8
9 voted moderate, 1 voted low and 0 voted
10 insufficient.

11 MS. MINNICH: The feasibility
12 there were not specific concerns noted as
13 there was, it's just purely a claims
14 administration data source.

15 MR. MCINERNEY: Any discussion on
16 feasibility? All right. Moving right along,
17 we'll vote on that.

18 MS. ROBINSON-ECTOR: For
19 feasibility 1 is high, 2 is moderate, 3 is low
20 and 4 is insufficient. And voting is now
21 open.

22 Okay. All of the votes are in and

1 voting is now closed.

2 For feasibility, 20 voted high and
3 2 voted moderate, 0 voted low and 0 voted
4 insufficient.

5 MS. MINNICH: And finally on
6 usability, three states are currently
7 reporting this data including Connecticut, New
8 York and California. The measure is already
9 in use and there has been significant
10 improvement over time. From 2007 the rate was
11 121.5 per 100,000 as compared to 2011 where it
12 was reduced to 67.5 per 100,000.

13 MR. MCINERNEY: Comments on
14 usability. All right. Let's vote please.

15 MS. ROBINSON-ECTOR: For
16 usability, 1 is high, 2 is moderate, 3 is low
17 and 4 is insufficient information. Voting is
18 now open. We're still waiting on one vote.

19 All of the votes are in and voting
20 is now closed.

21 For usability, 17 voted high, 5
22 voted moderate, 0 voted low and 0 voted

1 insufficient information.

2 MR. MCINERNEY: Okay. We now have
3 overall suitability or endorsement. Any
4 discussion about that? Yes?

5 MS. MOLINE: My only comment is on
6 reading this is I think it's a misnomer to
7 just call it gastroenteritis because bacterial
8 gastroenteritis is excluded from this measure.
9 So it's really looking purely at viral
10 gastroenteritis so that's what it should be
11 called. Because if you're looking at the
12 measure and you're thinking by county then
13 there can be outbreaks by county that are
14 related to bacterial whatever and you're going
15 to look at it and say oh this is the whole
16 spectrum if you don't dig down deeper. So I
17 think I would recommend that it be modified.

18 MR. VENKATESH: My only concern is
19 I don't know if the fidelity of codes are good
20 enough to make the distinction. Because the
21 vast majority of gastroenteritis may not have
22 an etiology applied to it. So there are codes

1 that exist for just gastroenteritis. And so
2 there are probably many bacterial
3 gastroenteritis that have a course under the
4 viral ones and that may not actually be
5 excluded from the measure and still be in it.
6 I think it's fine. I think it still is a good
7 measure, it does everything good and fine.
8 But I'm not sure that we can call everybody in
9 the measure a bacterial.

10 MS. MOLINE: I was just doing it
11 based on what the specific exclusion are. So
12 when you're looking at what the criteria were
13 as defined it was excluding every type of
14 bacteria.

15 MS. OWENS: Well we hear what
16 you're saying. So something, maybe it's
17 gastroenteritis excluding bacterial admission
18 rate. You know, working with what you're
19 saying I agree with you, Arjun, in terms of
20 the coding because gastroenteritis is not
21 elsewhere classified or specified is a general
22 code and you don't know the etiology.

1 MR. MCINERNEY: Any further
2 discussion? Okay. Let's vote please.

3 MS. KHAN: I can read it. So
4 we're voting on overall suitability for
5 endorsement. Does the measure meet NQF
6 criteria for endorsement? 1 is yes and 2 is
7 no. Want to press the button Katelynn? I
8 think we're one short.

9 So we have 22 votes for yes and 0
10 for no. So the Measure 727 gastroenteritis
11 admission rate is recommended.

12 MR. MCINERNEY: Thank you
13 everybody for working hard late in the day and
14 getting through at least this one measure. I
15 think we'll not press our luck and go on any
16 further. And we'll all have a nice, hopefully
17 have a good night's rest and be ready to go
18 and finish up the -- I think we have still
19 eight measures to go so we're pretty much
20 halfway through our, if I counted correctly.

21 MS. KHAN: Yes, we're about
22 halfway through.

1 MR. MCINERNEY: Halfway through.
2 So good job everybody today. Any comments or
3 housekeeping measures for tonight?

4 MS. KHAN: Yes, this is just a
5 quick summary of what we did today. I haven't
6 added in the two, the recommended for the PDI
7 but it's been recommended. 2508 has been
8 recommended. 2509 has been recommended. 2528
9 and 2511 have been recommended. And the
10 Committee has decided to delay a vote on 2517
11 and 2518 until after public and member
12 comment.

13 We did reserve dinner for
14 everybody. The restaurant information is up
15 there. The reservation is for 6:30.

16 Just quickly, housekeeping, all of
17 you will have separate checks and NQF does
18 reimburse for dinner up to \$36. So the staff
19 will be there as well so we hope to see you
20 there. And I'll keep this up so you can copy
21 the address down.

22 And, sorry. Just one last

1 reminder, breakfast is at 8:30 tomorrow and
2 we'll be starting evaluation at 9:00. So
3 we'll see you at 9:00.

4 (Whereupon, the meeting in the
5 above-entitled matter was concluded at 5:06
6 p.m.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A				
\$36 409:18	acceptability 27:11 38:17 167:3	298:10 362:20 364:19 365:12	139:14 143:3 166:8 168:1	188:13 206:3 390:11
a.m 1:9 5:2 72:20	185:18 186:14	accounted 235:2	173:10 195:12	Adella 28:5
72:21 154:9,10	187:19 193:1	Accreditation	197:21 203:7	adequate 146:8
AAP 93:9 230:5	246:12 399:9	61:14	212:21 213:22	150:14 191:3
AAPD 317:11,16	acceptable 264:17	accuracy 333:11	214:4 215:19	192:3
318:3	access 6:9 34:9	accurate 101:17	219:10 221:9	adjacent 367:14
ability 131:12	75:12 90:2 141:9	105:9	224:2 229:19	adjourn 4:22
203:8 229:11	141:12,13 144:22	accurately 74:13	250:21 261:12,18	390:22 391:11
275:18 302:12	145:11 196:15	achieve 22:17	270:20 286:7	adjudicated 349:8
386:4	209:4 260:2,22,22	59:18 312:8	291:9 312:17	adjust 255:19
able 21:10 24:9	262:15 267:18	achieved 60:6,7	316:5 328:11	adjusted 320:16
27:8 28:20 29:13	275:10,11,11,13	achieving 28:19	345:14 349:17	377:9 383:9
29:14 30:9 74:3	275:19 281:9	ACL 379:2,3,4	359:4,22 364:13	adjustment 150:16
75:17 80:11 85:20	284:14 285:16	ACO 373:20	387:15	adjustment/strat...
86:10 95:1 119:2	288:1,7 300:16,18	ACOs 374:4	added 80:22	192:5
119:9 121:4 144:4	305:1,17 307:3	Act 49:12	189:21 409:6	administer 143:22
166:5 176:1	322:10 323:5,14	action 3:14 41:21	adding 110:20	253:22 254:3
188:11 197:9	323:16,20 324:22	47:20 48:11 60:22	addition 289:20	administration
220:5 221:6	326:7 329:6	61:11 67:18	291:8	253:6 281:19
227:13 231:7	347:14 355:14	actionable 370:22	additional 25:16	402:22 404:14
254:9 289:18	357:12 368:6	371:6	39:3 61:9 74:3	administrative
293:4,9 304:11	369:1 372:10	actions 115:19	80:22 106:1	103:8 105:7
307:18 310:16	377:10 402:22	activities 7:11 13:8	155:10 181:13	135:11 266:20
376:17 378:2	accessed 348:2	17:6 31:22 32:9	249:2,19 290:13	332:21 333:17
380:13 381:17	accesses 141:6	59:9 61:19 65:12	291:13 302:18	341:9 400:2
385:12 388:1	accommodate	67:13 266:21	334:5 341:15	administrator
abnormalities	219:12	379:9	address 28:18,21	144:15
346:20 399:19	accompany 136:7	activity 7:20 53:10	33:18 35:4 37:5	admirable 64:6
above-entitled	136:13	261:7	41:18 55:9 73:21	admission 3:17
345:8 410:5	accomplish 58:16	Acts 107:14	75:8 95:20 106:3	71:20 346:12
abscess 292:6	60:2 67:1	actual 125:2,4	110:17 111:16	352:5,11,18
absence 45:5 135:1	accord 235:7	135:13 294:12	158:7 276:9 327:5	353:11,12 356:7
298:11	account 34:8	346:6	341:2 353:9	367:16 392:5
absolutely 86:4,20	112:17 177:13	acute 347:18	395:17 409:21	407:17 408:11
92:10,20 93:22	193:12 266:1	389:17 398:13	addressed 101:13	admissions 346:14
113:10 171:13	accountability	ADA 78:8 97:1,2	103:2,19 104:2	346:21 376:19
178:14 197:16	43:14 45:1,4,5,12	100:16 122:15	105:18 142:22	383:17 403:4
290:7 375:21	45:20 46:8,9,15	211:1 222:3	175:4 192:4	admit 354:22
381:19	143:9 144:8 153:6	225:22	305:13 327:10	admitted 353:19
abstain 156:20	158:4 159:22	add 9:14 29:16	addresses 185:4	356:5 361:14
169:9	160:1 199:12,13	41:9,10 44:12	323:22 354:4	375:7 377:22
abstaining 156:15	220:2 374:7	67:17 71:13 89:15	addressing 38:4,6	378:4
ACA 77:20 115:6	accountable 46:4	92:9 98:3 108:18	89:8 123:15 305:9	adolescent's 97:6
Academy 8:22	51:20 111:18,19	109:17 115:8	362:20	adolescents 217:4
122:14 317:8	112:5 213:4,5	116:20 119:6	Adeela 2:8 3:9 6:20	adopt 32:6 268:12
accept 303:6	252:17 256:13	131:20 132:11	28:4 39:16 40:5	adopted 216:15

282:4	231:9 236:11	116:12 123:14	202:13 237:10	Angeles 388:6
adopting 201:12	237:5,6,14,18,19	128:19 139:15	306:15	angle 302:7
adult 114:3,8	237:20,21 238:7,8	140:22 152:20	allowed 81:16	Ann 2:8 3:6 5:10
283:15,17 382:18	238:15,19 240:20	153:2 155:9,15	109:6,14,15 240:8	7:5 19:15
adulthood 218:15	254:18 256:1,16	158:9 162:21	282:3	announce 9:18
adults 283:13	280:4 286:19	174:1 176:5 180:2	allows 47:3 349:21	125:18 319:16
379:3	294:21,21 315:14	187:3,19 200:9	alternative 195:2	announced 162:13
advance 76:15	329:9 332:3	256:6 277:21	215:6	announcing 126:2
167:19	366:11 382:18	339:3 344:4 391:1	alternatives 397:14	163:13
advanced 83:1	383:8 392:6	391:14 394:13	Altogether 385:21	annual 38:11 83:16
advantage 201:16	399:16,21 400:15	400:6	AMA 78:14	139:20 277:3
212:11	400:18,20	AHIP 78:14	ambiguous 147:22	280:2,9 284:3,4
advent 77:20	agencies 61:15	AHRQ 2:14,15	ambulatory 210:4	329:6
adverse 370:6	78:12 401:6	3:18 37:5 43:17	347:12 350:19	answer 6:16 89:13
advice 201:11	agency 52:21	57:17 72:7 345:17	378:6,15 386:22	100:2 129:14
advised 301:20	agenda 68:19 72:9	345:20 352:13	amenable 350:19	131:11 260:8
Advisor 12:22	390:9	373:17 374:8,11	amendment 104:13	288:4 297:16
Advisory 17:19	agendas 25:11	382:13	American 1:17	346:9 375:17
33:8	ages 86:22 98:10	AIDS 36:2	8:22 13:20 17:7	answered 110:21
advocating 203:18	266:3 293:20	aim 30:17 48:18	67:6 96:19 122:14	110:22 165:14
373:14	346:17 366:10	air 33:22 192:22	317:7	272:15 297:19
affect 215:15	aggregate 151:18	algorithm 134:21	AmeriHealth 1:14	365:14 393:10
affectionately	216:19 227:8,11	147:1,9,16 148:5	10:6	answers 145:19
29:21	227:18 228:4,9,14	148:22 172:19	Amgen 2:2 13:6,10	203:4
affordability 57:8	253:10 256:11	174:7 175:5 183:2	amount 118:21	anti 94:5
affordable 30:20	382:10	302:3,21	207:7 289:19	anxiety 200:13
49:12	aggregated 245:22	algorithms 169:16	amounts 91:20	anybody 103:22
afternoon 207:12	Aging 2:1 12:8	171:15,16 207:6	228:21	105:22 116:17
236:3 249:1	ago 7:9 76:19 92:6	297:12 352:13	Amy 1:21 10:16	160:18 180:4
345:12,22 347:4	153:22 207:4	align 56:3 63:15	391:20,22	181:14 186:7
age 85:3 86:3 92:18	279:5 280:1 314:6	154:13	analogous 93:15	192:22 371:17
92:18 93:12,16	377:22	aligned 32:4 34:4	analogy 284:18	anymore 91:15
97:3,17 98:21	agree 123:20	48:17 62:5	304:21	116:7
101:3 176:12	173:17 291:16	aligning 11:9 36:10	analysis 21:5 36:20	anyone's 315:6
190:13 208:3	298:9 301:9	alignment 57:7	50:5 129:1 207:4	anything's 43:6
215:12,13,17	329:20 344:9	64:6 66:13	219:13 223:14	anyway 206:20
216:14,22 217:1,3	370:20 407:19	aligns 61:18 86:22	253:16 301:12	apologize 37:15
217:6,8 218:5,12	agreed 46:13,18	Allen 2:9 3:15 29:5	366:6 381:5,7,12	119:17 274:6
219:1,2,8,14,16	59:8 88:22 151:15	41:19 44:8 47:16	381:20 382:1	277:22 319:4
219:20 220:10,13	agreement 26:4	47:17 68:6,13,17	392:14 395:21	325:5
221:10 222:4,17	169:20 195:18	alliance 2:12,13,13	401:11	appeal 41:5,7
222:20 224:4,10	240:17 287:20	4:5,8,11,13,15,18	Analyst 6:22	appeals 27:2
224:20 225:16	318:10 333:15	72:12 73:7 74:12	analytics 7:2	appear 126:11
226:5,9,10 227:1	334:1	88:20 208:12	analyze 229:12	appendix 106:14
227:8,15,19	ahead 72:9,9 73:1	allotted 25:15	anchor 381:4	338:5
228:17 229:9	73:13 88:3 90:17	allow 26:3 132:11	and/or 35:3 128:4	applicable 78:20
230:1,22,22 231:3	91:2 106:20	140:13 188:2	160:7 184:4	79:4 102:22 189:4

189:10 218:11 332:11 400:1 application 48:8 51:9 62:9 209:13 213:17 219:3 321:7,9,21 322:1 applications 49:11 62:16 208:5 209:10,15,18 213:12 220:21 222:2 223:8 applied 98:12 106:7 107:5,7 132:8 136:9 141:16 172:6 189:11 231:18 257:8 281:20 329:21 406:22 applies 44:17 107:9 110:6 212:4 224:21 379:3 apply 51:2,14 77:17 103:10 110:7 130:15,15 141:12 149:14,21 194:5 211:7,15 274:10,13 309:2 332:22 356:22 applying 171:18 209:6 210:7 212:18 appointment 112:11 appreciate 163:12 167:18 approach 80:14 84:3 88:13 122:21 227:7 257:20 appropriate 11:14 11:17 101:8 146:8 150:14 191:2 192:2 261:11 280:17 347:22 374:5,8,13 381:10 appropriateness 164:7 approval 23:5 27:1	302:8 379:17 approve 371:21 approved 27:4 271:1 358:9 approximately 9:10 April 1:6 40:10,11 ARAVAMUDH... 73:15 76:3 84:5 95:6 Aravamudhan 2:12 73:9 106:10 106:20 107:11 109:17 111:5,9 117:9,18,21 129:13 131:19 139:13,16 141:17 144:14 176:9 187:4 190:12 194:4 195:11 196:21 197:4,10 199:7 200:18,22 201:8,16 203:6 208:14 210:8 212:21 215:18 220:11 224:1 225:1 229:18 231:12 239:11 242:7,13,21 247:19 255:20 259:15 270:5 276:1 277:18 283:4 284:4 285:9 295:2 302:11 320:12 321:3,8,20 322:2 325:12 327:11 330:4 335:18,22 336:20 337:1 338:21 340:8,13,22 341:6 344:9 345:4 area 20:11 21:1,14 41:17 47:7 62:22 77:13 98:22 100:4 106:3 128:17 165:9 185:18 233:5 234:13	238:22 366:12,14 366:15,17 367:2 369:19 372:19 382:9 383:19 386:18,19 388:3 392:13 399:22 areas 3:12 28:21 50:6 51:1 53:1,18 53:19 54:2 60:8 63:4 65:19 100:5 139:4 174:13 185:21 190:17 377:2 387:4 395:8 arena 355:3 argument 376:20 376:22 arguments 260:21 Argun 17:3 Arjun 2:4 121:15 170:5 247:16 320:17 387:16 403:8 407:19 Armstrong 400:9 arraignments 291:19 arrange 301:8 arrangement 79:16 79:18 82:20 arrangements 178:1 array 101:16 336:3 arrived 325:10 article 222:4 articles 222:13 artificial 253:11 Ashley 6:22 aside 237:20 asked 7:10 24:13 78:9 168:16 177:14 178:4 243:8 273:16 323:10,17 340:6 340:15 asking 23:19 104:14 143:18 148:5 152:3 168:11 179:18	229:14 289:20 324:18 358:7 393:9 asks 133:4 213:17 Asomugha 1:13 12:20,21 123:1,5 200:12,21 201:5 201:15 202:2 261:13,17 324:14 364:13 365:9 385:4,21 387:15 401:3 aspect 123:17 185:6 229:7 assess 33:21 35:3 197:9 213:9 347:14 assessed 103:14 287:13 290:20 333:8 assessing 27:16 31:21 93:11 122:22 149:18 263:15 assessment 52:6 59:1 66:10,19 75:20 86:6,18 113:13 117:22 118:2,3,11 122:8 122:10 133:5 134:18 135:10 136:7,12 138:4 141:5 155:8,21 161:20 178:9 198:9 204:11 230:5,6,7 271:19 290:9 298:5 302:6 316:10 asset 59:1 62:3 90:7 assigned 36:1,3,7 38:3 70:19 99:17 129:5 associate 9:4 18:5 132:10 associated 172:5 377:11 401:10 Association 1:17	2:1 13:21 15:5,13 79:6 96:19 Association's 67:7 assume 200:15 273:6 362:9 assumed 282:10 assuming 226:15 274:18 assumption 134:13 394:8 assumptions 290:17 asthma 373:3 attempted 354:1 attend 25:22 attendance 167:18 attending 41:2 attention 5:15 6:13 71:9 89:11 171:15 177:3 255:11,17 294:16 389:11 attributable 369:15 389:21,21 attribute 394:10 attributed 393:14 attributing 357:16 attribution 110:8 355:1 358:19 audience 154:21 audience-specific 59:19 audiences 158:2 Auerbach 1:14 14:9 37:14,15 62:7 64:3 107:21 111:2,6 164:2,10 164:13,17 215:11 217:10 236:10 254:15 356:19 357:13,20 362:6 379:13 389:9 Auerback 14:10 August 40:15,18 author 392:20 authorizing 82:22 authors 103:6 332:19
--	--	---	--	--

automatically 195:14	background 31:13 70:9 91:5 120:7 174:6 188:21 244:4	49:13 50:4 51:3 66:7 81:7 82:3 120:7 122:17 134:4 143:10,17 149:1 181:20 216:15 227:14 228:8 271:18 290:9 301:6 303:18 346:2 359:7	140:12 216:8 282:1,6	400:7
availability 370:1	backup 113:17	basing 69:13	benefits 78:6 79:1,7 79:14,21 114:10 119:7 160:5 199:17 231:6 254:1,3 298:6,13	bias 136:18 403:9
available 77:4,10 86:7 87:11 98:8 100:13 138:19 187:15 190:19 224:13 245:11 270:13 306:22 322:3 329:4 331:3	bacteria 228:21,22 407:14	basis 195:1,2 272:4 282:5,12	bent 388:21	biased 19:5
average 317:12	bacterial 346:20 399:19 406:7,14 407:2,9,17	battle 94:7	best 27:20 56:18 67:3 138:18 201:10 221:6 324:22 326:22 373:18	big 31:17 51:21 76:5 85:14 91:8 243:15 370:3 378:16
avoid 26:2 163:18	bad 300:17 319:18	bear 363:11	Bethesda 12:9	bigger 276:19
avoidable 347:12 347:22	badly 144:17	Beauchamp 102:8	better 21:7 28:18 28:20 29:13 30:17 63:15 80:4 104:10 121:8 129:16 136:14 141:4 181:19 228:2 292:19,21 293:11 300:16 301:1 305:17 308:1 322:9 323:5,22 327:13 368:14 377:2 383:22 384:1,4 389:16 397:4	bill 105:8 132:20 348:19,20
avoided 385:19	Baer 1:14 10:5,6 121:21 210:4 237:15,17 291:15 293:2 299:21 314:17	becoming 95:12	bit 7:22 23:9 43:15 49:5 51:8 52:1 56:11 72:9 81:3 104:2 105:21 114:10 126:5 141:3 158:7 163:2 165:2 168:8 169:8 174:5 177:1 206:9 206:11 207:1,12 211:16 212:9,22 222:1 223:16 226:14 242:2 252:4 257:14 270:22 306:3 313:15 354:16 359:18 383:11 387:9 393:11	billed 288:9
awarded 89:22	balance 152:6 165:3 239:13	beginning 147:20 261:16 270:11 359:3,20	biological 137:21	billing 137:4 288:7 341:12 348:22 349:6
aware 28:13	balances 112:17	begins 146:13 156:3 160:10 162:1 184:8 185:10 191:6,15 192:11 198:13 199:21 204:13 232:19 234:7 235:14	birth 121:3 212:9	births 348:14
awhile 217:14	ball 89:2	behaving 19:5	beverages 91:17	
aye 315:4	barriers 263:10	behavior 35:19 201:21	beyond 5:22 30:21 34:7 36:6 46:15 51:9 52:22 56:9 61:11 94:20 212:10 216:18 228:3 232:2 240:20 287:4	
	base 25:19 89:16 89:16 278:4 348:4 371:8	behaviors 31:4 33:12 34:21 36:13 93:19	Bialek 1:15 16:14 16:15,22 42:17 61:10 62:1 106:4 107:3 141:1 187:20 221:22 224:16 240:18 241:8 256:7 280:1 314:19 320:6,18 321:18,22 322:5 371:18 382:3	
	based 19:11 23:13 51:17 60:15 75:19 81:18 85:3 86:2 87:6 88:1 97:10 97:14,19 113:3 127:4 130:21 132:12 143:14 150:4 171:21 172:6 174:5 179:14 189:18 195:3 208:19 220:13 222:9 248:2 270:6,12 278:14 279:20 280:5 282:1 293:3 295:5 297:5,22 303:10 320:13 361:3 367:20 375:18 407:11	belabor 176:15		
	basic 259:16 285:19	believe 11:17 77:4 134:21 138:17 141:19 203:10 205:13 271:12 321:3		
	basically 48:8	benchmark 381:18		
		Bender 61:21		
		beneficiary 374:3		
		benefit 120:10 137:13,13 139:10 139:19,22 140:6		
				blamed 380:7
				blind 244:9
				blood 34:3 120:3
				blue 148:4
				Board 17:19 27:1,4 40:19,21 41:3
				Bob 104:3
				body 15:21 127:5 182:4
				Boston's 14:16

bottle 389:8	371:12,13 372:13	calculate 367:20	173:14 175:14	329:18,21 331:8
bottled 91:19,20	broaden 65:7 90:9	calculated 334:3	177:21 195:15	334:4 338:7
bottom 12:5 35:19	broader 33:13	367:18	214:2 237:2 239:3	340:14,16,17,20
124:15 147:10	44:10 46:17 48:12	calculating 367:20	239:13 254:3	341:5,6,12 342:14
boundaries 52:17	64:14 80:10 92:11	calculation 400:12	289:18 293:5	347:12 348:1
boundary 219:6	339:17 359:13,17	calendar 187:13	310:16 320:15	350:19,20 352:6
box 148:4 182:12	381:14	California 114:8	captured 54:16	355:15 357:4,8,9
182:14,15,17	broadly 14:19 51:8	115:4 122:11	71:8 106:8,10,16	358:11 361:12
183:4 203:10,12	52:9,21 56:5	388:7 405:8	107:10,18 108:12	362:11 363:3
brand 238:1	60:10,12 63:15	call 6:15,16 26:8,9	109:7,11,16 111:7	369:1,2 372:11
break 71:2,3 72:16	77:5,17 240:1	26:18 39:2 40:14	135:5 181:2	375:3,6,8 376:13
73:4 154:1,7	393:14	58:6 65:16 67:20	259:19 289:10	377:1,3,4,10
176:17 205:14	brought 42:5 49:17	67:22 68:4 92:16	301:13,14 341:13	378:6,8,15 379:22
206:1,2 218:8	59:21 104:3,5	93:14 97:21 98:3	captures 135:20	381:15 383:22
269:8,10,12	120:18 165:19	101:6 103:21	356:13	386:22 389:22
282:15 344:15,16	178:12 193:5,8	104:4,6,15 120:1	capturing 83:6	394:5 397:13
344:18 345:2	247:2 289:15	134:20 152:2	133:13,22 134:3	398:14
breakfast 410:1	350:22	171:14 177:3	214:4 260:2	carefully 390:6
breakout 382:16	build 80:4 341:10	179:9 275:11	369:20	caries 4:4,7,10
breaks 6:4 26:1	building 76:19	324:5 327:7	car 384:6	86:13,14 91:9
breast 37:9	219:15 340:2	340:19 341:3	card 11:3,18 24:14	92:1,4,16 93:11
brief 73:4 176:7,10	built 21:21 33:22	347:5 406:7 407:8	112:11	94:13 95:2 96:3,7
208:1 218:7	133:18 141:22	called 14:12 53:3	cardiovascular	96:14 97:8 117:17
269:20 350:4	326:3	57:20 64:14 67:4	13:17 50:10	117:18,20,21
briefly 22:21 23:19	bulleted 107:6	79:14 122:9 145:5	cards 11:3	122:10 138:12
77:11 105:22	bump 195:14	183:5 291:17	care 1:20 4:16 8:20	162:11 204:21
Bright 88:5,11	bumping 238:4	340:14,17 356:7	10:7 18:14 22:10	207:16 218:10,11
230:3,8 295:8,12	burden 213:13	371:11,14 406:11	30:18,19,22 32:3	218:14,20,21
bring 5:14 19:7	220:9 342:20	calling 60:21 62:18	49:12 50:9,20	221:15 222:10
33:17 36:5 57:4	343:7 380:15	calls 39:13 40:10	51:21 54:18 82:22	223:21 228:19,20
67:14 68:21 70:20	Burstin 2:7 19:18	69:3 291:18,20	83:7,19,20 85:1	259:5 295:1 317:1
74:13 80:11 100:9	19:20 29:16 43:5	CAMBRA 122:9	87:10,12 89:3	Carillo 1:16 18:2,3
101:7 104:1 116:8	45:16 46:20	camp 298:17,17	105:16 112:12	54:6,11,21 66:4
171:17 174:17	240:12 380:20	Campaign 34:5	119:22 130:21	146:22 147:5
175:12 186:12	business 92:4	cancer 37:9	142:3 155:18	149:1,7 150:1,5
219:7 245:9,12	341:11	candidate 3:16,20	184:5 194:9 198:6	160:17 161:1,6,9
300:7 338:2	button 124:14,15	26:9	210:4,6 214:3	161:12 340:19
350:10 368:1	124:18 146:10,11	candidates 121:5	226:2 260:22,22	351:7,9 352:14
bringing 56:19,22	146:12,13 408:7	CAPA 209:21	261:2 267:18	369:12 396:22
99:18 290:8 326:5	buttons 145:19,21	capacities 14:18	276:6,9 278:6	397:7,16
374:7,16	buttressed 88:13	capacity 254:7	282:14,16 285:17	Caritas 1:14 10:7
brings 25:5 194:17		capture 58:2	285:20 286:2,15	Carol 72:2
222:20	C	108:22 113:15,21	287:2 291:5,7,19	carried 173:4
broad 53:13 75:21	c 152:12,14 158:15	115:20 117:14	292:14 301:7	carve 79:14
78:9,15 215:13	169:2	121:1 133:14,16	305:2,18 307:20	cascade 21:10
216:22 218:5,11	C-O-N-T-E-N-T-S	134:11 138:14	315:12 324:5	case 10:18 24:12
346:2 347:3	3:1 4:1	140:17 155:5,6	325:7 327:12	49:10 51:15,22

52:18 66:18 103:6 119:4 137:8 201:3 271:10 288:18,21 298:14 324:3 327:3 332:19 362:13 368:18 369:4 cases 51:12 52:15 54:4 63:13 91:16 118:9 119:3 130:8 327:1 346:18,19 399:16 casino 11:3 catch 390:20 categories 53:5,13 categorizing 287:3 category 35:16 97:10,12 111:4 132:17 329:1 cause 360:21 causes 50:11 350:14 cautious 168:22 CDC 57:17 122:7 388:13 CDT 101:12,16 117:11,14 129:9 132:7 181:3 194:21 195:4 196:11 197:8 229:21 281:17 cell 373:13 center 1:19 2:4 6:2 12:2,2 17:6 21:13 376:13 380:6 Centers 1:13 13:1 central 338:13 cents 375:17 CEO 11:5 certain 21:6,9 39:8 82:11 94:15 100:17 143:11 164:14 207:6 228:21 258:3 331:6 357:18,22 370:2 390:5 403:9 certainly 45:17	91:8 92:8 104:8 135:3 210:18 222:14 244:15 263:18 264:11 270:22 327:7 370:2 377:21 378:11 cetera 42:22 51:19 53:9,12 63:12 98:19 99:22 168:14 222:17 256:17 400:15 chair 16:7 19:9 74:15 144:19,20 145:11 388:9 chaired 291:10 chairing 22:4 chairs 22:2 25:13 154:5 challenge 46:22 94:18 95:10,11,14 95:18 116:4 137:12 159:7 193:14 304:15,21 306:4,10 309:18 309:20 343:5 369:6 challenges 43:10 218:3 293:14 309:15 challenging 45:5 236:22 chance 177:10 279:6 change 82:14 104:2 144:12 201:21 217:21 237:5 238:15 240:8 243:16,20 245:22 251:6 252:18 253:9 254:11,13 283:5,10 314:12 323:12 324:19 325:18 370:15 changed 79:2 80:20 203:4 206:8 240:10 280:5	changes 23:15 38:13 69:17 108:3 115:19 245:20 306:7 319:1,9 386:8 changing 93:18,18 237:20 306:8 characteristics 94:21 257:7 355:15 charge 13:7 23:6 chart 176:21 333:1 check 71:22 203:10 203:12 303:17 337:5,10 checking 232:9 353:4 checks 409:17 cherry 141:12 chew 44:1 chewing 261:10 Chiang 1:17 13:18 13:19 14:8 151:10 152:15 156:15 168:10 181:18,22 202:15,19 225:14 229:13 251:19 Chief 15:4 16:2 child 1:20 15:13 22:5 53:9 89:21 93:13 94:22,22 98:16,17 101:21 102:3,5,9 107:4 115:12 118:13 119:21 120:14 121:3,10 134:22 141:5,6 201:14 211:18 212:12 215:21 216:1,4,5 216:7,10 264:13 265:12 271:2,9,18 272:1 276:11 283:21 285:17 286:2,11 287:7 290:8 292:1,3 294:21 305:2 316:17 350:18	354:12 child's 120:19 287:12 290:19 317:18 childhood 92:16 100:20 218:15 221:15 331:9 394:9 children 4:4,7,10 77:18 82:4 83:21 92:17 93:3,11 95:1 96:2,7,15 98:10 100:14 112:2,18 162:11 177:22 204:20 207:16 208:2 212:1 215:3 217:15,16,18 223:3 225:11,12 234:15 239:6 254:22 257:21 258:3,4 259:4 260:18 263:17 265:12 266:1,3 269:22 279:11 283:13,19 293:19 294:9 305:2,18 307:19 315:14 316:1 331:4 353:1 356:4 360:21 361:13 377:22 383:17 398:13 children's 97:6 chime 41:16 129:15 Chip 77:19 90:4 105:12 141:15 233:18 245:4 246:2 253:7 266:17 267:16 321:2 342:11 Chisara 1:13 12:21 259:13 261:8 choice 99:1 355:6 choosing 62:20 chosen 75:1 79:13 216:4 Christian 272:7	chronic 100:20 138:1 331:9 347:16,17 350:17 churn 111:11,12,17 circle 363:22 circulate 42:14 67:17 circumstances 127:9 138:20 cite 335:15 393:6 cited 327:8 330:5 cites 301:18 city 14:15 372:1 392:15 claim 108:20 113:1 129:7,12 claims 75:17,19 109:8 129:18 130:4 155:7 176:20 187:10 193:11,12,20,20 194:8 196:2,9 231:15 266:21 293:5 310:13 333:17 349:7 400:2 404:13 claims-based 128:22 129:3 clarification 100:3 105:1 117:1 357:6 358:8 clarified 116:17 clarify 104:22 124:13 125:1,12 126:1 132:19 202:5 330:1 351:13 356:19,20 clarity 403:4 class 27:21 361:7 classic 369:14 classified 407:21 clause 86:16 216:2 clean 34:1 clear 58:22 82:16 98:11,14 101:10 101:20 104:9 105:15 151:12
---	--	--	--	--

174:14 274:10,11 274:14 281:9 315:5 342:13 clearer 84:18 380:18 clearly 45:10 77:20 87:15 92:13 93:21 97:17 114:3,12 134:16 139:2 218:10 227:20 228:5 234:14 244:8 252:12 253:21 267:17 271:3 301:19 332:14 360:18 376:2 click 127:13 145:22 clicker 123:11 clickers 315:1 clinic 16:18 30:4 46:8 210:5 381:10 381:21 clinical 13:1 14:1,2 16:11 30:22 31:1 32:3,13 52:10,22 56:7 64:18,22 65:8 88:14 96:18 97:2 138:3 139:11 183:3 224:2 229:7 297:22 316:22 317:5 346:7 376:11,19 clinician 21:8,12 44:4 51:16 52:3 117:20 132:20 133:20 210:5 381:22 385:14,16 clinician's 381:11 clinicians 47:3 close 146:16 162:4 174:9 185:13 192:14 198:17 200:3 316:7 closed 115:17 151:5 191:18 204:17 232:22 234:9 235:17	249:9 250:5 259:1 263:4 264:6 265:3 266:11 267:9 268:7,22 296:1,17 307:12 308:16 309:11 310:8 311:4,22 328:6 330:20 331:17 334:20 337:19 343:17 394:19 398:6 399:5 402:12 404:7 405:1,20 closely 60:4 85:7 closer 82:10 closes 156:10 cloud 65:21 cluster 164:10 CMS 9:6 13:2 18:10 78:7 80:14 80:15,20 81:9,20 82:3 84:14 143:16 185:1 212:3 215:20 216:9,16 217:14 220:2,3 227:7 228:4 238:1 238:5 252:16 267:17 287:5 349:7 356:2 360:1 364:15,18 372:2 373:15,15,16 379:5 CMS/Medicaid 221:7 co-chair 1:12,12 46:3 61:21 co-chairs 1:10 41:1 68:14 coating 231:17 Cochrane 85:9,14 87:21 88:1 96:19 96:22 208:18 248:1,4 293:22 301:18 318:14 323:8,9 code 86:17 101:3 102:18,20 122:7,8	133:6,21,22 134:11 135:1,11 136:7,8,13,13,19 138:8 155:6 271:21 283:7,8 289:12 305:3 332:8,9 333:14 352:18 353:3 373:11 392:10 399:12,18 407:22 coded 102:16 129:8 241:20 coder 352:17 codes 75:17 86:6,7 86:8 101:12,16 106:11,16 112:22 113:15 117:11,11 117:14 118:5,15 118:15,16,17 119:11,12,17,18 121:22 122:4 129:8,9,9,10 130:16 131:12 132:1,7,9,10,12 132:13,15 134:18 135:13,15,19 139:8 140:7 155:5 155:8 181:3,6 186:20 194:21 195:4 196:11 197:8 229:21 242:1 271:14,17 281:8,17 288:8 293:6,9 309:2 310:16 333:12 395:6 406:19,22 coding 38:13 75:15 86:10 117:13 129:12,17 135:9 281:3 304:17,18 401:9 407:20 cognizant 283:14 cohort 176:12 225:21 255:4,15 cohorts 226:5,10 227:1 256:1 COI 9:10	collaborating 58:19 collaborations 57:19 collaborative 14:4 colleague 29:5 colleagues 6:19 90:6 collect 229:11 collected 229:22 349:4 collection 155:20 198:8 218:2 229:20 341:16 collects 348:10 College 1:16 17:8 collegial 25:7 Colorado 12:13 Columbia 12:3 column 35:9,20 combination 82:1 158:14 combine 7:7 350:11 combined 83:13 126:14 291:2 come 23:20 29:20 30:3 39:4 41:4 44:1 46:1 54:17 67:6 72:17 111:13 112:12,22 138:4 138:17 140:1,14 145:10 164:22 169:15 170:14 172:7,13 177:16 194:18 227:17 236:18 240:13 260:10 273:17 282:17 294:9 304:12 338:1 361:16 364:3 comes 88:9 109:8 116:1 130:7 176:12 254:17,17 255:18 338:15 339:20 351:13 364:14 374:13	comfort 108:6 381:13 comfortable 20:7,9 20:11 236:14 379:14 coming 9:12 53:6 67:4 129:18 144:20 152:17 170:17 203:13 360:5 374:11 401:15,16 comment 3:19 4:20 5:19 6:7 26:17 40:13 46:13 63:6 66:17 68:6 99:5 106:6 132:19 136:4 138:9 139:14 142:22 157:7 175:14 180:22 193:6 205:4,6,8 211:6 213:22 231:14 237:14,15 239:12 241:13 247:16,21 275:2,22 280:12 299:22 304:7,9 312:14 314:16 330:6 333:20 353:8 356:20,21 359:21 365:8 390:10 391:2,5 400:7 401:3 406:5 409:12 commentary 157:13 comments 23:2 26:2,18 40:15 61:1 68:5 73:22 106:1,5 116:16 153:1 155:11 157:19,21,22 158:8 173:14 176:8 181:13 184:17 187:18 193:4,17 199:2 200:10 205:11 208:13 245:2
--	--	--	---	--

247:17 249:2,19 249:21 250:12,16 250:19 251:16 255:22 259:11 264:18 268:14 296:18,22 303:15 304:11 308:6 309:3 311:15 317:9 341:17 343:10 391:7 393:21 400:4 402:19 405:13 409:2 commercial 77:7 78:5 90:4 115:8 115:22 139:17 193:22 194:1,3,6 220:14,15 240:2 245:4,6,12,20,21 246:7 248:21 249:16 253:10 266:18 307:1 commission 78:14 Commissioner 14:14,16 committee 1:3,8 5:7,11 7:17,18 8:5 8:11,15 11:16 13:11,17 16:1 19:3 20:16 22:13 23:5,13 24:10,12 24:18,22 25:8,11 25:12,17 26:12 27:1,22 28:6,6 32:18 33:8,16 36:8,22 38:3 40:15,21 41:6 42:13 46:2 52:15 56:13 60:21 61:21 63:21 65:17 67:18 73:17 74:16 89:9 99:17 120:6 126:1 149:4,14 153:2 164:20 165:3,9 166:12,21,21 167:5,7 169:20 170:1,5,14 173:7	175:18 181:11 188:15 189:2,6,19 202:12 204:4 210:18 233:11 234:19 237:9,13 240:15 250:20 252:3 278:20 279:1 298:8 302:8 304:10 312:10 319:13 321:10 328:20 339:14 348:22 364:5 366:5 371:4,5 409:10 committee's 6:8 171:14 179:1 297:6 committees 20:4,5 48:21 49:3,4 70:2 170:11 213:1 240:14 278:10,12 common 1:18 11:6 79:18 100:20 223:1,1 231:19 331:8 403:12 commonly 103:8 181:3 332:21 communication 59:20 communities 36:21 41:22 42:4 48:14 60:12 361:16 381:18 387:19,22 396:5,9,11 397:11 397:11,12,12 401:8 community 1:21 3:14 14:19 15:11 18:3 21:12 30:4 31:19 34:22 35:1 35:15 36:16 37:5 41:20 42:7 45:7 45:10 47:1,3,19 48:11 52:6,11,12 56:20 59:1,5 60:13 61:11 63:19 66:10,18 67:11	81:18 85:18 88:21 109:5 111:16 130:21 270:14 280:3 290:2 323:16 348:2 353:11,12,20 354:7 355:6,15 358:4 362:11 363:15 364:10,10 365:2 370:14 371:1 373:2 375:3 378:9,18,20 380:6 381:16 385:10 388:2 389:22 397:4 401:18 community's 386:4 community-based 43:18 369:2 community-level 372:11 Companies 1:15 companion 47:2 company 13:7 comparability 150:18 192:6 comparable 47:4 47:10 240:1 383:6 387:19 compared 115:10 387:3 396:16 397:14 403:5 405:11 comparing 388:14 comparison 387:9 387:11 compelling 238:15 compensated 133:8 compete 260:6 competency 54:12 competing 161:20 204:12 competitive 90:1 compiled 79:5 complain 365:11 complete 148:1 240:16 287:20 337:8 401:22	completed 25:15 212:19 228:1 276:15 completely 244:9 304:20 352:12 complex 43:15 complicated 217:22 281:18 363:6 380:2 397:16 complications 270:7 347:17 complimentary 64:13 comply 80:21 component 48:19 84:7 229:5 276:10 338:14 359:15 components 50:17 88:12 110:16,20 145:1 228:16 349:20 composite 152:11 202:9 composites 34:8 composition 228:20 245:21 246:2,6 compositives 192:8 comprehensive 34:6 270:1 278:22 280:15 281:2,12 281:20 282:12,17 292:5 305:5 315:16 316:17 322:17 336:19 338:11 348:17 373:21 compromise 345:1 computer 225:2 con't 4:1 conceivably 379:5 conceive 284:16 concept 30:8 76:7 110:3 130:20 200:19 212:17 322:13 324:13	336:9 359:13 370:11 concepts 338:10 339:2 conceptualize 359:20 conceptually 359:18 360:16 concern 75:10 76:6 81:5 85:2 87:9 111:14 163:12 171:9 193:10 196:15 200:13 203:5 215:1 225:16 239:2 242:15 245:19 263:19 338:20 373:12 401:15 406:18 concerned 211:16 236:11 242:5 251:21 258:9 concerning 169:3 concerns 33:5 73:21 75:7 84:8 84:10,21 89:8 102:10 103:18 143:7 150:7 169:21 187:18 192:21 193:7 198:4 200:10 247:4 252:8 260:16 288:3 400:3 404:12 concise 26:2 conclude 231:2 concluded 395:9 410:5 conclusion 321:15 concordance 176:20 333:16 concur 354:3 concurrently 289:3 condition 288:11 347:13 378:7 400:22 conditioned 320:13
---	--	--	---	--

conditions 114:18 317:17 350:17,18 369:19 370:6 372:13 conduct 66:9 187:8 conducted 148:12 conducting 148:6 conference 1:8 6:2 95:8 179:9 confidence 244:16 247:7 confident 206:11 207:13 confirm 92:7 confirms 92:11 conflict 7:22 18:21 19:2,4 conflicts 8:2 319:2 confounded 377:7 confounders 378:12 confused 313:4 confusing 312:22 confusion 206:6 connected 64:21 273:12 Connecticut 105:13 158:19 342:12 405:7 connection 96:10 247:22 274:17 277:15 316:20 connects 273:4 375:2 consecutive 28:7 315:15 316:18 320:9,10,19,22 consensus 23:5 26:7,22 78:17 88:18 169:19 170:9 171:19 223:6 231:1 248:14 296:3 303:18 313:6 334:6 340:2 364:12 consequences	123:19 160:7 185:7 199:18 342:19 consider 30:11 152:6 186:3 213:18 217:14 324:21 335:14 357:7 370:8 384:17 considerable 88:14 128:2 184:3 228:11 329:5 considerably 221:21 367:8 consideration 3:16 3:20 9:8 43:3 54:22 238:10 239:21 257:2 380:3 considerations 153:1 considered 17:9 24:20 108:16 218:2 223:11 234:1 236:5 267:18 336:2 382:13 considering 65:1 186:10 361:22 379:11 consistency 87:18 127:5,6 170:19 171:17 174:18 182:4,11 238:10 consistent 93:4 102:10 135:9 139:9 150:13 170:11 192:1 202:21 220:7 246:4,5 253:5,7 286:18 310:13 344:6 consistently 148:1 constant 41:13 94:7 constantly 278:10 constituted 288:6	construct 243:22 323:3 368:14 376:21 construction 351:1 consult 19:9 Consultant 2:14 7:3 consulting 7:21 contents 41:7 context 28:15 49:10 143:9 244:4 272:8 286:11 289:9 349:9 353:10 362:18 381:1 contingent 39:20 continue 99:3 105:3 162:19 306:13 continued 3:20 282:14 continues 251:12 continuing 23:12 94:3 continuity 4:16 85:1 87:10,12 89:4 273:17,18 276:22 278:2,6 315:12,13,22 323:4,21 324:6,8 324:9,16,22 325:7 326:3 327:6,7,9 327:11 329:18 336:17 338:6,8,19 340:7,14,16,17,20 341:7 contract 89:22 143:15 144:2 contracted 254:2 contractor 245:11 contractors 253:22 contribute 26:3 306:11 contribution 317:15 control 303:13 378:9	controlled 97:16 301:19 controlling 218:19 convene 41:6 49:13 78:9 convened 50:12 conversation 69:5 175:20 176:17 186:7,10 232:1,4 299:14 306:1 359:5 371:2 374:10 conversations 64:9 72:14 163:6 convincing 233:10 coordinated 324:11 coordination 18:15 50:9 57:7 230:17 copies 168:2 copy 147:4 166:11 409:20 core 42:3 50:2,15 86:19 196:1,4,16 197:13,16,19 Cornell 1:16 18:6 corner 146:1 correct 24:10,15 150:5 153:19 154:1 186:11 190:7 197:10 200:17 240:11 283:2 335:17 358:6 362:1 366:8 393:20 397:19 correctly 197:6 272:16 279:10 408:20 correlate 65:11 correlation 277:8 376:22 cost 341:15 348:7 counsel 2:8 5:10 count 286:9 356:14 counted 285:15 356:12 367:16 408:20 counties 372:18	387:12 403:9,11 403:13 counting 296:4 Countries 92:22 country 66:12 79:8 95:19 122:13 211:7 212:7 counts 271:9 360:16,17 county 31:15 45:9 83:3 95:13 355:11 366:12,18 367:2,7 367:9,9,14,17 368:3,4,10 371:22 372:17,21 373:8 379:8 382:9,21,22 387:7 388:6,6 392:13,15 403:10 403:15 406:12,13 couple 5:13 19:19 22:8 45:15 61:6 153:22 162:22 169:3 207:3 240:19 322:8 372:4 377:8 385:1 course 15:15 75:10 76:7 78:21 152:2 239:3 314:12 359:11 360:1 377:6 407:3 cover 69:12 293:9 coverage 108:2,3,4 108:7 111:8 115:9 116:3 215:15 216:8 217:17 covered 78:4 114:22 115:3 119:15 120:11 217:18,20 288:12 325:4 cracks 214:11 Crall 2:13 73:7 74:9,15 76:10,12 88:19 89:14 90:20 92:8 108:17 113:4 113:22 117:17,19 119:6 122:2
--	---	---	---	---

131:10 132:18	167:3,9 171:22	75:13,17,19 77:3	389:10 396:16	364:19 386:20
133:15 134:12	177:13 180:2,5,15	77:9 79:5 82:2	397:19 400:1,3,12	decided 306:14
135:12 137:6	181:11 192:19	83:6 90:3,5 92:11	400:14,18,19	325:6 350:10
143:2 176:7	241:1	92:13 94:11 98:8	401:4,7,13,18,20	354:17 409:10
197:20 201:17	critical 92:20	98:20 100:13	401:22,22 402:3,3	decides 105:8
211:21 213:21	103:14 333:8,10	103:7,8,14,20	403:13 404:14	341:10 352:18
215:19 216:11	343:21	105:7 106:6	405:7	353:16
218:6 222:12	criticisms 170:9	108:21 109:8	database 293:5	decision 152:18
227:4 239:17	crossed 337:9	112:15 113:20	348:17,18 356:10	213:2 254:6 298:9
243:10 244:7	CSAC 23:4 40:18	120:15 123:16	356:13	decisions 253:18
245:3 252:11	40:20 41:2	128:1 129:21	dataset 293:14	decrease 397:1,3,3
270:19 285:10,13	cultural 54:12	134:14 135:8,12	datasets 400:13	decreased 396:15
286:6 288:10,15	culture 54:12	135:17 137:2	date 39:8	402:22
290:3 301:3 303:5	cumbersome 81:3	138:19 140:11	dated 94:12 218:13	decreasing 383:18
303:8 316:4	126:5	141:7 145:3,12	David 1:19 10:11	383:20
326:11	cup 9:17	148:12,17 149:19	108:19 120:17	deep 363:8
Crall's 239:12	curious 39:9 137:1	150:18 155:18,19	135:19 158:10	deeper 406:16
create 178:10	current 33:7 36:18	155:21 176:21	168:16 194:15	deeply 274:7
370:20 371:6	60:13,19 78:20	184:2 185:5	247:13 257:18	default 179:7,8
created 60:20,21	119:18 131:14	187:10,12,13	272:13 316:14	defaulted 335:2
253:12	189:16 199:1	190:12 192:7	329:2 353:7 354:5	deferred 360:4
creates 365:16	221:4 227:6 228:7	193:5,6,11,11,12	Davis 2:15 346:6	define 94:21 101:5
creating 93:19	267:14 287:5	193:15 196:9	day 78:20 172:2	181:8 197:8
373:10	350:22	198:6,7,9 212:3	289:15 321:13,13	215:20,22 216:4
creatively 64:20	currently 10:17	218:2 219:14	408:13	226:18 275:12,15
credible 159:17	13:5 14:10 17:16	227:17 228:2	days 6:4 22:8 45:15	386:10
160:3,5 199:15,16	26:11 48:2 84:14	229:11,20 233:10	111:19 112:6	defined 52:19 63:2
criteria 22:19,20	105:11 136:8	233:18 236:2	356:11,15 388:16	80:17 81:8 236:1
24:3 25:20 27:6,8	210:10 213:16	239:2 244:6 245:6	399:17	237:19 305:2
27:9,12 43:8	218:18 228:7	245:10 248:20,22	deadline 40:9	351:20 393:15
44:18 69:12 87:19	249:13 260:7,10	251:2 252:21	62:13	407:13
116:8 151:14	267:14 306:21	253:1 254:10,10	deal 78:22 79:15	defines 216:7 356:3
155:2 157:3	311:8 340:7	254:13 266:15	85:14 99:17	defining 132:16
161:16 165:4	342:10 362:19	270:6 272:6 278:4	dealing 143:8	264:11
173:18 175:11	405:6	292:9 293:3	deals 74:21	definitely 41:16
187:21 189:3,4	curve 20:6	310:12,14 316:6	dealt 221:19	55:22 62:3,4
204:7 209:19	cut 34:18 247:6	320:20 321:4	dear 85:22	65:16 84:19 172:1
210:16 250:22	cut-off 216:5	322:18 325:21	debate 111:15	176:22 209:14
251:14 260:11	cutting 209:13	327:1 329:4,17,19	decade 82:9 208:20	230:5 255:20
388:20 393:22	cycle 172:8	329:21 330:8	361:4	256:4 283:10
407:12 408:6		331:2,4 332:20,21	decades 82:10	284:1 314:2
criterion 105:5	D	333:3,8,10,13,14	decay 92:17 96:15	327:17 330:9
116:9,20 145:17	d 152:12 318:1	333:17 337:4	96:15 120:21	336:10,20 364:17
150:4 151:22	D.C 1:9	341:9,15 346:8	180:17,20 331:4	definition 45:7
152:7,8,21 153:21	dare 364:18	348:10,20,22	decide 157:8	81:3 101:11,17
158:1 163:3,8,10	dark 388:18	349:9 356:18,22	328:19,19 342:22	102:11 215:14
163:10 166:7,15	data 57:5 70:7	361:3 367:19	353:19 354:14	216:10 318:4

334:7 349:1 359:17 definitions 50:1 degree 77:2 120:5 171:6 206:5 210:21 244:16 393:13 dehydration 346:16 349:22 350:1,13 352:19 352:21 353:3 376:11 377:12 383:18 392:10 399:15 delay 314:18 344:12,13 409:10 delaying 314:21,22 315:4 344:13 deliberations 6:8 6:14 delighted 30:6 delineated 97:18 deliver 276:5 delivered 292:14 delivery 18:11 30:22 32:3 110:11 142:9 154:22 214:9 215:6 217:7 223:18 307:1 394:5 delphi 335:13 336:1,3 338:7,9 339:1,8 350:8 Delta 1:18 16:3 demonstrate 135:18 137:15 144:5,11 176:19 329:5 376:17 392:21 demonstrated 96:14 123:16 128:2 158:12,22 159:12 160:4 184:2 185:5 199:16 233:20 248:19 demonstrates 98:9	demonstrating 159:18 denominator 98:13 101:2 107:22 117:6 133:13 134:8 145:2,5,5,6 145:7 186:13 196:19 251:11 259:20 264:14 320:12,15 332:3,4 332:13 334:7 348:3 366:1,7 369:8,18 371:9 392:11 399:20 denominators 83:10,11 196:21 dental 1:18 2:12,13 2:13 4:3,5,6,8,10 4:11,12,13,14,15 4:16,18 16:2,3 70:6,8 72:10,11 73:5,6 74:11 75:13,19 76:7 77:12 78:12 79:6 79:7,9,14,20 80:8 80:16,17 81:15,21 82:4 83:1,3,12,16 83:18,22 84:6 88:21 90:11 91:9 92:1,4 93:7,14 96:1,8,12,15,19 98:10 100:14 101:3 102:15 104:7 106:7 107:17 115:10,15 117:12 120:8 129:20,22 130:1 130:12 139:18,19 162:10 177:19,21 204:20 207:17 208:12 210:9 211:7,15,18 214:4 218:14,19,21 230:20 239:16 259:5,9,19 260:14 260:18 262:16 264:13 265:11	269:2,19 270:13 270:15,18 271:12 273:18 280:9 284:6 286:3 304:18,18 315:12 316:1 327:15,15 329:7 331:7 333:12,15,16 390:13 dentist 15:19 80:19 81:10,12 82:17,20 84:1 107:2,12 132:3 136:18 194:19 225:18 230:15,15 274:20 281:21 288:11 dentist's 274:16 dentistry 75:13 88:9 122:15 208:17 292:13 295:14 306:2 317:8 dentists 210:6 223:18 281:3 292:14 department 1:20 5:6 15:11 31:15 52:20 288:6 353:18,19,22 354:12,13 376:14 department/obse... 353:14 depend 161:19 204:11 dependant 155:7 dependent 255:1 depending 133:17 172:16 382:22 depends 130:13 147:6 216:6 derived 50:15 348:7 describe 44:8 described 53:4 202:6 332:14 describes 106:16 describing 359:8	description 122:6 208:1 221:4 269:20,21 283:9 descriptor 117:22 122:7 descriptors 281:1 design 138:18,21 139:10 140:12 222:13 227:15 282:2 designated 24:4 137:16 designation 117:19 designed 347:14 designers 248:19 designing 137:12 desire 33:2 desk 147:4 Despite 33:14 detail 23:9 56:3 166:5 178:17 details 121:8 124:4 186:12 detection 317:17 determinant 38:1 determinants 31:5 31:21 32:8 41:12 52:13 53:11 55:9 56:6 65:2 358:3 362:21 367:11 369:16 373:6 376:2 377:7 380:4 384:1 386:7 determinate 33:12 35:17 determinates 33:19 35:5 36:15 362:17 determination 102:8 determine 101:12 101:18 102:2,5 139:1,12 334:6 determined 97:7 138:16 194:21 351:21 determining 188:2 deterministic 370:6	develop 74:5 78:19 79:3 121:1 134:19 143:22 290:9 developed 31:13 69:15 80:10 122:11 142:2 171:16 173:6 229:8 260:9 271:20 303:17 developer 30:7 203:7 237:5 240:15 255:21 270:3 283:4 345:17 346:6 developers 23:18 24:4,13 25:9,13 26:10 32:19 33:3 38:11 69:16,19 70:13 90:19 100:2 140:8 165:4 166:2 167:17 177:17 188:10 233:9 252:4 257:17 260:21 262:13 263:9 265:15 275:22 280:21 299:17 300:6 307:18 308:21 311:10 314:9 315:8,17 373:9 developing 51:12 53:2 97:8 122:20 178:22 development 17:6 17:13 26:7 74:16 120:6 156:21 170:10 171:19 226:10,11 271:15 283:20 336:5 350:7 359:3 developmental 229:2 294:12 developments 17:22 device 125:9 DHHS 78:2 diabetes 1:17 13:20
---	--	--	--	--

50:9 64:12 70:10 137:20 225:22 375:4 389:6 diagnosed 142:4 276:7,9 diagnoses 351:21 352:6,7 diagnosing 347:18 diagnosis 284:21 285:4,7 287:4 289:1 346:14,15 346:16 349:2,3,21 350:1,2 351:10,12 351:12,14,15,18 351:19 352:3,11 392:8,9,10 399:13 399:14,18 diagnostic 75:14,16 117:12 135:1 271:8 287:10 diarrhea 383:17 diet 229:3 differ 245:16 284:11 285:8 difference 190:3 223:20 244:19 254:11 274:12,14 281:17 283:12 286:4 383:12 differences 25:7 150:17 192:6 228:13 233:20 251:3 375:14 393:16 401:8 different 7:22 21:11 58:7 62:17 80:2 84:9 91:13 109:20 110:8,9,15 112:15 114:1,2 119:14,15 129:19 151:13 152:4 168:17 170:2 174:16 179:14 180:5 186:21 187:12,14 190:14 202:8 219:8 222:17 223:14	226:10,11,14 228:13,16 233:22 236:7 238:22 239:15 242:17 245:14 254:20 255:4 280:14,18 284:15 285:5 287:22 290:15 300:20 306:13 316:5,11 324:11 327:18 336:5 339:18 340:1 352:12 353:20 356:13 380:10 387:21 397:1 403:21 differential 145:8 262:14 differentiate 95:1 144:4 222:18 differentiation 83:11 differently 98:1 275:3 differs 349:18 difficult 59:6 137:3 168:18,20 174:20 325:9,13 difficulty 151:21 dig 145:3,12 406:16 diligent 205:21 dimension 368:7 dinner 409:13,18 direct 81:17 88:13 107:1,14 109:12 145:21 direction 81:11 83:14 87:20 131:9 228:6 275:17 directions 23:4 241:19 242:17 243:21 directly 19:10 54:19 66:19 79:15 82:17 97:10 329:22 Director 2:9,10 5:5	10:18 12:1 13:6 16:4 47:17 directors 27:2 113:7 255:13 disabled 255:6 disagree 136:17 disagreement 169:10 173:13 discharge 400:15 400:21 discharges 348:11 348:14 392:6 discipline 207:7 disciplines 80:6 disclose 7:16 8:8,18 9:1,5 10:14,19 11:7,13,15 12:14 13:13 15:7 16:16 18:16 disclosure 3:5 5:12 7:20 13:9 15:1 disclosures 7:8 10:9 12:4,9 13:22 16:5 17:18 18:19 19:12 319:2,9 discount 255:13 discretion 303:10 discriminate 231:17 discuss 19:13 40:15 41:7 45:14 68:5 70:22 167:15 237:10 259:13 289:7 346:11 391:15 discussant 171:3 185:20 discussants 163:2 discussed 61:3 167:13 179:10 252:10 263:12 308:4 309:19 discussing 16:12 165:3 248:14 251:22 discussion 21:17 23:21 24:1,7,11	25:6,21 26:3 54:17 65:19 73:13 98:4 99:13,18 100:1,11 103:21 109:19 124:8 154:17 157:21 164:5 166:10,21 167:6,7,10 168:7 168:13 175:10,12 175:18 176:6 177:8 178:6,8,15 179:5 180:5 181:15 186:17 188:17 202:14 208:8 210:16 214:14 215:9 218:7 233:8 234:3 235:9 236:9 241:4 243:4 247:3 249:3 257:10 261:4,5,20 262:19 263:22 266:5 267:2,21 272:13 278:20 279:1 295:16 299:15 307:4 310:1,19 314:10 314:21 318:18 320:5,7 326:18 327:21 329:13 330:13 331:11 334:13 335:9 337:13 351:5 365:19,21 366:3 366:21 367:1 374:15 384:14 387:18 392:2,20 395:11 398:18 404:15 406:4 408:2 discussions 18:1 65:6,7 155:4 213:14 350:9 381:1 403:7 disease 9:6 35:1,16 36:4 37:6 70:10 100:15,17,19,20 118:20 119:1	134:22 138:2 143:5 218:14 221:18 331:5,7,9 347:16,17 diseased 258:7,7 disparities 98:21 141:11 184:5 188:9,10 190:4,13 190:15 233:12,16 258:13 329:8,20 372:7 395:5,22 396:2,13 397:8 disparity 256:19,20 397:7 display 160:21 displayed 161:4 displaying 161:1 dissimilar 223:6 distinct 283:12 349:3 distinction 361:20 406:20 distinguish 227:3 358:10 360:13 380:15 distinguishing 379:20 distort 136:10 distortion 137:2 distracting 157:7 distractions 25:22 District 12:3 divergent 174:5 241:19 243:20 divided 227:1 diving 116:7 doc 46:7 docs 380:6 doctor 10:6 282:11 doctors 217:21 324:19 378:8 document 104:11 141:3 173:5 187:21 documentation 224:17,22 documented 98:12
--	---	--	---	--

doing 14:22 17:21 20:15 28:2 30:14 31:7 40:22,22 47:4 55:12,13 57:14,21 58:3,12 58:22 68:20 90:8 116:11 126:6 144:1,16 151:21 162:22 174:15 197:18 211:13 221:13 292:20 313:1 342:21 371:3 372:8 379:19 386:19 407:10 domain 54:14 323:16 domains 34:20 35:9 dominating 26:2 Don 31:13 32:1 door 274:16 277:11 dotted 337:8 double 339:3 downside 217:12 downwards 243:16 DQA 78:6,11 80:9 80:12 82:15 83:9 88:19 89:17 106:2 117:1 136:1 140:8 145:4 158:6 178:21 339:18 DQA's 76:17 Dr 22:4 73:7 74:9 74:15 76:10 88:19 89:19 90:20 96:3 113:4 129:15 131:16 176:7,22 201:17 211:21 215:19 231:14 239:12,17 285:10 285:13 draft 60:20,21 67:18 224:12 336:9 337:2 drafting 26:16 drastic 314:14	draw 50:18 drew 10:2 18:15 drill 372:19 384:13 drive 57:7 driven 52:8 66:19 367:10,11 386:20 386:21 drivers 387:20 driving 388:4 394:6 drop 114:7 394:2 drop-off 255:2 dropped 114:8 396:6 397:10 drops 241:16 243:15 dry 116:12 due 38:7,20 75:2 254:22 292:6 350:16 400:11 duplicating 58:4 duplicative 29:11	ED 354:13,18,20 355:4,19,21 edit 390:6 editorial 283:6,10 editorially 84:17 341:1 educated 139:8 385:13 education 53:12 129:4 289:8 329:11 effect 223:9 237:21 257:6 effective 18:21 91:12 222:5 223:11 224:9 226:8,16 effectively 57:4,9 360:10 effectiveness 96:14 136:15 222:19 223:7,21 effects 21:11 efficacy 222:1,10 224:20,22 225:15 226:6,21 227:2 309:18 efficiency 290:7 359:15 368:6 effort 57:20 59:13 60:10,14,18 62:5 130:18 244:2 270:21 286:14,21 efforts 22:7 55:21 57:15 58:7,14 61:16 63:16 223:13 237:22 eight 26:6 37:4 128:14 184:12 198:18 394:3 408:19 either 22:14 60:11 87:17 102:8,9 107:14 165:18 171:2 175:19 208:4 222:13 252:15 287:16,16	299:18 318:6 321:12 365:5 383:2 385:11,14 either/or 265:16 elaborate 364:9 electronic 155:19 198:7 341:12 electronically 341:14 element 103:14 149:19 323:21 333:8,11 348:22 elementary 217:3 elements 58:6 67:8 100:6,8 148:17 155:21 198:9 227:10 348:20 400:14,20 elevated 4:4,7,10 96:3 101:11,17 102:3 117:5 162:11 197:3 204:21 207:16 208:3 257:21,22 258:4,5,14,14 259:5 elevators 6:1 elicited 333:20 eligibility 115:19 254:22 eligible 108:15 116:3 182:19 217:15 255:6 eliminates 292:12 eliminating 218:20 Elisa 2:10 3:4,10 5:4 7:7,7 28:1 47:22 48:11 53:4 56:4,11 69:18 71:16 147:13 162:13 206:3 Elise 44:13 eluded 48:12 129:8 135:19 eluding 133:2 152:10 embrace 212:17	eMeasure 155:20 198:8 eMeasures 192:8 emergency 17:4,8 271:6 285:15,22 288:5,15 353:13 353:18,18,22 354:11,13 376:14 emergent 288:11 375:8 emerging 45:21 Emilio 1:16 18:2 146:21 148:20 149:10 emphasis 59:3 94:3 221:14 317:19 emphasize 32:2 36:6 172:19 emphasized 58:8 emphasizing 212:8 empirical 148:6,11 182:15 297:17 298:11 333:2,9 empirically 326:12 326:16 employed 228:8 employer 8:13 78:5 115:22 enable 28:17 enamored 109:18 encompass 281:7 encompasses 346:2 encounter 120:22 279:7 encounters 212:13 encourage 61:5 67:19 214:6 217:5 219:13 256:14 300:6 encouraged 224:8 ended 206:19 Endocrine 36:2 endocrinologist 13:21 endorse 268:13 endorsed 27:21 32:13 33:16 34:17
---	---	--	--	---

37:1 38:9 49:20 54:3 63:2 83:15 203:20 205:1 210:10 213:16 214:1 260:7,10 277:5 278:7 279:18,21 300:10 359:14 387:6 endorsement 23:1 26:14 27:19 42:10 42:16 44:21 48:5 53:7 161:14,17,18 161:19 200:8 204:2,6,7,9,11,19 250:12,15 251:18 258:20 259:2 268:15,18 269:1 312:6,11 344:3 387:4 406:3 408:5 408:6 endorsements 178:9 endorsing 177:11 177:12 366:16 enforce 401:6 engaged 17:5,20 25:21 engaging 223:17 enrolled 143:11 194:9 208:2 259:20 264:15 269:22 286:12 315:14,14 320:19 320:22 enrollees 145:2,6 194:14 196:22 197:3 enrollment 112:1 112:16 113:19 320:13 321:5 334:8,10 ensure 174:18 ensuring 25:14 71:7 enter 133:6,21 191:14 entered 193:13	254:10 entering 272:2 286:2 enters 135:1 275:8 entertaining 115:7 entire 34:12 70:22 166:11 169:20 219:6 222:2 271:4 entirely 151:12 entity 79:19 entry 131:16,16 envelope 270:22 environment 33:20 33:22 307:2 373:5 environmental 31:5,18 35:5 36:15 37:22 57:14 58:1 336:8,13 338:22 339:10 environments 34:1 envision 45:1 envisioning 45:11 epidemiological 144:9 epidemiologist 15:10 epidemiology 143:5 episodic 272:3 292:12,16 301:7 307:20 EPSDT 80:20 114:11 238:7 equal 101:22 399:17 equals 102:18 equivalent 277:9 305:6 Eric 1:17 12:11 39:5 237:13 293:16 312:20 eruption 87:1 179:15 especially 7:19 70:3 88:9 155:7 195:20 211:8 213:7 230:4 284:6	313:15 321:1 essential 136:1 317:1 essentially 22:22 56:15 57:22 59:4 159:16 272:17 274:15 335:2 establish 56:18 63:18 established 230:20 283:1 351:19 352:7 establishing 58:20 270:15 et 42:22 51:19 53:8 53:12 63:12 98:19 99:22 168:14 222:17 256:17 400:15 ethnicities 94:16 ethnicity 98:22 233:16 256:17 329:9 etiology 406:22 407:22 evaluate 22:18 152:11 307:19 334:3 evaluated 276:12 322:12 338:8,12 359:14 evaluating 20:7 22:21 169:17 183:3 190:21 358:18 evaluation 3:7 4:14 24:3,17 25:1,19 25:20 37:12 44:18 68:18 71:1 142:13 152:7 172:15 188:16 189:3,17 196:14 269:19 270:2,16 273:11 273:11 274:1,21 280:22 281:7 292:6,20 305:5 315:16 316:18	323:11 336:19 338:10,11,13,17 341:4 358:17,19 410:2 evaluations 281:4 282:2,11 293:8 316:22 317:10 eventually 196:10 everybody 10:10 19:19 49:9 51:13 59:22 71:8,11 116:8 119:19 124:10 154:6 174:19 235:6 304:13 374:18 384:21 407:8 408:13 409:2,14 everybody's 125:9 157:12 everyday 291:18 everyone's 5:14 156:22 388:15 evidence 44:2 69:14 85:9,11,16 87:4,11,15,22 88:6,7,17 89:3,6,6 89:15,16 92:21 96:20 97:1,11,12 97:13,15,16,18 99:9 102:7 105:15 109:9 114:13 116:9,13 122:3,16 124:2 126:8,17,21 127:3,6,10 128:6 146:12 149:2,6,9 149:13,14,15 150:13 151:8 158:21 160:6 166:18 169:5 175:11 179:11 180:16,22 181:18 182:2,4,7,9,10,15 182:21,22 183:3 183:16,19,20 188:5 192:2 199:17 208:18 209:11 210:17,19	210:20 215:9 218:17 219:17,18 219:19,22 220:8 222:9 223:5,15 224:19 225:8 232:6,11,12,14,15 232:18,18,22 233:3,4,15,22 247:21 248:2 260:20 261:13,21 261:22 262:3,4,8 262:10 270:8,12 272:8 273:2,7,14 273:20,22 274:9 274:19 278:15,15 280:4,11 283:11 283:13 284:2 293:1,2,21 294:2 294:4,18 295:4,5 295:6,8,16,18,20 295:21 297:4,7,10 297:17,19,22 298:4,12,15 301:22 302:4,6 303:4 309:17 313:21 317:6,14 317:21 318:2,5,8 320:3,4,5,7 322:18 323:7,18 326:18 327:8,21 328:1,2,3,7,9,10 333:5 342:13,18 358:20 375:2,5,20 376:6,9 392:18 393:1,7,18,22 394:15,19 395:13 evidence-based 43:8 57:4 59:12 85:7,8 87:14 93:21 114:15,16 294:14 evolve 29:15 131:7 exact 335:12 exactly 45:20 88:15 142:14 276:2 361:18 379:11 386:5 387:16
--	--	---	--	---

exam 277:3 280:15 280:16 281:8,15 281:20 282:13 289:13 292:5 293:9 322:17	exist 54:5 59:10 60:16 407:1 existed 53:15 134:15 existence 98:21 existing 31:18 57:15 58:4 389:1 exists 57:6 84:17 85:12 159:12 276:21 318:10 expansions 115:7 expect 8:8 273:13 273:14 276:22 expectations 23:12 164:19 165:1,8 expected 24:22 25:9 39:6 expense 140:3 experience 34:10 39:8 88:15 120:8 120:9 135:18 experienced 22:1 164:15 398:13 experiencing 389:16 expert 8:12 17:12 18:15 88:12 182:17 280:10 291:10 298:5 302:6 303:7 350:8 expertise 20:12 88:14 341:2 experts 302:4 340:6 374:16 expired 9:11 explained 299:8 explanation 165:21 167:19 206:8 379:20 explanations 119:18 explicitly 52:19 301:21 338:8 explore 21:4 46:10 exploring 47:14 express 327:13,18 expressed 33:2	73:22 75:8 76:6 84:13,22 87:10 89:8 extended 282:10 extensive 329:4 extent 115:3 158:2 286:18 290:19 external 29:13 extraordinary 166:5 extrapolate 284:2 extrapolated 97:11 extremely 255:21 258:12 extremes 111:22 eye 78:21 <hr/> F <hr/> fabulous 22:9 face 195:17,22 333:9,19,22 334:5 335:19,20 336:18 337:6,11 338:5 faced 95:13 faces 20:1 facility 346:19 354:6 facing 95:18 306:10 fact 120:12 177:11 177:17,22,22 178:10 206:15 218:12 233:12 234:16,19,22 244:12,17 247:8 247:20 251:2 261:5 274:20 278:5 291:7 301:17 351:11 358:2 379:9 380:8 381:4 385:15 factor 117:5 159:3 190:6 factors 33:21 138:3 138:4 168:17 217:6 228:20 233:17 367:12	377:9 380:19 faculty 319:8 failed 187:6 297:7 362:12 363:5 failing 247:14 fair 43:9 112:4 134:13 375:15 Fairfield 367:9 fairly 67:12 76:17 78:15 80:15 206:13 216:21 233:10 235:6 243:19 244:13,18 246:4 253:5 264:12 310:18 fall 21:21 57:12 107:17 111:3 226:1 fallen 357:18 falling 214:11 falloff 326:13 falls 298:16 303:19 familiar 20:1 29:2 49:10 70:4,7 304:16 364:2 families 3:13 47:18 50:3,16,19 51:4 62:18 91:14 380:11 family 1:14 10:6 48:7 50:2,8,13,17 51:7 53:20 54:18 54:20 66:21 81:14 98:22 110:2 178:11 329:10 347:5 377:3 379:10 385:14 family-centered 54:18 far 17:18 21:22 52:17 97:18 100:12 105:10 158:12 276:22 332:7 333:7 342:12 392:18 fast 177:6 faster 384:7,9	397:10 fault 363:16 365:3 365:5 favor 292:1 314:21 315:4 344:12 345:1 388:14 favorably 236:13 feasibility 27:17 38:18 99:21 105:6 152:21 153:17 154:16,17 155:2 155:11,13,18,21 167:8 193:3,7,17 198:6,9,17 225:15 226:4,6,20 227:2 229:16,19 248:19 249:4,6,9 250:16 266:15 267:2,5,9 310:11,17,20,22 311:4,7 321:11 341:18,19 368:22 404:11,16,19 405:2 feasible 154:20 227:20 248:20 321:15 features 322:9 February 40:9 federal 63:11,16 78:11 348:9 federally 12:2 feedback 29:14 61:2,9 173:17 314:8 315:7,8 333:21 feedbacks 333:20 feel 101:7 150:3 171:7 173:19 206:14 207:12 236:14,19 252:7 257:11 291:4 292:7 300:15,17 304:14 313:10 342:20 374:14 379:14 398:11 feeling 206:7,18 257:13
--	---	---	--	--

feels 270:14 294:19	243:5 284:13	flagged 118:6	257:11 269:10	203:8 231:5
fellow 19:3	388:13 407:6,7	flights 390:19	276:21 389:9	397:19
felt 51:1 52:16 54:2	finer 286:22	flip 380:5	390:4,19	formulate 84:2
186:22 189:7	finish 408:18	Floor 1:8	follow 168:6 175:5	forth 85:5 178:13
206:10,16 350:12	fire 305:20,20,20	Florida 89:20	177:7 195:9 207:7	186:19
FEMALE 34:14	first 10:21 21:18	233:19 245:4	276:4 287:15	fortunate 23:18
73:8 180:12	26:7 27:6 31:9,9	249:14	328:17	forum 1:1,8 105:1
298:19 315:19	31:11 32:11 41:14	flow 143:12 175:20	follow-up 64:4	247:21
321:6	47:18 48:1 51:15	333:1	followed 26:8 27:1	forums 85:11
fewer 35:18 376:18	51:22 57:13 58:11	fluids 376:18	85:6 201:14	104:21 203:8
fidelity 406:19	63:20 71:3,19	fluoridating 95:16	286:16,18 324:20	forward 27:9 33:1
field 30:10 131:13	75:10 76:5 92:22	fluoridation 91:7	following 34:19	33:6 42:3 44:1,19
228:6 230:11	94:1 96:8,13	91:11 94:5,8 95:7	66:4 96:12 232:2	56:1 59:7 78:17
fields 103:8 332:21	98:14,16 99:13	95:9	302:20 316:21	79:2 80:11 86:1
fight 94:4	129:17 137:8	fluoridationists	333:5	89:2 90:10 94:18
figure 46:14,15	141:18 149:11	94:6	follows 44:15 127:6	196:16 209:2
145:12 196:5	162:14,18 179:17	fluoride 4:9 91:21	142:8 210:22	220:19 257:5
255:10 370:15	180:17 183:2	207:16 208:5	308:2	271:11 286:14
371:5,13 378:19	188:21 194:19,20	209:7,10 211:7	followup 282:20,21	297:7 298:20
figured 213:1	195:8,9 196:20	213:12 219:4	footnote 84:17	300:8 303:20
filing 130:9,11	206:5,17 215:19	220:21 223:7,21	129:22	304:6 365:15
filled 131:13	241:7 252:15,20	224:15 231:3,18	force 51:6,11 59:16	Foster 67:7
212:19	261:21 276:6,13	234:22 259:4	63:14 203:22	found 58:7 93:13
filter 130:2,6	279:12 281:21	274:9,10 308:3	224:12 255:7	121:9 170:13
131:21	285:7,10 297:13	fluorides 93:22	forces 11:9	210:19 243:11
final 42:13 63:6	300:9,21 305:12	209:7 223:2	foregoing 72:19	259:9 264:17
158:17 161:18	312:7 319:4	231:10 238:18	154:8 205:17	277:20 329:9
170:1 204:10	338:15 343:21	focus 20:19 50:13	269:14	333:15 334:11
258:17 302:5	345:13 346:11	50:20 52:7 56:7	foresee 365:2	338:1 393:11
304:12 334:6	351:11 352:19,20	58:22 123:6 136:1	forget 163:4	foundation 1:15,19
339:21 344:1,3	352:21,22 353:3	210:22 215:10	forgetting 163:6	2:4 10:13 16:16
finalize 337:5,7	366:22 368:10	222:14 239:20	forgive 364:2	189:1 275:8
finalized 340:3	375:20 385:20	286:1	forgot 110:22	foundational 31:12
finalizing 53:22	391:12 394:12	focused 26:2 33:10	forgotten 15:20	four 34:15 98:16,17
finally 21:20 27:18	fiscal 114:6	50:9 64:17 86:21	form 7:10,15	98:18 101:21,22
405:5	fishers 97:5	96:6 241:4 260:18	134:16 187:16	102:1,1 114:9
financial 8:1 114:7	fit 45:6 177:15	265:11 316:16	212:19 219:17	124:1 128:6
financing 239:15	368:14	333:11	225:8 242:8,9,11	146:13 150:20
find 11:20 137:14	fits 320:7	focuses 33:11 110:3	270:12 288:6	151:8 153:10,17
149:13 157:7	five 33:15 72:16	218:14	302:1,13,18 330:9	153:20 156:2
158:15 176:7	93:1 114:17	focusing 30:21	336:3	160:9 182:21
251:11 254:19	146:18 154:5	55:21 56:16	formal 71:1 339:7	184:7 185:9,15
255:13 258:13	164:4 182:21	folks 49:18 72:7,16	339:17	191:5 192:10,15
335:11 370:12	191:19 219:7	72:22 155:11	formalized 165:1	198:12 199:20
383:16	243:18 344:18,19	163:15 164:15	formed 283:21	201:19 212:4
finding 118:1,2,3	348:13	172:19 183:7	formerly 14:13	264:7 267:10
fine 45:22 213:17	fixed 251:11	196:19 210:7	forms 89:7 154:14	326:2

frames 236:7	257:18 263:21	355:13 368:3,18	215:4 217:2,3,4	106:20 111:13
framework 32:7	264:18 266:4	368:21 369:2	226:7 277:21	112:1 116:12,12
142:7 276:4	267:2,20 295:16	372:20 375:10	289:14 290:1	123:13 128:13,19
frameworks 28:11	309:22 310:19	377:13,20 378:1	292:17 300:16,18	139:1,15 140:21
287:2	311:15 314:20	385:9 389:17	301:7,13 322:14	142:17 144:18
France 1:17 12:10	315:21 318:17	391:12 392:5,8,9	324:13 384:4	145:16 148:3,9,22
12:11 39:5,5,13	320:5,7 326:18	399:13,14,18,19	408:14	149:11 152:20,22
124:13 136:16	327:20 329:12	406:7,8,10,21	GI 398:14	153:2 155:9,12,15
157:6 238:21	330:12 331:10	407:1,3,17,20	gird 390:17	158:9 162:16,21
293:17 312:21	334:12 337:12	408:10	give 28:10 35:8	163:3,4,8,9,9,10
394:1 403:8,18,22	343:9 392:20	gastrointestinal	49:9 74:3 76:15	164:21 166:4
Francisco 122:12	398:17 403:6	346:20	97:3 113:9 136:14	167:2,6,8,9
frankly 259:10	408:1,16	gateway 261:1,6	159:5 165:22	173:22 176:4
381:15	future 24:20 45:13	263:15	166:15,17 169:3	179:16,20 180:2,3
Frasier 11:1,5	78:22 88:11	gathered 199:3	201:11 203:9	180:8 182:9 187:3
46:12	118:22 240:10	gathering 364:5	208:14 213:9	187:19 191:14
FRAZIER 1:18	254:16 301:16	gauged 333:19	271:4 302:14	200:8,9 201:11
313:3 314:1	365:16	geared 347:9	314:8 347:3 349:9	205:3,22 207:5
370:10	Future's 88:6	gearing 118:10	350:4 357:22	213:11 224:4
free 173:19	Futures 230:3,9	Geisinger 1:21	375:17 392:2	225:18 227:10
free-for-all 374:18	295:8,13	10:16	given 30:4 60:14	228:3 232:3
frequencies 131:4		gel 225:12 231:14	75:21 151:13	239:12 240:4
frequency 317:9	G	gender 400:14,20	239:20 266:2	254:7 256:6
frequently 220:21	g 129:10	general 2:8 5:10	284:1 301:16	260:12 284:9
front 21:13 24:5	gambit 271:4	15:19 47:7 68:21	374:22	285:14 293:16
64:18 65:22 70:16	game 43:9 139:5	154:19 170:18	gives 102:8 317:12	297:6,12,20 298:3
70:17 74:1 124:3	336:11	260:17 292:14	giving 112:18	300:15,17,22
146:2 147:16	gamut 271:8	359:8 393:5	171:20 227:10	304:7 319:6
172:20 360:3	gap 28:21 53:19	407:21	231:10 319:11	328:22 335:4
full 6:12 25:10,12	65:19 85:18 98:8	general's 82:11	401:21	336:4 339:2,7,17
99:13 100:1 147:7	99:10 115:17	generally 91:21	glad 46:20 74:18	344:4 356:5
271:7,19	121:11 126:18	115:14 222:18	glancing 375:12	360:14 365:22
fully 94:19 212:17	128:1 184:2 196:8	223:10 252:13	glean 368:5	369:12 373:11
214:6	208:22 234:5	254:8	global 79:16,18	379:18 386:6,14
function 129:10	262:22 263:4	generate 21:17	go 8:16 12:5 19:8	390:6 391:1,14
402:2	307:6,9,13 329:3	generated 155:18	19:10 22:12 23:11	394:13 400:6
fundamental	329:13 330:13,16	198:6 276:13	26:19 38:14 39:18	401:1 408:15,17
267:19	330:20 394:22	genie 389:7	40:17 49:6 56:2	408:19
funded 109:4	395:7,11 397:7,22	geographic 98:22	58:10 59:11 62:7	goal 110:4,12,17
funding 16:10	398:2,6	233:17 348:5	70:12,20 71:3	123:16 185:5
39:21 40:1	gaps 32:22 178:17	383:19	72:9 73:1,11,12	370:19
further 56:16 68:7	234:10 334:10	geography 329:9	74:1 75:22 85:21	goals 22:17 37:13
100:7 116:7	gastroenteritis	Georgetown 2:3	86:18 87:16 90:17	58:22 59:18
148:22 215:9	3:17 71:20 346:12	319:8	91:2 95:17 97:20	goes 42:4 43:7
231:21 235:8	346:15,17,21	getting 144:19,20	99:2,12,18 100:4	61:11 126:16
236:8 247:16	349:11,22 350:3	168:2 170:18	100:6 101:14	130:12 142:17
249:20 250:12	352:20,21 353:1,5	205:22 214:20	103:12 105:20	174:2 175:6

176:11 193:3 194:10 220:4 241:18 273:2 300:22 354:11 383:5 going 7:7 9:14,17 15:17 21:2,4,15 22:20 23:8 26:17 28:2 39:9 40:12 43:16 44:19 46:11 49:5 55:20 57:11 61:17 68:18 72:7 72:8,12,13,15 73:1,4,5,11,11 76:9 77:11 79:2 84:4 87:19 90:16 91:2 99:7,12 110:16 116:10 123:4,13 127:13 129:13,14 134:4,9 135:4 138:7 139:1 139:9,11 140:5 145:17 157:18 163:1 166:11 174:8 175:9,21 179:4 186:1,6 197:20 203:14,21 205:14 214:8 222:7 226:21 227:9,13 228:15 229:1,3,10,15 232:6,7 238:20,22 243:20 247:6 254:19 257:5 269:8 273:16 274:11,13 284:22 285:5,6 291:6 292:1,11 296:20 299:21 303:19,20 304:5,5,8 314:11 314:13 319:10 321:15 322:7 324:15 342:2,7 346:11 356:20 358:18 360:15 363:22 365:11,15 366:5,9 384:7,9	384:17,18 386:9 390:19,22 406:14 good 5:4 9:2 10:15 11:2,3 12:10,20 13:3,18 14:9 15:3 15:18 16:6,14 17:14 18:2 19:18 20:10 22:11 38:5 45:16 47:22 58:2 64:1 99:16 114:13 121:14 124:12 169:15 195:19 206:14,21 210:19 226:7 229:10 235:6 238:13 252:8 268:16 271:5 278:9,19 294:11,22 300:15 304:13 319:18 322:5 324:2 326:22 327:2 328:16 333:17 375:6 379:6 380:20 389:11 396:18 402:16 406:19 407:6,7 408:17 409:2 gosh 182:8 gotten 158:20 government 57:17 217:14 governmental 61:12 Governments 95:13 GPP 318:1 grab 374:19 graded 97:10 182:13 grades 318:1 grading 297:19 318:4,5 grant 7:20 16:10 60:15 109:4 grantee 11:8 granularity 373:13 grappled 43:2	grappling 187:20 grasp 121:8 gray 174:13 313:19 great 10:1 22:1,6 22:10 29:19 43:5 43:22 47:21 55:14 62:3 68:16 70:3 71:15 78:22 128:13 174:19 196:3 197:16 214:22 257:3 334:9 344:13 347:2 greater 358:4,5 ground 22:12 25:16 grounded 348:18 group 18:1 20:19 25:4 50:4,13 53:2 53:14,18 54:17 59:15 62:4,8,8 70:22 78:9,9,15 85:4 86:19 133:10 170:17 173:1 177:10 178:9 196:1,4,17 197:13 197:16,19,21,22 210:16 214:14 215:13,13 219:14 222:21 224:4,20 225:22 227:22 230:1,22 231:3,9 237:19,20 240:20 256:16,16,21 264:17 265:8 291:13 332:4 334:2 344:2 347:8 361:22 395:9 400:18 groups 49:14 57:1 66:21 80:4 86:5 94:17 110:9 128:4 184:5 219:9 222:17 227:8,16 227:19 280:4 286:19 287:3 374:6 382:18	growth 226:11 283:20 guardrails 44:6 guess 44:14 68:13 98:2 105:18 128:20 136:16 147:13,15 168:22 208:11 213:21 218:1 219:15,21 230:21 236:17 237:9 252:1 273:1 273:15 275:10 277:10 284:15,17 287:19 288:4 289:13 297:14 298:18 313:3 316:4 324:4 357:21 358:7 386:15 387:1 388:10 393:17 guest 6:10,11 guidance 25:21 31:20 45:11 78:1 127:11 169:16 171:20 172:11,15 183:3 187:22 188:14,16 189:8 189:17,19 252:5 283:20 357:22 373:18 guide 3:14 41:21,22 47:20 48:11 60:22 61:7,11 67:18 68:7,8 106:13,15 120:4 130:1,14 185:20,21 216:3 374:9 guided 56:22 guideline 11:12 87:3 88:6 96:18 97:2,3 182:14 230:3 247:11 317:12,16 guidelines 14:1 85:7,8 87:14 88:4 93:5,9 114:15,16 150:4 207:7	208:19 209:11,14 218:10 220:12,16 225:3 230:9 231:2 236:17 237:6,8 240:6 248:2 284:1 284:5,6 295:9 303:8,11 317:5 377:20 guys 129:8 135:8 288:8 326:21 342:8 <hr/> H H 66:6 habit 283:21 Haines 92:13,14 half 205:16 halfway 408:20,22 409:1 Hammersmith 2:8 3:6 5:10 7:5,6 18:18 hand 64:19 71:4 146:1 315:1,3 handle 104:12 hands 22:6 315:2 385:22 happen 13:13 40:4 230:12,17 244:5 244:22 289:2 300:20 happened 155:4 354:16 happening 29:9 137:9 140:16 239:4,9 happens 21:20 195:6 238:7 323:2 323:9 324:19 354:14 happy 61:8 68:12 260:8 341:3 hard 101:18 151:17 152:10 160:19 172:13 408:13 harder 368:19 380:10
--	---	---	---	---

hardest 162:15	126:8,10 139:4	396:2	165:5 175:22	235:7,12,13,17
harm 256:8	142:11 143:17	healthier 273:9	178:7 276:20	236:5 246:13,18
harmful 231:11	154:22 172:8	healthy 1:18 11:5	292:9 382:4	246:21 248:8,10
harmonization	182:2 185:4	30:19 31:3 33:11	383:14 393:8	249:6,10 250:2,7
27:20 33:1 55:11	187:22 188:4,16	34:21 55:20 142:7	helping 165:13	258:11 262:2,8,22
65:20 178:18	188:22 189:11	276:8	helps 144:11	263:5 264:3,7,21
237:21 238:3,11	190:1 197:1	hear 42:6,11 44:7	305:22 306:6	265:4 266:8,12
harmonizing 239:8	203:17 210:12	76:14 89:15 157:8	326:4 355:17	267:5,10 268:2,8
harms 231:5 298:7	212:16 213:3,15	169:8 170:4	Herndon 2:13	295:19 296:4
Haven 367:7	226:2 233:12,13	238:14 252:1	89:19 176:22	303:1 307:9,14
HDR 382:15	239:18 259:16	257:10 273:1,10	hesitate 312:21	308:9,10,16,17,22
headings 35:10	261:6 262:15	288:12 315:6	hey 390:2	309:7,12 310:4,9
health 1:3,15,21,21	264:15 265:10	349:14 389:9	HHS 216:4	310:22 311:5,18
1:22 2:2,4 3:11,13	271:19 273:10,19	393:17 407:15	Hi 8:19 10:10 12:6	312:1 318:8 328:1
3:14 5:7 10:17,17	273:22 274:1,3,12	heard 46:2 113:7	15:9 117:3	328:7 330:16,20
11:16 12:2,12	274:14,18,21,22	114:1 197:6	hiding 256:20	331:2,12,13,17,18
14:13,14,16 15:6	275:7 276:16	hearing 227:5	high 27:5 51:2 53:3	334:16,20 336:17
15:11,13 16:4,8	277:11 283:22	280:8 314:20	63:20 86:5 91:17	336:22 337:16,19
16:15,17 17:15,21	285:19 287:13	387:18	93:13 94:17 95:2	338:15 341:20
18:4,6 22:3,5 23:7	289:5,7 290:2,19	heart 70:10	96:7 97:17 99:1	342:4 343:13,18
28:12,22 29:2,3,8	292:19,21 297:21	hearts 34:5 85:22	99:19 100:13	363:15 372:21
30:15 31:6,8,14	316:21 317:3,3,15	heavier 41:1	105:6 108:16	397:13 398:2,6,15
31:16,21,22 32:4	317:18 321:1	heavy 380:15	113:2,6 117:16	398:20,21 399:5
32:4,8 33:13,19	323:12 358:4	HEDIS 83:17	118:4,7 123:14,17	402:8,13 404:4,8
34:6,17,20,22	359:9,12,16 362:8	279:10,18,20	123:21 125:20	404:19 405:2,16
35:6,10,16,18	362:15,20,21	294:8	126:16 127:7,8	405:21
36:12,13,15,16	363:1,3,10,11	held 213:3,5 246:4	128:5,14 132:9	high-quality
37:3,6,7 38:22	364:10,11 365:2	252:17	136:22 137:16,17	347:15
41:12,14 42:1,19	367:11 369:15,16	Helen 2:7 19:17,20	138:10,15 146:10	higher 95:3 100:17
43:13 44:11 46:4	370:11,16,20	28:5 30:13 42:5	146:17 150:19	151:16 264:2
47:19 48:2,4,7,10	371:2,4,8 378:17	44:15 162:13	151:6 152:13	331:5 355:12
48:15,18 50:13	378:20 379:8	289:15 382:3	153:9 156:1,11	367:8 380:9
51:7 52:6,13,18	380:4,6 384:1	383:11	160:8,13 167:12	381:12 382:1
52:20 53:9 54:13	386:7,11,12	Hello 5:3	169:2 172:20	397:10
55:1,9,22 56:6,20	393:14	help 21:4 51:12	176:19 182:5,12	highest 396:4
57:5,10 58:9 59:1	healthcare 2:14	63:9 66:1,21	182:19 183:17	highlight 185:21
59:9 61:12,15,17	18:11 36:20 45:21	86:11 91:6,8	184:6,12,22 185:3	highlighting 93:20
62:9,19 63:1 65:2	52:7 110:11 112:8	113:15 116:11	185:5,8,14,14	217:9
66:3,10,19 67:10	112:10 123:17	129:4 132:10	186:22 187:7,10	highlights 100:10
76:8 77:13,22	132:3 138:6 142:9	133:9 165:9	191:4,19 192:9,15	165:18 166:15
80:5,8 81:1,21	185:6 189:10	171:11 181:8	195:15 196:17	historic 228:10
82:6,12 83:5,12	272:3 275:20	196:14 201:22	198:11,18 199:19	291:14
84:7 89:2,21 93:2	293:12 307:20	209:1 217:7	200:4 208:4	historical 291:10
95:8 96:11,16,16	308:1 348:1,7	247:13 290:18	209:17 211:14	350:5 361:3
108:3,9 109:21	361:15 362:10	304:14 371:19	219:1 220:22	historically 44:19
111:13,16 115:13	369:17 370:1,5	helped 84:2	231:10 232:16	90:10 197:22
120:15 123:16	372:6,7 389:14	helpful 164:14,19	233:1 234:6,11	223:1

history 86:13 113:18 118:21 121:3 131:21 134:10 136:2 181:6 hit 92:1 124:15,18 166:6 hitting 269:5 356:6 HIV 36:2 hold 112:4 146:21 298:10 362:19 holding 256:12 386:12 home 93:15,15 230:20 270:15,18 271:13 286:3 316:1 319:6 327:15,16 353:16 354:21 356:5 honest 373:16 hope 28:7,17 164:2 164:18 190:15 325:14 361:7 409:19 hopeful 39:22 hopefully 21:4 39:3 68:7 69:1 74:2,13 94:2 131:2,6 140:17 142:22 145:2 168:7 207:11 208:22 257:4 323:2 346:8 383:20 408:16 hoping 28:9 29:1 29:12 39:2,22 41:17 196:11 230:18 318:20 339:11 hospital 18:5 50:21 51:16,17 52:4 63:11 67:7,10 347:20 348:6,11 351:20 352:2,5,8 352:9 361:13 363:6 367:19 375:7 376:13,18 381:11,22 385:7	385:17 398:15 401:9,16 403:15 hospitalization 355:13 356:3,12 357:17 360:17 377:11 386:5 hospitalizations 347:12,22 350:13 360:13 403:16 hospitalized 396:12 hospitals 52:8 348:12,19 360:10 364:22 365:4 367:17 376:16 378:19 389:21 401:13 403:10,12 hour 21:19 205:16 403:4 hours 61:6 352:1 356:5 house 385:12 household 376:3 housekeeping 5:13 104:17 409:3,16 HQR 382:15 HRSA 57:17 huge 42:21 85:18 91:20 111:14 huh 319:17 humans 138:7 humbly 19:8 Humphrey 76:19 hung 345:13 387:12 hydration 403:1 hygienist 102:16 106:7 107:1,8 hygienists 81:16 83:4	ideas 30:10 identification 317:1 identified 53:18 196:2,9,17 209:16 218:22 263:13 336:12 338:6 350:14 identify 28:21 86:19 181:4 196:1 197:12 293:10 identifying 186:20 309:1 ignore 130:2 332:1 illnesses 347:18 illustrated 67:14 image 248:3 imagine 289:6 300:9 313:8 386:3 immature 257:12 immediate 8:21 immediately 79:4 immersed 77:14 immigrants 94:16 immunization 32:14,21 33:8 immunizations 53:8 212:12 impact 9:4 42:22 116:17 123:15,17 123:21 126:17 166:6,19 185:6 226:6,21 248:5 254:12 301:20 360:8,19 369:15 369:15 370:15 371:15 382:11 402:20 impacted 320:11 impactful 77:5 impacts 46:17 226:12 229:15 369:9 implement 78:1 116:5 226:4 341:15 implementation	102:11 131:7 376:15 implemented 86:9 105:17 122:5 148:2 153:21 155:20 198:8 342:16 360:1,6 implies 363:1 imply 363:2 importance 32:2 36:6 38:15 85:17 93:20 99:11,21 105:22 116:14,21 123:7,9 127:22 149:11 166:17 181:12 232:13,14 234:4 235:11 291:4 297:8 318:10 327:9 important 18:21 21:14 27:7 28:14 29:6 36:9 47:5 54:22 58:8 86:14 89:1 90:9 92:5 93:1 102:6 113:14 118:22 131:1 168:19 173:3 175:4 218:22 232:4 234:20 238:10 253:13,19 254:4 257:1 258:12 275:1 288:1 289:4 319:5 361:20,21 363:14 363:19 365:13 370:8 381:3 384:16,20 impression 91:10 397:18 improve 23:12 42:1 52:12 56:20 57:10 105:16 130:19 196:14 317:18 342:14 350:11 378:16,20 improved 142:10 166:1 273:19	274:18,22,22 317:3 improvement 24:19 31:22 32:5 32:9 59:9 60:8 61:16 63:18 70:3 85:21 130:18 137:4 153:8 158:5 159:1,18 160:4 166:19 190:16 199:15 233:6 244:2 249:18 251:1 262:13 274:12 275:7 290:2 306:17,20 307:6 311:13 368:16 379:8 381:17 383:13 386:18 395:2,3 405:10 improvements 24:21 57:5 58:9 59:11 improving 48:14 96:16 110:13 188:3 190:1 in-person 39:14,19 40:3,10 41:4 104:21 inappropriate 65:5 164:3 incentives 57:6 133:18 143:16 144:1 incidences 292:12 incidents 91:22 include 32:7 34:19 37:21 81:14,22 102:19 112:20 132:22 248:3 250:18 353:5 included 31:12 35:9 37:3 63:5 83:4 196:19 227:7 283:7,8 318:14,15 336:11 339:6 includes 322:13
--	---	--	--	---

348:12 362:15 including 6:5 14:1 33:22 36:20 75:3 77:22 78:11 83:1 146:6 150:12 191:1,22 210:6 274:2 330:8 348:14 375:22 377:12 405:7 inclusion 77:21 income 94:14 98:22 329:10 376:4 382:20 396:4,4 397:10 incomplete 401:18 401:19,20 inconsistent 313:11 incorporate 58:3 231:8 incorporated 122:21 incorrect 340:12 increase 92:15 134:2 141:11 143:18 221:14 244:14 256:13,18 256:19 increased 355:4 395:22 396:14 increases 144:5 increasing 383:18 incredible 90:7 incremental 244:14 289:21 301:13 incrementally 287:21 incubator 30:9 independent 102:15 217:16 255:8 independently 201:4,9 indicate 26:4 indicated 77:2 167:1 272:7 indication 269:9 311:11	indicative 118:19 119:21 indicator 143:4 144:10 247:9 254:19 267:17 271:5,12 287:12 345:21 347:8 377:16 indicators 15:14,15 15:16 34:22 35:15 36:16 37:6 54:4 65:3 86:14 347:6 347:9,13 349:19 350:6,9,10,21 357:7 360:7 361:1 372:14 374:12 individual 8:11 46:16 52:3 94:22 113:3,12 140:15 140:18 186:4 194:18 195:6 203:9,13 204:2 222:12 326:5,6 372:2 381:10 388:2 individually 186:3 200:15 203:20 individuals 57:1 63:17 93:10 94:14 94:15 138:11 143:11 193:13 197:6 218:22 233:21 320:18 indulging 74:8 industry 348:9 inefficient 147:11 Infectious 36:4 influence 86:10 140:19 257:16 inform 66:1 information 49:3 68:3 101:1 127:4 127:12 130:14 135:20 153:11 160:16 187:14 200:6 227:18 245:12 249:17	250:3,9 262:14 263:10 268:3,10 289:19 290:1 293:5 302:17,18 304:3 310:17 311:19 312:3 314:15 343:14,19 368:4 396:21 405:17 406:1 409:14 informative 29:10 informed 17:12 30:16 31:9 36:11 295:4,8 390:11 infrastructure 80:1 95:12,15 Inge 1:18 15:18,19 129:15 131:17,22 136:4 179:8,22 180:7,10,15 181:20 184:19 186:15,18 189:14 193:10,22 199:4 231:14 275:2,5 276:20 277:19 280:20 281:15 282:21 283:2 292:10 306:19 307:16 308:19 309:14 310:11 311:8 344:5 inherent 227:21 271:14,21 initial 37:19 55:10 77:1 116:13 212:2 282:19 initially 78:8 134:7 336:7 339:4 349:4 initiative 11:10 143:17 374:11 initiatives 372:12 inner 120:1 inpatient 347:19 348:11 355:12,20 356:12 input 23:13 29:13 49:3,8,14 63:22	68:11 74:3 78:16 252:22 312:18 364:5 384:21 inside 89:6 insight 28:10 131:17 instance 63:15 64:11 108:9 159:2 274:9 277:1 278:3 280:7 364:15 373:1 375:8 instances 373:7 institute 2:1 12:8 14:12,12 16:4 17:20 31:14 89:21 90:1 120:14 400:9 Institutes 55:8 institution 66:8 institutions 399:16 Instructions 3:5 insufficient 124:1 127:12 128:6 146:12,19 147:1 148:14,20 149:2 150:20 151:8 153:10 156:2 159:3,6,7 160:9 160:16 169:4,5 182:18,19,21,22 183:19,20 184:7 184:14 185:9,16 191:5,21 192:10 192:17 198:12,19 199:20 200:6 232:17,18 233:2,3 234:7,12 235:14 235:19 246:14,19 248:9,12 249:7,11 250:3,9 262:3,3 262:10 263:1,7 264:4,9,22 265:6 266:9,13 267:6,12 268:3,10 295:20 295:20 297:3,4,10 298:15 301:22 304:3 307:10,15 308:11,18 309:8	309:13 310:5,10 311:1,6,19 312:2 328:2,2,9,9,12 330:17,22 331:14 331:19 334:17,22 337:17,21 341:21 343:14,19 398:3,8 398:22 399:7 402:9,15 404:5,10 404:20 405:4,17 406:1 insurance 111:7 112:11 115:13,14 115:16 139:18 215:15 329:10 403:4 integrated 32:7 154:22 332:22 integrates 33:4 integration 80:5 intended 50:3 154:21 172:22 373:19 381:7 382:2 intensity 223:8 intensive 219:3 intent 63:8 84:11 84:19 104:9 147:7 327:14 358:15,22 374:20 inter-rater 103:9 187:11 interaction 138:21 139:10 195:3,7 230:11 interactions 25:8 interest 3:5 5:12 7:22 8:2 18:22 319:2 350:15 interested 7:19 49:7 214:16,17,19 373:16 385:2 interesting 21:3 43:11 51:5 317:20 361:6 interim 314:10 340:1
--	---	--	---	--

intermediate 127:3 232:16 262:1 297:22	IOM 55:19 57:15	jeopardy 163:5	198:4 199:10	120:10 137:15
internalize 173:4	irrelevant 300:1	Jersey 10:12	232:11 235:9	138:12 143:19
International 9:4	IRS 66:6	Jewish 1:22	241:11 246:9	144:19,19 196:5,8
internist 16:8 346:5	Island 1:22	Jill 2:13 89:19 90:6 90:13 119:7 134:13 225:7 244:10,22	249:21 251:16 258:16 261:20 262:20 263:22 264:19	214:17 217:1,3 230:13 239:17 257:22 258:10 270:18 347:10 353:17 368:21 396:11
interpret 171:12 201:1 275:21 300:8 390:5	isolation 49:1	Jim 272:15	Kaitlynn's 145:17	kind 44:2 46:9,11 51:13 65:20 79:16 107:16 110:20 119:3 133:10 149:21 160:18 162:15 165:21 168:5,16 169:6 171:2,4,6,11 172:13 175:8,20 175:21 178:4 219:19 226:1 230:19 235:5 261:6 269:9 276:3 295:9 300:5 302:7 313:14 323:21 339:21 359:18 363:21 365:22 366:4,21 372:12 375:14 382:19 383:1 384:8 387:8 393:7,10
interpretation 174:7 322:22	issue 21:5 43:12 47:5 64:5 94:13 102:14 108:2 175:10 178:4 214:15 234:20 235:7 237:14 239:1 254:14 258:8 260:2 263:12,19,19 265:14 306:9 316:9 324:4 332:5 343:2 363:9 364:17 369:18 370:3,8 374:7 375:1 388:19	job 138:18 326:22 327:2 409:2	kappa 176:19 187:7,10 333:18	
interpretations 174:16	issues 21:13,15 24:10 28:18 41:3 47:14 103:19 167:14,20 169:18 195:16 240:19 380:10 388:4	John 1:14 14:10 37:14 62:6 107:19 164:1 168:11 236:9 386:9	Katelynn 408:7	
interpreted 239:6 357:3 358:1 363:18 364:8	it'll 40:17 105:9 315:10	John's 369:13	Kathy 72:1 205:5	
interpreting 188:8	items 5:14	Johnson 1:19 2:3 10:13	Katie 2:1 15:4 379:19	
interrupt 9:13	iteration 321:14	Johnson's 11:9	Kaye 61:21	
interval 293:19 294:3,5 317:10,12 317:13	iterations 119:10	joined 72:4,8 318:20	keep 26:1 94:7 176:9 256:4 292:1 303:20 304:5 325:17 386:14 409:20	
intervals 318:11	iteratively 336:4	joint 60:3 78:14	keeping 202:1 316:1	
intervention 86:20 88:10 222:11 294:14	IV 354:17	JUAN 1:16	kept 46:3	
interventions 35:1 57:9	IV'd 354:13	judge 207:8	key 58:6 108:18 371:20	
intravenous 376:18 378:1	<hr/> J <hr/>	judgement 140:14 152:2 302:13 383:13	keypad 205:10	
introduce 6:18 23:20 69:20 70:13 72:12 73:12 74:10 246:7 318:22	J.H.M 400:8	juice 91:16	Khan 2:8 3:9 9:13 10:1 22:11 40:7 68:13 71:22 72:5 104:16 126:19 127:1 147:3,8 159:9 160:22 161:3,7,11 168:1 173:10,21 175:7 182:6 183:1 205:13 246:20 303:16 312:13 313:18 315:2,6 319:10,15 320:3 328:14,17 391:17 391:20 408:3,21 409:4	
introduced 73:3 135:15 307:19	Jacki 16:7 71:21	July 40:14 63:7	kick 96:4 196:12	
introducing 166:10	Jacobson 31:14	jump 51:21 56:13 73:4 75:7 285:10 287:8,8	kids 78:4 86:19 112:5 113:9 114:11 115:5,15 115:21 116:3	
introduction 3:7 19:16 24:7 134:17 307:22 320:1	Jacqueline 1:22 351:6 391:16	jumps 242:16 243:18		
introductions 5:12 7:8	JAMES 2:13	June 40:13 63:6		
involve 380:2	Jane 1:17 13:19 156:14 168:9 181:17	justification 389:20		
involved 14:22 56:12 57:13,14 89:16 119:8 156:20	Jason 2:2 13:4 99:4			
	JD 2:8	<hr/> K <hr/>		
		Kahn 6:20		
		Kaiser 1:17 12:12		
		Kaitlynn 2:10 6:21 116:11 123:9 124:22 145:15,22 146:4,4 153:2 155:16 157:15 158:9 159:20 163:14 180:11 181:16 193:18		

91:6 92:19 93:6	247:22 251:5	Krishna 2:12 73:8	256:21 304:16	legislation 115:18
94:13 95:14 99:8	252:6 253:1,15	73:11 76:13,17	362:14 397:3	legitimate 290:4
99:20 100:5,9	255:10 256:1	77:2 80:10 84:4	largely 134:10	length 334:7
103:22 104:3,12	258:10 259:18	92:9 108:18	larger 46:8 306:9	lens 46:7
107:22 108:2,6,9	260:2 271:3 272:6	119:20 121:7	362:16	let's 8:16 65:8
109:2,9,19 110:1	272:7 276:11	176:7 187:3 190:9	largest 120:10	100:21 105:20
113:17 114:6,8,14	277:16,19 278:17	194:3 199:6	289:18	109:4 123:6
116:15 118:14	279:5 284:14,17	Krishna's 89:15	late 19:19 255:10	147:12,19 154:7
119:17 120:12,18	285:4 286:10,17	213:22	291:11 319:5	186:11 196:3,5
120:20 121:2	287:15 290:6,8,12	Kristen 288:13	408:13	227:6 235:9
131:11,17 134:5	291:5,22 292:3,7	Krol 1:19 10:10,11	Laughter 11:21	249:21 261:20
134:14,19 135:15	293:20 294:2,6,10	10:20 96:3,5 98:5	12:19 16:21 201:7	265:20 267:3,22
137:6,19 138:13	294:17 295:5,9	98:7 100:12 105:2	319:21	268:16 286:1
139:3 142:10,21	301:6 303:9 305:3	105:5 126:13	launched 76:18	296:11 307:5
143:3,21 144:3	305:8,22 306:6	158:11 194:16	laws 109:6	308:7 309:4 310:1
148:19 154:12,19	316:5 320:6	196:18 197:2,5	lead 5:11,11 18:10	310:20 311:16
156:19 157:20	321:12 322:16	257:19 272:14	68:15 71:1 74:11	327:21 330:13
158:6,13 159:5	325:6 326:14	279:14,16 297:9	171:3 179:4 208:7	331:11,21 332:15
162:13,15 163:4	339:20 343:1,4	298:16,21 299:2,5	272:12 273:8,9,18	334:13 337:13
163:12 164:2,7,14	351:10 353:15	299:9,12 302:9	275:6 277:11	341:18 343:10
164:15 165:11,12	354:3,14,16 355:3	304:14 316:15	278:16 323:11	372:2 391:1,14
165:13 166:3,4,7	355:5,8 356:4,7	328:22 329:3	345:20 390:4	394:12 395:11
169:12 170:12,20	357:17 358:22	331:2,20 335:1	leaders 176:6	397:21 398:18
171:7 172:14,15	359:2 360:9 361:8	341:8 342:7 353:8	leadership 58:17	400:5,8 402:5
174:4,11,14,15,17	362:16,18 364:5	354:9	leading 50:11	405:14 408:2
174:17 178:20	364:12 370:19		277:5 363:22	letting 73:17 95:16
186:6 187:6 188:2	371:15,15 375:9	L	leads 274:3,22	232:3
190:19 192:20	376:5 380:12,16	L.A 31:15	learn 162:16,17	level 21:7,8 27:5
197:22 200:12,13	381:19 382:8	lack 75:11 88:7	276:21	31:19 34:22 35:15
203:14,15 206:22	383:14,16,19,22	318:8	learned 265:17	36:16 37:6 42:7
208:17,21 209:12	384:3,5,8 388:5	lacked 115:13,15	learning 20:6	44:3,4 46:8 47:1
212:3,6 213:1	388:14,16,18	lacking 54:3 75:14	learnings 67:6	50:5 51:20 52:2,5
214:6 216:18,20	389:5 391:15	lagged 115:9,10	leave 18:20 232:8	65:1 75:20 79:11
217:1,13,19,21	392:2 393:6,8	landscape 46:17	279:2 312:9	87:22 94:14 97:19
218:9,12,20	394:8 406:19	language 54:13	342:22 343:8	99:19 108:6
220:15,20 221:10	407:18,22	60:1 84:11,14	Leavens 2:9 3:15	110:18 129:1
222:9,9,22 223:12	knowing 42:7	104:3 305:11	29:5 41:20 47:16	130:5 131:2,2
223:19 224:9	101:15,16 149:15	322:14 332:2	47:21 54:8,15	135:21 136:3
225:21,22 226:7	150:2 260:9	338:1 364:12	55:2,6,14 61:20	140:12,18 144:13
226:11,14,18,20	278:20	389:20 390:3	62:2,15 65:14	145:10 149:19
227:7,22 228:8,15	knowledge 274:8	laptop 161:5	66:16 68:10	151:16,17 167:12
228:17,19 229:6	346:7,8	laptops 168:5	leaves 351:20 352:1	189:5 209:6 215:5
230:2,4,17 236:10	knowledgeable	large 16:11 90:3	leaving 342:9	253:20,21 275:19
237:5,22 238:17	206:19	101:12 114:20	led 32:10 74:16	290:2 303:12
240:4 244:10,14	known 37:17 79:9	189:6 217:8	134:21	311:12,12 321:17
244:16,21 245:1,5	81:18 85:19	223:19 225:16	left 156:7,8 157:13	323:7 326:7,8
245:8 246:1,1	knows 20:10 45:17	243:19 247:9	189:16 332:3	348:6 355:11

366:4 368:10,11 371:1 372:17 373:8,12 381:4,7 381:20 382:9,22 383:4 392:14 394:9 401:18 leveled 113:13 levels 21:5 36:20 366:6 381:12 382:1 leverage 56:1 leveraged 53:3 liability 186:16 187:11 liaison 14:2,4 licensed 81:10 lies 144:8 355:2,14 life 15:15 31:2 34:19 67:15 93:1 279:12 317:4 lifestyle 31:4 33:11 lift 41:1 limbo 312:6 limitation 75:21 limitations 77:3 272:5 282:7 limited 75:14 85:3 199:5 219:20 274:8 284:1 289:17 317:14 371:22 limiting 215:5 limits 140:13 line 231:1 308:3 lines 67:12 205:5 360:3 368:17 link 276:9 linked 137:5 142:3 276:6 Lisa 302:3 list 15:22 58:5 67:15 142:1 339:4 339:7 listed 9:16 89:6 102:19,20 106:11 118:15 243:1,2 288:8 339:11	listen 61:5 listing 37:3 literacy 54:13 literature 118:21 262:17 330:5 377:15 little 9:15 23:9 49:5 49:9 52:1 56:11 71:5,10 73:4 76:4 76:18 77:15 81:3 91:5,6 105:21 114:1,2 126:5 129:4 141:3 146:1 157:7 158:7 163:2 165:2 168:22 169:8 174:5 177:1 206:6,9,11,16,18 207:12 212:22 222:1 226:13 242:2 252:4 257:14 278:22 286:22 306:3 313:15 362:6 371:18 383:11 387:9 403:20 live 119:20 237:18 306:1 lived 43:12 living 34:22 locality 30:5 locally 367:6 location 233:17 locus 43:14 logic 20:3 86:12,15 87:2 102:17 111:10 130:10 155:22 195:13 198:10 209:19 332:7 349:20 logical 286:15 logically 21:10 353:6 loins 390:18 long 1:22 7:10 42:9 82:19 85:20 91:7 91:7 105:8 113:20 214:13 279:5	292:2,4 305:9,21 334:9 341:9 longer 43:2 217:20 252:13 253:16 254:8 316:9 325:8 326:13 356:11 longest 317:11 longitudinal 121:2 325:15 look 8:1 11:10 14:19 15:14,17 18:12 39:9 55:19 61:7,13 63:20 64:2 78:1 82:2 110:1 113:8 114:14 118:12 119:13 121:10 144:18 173:16 184:20 185:19 204:2 212:1 213:8 214:7 219:13 220:17 223:14 225:2 227:19 229:19 241:15,17 245:13 253:17 254:18 271:7 284:5 287:6,15 294:10 301:21 325:20 326:15,16 360:8 364:3 369:22 372:20,22 373:4,4 374:2 382:16,17,19 383:15 388:5,19 390:9 401:1 403:13 406:15 looked 34:7 57:18 78:16,19 80:16 88:3 107:6 112:15 206:12 252:13 277:21 278:1 285:6,11 286:8 334:8 363:17 375:9 381:21 looking 7:15 13:12 14:18 27:13,20 30:22 31:3 36:6	37:20 47:8,11,12 48:8,12 51:16 52:10 56:17 58:6 58:12,15 60:9 64:10,12 77:16 79:3 94:22 113:17 126:16,17,18 147:8 159:11 179:13 183:2 189:3 197:17 200:14 210:18 211:17 215:2,3 219:16 221:7 222:3 233:6 236:6 238:12,22 242:8 254:1,5,7 257:6 266:1,2,16 271:10 283:15 287:11 294:8 315:21 323:19 326:6,9,12 333:13 338:2 353:11 357:1 358:9,13 371:10 373:17,22 374:1 375:15 378:16 382:8,9 384:6 385:7,18 389:14 395:1,7 399:10,21 403:3,16 406:9,11 407:12 looks 45:2 125:8 144:15 170:19 212:16 214:2 234:14 240:18 271:1 296:3 339:14 352:17 loop 48:21 Los 388:6 lose 112:2 197:14 losing 196:6 lost 180:10 342:3 lot 21:2,15,16,17 45:2,4 56:4 57:16 57:19 59:3 61:1 61:15 70:6 81:5 82:9 111:11,15 112:14 140:3,5	147:21 174:12 176:20 228:15 230:16 237:22 238:6,17 251:9 269:8 280:8 282:8 285:11 286:7 291:20 299:15 322:11,15 323:18 365:21 366:9 368:3 369:13 377:22 386:17 Lots 20:1 lottery 319:17 loudest 173:2 love 20:13 30:2 42:10 46:10 lovely 70:16 low 94:14 113:2 117:15 118:1 123:22 125:21 127:8 128:6,15 134:1 136:9 138:9 146:11,18 150:20 151:7 152:13 153:10 156:2,13 160:9,15 169:5,5 172:21 173:2 182:12,15,16,20 183:18 184:7,13 185:9,15 191:5,20 192:10,16 198:12 198:19 199:20 200:5 231:16 232:17 233:2 234:6,12 235:13 235:18 240:22 246:14,19 248:8 248:11,15 249:7 249:11 250:2,8 262:2,9 263:1,6 263:11 264:3,8,22 265:5 266:8,13 267:6,11 268:2,9 294:2 295:19 297:2 303:1 304:4 307:10,15 308:10 308:18 309:8,13
--	--	---	---	--

310:4,9 311:1,6 311:18 312:2 318:16 328:1,8 330:17,21 331:13 331:18 334:17,21 337:16,20 341:20 342:5 343:13,19 367:15 395:14 398:3,7,21 399:6 402:8,14 404:4,9 404:19 405:3,16 405:22 lower 151:17 377:5 377:11 395:5 lowercase 6:10 lowest 396:4 lowly 312:18 luck 1:19 11:22,22 117:3 242:19 301:15 302:20 303:6 386:3 408:15 lucky 10:21 lunch 6:5 205:3,3 205:14,15 206:1,2 218:8	139:7 141:14 292:13 312:9 315:5 406:21 making 22:22 30:18,19 32:2 46:3 58:17 59:11 59:12,21 60:5 186:2 189:8 254:6 254:16 277:13 299:11 316:2 376:7 379:15 MALE 12:17 76:2 190:8 202:17 328:15 385:19 manage 353:16 managed 10:7 management 10:18 61:16 122:10 317:17 353:12,13 353:14 389:16 398:16 Manager 2:8 6:20 7:1 managing 2:10 5:5 218:18 282:11 347:16 353:21 mandate 140:8 manner 19:5 map 3:13 47:18 48:7 49:10,21 51:6,10 59:15 63:9 66:21 388:15 mapping 59:2 maps 388:16 389:5 Marcel 2:1 12:6 287:20 March 40:10 Margaret 1:19 11:22 179:3 marginalize 313:14 marginalized 313:9 marginalizes 313:7 313:12 mark 9:19 215:20 279:4 marker 137:21 270:17 271:22	287:18 markers 376:4 market 367:12 marketplace 78:21 220:6,15 marketplaces 216:3 Mary's 1:19 12:1 Maryland 12:4 masking 141:10 Massachusetts 14:15 material 37:18 38:13 materials 166:13 375:12 maternal 1:19 15:13 53:9 Mathematica 228:1 matter 72:19 107:5 107:9 154:8 205:17 269:14 318:21 325:1 345:8 365:10,10 381:12 403:14 410:5 maximum 139:20 McGaw 67:7 MCH 15:10 McInerney 1:9,12 8:19,20 10:2 22:4 55:4,7 71:14 91:4 95:5,21 184:21 205:20 210:3 211:5,22 214:10 215:8 231:20 232:10 234:2 235:8 236:8 240:5 241:6,9 246:8 247:15 248:17 249:3,20 250:10 251:15 256:5 257:3 258:16 259:7,12 261:19 262:19 263:21 264:18 266:4	267:1,20 268:11 269:4,11,17 272:11 275:4 279:4,15,17,22 284:3 292:22 293:15 295:15 300:3 306:12 307:4 308:6 309:3 309:22 310:19 311:7,14 312:4,20 314:20 315:3,11 316:14 318:17 319:19,22 320:4 320:17 325:2 326:17 327:20 328:11 329:2,12 330:12 331:1,10 334:12 335:9 337:12 341:17 343:9,20 344:7,10 344:19,22 345:6 345:11 346:10 349:15 351:4,8 352:15 355:22 356:16 362:2 371:17 377:17 383:10 388:8 390:8 391:9,19,22 393:3,21 394:7,21 395:10,16,19 396:20 397:21 398:9,17 399:8 400:4 402:5,16 403:6 404:1,15 405:13 406:2 408:1,12 409:1 McKane 1:20 15:9 15:10 174:2 193:19 194:1 325:3 329:14 330:11 MD 1:12,12 2:9 3:15 MDCH 15:12 meager 135:14 mean 11:19 43:6 54:15 89:15	133:11 143:16 169:20 173:3 179:19 188:7 200:17 214:5 219:7 221:13 238:16 244:3 253:13 255:9 259:14 284:11,16 285:5 299:14 303:10 313:9 314:3 320:20 324:9 326:16,20 329:19 335:18 336:16 338:2 352:21 357:11,15 358:2 362:14 369:13,21 370:2,5 388:17 389:1,2,14 meaning 93:5 237:22 334:9 349:3 387:10 meaningful 150:17 153:4,6 192:5 290:1 367:4 368:4 368:9,11 means 79:13 125:13 297:10 302:9 314:1 324:10 360:11 367:6 meant 75:20 132:19 133:1 144:9,10 196:16 measure 3:20 17:5 21:18 23:18,20 24:2,16,17 25:9 25:20 27:6,7,8,11 27:19 30:3,7 33:4 36:1,3 37:9 38:8 38:16,17 40:8 43:22 44:18 45:8 46:22 47:2 49:11 50:2,15 62:16 64:18,22 65:8,10 68:18 69:15,19 70:21 71:19 72:13 73:12 74:16 75:18
---	---	---	--	--

76:4 81:22 83:9	208:2,15 209:1,9	313:13,16 315:13	5:6 19:22 28:10	79:3 80:8,9,11,13
83:13,17,17,20	210:9,10,11,22	315:17,20 316:6,8	28:21 32:7 47:11	80:14,16,22 81:1
85:1,1,2,15,16,17	211:17,18,22	316:9,11,12,15,16	56:17 65:19 86:11	81:2 83:15 84:9
86:1,21 87:8,11	212:15,16 213:16	317:7 320:11	220:9 308:2	85:13 90:2,9,12
87:12 89:5 96:1,5	214:2,3 216:19	322:8,10,11,15,21	355:19 357:3	90:18 91:3 93:20
96:6,21 99:17,21	217:12 219:7,22	323:5,14,20 324:1	383:12,13 387:7	103:15 104:7
100:7,10 101:19	220:4,9 221:4,6,8	324:8,22 325:15	measurements	110:2,17 119:9
102:2,22 103:4,7	224:20 227:8,11	325:16,19 326:7	137:19	120:5 122:20
103:14 109:16	227:12,18,21	326:10 327:5,10	measures 3:16 9:7	126:8 129:5 134:2
110:6,7,14 112:14	228:9 229:22	327:12,14,18	9:12 11:11 13:12	142:2,8 145:1,4
112:20 116:4,14	230:9 231:7	329:8,18,22 330:7	14:19,21 16:12,18	154:18 159:14,14
116:18,21 117:7	232:14,15 234:3,5	330:8,10 332:11	17:9,11,21 18:8	161:21 162:9,20
118:8 121:1,13,13	234:14 235:12	332:12,18,20	18:12 20:7 21:6,9	165:17,20 166:10
123:2,3,20 125:2	236:1,9 237:4	333:8,22 334:3	21:16 22:18 23:8	168:14,20 169:17
126:22 127:22	239:14,22 240:7,8	335:14,17,19	24:2,7,11,19 25:2	170:3 172:2,9
128:22 129:3	240:10,22 242:1,9	336:5,9,10,12,16	25:18 26:10,13,19	176:11 177:7,16
130:5 131:8 134:8	242:10 246:12	337:5 338:9,16,19	27:3,15,21 28:3	178:12 186:5
134:20 136:6,11	247:8 249:13	340:2,6,7,8,16,18	28:11,14 29:19	188:22 189:5,18
136:12 138:18	250:11,13 251:9	346:6,11,13 347:1	30:1 31:10,19	190:21 194:12
140:7 141:10,19	255:9 256:3	349:11,17 353:11	32:15,21 33:6,13	196:14 200:14
142:17,17,21	257:12 258:18	355:10,11,16,18	33:15,18,21 34:3	201:1,9,12,22
143:1,4 148:7,13	259:3,6,17,22	357:9,12,14 358:2	34:6,13,17 35:2,4	202:7,10 203:9,22
149:12,16,19	260:5,6,7,9,10,13	358:3,14,16,21,22	35:8,13,18,19,21	204:12 206:13
150:8 154:20	260:16,17 261:6	361:12,14 364:6,6	36:7,19,19,22	207:9 209:21
155:1,12 156:21	262:20 263:14,14	364:10 365:1	37:3,4,5,7,20 38:1	210:2,11 212:18
157:3,17 159:10	266:17 267:14	366:1,8,12,13	38:12 39:3 42:3,6	214:7 215:1,21
161:16 162:5,7	268:13,13 269:1,3	370:8 371:11,20	42:19,20 43:3,17	216:6,13 217:5
167:4 169:4	269:6,18,21 270:3	371:21 372:1	43:18,19 44:10,21	219:8,12 227:15
170:12,14 171:5,6	271:1,15 272:9,17	374:13 376:7	45:3,7 46:1,5	228:4 229:8
171:21 172:7,15	272:18,21 273:3,3	381:5 382:2 383:5	47:19 48:7,9,13	230:18 238:5,6,11
173:18 176:5,8,18	273:4 275:18	383:7,7,15 384:12	49:15,19 50:2,3,4	252:12 258:22
177:15,18,19,20	276:18 277:3,4,6	385:6,8 386:10,17	50:8,14,22 51:4,7	260:3 265:16,17
177:21 178:20	277:15,16 278:21	387:14 389:15,16	51:9 52:1 53:6,8	271:7 272:10
179:3,9 181:1,12	280:13,17,19	390:2 391:12,13	53:11,15,16,19,19	275:6 278:2,7
182:7 184:18	283:16 284:14	392:4,19 393:2	54:1,3,11,20 57:6	279:10,18,20
186:2,8,11,13	285:12,12,14,16	394:13 400:5	59:17 60:5 62:10	288:17 289:18
187:2,15,15 188:2	285:18,19 286:5,5	401:20 405:8	62:18,21 63:3,3,8	290:17 297:21
188:10,12,16	286:10 287:6,22	406:8,12 407:5,7	63:10,19 64:1,9	300:10,15,17
189:3,17 190:4,16	288:5 289:10,12	407:9 408:5,10,14	64:11,14 65:21	306:14 321:12
193:1,4,8 194:5	289:16,21 291:6	measure's 162:14	66:21,22 69:7,8	326:21 333:9
194:10,12 195:20	291:13,16 297:6,8	387:11	69:11,14,20 70:4	337:2 339:3,5,15
195:21 196:1,10	299:22 300:1,7,9	measureabilities	70:6,8,11,14,19	342:21 345:16,17
198:22 199:8,9	300:21 302:1	121:18	72:10 73:5,18,19	345:22 346:1,3
200:19 201:2	303:18 304:6	measured 134:9	74:2,6,21 75:1,11	347:11,20,21
204:6,19,22 206:5	305:12 307:2	195:9 272:6 298:6	75:12,12,18 76:1	348:3,6 357:22
206:10,21 207:13	308:7 309:2,16,17	306:21 310:12,13	76:16,22 77:1,5,8	359:8,9,14 361:11
207:15,18,19	311:11 312:5	measurement 2:7,9	77:17 78:18,19	361:21 363:12,19

364:14 365:15,21 369:6 370:9,20 371:7 374:21 379:2,4,10,22 381:7 382:6,14,18 384:17,18,22 387:2 388:12 389:2,6 390:13,14 390:18 391:3 393:5 401:19 408:19 409:3 measuring 31:20 117:7 131:1 242:5 242:6,17 243:22 244:17 255:3,16 280:14,18 286:17 289:22 321:16 325:14 378:10 389:18 mechanics 110:14 mechanism 109:11 134:17 144:3 228:7 379:18 mechanisms 71:5 71:10 140:17 218:18 227:21 372:15 medicaid 1:13 77:19 90:3 95:2 105:12 108:15 111:7,12 113:6,7 114:3,8,22 115:5 120:11 140:6 141:14 143:10 193:20,22 194:3,5 211:8,14 212:3 215:22 216:9 217:20 233:18 237:18 239:14 240:2 245:4 246:3 252:16 253:4 254:20,22 255:4 255:13 266:17 267:16 282:8 291:15 292:8 307:1 321:1 342:11,22,22	343:3,6 Medicaid/CHIP 249:15 medical 1:16 12:7 13:6 18:6 83:7 93:15 114:18 115:10,13 239:16 280:3 304:17,17 306:4 350:17 351:22 378:7 383:22 medical-dental 230:11 Medicare 1:13 360:19 medicine 2:5 13:4 13:22 16:9 18:5 55:8 239:9 306:2 medium 117:16 meet 69:11 100:7 127:11 155:1 161:16 173:18 204:7 251:13 408:5 meeting 1:3 5:7,9 5:17 6:15 19:8 23:11,15 25:11,14 25:22 26:15 39:6 39:17,20 40:3,11 41:2,3,4 61:6 67:22 68:15 104:21 157:22 166:14 174:9 319:5 345:7 374:14 410:4 meetings 23:13 39:12,14 meets 150:3 157:3 169:2 melanoma 277:1 278:4,6 member 3:19 4:20 19:3 26:17 40:13 40:17 99:16 312:14 318:20 385:14 390:10 409:11	members 6:7 24:13 24:18 25:8,12,17 28:6 51:11 70:19 78:10 90:22 120:5 164:20 165:4,9 234:19 240:21 252:3 membership 23:1 26:20 memory 247:14 Memphis 1:18 11:6 mention 13:15 187:6 303:3 mentioned 28:5 30:14 35:13 37:7 40:8 66:15 68:6 69:18 77:16 80:10 83:6 88:19 113:4 188:21 230:2 260:3 285:19 307:17 311:10 merely 275:17 message 221:12 messaging 173:4 messenger 74:12 met 1:8 52:16 87:19 127:6 209:18 264:16 meta-analysis 97:1 248:4 method 113:5 150:14 191:3 192:2 335:13 338:14 methodologic 21:3 methodology 186:16,18 206:9 308:20 methods 57:3 146:8 147:14 metric 137:4 294:17 metrics 54:5 357:1 379:21 metropolitan 366:12,14,15,17 367:1 382:9 388:2	392:13 399:22 Mexico 17:17 Michael 1:14 351:5 391:16 Michael's 300:13 397:17 Michigan 1:20 15:11 microphone 124:8 179:5 186:17 188:17 202:18 208:10 245:2 259:11 365:8 microphones 5:20 mid-year 40:4 midnight 356:6 360:2,4,6,11,14 midnights 356:3 mike 2:3 5:21 10:5 54:9 71:21 76:1 318:20 319:7 393:3 mikes 104:18 mild 376:10 million 34:4 230:13 230:15 348:13 mind 256:4 288:17 352:16 363:11 371:12 383:10 386:14 mine 10:4 174:4 325:3 Minnich 1:21 10:15,16,21 392:1 395:1 398:10 399:10 402:18 404:11 405:5 minority 100:18 331:6 minute 146:3 192:20 268:14 344:16,18 345:2 minutes 19:19 65:9 154:5 164:5 314:6 344:19 345:2 391:10 misconception	82:16 misinterpret 389:10 misinterpreted 380:19 misnomer 406:6 missed 141:1 191:13 361:9 missing 15:22 103:20 192:7 224:18 239:2 296:11 400:13,19 misspoke 354:10 mistake 391:17 misunderstanding 400:18 401:2 model 175:22 models 215:6 moderate 96:7 108:16 113:2 118:2,7 123:22 125:21 127:7,8 128:5,14 132:8 146:11,18 150:19 151:7 153:9 156:1 156:12 160:8,14 170:21 171:1,8 172:21 182:4,12 182:14,16,20 183:17 184:6,13 185:2,8,15 191:4 191:20 192:9,16 198:11,18 199:19 200:5 208:4 209:16 210:19 219:18 220:22 232:17 233:1 234:1,6,11 235:13 235:18 246:13,18 248:8,11 249:6,10 250:2,7 262:2,9 262:18,22 263:5 264:3,8,21 265:4 266:8,12 267:5,11 268:2,9 295:19 296:5 297:2 303:1 304:1 307:9,14
---	--	--	---	---

308:10,17 309:7 309:12 310:4,9,22 311:5,18 312:1 328:1,8,12 330:16 330:21 331:13,18 334:16,21 337:16 337:20 341:20 342:5 343:13,18 376:10 398:2,7,21 399:6 402:8,14 404:4,9,19 405:3 405:16,22 moderate-high 262:18 modifiable 35:4 36:14 37:22 41:12 65:3 modified 406:17 modify 114:18 143:13 molar 96:9 97:4 98:18 176:12 molars 87:1,3 96:13 98:15,16,18 143:5 Moline 1:22 16:6,7 71:21 354:5 406:5 407:10 moment 131:20 157:11 346:3 Monarch 372:16 373:9,10 money 95:15 monitoring 251:6 256:2 Montgomery 379:8 month 334:9 months 7:9 93:12 111:21 112:1 209:15 225:6,10 282:5 284:8,8,9 303:9 321:13 334:8 346:18 366:11 392:7,12 399:12,21 moot 383:1 morning 5:4 7:13	9:2 10:15 12:10 12:20 13:3,18 14:9 15:3,18 16:6 16:14 17:14 18:2 19:12,18 22:11 47:22 71:19 72:8 89:12 109:19 162:14 236:3 270:11 293:4 295:3 Morsell 6:22 mortality 50:12 motion 314:18 motivated 61:4 motivation 133:20 mouth 176:13 179:14 move 27:9 67:9 68:18 76:2,3 89:1 90:17 96:1 100:21 103:12 125:13 128:17 130:20 140:21 150:7 152:20 157:17 161:9,13 162:21 166:19 175:8 176:5 184:16 185:17 192:18 196:4,15 198:20 207:11 209:1 228:6 241:7 243:3 286:14 292:22 298:20 399:9 moved 136:2 275:17 movement 90:9 94:19 moves 90:10 moving 85:22 94:18 123:7 193:2 207:14 259:8 363:9 404:16 MSA 366:14 368:11 multi 49:13 multi-factorial 138:1	multi-source 400:3 multi-stakeholder 25:4 multiple 28:7 33:4 57:12 83:10 192:6 222:16 236:6 248:20,21 337:10 380:18 Multiples 147:18 Munthali 2:10 3:4 3:10 5:3,4 19:15 28:4 30:13 34:16 38:5 39:11,15 41:10 47:15 65:15 67:16 71:17 116:19 124:22 125:5,8,12 147:15 147:19 149:5,8 150:2,6 151:20 152:17 156:18 157:14 162:8 163:21 166:8 169:14 171:13 173:8 178:14 188:13,18 189:15 190:9,18 202:4 237:1 248:13 250:14 278:8 279:19 296:2,8,10 297:5,11 299:1,4 299:7,10,13 302:15 312:16 358:13 mute 6:14 <hr/> N <hr/> N.W 1:9 nadir 92:1 name 5:4 6:9 10:5 10:16 12:10,21 13:19 15:4,18,21 17:3 327:12 345:19 narrow 227:15 240:13,16 narrower 236:15 nation 372:8,10	national 1:1,8 2:1 12:7 17:19 20:21 20:21 30:16 48:17 50:7,18,22 61:14 62:22 79:6 94:11 110:12 123:15 185:4 323:14 348:21 372:5,6 392:16 396:1 natural 64:13 naturally 175:20 nature 234:17 287:17 navigate 112:8,10 NCQA 9:9 14:3 279:18 291:11 294:8 near 85:21 nearly 396:11 necessarily 109:7 219:10 222:5 277:9 278:5 291:2 301:9 317:22 343:1 353:21 369:3 382:11 necessary 114:12 166:4 179:20 282:13 290:14 necessity 114:18 need 21:1 29:22 47:5 60:8,16 67:1 86:20 110:3 112:17 113:9,10 124:18 130:3 142:7 145:12,19 163:16 200:1 205:4 220:21 221:6 239:13 248:14 269:10 290:17 294:13,16 314:22 342:1 365:14 369:7 370:22 371:8,18 372:10,18,19,21 372:22 373:1,3,4 374:14 386:13,14 387:3 390:20	393:6 needed 53:16 57:9 59:18 86:4,5 112:12 197:17 213:20 214:18 215:4 265:22 322:16 needing 313:2 needle 209:2 needs 52:6,11 59:1 59:4,10 66:10,19 104:22 141:21 221:19 229:7 235:1 251:9 257:12 283:21 291:17,20 363:17 negative 160:6 199:18 278:18 298:17 342:19 neglect 226:1 negotiation 240:14 neighborhood 376:3 neighboring 403:11 neither 352:10 nerves 120:2 nervous 342:8 networks 80:1 never 141:6,9,13 141:15,15 178:2 195:7,13 385:16 385:22 new 8:21 10:12 17:17 18:4,10 76:18 80:15 83:2 86:7 90:18 112:22 113:14 122:4,18 131:12 155:8 158:18 159:10,14 160:3,4 164:4,11 170:17 181:6 199:8,14,16 203:2 238:2 282:19 287:10 303:17 318:20 367:7 380:22 394:5
---	---	--	--	---

405:7	153:20 157:15	158:17 173:12	occurrence 403:12	129:13 130:11
newly 37:2	188:9 260:19	181:11 186:20	occurring 92:17	134:6 140:20
newness 134:1	300:4 331:8,8	195:4 205:9	occurs 65:5 281:15	141:7 142:18
news 20:13	395:5 404:12	207:15 280:1	October 80:17	144:16 145:14
nice 284:6 317:7	notes 184:17	289:17 297:17	odd 289:11	146:5,15,17
318:2 408:16	255:22	298:4 313:5	offer 24:18 100:3	147:15,19 150:6
night's 408:17	noticed 15:20	352:22 356:22	office 210:5 211:19	150:11 151:2,3,4
NIH 12:8 375:18	noticing 326:12	363:16 367:4,15	274:17 277:11	151:6 152:19
nine 33:15 160:14	notify 163:15	378:3 382:5	293:11 353:15	153:2,3 154:3,6
200:4 262:8,9	noting 303:21	383:16 391:6	354:12,17,20	155:15,17 156:5,7
Nishimi 2:14 7:2	401:5	numbers 119:19	376:12 381:11	156:9,11 157:14
125:22 172:18	notion 77:12	123:18 136:10	Officer 12:7 15:5	157:16 159:20,21
nitpick 332:5	216:14 362:14	139:1 159:4 183:4	16:2 46:4	160:12 161:11,15
nitpicking 332:1	novice 206:6	185:6 245:15	offices 239:10	162:3,12 163:22
nitty-gritty 384:22	NQF 2:6 3:11,19	255:3 333:17	290:5	164:12,16 165:6
nobody's 370:17	4:20 5:6 6:11	numerator 98:13	officially 303:4	173:20 176:3
noise 47:11 244:6	11:11,15 13:16	101:2 102:16,20	Officials 2:2 15:6	179:2,6,22 180:7
251:13	18:15 19:9,10	106:17 107:22	offline 315:10	180:10,15 181:10
nomenclature	22:16,19 23:1,12	117:6 186:13	oh 11:1,18 62:1	181:16,22 182:1,9
189:8	27:6 28:12,15,20	264:11 332:2,13	127:21 139:15	182:9 183:7,9,12
nominated 8:14	29:4 30:15 31:8	392:6 399:11	140:21 149:5	183:15 184:1
nominations 26:8	32:6 33:18 34:11	nurse 46:7 81:15	153:14 164:1	185:17 188:20
non 81:7	42:8 43:1 44:20	nutrition 53:9	173:21,22 179:6	189:15 190:10,22
non-clinical 14:20	48:2 49:18 63:2	NYP 1:16	180:14 181:16	191:8,15,18,22
non-compliance	71:4 75:2,4,6		182:8 183:21	193:2,16,18
355:7	83:15 129:2	O	200:21 225:7	195:11,13 196:4
non-dentist 81:4	161:16 165:7	o'clock 390:21	231:21 247:17	196:18 198:20
non-endorsed 63:3	189:3 200:20	O-F 3:1 4:1	256:6 257:18	200:7 202:2
non-population	201:6 204:7	Ob 360:16 399:15	262:7 268:15	203:19 204:3
189:17	260:10 276:21	observation 236:16	272:13 293:15	205:2,13 210:3,17
nonprofit 52:8	277:1,2,5,20	356:1,10,14	299:2 356:16	227:4 231:20
noon 68:1	278:7 279:17,21	359:22 360:11	391:17,19,22	232:8,10,21 234:3
normal 341:11	357:22 359:13	403:5	400:6 406:15	235:9 237:16
normally 362:8	374:14 381:6	observe 257:5	okay 10:1 11:1	239:20 241:10
North 1:22	390:10 408:5	observing 236:21	16:22 17:1 18:18	242:13 243:10
Northeastern 1:14	409:17	obstetric 346:21	19:14 21:17,19	245:3 246:8,22
14:11	NQF's 7:21 278:2	obtained 333:22	39:15 47:15 65:7	248:17 249:4,21
not-for-profit	NQS 32:17 33:10	334:4	71:18 72:5,15,22	250:4,10 258:16
378:18	nuances 159:13	obviously 126:4	76:1,3,4 95:5,21	259:7 260:12
notation 102:21	189:7	133:5 140:7 214:5	98:4,5,7 99:14	261:20 262:7,20
236:20 332:10	number 9:18 10:22	227:9 240:16	100:12 105:20	263:22 264:5,19
note 95:7 157:4	12:16 32:20 33:16	360:6,14 390:12	110:5 121:20	266:5 267:8
159:9,15 161:17	35:2,10 59:14,19	occasioning 352:4	123:5,9,10 124:6	268:11 269:6,11
204:7 218:4	60:3 64:11 70:5	occupational 16:8	124:9,21 125:5,10	272:1,22 275:4
257:17 299:16,20	75:2 101:12	occur 134:4 212:13	125:10 126:12,19	276:14 283:3
313:18	116:14 137:22	289:8	127:1,18,22	285:9,21 286:8
noted 64:8 100:19	143:18 148:3	occurred 275:16,16	128:13,13,13,16	288:14,20 293:2

296:14,16,17,19 297:1 298:10 299:12 302:3 303:14 304:1 307:5 308:7 309:4 309:14 310:1,6,11 310:20 311:20,21 319:3,14,22 320:4 327:22 328:4,22 330:1,11,12,13 331:1,11 332:17 334:13 335:5,7 337:12 339:15 340:21 341:21 342:3 343:20 344:7,13 346:10 349:16 351:7 356:16 357:4 383:9 386:2 388:3 390:8 394:12,17 394:21 395:11,16 397:21 398:9,18 399:8 400:5,17 402:5,16 403:19 404:1,22 406:2 408:2 old 4:4,7 93:8 95:12 96:2,7 153:21 162:11 204:20 225:4,18 226:17 395:14 older 197:21 217:19 223:2 olds 98:12 omitted 253:1 once 20:16 27:21 38:8 59:9 94:20 124:14 187:5 206:18 207:3 216:18 217:16 290:11 304:10 337:3,3 382:21 one's 308:19 one-to-one 277:8 ones 37:21 183:4 255:5 291:21 387:10 407:4	ongoing 48:3 137:11 271:13 287:1 291:5 online 122:19 onwards 121:3 Oops 76:12 158:10 open 5:17 155:10 180:4 183:10 205:4,5 264:4 265:1 266:9 267:7 268:4,19 295:21 296:15 307:11 308:12 309:9 310:5 311:2,19 327:14,19 328:3 330:18 331:15 334:18 337:17 341:21 343:15 390:12 391:2 394:16 398:4 399:1 402:10 404:5,21 405:18 operation 341:11 operationalization 117:5 operationalized 117:7,10 OPERATOR 72:3 205:7 391:4 opinion 25:7 176:1 182:17 280:10 298:5 300:2 302:7 opinions 169:22,22 opportunities 6:3,6 53:3 368:15 395:2 395:3 opportunity 44:7 64:20 69:2,20 73:21 76:14 100:2 166:18 167:16,21 174:3 178:3 181:5 233:6 262:13 275:13 306:17,19 306:22 307:6 opposed 134:11 254:13 307:20 optimal 128:3	184:4 188:6 329:6 option 156:16 180:4 203:21 312:13 314:14 optional 129:11 131:16 132:6,14 132:19,21 133:12 options 145:18 227:14 oral 4:14 16:4 37:2 37:7 76:8 77:13 77:22 80:8 81:1,2 81:21 82:5,12 83:5,12 84:7 89:2 96:16 108:2 111:12,16 142:13 143:16 177:20,21 196:13 210:12 212:15 213:15 233:12,13 239:18 262:15 265:10 269:18 270:1,16 271:19 272:2 273:10,11,22 274:1,21 280:15 280:16,22 281:6 283:22 287:13 289:5,7 290:19 293:8 315:16 316:18,22 317:3 317:17,18 338:10 338:11,13,17 341:4 354:1,19 376:9,16 377:19 378:2 385:12 402:22 Orange 388:6 order 126:16 136:20 142:10 165:14,21 166:16 168:21 201:1 302:7 organization 10:8 58:13,18 76:18 354:6 378:15 organizational 58:20	organizations 14:5 51:21 58:19 78:13 78:13 339:18 organized 176:1 orientation 348:5 orientations 67:10 original 145:4 originated 49:12 osteoporosis 35:22 other's 20:12 ought 378:15 outbreaks 406:13 outcome 62:12 75:11,22 96:11 126:8,10 127:4 182:2,2 232:16 248:5 262:1 273:4 273:5,9,12,19 274:4,12,14,18,22 275:7,11 277:12 277:16 278:12,13 278:17 287:22 292:19,21 297:21 316:21 317:16 323:12 375:2 392:19 393:5 outcomes 12:1 17:7 34:8 75:18 76:5 87:6 247:22 298:1 outline 168:6 outlined 114:12 outpatient 50:21 347:15 348:1 355:3,15 398:16 outreach 33:14 outside 16:18 36:17 366:20 outweigh 160:6 199:17 231:5 outweighs 298:7 overall 27:18 83:9 96:16,17 105:6 128:2 161:14 178:8 184:3 188:5 192:21 200:8,11 204:5,18 223:7,10 223:20 250:15	251:17 258:19 268:17,22 304:8 312:5,10 313:8 317:3 328:21 344:3 396:6,14 406:3 408:4 overarching 79:19 overlap 80:3 82:6 316:7 360:15 overlook 284:19 overnight 390:17 overplay 323:7 override 175:3 oversee 13:22 14:11 overseeing 19:21 23:7 overstating 256:9 overview 3:7 27:5 68:17 73:18 76:16 208:15 259:16 346:2 347:3 overwhelming 207:1 overwhelmingly 379:22 Owens 2:14 72:1 345:18,19,20 347:2 355:1 356:9 356:17 357:11,14 359:1 372:4 375:16 382:15 388:10 397:17 400:17 401:14 403:14,20 407:15
P				
P-R-O-C-E-E-D... 5:1 p.m 68:1 205:18,19 269:15,16 345:9 345:10 410:6 package 293:21 page 3:2 4:2 147:10 148:18,18 149:11 183:2 184:21 207:17 242:19				

269:17 297:13,13 297:13,15 346:13 391:13 pages 207:20 paid 8:6 136:20 211:10,13 214:20 224:7 pain 292:18 painful 292:6 pair 200:16 203:15 203:18 paired 200:19,22 202:6 203:11,20 203:21 204:3 Pam 345:18,19 358:15 Pamela 2:14 72:1 panel 13:16 18:15 87:16 291:11 350:8 351:3 panels 17:12 88:12 paper 9:15 31:13 31:17 319:11,16 parallel 80:14 199:8 212:15 265:16 295:13 parameters 65:16 179:11 309:1 374:15,17 parentheses 35:11 parents 217:17,17 217:20 parlance 81:9 parse 288:22 parsimony 28:19 289:16 part 11:10 12:8 15:12,21 17:11,22 18:21 30:1,17 32:12 33:11 48:18 59:13 66:6 70:12 71:6 86:9 92:15 94:2 104:7 108:1 108:13 117:20 118:8 122:13,16 130:17 158:1 159:10,18 173:14	178:5,6 189:2 227:22 230:8,9 243:5 247:9 253:19 270:21 276:18 278:21 289:13 304:16 314:10 321:9 336:1 339:6 347:7 349:5 359:11 364:20 367:1 371:3 374:10 379:13 partially 367:10 PARTICIPANT 12:17 34:14 73:8 76:2 180:12 190:8 202:17 298:19 315:19 321:6 328:15 385:19 participate 18:9 67:19 69:2 343:6 participating 164:7 particular 36:8 40:6 42:18 43:11 59:5 62:21 120:9 164:8 165:1 210:22 216:12 262:16 266:16 286:9 287:18 327:4 330:10 336:15 356:9 357:17 369:19 371:19 372:19 379:10 particularly 30:15 44:7 48:18 55:15 55:19 59:14 60:14 79:12 90:11 249:17 290:5 372:21 375:8 400:20 partly 357:21 partner 30:6 partnership 49:11 62:16 348:9 Partnerships 47:17 parts 33:10 110:11	party 365:12 pass 27:8,12 100:6 100:8 116:22 162:7 250:11,12 339:19 passed 150:8 195:22 210:15 287:7 passes 27:9 259:6 269:3 339:15,16 passing 9:15 password 6:10 path 75:22 126:9 292:19,21 307:20 308:1,1 pathway 293:11 322:17,20,22 patient 54:18 97:8 112:7 132:17 140:4 142:3,4,5,5 142:6,8 181:9 275:13 276:4,16 281:20,22,22 282:9,19 283:1 292:17 293:10 298:13 326:5 327:16 351:13,19 352:1,5,9 354:10 355:5,7 403:17,18 patient's 367:21 patient-level 148:11,16 333:3,4 400:14 patients 110:8 160:7 211:8,14 214:11 342:19 378:3 380:8 patients/populati... 199:18 Patricia 1:20 15:9 Patrick 2:15 72:2 346:4,7 349:10,13 359:2,5 375:16 393:10 pattern 179:15 251:10 Patty 173:21	pause 127:14,17,20 128:7,10 146:14 150:22 155:14 156:4 160:11 162:2 183:6,11,14 184:9 185:11 191:7,10,12,16 192:12,20 198:14 199:22 200:9 204:14 pay 139:21,22 255:11,17 294:16 319:19 364:16,16 389:11 payer 364:18 382:17 payers 140:9 343:3 paying 71:9 payment 49:16 payors 263:18 PC 209:3 PCP 402:22 PDI 3:18 372:17 409:6 pediatric 13:21 74:22 77:21 93:8 122:14 198:1 239:9 285:1 290:5 317:8 347:8 348:14 375:22 377:21 379:2,4 384:16 pediatrician 8:20 10:11 12:11,21 91:11 92:10 212:10 213:15 294:7 346:5 353:16 362:12 pediatrician's 211:19 pediatricians 81:14 211:6,9,13 213:9 224:7 225:17 230:2 304:22 377:4 pediatrics 8:22 215:14 239:4	356:4 Pennsylvania 10:7 10:8 people 42:9,15 55:20 81:5 111:13 124:12 126:3 139:5 142:15 145:12 147:6 171:5,11 172:12 173:12 174:11 197:15 206:10 227:16 236:20 247:2 252:6 254:6 260:15 267:18 269:9 279:8 283:7 291:19 301:6 358:8 373:10 378:14 385:1 percent 43:20 79:7 82:4,5,7 115:4 129:21 136:21 139:22 140:2 145:9 212:5 225:9 231:4 239:19 241:16 243:14,15 243:17,18 248:16 298:22 299:6 312:9 328:14 343:22,22 344:1 percentage 100:14 143:19 208:2 256:18 269:21 315:13 331:3 percentages 256:14 perception 257:11 perfect 69:11 71:17 121:12 362:4 performance 2:7,8 5:5 19:21 59:17 61:16 85:18 98:8 98:9 99:10 121:11 126:18 128:1,3 133:19 137:3,5 139:12 144:6,12 158:3,4 173:7 181:1 184:2,4 188:6 195:20
---	---	---	--	---

196:3,8 208:22	157:11 244:21	piece 31:17 106:22	143:15 144:2,4	136:1 137:7 139:2
209:3,5 231:10	252:7 387:17	114:11 115:8	154:22 187:14	139:17 148:13
234:5,10 241:14	perspective 157:1	116:1 136:3	201:12 220:14	152:18 158:20
247:8 252:20	165:11,17 172:17	142:12 144:8	245:20,21 248:22	169:10 176:16
254:12 261:2	174:6 218:3	178:6 222:10,21	254:2 256:13,14	178:15 187:5
262:22 263:4	300:13 350:5	245:16 253:8	282:8 286:20	194:7,11 197:15
297:21 298:11	352:16 362:5	382:7	290:21 324:19	207:14 212:4,20
307:6,9,13 316:10	371:10 386:18	pieces 142:20	plate 29:18	224:6 228:18
329:1,3,6,13	perspectives 25:5	pillars 20:20	play 130:7 138:4	238:13 240:9
330:13,16,20	152:5	pits 97:5	282:17 316:22	242:8 252:11
359:10,13,16	persuade 306:7	place 24:4 29:4	Playbook 57:21	253:17 257:4
361:15 362:9,15	persuasive 94:7	44:9 60:17 94:1	please 5:19 19:2,5	260:15 285:2
363:2 364:11,17	pertained 338:22	117:14 118:12	24:13 30:5,11	286:22 297:11
376:7 386:11	pertinent 352:8	121:14 209:1	54:10 56:13 92:7	315:7 322:4
394:21 395:7,11	phase 32:11 33:9	357:19 364:8	101:8 123:21	333:18 339:8
397:22 398:2,6	290:15	368:10 385:20	128:12 205:6,9	369:13 378:13
performed 86:6	phenomenon	placed 97:5 101:5	232:12 235:10	380:21 383:1
118:1,2,3 133:7	122:18 223:17	181:7	241:11 242:7	394:2 397:17
period 23:3 38:9	phone 6:15 39:13	placement 96:12	243:12 246:9	401:14
68:6 225:20 254:8	72:2 126:3 224:3	97:4 98:10 180:19	258:18 261:21	pointed 194:6
282:6,10,16	346:4	308:3,5	264:1,19 266:6	201:18 212:14
285:20 316:10	phones 6:13	places 94:8 175:3	267:22 269:13	225:7 239:17
325:11 326:13	phrase 108:19	337:10	275:22,22 295:17	403:8
338:11	physical 33:21	plan 60:1,17 75:20	306:18 307:7	pointing 123:12
period's 314:16	53:10 277:3 280:2	79:20 110:6,18	308:8 309:5 310:2	points 70:21 99:19
periodic 92:14	physician 12:12	111:17 113:17	310:20 311:16	116:22 143:20
270:1 281:2,12	13:5 17:4 51:18	120:10 131:2	315:18 327:21	179:21 359:7
282:2 305:5,15	230:14,16 277:2	133:3 137:13	330:14 334:14	policy 2:4 13:6
315:16 316:17	279:6,12 284:17	139:21 144:12	337:14 341:18	42:20 43:2 89:21
322:16 323:11	374:6 381:22	155:6 160:3	343:11 347:1	120:15 245:19
periodical 280:16	physician's 376:12	199:15 220:6	385:3 391:5,22	254:12
periodicity 212:11	physicians 17:8	249:16 264:15	395:12 397:22	pollution 33:22
287:17 290:20	81:15 129:10	266:18 271:16,20	398:18 402:6,17	poor 100:18 227:2
294:20 295:11	277:11 305:12	274:3 275:18,19	404:2 405:14	331:6 380:7
periods 112:16	372:3 377:3,4	276:13,15 282:1	408:2	400:13 401:9
333:21	378:8 397:13	287:14 290:10	pleased 85:12	populate 402:1
permanent 87:1,2	physics 138:6	292:4 307:3	plenty 378:11	population 3:11,13
96:9,13 97:6	pick 9:17 119:4	311:12 320:10	plus 93:6 115:21	3:14 11:16 15:14
98:15 221:17	141:12 154:15	321:1,16 325:16	178:11 305:13	16:7,17 17:21
222:20 223:9,22	325:16	326:7	PMs 192:8	18:4 21:7,12 22:3
Permanente 1:17	picked 12:15 84:14	plan's 333:13,14	pocket 140:3	29:3,7 31:10,18
12:12	112:16 220:13,16	planning 58:20	point 40:20 41:11	31:21 32:8 33:13
permissible 312:12	284:9 295:7	plans 78:5 79:6,10	42:5 45:19 46:3	34:6,9 41:14 42:1
person 39:12 70:15	pickup 194:13	120:8,8 129:20	55:14 66:5 74:19	42:19 43:13 44:3
82:21 89:18 118:7	picture 141:21	130:2 131:3	84:3 104:1 107:6	44:11 47:19 48:1
147:14	142:1 276:19	132:21 135:21	109:2 120:17	48:7,10,15 50:13
personally 70:1	378:16	139:22 140:9	122:7 135:14	51:7 52:2 54:22

55:22 58:9 62:9 62:19 63:1 64:14 65:1 66:3 67:9 74:22 94:14,20 95:4 108:14 109:20 111:3 115:3,20 128:4 134:9 138:15 140:12 142:11 181:9 184:5 187:22 188:15,22 189:5,11 190:3,15 198:2 222:3,11,14 223:4 228:14 238:12 239:19 254:20 256:12 264:14 283:17 285:1 320:8,21 321:19 325:9,22 326:15 346:17 347:14 348:4 358:5 360:20,20 363:10 366:10 370:16,20 371:2,4 371:8 374:3 378:17 392:12,16 392:16 399:21 population's 253:5 population-based 14:18,21 34:3 65:10 populations 30:18 33:4 42:22 48:15 50:6 100:17,18,19 119:15 136:9 138:10 160:7 186:22 188:3,7 190:1 222:16 235:2 251:4 266:22 283:16 331:6,7 portfolio 3:8 23:7 28:2,3,12,14,16 28:20 29:15 34:11 34:12 35:11 37:11 178:16 portion 76:9 120:2	171:3 213:4 position 252:17 positive 61:2 93:2 275:17 278:17 298:17 299:11 possible 86:11 102:1 112:10 251:5 301:16 380:17 possibly 245:15 post-vote 175:14 posted 40:13 168:3 potential 103:16 141:11 158:2 256:8 272:20 298:7 304:21 333:10 potentially 141:10 178:12 215:5 217:15 231:9,11 301:1 307:2 347:21 381:15,17 388:4 poverty 53:12 358:4 362:16 394:9 PQI 367:8 372:17 376:4 377:1,5,11 378:6 384:18 390:18 PQIs 366:10 368:14 370:2 372:5 373:17,18 375:22 PQRS 63:11 practical 41:22 57:20 practice 14:1,13 81:17,19 83:1 96:18 97:2 107:14 109:14 139:11 201:10 282:9 317:5 377:18,21 practices 33:8 34:21 59:13 67:3 practitioner 83:18 practitioners 81:10	81:15 precedence 228:10 preceding 32:17 precipitous 394:2 precise 146:6 147:22 191:1 precisely 324:14 predictor 118:22 prefer 99:3 157:10 169:9 pregnancy 399:15 preparation 166:13 prepare 75:4 prepared 25:17 Presbyterian 18:4 preschool 217:1 prescribed 355:9 prescriptive 175:19 present 1:11 2:11 2:18 23:19 85:10 177:1 presentation 23:10 103:3 104:8,8 233:7 presented 69:8 87:16 96:20 210:1 233:10,15 247:12 249:17 260:21 262:14 263:9 317:6 presenters 233:9 presenting 164:21 165:2,16 171:4 376:11 president 2:7 8:22 13:20 16:15 18:3 presiding 1:10 press 123:21,22 124:1,18 128:12 146:10,11,11,12 205:9 391:5 408:7 408:15 pressed 114:6 pressure 34:3 presumably 98:15 108:8 194:22 273:19 284:22	pretty 43:4 206:14 206:17,19 207:4 263:11 274:11 360:12 375:5 381:6 382:22 395:14,14 408:19 prevalence 180:17 prevalent 231:13 prevent 92:4 119:2 276:7 386:5 preventable 357:18 preventative 13:4 31:2 32:14 83:22 140:1 291:1 301:2 prevented 142:5 preventing 91:9 347:17 prevention 4:3,6,9 17:19 20:22 35:3 35:14 36:14 37:8 50:11 53:7 83:20 96:1 112:13 142:19 162:10 197:17 204:19 207:15 259:4 284:21 285:1 287:4,8 288:22 289:8 294:22 317:2 347:6,9 349:18 prevention-orien... 56:8 preventive 208:16 224:11 234:17 235:1 290:10 previous 62:8 100:16 139:14 170:9 176:11 195:3 199:9 200:16 209:20 229:22 272:18,21 273:7,15 285:12 285:14,18 286:4 288:5 289:10 300:1 309:15 315:20 316:7 322:11,15 332:12	344:6 previously 14:17 37:1 104:4 105:18 132:7 181:8 198:22 273:16 287:7 331:5 pride 136:19 primarily 77:19 83:6 primary 8:20 35:3 35:13 36:13 37:8 83:7,19,20 108:21 210:6 214:3 221:16 222:19 223:9,22 245:10 325:14 362:11 375:6 376:10 377:1,3,4,10 378:8 397:13 Princeton 10:12 principal 352:3 400:21 principally 352:4 principle 346:14,15 349:2,21 350:1 351:10,14,18 392:7,9 399:14 principles 56:18 print 160:19 prior 22:4 73:3 134:10,17 135:18 157:18,21 176:5 priorities 25:6 prioritize 372:10 prioritized 59:8 priority 51:1 58:21 100:13 123:16 184:17,22 185:4,5 185:14 215:2 234:14 235:7,9,12 263:8,9,22 264:3 307:17,18 308:7 308:10,16 331:2 331:11,13,17 398:9,11,12,21 399:5 private 63:16 66:8
---	--	--	---	---

77:7 348:9	168:12 169:13	253:4,6,7,21	48:22 49:2,7	133:3,4,5 136:3
prize 67:7	170:10 173:9	259:18,21 261:2	60:15 171:20	140:13,18 201:21
probably 7:9 21:16	176:4 178:21	264:15 265:13	278:11	209:6 214:19
38:19 40:4 65:18	182:10 186:1	321:17 325:16	prolonged 290:22	265:11 326:6
66:6 82:10 93:6	195:17 203:3	326:4,7	promise 135:7	332:8 362:10,11
121:7 134:1 139:7	206:7 207:3	program-level	promote 34:21	363:4
153:22 162:19	221:18 232:16	216:6	216:13 227:16	providers 36:21
218:13 229:9	262:1 275:5 276:2	programmatic	230:10,19	82:22 83:8 128:3
231:13 232:4	277:3,4,16 278:13	3:11 75:20 215:5	promotes 224:14	135:10 138:8
274:7 288:17	278:16 281:9	311:12	promoting 317:2	184:4 223:15
301:4 330:6 332:1	286:15 291:7	programs 16:11	properly 363:13	298:10 324:12
360:5 365:13	298:1 303:17,18	45:6 49:16,20	properties 27:11	342:20 343:5
371:13 384:12	313:4,13 316:16	50:5 51:10,17	38:17 186:14	365:3 395:8
390:16 394:1,4,10	316:20 317:15	63:11,17 77:6,7	246:12	provides 294:22
407:2	326:9 329:8 334:6	77:18 79:12	proportion 95:3	332:7
problem 120:22	335:13 336:1,6	105:12 108:10,10	211:14 320:21	providing 7:1
145:11 203:14	338:7,9 339:1,8	112:3 115:21	321:19 326:14	214:20 215:7
209:5,5 226:20,22	339:13 344:6	130:8 140:10	proposals 165:5	305:18
241:8 285:11,22	349:5 350:7,8	143:22 201:11	proposed 327:5	provision 31:1
305:8,9 307:21	379:17 380:22	212:7 214:6	329:18 338:14	proximal 278:13
355:2,14 356:1	processed 182:7	215:22 216:9,18	PROs 192:8	proxy 134:20 287:1
385:20	processes 57:3 64:7	221:5 228:12	prospect 29:22	public 1:15 3:19
problematic 88:8	74:5,14 126:11	233:19,22 236:6	protocol 333:6	4:20 5:17 6:7
problems 295:10	290:5 336:4	239:22 244:2,9,11	provide 26:10	11:7 12:11 16:15
380:12	341:13 400:12	248:21 249:15	29:14 49:14 73:17	18:6 26:17 31:14
procedure 86:10	product 62:13	266:18 267:15	79:20 81:13 91:5	31:16 32:3 40:13
112:22 117:11	products 13:10	290:22 311:9	108:10 109:6	44:22 45:2 49:15
270:17 328:18	profession 282:3	342:11 373:20	144:3 145:18	52:18 61:1,12,14
333:12	professional 7:11	401:17,17	227:17 252:4	61:17 63:6 68:6
procedures 120:13	8:5 247:10 318:10	progress 59:7 60:4	275:19 302:16	77:6,18 79:12
211:9 271:8 287:3	professor 14:10	158:12,22 159:11	315:8 346:1	95:8 108:9 115:21
proceed 98:6	17:16 18:5	160:4 199:15	380:22 385:12	153:5 157:4 160:2
248:16	program 14:3	251:1,6 347:19	provided 24:3 79:8	199:13 205:4,5,11
process 3:8 5:13	63:18 77:19 90:4	project 2:8 3:7 6:19	79:8 80:18 81:11	304:7,9 311:11
23:15 26:7 34:7	90:4 109:4 110:18	6:20,22 7:1,2,3	83:7 101:1 102:15	312:14 318:21
37:12 38:15 41:8	111:17,18,20	9:6,8 22:3,5,17	109:1 216:21	333:20 372:15
42:10,16 58:21	112:5 115:1	31:9,10 32:10	224:19 291:2	390:10 391:2,5,7
59:2 64:16 68:22	129:20 130:5	36:2,4,17 37:12	302:22 303:2	409:11
69:18 71:6 75:12	131:2,14 132:2	38:21 39:21 40:1	305:19 307:3	publish 388:15
76:11 86:9 88:19	135:21 137:12,14	40:6 41:14,15,18	317:14 318:2,5	published 389:7
90:1,19,22 96:6	138:21 141:14,15	41:19 48:5,8,12	329:19 331:5	publishing 388:16
96:10 99:6 126:21	143:10 144:12,15	55:16 56:10,15	361:12	pull 168:5 188:14
127:3 130:4,19	216:7 220:2,3	57:11,14 60:20	provider 46:16	224:3 339:10
134:22 145:1,10	239:14 241:15,17	66:2 188:22 348:8	78:12 93:18	pulling 305:15
145:16 157:6	243:13,16 244:20	projects 17:21	102:18 106:22	pulp 118:16 120:1
162:17 163:2,19	245:1 246:3,3	18:11 29:6,10	113:15 129:6,19	purchasing 51:18
164:6 166:1	251:20 252:8,16	38:22 48:2,16,20	130:2,6 132:4	63:12

purely 392:19 400:1 404:13 406:9 purpose 44:20 49:13 132:16 379:12 purposefully 317:21 purposes 220:2 347:16 purview 172:3 179:1 push 38:21 124:14 131:8 145:20 196:10 270:21 pushed 52:17 302:22 put 6:13 7:15 24:13 29:17 39:2 41:21 42:2 53:21 54:9 60:22 63:5 68:8 74:17,20 76:20 78:17 104:10 106:13 119:10 151:18 166:9 172:11 186:19 232:11 242:3 248:6 256:8,10,22 286:22 292:20 305:19,20,20 333:6 351:10 352:19,20 354:17 359:16 374:17 375:12 397:19 puts 290:14 putting 114:9 209:1 213:13 271:11 292:18 402:3 puzzle 142:13 puzzles 141:22	332:9 qualities 386:8 quality 1:1,8 2:12 2:13,13,14 4:5,8 4:11,13,15,18 11:9 12:1 13:1,8,8 20:21 30:17 48:17 49:15 50:7,18 51:1,18 61:15 62:22 72:12 73:6 74:12 87:18 101:18,22 105:16 127:5,7 130:18 153:7 182:3,11 208:12 244:2 247:9 252:22 261:1 263:14,15 277:6 278:7 294:2 294:4 305:1 308:2 317:4 318:4,8,16 323:15 334:4 342:15 345:21 347:6,8,9,21 349:19 357:3,8,9 357:15 358:2,11 359:11,17 361:12 368:6,16 372:6 375:3 379:22 380:7 385:8 386:13,21 390:2 400:13 401:7,13 quandary 370:12 quantity 87:18 127:5,7 182:3,10 quarter 72:17 question 21:6 24:15 38:6 43:6 44:15 45:17 55:4 61:12 64:4 99:7 99:16 106:4 107:20,21 114:2 121:16,21,22 128:22 129:2 135:7 138:13 141:8 146:21 153:13,15 158:14 160:17 165:12	170:6 179:18 194:18 202:16,20 214:15 219:16,21 225:14 226:3 234:21 235:4 243:9 247:1 252:21 256:2 264:12 274:5 277:10 278:9 290:4 293:18 298:18 305:1,16 323:10,17 324:16 324:18 329:14 340:5,10,15 351:7 353:9 357:21 361:6 365:13 367:3 375:10,21 382:5 383:21 387:1 388:10 393:9,12 questions 19:14 21:3 24:9 37:11 54:6,9 56:22 68:11 69:21 70:22 89:13 90:14,21 100:3 106:1 110:21 116:7,16 123:2,2,8,14 128:18,21 129:18 140:21 145:15 148:21 150:7 152:20,22 155:10 157:19 158:8,8 164:6 167:21 168:15 169:15 173:9 176:4 177:2 181:13 187:17 193:17 198:3 200:10 210:20 227:5 229:15 231:21 260:8 265:9,19,21 266:19 279:3 293:14 346:9 393:2 quibbling 114:21 quick 28:2 29:17	66:17 73:18 153:13 208:12,15 224:3 225:2 247:7 259:16 303:16 359:6 409:5 quickly 58:11 103:12 168:21 222:8 339:11 409:16 quiet 157:10 quirks 162:16 quite 25:11 35:12 102:13 103:1 105:14 108:3 114:10 149:17 168:8 200:18 245:18 292:15 332:12 342:13 354:16 397:3 quote 88:5 quoted 318:5	237:21 238:7,15 303:19 380:9 ranges 224:10 rank 168:21 ranked 240:21 rate 3:17 71:20 110:4 147:11 148:13 171:21 217:2 286:9 298:14 301:21 346:12 367:8 372:2 392:5 394:3 396:6 405:10 407:18 408:11 rated 97:14 99:1,1 219:18 262:18 318:15 rates 100:17 130:20 331:5 355:12 372:17,18 376:4 377:1,5,12 382:16 386:6 387:20 396:15,22 397:9 rating 97:16 105:6 190:6 302:22 333:3 ratings 148:15 ratio 396:3,8 rationale 80:7 88:7 149:3 159:12,17 160:5 172:5 176:13 182:3 199:16 215:12 216:12 rationale/causal 126:9 RCTs 87:17 88:2 318:14 re-analysis 360:22 re-submission 301:16 re-use 387:7 re-vote 163:18 342:2 reach 78:17 248:14 256:15,15 258:10
---	---	--	---	---

Q

QQC 182:13,20
qualified 12:2
98:17 129:6
qualify 102:21

303:12	172:12,12,18,22	reasonable 112:19	32:19 33:17 42:12	references 106:13
reached 258:11	173:3,16 175:17	394:8	55:10 62:14	189:9
296:3 343:22	176:10 177:10	reasonably 246:5	178:19 211:1	referencing 402:19
reaching 257:22	178:2,5,5 180:21	reasons 75:2 91:13	220:18,19 221:1,3	referral 230:19
reactive 292:5	189:2 203:2	114:7 157:2 289:3	224:13 225:9	referred 29:22 81:1
read 123:14 137:21	206:13,17 212:8	358:6	247:11 254:16	93:14 115:14
141:2 145:17	214:16,16 215:2	recall 7:8 42:18	291:12 344:11	referring 106:21
274:7 296:5 300:7	216:5,19,22	247:12 284:7	379:5,17	107:3 111:12
302:2 338:18	218:13 221:7	318:11 335:12	recommended	169:7 230:3
340:11 408:3	222:8 224:3 225:2	recap 392:4	26:13 185:2 231:4	336:14
readily 306:22	227:13 229:6	receive 16:10 26:19	238:17 280:2	refers 293:21
reading 37:17	231:15 238:9	29:13 30:20 39:21	293:7,18 295:12	306:20
326:20 329:15,16	251:13 253:12	40:1 41:5 61:8	377:20 408:11	refine 68:7
330:2 335:12	254:18 255:4	68:12 82:4,5	409:6,7,8,8,9	reflect 67:5 77:2
387:17 400:15	256:3 261:1	83:21 96:8 231:15	reconcile 26:18	192:20 250:17
406:6	263:13,14,15	263:17 266:2	304:11 370:21	281:2 318:9
readjusted 154:13	268:16 271:4,10	received 7:9 27:3	record 72:20,21	340:16 381:14
ready 72:17 183:8	272:2 278:3,4,14	33:15 40:16 59:3	154:9,10 157:5	393:13
205:22 241:10	285:4,7 286:13,21	61:1 68:5 107:4	186:9 205:18,19	reflected 190:4
246:9 250:10	290:18 294:5	118:14 157:21	269:15,16 281:22	194:10 396:19
258:17 268:12	295:5,13 301:21	177:22 208:4	296:18,22 318:21	reflection 239:5
293:15 390:17	304:2 313:6	264:13 265:13	333:15 345:8,9	358:11
391:11 408:17	314:11 322:18	270:1 273:8	351:22 396:19	reflective 209:4
real 29:20 58:11	324:7,15 325:13	315:15 316:17	recorded 5:18	401:9
153:13 180:22	325:21,21 326:21	336:18 348:2	211:20 341:11	regard 164:20
214:15 389:11	335:15 341:2	375:3	recording 113:1	168:12 249:17
realistic 112:20	357:21 358:10	receiving 136:22	162:9	260:20 263:9
reality 69:13 92:11	359:18 363:8	234:16 316:2	records 333:16	265:7 266:14
289:2	370:13 371:20	recite 7:13,14	401:10	267:13 320:11
realize 169:19	374:17 376:11	Recognition 14:3	recuse 17:10	regarding 69:7
288:4	378:16 379:2	recognize 21:15	red 189:21 225:5	84:11 101:2 106:5
really 20:18 21:10	381:6,22 385:8	23:17 61:10 87:14	225:10 388:18	158:2,9 165:7
30:16 32:6 33:17	390:2,11,16 393:8	270:9 325:8	redo 125:7	177:2 184:17
40:22 41:21 44:6	393:12 395:14	recommend 117:1	reduced 220:8	186:13 193:8
44:8 47:5 58:11	406:9	202:12 225:4,17	301:2 405:12	235:22 334:7
58:16 59:3 61:4	realm 54:13	237:13 240:9	reducing 96:14	regardless 116:4
64:17 69:4 73:16	reapply 20:14	317:13 406:17	218:19	134:5 219:1
73:16 77:1,17	reason 29:8 46:13	recommendation	reduction 180:19	regards 36:18
86:3 87:16 88:20	46:18 85:6 86:2	97:9,11,14 161:18	redundant 335:4	131:22 180:17
89:1 93:1 107:5	109:3 126:6	161:19 162:5,7	reemphasize	186:15 281:16
113:8 122:19	156:18 171:16	170:2 182:14	370:11	308:22 310:15
130:13,17,22,22	172:4,10 219:5	204:8,10 224:2	refer 114:4 190:20	regime 7:22 18:22
135:22 139:18,18	224:7 229:14	225:5 229:17	213:10 230:4,7	regional 392:16
141:18 142:16	238:15 240:3	237:3 280:5 281:5	293:8	register 133:6
156:22 157:22	244:19,22 343:7	379:15	reference 83:17	regular 271:13
159:11 166:6,14	345:13 367:18	recommendations	219:19	282:5,12
167:16 172:3,10	368:1	22:22 25:1,19	referenced 225:13	regularly 108:3

regulation 351:21	187:1 191:1	reopen 104:21	24:1	257:19 280:20
rehydration 354:1	209:22 235:21,22	repeat 179:17	represented 135:11	400:10
354:19 376:9,16	236:4 239:1 241:5	186:7 292:11	representing 8:13	responding 23:2
377:19 378:1,3	241:7,11,13 242:3	335:6	8:14 15:20 345:21	354:4
385:13	243:1,8 246:13	repeatable 186:21	represents 88:21	response 106:8
reimburse 132:11	264:11,16,19,21	308:21	request 129:15	107:8 151:18
409:18	265:3 308:20,22	repeated 387:7	136:5	165:22 202:21
reimbursed 132:4	309:4,4,7,11	repeating 26:4	requested 185:1	356:21
224:8 282:4	331:21 332:16,17	report 26:16,16	require 131:12	responses 268:6,21
reimbursement	332:22 334:13,16	27:7 38:16 40:12	136:6 196:22	responsibilities
132:2 133:4,8,19	334:20 335:3,7	42:14 63:5,7 67:5	207:6 220:3	143:14
138:22 139:10	350:12 374:1	82:3,11 99:21	required 44:3	responsibility
349:6	400:5 402:6,8,13	116:14,21 117:12	113:19 114:5	363:4,12 364:21
reiterate 168:10	reliable 42:21 43:9	128:1 149:12	129:11 130:16,17	370:17,18
relate 63:4 329:17	241:22 244:18	157:5 167:4 170:1	131:16 132:1,1,5	responsible 25:13
related 13:9 34:20	257:14 310:14	173:15 175:16	136:13 199:1	82:21 352:4
35:18 36:12,13	reliably 243:21	181:12 216:16	207:5 214:18	378:18 386:12
50:6 62:21 64:4	relied 88:13	229:11 232:14,15	270:14 344:1	rest 68:15 162:20
121:18 135:7	relies 103:7 236:1	234:5 235:12	requirement 66:7	384:18 408:17
161:20 170:8	332:20	237:2 239:22	requirements	restate 243:11
204:12 230:22	rely 88:1	250:19 251:8	80:21 143:12	restaurant 409:14
297:21 304:17	relying 20:11	297:8 358:16	320:14	restoration 118:16
329:21 386:16	remain 25:21	372:6,7 381:2	requires 178:10	181:7
398:14 399:15	remained 394:9	383:5 396:2	209:4 365:22	restorations 118:14
400:3 406:14	remains 386:5	reportable 311:10	requiring 131:3	119:4
relates 327:9	remarking 206:4	reported 100:16	140:7	restorative 119:22
relating 18:9	remember 5:20	118:6 202:10	research 7:20 9:3,5	restoratives 140:2
relation 24:2	32:16 174:9 240:5	333:12 389:3,5	14:13 17:7 139:4	restrooms 5:22
relationship 126:10	279:9 343:21	392:22	261:7	result 366:18
376:3	remind 5:16 8:10	reporting 11:8	Researcher 17:16	results 60:6 119:14
relative 376:7	39:6 278:11 297:9	44:22 45:2 49:16	reservation 409:15	120:16 134:16
397:9	320:2	51:18 60:3 80:21	reserve 409:13	146:9 150:15
relatively 83:2	reminder 410:1	108:8,11 153:5	residence 367:21	158:3 191:3 192:3
217:8 223:17	reminders 68:21	154:20,21 160:2	367:22 403:17,18	226:7 338:22
245:7 380:22	69:6	199:14 208:6	resident 354:15	resume 7:13
release 18:11 62:13	reminding 320:1	227:20 252:18	resource 87:6 90:7	review 3:8 21:19
379:16	reminds 218:7	270:2 281:16	123:18 185:7	22:18 23:3 26:12
released 63:7	remote 107:15,16	310:15 364:16	resources 42:3	26:12 28:3 36:19
relevant 7:16,17	remove 172:22	372:15,16 383:4	55:18 75:3 86:4	38:8,20 68:19
8:7 13:11 59:15	renal 9:6	405:7	113:9 377:1	96:20,22 146:22
78:3	rendered 276:15	reports 340:1	respect 25:6 101:3	154:14 211:2
reliabilities 148:6	rendering 102:18	364:1	237:17 287:22	237:4 248:4 273:6
reliability 27:14	332:8	represent 169:22	372:8 378:10	273:17 293:22
100:21 103:3,9	Renee 1:18 11:5	244:10 352:6	384:11	294:4 297:18
146:6 147:9	46:21 56:12	representative	respond 23:3 24:9	302:21 303:2
149:18 150:9	272:11 273:2	72:11	24:14 141:18	318:2,3,7,13
167:5 170:19	renewing 95:14	representatives	165:7 190:10	321:10 323:8,9

351:22	324:17 325:13	230:5,6,6 238:21	343:12 394:15	run 16:10 116:12
reviewed 25:18	326:17 328:15	257:21,21 258:1,4	398:1,20 402:7	248:4
32:13 154:19	337:13 341:18	258:5,11,14,15	404:3,18 405:15	rural 383:2 396:9
323:18	343:10 344:10,22	259:5 270:7	robust 90:11 122:4	396:10
reviewing 36:22	355:9 360:9 361:8	303:10 358:5	122:17 168:13	rural/urban 382:20
38:15 69:7 70:5	365:10 374:6	380:9	Robyn 2:14 7:2	
384:19	379:1 383:6 384:8	risks 113:15 117:14	44:12	<hr/> S <hr/>
reviews 85:9,14	386:1,1,1 389:2	road 384:8	Rochester 8:21	sad 20:2
87:22 88:1 97:15	390:7 391:14	Robert 1:19 2:3,3	role 317:1	safe 134:7
176:21 187:9	397:6 403:22	10:12 11:8 17:15	roles 30:12	safety 50:9
208:18 248:1	404:16,16 405:14	67:2 106:18 177:4	Romano 2:15 72:2	salient 70:21 99:19
318:6	rightly 247:3	208:9 210:14	346:5 349:13,14	Salive 2:1 12:6,7,15
revise 84:17 341:1	rigorous 207:4	259:13	349:16 351:17	238:14 284:10
341:3	risen 396:5,9	Roberta 259:13	359:6 361:18	388:12
revisions 114:16	risk 4:4,7,10 86:5,6	Robinson-Ector	362:4 375:19	sample 35:8
revisit 360:7	86:7,13,15,17	2:10 6:21 123:10	395:17,20 397:6,8	Sampsel 1:10,12
rich 299:15 384:14	87:2 93:11,13	124:11,17,21	Ron 1:15,18 15:19	9:2,3,22 10:4 62:6
384:14	94:13,17,21 95:2	125:3,6,10,15,19	16:15 32:12 43:6	68:16 71:16,18
richness 66:1	96:3,8 97:8,19	126:7,15,20 127:2	44:6 45:17 66:14	72:6,22 73:10
right 6:3 17:1,1	98:11 101:11,18	127:15,18,21	133:2 140:21	90:16 95:22 98:2
24:5 44:9 50:22	102:3,4,9,11	128:8,11 146:5,15	179:3,6 184:15	98:6 99:4,12,15
54:21 55:2 56:19	108:16 111:3,10	150:11 151:1	185:19 187:19	105:4,20 107:19
57:1,6 58:17	112:21 113:2,3,6	153:3,14,18 154:4	189:12,22 193:3	116:6 121:15,20
62:15 80:12 92:10	113:13 117:5,17	155:17 156:5	199:2 256:6 257:4	123:4,6 124:6,9
94:10 105:11	117:18,20,21,22	159:21 160:12	257:19 272:12	124:20 125:14,17
109:3 110:1	118:1,1,3,3,4,10	161:15 162:3	273:2 281:10	128:16 139:15
117:22 120:18	118:11 121:22	182:1,8 183:9,12	306:18 379:7	140:20 145:14
121:19 123:7	122:8,10,22	183:15 184:1,10	400:6	146:20 152:19
135:6 137:10	130:20 132:9,9,12	185:3,12 190:22	Ron's 66:5 138:9	154:3,6,11 155:15
143:17 146:1,20	132:12,16,22	191:8,11,13,17	room 1:9 166:2	156:14,17 157:16
147:9 148:10	133:21,22 134:11	192:13 198:5,15	261:10 285:15	159:20 161:13
149:7 150:1 161:9	134:18,20 135:10	199:11 200:1	288:15 296:13	162:12 163:22
183:1 189:18	136:7,9,12,19,22	204:5,15 232:13	304:16 305:1	164:9,12,16 165:6
193:21 197:14	137:16,17 138:5	234:4 235:11	rotovirus 372:22	168:9 173:20,22
201:3,15 202:2,22	138:10,10,14,15	246:11 248:7	402:21	176:3 177:4 179:2
211:12 224:13	140:13,15,18	249:5 250:1	roughly 79:7	179:6,19 180:1,8
236:19 243:14	141:5,7 150:16	251:17 258:19	348:13	180:14 181:10
244:8 247:17	155:8 162:11	261:22 262:21	round 38:22 45:18	183:7,21 184:15
267:2,21 268:16	181:4,6,8 192:4	264:2,20 266:7	roundabout 117:13	185:17 187:3,17
271:18 272:22	194:20,20,22	267:4 268:1,17	routine 349:5	192:18 193:16
273:12 277:18	195:1,2,12,15	295:18 296:7,9,14	row 70:16	194:2,15 198:3,20
279:15 281:12,13	196:17 197:3,8,9	296:19 297:1	rows 35:20	199:6,10 200:7
284:7,22 288:18	204:21 207:16	307:8 308:9 309:6	rule 149:15 299:14	205:2 208:9
289:16,21 294:3,4	208:3 209:19,19	310:3,21 311:17	360:2,4,6,11	210:13 237:12,16
294:20 296:4	213:8,9 218:11,19	327:22 330:15	393:5	238:9 261:8,15
303:5,8 312:4	218:20 219:1	331:12 334:15	rules 22:12,13	269:7 303:14
315:5 323:10	220:22 228:19,20	337:15 341:19	25:16 306:14	318:19 344:17,20

San 122:12	246:11 306:17	211:15 308:5	147:12 149:21	self-assessment
Sarah 1:9,12 9:3,21	345:20 371:9	sealed 98:15,16	158:22 160:19	58:12
22:3 32:12 166:14	399:9	101:21 120:20	161:6 171:12	Sellers 2:1 15:3,4
206:3 237:11	scope 37:13 146:8	seated 73:1	174:12,22 175:1	363:21
sat 8:4	150:14 191:3	seats 70:16	178:20 180:4	send 68:2 124:15
save 315:10	192:3 287:5	second 20:14 27:10	189:4 192:21	124:18 221:11
saw 55:7 142:1	score 103:15	33:9 55:17 146:21	194:19 200:22	304:8 337:3
238:19 252:18	141:20 144:16	148:4 206:10,20	201:22 209:17	354:20,21
275:3	145:1 149:19	208:16 213:6	224:17 230:14,14	sending 353:21
saying 37:15	152:11 202:11	279:13 297:15	230:15,16 242:21	sends 353:17
168:16 173:2	243:14,17 336:17	300:22 314:19	243:19 251:6,10	354:12
195:10 203:15	338:15 387:11,21	323:1 344:8	255:2 257:6,6	Senior 2:7,9 9:3
236:20 237:7	scored 336:22	345:14 351:12	265:20 270:17	12:22 13:19 15:10
253:15 285:3,21	338:17	376:21 403:2	273:14,14 275:1,5	19:20 47:16
294:5 299:3 302:2	scores 187:7,10	secondary 346:16	276:22 286:3	sense 20:10 102:13
302:16 304:1	201:2 202:8	349:2 350:2	287:15 291:22	103:2 129:16
305:4 354:10	209:22 241:14	351:15,18 352:6	292:8,9 299:2	170:8,18 178:11
357:2,15 362:10	300:14,16 303:21	366:21 392:8	306:3 309:21	226:22 251:20
365:3,5 385:2	screen 126:4 146:2	399:13 400:21	322:21 325:17	277:13 294:19
389:9 401:4	160:19 166:9	secondly 395:6	327:16 331:21	304:19,20 332:13
407:16,19	screening 35:3,14	seconds 127:13	332:15 336:16	348:16 353:2,6
says 16:19 87:3	36:1,3 37:8,9	156:7,8 157:9	350:22 353:8	357:12 373:22
88:12 97:4 144:16	88:10 122:1	163:14,17	354:2 365:4	378:22 381:14
147:10 184:21	294:12	section 68:19 70:13	368:15 385:1	387:6 397:15
188:12 230:4	screenings 295:12	88:7 116:9,13	386:17 387:5	sensitive 347:13
240:21 329:15,17	script 166:9 167:1	225:8 235:20	397:2 400:8	376:1 378:6
338:4,6 340:11	175:9,22 210:14	242:22 243:4	409:19 410:3	sent 26:22 166:12
358:18 381:21	232:2,5	248:18 249:12	seeing 114:20	354:18
389:15 390:7	se 221:1 401:16	261:14 262:12	196:7 208:22	separate 102:14
scale 60:11 137:22	sealant 84:22 85:2	264:10 336:13	256:3 259:17	130:9 202:8 289:1
scan 31:18 57:15	85:15 87:7 96:8	338:4 339:9,12	269:8 311:15	289:1 350:6
58:1 336:8,13	97:4 98:10,19	398:11	386:19	359:18 369:22
338:22 339:6,10	101:4 106:7 107:5	sector 77:6 80:2	seek 187:8	409:17
scenario 301:4,10	108:10 110:4	115:22 139:17	seeking 194:9	September 40:19
353:15 386:4	121:6,10 130:19	253:11,13 386:12	seen 83:22 101:17	40:19
schedule 39:16	132:20 133:14,21	sectors 194:6	141:15 293:10,19	sequence 286:15
66:6	134:3 136:7,13,19	245:14	343:6	series 128:21
schedules 39:7	201:18 210:2	see 21:11 32:21	segment 116:2	168:15 260:21
212:11 295:11	211:18 274:13	34:2 35:12,21	segmentation	305:7,19 350:8
School 2:4 18:7	sealants 4:3,6	39:1 43:16 45:12	81:20	serious 143:6
science 15:4 229:7	93:18,22 96:2,13	47:3 48:5 53:17	segments 95:4	263:19
280:6,8	97:4 98:18 109:6	56:3,21 58:5,12	214:8 228:13	seriously 139:5
scientific 27:10	110:13 114:11,22	82:3 85:20 102:1	selected 22:14	seriousness 279:9
38:16 70:9 152:1	133:16 136:8,22	105:22 112:2	23:22 53:20 54:2	serve 8:15 18:14
167:3 172:14	141:13,16 143:19	118:13,21 119:14	215:17	22:14 46:14,19
185:18 186:14	162:10 180:19	120:16 126:4	selection 27:20	234:22
187:18 193:1	204:20 209:6	142:10,16 145:8,9	49:15 59:16 63:10	served 223:3

service 101:3	73:12 74:21,22	248:5 301:12,19	88:8 110:17 112:4	slightly 222:17
102:15 105:9	77:1,8 80:10 83:9	311:13 317:15	112:7,9 118:16,19	slip 319:11
114:5,13,22 133:7	119:11,12 131:4	369:14	122:4 130:1,3,19	slips 9:15
144:21 208:16	135:19 142:8	showed 129:21	140:12 176:11	slow 82:14
213:16 224:12	143:10,11 197:18	212:2 253:9 273:8	179:13 195:18	slower 384:7,10
234:16 235:6	219:6 221:20	280:4	196:22 209:12	slowly 133:17
259:19 265:21	251:11 288:16	showing 48:1	213:17 215:21	small 160:19 245:7
266:3 271:6 273:8	326:20 337:9	158:21	223:3 235:5	245:15 373:13
273:18 275:12	361:21 363:19	shown 92:14	239:19 244:5	378:4
287:8 315:12	384:20	105:15 277:2,5	259:17,19 270:16	smaller 326:14
341:10	sets 50:3,15 120:15	342:14 377:10	307:21 327:15	smoking 47:8,8
services 1:13 4:11	123:2,3 293:3	395:21	341:3 399:20	snap 123:12
4:12,13,14,16	setting 50:20 52:4	shows 209:12	single 7:14 95:11	social 31:4 33:12
10:18 17:15 31:2	52:10,22 58:21	273:20,22	202:11 324:2	33:19,20 35:4,17
32:14 52:21 76:8	93:2 109:15	shrinking 115:20	sister 210:11	36:14 38:1 52:20
77:12,13,21,22	125:16 370:1	side 46:16 83:19	sit 19:5 67:21	53:11 55:9 65:2
80:18 81:21,21	376:12	133:19 140:6	site 61:8 168:4	358:3,6 362:16,21
82:5,6 83:5,12,12	settings 14:20,20	189:16,19 214:3,4	190:20	367:10 369:16,19
84:7 107:12,17	16:18 31:3 34:18	242:4 243:8	sites 12:3	370:6 373:5 380:3
108:22 109:10	50:5 51:3 189:11	245:13 247:5	sits 383:1	384:1 386:7
115:10,11 130:12	277:20	264:14 306:4	sitting 8:10 24:8	Society 8:5
132:5 139:4 145:9	seven 183:16	349:7,7 351:16	89:19 205:21	sociodemographic
196:13 198:1	235:18 248:11	380:5	339:19	257:7
207:17 210:9,12	265:4 394:3	sides 240:17	situation 69:10	socioeconomic
214:18 215:4,7	Seventeen 242:20	sign 18:11	236:11 295:4	256:16 375:13
216:21 233:13	severity 123:19	signal 47:12 263:18	356:8 360:14	376:1 377:7,9
239:18 259:5,8,9	185:7	significant 31:12	six 9:10 34:14,16	380:12 393:15,19
259:17 260:14,14	sex 383:8	84:8 173:11 188:6	37:2,7 73:19 75:2	394:4
260:18 261:7	shape 388:21	229:4 252:18	75:6 92:18 93:12	softens 223:16
262:15,15,16	share 173:19 174:3	253:9 286:4	156:12 160:2	software 161:3
263:17 264:13	322:3	352:22 392:21	199:14 209:15	solely 8:1
265:11,13 266:2	shared 170:19	398:12 405:9	225:6,10 234:10	solutions 377:19
269:2,2,19 271:2	171:12 363:11	silence 19:6	250:7 260:6	378:3
271:13 272:20	SharePoint 168:3	similar 53:4 57:22	266:11 268:8	solving 307:21
275:20 285:19	190:20	84:12 110:19	282:5 303:9 334:8	somebody 81:12
286:12 287:3,10	sheet 106:12	120:13 170:14	334:9 346:1	108:19 109:3
287:16 290:10	sheets 106:12	176:10 179:10	392:22	170:22 217:13
291:1 315:21	shift 325:22	180:16 206:14	size 108:14 111:2	287:14 301:8
316:3,8 324:10	Shore 1:22	210:1 229:21	sizes 373:13	324:18 355:9
329:7 332:7	short 67:22 131:11	235:1 244:13	skew 140:11	363:4 385:11
347:15 348:1,2	206:1 343:22	294:19 325:3	skip 72:9 103:11	somewhat 52:7
serving 11:15 15:8	360:12 408:8	366:9 389:19	skipped 126:14	57:22 103:2
28:7 70:1	shortest 317:10	similarly 396:8	sliced 219:8	151:21 257:12
session 270:11	shots 294:11	simple 276:17	slide 32:17 48:1	312:6 314:13
set 42:3 55:10 59:8	should've 37:17	380:11	183:5 212:2	368:14 377:6
62:14,21 63:2	show 190:13	simply 74:12 75:16	slides 124:3,4	soon 87:15
67:8 69:4 72:13	228:11 241:14	75:22 79:22 84:13	153:21 159:16	sorry 9:13 12:17

14:7 19:19 55:5	287:1 291:5	336:21 340:5,15	113:8 226:13	341:8 354:19
68:2 76:12 105:2	310:12,14 400:1	343:2 369:7 395:4	229:3,10 384:20	362:2 366:22
121:21 126:22	404:14	404:12 407:11	stages 336:5,11	370:16
140:21 158:10	sources 92:12	specifically 30:21	stakeholder 49:14	started 55:8 73:2
173:22 177:5	102:7 150:18	43:13 53:18 54:16	57:1 63:22 333:21	78:7 261:9 283:6
181:16 182:16	155:19 193:6,6	106:15 128:19	stakeholders 23:14	283:7 289:14
183:22 194:4	198:7 234:22	173:6 185:1	29:14 48:21 56:19	350:6 355:20
198:2 201:5 202:4	266:15 270:6	273:21 287:11	57:13 78:10 334:2	starting 20:17 51:8
205:16 243:10	south 367:9	329:7 332:15	stand 101:15 201:4	63:14 80:8 176:6
247:18,19 257:18	southern 388:7	338:10 402:19	201:9 202:7	221:19 238:8
262:7 296:5,12,19	space 42:9 43:13	specification 361:1	standalone 79:9,20	410:2
297:1,8 299:4,7	44:11 87:14	specifications 25:2	129:20 130:1	starts 146:2 246:15
303:16 315:11	spaces 88:8	101:1 128:18	standard 103:7	249:7 290:12,14
319:10 329:16	span 31:2 34:19	146:7 147:22	229:21 332:20	354:2 365:19
337:22 340:9	50:4 216:12,22	150:12 191:2	349:1	state 2:1 14:14 15:6
342:2 354:9 395:2	217:8 271:4	192:1,7 235:22	standardization	18:10 45:9 46:4
400:6 409:22	Spangler 2:2 13:3,4	360:8	28:19 172:13	60:19 79:11 95:19
sort 21:3 29:21	14:6 99:6,14	specified 45:8 47:9	standardized 30:11	107:14 109:5,15
43:12 45:21 47:2	153:12,16 281:10	69:8 103:5 148:8	33:7 44:10 348:21	115:4,19 120:15
47:7 49:18 60:17	282:18,22 283:3	240:7 332:19	standards 13:1	209:9 228:12
62:20 63:19,21	speak 5:20 19:2	358:14 366:1,14	23:5 26:9,11,22	233:19 252:16
66:20 77:14 82:19	108:1 171:5	407:21	33:7 264:16 401:7	319:12 334:1
109:12 114:7,12	174:10 222:1,6	specifies 367:22	standing 5:7 15:22	348:9 392:17
114:21 117:13	241:2 290:6	specify 281:17	20:4 22:13 70:2	401:6
122:16 133:20	302:19	286:16	180:13	State-based 43:19
134:19,20 137:8	speaking 29:7	specs 337:8	standpoint 181:1	stated 96:11 180:16
141:11 142:18	104:19 238:16	spectrum 219:2,2	201:10 218:16,17	198:22 316:21
172:5 173:1,3	305:11	406:16	229:20 255:21	340:7 358:15
190:2 194:9 206:6	speaks 74:10	speculation 79:1	290:7 291:10,16	statement 240:20
212:8 214:19	244:15 369:17	speedometer 384:6	292:8 310:17	281:6 366:2,7
219:6 221:4 227:6	spec 106:12,12	spend 86:3	stands 279:6	392:6,11 399:11
227:13 229:4	special 127:8	spending 95:15	star 205:9 391:5	399:20
230:21 236:12	291:17,18,22	spirit 19:11 254:15	start 22:21 37:15	statements 24:15
240:13 245:10	specialist 16:9	split 250:16 296:3	54:8 60:15 64:1	399:11
253:11,11 255:10	specific 15:1,16	splitting 215:1	70:14 72:10,17	states 77:22 79:13
265:16 270:21	16:16 50:6,20	265:10	73:6,13,16 74:8	80:21 81:16 83:3
271:7 272:2 276:8	64:22 84:22 85:3	spoke 179:17	77:8 91:2 92:8	90:3 94:12 95:10
301:7 306:1	85:4 97:3 100:10	sponsor 78:5	93:11 94:1,21	102:17,22 108:2
313:19 316:12	106:22 123:15	sponsored 115:22	108:17 116:10	114:6,14 115:7
335:3 352:17	132:16 148:21	sporadic 272:3	121:14 123:7	119:15,16 130:1
355:8 360:15	149:9 185:4	spot 335:12	131:3 133:17	134:15 143:12,18
376:21 384:5	186:12,19,21	SR 182:11,15	147:12,20 154:16	143:21 144:1
385:5 386:15	209:9 272:19	staff 2:6 19:9,10,16	164:17 180:2,6	199:1 212:8
sound 304:13	275:7 293:6	22:9,16 25:13	208:10 215:19	224:11 228:2
sounded 280:9	308:21 309:1	36:9 71:4 74:11	218:6 224:5 248:9	248:21 266:18
source 108:21	310:15 313:12	165:7 409:18	250:4 256:12	267:15 317:16
193:11,15 245:10	321:1 330:7 331:7	stage 9:6 69:4	262:4 263:2 285:9	318:7 332:10

348:10,11 372:16 405:6 statistical 103:4 148:7 332:18 366:15,17 367:2 statistics 176:19 209:21 status 66:9 98:11 102:12 112:21 194:20,20 195:1,2 195:2 213:8 233:13 271:19 329:10 356:2 400:21 403:5 stay 62:4 64:17 292:4 344:5 352:8 355:20 356:10 360:16 390:20 398:14 stays 356:14 360:12 steep 20:6 steering 1:3,8 13:17 40:21 173:7 step 56:9,16 58:11 179:16 276:13 302:21,21 315:21 325:4 339:16,16 339:21 stepped 324:17 stepping 6:16 steps 26:6 48:14 340:1 Steve 31:15 32:1 sticking 207:10 stimulated 136:5 stink 365:16 Stocks 72:2 stop 99:2 101:8 165:18 342:7 stops 144:8 Stoto 2:3 71:21 319:3,7,14,17 324:4 326:19 335:11,20 336:15 336:21 337:22 340:4,10,21 341:5	361:5,19 363:8 374:22 379:7 393:4 395:13 396:18 straddling 306:2 straight 75:7 324:1 straightforward 283:18 310:18 strands 375:20 376:6 strategic 47:17 59:20 Strategies 30:17 strategy 13:8 15:5 20:21,22 48:17 50:7,18 51:1 62:22 109:22 stratification 150:16 216:14 217:6 229:9 382:7 382:12 400:12 stratifications 216:15 stratified 383:7 stratify 227:19 383:8 stratifying 219:14 streamline 168:7 Street 1:9 strength 97:9 220:18 221:2 strengths 58:15 59:5,10 175:1 stress 7:21 stretch 327:4 stride 269:5 strike 77:15 strikes 324:12 393:7 stringent 381:6 stroke 372:13 strong 32:18 57:20 85:9 87:17 94:6 294:18 295:1,6 375:5 376:8 380:3 393:1 stronger 322:11	strongly 85:13,16 137:5 struck 194:17 326:21 structure 34:9 76:21 127:3 137:13 138:22 232:15 262:1 323:15 367:12 386:21 structured 369:1 structures 126:11 struggle 41:13 42:18 236:18 278:10 387:8 struggled 44:16 45:18 151:10 struggling 170:17 172:12 277:14,14 283:16 STS 376:4 stuck 294:15 studies 180:18 210:21 222:15 247:12 262:17 318:9 369:14,14 369:22 377:8 study 301:18 318:15 375:18 stuff 99:11 222:8 sub 152:7 sub-criteria 116:20 242:11 sub-criterion 149:9 149:12 166:22 171:22 sub-topics 34:20 subcommittee 64:12 subcontract 79:19 subject 356:1 subjectivity 137:18 172:16 173:1 submission 40:8 75:4 149:13 219:17 242:22 254:13 302:10,13	302:18 submit 38:11 75:1 203:8 213:2 281:3 303:4 348:19 submits 108:19 submitted 23:2 25:2 26:12 37:2 73:20 75:6 127:4 127:12 147:21 182:11,13,20 224:17 297:18 400:8 subsequent 39:7 136:12 287:16 324:7 subset 258:3 272:18 309:16 subsets 51:3 substantial 251:3 333:18 substituted 160:20 subtle 258:12 successful 60:11 376:15 sufficient 112:7 149:4,14 158:21 sugars 91:18 suggest 65:17 92:22 93:9 303:9 322:19 suggested 51:12 52:18 64:15 65:12 84:20 105:13 188:9 308:20 342:12 375:13 suggesting 302:10 323:1 379:19 suggestion 137:9 280:21 327:19 suggestions 24:18 24:20 suggests 122:6 135:9 222:4 316:6 323:2 394:4 suitability 200:8 204:6,18 251:18 258:20 259:1	268:15,18,22 312:11 344:3 406:3 408:4 suitable 44:22 summaries 222:15 summarize 62:11 133:10 summary 225:8 329:16 409:5 summing 239:8 supervising 82:21 supervision 80:19 81:17 107:2,13,15 107:16 109:13 supply 377:2 397:13 support 63:9 66:21 87:4 88:4 94:19 96:20 98:20 149:16 180:18 215:10 278:16 295:6 309:18 317:6 335:15,16 358:21 377:15 supported 85:13,16 208:18 210:21 211:1 228:4 237:7 333:14 supporting 376:9 supports 70:7 126:9 358:20 supposed 132:8 151:12 200:14 239:5 240:6 358:20 373:21 378:19 supposedly 390:22 sure 29:9 30:18,19 32:2 36:10 40:7 55:6 58:1,17,21 59:12,22 60:5,17 71:5,9 85:10 96:5 101:13 106:9 113:10 123:11 133:17 139:15 147:3,8 158:19 159:3,17 164:9
---	---	--	--	---

179:15 185:22	370:17 371:11,14	337:5 339:17	66:9	75:14 84:6 85:22
186:2 187:4 189:8	371:15 378:7	367:5	taxonomy 102:18	87:7 89:2,3,7
201:13 202:21	386:11 389:14	taken 177:12	332:8	108:4,5,7 109:19
214:10 215:18	393:14	265:22 372:1	teaching 289:4	112:21 113:1
232:1 237:1 238:1	systematic 97:15	takes 75:3	team 17:13 74:9	115:2 130:15
238:3 242:2	211:2 223:14	talk 32:22,22 39:16	119:8	137:12 138:8
243:13 244:21	252:22 297:18	39:16 40:5 41:3	teased 188:11	140:6 147:1
254:9 272:15	298:5 302:6,21	41:20 47:18 55:16	technical 12:22	154:20 187:11
302:16 313:16	303:2 318:6,13	56:11 65:9 77:11	17:12 90:14	203:17 208:16
316:2,15 330:3	364:4	105:21 167:4	technically 133:12	216:7 220:20
335:1,4 336:2,17	systemic 381:14	169:11,18 177:10	teen 254:21 255:5	223:6,20 228:18
345:19 351:8	systemized 303:13	178:3,16 209:14	teeth 97:6 101:21	230:1 231:14,16
357:13,20 358:16	systems 82:15	215:12,16 217:11	120:20 121:4	245:5 247:21
368:19 385:5	93:18 118:10	218:10 232:6	201:19 221:16,17	252:19 253:14
386:2 395:19	130:9 135:16	247:20 248:1	222:19,20 223:9,9	255:22 259:17
407:8	155:1 213:19	286:2 306:18	223:22 226:15	260:1 270:7,14
surpasses 369:16	306:20 307:1	349:1 369:7 380:5	teleconference 2:18	272:6,8 278:1
surveillance 251:10	394:5	talked 65:8 66:18	telephone 64:9	283:11,22 285:20
suspect 131:15	systemwide 52:1	95:9 101:6 158:6	205:10	295:10 315:22
390:19		177:17 188:22	tell 8:17 19:6 97:19	321:10 325:8,13
suspicion 91:22	T	265:15 318:12	121:4 219:14	338:17 339:9
sustain 60:13,18	t's 337:8	332:11 338:16	220:11 221:3	355:2,14 359:3,21
sweeping 66:12	T-A-B-L-E 3:1 4:1	403:3	225:3 301:5 358:8	362:12 369:18
switch 163:1	table 1:18 8:17	talking 29:6 56:5	373:9	373:15 397:9
synopsis 167:13	11:6 24:6,8 32:12	149:10 150:9	telling 87:18	407:19
synthesis 303:11	42:15 43:4,7	178:17 179:21	ten 39:1 58:6 67:3	Territorial 2:2
system 1:21,22	70:17 89:20	189:12,22 236:2	75:1 92:6 93:6	15:6
10:17 30:22 32:3	102:19 152:4	248:22 260:1	114:17 200:4	territory 312:6
32:4 45:21 51:19	181:14 241:14	293:4 298:2,12	249:9,10 250:7	test 125:1 148:7
75:15 82:20 86:10	243:11 248:6	332:16 339:9	304:4 345:2 378:5	245:13 313:1
110:11 112:8,10	339:19 362:3	347:4 349:19	ten-minute 269:12	334:5 337:2
113:1 117:12	374:9	351:14,15 361:6	tend 255:6 286:8	tested 30:11 148:6
130:11 131:8	tables 243:1	369:8 383:11	377:5	381:8 402:4
139:6 141:6,9,12	tackle 371:14,16	talks 188:1	tenet 32:17	testing 25:3 27:13
142:9,15 189:10	391:12	tanked 353:22	tentative 53:21	27:14 44:3 89:6
193:13 194:8,14	tag 177:6	tap 91:14	term 9:16,20 10:3	90:2,8,15 103:3
195:4,8,14 196:15	take 18:10 21:18	target 86:3 113:9	10:20 15:2,8,17	103:13,13 112:14
197:1,11 202:1	22:9 30:9 48:14	142:14 258:3,5	16:5,13,19 17:1	119:8 120:14
203:17,22 213:3	49:5 54:6 61:6	targeted 33:14	18:16 20:14 22:15	121:9 134:14
214:9 217:22	65:22 73:20 76:10	110:12	81:4 110:2 200:20	146:7 148:11,16
230:10,12 239:15	114:2 131:20	targeting 258:4	202:5 292:2	150:13 176:18
275:9,14 276:5	154:1,2,7 164:4	targets 59:17	319:13 363:1	187:8 191:2 192:2
327:17 359:10,12	175:13 205:14	task 29:17 51:6,11	terms 28:7,8 37:19	236:1,4 242:9,11
359:16 361:15	212:11 225:2	59:15 63:14	39:11,19 49:19	243:7 245:6
362:8,15,20 363:2	247:1 253:20	224:11	50:1 52:12 53:2	264:16 330:8,9
363:5 364:11,20	269:8,12 276:3	tasking 187:16	53:14 54:12 58:13	332:16 333:2,4,7
365:2 370:11,12	285:22 302:12	tax 42:21 43:20	59:21 60:10 65:1	337:4 350:9

402:20	170:22 173:2	49:19 50:16 51:8	255:17 256:5	66:20 149:3
tests 103:4 332:18	176:16 190:5	51:13,22 53:5,15	257:1,4,15 258:17	170:22 201:3
Deutsch 31:15	195:19 201:20	54:19 55:15 56:8	269:5 270:19,20	213:6 218:13
Texas 105:11,17	209:8 211:12	61:13 63:14 64:5	272:14 275:1	352:11 368:2
158:18 233:19	213:6,11 226:8	64:20 65:15 66:12	279:8 286:6	370:16 386:1
245:3 249:14	245:5 252:15,20	66:22 67:12 69:1	288:10,12,12	406:12
267:16 342:10	260:4 261:4	70:2 71:2,20 72:6	290:3 296:12,20	thinks 242:18
text 189:21 338:18	270:20 285:13	91:22 92:11 93:22	299:22 300:6,14	third 35:20 66:17
thank 7:6 11:4 17:2	288:1 289:22	94:10 99:4 101:9	301:3,11 302:13	108:13 147:10
18:18 19:14,15	292:11 300:19	104:14 106:8	302:15,17 303:22	Thomas 1:9,12
22:7 28:4 47:21	312:16 316:4	108:18 109:2,22	305:10,11 306:3,8	thought 18:19
61:9 68:10,12,16	325:7 326:19	110:21 111:11	312:7 313:4,14	44:20 49:21 65:4
74:7 76:12,13	327:6 338:16	116:5 121:14,17	314:4 316:11	74:5,14 76:10
84:5 89:10 117:8	357:16 371:14	123:22 124:9	321:6 322:8,10	136:5 153:16
121:20 157:14	372:12 375:15	126:5 128:8 129:4	323:6,8,15,21	214:1 234:19
161:12 181:22	382:19	131:11 132:21	324:2,9 325:7,8	276:2 288:7 302:3
183:21 198:15	things 7:16 45:13	133:2,15 134:4,12	325:19 326:8	326:9 327:2 363:3
202:2 205:20	46:10 56:4 66:14	135:3 136:14	327:13 332:4	thoughts 137:1
229:13 234:2	74:4 81:14 93:21	137:7 139:2,3,7	335:12 343:2	171:4 173:19
246:10 248:6	109:13 111:10	143:6 147:20	344:17 352:10	174:4 252:2
262:20 267:1	114:15 133:19	149:20 152:9	353:2 356:22	threat 334:10
269:4 272:11	154:11,12 162:22	154:11,19 164:13	359:7,12,19 360:5	threats 103:16
300:3 311:14	164:14 165:18	164:15 165:8,22	360:9 361:16,19	150:15 192:3
312:4 319:3 322:6	166:6 170:16	166:3 168:11	362:7,17,19 363:1	333:10
330:11 335:8	171:12 174:22	170:5,5,7,16	363:1,6,9,18	three 10:22 11:2,18
345:2,4,6 349:16	177:14 178:11	171:7,10 172:1,3	365:18 366:8,15	14:7,8 17:13 20:2
351:4 352:14	190:14 195:12	172:20 173:1,21	367:2,4 368:9,13	30:17 38:14 48:1
382:4 396:20	226:19 229:3	175:21 177:14	368:21 369:3,5,6	48:18 52:15 62:17
403:19 408:12	236:22 240:13,16	178:15,19,22	369:10,10 370:7	76:19 92:22
thankful 360:3	251:21 252:9	179:20 180:21	370:12 371:10,12	104:17 114:9
thanking 73:16	253:17 280:14,18	190:6 198:21	371:20 374:22	117:11 118:13
thanks 22:10 68:13	286:20 289:2,4,15	201:10 202:15,16	375:5 376:8	121:22 124:1
71:15 207:10	301:7 312:22	202:20 203:19	378:10,13 379:13	127:11 128:6
309:5 319:22	314:8 331:22	204:1 206:5,10,14	379:21 380:1,16	129:9 135:13
394:14 398:19	357:18 358:21	206:21 207:19	381:3,13 383:10	146:12,17 150:20
403:22	359:4 367:13	214:22 216:11	383:14 384:3,11	151:2 153:10,16
theirs 317:19	368:5,6,16 372:4	218:9,21 219:9	384:15,19 387:13	156:2 159:6 160:2
themes 377:14	380:11 383:6	220:3 221:10,20	387:17 388:13,19	160:9 162:6
therapists 83:1,2	386:22 390:7	227:4 229:2,9,14	389:19 390:4,7,15	182:20 184:7
therapy 118:16	395:4	238:16 240:8	391:1 394:7	185:9 191:5
they'd 354:20	think 11:6,14 19:1	241:10,13 242:6	395:20 399:2	192:10 194:21
thing 7:14 11:6	19:3,4 21:1,20	243:6,10 244:12	400:17 401:2,15	197:8,12 198:12
13:15 16:19 20:3	22:6 30:1 35:19	245:18 246:5,8,20	402:2 406:6,17	199:13,20 204:22
67:17 76:5 104:17	42:4 43:6,9,10,14	249:15 250:22	407:6,6 408:8,15	207:3 209:15
130:6 139:14,16	43:21 44:5,6,9,15	251:19 252:7,15	408:18	212:9 225:6,10
144:15 146:1	44:17 45:1,19,22	252:21 253:15,21	thinking 52:9,21	239:19 244:13
159:7 169:4,7	46:1,6,18,21	254:6,19 255:12	56:5 57:8 65:2	246:18,20 259:3

282:13 284:8,20 317:11 319:12,20 326:1,1 405:6 threshold 248:15 387:2 thrown 305:14 Thursday 61:4,6 68:2 tied 274:2 ties 60:4 time 13:14 15:2 19:2 21:20 23:16 25:15 38:4,7 40:20 43:11 49:6 60:14,18 68:1 75:3 81:4 85:20 89:11 91:8 92:20 99:8 104:18 112:18,19 115:8 128:12 131:14 134:2 135:14 136:21 137:2 145:16 146:13 152:12 163:3,7 179:17 186:9 187:7 194:19 195:8,9 196:4,20 199:5 205:8,12,22 212:4 218:21 236:7 237:3 249:18 251:12 253:5,7 254:8 255:18 256:2,19 257:5,13 279:5 282:10,16 311:13 315:10 316:9 325:17,18,20 339:8 351:5 354:19 369:9 383:15,18 386:19 391:8 396:14 405:10 timelines 21:21 timely 96:12 347:15 timer 127:13 146:2 times 26:1 29:18	94:17 168:14 174:10 206:16 240:12 396:11 tinker 238:20 title 260:13,16 269:18 327:18 tobacco 42:21 today 8:7 9:12 16:13 18:1 37:20 40:11 43:16 48:6 49:5 61:17 64:10 69:5,21 70:6 76:15 88:18 89:19 132:21 140:16 265:18 266:16 279:8 319:6 347:7 366:4 409:2,5 today's 166:13 Tom 8:19 71:12 91:3 214:13 237:10 269:7 386:16 391:21 tomorrow 32:21 37:21 65:18 178:16 346:1 347:5 384:19 390:18,21 391:3 410:1 tomorrow's 345:15 tonight 409:3 tons 174:16 tool 122:1,15,15 228:1 tools 122:9 tooth 85:4 87:2,5 92:17 96:15 97:7 101:4 102:4,4,9 120:2 179:14 186:19 292:6 top 48:5 105:21 116:1 133:21 topic 20:19 50:6 53:1 63:4 241:3 279:1 397:16 topical 4:9 207:15 208:5 209:7,10 219:4 223:2,7,21	224:14 238:18 259:4 torn 236:12 tossup 352:17 total 32:8 totally 84:16 329:20 touch 194:7 track 201:22 325:10 tracked 287:17 396:1 tracking 293:18 traditional 46:7 49:18 51:10,16 113:5 363:3 traditionally 49:21 63:9 trained 93:10 trajectory 93:2 transcends 215:14 transcribed 5:18 transcript 126:3 162:9 175:15 transfer 399:16 transferred 346:19 transfers 354:5 transformed 79:2 transition 225:20 translate 174:21 transmit 113:16 transparency 160:1 199:12 transparent 60:6 travel 370:4,5,7 treated 140:19 142:6 treating 380:8 385:9 treatment 50:11 53:8 119:22 238:18 271:15,20 274:2,3 276:8,12 276:15 284:21 285:6 287:4,9 288:10 289:2,9 290:10,13,21	317:2 347:19 352:9 355:8 373:4 376:10 treatments 118:19 tremendously 257:16 trend 253:16 325:20 trends 252:13 trial 294:1 301:19 303:13 trials 97:16 392:22 tried 85:10 159:15 195:12 219:11 283:8 trigger 190:16 219:3 triggered 213:22 troops 371:6 true 47:12 279:8 285:15 368:13 truly 43:7 254:11 294:13 305:17 try 63:14 64:17 75:8 78:16 80:4 129:14 139:5,8 143:13 144:2 174:18 214:6 257:5 258:10 286:22 288:22 290:11 345:11 384:2 391:11 392:3 trying 6:9 29:8 41:21 44:9 48:20 50:20 51:22 52:12 53:14 58:2 60:1 62:4 63:18 64:6 66:22 92:4 95:20 116:5 121:1 124:19 141:2 143:3 149:20 152:6,16 157:8 158:15 174:17 201:21 212:10 216:20 224:2 228:5 272:9	287:20 306:7 326:22 335:11 339:2,10 343:5 369:22 370:13,14 370:21 371:5 378:19 390:4 TUESDAY 1:5 turn 5:9,20 7:4 20:16 28:1 40:5 47:16 68:14 73:14 76:9 84:4 90:20 104:19 145:15 202:17 351:2 turned 91:19 turning 342:8 turns 348:13 370:17 Twain 279:4 twenty 34:14 twenty-four 34:16 twice 211:19 282:5 313:22 two 6:4 9:16,20 10:4,9 12:5,13 13:2 18:1,10 20:13,15 22:14 29:6 33:10 35:20 35:21 49:7 60:9 62:20 73:14 74:17 74:20 80:3 82:7 82:10 84:21 90:3 101:22 110:21 111:10,22 118:5 120:15 123:22 126:12 127:10 128:5 146:11 148:4 150:19 151:2,9 153:9 156:1,6 157:8 160:8 161:22 173:12 182:20 183:13 184:6 185:8 186:21 191:4,9 192:9 198:11 199:19 203:4,22 204:13 206:13 208:5
--	---	---	---	--

209:10,17 213:12 214:12 222:2 226:19 227:3 233:1 244:12 246:4,16 250:8,8 251:2,4,7 263:5 266:12,18 267:15 267:15 268:5,20 271:17 277:8 280:14,18 282:13 300:21 301:14 306:20 311:9 315:15,21 316:3 316:18 317:5,9 319:12,18,20 320:8,10,19,22 322:13 323:3 324:7,7 325:6,11 326:1,1 339:22 341:4,5,6 345:18 345:22,22 347:7 349:20 350:6,10 350:21 356:3,6,11 356:15 359:6 360:1,4,6,14 370:4 375:17,20 376:6 377:14 384:16 395:4,8 402:18 409:6	213:7 <hr/> U <hr/> U.S 92:21 UC 2:15 346:6 UK 317:7,9 318:2,7 ultimately 139:12 280:3 371:22 unanimous 334:1 uncertain 206:16 unclear 45:19 uncomfortable 157:2 313:10 362:7 underestimate 239:7 underlying 221:18 228:16 243:22 350:17 underneath 323:16 understand 141:3 164:19 165:14 171:11 200:19 203:3 214:7 216:20 217:7 226:9,17 272:16 284:12 287:21 290:18 300:12 356:2 393:4 understandable 153:4,7 understanding 91:6 133:11 147:7 165:20 203:16 221:15 261:1 263:16 306:5 321:11 371:19 379:16 385:5 understands 59:22 understood 361:10 363:13 undertaking 374:11 underway 65:13 unfair 362:17 unfortunately 91:15 160:22	292:13 315:20 395:22 uniform 348:18,21 unintended 160:6 199:17 unique 260:4 unit 291:18 353:14 United 224:11 units 359:22 universal 33:3 universe 78:3 133:14 385:6 University 1:14 2:3 2:4 14:11 17:5,17 89:20 122:11 319:8 unsealed 121:5 untangle 227:13 untreated 331:4 unusual 77:15 unwell 258:6 update 318:22 397:18 updates 38:12,13 upper 255:5,14 uppercase 6:11 upstream 33:19 52:13 53:11 56:6 upwards 113:16 140:19 urban 14:12 383:2 396:9 397:11 urgent 291:20 350:20 376:13 usability 27:17 38:18 105:10 153:4,17,19 157:17 158:9 159:22 167:10 198:21 199:3,11 200:3 249:13,21 250:1,6 267:21 268:1,7 311:8,15 311:17,22 312:17 343:10,13,17 405:6,14,16,21 usable 239:14,21	usage 380:17 use 11:11 18:12 27:17 29:19 30:3 38:18 41:22 42:4 51:12,15,22 52:15 52:18 59:16 63:13 66:18 69:14 86:18 105:10,13 119:11 119:12 120:12 122:8 123:18 130:10,10 131:7 132:14 134:8 135:13 137:3 139:8 143:3,16 148:15 153:19 157:17 158:3 159:22 160:1 161:4 167:9 181:6 185:7 186:20 196:1 197:1 198:1 198:21 199:1,3,5 199:12 200:3 201:12 208:10 211:22 215:6 217:5 219:5 221:6 224:14 227:16 228:2,3 231:12 233:13 239:5,7,22 249:13,14 250:2,6 265:10 267:13 268:2,7 271:13,14 271:21 272:9 280:12 287:16 311:9 312:17 314:22 316:8 325:15 339:1 342:12 372:2 373:18 374:8,13 374:19 376:17 378:2 379:11 386:17 389:2,10 405:9 useful 153:5,7 255:22 300:13 325:21 327:6 363:19 369:11 381:16	user 6:9 106:13,15 129:22 130:14 196:13 216:3 371:19 uses 200:20 216:9 216:16 349:7 usual 290:4 usually 41:1 225:17 281:21 292:15,15 324:9 351:22 utilization 4:12 142:14 143:14 194:13 259:8,18 260:14 263:10,11 269:1 285:20 324:1 348:8 355:4 355:19 398:15 utilized 272:21 utilizers 145:7 utmost 100:20 <hr/> V <hr/> vaccine 402:21,21 vaccines 373:1 394:6 Valdez 2:3 17:14 17:15 44:14 67:2 67:2 104:5 106:18 106:19,21 177:5 210:17 214:13 231:22 233:5 234:13 235:20 241:3 246:22 248:18 249:12 260:12 262:12 263:8 264:10 265:7 266:14 267:13 344:8 valid 42:21 43:9 86:14 118:22 139:3 247:8 253:16 321:16 369:10 387:3 validated 122:1 314:3 validation 122:3,16 135:8
--	--	---	--	---

validity 27:14 103:12,13,15,15 103:16 128:21 138:14 148:11,16 149:18 150:10,12 167:6 170:13 176:18 186:16 187:1 190:7 191:22 195:16,17 195:22 209:22 229:17 239:1 240:19,22 241:9 242:4 243:3 247:1 247:5,16,17 248:7 265:8,20 266:5,7 266:11 309:14,19 310:1,3,8 313:20 313:21 331:21 333:2,4,7,9,9,11 333:19,22 334:5 334:11 335:3,5,7 335:10,16,19,20 336:18 337:6,11 337:13,15,19 338:5,18 374:2 376:22 387:13 402:17,20 403:7 404:3,8 validly 135:10 valuable 49:4 valuation 65:21 value 51:2,17 63:20 156:22 236:5 Value-Based 63:12 values 25:5 vanishingly 378:4 variability 246:7 variables 99:20 variation 98:9 114:4 128:2 138:8 184:3 188:3,7 189:22 223:10 228:12 254:1,4 329:5 393:13 395:7 variations 108:7 varied 25:5 280:4	varies 220:18 variety 17:20 23:14 45:13 115:18 138:2 143:13 227:9 262:17 286:20 295:11 324:11 367:12,13 various 14:4 64:7 80:5 168:13 254:2 372:12 382:18 varnish 209:18 210:7 213:10,12 224:15 225:5,10 225:12 231:13,19 257:8 274:10,11 varnishes 239:6 vary 114:10 131:13 221:2,3 229:1 varying 45:6 vast 108:22 109:10 131:15 139:7 406:21 vendors 401:6 Venkatesh 2:4 17:3 17:4 121:17 128:20 133:9 134:6 135:6 152:9 170:7 219:15 221:9 230:21 241:12 242:10,15 242:20 243:2,13 250:21 287:19 288:14,20 300:5 301:11 314:5 315:9 322:7 365:18 386:15 406:18 version 204:3 336:16 versions 376:1 versus 21:12,12 44:4 76:7 95:16 111:19 119:11 121:6 140:6 159:14 165:4 222:19 229:16 236:13 239:1,13	258:6,7 282:19 301:8 304:18 305:7,14,19 343:3 349:2 353:11,12 357:8 383:13 398:15 402:21 vessels 120:3 vetted 63:21 152:1 Vice 2:7 13:19 18:3 view 136:14 218:1 viewed 170:15 viewing 323:13 viewpoints 250:17 viral 406:9 407:4 vis 300:8,8 visit 83:16 194:21 197:7 271:6 277:2 280:9 281:21 282:19,20 289:9 294:9,13,21 323:1 324:2 visitations 300:20 visits 83:18 212:12 279:5,11 284:21 285:22 286:1 288:6 301:2 318:12 322:13 324:7 vogue 377:19 voice 173:2 174:19 176:1 volition 354:11 voluntary 61:14 348:8 volunteer 8:4 vote 40:18 100:4 116:13 123:8,11 123:12 124:10 125:2,4,18 128:9 146:3,18 147:6 150:8 151:3,4,6 151:13 152:22 155:12 156:8,9,13 156:16 157:9,18 160:16 161:14 163:7,9,10,11 165:15 166:7,22	167:6,7,8,10 168:22 169:9 170:20 173:11 174:21 175:13 178:4 180:3 181:15 183:8,10 184:16 185:15 191:11,14,21 192:16 193:9 200:2,5,5,11 204:16 206:17 207:13 229:16 232:8,11,21 233:2 233:2,3 234:8 235:10,16 236:19 240:6 241:10 246:9,19 248:10 248:12 249:22 250:11,13,15 257:16 258:17,21 261:21 262:7,10 262:11 265:1,5 266:5 267:3,7,22 268:12,14,16 269:3 295:16 296:3,11,12,16,21 297:3 299:18 304:4,8,12 306:13 307:5,14 308:7,14 308:18 309:4 310:1,7,8,20 311:16,21 312:2,5 312:7,10,15,22 313:5,15 314:2,4 314:7,12,21,22 315:3,4 327:21 328:4,21 330:14 331:11 334:13 337:9,13 341:18 341:22 342:1 343:10,18,21 344:1,4,5,11,12 344:13,14 394:12 394:18,20 395:12 397:22 398:18 399:3 400:5 402:5 404:2,17 405:14	405:18 408:2 409:10 voted 99:9,9,10 124:7 125:20,20 125:21 148:20 183:16 299:19 312:18 328:7,8,8 328:8,9 330:20,21 330:21,21 331:17 331:18,18,19 334:20,21,21,21 337:19,19,20,20 398:6,7,7,7 399:5 399:5,6,6 402:13 402:14,14,14 404:8,9,9,9 405:2 405:3,3,3,21,22 405:22,22 votes 71:7 124:19 127:19 146:16,17 146:18,19 151:2,2 151:4,7,8,9 154:13 156:6,10 156:12,12 157:8 157:13 160:13,14 160:15,15 162:4,6 162:6 163:13,16 183:13,16,17,18 183:18,19 184:10 184:12,12,13,13 185:13,14,15,16 191:9,18,19,20,20 192:14,15,15,16 198:16,17,18,18 198:19 200:2,4,4 204:16,21,22 206:12 232:21 233:1,1 234:9,10 234:11,11,12 235:16,17,18,18 235:19 246:16,17 246:18,18,19,21 248:11,11 249:8,9 249:10,10,11 250:5,7,7,8,8 259:2,3 262:7,8,9 263:3,5,5,6,6
---	---	---	---	---

264:5,7,8,8,9	262:5 263:2,3	65:22 67:21 68:22	248:6 250:14	371:13 380:17
265:2,4,4,5	264:4,5,22 265:3	69:12 71:13,22	256:7,22 272:15	390:5
266:10,11,12,12	266:9,10 267:6,9	82:15 86:3 91:4	315:7 330:1,3	ways 20:6 43:21
266:13 267:8,10	268:3,7,19,21	96:3 101:14 105:2	349:17 359:22	67:3,5 108:11
267:10,11,11	295:21 296:1,15	113:6 116:8,19	wanting 231:22	129:19 143:13
268:6,8,8,9,9,21	296:16 297:6	119:2 125:22	373:11	164:8 174:20
269:2 295:22	304:1,6 307:10,11	130:17 142:16	wants 103:22	215:7 286:17
296:16,17 297:2,3	308:11,15 309:8	147:11 159:5,16	213:18 272:12	313:1 323:22
307:11,14,14,15	309:10 310:5,7	162:22 163:18,20	359:2 388:17	we'll 22:7,20 23:8
308:15,17,17,18	311:1,3,19,22	165:14,15,21	391:15	23:19 26:15,17
309:10,11,12,12	313:8 328:3,5	168:1 169:21	washed 385:22	27:16,18,19 38:3
309:13 310:7,9,9	330:17,19 331:14	172:4,18 175:18	Washington 1:9	39:22 40:14 47:14
310:10 311:3,5,5	331:16 334:17,19	175:19 176:15,16	16:3 76:20	47:15 49:7 56:10
311:5,6,21 312:1	337:17,18 341:21	177:2 179:15	wasn't 52:19 106:8	62:20 70:5,18
312:1,2,8 313:7	343:14,16 394:16	180:1 185:22	106:9 151:11	73:12 74:2,13
328:5 330:19	394:18 398:3,5,22	186:9 187:4	178:5 201:5 242:2	89:12 90:22 91:2
331:16 334:19	399:4 402:9,11	190:10 201:13	313:20 321:4	95:22 99:16 100:1
337:18 339:15,17	404:5,6,20 405:1	204:1 205:20	322:18,18 332:17	100:4,11 116:11
339:20 342:4,5,6	405:17,19 408:4	208:9 211:3	401:3	125:13 140:21
343:16,18,18,19	voting's 259:1	239:11,21 243:3	water 34:1 91:7,11	145:15 150:9
394:18,19 398:5	VP 19:20	245:11 247:20	91:15,20 94:4,8	152:20 154:1,15
399:4 402:11	W	256:10 258:10	95:7,9,16 386:8	157:16 162:19,21
404:6,22 405:19	WA 1:18	276:14 278:12	386:13,20	163:8,9,13,17
408:9	wait 125:17 153:12	280:11 303:3	waters 91:20	175:14,15 179:16
voting 26:20,21	312:14 314:15	312:10,17,18	watershed 88:20	180:1 185:17
27:18 71:5,10	waiting 124:12	313:18 314:2	way 29:20 47:9	198:20 200:9
99:7 124:4,5	127:15 128:9	315:17 327:7	67:14 80:17 81:7	205:15 207:11,12
128:17 145:18	151:2,3 156:6,7,9	328:20 335:4,6	87:17 92:2 96:12	210:13 232:10
146:16 150:20	191:9 204:16	344:2 345:18	97:22 107:11	233:7 237:1 241:6
151:5 152:7	232:20 234:8	346:22 349:10	111:9,15 117:10	241:7 255:13
153:11 156:3,10	235:15 246:16	354:17 362:22	117:13 120:19	256:4 266:5
157:11 159:15	262:6 265:1 267:7	369:12 370:10	130:12 135:5	273:10 291:19
160:9,13 161:4,22	268:5,20 296:15	374:19,20 375:16	137:14 139:9	304:12 328:17
162:4 168:12	308:13 310:6	380:7 384:2 385:4	145:3 168:6	344:13 345:1,11
172:2 183:5 184:8	311:20 328:4	386:2 387:18	170:20 171:12	347:4 349:19
184:11 185:10,13	341:22 394:17	388:1 391:4,9,11	175:9 176:2 188:8	360:7 362:2
191:6,15,18	399:2 405:18	408:7	201:6 206:20	390:16 391:2,3
192:11,14 198:12	walk 117:4 188:14	wanted 5:14,16	219:11 220:14	392:2 399:8
198:16 199:21	210:15 269:9	22:12 32:1 34:2,5	240:4 243:9	404:17 408:15,16
200:2 203:3	walking 274:16	67:16 68:21 77:4	244:18 245:17	410:2,3
204:13,17 206:4,9	277:10	77:7 84:12 104:9	252:7 283:6	we're 7:7 9:14,17
206:19 207:8	want 7:21 8:9 9:14	106:2 116:17	299:19 316:22	13:12 16:12 20:17
232:5,19,22 233:5	11:13 18:20 19:6	154:16 175:13	326:10 327:13,18	20:18 23:17 26:11
234:7,9 235:14,16	19:13 41:11 44:12	176:6 181:14	340:11 359:8,19	27:13 29:8,12
236:13 241:4	47:1,9 55:22	190:18 192:19,22	362:3 363:3,18	30:14,21 31:7
246:14 248:9	63:20 64:1 65:20	202:5 208:7 217:1	364:4 365:4	37:20 39:2 41:17
249:7,8 250:4,5		236:20,21 245:8	367:18 368:2	46:20 48:22 53:22

55:12 58:1 60:21 62:4,17,17 64:10 69:13 70:4 72:7,8 72:15 73:1,5,10 74:18 77:16 85:20 90:16 95:18 99:12 112:21 113:8 114:9 116:10 123:4,13 124:19 124:20 126:6,16 126:17,18 128:8 143:8 145:18 147:8,12 149:18 151:1,3 152:3,6 156:5,7 157:12 159:10 163:1,5 165:16 168:11 170:17 171:18 175:9 177:14 178:17 179:13 183:1,22 186:6 191:8 196:7 200:13 201:20 202:21 204:15 205:13 207:5,14 208:21 228:5 229:10 230:18 232:7,20 235:15 235:21 240:8 241:4,10 242:5,6 246:9,16 248:13 248:15 251:22 255:15 258:17 259:7 261:15 265:1 266:2 267:7 268:5,11,20 269:5 269:7,8 272:9 275:18 283:14 287:11 288:16 291:6 296:12,15 296:20 304:5,22 306:10 308:13 310:6 311:20 312:6 314:11 318:20 319:10 323:13 341:3,22 342:2 346:11	355:22 357:1 361:2,22 363:9 364:19 366:16 369:8 370:13 372:7 373:22 374:1 379:11 384:17,18 385:6,9 385:17 386:12 390:21 391:11 394:17 399:2 405:18 408:4,8,19 408:21 we've 21:20 23:14 24:3 29:21 30:8 37:3 39:7 44:20 48:19 49:21 50:12 57:18 60:20 61:1 65:8 74:20 75:1 78:16 85:10 91:7 153:20 159:15 167:1 169:15 177:12 236:2 248:22 252:9 265:14,17 266:15 293:3 303:17 306:14 308:4 309:19 313:8 318:12 344:15 378:2,4 392:14 weak 87:22 270:8 weakness 175:2 weaknesses 58:16 175:2 web 61:5 websites 373:10 weeds 109:12 weeks 69:3 weigh 152:5 158:16 168:17 weighed 51:6 weighing 213:2 weighs 49:22 51:10 Weill 1:16 18:6 welcome 3:3 5:6 19:7 173:13 205:15 well-being 96:17	went 72:20,20 74:14 88:3 117:13 154:9,9 195:17 203:7 205:18,18 210:9 213:14 232:1 269:15,15 321:12 326:9 342:3 345:8,9 350:7 385:15 389:4 weren't 157:22 215:2 314:12 western 122:13 397:12 whatnot 324:20 388:3 whichever 341:7 who've 20:5 257:8 Whoa 267:21 whoever's 401:21 Wi-Fi 6:9 wide 66:12 234:15 widely 77:3,9 122:12 189:11 241:19 widened 397:9 wiggle 339:1 willing 84:16 89:12 283:5 284:18 willingness 104:2 winners 67:8,8 wise 163:19 wish 202:13 wishes 132:4 wonder 55:11 92:6 160:20 164:4 215:11 217:11 218:1 324:6 369:21 wonderful 20:3,13 wondering 43:1 61:18 117:3 190:2 221:22 222:6 241:1,22 243:21 301:15 325:5,10 400:9 401:12 Wood 1:19 2:3	10:13 11:8 word 317:20 345:18 346:22 words 295:7 348:4 349:8 372:9 385:2 work 7:17,18 8:7 9:11 10:12,17 14:22 15:12 18:8 19:21 21:1,8 25:14 29:3,9 30:6 30:14 31:7,12 32:9,13 33:3 36:10,12 44:8 46:11 47:20 48:6 55:20 56:1,22 57:16,16 58:2,4,4 58:8 66:2 71:6 74:4,17 82:9 110:10 124:14 189:1 220:12 228:4 255:7 257:12 302:7 304:17 323:7 326:8 345:15 362:3 363:13 366:6 367:5 371:3 401:21 workbook 269:18 worked 9:9 14:17 15:15 87:13 154:14 206:7 212:22 217:13 workgroup 23:22 25:10 40:9 44:17 69:3 70:18 73:22 75:8 76:6,14 84:8 84:13,20 106:5 155:4 157:20 165:19 167:13,15 167:20 233:11 234:1,18 240:19 240:21 241:1 247:4 249:1,19 262:18 263:12 365:20 398:11 workgroup's 171:4 workgroups 63:10	working 6:19 9:5 16:17 22:16 30:8 49:1 62:8,17 71:10 76:21 80:4 120:8,10 125:9 131:4 162:17 214:14 264:17 265:8 288:16 360:10 361:2 379:7 407:18 408:13 works 107:12 168:6 208:21 339:13 341:7 370:14 worksheet 193:4 207:18,20,21,21 346:13 world 29:20 89:17 90:12 93:7,8 119:20 229:8 237:18 300:9 306:2 363:10 376:16 worse 91:17 300:18 384:4 worth 64:22 378:10 worthwhile 384:12 wouldn't 102:21 109:7 137:7 194:22 196:18 251:13 290:17 321:22 wow 294:10 writ 362:14 writing 389:12,12 written 389:15 wrong 221:11 253:14 304:20 400:16 wrote 314:8 336:2 <hr/> X <hr/> Y <hr/> Yale 2:4 17:5,6 year 4:4,7 12:16
---	--	--	---	---

18:16 39:10,10 40:2 57:13 68:8,9 82:12 93:16 96:2 96:7 98:12 115:3 162:11 197:11 204:20 208:6 209:18 211:19 222:2 225:18 241:15 242:16 244:6,6,15,20 245:16,22 252:14 252:19 253:2,9,18 254:5,7 270:2 279:12 293:20 305:9,14,21 319:12 325:22,22 326:1,1,1,1 341:5 341:6 348:15 374:11 389:7 year's 282:16 yearly 318:11 years 9:10 10:9 11:19 12:13 13:2 14:7 20:3,13,15 30:2 38:14 39:7 60:16 74:17,20 76:19 92:6,18 93:1,7,8 114:9,17 118:13 153:22 160:2,3 187:13 197:12 199:13,14 207:3,4 208:3 212:9 219:20 225:4,11 226:16 241:21 246:6 251:2,7 254:21 255:5,14 269:22 280:1 282:13 315:14,15,17,22 316:3,19 319:20 320:9,10,14,19,22 324:7 325:6,11 336:19 341:4 346:18 366:11 377:18,22 378:5 392:7,12 394:3,10 399:12,22	yeses 147:18 yesterday 95:8 361:7 York 8:21 18:4,10 405:8 young 93:11 younger 217:19 223:4 224:4,10 225:4,11 230:1 <hr/> Z <hr/> zero 160:15 183:18 183:18,19 184:13 184:13 185:16 191:20 192:16 198:18,19 234:11 234:12 235:18,19 249:10,11 263:5,6 264:8,9 265:5 266:13 267:11,11 268:9,9 zip 373:11 395:6 zone 313:19 <hr/> 0 <hr/> 0 309:13 310:10 311:5,6 328:7 334:21 337:19 398:7 399:6,6 402:14,14 404:9 405:3,3,22,22 408:9 02 118:6 0272 17:11 0274 17:11 03 118:6 0601 117:15 0602 117:15 0603 117:15 0727 3:17 <hr/> 1 <hr/> 1 97:12 102:19 116:9 125:21 145:5,6 163:8 180:2,5,15 181:11 183:2 208:3	215:13 216:22 217:12 219:5 232:8,16 234:15 238:8 245:1,3 248:8 249:6 250:2 251:18 258:20 262:1,22 264:3,21 266:8 267:5 268:2 268:18 293:20 294:21 295:19 296:4 297:3 304:1 305:2 307:9,14 308:10,18 309:7 310:4,8,22 311:18 312:2 328:1 330:16 331:13 334:16 337:16,20 341:20 342:6 343:13 394:16,20 398:2,7,21 402:8 404:4,9,19 405:16 408:6 1(a) 97:14,21 98:3 125:18,19 126:13 182:2,6,9,10 183:16 1(b) 98:7 125:13 126:13,14 127:22 128:1 183:22 184:2,11 1(c) 99:2 126:7,21 127:3,19 184:16 185:3,13 1.48 396:5 1.64 396:6 10 143:19 204:20 296:10,10,17 297:2,20 303:22 307:14 312:8 331:18 342:5 344:20,21,22 345:1,1 10-14 4:7 10-minute 72:16 100 139:22 100,000 346:17 405:11,12	1030 1:9 108 230:13 11 93:8 111:20 112:1 160:15 248:10 298:4,21 304:2,4 308:17 312:8 321:13 328:7,12 342:4 11:04 154:9 11:13 154:10 12 35:15 68:1 111:20 112:1 128:14 146:17 182:17 265:4 266:12 284:8,9 309:12 310:9 317:11 321:13 12:11 205:18 12:35 205:16 12:45 205:16 12:48 205:19 121.5 405:11 13 184:12 198:17 235:17 241:16 246:18 328:13,16 330:20 343:18 398:6 404:8 14 151:7 156:11 183:17 204:20 234:11 268:8 1419 83:20 15 36:19 92:6 125:20 147:10 191:20 233:1 246:17 344:15 391:10 399:5 15th 1:9 16 3:18 148:18 185:14 192:15 264:7 267:10 334:20 337:19 17 259:2 311:4 346:18 366:11 392:7,12 399:12 399:21 402:13 405:21 176 4:8	18 162:6 204:21 217:18 219:20,22 220:1,13,16,19,19 221:11 224:5 225:12,20 242:20 263:4 18.7.7 225:9 180 112:16 321:13 19 32:13 216:4 269:2 1a 215:9 232:14,15 261:22 326:18 1b 234:5 1b.2 241:14 1c 235:12 1st 63:7 67:20 68:2 <hr/> 2 <hr/> 2 97:10 145:6,7 163:10 232:17 234:6 235:13 241:17 243:16 245:1,4 246:13 248:8 249:6 250:2 258:20 262:2,22 264:3,21 266:8 267:5 268:2,18 295:19 307:9,15 308:10 309:7 310:4,22 311:18 315:14 328:1,9,12 330:16,21 331:13 331:18 334:16,21 337:16 341:20 343:13,19 394:16 396:10 398:2,21 402:8 404:4,19 405:3,16 408:6 2-1/2 396:11 2-phase 32:10 2-year 10:2,20 15:8 15:17 2(a) 146:6 152:11 152:12 191:1 2(a)(1) 100:22 146:6 191:1 2(a)(2) 146:7 191:2
---	---	--	--	--

2(b) 150:12	215:13,20,21	243:14 245:1,4	307:10 308:11,17	96:2,6 98:10,12
2(b)'s 103:19	216:8,22 217:12	246:13 248:8	309:8 310:4 311:1	162:10 182:14
2(b)(1) 100:22	217:15 219:6	249:6 250:2 262:2	311:5,18 328:2,8	219:20,22 220:1
192:1	220:4 225:20	262:22 264:3,21	330:17,20 331:14	220:18 221:10,20
2(b)(2) 150:13	234:16 238:8	266:8 267:5 268:2	334:17,20 337:16	222:5 224:5 225:4
192:2	269:22 293:20	295:19 307:9	341:20 343:14,17	225:11,12 226:16
2(b)(3) 150:15	294:21 296:13	308:10 309:7,12	398:3,22 402:9	230:22 231:2,9
192:4	315:14 328:16	310:4,22 311:18	404:4,20 405:17	238:19 297:2
2(b)(4) 150:16	394:19	328:1 330:16,21	4(a) 159:22 199:12	304:4 309:11
192:4	22 3:9 241:17,18	331:13,18 334:16	4(b) 158:11 159:4	6:30 409:15
2(b)(5) 150:17	243:15,17 328:13	337:16 341:20	159:10,18 160:3	60 127:13 163:17
188:1 189:13	408:9	343:13,18 346:18	199:15	248:15 298:22
192:5	24 352:1 356:5	366:10 392:7,12	4(c) 160:5 199:17	303:19 312:8
2(b)(6) 150:17	403:4	398:2,21 399:11	4,651 348:12	313:19 344:1
192:6	25 35:14	399:21 402:8	4:45 390:9,10	64 184:21
2(b)(7) 192:7	2508 4:3 96:1	404:4,19 405:16	40 303:19 313:19	642 333:18
2.26 225:9	162:10 409:7	3-hour 16:19	377:18	67.5 405:12
2.46 396:10	2509 4:6 162:21	3-year 9:16,20 15:2	410 4:22	6th 40:15
2.5 396:10	176:5 179:3	16:5,13 17:1	416 80:14 82:3	<hr/> 7 <hr/>
2.6 115:15 231:4	186:11 204:19	20:15 22:15 38:9	216:16 287:5	7 3:6 59:19 82:5
2/3rds 396:7	409:8	3(a) 155:18 198:6	45 163:14	297:17 312:1
2:00 68:1	2511 4:12 259:8	3(b) 153:6 155:19	46 348:10,11	331:17 399:5
2:10 269:11,15	261:9 269:1 409:9	198:7	48 3:15	70 34:17
2:19 269:16	2517 4:14 269:17	3(c) 155:19 198:7	4b 250:22	700 34:12
2:20 269:13	409:10	3:00 390:22	4th 40:14	72 352:1
20 127:21 156:8	2518 4:16 315:12	3:45 345:9	<hr/> 5 <hr/>	727 391:20 408:10
157:9 394:10	409:11	3:57 345:9	5 3:4 125:20 232:18	74 269:17
405:2	2528 4:9 207:15	30 78:10 156:7	241:18 262:3	<hr/> 8 <hr/>
200-odd 339:5	259:3 409:8	377:21	295:20 296:7,8	8 60:3 307:13 310:9
2000 82:13	259 4:13	30th 40:11	308:17 312:2	312:1 398:7 404:8
2005 396:7,17	27 230:15 241:18	316 4:18	328:2,8 337:20	8:30 1:9 410:1
2007 405:10	270 4:15	32 339:18	390:21 402:13	8:33 5:2
2010 80:16,20	28 3:10	347 3:18	405:21	80 140:1
241:16 243:14,17	29 1:6	35 241:16 243:14	5(a) 182:12	86 207:17
244:15	29th 40:11	37 346:13 391:13	5(b) 182:12	88 395:15
2011 241:16 243:15	2A 331:20,21 338:5	391 4:20	5(c) 182:12	89 80:18
243:18 244:15	2a2 242:11	3rd 40:14	5:06 410:5	<hr/> 9 <hr/>
348:12 396:16	2B 338:5	<hr/> 4 <hr/>	50 95:10 140:2	
405:11	2b2 242:11	4 82:7 159:8 232:17	299:6 398:13	
2014 1:6	2b6 242:11	234:6 235:13	50-50 279:6	
2015 40:4	2nd 68:2	246:14 248:8	50/50 250:17	
205 3:19	<hr/> 3 <hr/>	249:7 250:3 262:2	51 115:4	
208 4:11	3 12:15 18:15 105:5	263:1 264:4,22	59 328:14 343:22	
21 124:19,20	152:21 163:10	266:8 267:6 268:3	<hr/> 6 <hr/>	
127:19 146:16	232:17 234:6	295:19 296:9	6 4:3 59:14 86:22	
160:13 163:15	235:13 241:15	297:3 304:3 305:3		
185:13 208:3				

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Health and Well Being
Steering Committee Meeting

Before: NQF

Date: 04-29-14

Place: Washington, DC

was duly recorded and accurately transcribed under
my direction; further, that said transcript is a
true and accurate record of the proceedings.



Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com