

NATIONAL QUALITY FORUM

+ + + + +

INFECTIOUS DISEASE STANDING COMMITTEE

+ + + + +

TUESDAY
MARCH 14, 2017

+ + + + +

The Standing Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 9:03 a.m., Woody Eisenberg and Adam Thompson, Co-Chairs, presiding.

PRESENT:

WOODY EISENBERG, MD, Co-Chair; Senior Vice President of Performance Measurement, PQA
ADAM THOMPSON, BA, Co-Chair; Regional Partner Director, Northeast Caribbean AIDS Education and Training Centers
EMILY AARONSON, MD, Fellow, Patient Safety and Quality Improvement, Massachusetts General Hospital *
AMESH ADALJA, MD, Senior Associate, University of Pittsburgh Medical Center for Health Security
ESTHER BABADY, PhD, D (ABMM), Director of Microbiology Service Clinical Operations, Memorial Sloan Kettering Cancer Center *
NANETTE BENBOW, MA, Research Assistant Professor, Northwestern University *
KATHLEEN BRADY, MD, MSCE, Medical Director and Medical Epidemiologist, Philadelphia Department of Public Health
LAURA EVANS, MD, MSc, Medical Director of Critical Care, Bellevue Hospital Center, New York University School of Medicine *

PIERO GARZARO, MD, Chair of Chiefs of Infectious Diseases, Kaiser Permanente

DONALD GOLDMANN, MD, Chief Medical and Scientific Officer, Clinical Professor of Pediatrics, Institute for Healthcare Improvement

JEFFREY HART, MS, Kaiser Permanente *

MICHAEL LANE, MD, MSc, MPHS, CPPS, Assistant Professor of Medicine, Washington University School of Medicine

JEFFREY LEWIS, BA, Medical Case Manager, El Rio Community Health Center *

ROCCO ORLANDO, MD, FACS, Senior Vice President and Chief Medical Officer, Hartford Healthcare*

JAMIE RONEY, DNP, RN-BC, BSHCM, CCRN-K, Registered Nurse

PRANAVI SREERAMOJU, MD, MPH, CMQ, FSHEA, FIDSA, Chief of Infection Prevention, Parkland Health & Hospital System *

NQF STAFF:

SHANTANU AGRAWAL, MD, President and CEO

HELEN BURSTIN, MD, MPH, Chief Scientific Officer

ANN HAMMERSMITH, JD, General Counsel

MELISSA MARINELARENA, RN, MPA, Senior Director

MAURICIO MENENDEZ, Project Analyst

ELISA MUNTHALI, MPH, Vice President, Quality Measurement

CHRISTY SKIPPER, Project Manager

MARCIA WILSON, PhD, MBA, Senior Vice President, Quality Measurement

ALSO PRESENT:

MEREDITH BRANTLEY, PhD, MPH, Health Resources
and Services Administration (HRSA) *

LAURA CHEEVER, MD, ScM, Health Resources and
Services Administration (HRSA) *

ROBERT DICKERSON, RRT, MHSA, Telligen

FOSTER GESTEN, MD, New York State Department of
Health *

STANLEY LEMESHOW, PhD, The Ohio State

University *

MITCHELL LEVY, MD, MCCM, FCCP, Brown University *

RUTE MARTINS, The MITRE Corporation *

MARLENE MATOSKY, MPH, RN, Health Resources and
Services Administration (HRSA)

LAURA MUNRO, RN, MHSA, PMP, The MITRE
Corporation *

EMANUEL RIVERS, MD, Henry Ford Health System *

LEMENEH TEFERA, MD, Centers for Medicare and
Medicaid Services

KATHY TERRY, PhD, MA, IPRO *

SEAN TOWNSEND, MD, California Pacific Medical
Center Research Institute *

* present by teleconference

CONTENTS

Opening Remarks.	5
Welcome.	6
Introductions and Disclosure of Interest	9
Project Introduction and Overview of Evaluation Process19
Consideration of Candidate Measures	
2082: HIV Viral Load Suppression (HRSA).37
Overview of eMeasures.	103
Consideration of Candidate Measures (Continued)	
3210: HIV Viral Load Suppression (HRSA).	147
2079: HIV Medical Visit Frequency (HRSA)	138
Public Comment	194
Consideration of Candidate Measures (Continued)	
2079: HIV Medical Visit Frequency (HRSA) (Continued)	196
3209: HIV Medical Visit Frequency (HRSA)	207
3215: Adult Inpatient Risk Adjusted Sepsis Mortality (New York State Department of Health)	220
0500: Severe Sepsis and Septic Shock: Management Bundle (Henry Ford Hospital).	292
NQF Member and Public Comment.	397
Next Steps/Committee Timeline.	398
Adjourn.	404

1 P-R-O-C-E-E-D-I-N-G-S

2 9:03 a.m.

3 MS. SKIPPER: Good morning, everyone,
4 and welcome to the Infectious Disease Standing
5 Committee Meeting, and we just want to thank you
6 for your patience as we get started this morning.
7 I just want to start off by turning it over to
8 our CEO, Shantanu, to give some welcoming
9 remarks.

10 DR. AGRAWAL: Thank you. So, thank
11 you, everybody, for coming in, and for being on
12 the phone. I don't normally, since we do so many
13 of these things, I don't normally make it a point
14 to thank the team, the internal team, but this
15 was above and beyond. I really appreciate that.

16 Not only did you get here, you got
17 food, which we had some consternation about
18 yesterday since our caterer canceled, so really
19 thank you very much. Marcia was talking to me
20 yesterday evening and said, you know, "We are
21 going to have this thing no matter what happens
22 tomorrow," and I thought, "Wow, that's awesome,"

1 so fantastic.

2 I actually, we've lived in the area
3 now for eight years, and I realized this morning
4 I finally became a true Washingtonian because my
5 reaction to the snow was, "If I leave now, there
6 will be no traffic," so.

7 This is great. You know, I've looked
8 over the agenda today. This is, I think, just a
9 really important set of measures that are coming
10 before us. I'm sure there will be some really
11 active conversation.

12 I want to thank our two co-chairs,
13 Woody and Adam, and I'm told that this is the
14 first time you're convening since 2012, so, you
15 know, spectacular. Thank you again for coming
16 together. Thank you for braving the weather, and
17 I really look forward to a great session today.

18 MS. MARINELARENA: Hi, everyone, this
19 is Melissa Marinelarena. I'm the Senior
20 Director. Thank you for those of you who are
21 here in person and those of you that are on the
22 phone, especially if you're on the west coast -

1 it's really early over there - and to the measure
2 developers on the phone, and to Marlene from HRSA
3 who braved the train and made it in. Thank you
4 very much. Welcome.

5 Like Shantanu and Christy said to the
6 Infectious Disease Project, we've been working
7 really hard to get to this meeting, so we have
8 some important topics to talk about, and we're
9 really excited to work with all of you and get to
10 know you all better, and again, thank you. I'm
11 going to turn it over to Woody and Adam, our co-
12 chairs, to say a few words.

13 CO-CHAIR EISENBERG: Good morning. My
14 name is Woody Eisenberg. I'm a Senior Vice
15 President at Pharmacy Quality Alliance, and I'm
16 very pleased to be leading what for me is my
17 first meeting of the Infectious Diseases Project,
18 and we'll do full introductions afterwards, or
19 should I do that?

20 MS. MARINELARENA: Afterwards.

21 CO-CHAIR EISENBERG: Okay, so let me
22 just at this point hand it over to my co-chair,

1 Adam.

2 CO-CHAIR THOMPSON: Good morning,
3 everyone. My name is Adam Thompson. I'm the
4 Regional Partner Director for the South Jersey
5 AIDS Education and Training Center where. We're
6 the Part F Ryan White HIV/AIDS Program.

7 I'm also a person living with HIV, so
8 I'm very excited to be here. I think it's
9 grateful to NQF for their commitment to engaging
10 patients and having us not only at the table, but
11 now leading the table, so I think that's a really
12 great thing, so thank you very much, and I look
13 forward to the conversations today.

14 MS. MARINELARENA: Thank you both, and
15 now I'd like to introduce the rest of the team.
16 You've heard a lot from Christy and Mauricio, so
17 if you could just introduce yourselves?

18 MS. SKIPPER: Good morning, everyone.
19 My name is Christy Skipper, Project Manager. And
20 as Melissa had said, thank you all for joining us
21 both in person and for dialing in today on the
22 west coast or wherever you are, and we look

1 forward to this meeting.

2 MR. MENENDEZ: Hi, everyone. I'm
3 Mauricio Menendez. I'm the Project Analyst. And
4 as Christy said, thank you for joining us today,
5 so we hope to have a great discussion.

6 MS. MUNTHALI: Good morning. I'm
7 Elisa Munthali, Vice President for Quality
8 Measurement. Thank you so much for being here
9 today, and welcome.

10 DR. BURSTIN: Good morning, everybody,
11 Helen Burstin, Chief Scientific Officer at NQF.
12 Thank you for braving it in person as well as the
13 phone.

14 DR. WILSON: Marcia Wilson, Senior
15 Vice President Quality Manager, and welcome.

16 MS. SKIPPER: Now we'll turn it over
17 to Ann Hammersmith to walk us through the
18 disclosures of interest and introductions.

19 MS. HAMMERSMITH: Thanks, Christy.
20 I'm Ann Hammersmith. I'm NQF's General Counsel.
21 What we'll do this morning is go through oral
22 disclosures of interest. If you recall, you

1 filled out a several page form that we sent to
2 you where we asked you very detailed questions
3 about your professional activities.

4 So what we do at the first meeting of
5 a committee, or annually if it's a multi-year
6 committee, is go around the table and have you
7 introduce yourselves, tell us who you're with,
8 and if you have anything you want to disclose.
9 Before we do that, I just want to remind you of a
10 few things.

11 Just because you disclose something
12 does not mean that you have a conflict of
13 interest. Part of the reason we do this is to be
14 open and transparent, and so the people will know
15 where you're coming from and a little bit about
16 your background, but just because you disclose
17 doesn't mean that you have a conflict.

18 I also want to remind you that you sit
19 as an individual. You don't represent your
20 employer. You don't represent anybody who may
21 have nominated you for service on the committee.

22 Our conflict of interest routine is a

1 little bit different from other organizations.
2 Many other organizations are only interested in
3 financial conflicts or financial disclosures.
4 Because of the nature of the work we do, we're
5 interested in more than that.

6 So in addition to any financial
7 disclosures you want to make, we're also
8 interested in things that you've done as a
9 volunteer, so you may have sat on some advisory
10 committee for your professional society or
11 something like that as a volunteer. You weren't
12 compensated.

13 If it's relevant to what's before the
14 committee, we'd be interested in your disclosure
15 of that, and that goes for all of the
16 disclosures. We only want you to disclose things
17 that are relevant to the subject matter of this
18 committee.

19 We're especially interested in any
20 consulting, research, or grant activity, but of
21 course if you have disclosures that fall into
22 other categories that you believe are relevant,

1 we'd like to hear about that.

2 So for the people in the room, we'll
3 just go around the table starting with the co-
4 chairs. If you're on the phone, I'll call on you
5 at the end of the in-person disclosures, so we'll
6 start with the co-chairs.

7 CO-CHAIR EISENBERG: Hi, this is Woody
8 Eisenberg. I work for the Pharmacy Quality
9 Alliance which does develop quality measures,
10 including HIV and sepsis, but none of the
11 measures that are under discussion today are
12 related to my organization, so I have no
13 disclosures.

14 CO-CHAIR THOMPSON: And this is Adam.
15 I work for the HRSA HIV/AIDS Bureau funded AIDS
16 Education and Training Centers, and I've worked
17 as a consultant with the Bureau who developed
18 some of the measures we'll talk about today.

19 MS. HAMMERSMITH: We'll go around the
20 table this way. Can you turn on your mic?

21 MEMBER ADALJA: Hi, Amesh Adalja,
22 University of Pittsburgh Medical Center. I'm an

1 infectious disease and critical care physician.
2 I have no relevant disclosures.

3 MEMBER RONEY: Hi, I'm Jamie Roney,
4 and I work for Providence St. Joseph Health as
5 Sepsis Coordinator. I am a national volunteer
6 for the Society of Clinical Care Medicine and the
7 American Association of Critical Care Nurses
8 where I review a lot of the sepsis stuff that
9 comes out the ACN, but I have no tie to these
10 measures.

11 MEMBER GARZARO: Hi, Piero Garzaro.
12 I'm the Chair of the Chiefs of Infectious
13 Diseases for Kaiser Permanente Northern
14 California. No disclosures.

15 MEMBER BRADY: I'm Dr. Kathleen Brady.
16 I'm the Medical Director and Medical
17 Epidemiologist for the AIDS Office of the
18 Philadelphia Department of Public Health, and I'm
19 an infectious disease physician, and I have no
20 disclosures.

21 MEMBER LANE: My name is Mike Lane.
22 I'm an infectious disease physician at Washington

1 University in St. Louis. I'm also an outcomes
2 and quality physician at BJC Healthcare, and I
3 have no disclosures.

4 MEMBER GOLDMANN: I'm Don Goldmann,
5 Institute for Healthcare Improvement, and I also
6 work at Boston Children's Hospital, Harvard
7 Medical School, and the Harvard T.H. Chan School
8 of Public Health.

9 Full disclosure, although I don't
10 think it will affect what we're doing, I do
11 collaborate with doctors to develop measures for
12 hospital-acquired infections, ventilator bundles,
13 things like that, some of which are published in
14 the literature, but other than that, nothing to
15 disclosure.

16 MS. HAMMERSMITH: Okay, thank you.
17 I'll call the people who are on the phone. Emily
18 Aaronson?

19 MEMBER AARONSON: Yes, this is Emily
20 here. I clinically attend in the emergency
21 department at Mass General Hospital, and I'm just
22 finishing up a fellowship at Harvard Medical

1 School in Patient Safety and Quality Improvement,
2 and I have no disclosures.

3 MS. HAMMERSMITH: Okay, thank you.
4 Esther Otete?

5 MEMBER BABADY: This is Esther Babady.
6 I am a clinical microbiologist and I'm the
7 Director of the Clinical Micro Lab at Memorial
8 Sloan Kettering Cancer Center in New York City,
9 and I have no disclosure.

10 MS. HAMMERSMITH: Thank you. Nanette
11 Benbow?

12 MEMBER BENBOW: Hi, this is Nanette
13 Benbow. I work at Northwestern University. I'm
14 a research assistant professor, and I have no
15 disclosures.

16 MS. HAMMERSMITH: Thank you. Laura
17 Evans?

18 MEMBER EVANS: Yes, hi, good morning.
19 I'm Laura Evans. I'm a pulmonary critical care
20 intensivist at NYU and Bellevue Hospital. One of
21 my volunteer activities is I am the Co-Chair of
22 the Surviving Sepsis Campaign guidelines,

1 including the recent revised guidelines that just
2 were published.

3 How this impacts Sepsis 0500, as you
4 know, the original 0500 was based on the
5 Surviving Sepsis Campaign bundles, and so I did
6 collaborate on the development of the Surviving
7 Sepsis Campaign bundles that were sort of behind
8 the original 0500 measure.

9 I have also served on the New York
10 State Sepsis Advisory Committee which is a group
11 that helps New York State's Department of Health
12 sort of design the sepsis regulations for New
13 York State and implements them, but I had no
14 direct role in the risk-adjusted mortality model
15 or the development of the model or measure for
16 this purpose.

17 MS. HAMMERSMITH: Okay, thank you.

18 Jeffrey Hart?

19 MEMBER HART: Hello, Jeffrey Hart from
20 Kaiser Permanente. I work there as a principal
21 consultant in the area of quality reporting and,
22 well, I guess reporting. I'm also a person

1 living with HIV, and I have no disclosures.

2 MS. HAMMERSMITH: Okay, thank you.

3 Rocco Orlando?

4 MEMBER ORLANDO: Rocco Orlando, I'm
5 the Senior Vice President and Chief Medical
6 Officer at Hartford Healthcare. I'm a practicing
7 surgical intensivist and I have no relevant
8 disclosures.

9 MS. HAMMERSMITH: Thank you. Pranavi
10 Sreeramoju? Are you on the phone? Okay, anyone
11 else on the phone who I missed, any committee
12 member?

13 MEMBER LEWIS: Jeff Lewis here at El
14 Rio Community Health Center in Tucson, and we - I
15 work in the HIV clinic, and we do use some of
16 these measuring tools. I don't know if that's a
17 conflict or not, but -

18 MS. HAMMERSMITH: Thanks for
19 disclosing that. Use of measure tools is not a
20 conflict. Use of measures is not a conflict.

21 MEMBER LEWIS: Okay.

22 MS. HAMMERSMITH: But thanks for

1 disclosing that.

2 MEMBER LEWIS: Okay.

3 MS. HAMMERSMITH: Anyone else? Okay,
4 thank you for those disclosures. Before I leave
5 you today, one more reminder. If during the
6 course of the meeting, you think you have a
7 conflict, if you think somebody else has a
8 conflict, if you think that someone is going
9 beyond stating their opinion into bias, please
10 speak up.

11 We appreciate your speaking up in real
12 time. We don't want to get down the road on the
13 measures and then have a committee member say,
14 "You know, I think I had a conflict," or, "So and
15 so had a conflict."

16 So if you would like, you are always
17 welcome to speak up in the meeting. If you
18 prefer not to do that, you can go to your co-
19 chairs or you can go to NQF staff and we'll work
20 to resolve the conflict. Any questions? Okay,
21 thank you.

22 MS. SKIPPER: And Ann, I'd just like

1 to say that Pranavi is online, but for some
2 reason, we're not able to hear her, but she's
3 written in that she has no disclosures for the
4 record.

5 MS. MARINELARENA: Okay, we're going
6 to go ahead and get started. I'm going to do a
7 quick introduction to the project and we'll do an
8 overview of the evaluation process, and you've
9 had this in steps, and so now we'll put it all
10 together and put it to work. Next slide.

11 So in our infectious disease portfolio
12 right now, the only two topics that we're looking
13 are at the HIV/AIDS measures from HRSA and sepsis
14 and septic shock, which is the 0500, the bundle
15 that has been endorsed, and then we've got a new
16 measure which is the mortality outcomes measure.

17 Like we said, right now we have nine
18 endorsed measures. There were a little bit more,
19 but some of them were withdrawn by the
20 developers, so that's why we're at nine now. And
21 of those, they are going under endorsed
22 maintenance because they were previously endorsed

1 in 2012, I believe, was the last time they were
2 looked at.

3 So these are the measures that we're
4 going to be reviewing today, the 0500, which is
5 the Severe Sepsis and Septic Shock Management
6 Bundle from Henry Ford Hospital, 2079, the HIV
7 Medical Visit Frequency, 2080, Gaps in HIV
8 Medical Visits, 2082, HIV Viral Load Suppression,
9 and 2083, the Prescription of HIV Antiretroviral
10 Therapy, and all four of those are from HRSA.

11 These are the new measures that were
12 submitted, so the first three that we have titled
13 as eMeasures, those are the legacy measures. I
14 believe we talked about those on some of our
15 calls, and we'll have a presentation on, I guess,
16 legacy measures, because they're evaluated a
17 little bit different. The testing that we allow
18 for legacy measures is a little bit different.

19 So these are eQMs that are based on
20 existing paper-based, claims-based measures that
21 are in federal programs. So the original
22 measures are in federal programs, and then these

1 are the eMeasures, part of them, and they're in
2 the process of testing them.

3 So we allow for synthetic testing, and
4 we talked a little bit about that during the work
5 group calls, but for those of you that weren't on
6 those work group calls, my colleague, Jason, will
7 be here to talk about that, and then again, the
8 risk adjusted sepsis mortality measure out of the
9 New York State Department of Health, which is new
10 as well.

11 These measures are still in our
12 portfolio, but the developers asked that they be
13 deferred. They weren't ready to be looked at for
14 maintenance. The first two, 0058 and 0069, it
15 was our understanding that they are trying to
16 expand the population for both.

17 And then the other two HIV measures,
18 they may be transferring stewards, so they needed
19 some more time to be able to do some maintenance
20 on those, so those have been deferred. Yes?

21 Deferred means that they asked,
22 because maintenance - we ask that we do

1 maintenance on them every three years. They
2 weren't ready for them to be looked at, so they
3 just asked for us to defer the maintenance
4 period, and so we agreed to it. They had a good
5 reason for it, and so we agreed to it. So they
6 remain endorsed. They all remain endorsed. They
7 don't lose endorsement.

8 If we go to the next slide, the
9 difference here is these were withdrawn. So this
10 was a request by the measure developers to
11 withdraw the measures because they're no longer
12 going to maintain them, so these have lost
13 endorsement, so that's the difference between the
14 two.

15 So all of these Hepatitis C measures,
16 and then the CD4 cell count and the HIV/AIDS TB
17 screening measures, so all of those have been
18 withdrawn and are no longer in our portfolio and
19 no longer NQF endorsed.

20 This is just a quick snapshot of our
21 portfolio by data source, by care studying, by
22 level of analysis, by measure type, and by topic

1 area. And in your handouts here, we probably
2 won't talk about gaps today, but we did put
3 together, as you have requested I think on one of
4 our first phone calls, is we put together all of
5 our measures in our portfolio and then as many
6 other - it's in this handout - as many other
7 measures from other portfolios that were
8 infectious disease related so that you could see,
9 and I tried to group them kind of by topic so
10 that you could see if there were gaps or not.

11 Because previously, there were some
12 gaps that were identified that we can share with
13 you later, but that maybe have been filled by
14 measures that are in other projects that aren't
15 necessarily in ours, but just so you see what
16 other type of infectious disease related measures
17 were.

18 So there's a lot of antibiotic related
19 measures. A lot of them are in periop, but
20 there's a lot of the vaccination measures.
21 There's a couple of STI measures, but, you know,
22 keep this and look at it, and then we'll use this

1 to identify gaps in infectious disease.

2 So the evaluation process, we have the
3 measure worksheets which you have become familiar
4 with, I'm sure. That includes the preliminary
5 analysis which is something that was provided by
6 staff, and it has in the bottom, the pre-
7 evaluation comments that was provided by you, any
8 pre-meeting public or member comments.

9 We do put the measures out for comment
10 for about two weeks before our meetings so that
11 we like to get feedback from the public, and we
12 include those, and then they also include the
13 evidence and testing attachments from the measure
14 developers.

15 As it's noted down here, we'd like to
16 stress that the preliminary analysis from staff,
17 you do not have to stick to that rating. It's
18 just a place for you to start. And if you have
19 any questions about why we rated something a
20 certain way, we try to be very clear in our
21 rationale.

22 We may not always be as clear as we

1 think we are, so throughout the day, if you have
2 any questions as to how we got there, you know,
3 we always refer to the algorithms which you have
4 in front of you.

5 We can go through that or we could
6 help you with the discussion as to how to get to
7 a certain discussion or part of the algorithm,
8 which that is why we're here. But again, that's
9 not something that you have to stick to, but it's
10 just a place to start.

11 So for our criteria, criteria number
12 one, importance to measure and report, about a
13 year or so, our process changed a little bit
14 between new measures and maintenance measures,
15 and really the criteria didn't change, but it was
16 just the emphasis on different criteria.

17 So for maintenance measures, we have
18 a decreased emphasis on evidence, and that just
19 meant that if there was no new evidence between
20 maintenance periods, the developers could just
21 attest that there was no new evidence instead of
22 provided the same evidence to us over and over

1 again.

2 But if there is new evidence, they can
3 provide it to us. You've seen in the HIV
4 measures, the evidence was just the updated
5 guidelines, and in the substance measure, there
6 was pretty substantial new evidence. So then you
7 see the difference and we tried to provide you
8 with that guidance there.

9 For gap, there is an increased
10 emphasis for maintenance measures. So once a
11 measure has been endorsed, when it comes back for
12 maintenance, we want to know how it's doing, how
13 it's performing, so we ask for data on
14 performance. That is required.

15 A new measure may not have it because
16 it could be just testing data, or it could be
17 data from the literature, but once there is
18 maintenance - once it's been endorsed, then we
19 ask for some data on performance on it.

20 For scientific acceptability, there is
21 no difference for new measures or maintenance
22 measures. If the specs have not changed for the

1 maintenance measures, then we don't ask for them
2 to be retested. As long as the testing,
3 reliability or validity testing was sufficient
4 the first time around, then they don't have to do
5 additional testing.

6 Now, we also look for, in the previous
7 report, if there were problems, maybe the
8 committees had recommended that they do some
9 additional testing, we'll pull that information
10 out and then ask whether it was done or not, but
11 it's not required as long as the previous testing
12 was sufficient and it meets our current criteria,
13 and then it's sufficient.

14 For feasibility and use and usability,
15 for feasibility, there's no difference in the
16 emphasis between new measures and maintenance
17 measures. There is an increased emphasis on
18 usability and use on maintenance measures, again
19 because the understanding is that these measures
20 are endorsed and that they are being used, so we
21 want more information about how they're being
22 used.

1 And so we're really looking at - we
2 really want - I don't know. Are we still calling
3 it a feedback loop? Feedback, we want feedback
4 on them. How are they working for people who are
5 actually using them? So we do look at that even
6 though this is not a must, what we call "must
7 pass" criteria, but this is an increased
8 emphasis.

9 Okay, I'll pass it over. First, I'll
10 stop and see are there any questions? No, okay.

11 MS. SKIPPER: Thank you, Melissa. Now
12 before I get started, I just want to note to the
13 individuals on the phone, if you are having
14 trouble viewing the slides, press "refresh" on
15 your screen and that should reset so that you all
16 can see them as we advance.

17 So I just want to go over some of the
18 roles of the standing committee. So you all act
19 as a proxy for NQF stakeholder membership.
20 Although you are from your individual
21 organizations, you sit on the committee as
22 individuals and not representatives of your

1 organization.

2 You also will serve two or three-year
3 terms, and at our lunch break today, each
4 committee member will have a chance to select
5 their term limit which will either be two or
6 three years.

7 And then your goal is also to work
8 with the NQF staff to achieve goals of the
9 project, review all of the measures within the
10 project, and let us know today the extent to
11 which each measure meets our criteria, and also
12 make recommendations to the NQF membership for
13 endorsement.

14 We ask that you also respond to
15 comments submitted during the review period. So
16 following this meeting, our - we will write a
17 report, and that will be summarized and posted to
18 our project page, and will go for a public
19 commenting period. When we reconvene you all
20 following that commenting period, your job will
21 be to respond to any comments received on your
22 recommendations.

1 We also ask that you respond to any
2 directions from the CSAC, the Consensus Standards
3 Approval Committee, and then also overall just to
4 oversee the portfolio of measures. Next slide.

5 So a couple of ground rules for
6 today's meeting, I just want to note for those of
7 you in the room that we need to speak directly
8 into the mic as I'm doing now. Only three
9 microphones can be on at one time, so once you've
10 finished speaking, press the red button off.

11 For individuals on the phone,
12 committee members, you may use the raise your
13 hand function to be acknowledged and we'll have
14 the co-chairs call on you.

15 And just in general, we ask, and we
16 know that you all are prepared having reviewed
17 the measures in advance, and we ask you to stay
18 on task and remain engaged without distraction,
19 and keep comments concise and focused, and Adam
20 and Woody will help you all stay on task.

21 And also, just avoid dominating a
22 discussion and allow other individuals to

1 comment, and you don't need to repeat something
2 that has already been said. And I've already
3 noted that for those of you on the phone, please
4 use the raise your hand function.

5 And then also, we have all of the
6 meeting materials under the links section on the
7 webinar platform. So to the left of your screen,
8 you'll see links, and beneath that is our agenda
9 for this morning, our measure worksheets, and all
10 of the algorithms, and any other documents that
11 we may reference throughout our meeting today.

12 Also when you are acknowledged on the
13 phone, if you could just say your name so that we
14 can record who is speaking.

15 So our process for the measure
16 discussion today is we'll have the measure come
17 to the table, our measure developer come to the
18 table or speak from the phone and give a brief
19 introduction to the measure.

20 Then we'll turn it over to the lead
21 discussants to provide a summary of the pre-
22 meeting comments or any of your initial thoughts

1 about the measure, and emphasize any areas of
2 concern or areas of differences of opinions.

3 Developers will also have a chance to
4 respond to any questions that committee members
5 may have, and we - and we are experiencing a bit
6 of an echo, and it sounds like it's gone away.
7 Okay, so we'll discuss each measure criteria by
8 criteria, so we'll start out with evidence.

9 You all will vote on evidence and
10 performance gap, and then we'll move onto the
11 next criteria, discuss that, and vote in that
12 order. So we're going to try to, you know, keep
13 your comments about evidence in the evidence
14 discussion, reliability and validity in those
15 sections, and so on.

16 Okay, so I've kind of alluded to this
17 already, so the first criteria you're going to
18 vote on is importance to measure and report, and
19 there are two votes we'll take on that, the
20 evidence and the gap, and these are both "must
21 pass" criteria. If the measure does not pass
22 either of these criteria, we stop voting and

1 discussion of the measure and we go onto the very
2 next measure for discussion.

3 If the measure passes both of these
4 criteria, we then go onto scientific
5 acceptability, so talking about whether or not
6 the measure is reliable and valid. These are
7 also "must pass." If the measure - if you all do
8 not pass the measure on reliability or validity,
9 again we stop the discussion of the measure and
10 move to the next measure.

11 Feasibility, that's one vote, so
12 you're going to be talking about whether the
13 measurement burden, and then usability and use,
14 whether or not the measure is useful, and that's
15 one vote as well, and those two are not "must
16 pass."

17 So just talking a little bit more
18 about voting, in order for a measure to pass or
19 be recommended, greater than 60 percent of your
20 votes must be, "yes," and that is the sum of the
21 high and the moderate votes. If we fall between
22 40 and 60 percent on the "yes" votes, then that

1 is consensus not reached.

2 If we do not reach consensus on any
3 criteria, we will not take an overall
4 recommendation for endorsement vote. The measure
5 is not passed and it's not recommended with less
6 than 40 percent of the "yes" votes.

7 And I want to note that our quorum for
8 today is 66 percent, so we need at least 11 votes
9 at all times during our meeting. For those of
10 you on the phone, you will be able to chat in
11 your vote to the co-leaders, and we will be
12 voting in the room for you. Next slide.

13 Okay, so I know I've said a lot. Are
14 there any questions in the room or on the phone
15 about voting, discussion of the measures?

16 MEMBER RONEY: I have one question.
17 Do we have to make a comment to every comment
18 that's made during, or just if we have a valid or
19 valuable insight? Do we provide feedback to all
20 of the other comments that come in on the
21 measures that we spoke about?

22 MS. MARINELARENA: So yes, we would

1 like for the lead discussant to present, the lead
2 discussant or discussants, to present the measure
3 criteria by criteria, but then we really want you
4 to have a discussion rather than just going
5 straight to vote because for our purposes for the
6 report, we need to be able to capture your
7 rationale whether you voted for it or against it,
8 so we really want you to have that discussion.
9 So yes, if you have comments, please share them.

10 MS. SKIPPER: All right, well, we'll
11 move right into the consideration of candidate
12 measures, and I'll turn it to our co-chairs.

13 CO-CHAIR THOMPSON: Great, thank you.
14 So I think the first step is to hear from the
15 measure developers, correct?

16 MS. MATOSKY: Good morning, I'm
17 Marlene Matosky. I'm from the Health Resources
18 and Services Administration's HIV/AIDS Bureau.
19 I'm joined on the line with the rest of the team
20 who unfortunately, could not be here because of
21 the weather.

22 We have Dr. Laura Cheever. She is a

1 board certified practicing infectious disease
2 physician, but she is also our Associate
3 Administrator for the HIV/AIDS Bureau. I'm also
4 joined by Tracy Matthews. She is the Deputy
5 Director of the Division of Policy and Data,
6 Antigone Dempsey, the Director of the Division of
7 Policy and Data.

8 And I also have three members of our
9 team that specifically worked on our eCQMs
10 component. In that team, we have Rute Martins
11 Baptista, Laura Munro, and Renee Workwood, and
12 then finally I have two of our statisticians with
13 us who work in the HIV/AIDS Bureau along with me.
14 I have Meredith Brantley and Allison Mariner.

15 So we're really pleased to be here
16 today. And first and foremost, I wanted to say
17 we believe in these measures because we believe
18 in improving health outcomes, quality of life for
19 people living with HIV, and we're only going to
20 achieve that through quality improvement, and
21 thus we need measures in order to do that.

22 We've worked very hard to develop a

1 suite of HIV measures, many of which you are
2 reviewing here today. And though these measures
3 have been developed and tested by the HIV/AIDS
4 Bureau and HRSA, we expect these measures are
5 applicable as well as in use by many providers
6 across this country outside of the Ryan White
7 program.

8 To that end, we've worked with our
9 partners over at the Centers for Medicare and
10 Medicaid to adopt these measures into many of
11 their programs, which we'll get to individually.

12 More specifically about our measures
13 here today that we have in front of us is the
14 viral suppression measure. We're looking at the
15 proportion of patients who had a viral load less
16 than 200 at the last test during a 12-month
17 measurement year.

18 We have both in front of you a paper
19 copy, or a paper measure, or a chart-abstracted
20 measure, as well as the eCQM. It had come up
21 earlier in discussion in that the measures, we
22 had questions around field testing and what have

1 you.

2 As I've mentioned on previous
3 discussions, we've sort of pivoted more towards
4 the development and testing of the measures as
5 eCQMs, and that's why we have two versions that
6 are here in front of you today. And then
7 finally, I just want to talk a little bit about
8 performance gaps and areas for improvement with
9 these measures.

10 As many of you know, we have the HIV
11 Care Continuum which is a framework in HIV care
12 and treatment that really tracks folks across the
13 multiple phases of care and treatment from
14 diagnosis all the way to viral suppression.

15 When you look at national CDC data
16 that is inclusive of every person in this country
17 living with HIV, you'll notice that there are
18 persistent gaps in care when you move across that
19 care continuum, and it's estimated that right
20 around 30 percent of folks living in this country
21 with HIV are virally suppressed, so there is a
22 significant area for improvement.

1 And you will notice not only from
2 previously published CDC data as well as in our
3 testing data here, there are inequities or
4 disparities as well when we look especially by
5 age and race and ethnicity. Dr. Cheever, is
6 there anything else you would like to add?

7 DR. CHEEVER: I don't know if we want
8 to get into the discussion of why we used the
9 less than 200 cutoff, if that's now, or
10 appropriate for later on in terms of technical
11 considerations?

12 MS. MATOSKY: We can do that later.
13 Folks are saying perhaps later that would be
14 better.

15 DR. CHEEVER: Yeah, I don't really
16 have anything to add other than that there is a
17 great deal of evidence about viral suppression
18 being a very good surrogate marker in terms of
19 morbidity and mortality for HIV.

20 MS. MATOSKY: So I think that is all
21 we have at this time, and I guess we'll turn it
22 back over to you, Christy or to Adam.

1 CO-CHAIR THOMPSON: Well, thank you
2 very much, and now we will pass it over to our
3 lead discussants. So we have in the room with us
4 Piero and Michael, and Laura on the phone, so
5 which - who's going to be the brave one?

6 MEMBER LANE: I'll take over and Piero
7 can chime in. I'm recovering from the flu, so my
8 voice is still not quite there all that well. So
9 as the developer indicated, this is an outcomes
10 measure up for maintenance review.

11 In terms of evidence, they've provided
12 a significant amount of evidence indicating that
13 there is linkage between control of viral
14 replication and outcomes with regards to disease
15 progression, OIs, and decreased risk of
16 transmitting HIV to those that do not.

17 In terms of the evidence provided,
18 they have updated, as indicated earlier, largely
19 updated guidelines to support the measure. I
20 don't have anything else to add as far as
21 evidence.

22 Part of the discussion that's already

1 been alluded to is why the cutoff of 200 was
2 chosen, and that was part of the discussion
3 during the pre-conference call and in the
4 comments here. Some of the discussion and the
5 comments was related to it.

6 There is evidence saying that lower
7 viral loads may be better, and with improvements
8 in medications and assessment assays, that
9 undetectable viral loads is now less than 200,
10 which is the measurement level for this metric.
11 I want to get comments back.

12 MS. MATOSKY: Sorry, so as outlined in
13 the HIV guidelines, the HHS guidelines, it notes
14 that although there are laboratory tests that can
15 quantify a viral load down to individual copies
16 of the virus, it's generally accepted that 200 is
17 the marker at which folks would draw the line for
18 either viral suppression or on suppression. Dr.
19 Cheever, is there something else you would add?

20 DR. CHEEVER: Yes, I think that it's
21 expected that over the course of a disease,
22 people do have what are called blips which is

1 less than 200 where there is breakthrough of
2 virus often from a cell that has died and the
3 virus is released into the blood, and you know,
4 that these intermittent blips are really not
5 associated with disease progression. If people
6 have recurrently detectable virus, then it is a
7 cause for concern, but having one viral load of
8 150 or 50 is not of concern in and of itself.

9 MEMBER LANE: I think even with the,
10 in terms of the performance gap data that you
11 present, that even with a more permissive metric,
12 there is still a significant gap in opportunities
13 for improvement, so you know, from my standpoint,
14 again, even using that more permissive, you know,
15 cutoff measure, there's lots of opportunity to
16 improve.

17 CO-CHAIR THOMPSON: Thank you. I've
18 got a question and I think this kind of applies
19 to a lot of the HRSA measures. We saw in the
20 measure information, you say, "comprehensive HIV
21 care," and we had a question as far as how is
22 that defined, primarily to make the distinction

1 between a specialist environment and a primary
2 care environment, so if individuals are hitting
3 both of those systems, how do they count in the
4 denominator?

5 MS. MATOSKY: So I'll kind of back up
6 to the first component of your question. So
7 within the United States, HIV care and treatment
8 can be offered to patients in a variety of
9 different models.

10 You can have the models as Adam has
11 suggested where you have an internist or a
12 primary care physician who is providing both the
13 management of someone's HIV disease, as well as
14 the routine primary and preventive health care.

15 Then you can have a strategy whereby
16 you can have a board certified infectious disease
17 physician providing both accommodation of the HIV
18 disease management as well as the primary as
19 well, and then you can have another model where
20 folks, they're seeing an infectious disease
21 physician, but they're getting just the
22 management of their HIV care and treatment. So I

1 just kind of wanted to make that distinction.

2 In general, all of those are
3 acceptable models of care, and we have to develop
4 measures that are applicable to all of those
5 models of care. Dr. Cheever, is there anything
6 else you would add?

7 DR. CHEEVER: No, I think that's
8 exactly right. I think why we use that term is
9 that people can sometimes be seeing a - be seen
10 frequently through the emergency room or seen by
11 a primary care physician that is not addressing
12 their HIV.

13 And so we're saying they have to be
14 seeing someone who is addressing their HIV care
15 and there are markers of that, that they're on
16 antiretrovirals or their viral load has been
17 checked, as a measure that their HIV is actually
18 being attended to.

19 CO-CHAIR THOMPSON: Thank you. Any
20 other questions or comments about evidence or
21 gap?

22 MEMBER GOLDMANN: I'm not sure if this

1 falls under that category exactly, but, so I'm
2 sure it's here somewhere, but the unit of
3 analysis for this measure is at what level?

4 Because the background talks about
5 states and federal, and yet obviously this
6 depends on the practice environment, and so what
7 is the level of reporting and analysis? How
8 granular does it get?

9 MS. MATOSKY: For this measure, we
10 went to the provider or clinic level. We do
11 recognize that as more and more especially health
12 records, electronic health records are doing
13 analysis at the individual clinician level, we
14 would think that is acceptable as well.

15 MEMBER GOLDMANN: So one of the
16 conundrums here, I'm kind of appalled by the
17 statistics, and part of the statistics is the
18 number of people who are undiagnosed, and then a
19 number of people are diagnosed who are not in
20 care and therefore won't have a once a year
21 visit, and therefore would be excluded from this.

22 What if a provider systematically

1 fails to see patients who - or creates an
2 unfavorable environment to see patients, so they
3 ace the measure, but they're not acting
4 responsibly? Is that another measure or how does
5 that get looked at?

6 DR. CHEEVER: This is Laura. So I
7 think that that is why we in fact do work very
8 much at a sort of public health level, either
9 with cities or states, to look jurisdictionally
10 at what the levels are in the community, but it's
11 really hard to capture that from an individual
12 provider because the way healthcare is provided
13 here, the individual provider often doesn't have
14 a lot of say of which patients they see. That
15 will be determined by insurance and other issues.

16
17 But I do agree the, you know, making
18 sure they have a welcoming environment. We
19 actually have a longer term, a two-year measure
20 to look at some of that, whether or not patients
21 are coming back to a particular clinic. But to
22 really capture what's happening in the community,

1 we need to look at the jurisdictional level.

2 MEMBER GOLDMANN: So I guess the
3 rationale for my question is that the reason to
4 have a measure, as you said, is to drive
5 improvement efforts, and I'm not exactly sure
6 that I understand how looking at this measure -
7 or who it will drive to undertake the
8 improvements.

9 So I'm getting the impression that
10 it's public health, state, community, but I'm not
11 sure, and without that link, I don't know how
12 much progress we'll make just by having a
13 measure.

14 DR. CHEEVER: Yes, so I can give you
15 an example. So we've been using this measure for
16 many years, and between 2010 and 2015 in the
17 clinics that we have been monitoring and working
18 on quality improvement, we've worked from a 69
19 percent viral suppression rate for people that
20 actually had walked in the door at least once in
21 that year, to an 83 percent viral suppression
22 rate.

1 So we've made great progress at the
2 individual clinic level, but I do agree that
3 overall if we're going to end this epidemic in
4 this country, we need to look at the
5 jurisdictional level, and we're actually doing
6 quite a bit of work in that area, but we still
7 need a measure by which - we still need a measure
8 we can use.

9 The other thing is when you actually
10 break down this measure and we're looking at
11 health disparities, we can see with individual
12 clinics a significant amount of health
13 disparities.

14 I think the average viral suppression
15 rate across our programs for people that are, you
16 know, between 13 and 24, is something like 55
17 percent compared to the overall rate of 83
18 percent. Fifty-five is, I'm remembering, but
19 it's something around that.

20 So we still, amongst some populations,
21 see a significant amount of disparity that needs
22 to get addressed at the clinic level.

1 CO-CHAIR EISENBERG: I have a question
2 that I think, Don, is a follow-up to what you
3 were saying.

4 When we're looking at missing data,
5 apparently, if no viral load count data were
6 present for a given patient, the patient was
7 considered to have had no viral load test during
8 the measurement year. But that, as far as I can
9 tell, isn't counted against that provider. Is
10 that correct?

11 MS. MATOSKY: That is correct.

12 CO-CHAIR EISENBERG: Okay. So, did
13 you consider counting those situations where
14 there is a patient known to have HIV infection,
15 but with no viral load, against the provider
16 rather than simply not including them in the
17 counts?

18 MS. MATOSKY: So, as the measure is
19 written --- well, let me back up. So, in the
20 testing data that we presented, we did stratify
21 by --- and I think it's here on the screen ---
22 those patients who had at least one medical

1 visit. Sorry, I misspoke. We do include folks
2 who had a visit but did not have a viral load in
3 the measure. I apologize.

4 When we had looked at this deeper, we
5 suspect to some degree that it may be a data
6 completeness issue. By the way that we're
7 collecting our data, it's not coming --- it's
8 coming through a rather complicated system.

9 But going back to Dr. Cheever's point,
10 we take a public health approach with this,
11 because it is a communicable disease. So if the
12 client came in for at least one visit, they're
13 counted in that patient population regardless if
14 they had that viral load test or not.

15 CO-CHAIR EISENBERG: And counted how?
16 They're in the denominator --

17 MS. MATOSKY: The denominator, yes.
18 Yes.

19 CO-CHAIR EISENBERG: But they wouldn't
20 hit the numerator, because they either have a
21 higher than 200 count or because they have no
22 count?

1 MS. MATOSKY: Exactly.

2 CO-CHAIR EISENBERG: So then I'm not
3 --- I didn't --- I'm misinterpreting the measure.
4 So patients who have HIV infection but who have
5 no viral load would be counted against the
6 provider in this case because they wouldn't --

7 MS. MATOSKY: As long as they had one
8 visit.

9 CO-CHAIR EISENBERG: As long as they
10 had one visit.

11 MS. MATOSKY: Yes.

12 CO-CHAIR EISENBERG: Thank you.

13 MEMBER GARZARO: So, we're talking
14 about visits. How would you define -- or how are
15 we defining "medical visits"? Now, in the 21st
16 century, we can have a medical visit in my iPhone
17 or a video or a telephone appointment.

18 CO-CHAIR EISENBERG: Piero?

19 MEMBER GARZARO: Yes.

20 CO-CHAIR EISENBERG: I'm sorry, could
21 I just interrupt? We'd like to just stay on the
22 evidence for now and then --- you and I are

1 ranging, I think, a little bit too far away. So,
2 I'm going to try and ---

3 MS. MARINELARENA: Yes. So, if
4 there's any more discussion on evidence, we'll
5 have a discussion on evidence. If not, I would
6 recommend voting on evidence or not.

7 MEMBER BRADY: I have one comment on
8 evidence. And it's just some new data that
9 became available from CDC at CROI looking at
10 viral suppression and that looking at the last
11 measure of a given calendar year of less than 200
12 may actually overestimate viral suppression rates
13 by 20 percent.

14 They did an analysis looking at
15 durable viral suppression over an entire year.
16 Most people did improve, but that there were lots
17 of people who met the measure at the end of the
18 year that didn't necessarily meet it throughout
19 the year. So, that might be something, I think,
20 to look at.

21 MS. MATOSKY: Can I respond?

22 CO-CHAIR EISENBERG: Yes.

1 MS. MATOSKY: So, to be clear, our ---
2 I appreciate your comments, Dr. Brady. To be
3 clear, we don't intend this measure to be a
4 durable suppression measure. We're looking at it
5 at, you know, during the year, at the end of the
6 year, you know, thinking that perhaps there's
7 that cumulative effect, what have you, of the
8 interactions with the care team.

9 And in general, I think, with all
10 measures, and I think we can all agree, that we
11 have to give up some level of specificity to gain
12 broader applicability and also not create overly
13 complex measures so that we spend more time
14 talking about the numerator and denominator than
15 actually implementing it and moving on to quality
16 improvement.

17 So, I do appreciate the comments about
18 durable suppression. I mean, it is rather
19 important and there's a lot of research in that
20 area, but that's not the focus of this measure.
21 Thank you.

22 CO-CHAIR THOMPSON: Any other comments

1 on evidence?

2 (No audible response.)

3 CO-CHAIR THOMPSON: Do we have any
4 comments from our phone?

5 (No audible response.)

6 CO-CHAIR THOMPSON: Okay. With that,
7 I think we pass it over to Mauricio for voting.

8 MR. MENENDEZ: Okay. So, for the
9 voting instructions, as Christy mentioned, we'll
10 have the different categories. I will state the
11 name of the category and open the poll up to
12 voting. Once we have enough votes in, I will
13 close the poll and we will tally up the final
14 votes.

15 So, just to restate, I'll state the
16 name of the category and open up the polling.
17 And once we have enough votes, I'll close up the
18 polling and we'll tally up the votes for the
19 final consensus. And please point over here to
20 this monitor by me to put in your votes.

21 And for those of you on the phone,
22 again, as Christy said, you can vote in through

1 the chat.

2 MEMBER HART: Excuse me. This is Jeff
3 Hart. I've lost connectivity. I live here in
4 the D.C. area and my network has gone down. So,
5 I won't be able to see the WebEx and would like
6 to vote. So, can I just speak up yea or nay?

7 MS. SKIPPER: Yes. Say your name and
8 your vote.

9 MEMBER HART: Thank you.

10 (Pause.)

11 MS. SKIPPER: And just give us one
12 moment.

13 MR. MENENDEZ: Okay. For the first
14 category, importance to measure and report, the
15 voting is now open.

16 Click 1 for yes, and 2 for no. Oh,
17 I'm sorry. So, yeah, each category will have a
18 number for you to vote. So, 1 for yes, and 2 for
19 now. And the category, again, is importance to
20 measure and report for evidence.

21 (Voting.)

22 MEMBER HART: This is Jeff Hart. Yes,

1 please. Thank you.

2 (Pause.)

3 MS. SKIPPER: And just a reminder for
4 those of you committee members online, please
5 chat in your votes to either Christy or to Elisa
6 Munthali.

7 MS. MARINELARENA: So, let's just do
8 a hand vote. We're going to do a hand vote in
9 the room for evidence for Measure 2082, Viral
10 Load Suppression. And this is importance to
11 measure and report. And you're asking rationale
12 supports the relationship of the health outcome
13 to at least one healthcare structure, process,
14 intervention or service.

15 For all of those who want to say
16 "yes," raise your hand.

17 (Voting.)

18 MS. MARINELARENA: So, we have eight
19 in the room, yes.

20 No? Zero nos. And what do you have
21 online?

22 MEMBER HART: This is Jeff. I vote

1 yes.

2 MS. MARINELARENA: Okay.

3 MS. SKIPPER: And all votes online
4 came through as "yes."

5 MS. MARINELARENA: How many votes are
6 those, Christy? Is it seven?

7 MS. SKIPPER: Eight.

8 MS. MARINELARENA: Eight? Okay. So,
9 that's a total of 16 yes. A hundred percent yes.
10 This measure passes on evidence.

11 So now we'll move on to gap. Does
12 anybody else want to have further discussion on
13 performance gap?

14 (No audible response.)

15 MS. MARINELARENA: If there's no
16 further discussion, we can go ahead and vote.

17 Anybody on the phone, do you want to
18 have more discussion?

19 (No audible response.)

20 MS. MARINELARENA: Okay. Hearing none,
21 we will go ahead and vote.

22 Do we need to do this by hand?

1 MR. MENENDEZ: Sorry. We're having
2 some technical difficulties. We're losing
3 connection.

4 MS. MARINELARENA: Okay. We'll wait
5 before we hand vote. Is that okay? Okay.

6 (Pause.)

7 MR. MENENDEZ: Okay. So, for the
8 second category, importance to measure and
9 report, performance gap: data demonstrated
10 considerable variation or overall less than
11 optimal performance across providers and/or
12 population groups, disparities and care.

13 Vote 1 for high, 2 for moderate, 3 for
14 low and 4 for insufficient. Voting is now open.

15 (Voting.)

16 MR. MENENDEZ: And please point your
17 clickers over in my direction. Thanks.

18 MEMBER ORLANDO: This is Rocco. Can
19 you repeat the categories of the vote?

20 MR. MENENDEZ: Sure. This is for
21 performance gap. Data demonstrated considerable
22 variation or overall less than optimal

1 performance across providers and/or population
2 groups.

3 Vote 1 for high, 2 for moderate, 3 for
4 low, 4 for insufficient.

5 (Voting.)

6 (Off microphone comments.)

7 MR. MENENDEZ: Sure. For performance
8 gap, the four categories were 1 for high, 2 for
9 moderate, 3 for low and 4 for insufficient.

10 MEMBER HART: This is Jeff Hart. I
11 would vote high. I don't have the evidence in
12 front of me, but I think, from memory, that's
13 appropriate.

14 MR. MENENDEZ: So if there is a large
15 gap, then it would be high.

16 MEMBER HART: Correct.

17 (Pause.)

18 MS. SKIPPER: Okay. And we're waiting
19 for a vote on gap from Emily and Jeffrey Hart.
20 We're voting on performance gap. 1 high, 2
21 moderate, 3 low, 4 insufficient.

22 Emily, I see that you have a comment.

1 Would you like to say it on the record?

2 MEMBER AARONSON: No, that was my
3 vote. I concur that I think the evidence is
4 strong.

5 MS. SKIPPER: Okay. And so, what you
6 typed in here is your vote for gap?

7 MEMBER AARONSON: Yes.

8 MS. SKIPPER: So, is that a 3 for gap?

9 MEMBER AARONSON: Well, I guess --
10 sorry. I think I'm also sharing some of the
11 confusion around the gap. So, a high number is a
12 low gap between the evidence? Can you just
13 summarize the numerical scoring again, please?

14 MS. MARINELARENA: Sure. Emily, this
15 is Melissa. So, when you vote high for gap, you
16 are agreeing that there is a high gap in
17 performance, meaning there's still room for
18 performance or that there's a high variation
19 between performers. Moderate means that there's
20 a moderate gap in performance.

21 MEMBER AARONSON: Okay.

22 MS. MARINELARENA: Low would be, say,

1 a measure that is topped out. Everybody is
2 performing the same. And then insufficient ---

3 MEMBER AARONSON: So, that would be a
4 1, not a 3, for my vote.

5 MS. MARINELARENA: So, just to be sure
6 on your vote, if you believe that there is a high
7 gap in performance, there's lots of room for
8 improvement, that's a 1.

9 MEMBER AARONSON: Yes.

10 MS. MARINELARENA: Moderate room, 2.
11 No room, 3. And if there's insufficient
12 information, it's a 4.

13 MEMBER AARONSON: That's very helpful.
14 Thank you. I would vote a 1, then.

15 CO-CHAIR THOMPSON: I just want to
16 make sure I see -- some people would like to
17 switch their votes with that explanation?

18 (Off microphone comments.)

19 DR. WILSON: No, this is not like
20 Chicago where you vote early and vote often. The
21 clicker will take ---- if you want to change your
22 ---- the voting is open. You can change your

1 vote. It takes your last click.

2 MS. MUNTHALI: We're having internet
3 connection issues. So we're going to have to re-
4 vote again overall. So we're going to do a hand
5 vote for this. Sorry about that.

6 MS. SKIPPER: Okay. So, for
7 performance gap for Measure 2082, 1 high, how
8 many are voting 1 high?

9 (Voting.)

10 MS. SKIPPER: All hands for 1 high on
11 performance gap. Could you keep your hands
12 raised high? We're voting on performance gap for
13 2082. Please keep your hand raised high for 1,
14 high. Seven. Okay.

15 And for those on the phone, if your
16 vote has changed, please resubmit it.

17 MEMBER HART: Jeff Hart. I'm still
18 high.

19 (Laughter.)

20 MS. SKIPPER: Okay. For those in the
21 room that are voting moderate, one moderate.
22 Low, zero low. Insufficient, zero insufficient

1 in the room.

2 (Pause.)

3 MR. MENENDEZ: So, the final counts
4 were 12 for high, four for moderate, zero for low
5 and zero for insufficient. So, overall pass.

6 Should we try the voting system again?

7 MS. MARINELARENA: Let's move on to
8 discuss the next criterion, which is
9 specifications under reliability, while you see
10 if that works.

11 I'm going to turn it over to Mike.

12 MEMBER LANE: Alright. With regards
13 to the measure specifications, as we discussed
14 already, this is level of analysis is at the
15 facility level. The numerator is defined by
16 number of patients with a viral load of less than
17 200 at the last viral load testing during the
18 measurement year.

19 The denominator includes all patients,
20 regardless of age, diagnosed with one medical
21 visit in the measurement year. And they have to
22 meet the following requirements. A, of any age,

1 diagnosed within the first three months of the
2 measurement year, or prior to the measurement
3 year, and at least one visit.

4 There are no exclusions outside of
5 those conditions and there are no ICD-9, ICD-10
6 or CPT codes. The calculation algorithm is a
7 simple ratio.

8 And with that, Piero or Laura, any
9 questions from the group?

10 MEMBER GARZARO: As I said before, the
11 question that I have is how are we defining
12 "medical visit" in the measurement year? And
13 would that -- if we're only including an in-
14 person medical visit, wouldn't that bring an
15 error into the measurement? Because we're not
16 measuring either video visits or telephone
17 appointment visits that the patient may have.

18 MS. MATOSKY: Can I go ahead? Okay.
19 So, for the paper measure, the chart-abstracted
20 measure, we're defining a measure --- or a
21 "medical visit" as a visit with a provider with
22 prescribing privileges.

1 So, that would include anyone in a
2 jurisdiction who is licensed to prescribe,
3 including physicians, nurse practitioners,
4 physician's assistants, advanced practice nurses,
5 et cetera.

6 We're not including --- or by that
7 definition we wouldn't include if a client showed
8 up at a clinic just to get labs drawn or pick up
9 paperwork or meet with another ancillary member
10 of the care team.

11 MEMBER GARZARO: I'm thinking more ---

12 DR. CHEEVER: We do not exclude,
13 though, if it was a video visit or other type of
14 visit that's documented as a visit by the
15 prescriber. They're not excluded.

16 MEMBER GARZARO: Oh, so they are
17 included also in the medical visit. It's not
18 only an in-person visit with a provider, but it
19 could be a visit with their attending provider.

20 MS. MATOSKY: Yes. Sorry, Dr.
21 Cheever.

22 MEMBER BRADY: Would that mean a

1 billable visit?

2 MS. MATOSKY: We've just defined it as
3 a visit between the patient and a provider
4 prescribing privileges. We haven't added on any
5 additional criterion. It can be face-to-face, or
6 it could be non-face-to-face if they're using
7 telehealth, what have you.

8 DR. CHEEVER: But we don't address
9 issues of billability.

10 CO-CHAIR THOMPSON: Any questions or
11 comments on reliability?

12 (No audible response.)

13 CO-CHAIR THOMPSON: If not, I will
14 pass it over for voting. Oh, we're going to go
15 through the testing.

16 MEMBER LANE: Yeah. With regards to
17 reliability testing, they updated the testing
18 from previous years.

19 They used the Ryan White RSR database
20 to do beta-binomial modal testing to assess
21 signal-to-noise ratio. They have found medium
22 reliability ranged over the years from 0.95 to

1 0.98, indicating pretty good reliability for the
2 measure.

3 They also looked at provider
4 distribution reliability scores and showed a
5 variation at different provider levels with some
6 differences related to sample size from low
7 reliability areas.

8 Any comments, Piero or Laura?

9 MEMBER GARZARO: No, no comments.
10 Very reliable measure.

11 CO-CHAIR THOMPSON: Okay. So, I think
12 --- are there any other final questions about
13 either of those two elements under reliability?

14 (No audible response.)

15 CO-CHAIR THOMPSON: Okay. We'll pass
16 it to Mauricio.

17 MR. MENENDEZ: Okay. We're going to
18 try the voting one more time. I think we figured
19 out the technical difficulties, I hope.

20 So, for scientific acceptability --
21 oh, I'm sorry.

22 MEMBER GOLDMANN: I'm not a big expert

1 on reliability, but could you just talk a little
2 bit about the distribution of provider level
3 reliability scores, which seems a large
4 variation. What is --- can you explain that a
5 little bit? What actually happens there? Who
6 was examined? I'm not sure I understand exactly
7 what was done.

8 MS. MATOSKY: Sure. I'm also going to
9 ask our statistician, Meredith Brantley, to chime
10 in as well.

11 So, as you can see from our data, we
12 had a significant number of providers. In this
13 dataset, we have over 800 individual clinics or
14 providers that were included. And from my very
15 basic understanding of the signal-to-noise
16 testing, is that, generally speaking, the higher
17 the score, the closer to 1, the greater the
18 reliability of the testing.

19 And so what we did was we stratified
20 it. We gave an overall, you know, median, the
21 min, the max. And then we gave, as well, the
22 breakdown by greater than 0.7, providers that

1 scored greater than 0.8 and then a number and
2 percentage of that greater than 0.9.

3 I'm just checking --- Meredith is
4 asking me --- is there anything in specific or --
5 -

6 MEMBER GOLDMANN: Well, if you look at
7 2014, I'm just trying to -- because this will
8 come up with all the measures. I'm new to the
9 committee, so I want to be sure that I don't have
10 to just raise my hand.

11 So, in 2014, there are 813 providers.
12 And what this table says is equal or greater than
13 0.9, equal or greater than 0.8, equal or greater
14 than 0.7. And then there are numbers below and
15 I'm just trying to make the numbers add up and I
16 can't do that. So, what is --- I don't
17 understand the columns.

18 MS. MATOSKY: So, when you perform the
19 signal-to-noise analysis, you end up with a
20 fraction of a fraction. And so --- and, as I
21 said, with the signal-to-noise ratio testing
22 you're aiming to get your signal-to-noise greater

1 or as close to 1 as possible.

2 MEMBER GOLDMANN: Right.

3 MS. MATOSKY: And, generally speaking,
4 a signal-to-noise ratio greater than, you know,
5 approximately, you know, 0.8 or so is considered
6 good signal-to-noise.

7 And so what we did was we just
8 stratified just to show the variance of the
9 signal-to-noise at the individual provider level.
10 We stratified it by those who had their signal-
11 to-noise ended up being a 0.7 or greater than
12 those who ended up being a 0.8 or greater or 0.9
13 or greater.

14 And the folks who had a lower score,
15 it tended to be because they had a smaller
16 patient population size. So, for instance, in
17 2014, 95 percent of the providers had a
18 reliability of 0.9 or greater.

19 And, like I said, anything over
20 generally a 0.8 or higher is considered good
21 signal-to-noise. And that's what you're
22 ultimately trying to do with this when you're

1 testing reliability.

2 MEMBER GOLDMANN: So this is --- so
3 you --- basically you're saying, in 2014, this
4 was terrific.

5 MS. MATOSKY: Yes.

6 MEMBER GOLDMANN: Okay. I still don't
7 see why it's terrific, but ---

8 MEMBER RONEY: What I see is a large
9 degree of variability. If you have a minimum
10 reliability of 0.29 and a median of 0.98, that,
11 to me, would signify there are some that just
12 aren't doing very well. Is that your minimal
13 reliability?

14 MS. MATOSKY: So, we've generally
15 found the sites where we weren't getting good
16 signal-to-noise it's because they had an
17 incredibly low patient population. I mean, some
18 of our sites, you know, see fewer than 25
19 patients. So it's really hard to distinguish
20 signal-to-noise when you have such a small
21 population.

22 And just, you know, for being, you

1 know, up-front, you know, with our data, we
2 included all of our sites regardless of the
3 number of patients that they had.

4 Earlier on in the measure, I think we
5 talk about --- I know we talk about the
6 characteristics of all of our sites. We, you
7 know, included everyone and not excluding any of
8 our sites. And the majority of our sites have
9 more patients, though.

10 CO-CHAIR THOMPSON: Alright. Any
11 further questions?

12 (No audible response.)

13 MR. MENENDEZ: Okay. We're now voting
14 on Measure 2082, reliability. 1 high, 2
15 moderate, 3 low, 4 insufficient.

16 (Voting.)

17 MEMBER HART: This is Jeff Hart. 1,
18 please --- I'm sorry --- yeah, 1.

19 MR. MENENDEZ: Thank you, Jeff.

20 (Pause.)

21 MR. MENENDEZ: So, voting is now
22 closed. The final tallies were 75 percent for

1 high, 25 percent for moderate, zero percent for
2 low, zero percent for insufficient. The measure
3 passes on reliability.

4 And the final counts were 12 for high,
5 four for moderate, zero for low and zero for
6 insufficient.

7 So, now, we move on to validity.

8 MEMBER LANE: Alright. With regards
9 to validity, face validity was tested for this
10 measure. The developer describes a process where
11 a technical group and Ryan White recipients were
12 allowed the opportunity to provide feedback.

13 During that process, no feedback was
14 garnered that indicated the measure did not have
15 face validity or did not accurately reflect
16 quality.

17 However, there was no systematic
18 process in place to evaluate the ability of the
19 measure to distinguish good and poor quality.

20 Any other comments?

21 CO-CHAIR THOMPSON: Woody.

22 CO-CHAIR EISENBERG: I have a

1 question. Was the technical group that was
2 established for the development of the measure
3 the same as the technical group that decided on
4 face validity, or were these two different
5 groups?

6 MS. MATOSKY: It was the same group.

7 CO-CHAIR THOMPSON: Don.

8 MEMBER GOLDMANN: Yeah, I tend to be
9 fairly humble about what I don't know, and I
10 really don't know a lot about your terminology.
11 This is my first meeting.

12 So it would be really useful when we
13 come to something like validity, which has a lot
14 of meanings that are, you know, from criterion
15 validity on down, and I see that it's either face
16 validity or some other validity.

17 Can someone just quickly review how
18 you view validity, and is face validity good
19 enough, and what other specific categories of
20 validity do you consider?

21 MS. MARINELARENA: Sure. This is
22 Melissa.

1 So, face validity is the minimum
2 threshold of validity that we accept. And we
3 don't consider it empiric validity testing, but
4 we also have a very specific definition for "face
5 validity." And I wrote it in here.

6 "NQF guidance states that face
7 validity of the measure score as a quality
8 indicator may be adequate if accomplished through
9 a systematic and transparent process by
10 identified experts and explicitly addresses
11 whether performance scores resulting from the
12 measure, as specified, can be used to distinguish
13 good from poor quality."

14 So that's what we consider face
15 validity. We want to see that specifically,
16 because it is such a low bar, because, really,
17 it's not empiric testing.

18 If we want empiric testing, we could
19 either have validity testing at the measure
20 score, which you will see in some of the other
21 measures. We also have data element validity
22 testing, which you will also see in some of the

1 other measures. And that is empiric testing.
2 And that moves us, when we go through the
3 algorithm, moves us down the algorithm.

4 They did describe the type of testing
5 that they did and here is a little summary of it.
6 Marie may be able to describe it in a little bit
7 more depth, but, you know, here they use a
8 technical group for the development of the
9 measure. And the group voted on importance,
10 ability to assess quality care, feasibility to
11 implement the measure and use in quality
12 improvement activities.

13 MEMBER GOLDMANN: So, as an example,
14 if we were looking at the IHI Global Trigger Tool
15 and we came to you and said, "The face validity,
16 a lot of people are using it, they think it's
17 great, we had an expert meeting," it would sort
18 of meet your definition for "face validity"?

19 MS. MARINELARENA: Sort of not.

20 MEMBER GOLDMANN: Well, I just want to
21 know.

22 MS. MARINELARENA: I mean, ideally we

1 want to see the list of experts who you use to
2 determine that it had face validity. This is the
3 ideal face validity. And a lot of people do like
4 a Likert score and we like to see, you know, 19
5 out of 20 of these experts believe that, yes,
6 this can be used or something like that.

7 MEMBER GOLDMANN: Yeah, yeah. I mean,
8 if we did a typical consensus or Delphi or
9 whatever, that would be good enough.

10 DR. BURSTIN: Bare bones.

11 MEMBER GOLDMANN: Yeah. And if we
12 came to you and said, "We tested this measure
13 with some people in the field" -- I'm trying to
14 figure out, since it's for improvement,
15 apparently, then it gets squirrely to get to a
16 higher level of validity. You have to show that
17 people actually used it and improved, or that
18 they used it and tried to improve? I'm kind of
19 lost in the empiric piece.

20 MS. MARINELARENA: Well, the empiric
21 piece is when you actually test it. That doesn't
22 have to do with face validity.

1 MEMBER GOLDMANN: No, no, I
2 understand.

3 MS. MARINELARENA: Face validity is
4 just getting, you know, some experts to agree
5 that this does distinguish good from poor
6 quality.

7 MEMBER GOLDMANN: Right.

8 MS. MARINELARENA: And like Helen
9 said, that's bare bones. The empiric testing is
10 when you actually use the measure as specified.

11 So, you take the different data
12 elements, and whether it's the patient level data
13 elements and you're testing those, say, against
14 the gold standard, or you're taking the measure
15 score and testing the validity, so testing the
16 measure against another measure to see how it
17 performs.

18 MEMBER GOLDMANN: Okay. So, that's --
19 I'll be done in a minute, I swear, but, so,
20 that's a standard way to look at validity, but it
21 has nothing to do with improvement. Whereas face
22 validity sounds like people have to have

1 consensus that this is relevant to improvement.

2 So, I could take the Global Trigger
3 Tool, which we did, and have three really superb
4 people independently and blindly review what
5 people had done in the hospitals using it, and
6 they came back and they said, they gave me, you
7 know, a statistical test, the Cronbach alpha or
8 whatever it was, and said, "This looks really ---
9 it's 0.8, looks great," but that doesn't tell me
10 anything about whether the measure has something
11 to do with improvement.

12 DR. BURSTIN: And I think that's an
13 important point, Don. This is Helen, for those
14 on the phone. We have typically had a harder
15 time getting at data from implementation,
16 particularly for newer measures.

17 So, most of it does tend to be either
18 face validity or, you know, construct validity or
19 something, for example, where you say you have
20 the Global Trigger Tool and it's supposed to be
21 an overall safety measure, how does that then
22 correlate with other known measures of safety

1 that people have high confidence in with some
2 truth of measurement?

3 But you're right, we don't have as
4 much. We'd love to have more from the field that
5 gives us the sense "we use this measure and we
6 were able to see improvements in this. If this
7 was an outcome, we saw improvements in the
8 outcome." Very little data on that is available.

9 MEMBER GOLDMANN: Okay. Sorry.

10 DR. BURSTIN: No, it's a good point.

11 (Pause.)

12 CO-CHAIR THOMPSON: Any comments on
13 validity?

14 (No audible response.)

15 CO-CHAIR THOMPSON: So, I have a
16 question, and guide me if this is the wrong time
17 to ask. But when looking at this, and I know on
18 our pre-work call when we talked about the risk
19 adjustment, the argument that was made was that
20 the majority of individuals living with HIV are
21 already a marginalized population. So, there's
22 sort of like this "people are already kind of on

1 the disparity end."

2 And the thing that I'm concerned about
3 is it says here that this measure is not used for
4 pay-for-performance. And yet later on it does
5 say that they want to move to use it in a program
6 like MIPS. And from my understanding of the MIPS
7 program, that is pay-for-performance.

8 And my concern is, as we look at
9 healthcare environments that specialize around
10 certain populations, that there might be lower
11 viral suppression rates. For instance, homeless
12 health centers. If they're going to be treating
13 a population of homeless individuals who, I've
14 seen in the data, are really challenged sometimes
15 in viral suppression, that if they're compared on
16 that scale, they could end up being quite
17 penalized for actually providing care to people
18 who other centers, you know, as you were saying,
19 Don, sometimes push you out, right? Kind of keep
20 you out of their care.

21 So, I wonder what thoughts there are
22 around that and how to account for that,

1 especially when you look at behavioral health
2 now. It seems like there's a lot of folks who
3 might end up in a behavioral health home where
4 they may be active substance users, which also
5 creates challenges around adherence.

6 So, is this the appropriate time to
7 ask that question?

8 MS. MATOSKY: So, thank you for the
9 question. So, I'm going to address it in two
10 parts.

11 So, first, you are correct in that we
12 have not approached this measure with any risk
13 adjustment criteria. This is something that
14 we're at the very beginning stages of working on.

15 As you had appropriately stated, HIV
16 disproportionately affects most people who are
17 risk adjusted in other measures, and that's the
18 preponderance of the people living with HIV. So,
19 existing methodologies for risk adjustment don't
20 necessarily apply.

21 So we're going to, you know, be doing
22 what we feel like is de novo work in this area.

1 So it's going to take more time. So, that's
2 number one.

3 Number two, on to your point about
4 inclusion in the Centers for Medicare and
5 Medicaid programs. It is our understanding that
6 we will be able to work with CMS around setting
7 any sort of thresholds, what have you.

8 At this point, the MIPS program is not
9 setting any thresholds for performance at all for
10 any of their measures, but it is our intent that
11 we would continue to work with them as we develop
12 our own methodologies around risk adjustment and
13 have a better understanding of how that would
14 play out with people living with HIV.

15 CO-CHAIR THOMPSON: Yeah, I know
16 they're not setting thresholds. But from my
17 understanding -- and, again, correct me if I'm
18 wrong -- they're just going to spread the
19 providers over their performance, right? And if
20 you're on this side, you end up with a bonus. If
21 you're on this side, you end up with a penalty.

22 So, I actually think the lack of a

1 threshold is the problem, like not having set
2 that, because otherwise how do I then look at my
3 population and make an argument where we stand?
4 Because otherwise I'm just going to be sort of
5 thrown across that curve. And if I'm in a
6 challenged population, I'm always going to fall
7 potentially on the penalty side.

8 MS. MATOSKY: I'm going to ask someone
9 from our team. Laura, are you able to comment on
10 this?

11 DR. CHEEVER: Yeah, I don't think I
12 can comment on exactly what CMS is planning to
13 do. And in terms of even when we're looking at
14 our disparities and how we're going to be doing
15 some of those analyses around risk adjustment,
16 it's complicated because there are actually good
17 indicators in charts, often, if someone who's
18 actively using substances, for example, or has an
19 untreated psychiatric disorder.

20 So, it is going to be a complicated
21 piece of work in front of us, but I think the
22 issue really at hand is how CMS is using it, and

1 I can't comment on that.

2 DR. BURSTIN: This is Helen. I could
3 add, certainly. And I think Adam's
4 interpretation of the MIPS program is accurate,
5 that it will potentially be used again.

6 For now, at least, it is physician
7 self-selection of measures. So you're not
8 required to pick this measure, for example. If
9 you did pick this measure, it would potentially
10 be playing into your payment.

11 Important to note, though, NQF does
12 have two processes: the endorser process, which
13 looks at the quality and the scientific evidence
14 acceptability of the measure. We then have the
15 Measure Application Partnership where we advise
16 CMS where we can then look at the program, the
17 way it's being used. And I think those are the
18 issues you'd want to make sure float from this
19 discussion to the MAP as those measures come up
20 for consideration in the programs.

21 (Off microphone comments.)

22 DR. BURSTIN: I don't believe this

1 issue was addressed by the MAP.

2 MS. MARINELARENA: No, the MAP ---
3 they discussed them, but then the MAP deferred
4 the conversation to this group.

5 CO-CHAIR THOMPSON: Can we defer it
6 back to them?

7 (Laughter.)

8 MS. MARINELARENA: But that was for
9 the eMeasures only. Those were the ones that
10 went before the MAP, not the existing paper-based
11 measures. But it's the same concept.

12 DR. BURSTIN: It's the issue
13 regardless of whether it's on paper or on charts.
14 I think it's something we can certainly put in
15 our report as something that was raised as a
16 concern and something we could promise to share
17 directly with CMS. I think it's a fair point.

18 CO-CHAIR THOMPSON: Thank you very
19 much. I mean, I do think it is important to note
20 that. I mean, there is concerns amongst people
21 with HIV that as more and more of our care is
22 measured, that people will push out individuals

1 or make it an unfavorable environment for them.
2 So, thank you for already having brought it up
3 and continuing the discussion.

4 DR. BURSTIN: And actually, I mean,
5 you raise another interesting point about people
6 getting pushed out. And I think as we move
7 towards more outcome measures, or intermediate
8 outcome measures in this case, there's often
9 consideration, as well, of whether you need
10 balancing measures.

11 And I don't know, this might be sort
12 of a topic for conversation in the future for
13 HRSA of whether there might be measures you would
14 look at to see, for center patients who leave
15 particular centers, as a balancing measure to
16 make sure that part of the reason you're not
17 doing better is you're actually excluding out
18 some of the patients who might be performing
19 poorly on the measure. It's a really good
20 thought, Adam. Yeah.

21 MS. MATOSKY: I just wanted to ask one
22 other member of our team, Laura Munro, did you

1 have a comment that you wanted to make?

2 MS. MUNRO: Just a general comment.

3 And this is Laura Munro from the MITRE

4 Corporation supporting HRSA.

5 General comments in regards to the
6 MIPS program is that CMS has been very
7 intentional in how they apply the MIPS program
8 and they explore categories that they take into
9 consideration. Quality, which is 60 percent.

10 And for the 2017 performance year, cost is zero
11 percent. So they are not taking that into
12 account. And then improvement activities is 15
13 percent. And advancing care information is 25
14 percent.

15 So, they are looking to providers to
16 actually be more actionable with the data that is
17 provided to them. They will be providing preview
18 reports so you can look into it. So, it's not
19 necessarily that if you don't perform well with a
20 quality measure, you're automatically going to be
21 penalized.

22 They also go through a rulemaking

1 process where there's opportunity for public
2 comments, where you'll be able to provide your
3 input in terms of how you feel the measure is
4 impacting the care that you're providing or the
5 burden or, you know, other issues.

6 So I would strongly encourage folks to
7 go to their website, which is www.qpp.cms.gov, to
8 get more information about the MIPS program.
9 They have webinars and recordings specifically
10 for small, rural and underserved practices. That
11 was recorded February 1st. And if you have any
12 specific questions, you can email CMS at
13 qpp@cms.hhs.gov.

14 CO-CHAIR THOMPSON: Thank you. Any
15 other comments about validity? Any comments on
16 the phone?

17 MEMBER GARZARO: I just had a comment
18 related to the other comments that have been made
19 before.

20 So, this measure, eventually it's
21 going to be used for pay-for-performance, from
22 what I'm getting at. Eventually that probably

1 will happen. But I'm seeing this measure as
2 something that the patient is very dependent on.
3 And like my husband, who's a psychologist, always
4 says, "patients are entitled to make poor medical
5 choices." And I see that in my practice every
6 day.

7 I can tell them, let's go, let's have
8 a medical, let's have a viral load done today.
9 We haven't done it for two years. Then they tell
10 me, "Oh, yeah, sure." And then they go
11 throughout the door and I don't see them for two,
12 three years.

13 So, how does this measure measure the
14 availability of the patient to actually have the
15 care, but them simply not getting to that care?

16 I mean, I practice in Northern
17 California, the Bay Area, it's definitely not
18 private insurance. We don't see most patients
19 that are homeless, but they're actually
20 upper/middle class and many of them actually
21 simply don't care about their HIV, don't even
22 want to know. But the opportunities are there,

1 so they decide that they don't want to have
2 either a doctor visit or they don't want to have
3 even a viral load or even be on treatment.

4 So, how does the measure actually get
5 to that part?

6 MS. MATOSKY: Dr. Cheever, do you want
7 to respond first?

8 DR. CHEEVER: Why don't you start,
9 Marlene?

10 MS. MATOSKY: Okay. Thank you. So,
11 a couple of things come to mind in response to
12 your question. I think, with all of our
13 measures, we've never taken the stance that
14 anything is ever going to be zero percent or a
15 hundred percent. There's going to be something
16 in the middle.

17 Even when you look at something like
18 WHO has set out, the World Health Organization,
19 WHO has set out some targets for HIV care and
20 treatment. They're saying 90, 90, 90. So,
21 they're maxing out at 90 percent.

22 And based on mathematical models, they

1 feel like if folks are meeting those 90 percent
2 targets, then we're going to have a rather, you
3 know, substantial reduction in the HIV epidemic
4 if not, you know, bend that curve down towards
5 zero. So, that's the first thing I would say.

6 The second thing I was going to bring
7 up is that these measures are intended ---
8 they're not --- I would say the --- there's
9 probably a technical term for this. I'm looking
10 to our measure friends over here. This is not a
11 measure of a patient's compliance or a patient's
12 ability to participate in care. Rather, this is
13 a measure of a clinic's ability to support a
14 client in achieving this particular outcome.

15 So I think the intent of the measure
16 is different than, you know, focusing on
17 measuring patient compliance.

18 Dr. Cheever, is there anything else
19 you would add?

20 DR. CHEEVER: No, I think that that
21 captures it. It really --- there are always
22 patients that refuse to be on treatment. And I

1 think that that gets documented in the chart.

2 So, in terms of internal quality review, there is
3 a reason for that. But I think in the past,
4 we've definitely seen there were clinics where 40
5 percent of patients were refusing treatment.

6 And there, I'd say you probably have
7 an issue around the way care is delivered. So,
8 it's worth investigating that. But the issue is
9 not to coerce patients, it's to make sure they're
10 well-educated in making their decisions and that
11 they're documented.

12 CO-CHAIR THOMPSON: Yeah. I think
13 that harkens back to a big piece of the
14 conversation we had at this committee meeting
15 last time around medical visit frequency and
16 whether or not you could hold a physician
17 accountable for patients coming to their medical
18 visits. So, I mean, what you're raising is
19 something that I think drove a lot of the
20 conversation last time.

21 As a patient, I kind of look at it as,
22 you know, in a partnership, there's going to be

1 cost on either side. On the physician side, it
2 might translate out to their payment. And on the
3 patient side, it translates out to our viral
4 load.

5 So, I think there's a -- you know,
6 both sides kind of have some skin in the game,
7 but a little bit different. But I think, from my
8 viewpoint, I do think that how practices, not
9 just physicians, but the care team, sort of
10 interact with people, can overcome fear of
11 treatment, at least in my view.

12 DR. CHEEVER: Yeah. This is Laura.
13 I should also add that I think that, as we've
14 already discussed, that we're really dealing with
15 a very disenfranchised patient population in HIV.
16 That the main reasons people aren't on
17 medications is because they can't access it, they
18 can't negotiate the system, they can't figure out
19 where they can get assistance with their co-pay.
20 I think those are the main barriers.

21 And the barrier around not wanting to
22 be on treatment, or that decision, is maybe a

1 part of it, but it's a relatively small part of
2 it compared to all those other issues for the
3 patient population where a majority of them are
4 living below the federal poverty line.

5 CO-CHAIR THOMPSON: Any other comments
6 around validity?

7 (No audible response.)

8 CO-CHAIR THOMPSON: Alright.

9 MR. MENENDEZ: For Measure 2082,
10 validity, the options are 1 for moderate, 2 for
11 low, 3 for insufficient. Also note that, because
12 of face validity, that the highest possible
13 rating is moderate.

14 MEMBER ADALJA: I have a question just
15 on the algorithm. When you look at this, the
16 guidance for evaluating validity, it says in box
17 4, it says, "Answer no if focused on data element
18 accuracy, availability, feasibility or other
19 topics."

20 Is that --- is this --- is it --- this
21 is focused on a data element, right? Or because
22 it's --- the other option is, was it --- "the

1 computed performance measure score for measure as
2 specified."

3 Is there any difference between --- is
4 it a computed performance measure score, or was
5 it data element accuracy? That's ---

6 MS. MARINELARENA: It was just face
7 validity. So that's how we ended up in box 4.

8 MEMBER ADALJA: In box 4 it says,
9 "Answer no if focused on data element accuracy,
10 availability, feasibility."

11 So, is it --- I get it was face
12 validity systematically ---

13 (Simultaneous speaking.)

14 MS. MARINELARENA: Right.

15 MEMBER ADALJA: But this computed
16 performance measure score, is that --- is that
17 what it is, or is it just focused on data element
18 accuracy?

19 MS. MARINELARENA: So, staff rated it
20 as insufficient, because that was the
21 interpretation that the face validity was focused
22 on getting the element accuracy, availability,

1 feasibility or other topics, based on the
2 information that I have.

3 Now, we're asking you, as experts in
4 the field and using this measure, do you believe
5 that the measure, as specified, does, in fact,
6 distinguish good from poor quality based on what
7 we have here and your experience.

8 If you believe it does, then you could
9 go over and either rate it moderate or low. If
10 you agree with what the staff analysis came up
11 with, then you rate it as insufficient.

12 MS. MATOSKY: So, before we go to
13 voting, my understanding, and please correct me
14 if I'm wrong, is that the committee does not need
15 to vote on validity. Is that accurate? I
16 thought you had said earlier, because this is a
17 maintenance measure, this does not --- the
18 committee does not need to vote on validity.

19 DR. BURSTIN: There's no new testing.
20 That's why.

21 MS. MARINELARENA: Right.

22 DR. BURSTIN: Yes.

1 MS. MUNTHALI: So, Melissa, what you
2 may want to do is ask the committee, do you
3 accept the prior evaluation of this measure on
4 validity? If not, then you go ahead and vote.
5 If you do, then you accept, you know, what was
6 done previously.

7 MS. MARINELARENA: So, it passed
8 before. And if you accept that, like Elisa said,
9 usually what we do is say --- we'll have somebody
10 say, okay, if somebody wants to vote, then we
11 take a vote. If nobody wants to vote ---

12 MS. SKIPPER: Alright. So, does
13 anybody in the room want to re-vote on validity?
14 I'm seeing nos.

15 Does anyone on the phone want to vote
16 on validity?

17 MEMBER HART: No.

18 MS. MARINELARENA: Okay. So, we will
19 not re-vote on validity. If we take the previous
20 vote, we had previously passed on validity.

21 CO-CHAIR THOMPSON: Great. So, our
22 next element, feasibility.

1 MEMBER LANE: Alright. So, with
2 regards to feasibility, all data elements for
3 this measure were generated during routine
4 provision of care in defined fields in electronic
5 health records. Although, you know, which
6 records or systems were not indicated. And, you
7 know, data is easily accessible in EMRs.

8 Any other comments, Piero or Laura?

9 CO-CHAIR THOMPSON: Any other
10 questions or comments on feasibility?

11 (No audible response.)

12 CO-CHAIR THOMPSON: Alright. Can we
13 move to vote?

14 MR. MENENDEZ: Okay. For Measure
15 2082, feasibility, the options are 1 high, 2
16 moderate, 3 low and 4 insufficient.

17 (Voting.)

18 MEMBER HART: Jeff Hart votes high.

19 MEMBER LEWIS: And I've lost my ---
20 this is Jeff Lewis. I've lost my connection, web
21 connection. So, I'm going to vote over the phone
22 for now, and I would vote high as well.

1 MS. SKIPPER: Pranavi, if you're on
2 the line, if you'd like to submit a vote for
3 feasibility 2082; 1 high, 2 moderate, 3 low, 4
4 insufficient.

5 (Pause.)

6 MR. MENENDEZ: Okay. Voting is now
7 closed. 14 for high, one for moderate, zero for
8 low, zero for insufficient. 93 percent for high,
9 so the final vote is high. Passed.

10 CO-CHAIR THOMPSON: And our final
11 element, usability and use.

12 MEMBER LANE: Aright. So, the measure
13 is currently publicly reported and is used by
14 accountability programs, as we previously
15 discussed, including MIPS, PQRS.

16 The use of the measure has shown
17 improvement in viral load suppression during the
18 time, and there have been no potential harms
19 identified during implementation of this measure.

20 CO-CHAIR THOMPSON: Any comments on
21 usability?

22 (No audible response.)

1 CO-CHAIR THOMPSON: Any comments from
2 the phone?

3 (No audible response.)

4 CO-CHAIR THOMPSON: Alright. We can
5 move to vote.

6 MR. MENENDEZ: Okay. Voting on
7 usability for measure 2082 is now open. Vote 1
8 for high, 2 for moderate, 3 for low, 4 for
9 insufficient.

10 (Voting.)

11 MEMBER LEWIS: This is Jeff Lewis
12 again. I'm still working on my computer, but I'm
13 going to vote over the phone as high, 1.

14 MEMBER HART: The other Jeff, Jeff
15 Hart, 1.

16 (Pause.)

17 MR. MENENDEZ: Okay. Voting is now
18 closed. The final count was 15 for high, zero
19 for moderate, zero for low, zero for
20 insufficient. A hundred percent pass for
21 usability for Measure 2082.

22 CO-CHAIR THOMPSON: And now we will do

1 our overall vote for endorsement.

2 MR. MENENDEZ: So, vote 1 for yes, and
3 2 for no, for overall suitability for
4 endorsement.

5 (Voting.)

6 MEMBER HART: Jess Hart, yes, 1.

7 MEMBER SREERAMOJU: Pranavi
8 Sreeramoju, 1, yes.

9 MEMBER LEWIS: Jeff Lewis, 1 as well.

10 MEMBER SREERAMOJU: Hi, this is
11 Pranavi Sreeramoju. My vote is a yes as well.
12 I'm not --- I can't hear the audio on the
13 webinar.

14 CO-CHAIR THOMPSON: That's okay. We
15 got you. Thank you.

16 MEMBER SREERAMOJU: Okay. Great.
17 Thanks.

18 (Pause.)

19 MR. MENENDEZ: Alright. So, we have
20 a hundred percent pass for overall suitability
21 for endorsement.

22 CO-CHAIR THOMPSON: So, we'll take a

1 break at this point, correct, for how long?

2 MS. MARINELARENA: How about ten
3 minutes? A real quick ten-minute bathroom break.
4 When we come back, our colleague Jason Goldwater
5 will go over the eMeasures and then we'll review
6 the accompanying eMeasure to this one. It should
7 go a lot faster because it's basically the same
8 thing. We're just going to review some of the
9 synthetic testing.

10 So, five after 11:00 we'll come back.
11 So, those of you on the phone, five after 11:00
12 Eastern Time.

13 (Whereupon, the above-entitled matter
14 went off the record at 10:56 a.m. and resumed at
15 11:11 a.m.)

16 CO-CHAIR THOMPSON: So I think we're
17 going to go ahead and try and come back together.
18 Okay, so I think we're going to begin, and we're
19 going to turn it over to Jason to do us a walk-
20 through of the eMeasure overviews.

21 DR. BURSTIN: Jason, are you with us?

22 OPERATOR: Jason has not joined on the

1 phone lines yet.

2 DR. BURSTIN: Okay, I could -- just to
3 keep us moving, I'll just do it, if you could
4 pull them up, that's fine. I want to just flip
5 ahead I think to like slide, just keep going,
6 kind of want to -- skip, skip, skip.

7 We're just going to go to the
8 highlights here. Skip, skip, very pretty, skip,
9 skip. You guys don't need an orientation to why
10 HIT is important, etc. Stop.

11 Okay, so we just want to briefly give
12 you a little bit of a context for how you're
13 looking at one measure that's the existing legacy
14 measure, as we call them, measures that have
15 already been around for a while, in use in the
16 federal programs, now becoming an eMeasure.

17 We have different standards for those
18 today, it's going to change in the future, than
19 we do for new, de novo, as we would call them,
20 eMeasures generated to start with for an EHR.

21 So I want to run through a couple of
22 the key sort of techie elements of what we call

1 and what ONC and CMS call an eCQM, an electronic
2 clinical quality measure. And they require these
3 different elements, so I'll walk through them
4 each. Next.

5 I cannot see them, can anybody else?
6 Okay, I can see it on my screen. All right, so,
7 essentially what this says is -- it's probably
8 way too little to see. Let me just pull it up on
9 my screen so I can at least. Right, yup, yeah.

10 So basically what this is is it's just
11 a way of describing what's called the clinical,
12 the quality data model, the QDM. It's just a way
13 to kind of think about the parts of a measure,
14 the clinical concepts within a measure, in a
15 standardized format.

16 So, for example, some things you can't
17 see there is, you know, a QDM element would be
18 something like active diagnosis HIV, active
19 diagnosis asthma. So that it's described in the
20 same way in each measure. It's kind of building
21 blocks of measures.

22 You could also look at things like is

1 -- the question you raised earlier, what's an
2 office visit, what's a medical visit, would also
3 be, for example, a standardized element within
4 the quality data model. Next slide.

5 The other big part of this is
6 something called the -- these are all approved
7 standards. This is the health quality measure
8 format, which is the thing on the left, which is
9 a standard way in XML, so lots of coding words
10 there, to document both the content and the
11 structure of a measure. That's the input, the
12 actual data that comes from the EHR in a
13 standardized format.

14 The output is called the QRDA, which
15 is the Quality Reporting Document Architecture.
16 And that's just a way of standardizing what comes
17 out at the other end in terms of reporting the
18 quality measure.

19 Again, as we move to eMeasures, we
20 just want to make sure that as we're moving to
21 something we hope is more standardized, we're
22 actually getting some standardization out of the

1 deal. So this essentially allows us to do that.

2 Next slide.

3 And this, for example, is a list of
4 what's called the value sets. The other building
5 block of an eMeasure that we hope helps with both
6 standardization, as well as harmonization across
7 measures, is the ability to identify using a code
8 set system, let's, for example, it's listed here
9 as SNOMED CT, a way of always describing the same
10 group of patients in the same way.

11 So this is listed out here, this is
12 specifically around birth outcomes. But, for
13 example, there is a value set that is for a live
14 birth, so that it is always what's the codes,
15 what's the standard within the architecture of an
16 EHR that you can always describe a live birth or
17 HIV in the same way.

18 One of the complicating factors is, on
19 the next slide, the reality is that, and I
20 didn't, I like the Stephen Colbert graphic there.
21 It's not -- it's still pretty difficult to do,
22 particularly when you're starting from scratch.

1 These are a little easier since
2 they're re-specified from an existing measure, or
3 re-specified, as I mentioned, from a legacy
4 measure.

5 But we also have another option of a
6 brand new measure can also come to us which may
7 not yet be tested as an eMeasure, but we'll bring
8 it in just to get it out to market quickly, so
9 people can use it as an eMeasure for trial use,
10 meaning they will pass all criteria but they
11 haven't yet been tested.

12 In this instance, the measures you're
13 going to see today, just to keep it simple for
14 today's discussion, are what we call legacy
15 measures, existing measures, already endorsed on
16 paper, you just re-approved it moments ago.

17 And the question's going to be, as you
18 look at the eMeasure format, is it meeting some
19 of these key standard elements that we would
20 require as part of a legacy eMeasure. Next
21 slide. No more pretty pictures, okay.

22 So how do we evaluate them? Some of

1 these you've already gone through. There's not
2 going to be an expectation that the eMeasure
3 version of the HIV measure you just looked at is
4 going to have a different evidence base.

5 Reliability, it's still well designed,
6 specification's consistent. Essentially, these
7 are all the criteria we would already use to look
8 at a measure. That would really be if it was a
9 de novo measure. Next slide.

10 Particularly for an eCQM, we do
11 require that it be tested, particularly if it's a
12 new eMeasure, tested in more than one electronic
13 health record. And part of the idea is for any
14 of us who practice clinically, you've seen one
15 EHR, you've seen one EHR.

16 The expectation that they're going to
17 work across more than one platform is difficult.
18 I mean, even within the same vendor applications
19 sometimes, you know, one epic installation, for
20 example, could still be wildly different than
21 another one.

22 So we do require at least more than

1 one EHR. Again, for new measures, we do want to
2 require that if there are value sets associated
3 with this, for example, patients who have HIV
4 would be a, hopefully, a coded set that everybody
5 would be plug and play into their measures.

6 The National Library of Medicine
7 maintains something called the Value Set
8 Authority Center, where they maintain the lists
9 of all these value sets.

10 The next frontier that hasn't yet
11 happened, and we did some work with the Office of
12 the National Coordinator last year on this, is
13 how do we begin harmonizing them. There could,
14 for example, be ten different value sets for HIV,
15 and that's ongoing work that's going to have to
16 happen in the future.

17 We do require that the eCQM is
18 formatted with that measure format I mentioned
19 earlier, the HQMF. And we want to, as much as
20 possible, see there's some alignment between the
21 existing measure and the new measure. Next
22 please.

1 So Bonnie is, interestingly, it is
2 actually not an acronym, it's just a word, I'm
3 told. It is a tool developed by the MITRE
4 Corporation, who I believe helped as part of this
5 effort with HRSA to respecify these measures.
6 And it allows you to take the eCQM and test and
7 verify the measure logic.

8 I often think about it as basically if
9 you put the measure in a simulation test, it
10 works perfectly in this idealized environment.
11 It's not necessarily saying it's going to work in
12 these two EHRs. But in this idealized
13 environment, you can in fact get the data you
14 need to calculate the measure.

15 So you want to be able to make sure
16 there are no defects in the way the eMeasure's
17 been structured. And ultimately it helps you
18 then convert the measure into the appropriate
19 electronic data specification that allows you to
20 calculate the measure directly from the measure
21 logic. Please.

22 MEMBER BRADY: I just noticed an

1 inadvertent disclosure. I have a family member
2 who works for the MITRE Corporation but is not
3 involved in this project. That's not an issue.

4 DR. BURSTIN: Okay, don't think so.
5 That should be fine, but thank you for letting us
6 know.

7 Now, it was a brand new eMeasure,
8 which is not the case for today, Bonnie testing
9 would not be sufficient. We would require the
10 full assessment of testing in more than one EHR.

11 In this instance, because it is a
12 legacy measure, for now at least, and this is
13 probably the last round, by the way, for our
14 friends at HRSA and others, that we'll allow
15 legacy measures. In the future, we will require
16 that they in fact go through the same full
17 testing as any other eMeasures. Next slide.

18 So this just gives you an example of
19 an evolution, for example, of a blood pressure
20 measure, what the eMeasure looks like. Next,
21 don't think we need this, goes through it, for
22 example, just a couple of elements of this.

1 So, for example, in this blood
2 pressure measure, the data elements correspond to
3 what's in the quality data model. So you get,
4 for example, things are characterized in the
5 right kind of way around, the way birthdate
6 should be characterized, the way diagnosis should
7 be done. So, for example, let's take this in the
8 HIV context.

9 You'd want to make sure that it's an
10 active diagnosis of HIV that's part of an active
11 value set. Next, please.

12 The numerator obviously, next, to be
13 important. We want to look for numerator, we're
14 going to look for denominator. Next slide,
15 please.

16 And exclusions if there are any, and
17 it didn't sound like there were very many before
18 in this instance. Next slide.

19 How a quality measure is calculated.
20 That looks very complicated. I'm sure Jason had
21 a good joke associated with this. I don't know
22 what it is, we're just going to move on. Next.

1 And this is the HQMF, which I
2 mentioned is the input. This is essentially
3 taking the measure logic, putting it in XML
4 format to go in to the system, and then what
5 comes out the other end. Next slide, please.

6 And then on to the QRDA. Again,
7 nothing you need to look at specifically, and
8 Jason says thank you.

9 So, you know, in summary, very
10 briefly, for today's exercise, the measures you
11 will look at are legacy measures, they are
12 already existing measures endorsed by NQF, in use
13 in the federal programs.

14 The only requirement we would have is
15 you want to see that there is still precision of
16 the specifications for an eMeasure, and we want
17 to see that the body testing demonstrates that
18 the measure logic plays out in an idealized sort
19 of simulation environment. It does need to be in
20 the HQMF format, unless there's an exception to
21 it. I don't remember if there's an exception in
22 this instance.

1 And otherwise, I think these will be
2 relatively straightforward. Hopefully, we'll get
3 some new eMeasures for you guys to look at in the
4 future, and we'll have a slightly different
5 discussion.

6 Any questions? All right, and I'm
7 sure the HRSA folks or MITRE will help you as we
8 walk through this as well. Okay, thanks.

9 CO-CHAIR THOMPSON: Great, thank you.
10 So I believe HRSA has already gone over the
11 explanation of this measure. Yup. So I think at
12 this time, we'll go ahead and pass it to our lead
13 discussants, to begin with the evidence.

14 MEMBER GARZARO: So this is more or
15 less a mirror of the previous one. So the
16 evidence was, if I understand correctly, the
17 evidence is the same that was presented before.
18 There's no performance data available. And we're
19 going to the gaps. But the evidence is the one
20 that was presented before.

21 We go into the gap, there's no
22 performance data available from eCQM, but the

1 developer did provide the nationwide data from
2 2014, from the CDC that estimated that although
3 86% of people living with HIV have been
4 diagnosed, only 30% have achieved viral
5 suppression. And we can see the data there in
6 the tables.

7 But it would be the same kind of gaps
8 that we saw before. Any questions with that?

9 MS. MARINELARENA: So because this is,
10 the evidence is the same, and because it's a
11 legacy measure, there is no gap performance on
12 the eMeasure. As a committee, you can choose,
13 we'll carry the votes over from the chart-based
14 measure for evidence and for gap.

15 So everybody agrees to carry the votes
16 over from the chart-based measure. On the phone,
17 does anybody disagree? I hear no disagreement.
18 Jamie, would you like to say something? Okay,
19 okay, great.

20 See, we'll get this moving faster. So
21 then we can move on to reliability, and we can
22 start talking with these specifications, because

1 we do have to vote on reliability and validity.

2 MEMBER GARZARO: So for
3 specifications, the data source was electronic
4 medical record, because this is an eMeasure. The
5 numerator were the number of patients and the
6 denominator with an HIV viral load less than 200,
7 and at least one viral load during the
8 measurement year.

9 And the denominator, the same as
10 before, includes the number of patient regardless
11 of age with the diagnosis of HIV and at least one
12 medical visit in the measurement year.

13 As far as reliability testing, 34
14 synthetic patients were created using the Bonnie
15 testing, and to evaluate the logic. And the
16 result, the testing results for the Bonnie tool
17 reached 100% coverage and confirmed that there
18 was a test case where it's pathway logic.

19 The measure had a 100% passing rate.
20 We've confirmed that all the test cases performed
21 as expected.

22 CO-CHAIR THOMPSON: Any questions or

1 comments about reliability?

2 MS. MARINELARENA: Does anybody have
3 any questions for MITRE about the Bonnie testing
4 or the method?

5 MEMBER GARZARO: Right now, all,
6 according to the health care law, all, this data
7 should be available to everybody in the
8 electronic medical record. All physicians should
9 have an electronic medical record. So it should
10 be able to be extracted from any clinic, right?

11 CO-CHAIR THOMPSON: There are
12 definitely, at least I know within the Ryan White
13 System, there are clinics that still operate on
14 paper charts, yeah. However woeful that may be.

15 MEMBER RONEY: A lot of small, rural
16 Texas frontier hospitals that do not have EHR.

17 MS. MARINELARENA: In the Bonnie
18 testing, just as a reminder, what Helen said, the
19 Bonnie testing shows, demonstrates that the
20 measure logic worked. So the way they
21 constructed the measure electronically, that
22 works.

1 So they actually did a very good job
2 of coming up with their, with the synthetic
3 patients, and they simulated their Ryan White
4 patients. So they're very, they have different
5 races and genders and age to be able to test the
6 measure, and came up with different situations to
7 be able to calculate this measure.

8 What you would see in real life, but
9 they did it with the synthetic patients, and
10 showed that the measure logic as constructed does
11 work. So that's what Bonnie testing does.

12 CO-CHAIR THOMPSON: So any other
13 questions? Any questions from the phone?
14 Questions or comments? No? Should we go ahead
15 and move to voting on this element, correct?

16 MR. MENENDEZ: For measure 3210,
17 reliability, voting is now open. Vote one for
18 moderate, two for low, three for insufficient.
19 Moderate is the highest possible option.

20 (Voting.)

21 MEMBER HART: Jeff Hart votes for
22 moderate, number two. Is that correct? Number

1 one, whatever it was.

2 MR. MENENDEZ: Yeah, one is moderate,
3 two is low, three is insufficient.

4 MEMBER HART: One.

5 MEMBER LEWIS: I thought you said two
6 was moderate, the highest.

7 MR. MENENDEZ: Right, for this one,
8 one is the highest, due to it only having patient
9 level data elements associated with it.

10 MEMBER LEWIS: Oh, okay. So I'm going
11 to change my vote online.

12 CO-CHAIR EISENBERG: Okay, so does
13 everyone have that straight, the highest you can
14 vote? One is moderate, two is low, three is
15 insufficient.

16 MS. SKIPPER: And to the individual
17 who stated that they were changing their vote,
18 will you say your name again?

19 MEMBER LEWIS: I'm sorry, this is Jeff
20 Lewis. I'm online as well, so I just changed it
21 online to one instead of -- thank you.

22 MR. MENENDEZ: The final tally is 15

1 for moderate, one for low, zero for insufficient,
2 94% pass.

3 CO-CHAIR THOMPSON: And now on to
4 validity. Do we have anything from our lead
5 discussants around validity?

6 MEMBER GARZARO: For validity, I would
7 mention that this measure is not used for pay for
8 performance or penalties, missing data. All the
9 synthetic patients with missing data perform
10 accordingly to the HQMF standard, and as
11 expected. So nothing else to add.

12 CO-CHAIR THOMPSON: Any other comments
13 or questions? All right, we can move to voting.

14 MR. MENENDEZ: Voting for 3210,
15 validity, is now open. One for moderate, two for
16 low, three for insufficient. Again, moderate --
17 oh, I'm sorry.

18 (Voting.)

19 MEMBER GOLDMANN: Can somebody, this
20 to me is -- the Bonnie testing environment with
21 34 synthetic, can somebody just explain that? It
22 sounds great, I know MITRE's a great company, but

1 to me, I'm voting on something that basically I
2 have no idea what it is.

3 MS. MATOSKY: I'm going to ask Rute
4 from our team to discuss this. Rute.

5 MS. MARTINS: Yes, sure, can everyone
6 hear me?

7 MS. MATOSKY: Yes.

8 MS. MARTINS: All right, so my name is
9 Rute Martins, and I am with the MITRE
10 Corporation, and we've been working with HRSA on
11 these electronic clinical quality measures.

12 And so the way the Bonnie tool works
13 is you can create your own patient. So you
14 actually enter the data scenarios on whether a
15 patient has a HIV diagnosis, age, race, and other
16 demographics, as well as potentially a sequence
17 of encounters, lab test results, all of that
18 information.

19 And you create this set of patients,
20 and you import the XML specifications for the
21 measure. So essentially the measure is imported
22 automatically and run against the data that you

1 enter manually in the tool.

2 And it gives you a result. So it
3 tells you whether that patient fell in the
4 numerator, denominator, etc. And so the way the
5 testing actually works, so, one is the measure
6 can be used and automatically consumed, right,
7 and run against patient data. So that's one
8 piece.

9 The other piece is we actually define
10 expectations for how we think that patient with
11 that clinical scenario, where they should fall in
12 the measure population. So we tag a particular
13 patient as a numerator patient if they had a
14 viral load that is 200 or more anytime during the
15 measurement period.

16 And so the second output of the Bonnie
17 tool is really that report that tells you whether
18 the actual result of calculating the measure
19 against the patient data that you put in matches
20 what your expectation was.

21 And the expectation could be that the
22 patient wasn't included in the denominator

1 population at all, or was in the numerator
2 population. So you can generate as many
3 scenarios as you'd like.

4 And then what we did is we created
5 this group of patients where we're testing each
6 one of the individual lines of logic. So, for
7 instance, if we're looking for a patient that is
8 within a particular age range, we would test for
9 a patient that is within that age range, and then
10 we would also test some edges, right.

11 So if the threshold is 18, we would
12 test for a patient that's 17 and a patient who's
13 19, and that sort of thing.

14 We also test for patients who have
15 missing data or that don't meet a particular
16 timing for an encounter. So for instance, for
17 this measure, a patient could have two
18 encounters, but they happened two years ago
19 instead of the year of measurement.

20 And so that patient would not qualify
21 for the denominator for the measure. So we
22 actually create these comprehensive scenarios

1 where we're making sure that we're testing each
2 individual logic line and making sure that we're
3 hitting 100% coverage with that.

4 And then also we create positive test
5 cases to test positively, obviously, against
6 those criteria, and negative test cases, such as
7 those with timings that are out of range, etc.,
8 and some additional ones with missing data. So
9 that's how you get to that number of patients.

10 And I believe for this measure, we
11 actually came to 64 patients, if I'm not
12 mistaken. But that's essentially how Bonnie
13 works. No, it's 34 for this measure, I
14 apologize. Any questions on the process or how
15 we actually did the testing?

16 There was nothing unexpected for this
17 measure in terms of the scenarios that we had, so
18 all of our expected behavior for classifying the
19 patients in the measure for the synthetic
20 patients matched how the measure actually
21 calculated.

22 CO-CHAIR THOMPSON: Thank you. So

1 just to make sure I'm understanding this correct,
2 I'll use my little clinical teach-back tool. So
3 essentially you're looking at if people code
4 visits in ten different ways, you want to make
5 sure that this picks up on all those ten ways a
6 person could code a visit?

7 MS. MARTINS: It's more focused on the
8 actual calculation of the measure, rather than
9 the mapping of the data.

10 CO-CHAIR THOMPSON: Okay, okay.

11 MS. MARTINS: So, yeah, yeah.

12 CO-CHAIR THOMPSON: Excellent, thanks.

13 MS. MARTINS: But it's all about the
14 algorithm of the calculation.

15 CO-CHAIR THOMPSON: Any other
16 questions around validity? Okay.

17 MR. MENENDEZ: Please resume voting
18 again for measure 3210, validity. The highest
19 possible score is moderate. Vote one for
20 moderate, two for low, three for insufficient.

21 (Voting.)

22 MEMBER HART: This is Jeff Hart,

1 number two for moderate, please.

2 CO-CHAIR EISENBERG: Jeff, sorry, one
3 is moderate, two is low, three is insufficient.

4 MEMBER HART: Sorry. Number one,
5 moderate. I'm high.

6 (Laughter.)

7 MR. MENENDEZ: Voting is now closed.
8 Total count is 16 for moderate, 100% pass, zero
9 for low, zero for insufficient.

10 CO-CHAIR THOMPSON: Now move on to
11 feasibility.

12 MEMBER GARZARO: Okay, so here it gets
13 a bit complicated for me. So they've illustrated
14 that a feasibility assessment conducted by
15 consents of the panel of metric clinical
16 informatics measure development and eCQM experts.

17 And on a scale from one to three,
18 three being the highest score, all the data
19 element received a score of three except the
20 encounter performed, face-to-face interaction,
21 and two, the patient characteristic payer.

22 For the encounter performed face-to-

1 face interaction data element, the developer
2 stated that the Health IT Standards Committee
3 recommends SNOMED CT as a standard terminology
4 for encounters. However, SNOMED CT isn't
5 currently widely used for this purpose. The eQCM
6 allows for capture of encountered SNOMED CT as
7 well as CPT, a more widely used terminology.

8 Overall, the measure is currently
9 98.89 feasible and 99.44 feasible in one to two
10 years, according to the score card.

11 See we had questions there for -- they
12 said that it's very feasible, but they have
13 problems with the encounter performed, face-to-
14 face interaction. I think that goes to what I
15 was asking before, about the type of encounter
16 that the patient made -- had.

17 And then the patient characteristic
18 payer, that one I simply didn't understand very
19 well what they were meaning by that. It seems to
20 me, and overall I think it's very feasible to do.
21 It should be easily accessible to the electronic
22 medical record if the insurer or the clinic has

1 an EMR. If they don't, that is going to be
2 definitely harder to obtain electronically. But
3 I think that shows that it is very feasible to
4 do.

5 CO-CHAIR EISENBERG: I have a
6 question.

7 MS. MARTINS: This is Rute Martins.

8 MS. MATOSKY: Go ahead, Rute.

9 MS. MARTINS: I was just going to
10 speak to the two data elements that were rated as
11 not a three. So the reason why we rated the
12 face-to-face interaction data element at a lower
13 rate, it is because that specific value set is
14 defined in SNOMED, whereas all the other
15 encounter value sets that are used in the measure
16 are defined in CPT.

17 And we know that SNOMED is not widely
18 adopted yet, especially to denote and represent
19 encounters. And we don't think that in the next
20 one to two years, that's going to change
21 immensely, so that's why that's a two.

22 But the measure does provide for all,

1 I think one, two, three, four, five, six --
2 there's another six value sets that represent
3 medical visits, and those are in CPT. And so we
4 would expect those would be available. So it's
5 just one of the options that we're providing in
6 the measure that we don't think is very feasible
7 now, but that's definitely where health IT seems
8 to be going.

9 And finally, the patient
10 characteristic payer is actually not used in the
11 measure logic itself. It's a supplemental data
12 element that is usually required to be submitted
13 for all the measures that are part of federal
14 programs. So it's kind of, there's a group of
15 demographic data elements that are included.

16 And right now, there's a standard
17 developed by the Public Health Data Standards
18 Consortium for a taxonomy, for payer data. And
19 that is increasingly being adopted, and in fact,
20 the 2015 edition Health IT Certification
21 Criteria, which is issued by ONC basically to
22 certify EHR systems, does require the use of the

1 source payment topology standard defined by this
2 Public Health Data Consortium.

3 So we think that in the next couple of
4 years, we're going to see an uptick in the use of
5 this standard to represent payer. So I just
6 wanted to provide that background there.

7 CO-CHAIR EISENBERG: And could you
8 explain, please, where the numbers come from and
9 why you expect it to improve from 98.89 to 99.44
10 percent?

11 MS. MARTINS: Sure. So this is a
12 standardized score card that is provided by NQF
13 and that is required to be submitted with every
14 measure. And our feasibility assessment and the
15 way it was filled out was by a group of MITRE
16 team experts.

17 And so we had three clinical
18 informaticists, and three eCQM standards experts
19 and one measure development expert who weighed in
20 on the rating. So it's basically the MITRE
21 experts' consensus on where these elements fall.

22 Now, they are ratings, so we have to

1 decide whether, for instance, in the data
2 availability category, a score of three means
3 that the data element exists in a structured
4 format in an EHR. And a one means that the data
5 element is not available, and two does not exist
6 for this particular criterion.

7 For data accuracy, we're rating for
8 whether the information could come from the most
9 authoritative data source and the likelihood of
10 it being correct.

11 And then for data standards, we're
12 really talking about which, how the elements are
13 coded. So is it coded in nationally accepted
14 terminology or not. And for workloads
15 essentially, if the data can be obtained as a
16 routine part of care.

17 And in addition to the ratings that we
18 included, just based on the team consensus, we
19 did go back and looked at meaningful use, stage
20 one and stage two data, as it related to the data
21 elements for these measures. So, for instance,
22 for laboratory test results, and this is the

1 latest data available for stage two meaningful
2 use provider, about 54,000 providers reported in
3 2014.

4 And about 90% of those providers, 91%
5 of those providers reported on the ability to
6 capture lab test results in a structured fashion.
7 And about 93% reported that they were actually
8 able to do that 80% of the time or more.

9 CO-CHAIR EISENBERG: Very good, thank
10 you. I think that's good, thank you. Kathleen.

11 MEMBER BRADY: Yeah, I just wanted to
12 reiterate that the measure is currently nearly
13 99% feasible. And that's based on the CPT
14 coding.

15 And with the addition of these SNOMED
16 codes in some places over the next few years
17 where the current rating was two will only
18 increase the feasibility to 99.44%, correct?

19 MS. MATOSKY: Are you there, Rute?

20 MS. MARTINS: Yes, yes. So the reason
21 why it doesn't get to 100% is because for the
22 SNOMED code, we didn't actually change the rating

1 for the future. We don't think that the extended
2 use of SNOMED will be significant enough to say
3 that that data element is going to be routinely
4 coded in SNOMED.

5 So that encounters are going to be
6 routinely coded in SNOMED, but we can fall back
7 to the CPT.

8 MEMBER BRADY: Yeah, no, I understand.
9 I just, I don't think 100% feasibility is
10 probably ever a reality. So I think 99.44% is
11 very high, obviously.

12 CO-CHAIR THOMPSON: Any other
13 questions about feasibility? Okay, we can move
14 to the vote.

15 MR. MENENDEZ: Vote one for high, two
16 for moderate, three for low, four for
17 insufficient.

18 (Voting.)

19 MEMBER HART: This is Jeff Hart. Two
20 for moderate.

21 MR. MENENDEZ: Okay, voting is now
22 closed. The final tally is twelve for high, four

1 for moderate, zero for low, and zero for
2 insufficient. Seventy-five percent for high,
3 pass. Again, 3210 feasibility.

4 CO-CHAIR THOMPSON: And the last
5 section on usability.

6 MEMBER GARZARO: For usability,
7 mentioned that it's currently not in an
8 accountability program. However, it was reviewed
9 by NQF MAP for consideration of CMS merit-based
10 incentive payment in the future.

11 Unexpected findings, there were not
12 any unexpected findings, and the MAP recommended
13 support of this eCQM for rulemaking, with the
14 condition that it completes successful testing
15 and the NQF Behavioral Health Standing Committee
16 reviews the performance data to ensure a gap in
17 care continues to exist.

18 So one of the things that I see here,
19 it's currently not being used for accountability
20 program, but it's planned to be used in an
21 accountability program. And I think that ties in
22 to what we were talking before, the use in the

1 clinics rather than at provider-level
2 accountability. Is that correct?

3 MS. MATOSKY: So the intent is that it
4 would be used at a facility or clinic level at
5 this point, yes.

6 MEMBER GARZARO: So the final
7 usability and use is a moderate for this measure,
8 rather than high, as in the paper version.

9 CO-CHAIR THOMPSON: Any questions or
10 comments on usability? Okay, we can move to the
11 vote.

12 MR. MENENDEZ: For 3210, usability and
13 use, voting is now open. Vote one for high, two
14 for moderate, three for low, four for
15 insufficient.

16 (Voting.)

17 MEMBER HART: Jeff, hi, two for
18 moderate.

19 MR. MENENDEZ: Voting is now closed.
20 The final tally is eight for high, eight for
21 moderate, zero for low, zero for insufficient.
22 So again, 50% for high and 50% for moderate, with

1 a final vote of pass.

2 And so now do we move on to the
3 overall vote? And now for the overall vote of
4 this measure, for overall suitability for
5 endorsement, vote one for yes and two for no.

6 (Voting.)

7 MEMBER HART: Jeff Hart, yes.

8 MR. MENENDEZ: Voting is now closed.

9 One hundred percent pass for 3210.

10 MS. SKIPPER: And I just want to note
11 for the record, one committee member changed
12 their vote to moderate. It doesn't change the
13 overall, the measure still passed on usability.
14 I just wanted to note it for the record, seven
15 votes high, nine votes moderate.

16 CO-CHAIR THOMPSON: And I will pass it
17 off to Woody.

18 CO-CHAIR EISENBERG: And we'd like to
19 just move along now. The next two measures, one
20 of which is simply a maintenance of endorsement
21 measure, and the next one will be the new
22 electronic version of that measure, will be first

1 presented by Marlene, and then we'll have some
2 discussion.

3 And Marlene, since the developer
4 rationale is much the same for these measures as
5 for the previous one, if you could just emphasize
6 the things that are unique about this measure.
7 It's 179.

8 MS. MATOSKY: All right, so this
9 measure is the medical visit frequency --

10 CO-CHAIR EISENBERG: For those of you
11 on the phone, this is measure 2079. Okay, thank
12 you, Marlene.

13 MS. MATOSKY: No problem. So this is
14 the medical visit frequency measure. This
15 measure concept is focused on retention in
16 medical care. It's a little different than many
17 retention measures you may think about, and many
18 other measures in general, because this is aiming
19 to look at longer-term retention.

20 The denominator is clients who had at
21 least one visit in the first six months of a 24-
22 month measurement period. So to emphasize, the

1 measurement period overall for this measure is
2 two years or 24 months.

3 The numerator is clients who had a
4 visit in the subsequent, each of the six month
5 periods within that 24-month period. So clients
6 had to have a total of at least four visits, one
7 in each six month period over 24 months. Once
8 folks generally wrap their head around this
9 measure, they find it's pretty easy to use.

10 And why we've, you know, looked more
11 towards a longer retention measure or a longer-
12 term retention measure is that data indicates
13 that a degree of clients, a fairly high degree of
14 clients, engage in medical care early on, and
15 then they fall out, and then they become
16 unvirally suppressed. And that could lead to
17 more HIV transmissions.

18 CDC data that came out a few years
19 ago, I think it came out in 2015 actually,
20 reported that nine out of ten HIV infections are
21 related to people who are not in HIV care,
22 whether they know that they are positive or not.

1 So for that reason, in addition to others which
2 I'll talk about, we've really focused on a
3 longer-term retention measure.

4 Another point I wanted to bring up
5 about this measure is that, and I think it goes
6 back to something that Adam had brought up
7 earlier about models of care, in HIV care and
8 treatment, we see a significant portion of
9 patients getting both their HIV as well as their
10 routine primary and preventative care at one care
11 location by one provider.

12 So, for instance, somebody's HIV may
13 be well managed, but they have other co-
14 morbidities, and we do know that people living
15 with HIV, one, are pre-disposed to other co-
16 morbidities, as well as it's an aging population.
17 So just because of age, they're seeing other co-
18 morbidities as well.

19 One other item I wanted to bring up
20 about this measure, and it relates to the
21 subsequent measures as well, we like to think
22 about having more than one measure in HIV care

1 and treatment, because for some organizations, as
2 you've seen by our data, achieving the outcome of
3 viral suppression might not be the first goal
4 that they could adequately achieve with their
5 patient population. So we need to include other
6 measures as well that lead into viral
7 suppression, such as the retention.

8 I'm going to pause there and ask Dr.
9 Laura Cheever if she has anything else she would
10 like to add about this measure.

11 DR. CHEEVER: No, I think you actually
12 captured it well. I think this does get back to
13 earlier conversations we've had about cherry-
14 picking clients and clients not coming back. By
15 measuring over two years, we are able to capture
16 some of that type of behavior of people that had
17 started care and then simply fall out.

18 MEMBER LEWIS: Hi, this is Jeff Lewis,
19 I have a question as it relates to that data in
20 terms of patients who get their care at one
21 place, and those who get their care at a primary
22 care and then see an infectious disease

1 specialist. Was that looked at as well, or like
2 separately, in terms of viral load suppression?

3 MS. MATOSKY: That's a really
4 interesting question. So the data that we
5 presented in this submission did not stratify
6 clients in terms of the model of care they were
7 receiving.

8 In fact, when we look at our data in
9 the Ryan White Program, we don't capture whether
10 a client is seeing one individual, one provider
11 for both their HIV and their routine primary
12 care, or just seeing one provider for primary
13 care, another provider for their HIV care.

14 We do have a project that's going on
15 now that's going to look at some of these
16 factors, but we don't have that project in data
17 collection at this point.

18 DR. CHEEVER: I'll add the fact that
19 we are doing that more comprehensive special
20 evaluation because it is so hard to actually know
21 that. Many people are in a system where they
22 need to have a primary care provider that does

1 referrals. In fact, they never see that person.

2 And so it's really actually hard to
3 distinguish even in the medical chart how that
4 care's being delivered, which is why we're doing
5 the special study.

6 CO-CHAIR EISENBERG: Okay, thank you
7 for that. Does anybody else have questions for
8 the measure developer before I hand it over to
9 our discussants? If not, Nanette and Kathleen,
10 please take it away.

11 MEMBER BRADY: I think Nanette was
12 going to start on this one, is that correct?

13 CO-CHAIR EISENBERG: Nanette, are you
14 on the phone? If so, we can't hear you.

15 MEMBER BENBOW: I am, can you hear me?

16 CO-CHAIR EISENBERG: We hear you know,
17 thank you.

18 MEMBER BENBOW: Okay, great. So, yes,
19 Kathleen and I were the discussants. I'm taking
20 the lead. So this is a process measure, and
21 we're looking at a maintenance of endorsement.
22 So I'll start with evidence, and since it is a

1 maintenance measure, there's less emphasis on
2 evidence.

3 The developer provided updated
4 evidence for this measure. They provided a
5 diagram outlining the sequential steps of medical
6 care.

7 CO-CHAIR EISENBERG: Yeah, we're
8 getting a little feedback, but Nanette, we can
9 hear you just fine. Please continue.

10 MS. MARINELARENA: For those of you
11 that are not speaking, can you please put
12 yourself on mute.

13 MEMBER BENBOW: Hi, sorry, can you
14 hear me now?

15 CO-CHAIR EISENBERG: We do.

16 MEMBER BENBOW: Okay, I'm trying to
17 get rid of the feedback. So, let's see, okay.

18 MS. SKIPPER: If you are listening
19 online, you need to also mute your computer. And
20 that'll eliminate the feedback.

21 MEMBER BENBOW: Yeah, I think when I
22 muted my computer you couldn't hear me. Let me

1 try it again. Can you hear me?

2 MS. SKIPPER: Yes.

3 MEMBER BENBOW: Oh, perfect, okay.

4 Sorry about that. So there was updated
5 information provided by the developer. They
6 looked at the evidence that supports this measure
7 and states the systematic monitoring of retention
8 in care, which may include surveillance of visit
9 adherence, gaps in care, and the number of visits
10 during a specified time period.

11 That was based on a panel from Anti-
12 Retroviral Guidelines. And also from the IAPAC
13 guideline for Optimizing Care Continuum. Another
14 recommendation that they identified was the
15 systematic monitoring of retention in care that
16 is recommended for people living with HIV. And
17 that had a level of 82, so strong and a high
18 quality of evidence.

19 Let's see, what else? Just kind of
20 addressing some of the questions.

21 Based on the algorithm, the committee
22 agrees that retention in care is a major

1 predictor of viral suppression, and that there
2 is, we agree that it is acceptable to hold
3 providers accountable for medical frequency
4 visits, based on DHHS guidelines on HIV care and
5 treatment, and that there is evidence of
6 systematic assessment of expert opinion beyond
7 those involved in developing the measure. Again,
8 based on guidelines from review panel
9 recommendations.

10 I think that's it, I'm trying to keep
11 it short. Kathleen, is there anything you'd like
12 to add?

13 MEMBER BRADY: Nope.

14 CO-CHAIR EISENBERG: I have a
15 question.

16 MEMBER BENBOW: Okay.

17 CO-CHAIR EISENBERG: Either, probably,
18 Marlene, for you. Is there evidence to suggest
19 that the six-month blocks are meaningful in some
20 way? And then, within that question, the minimum
21 of 60 days between medical visits, where does
22 that come from?

1 MS. MATOSKY: Sorry, I was just taking
2 some notes here. So I'm going to take a bite at
3 that first question and then I'm going to pass
4 that off to Dr. Cheever.

5 So as outlined in the Department of
6 Health and Human Services guidelines, it outlines
7 how frequently a patient should be getting
8 different labs performed and visits, depending on
9 their length of diagnosis, as well as their
10 achievement of viral suppression, sustained viral
11 suppression.

12 And we chose sort of a middle of the
13 road based on what the guidelines are suggesting
14 in terms of how frequently people should be seen.
15 You know, for instance, if a client has their
16 prescription changed, they should be followed up
17 shortly thereafter. You know, less than a month,
18 about six weeks.

19 And then if folks are sustained, have
20 a sustained viral suppression for two years or
21 more, they can be seen at least twice a year. So
22 we've sort of kind of split the difference on

1 this across all the cases.

2 CO-CHAIR EISENBERG: The reason I ask
3 is because, as you said, you were taking a middle
4 of the road sort of path here, and we did receive
5 comments from the public that there were some, in
6 some cases, there were some patients that were
7 stable enough that they might be seen less
8 frequently and that that could be considered
9 perfectly reasonable care.

10 MS. MATOSKY: Exactly. One other
11 thing I would want to mention about the number of
12 visits, and I had mentioned this, I made
13 reference to this when we were on the phone about
14 two weeks ago.

15 So we did some very preliminary
16 analysis or data to look at visit frequency, and
17 we're only looking within a year because we're
18 not able to link our data at this point across
19 years. We're on the verge of doing that. And it
20 turns out that 37% of our clients are getting
21 five or more visits a year.

22 So it's actually a relatively small

1 proportion of people living with HIV in the Ryan
2 White Program who are getting very few visits in
3 a year. And it turns out that about 12% of
4 patients are only getting one visit. And 18% or
5 so are getting two visits in a year.

6 Additionally, and this would kind of
7 be a comment that I would make in that, in the
8 gap measure as well, in this preliminary
9 analysis, we did see a rather sizable bump in
10 viral suppression between clients who'd had one
11 visit in a year and clients who had two visits in
12 a year.

13 Clients who had one visit in a year
14 experienced viral suppression at a rate of 73.7%,
15 and we saw a 7.3% point increase for folks who
16 had two visits in a year, at 81%.

17 Finally, your question about how we
18 came up with the 60 days between visits. This
19 went back to when we were doing our face validity
20 and really kind of workshopping this measure with
21 our panel of experts, and we wanted to capture a
22 time so that a client who'd had a visit on

1 January 1 and January 2, per se, wouldn't be
2 counted in the measure.

3 We wanted some time between the
4 visits, and based on experience from the
5 providers that participated in that panel, we
6 settled on 60 days between visits. I know Adam -
7 -

8 DR. CHEEVER: Yeah, and that -- sorry.

9 MS. MATOSKY: No, Doctor, I was going
10 to turn it over to you, Dr. Cheever.

11 DR. CHEEVER: Okay, yeah, so I think,
12 and part of that initial consultation around
13 scheduling visits, there was a pattern that many
14 of the providers had seen where a patient would
15 be acutely ill in the hospital and be discharged
16 and be seen several times as they got better.

17 And as soon as they got well enough
18 that they didn't need sort of semi-urgent medical
19 care, they stopped coming in. So we were really
20 trying to make sure we weren't having that
21 counted as, you know, sufficient visits in the
22 year. And that's why we put the 60-day gap.

1 CO-CHAIR EISENBERG: Very good, thank
2 you. Adam.

3 CO-CHAIR THOMPSON: Yes, I just have
4 a question. Are you able to distinguish, when
5 making the correlation between two visits and a
6 bump in suppression? Can you distinguish,
7 because I know you said you can't look at people
8 over time, whether that bump is artificial in the
9 sense that you're looking at individuals who may
10 have become newly engaged in care?

11 So their two visits are going to be
12 more meaningful in that measurement year than an
13 individual who's been sustained in care over
14 time. And I think, I mean, I'm a huge fan of
15 retention, absolutely. And the last time we did
16 this measure, as I'm sure you remember, I
17 presented it in full faith.

18 And I think the concern I have is that
19 over time, people have gotten better, right, as a
20 result of this care. And as we look particularly
21 at costs, I think especially when we're
22 discretionary funding, I get very, you know,

1 hyper about whether or not we're requiring not
2 only a patient's additional co-pays, but also the
3 system time that it takes for individuals to be
4 seen and the follow-up that it takes.

5 I know in the clinics I'm seeing,
6 people are just willing to take a hit on the
7 measure. And we don't expect 100% performance.
8 But I see a lot of evidence around, like poor
9 retention in the first year, right, newly
10 diagnosed. Or poor retention in individuals that
11 are non-suppressed.

12 And I just wonder, as you've seen your
13 viral suppression jump almost 20% in some cases,
14 is that going to affect whether or not this
15 measure of, you know, two years over one, two
16 visits in each six months, which you rightly say
17 is the middle of the road, because it's one if
18 you're thoroughly suppressed, four if you're
19 unsuppressed, I believe. Can you pull those
20 apart?

21 MS. MATOSKY: Did you want to respond
22 first, Dr. Cheever?

1 DR. CHEEVER: Yeah, so I think that --
2 so I completely agree with what you're saying,
3 and it's one of those things that in terms of
4 trying to make a measure that's going to work
5 well and be robust and measure for most patients,
6 and the variability of the types of people we
7 see, I think you're completely, that's completely
8 true, everything you said.

9 I think it's been our experience, and
10 I think that our data shows it, that still most
11 people with HIV have numerous issues around
12 access and barriers to care, that more frequent
13 visits mean that they are able to better connect
14 with a comprehensive system to make sure that
15 those multiple barriers are being addressed
16 throughout the year.

17 So I think that's part of the reason
18 why we did see that increase. Our number of new
19 patients is relatively modest, so I don't think
20 that that could account for the increase we've
21 seen, and I think it really has to do more with
22 keeping people engaged over time when they've got

1 multiple competing life priorities.

2 CO-CHAIR THOMPSON: Thank you.

3 Kathleen.

4 MS. MATOSKY: Can I just make one
5 additional comment before we go to Dr. Brady?
6 One other piece and, again, this is very
7 preliminary analysis, but to your point, Adam, if
8 somebody was new back in 2010 versus 2015, we
9 still saw that increase in viral suppression
10 between one visit and two visits.

11 MEMBER BRADY: So I feel a little
12 schizophrenic or bipolar about this measure. You
13 know, we look at retention all the time. I think
14 it is incredibly important, you know. I mean,
15 based on the guidelines, I'm wondering whether it
16 should be more about frequency of viral load
17 testing.

18 But, you know, with that said, I will
19 actually tell you some data that we have. I
20 presented some of this on the call. And that is,
21 we did an analysis of individuals in Philadelphia
22 of all people who were living with HIV in

1 Philadelphia between 2010 and 2015.

2 So to be entered into our cohort,
3 folks basically had to have had care in 2010.
4 And we looked at retention in care actually over
5 a five-year period using this measure that people
6 had to have care in each six-month period, with
7 60 days in between the visits, and looked at
8 annual retention as well as durable viral
9 suppression -- I'm sorry, annual viral
10 suppression.

11 So last viral load less than 200, and
12 then durable, all viral loads being suppressed
13 during that time period. And individuals who met
14 the annual retention measure were four times as
15 likely to achieve annual viral suppression, and
16 nearly three times as likely to achieve durable
17 viral suppression over that five-year time
18 period.

19 So I think that's an even longer time
20 period, and this is including everyone who was
21 alive in Philadelphia. So I think the data
22 actually supports that we really should be

1 measuring retention, because it is associated
2 with improved long-term outcomes.

3 CO-CHAIR EISENBERG: Good. Thank you.
4 Are there other comments or questions about the
5 evidence?

6 MEMBER GARZARO: Yeah, I agree with
7 Kathleen. I mean, at what point we're putting so
8 much weight on medical visits rather -- if the
9 patient needs getting his labs, or his or her
10 labs, every three months, but they don't go see
11 the doctor every three or six months because they
12 simply don't have anything to say. They're
13 taking their medications. They're feeling fine.
14 I tell my patients, oh, you want to see my pretty
15 face every three months, by all means, come. But
16 you will have to pay a co-pay.

17 And they say, why am I going to pay a
18 co-pay just for you tell me everything is okay?
19 And they know it's okay because they can see
20 their labs in the computer.

21 So, I would put the weight more on
22 testing rather than the patient having seen their

1 provider. Because I've had patients that have
2 been infected for 20 years. They know their
3 pills. They take their pills. They come see me
4 maybe every two years, right before they go to
5 Hawaii and make me jealous. But they get their
6 labs every three months and without fail and
7 they're there and they're fine, undetectable, T-
8 cell count in the 800, 900.

9 So what am I going to do with a
10 medical visit for that type of established
11 patient?

12 CO-CHAIR EISENBERG: Are there any
13 questions from people on the phone?

14 MR. MENENDEZ: I have --

15 CO-CHAIR EISENBERG: Oh, I'm sorry.
16 Well, you know, it's always -- as long as I ask.
17 Any questions on the phone? If not --

18 MEMBER LEWIS: Yeah, I don't -- this
19 is Jeff Lewis. I'm sorry. I don't have a
20 question. I just had a comment in regards to the
21 cost. When you started talking about having the
22 labs versus the visits, the cost differential,

1 the visit maybe cheaper and covered, where the
2 labs sometimes aren't. And the cost could be a
3 little bit -- because it's done so frequently,
4 they only cover so many per year.

5 So, depending on the insurance, the
6 demographic of your patient population, things of
7 that nature, cost could be a factor in the
8 frequency of visits.

9 CO-CHAIR EISENBERG: Amesh?

10 MEMBER ADALJA: This is already in
11 existence? This is already on the books? And
12 has it always been at six months? Or has it ever
13 -- because I think there was guidance and change
14 from three month visits to six month visits, I
15 guess in the HHS guidelines.

16 But is there, you know, as you see HIV
17 care become more less intense in terms of the
18 visits because of the durability of suppression
19 with good antiretrovirals, do you anticipate this
20 that the HHS guidelines might say for some of
21 these durably suppressed, maybe they have one --
22 they have -- just like what he said, have labs

1 checked and not necessarily physically come in?

2 And how would that -- you know, how
3 would you account for not penalizing providers
4 who have a patient who is durably suppressed and
5 just knows, you know, is very sophisticated and
6 looks this all up?

7 And that part of it is hard for me to
8 come up with a full recommendation for it because
9 of that. Those types of patients that exist in
10 everybody's clinic.

11 MS. MATOSKY: Dr. Cheever, do you want
12 to go first and speak about the guidelines?

13 DR. CHEEVER: Yes. So, I do hear what
14 you're saying. And I think that's a valid point
15 there in the sense that some patients are able to
16 keep up with their -- keep up with their labs or
17 checking on their labs, or, you know, seeing
18 things online that are coming back.

19 And so you're right. So, I don't
20 think we, once again, expect 100 percent, that
21 people would have 100 percent in terms of who's
22 achieving this. But I think for a majority of

1 patients seen, we do know that coming into care
2 will improve their outcomes, as has already been
3 stated. So, that's really the essence behind
4 this.

5 I don't have much more. I'll turn it
6 over back to you, Marlene.

7 MS. MATOSKY: Sure. I wanted to make
8 two points. One is that whenever HRSA is
9 developing any performance measures for HIV care
10 and treatment, we have to root them in the HIV
11 guidelines, the Department of Health and Human
12 Services guidelines. Because that's really sort
13 of our launching point, or, you know, the
14 guidelines that exist for HIV care and treatment.
15 So that's the first thing.

16 The second thing I just wanted to
17 clarify, and I have the guidelines up in front of
18 me. And it says, after two years of
19 antiretroviral therapy, viral load consistently
20 suppressed, CD4 consistently 300 to 500 cells per
21 millimeter cubed. It says, can extend viral --
22 it can extend for viral load monitoring to every

1 six months for a patient with consistent R-load
2 for greater than two years.

3 So the guidelines are still saying
4 that if you have somebody who's virally
5 suppressed for two years or more, they should
6 still be getting a viral load every six months.

7 And, again, this is really where we
8 have to root our measures in the guidelines at
9 this point.

10 MEMBER ADALJA: Great. So that's
11 saying viral load measurement, not a physical
12 visit.

13 MS. MATOSKY: Mm-hmm.

14 MEMBER ADALJA: So I think those are
15 two different things. And one doesn't
16 necessarily have to go with the other.

17 MS. MATOSKY: And then --

18 MEMBER BRADY: But --

19 MS. MATOSKY: Go ahead.

20 MEMBER BRADY: But towards that point,
21 the vast majority of people living with HIV in
22 the United States are not virally suppressed

1 based on that two-year measure. It is the
2 minority that are actually -- meet that.

3 And from our data, just even when one
4 year only, I mean, it's about 20 percent of
5 people who are virally suppressed don't meet the
6 retention measure. So it's actually a fairly
7 small group of individuals.

8 MEMBER GARZARO: I think it depends
9 where you practice. I mean, at least in Northern
10 California, Permanente, I would say 95 percent of
11 them are suppressed. Because we make it a goal
12 to be suppressed. But, again, you can consider
13 that a very special population.

14 MEMBER BRADY: Yeah, I'm talking about
15 people who -- so I'm working in public health.
16 You're looking at it from people who are actually
17 engaged in care.

18 MEMBER GARZARO: Yeah. Yeah.

19 MEMBER BRADY: And so I'm looking at
20 it from everybody who is living with HIV in my
21 jurisdiction. And the vast majority of
22 individuals do not meet the retention measure.

1 And they are not virally suppressed.

2 MS. MATOSKY: So to kind of dovetail
3 with what both of you are talking about, again,
4 I'd just like to emphasize, when we look at the
5 HIV care continuum -- and that's looking at
6 everybody in this country living with HIV.
7 Regardless of where they're getting care or not
8 getting care, we are definitely seeing rather
9 significant gaps when we're going from diagnosed
10 all the way to viral suppression. So that's the
11 first point.

12 And then, you know, the other point is
13 that we're here to develop measures that are
14 applicable broadly across all care providers in
15 this country who are serving people living with
16 HIV, those who have a client panel that are
17 achieving high rates of viral suppression and
18 those who are not.

19 So, you know again, I think I had said
20 this earlier, you know, I think that's one of the
21 elegance of measure development, is creating a
22 measure that has a slightly less specificity so

1 you can gain that better applicability of the
2 measures, as well as making them simple in their
3 logic.

4 CO-CHAIR THOMPSON: Yeah, I just want
5 to say what you two just pointed out, I think,
6 for me, is the discussion point around this
7 measure. I think people do find it incredibly
8 useful at a systems level to look at how
9 retention is happening in a city or a
10 jurisdiction.

11 But I think at the clinical level, I
12 think that's where this measure is very
13 challenged. Not only because of the way the
14 denominator is defined, and how lost-to-care is
15 defined in most clinics. Like, when they're no
16 longer a patient going out after a year versus
17 two years, as well as the evidence lining up for
18 retention for newly diagnosed or newly engaged.
19 Right?

20 I mean, I think there's some questions
21 here about it. And I think even though there are
22 small numbers of individuals who are suppressed,

1 I don't think that we should have to bear an
2 additional cost burden so that the measure falls
3 in the middle.

4 And we have a comment from Rocco on
5 line.

6 MEMBER ORLANDO: Yeah, this is Rocco
7 Orlando. So, my comment is, I think this
8 discussion is really focusing on the difference
9 between, is this a public health measure or is
10 this a quality measure?

11 And so I think clearly a measure can
12 support both objectives. But I think we're
13 seeing that divide here, you know, that based
14 upon different practices and different patient
15 populations, this maybe a better population
16 health, public health measure, but perhaps not a
17 quality measure applicable to all practice
18 settings.

19 CO-CHAIR EISENBERG: Thank you. Are
20 there other comments, either from the room or
21 from people on the phone? Yes?

22 MEMBER RONEY: I'd like to thank Rocco

1 for that comment. I feel very schizophrenic as
2 well over this, because the evidence is clear
3 that six months works. But then I hear the other
4 arguments that also make sense.

5 And so it just seems like the evidence
6 that came out of Philadelphia, and that it's a
7 practice guideline, that we are questioning stuff
8 that's already been vetted through evidence and,
9 I guess, experts. So, I'm not an HIV expert.
10 But I feel very much torn on your discussion,
11 both directions.

12 MS. MARINELARENA: This is Melissa.
13 So, let me drive it back to NQF criteria and the
14 requirements for NQF. Okay?

15 So, for a process measure, we ask that
16 the measure focus, which is medical visit
17 frequencies, that the evidence provided shows
18 that there is a direct -- that there's a
19 relationship between the measure focus and an
20 outcome. In this case, the outcome is viral load
21 suppression. Right? So that's what we're
22 looking for.

1 Now, it's great that we have that
2 evidence, but that's not what was presented here.
3 If there's additional evidence that you can
4 provide to us, we would love to take that in.

5 What we have in front of us are
6 guidelines. And it's multiple guidelines for HIV
7 care. And they were all the same ones that were
8 submitted for all the different measures.

9 What we could find -- and Marlene, you
10 can correct us if we were wrong. There was one
11 piece of the guideline that supports measure
12 visit frequency. That part of the guideline is
13 unrated.

14 So, when we go through our algorithm,
15 which they have pulled up here, we require a
16 systematic review, which is box three.

17 If you have the systematic review, then we ask
18 for a QQC, which is a quantity, quality, and
19 consistency of the body of the evidence. And
20 that can come from a guideline. We didn't get
21 that. But the one guideline is unrated.

22 But instead of going through and

1 rating this as low, we went down to box seven,
2 because we did get empirical evidence. But there
3 was no systematic review and no grading of the
4 evidence. So, that takes us to number four --

5 CO-CHAIR EISENBERG: And I'm sorry,
6 the empirical evidence that you evaluated showed
7 what?

8 MS. MARINELARENA: Well, we got a
9 guideline, right? But it's not rated. We don't
10 know anything about it. We don't decide that it
11 is. This is a standing guideline.

12 So we go to box ten. The important
13 question here is, are there, or could there be,
14 performance measures of a related health outcome
15 or evidence-based intermediate clinical outcome
16 or process?

17 So that's the question based on --
18 unless there's a different part of the guideline
19 that we missed that is graded, then we can take
20 you through a different part of the algorithm.

21 But the question we're posing to you,
22 if there is, we can go through -- if the evidence

1 isn't sufficient without any grading, no
2 systematic review, no QQC. But as experts you
3 can determine the evidence is sufficient, but
4 we'll pass it with exception.

5 But we've got to get past box ten
6 first. So the question here is, are there, or
7 could there be, performance measures of a related
8 health outcome or evidence-based intermediate
9 clinical outcome or process. That was viral
10 suppression, which was the measure that we just
11 looked at before.

12 Unless there is other evidence, and
13 graded evidence, that supports medical visit
14 frequency and ties that to viral suppression.
15 Does that make sense?

16 CO-CHAIR EISENBERG: Jamie?

17 MEMBER RONEY: Did we look at the
18 guideline development and the rigor behind it?
19 Because I know there's various degrees of rigor
20 in different guidelines.

21 MEMBER ADALJA: Isn't it true that the
22 guideline doesn't say visit, though? The

1 guideline says viral load measurement. It
2 doesn't say visit.

3 MS. MATOSKY: The guidelines do talk
4 about laboratories and performing -- you know,
5 drawing blood for labs. You know, I don't know
6 if there's been any evidence, and, you know, I'll
7 ask Dr. Cheever if there's been any evidence to
8 say, you know, what proportion of visits -- what
9 kind of -- what proportion of labs are occurring
10 outside of visits. I don't think there's been
11 great correlation of that data or even the
12 undertaking of that assessment.

13 I think most of us would say -- oh,
14 sorry. Dr. Cheever?

15 DR. CHEEVER: Yeah, I agree. I don't
16 think we have those data around, you know, what
17 proportion of patients get labs when they're not
18 having a medical visit.

19 CO-CHAIR EISENBERG: Don?

20 MEMBER GOLDMANN: Yeah, I don't know
21 where my intrinsic bias comes in here. But, in
22 general, measures of frequency of visit are the

1 earliest thing you do. If we can't see them, we
2 can't treat them. And, you know, especially in
3 pediatrics, because there aren't many dissent
4 measures.

5 We always default, well, did you get
6 your adolescent medicine checkup? Did you have
7 your well-child visit? And none of that is based
8 on really solid evidence. It's just there
9 because it seems reasonable that people should be
10 seen.

11 So, the question I have is, in current
12 PEPFAR work, in working around the world, is a
13 measure like this felt to be important or
14 credible? Or is it just, are they or are they
15 not on drugs? And are they or are they not
16 suppressed? Because the bottom line, that's all
17 I really care about. I don't care if they do it
18 with a cell phone and electronic bottle caps. It
19 doesn't matter to me.

20 CO-CHAIR EISENBERG: Okay.

21 DR. CHEEVER: Yes, so --

22 CO-CHAIR EISENBERG: Oh, I'm sorry, do

1 you want to respond? Please, go ahead.

2 DR. CHEEVER: Yeah, this is Laura
3 Cheever. So, in PEPFAR in general, their rates
4 of lost to follow-up are incredibly high.

5 So, I don't know that they've looked
6 specifically at whether someone just had a
7 laboratory done or, you know, whether they
8 actually came in for a medical visit. Because
9 their overall rates of lost to follow-up are
10 pretty high in almost every country.

11 CO-CHAIR EISENBERG: Very good.
12 Pranavi, did you have a comment?

13 MEMBER SREERAMOJU: Yes. I just
14 wanted to add that it's a very interesting
15 discussion. As an ID physician, the visit
16 frequency is definitely related to the outcome,
17 which is viral suppression and other clinical
18 outcomes for the patient.

19 But the measured visit frequency is
20 less under the control of the individual
21 physician or the provider, and more controlled by
22 the health system and the ability to address

1 socioeconomic factors.

2 For example, if the patient doesn't
3 show up, does the health system have a way to
4 reach the patient and maybe give Uber vouchers to
5 make them show up? Things like that.

6 So, that's the reservation. But I
7 think the measure, as is, is a good measure. The
8 ability to control is something else.

9 CO-CHAIR EISENBERG: Very good. Thank
10 you. Are there other comments or questions about
11 evidence?

12 (No audible response.)

13 CO-CHAIR EISENBERG: If not, Mauricio,
14 I think we're ready to move on.

15 MS. MARINELARENA: If the committee
16 wants to go with the past in -- you know, you
17 voted on this before and it passed on evidence.
18 You can agree not to pass. If there's one person
19 who wants to vote, then we'll go with that.

20 So, how about if we start with the
21 negative. Is there anybody who does not agree
22 with just moving on?

1 CO-CHAIR EISENBERG: Yeah, I don't.
2 I'd like the committee to vote.

3 MS. MARINELARENA: Okay.

4 CO-CHAIR EISENBERG: I've heard enough
5 discussion that I think we ought to think it
6 through again.

7 MS. MARINELARENA: Well, there is an
8 option for -- if it were to be voted insufficient
9 because we don't have grading of evidence, that's
10 how we get to a moderate or a high, you want the
11 option to vote it insufficient with exception.
12 Then it --

13 CO-CHAIR EISENBERG: What does that
14 mean, with exception?

15 MS. MARINELARENA: With the exception
16 that you say, okay, there is no empiric evidence.
17 There probably won't be. But, as experts, we
18 think that this is important. And it should be
19 passed. Does that make sense?

20 DR. BURSTIN: Yes. So maybe to give
21 an example. So, for example, in the palliative
22 care committee, there is a measure that was put

1 forward using this evidence exception that
2 spiritual counseling should be provided to
3 patients at end of life.

4 You're not going to gather new
5 evidence to demonstrate that spiritual counseling
6 should or should not be provided. It just
7 clinically makes sense.

8 And specifically, the thought is that
9 that would logically -- the expert opinion of
10 those of you in the room is that the benefit
11 there would certain exceed any potential
12 downsides of using that measure.

13 So, again, in this instance I guess
14 one question for the group collected here and on
15 the phone is, will there be evidence at any point
16 on the benefit of an office visit? Or is some of
17 that simply expected as part of care?

18 So, again, just something for you to
19 consider.

20 MEMBER LEWIS: This is Jeff Lewis
21 again. I think there will be. And I think we
22 should vote on it.

1 CO-CHAIR EISENBERG: Okay. So, we
2 will. So, Mauricio, please.

3 MS. MARINELARENA: So the process is,
4 you would vote it insufficient if you want to
5 pass it that way. It has to go past -- you have
6 to vote insufficient. And then we vote to pass
7 it with exception.

8 MR. MENENDEZ: Okay. Voting for
9 Measure 2079. Evidence is now open. Vote 1 for
10 moderate, 2 for low, 3 for insufficient.
11 Moderate is the highest possible rating.

12 (Voting.)

13 MS. SKIPPER: Just waiting for a vote
14 from Jeffrey Hart, if you're there.

15 CO-CHAIR EISENBERG: Jeffrey Hart, are
16 you still with us?

17 MEMBER HART: Yes. Sorry. I'm here.

18 CO-CHAIR EISENBERG: Are you voting?

19 MEMBER HART: This conversation got me
20 a little lost. But --

21 CO-CHAIR EISENBERG: Okay. Well, what
22 we -- the committee decided that they did want to

1 vote on evidence even though some thought it was
2 not necessary.

3 And we're voting now that the evidence
4 to support this is moderate, it's low, or it's
5 insufficient. Now, if you vote for insufficient
6 that means we go on to further examine evidence
7 to move forward. If you vote for moderate or
8 low, then --

9 MEMBER HART: I would say low at this
10 point. Thank you.

11 (Pause.)

12 MR. MENENDEZ: Okay. The final count
13 is 23 percent for moderate, 44 percent for low,
14 44 percent for insufficient.

15 MS. MUNTHALI: So, a low vote means
16 that the measure fails and we would stop voting
17 here. If you did vote insufficient, you'd have
18 the option, as we've been saying, to evoke the
19 exception, insufficient with exception.

20 So, right now we're discussing how to
21 go forward, because it is a split vote.
22 Essentially, consensus is not reached. But it's

1 not reached between what would pass it as a
2 moderate, but also low -- in between low and
3 insufficient.

4 So we just want to make sure that you
5 understood what your low vote would be. And if
6 there is a motion to maybe revote if you were
7 unclear.

8 MS. MATOSKY: To clarify. If it's
9 rated -- if the preponderance of the votes are
10 either low or insufficient, does this mean that
11 this measure is dead in the water and we would
12 not proceed to discuss this measure further? As
13 well as we would not discuss the ECQM for this
14 measure?

15 MS. MUNTHALI: So, if more than 60
16 percent of votes were low, the measure would be
17 dead. We would not continue voting.

18 If 60 -- I mean, more than 60 percent
19 were insufficient, there is the option to
20 continue. You would recognize that there may not
21 be evidence that's been presented in this
22 submission. But, as we talked, there may be

1 evidence we can evoke the exception to that.

2 DR. BURSTIN: And since this was
3 insufficient plus a couple of people who voted
4 moderate. So, that's the question. It wouldn't
5 have to be 60 percent insufficient. It just
6 would have to not be 60 percent low.

7 MS. MUNTHALI: Right.

8 DR. BURSTIN: So, we're still sort of
9 gray zone at this point. Right?

10 MS. MUNTHALI: We're very gray zoned.

11 CO-CHAIR EISENBERG: Does anyone have
12 any other questions on the voting?

13 MEMBER HART: This is Jeff Hart. I
14 can't revote, but I would be -- I would be
15 willing to move from low too insufficient. I
16 think the measure has merit. And I think there's
17 enough evidence to support or -- I can't say that
18 if I'm saying it's insufficient.

19 Yeah, I'll move it to insufficient I
20 don't know if that makes a difference. But, yes.

21 MS. MUNTHALI: It may. So what we're
22 going to do is just revote. So, again, low, more

1 than 60 percent low, the measure fails. And
2 that's it.

3 Oh, your microphone.

4 MEMBER GOLDMANN: Yeah. You know, by
5 stating it that way, you kind of force us, it's
6 such a heavy pressure not to say low. I mean,
7 that's how it feels.

8 MS. MUNTHALI: Yeah, not to force you.

9 MEMBER GOLDMANN: Because if I do
10 this, I'm screwing things.

11 (Simultaneous speaking.)

12 MEMBER GOLDMANN: Tell me again, what
13 is insufficient mean exactly? Which is worse?
14 To have low evidence or insufficient?

15 MS. MUNTHALI: Low is worse.

16 DR. BURSTIN: Low is worse. So low
17 means that the rated evidence would suggest that,
18 for example, this process is not evidence-based.
19 Right?

20 MEMBER GOLDMANN: Right.

21 DR. BURSTIN: Insufficient means, we
22 can't say it's low, but we also can't say it's

1 moderate or high. There just isn't sufficient
2 evidence, in this case, on what's the evidence
3 for HIV visit frequency on outcomes.

4 MEMBER GOLDMANN: Okay.

5 MS. MUNTHALI: Right. And not to
6 pressure you.

7 DR. BURSTIN: And we're not pressuring
8 you. I think particularly with --

9 MEMBER GOLDMANN: No, I just got this
10 feeling like --

11 (Simultaneous speaking.)

12 DR. BURSTIN: No, no, no. People
13 should vote however they want.

14 MS. MUNTHALI: People confuse, they
15 conflate the two often. And so we want to make
16 sure that you're clear, that there's a clear
17 distinction between the two choices.

18 DR. BURSTIN: Especially with so many
19 people being on the phone. It's just a weird
20 environment.

21 MEMBER BENBOW: This is Nanette. Can
22 I ask a question? What do we do with the fact

1 that because it's a maintenance measure there's
2 supposed to be less emphasis on evidence? So, if
3 there is less evidence, then how could we kind of
4 kill this measure?

5 MS. MARINELARENA: Well, because a
6 measure has been endorsed before that does not
7 mean that it's automatically re-endorsed. And
8 because there was sufficient conversation around
9 the table today, there was a motion for there to
10 be a vote on evidence.

11 MEMBER BENBOW: I see. Okay.

12 MS. MARINELARENA: And our criteria
13 has not changed over the year but -- over the
14 years, but it is a little more, we've tightened
15 it a little bit. So we give a little more
16 guidance and we try to be a little clearer. It
17 sounds a little funny after the conversation we
18 just had. So we're trying to be a little clearer
19 today then we were in 2012. So sometimes the
20 votes maybe a little difference because of that.
21 Or because the science has changed or not.

22 MEMBER BENBOW: And then just one

1 other question. With insufficient, does one then
2 say -- would there -- so, if the majority were to
3 fall under insufficient, would we then vote
4 whether it's with exception or no exception?

5 MS. MARINELARENA: That's correct.

6 MEMBER BENBOW: Okay. Okay. And with
7 exception it would mean that there's enough
8 empirical evidence from all of our experiences
9 that this is a measure that is worthwhile
10 continuing?

11 MS. MARINELARENA: Yes. So with
12 exception we would ask -- if it's insufficient
13 then we ask, does the standing committee agree
14 that it is okay or beneficial to hold providers
15 accountable for performance in the absence of
16 empirical evidence of benefits to patients?

17 So the most extreme question would be,
18 like Helen had said, you know, there will
19 probably never be RCTs for patients with medical
20 frequency visits and those that have not had
21 them. Right? And then see what the outcome is.

22 So the questions is, is it appropriate

1 to hold providers accountable for that without
2 that kind of evidence? Which may never happen.

3 MEMBER GARZARO: So, insufficient
4 means we're entering evidence-free zone.

5 DR. BURSTIN: It's a place where
6 expert -- I want to qualify that ever so
7 slightly. It's where expert opinion is taking
8 the place of not having a clear empiric evidence
9 base on which to base the decision. And you're
10 the experts.

11 MEMBER GOLDMANN: No, I get it.
12 Actually hand hygiene is insufficient evidence.
13 This is a question, you've probably already dealt
14 with this, but it seems important to me in just
15 thinking about this globally.

16 So, if I'm a primary care physician or
17 an HIV doc and my patient wants to communicate
18 with me totally by cell phone as needed, is that
19 -- those encounters are lost to this. Right? So
20 I could be giving superb every other day care and
21 that would not get counted.

22 MS. MATOSKY: So, we do include the

1 options for tele-visits, whether that be using,
2 you know, phones, or any other more advanced
3 tele-help methods.

4 MEMBER GOLDMANN: Okay. And there's
5 a mechanism to record that?

6 MS. MATOSKY: Yes.

7 MEMBER GOLDMANN: Because it's not
8 paid for, right?

9 MS. MATOSKY: Because we don't -- as
10 we had stated before, when we're looking at the
11 chart-abstracted or the paper-based measures, the
12 definition of a medical visit is a visit with a
13 provider with prescribing privileges.

14 And we don't add on any additional
15 criteria to say it has to be face-to-face or it
16 has to be a billable visit. I mean, because we
17 have a number of patients who are uninsured.
18 And so, you know, what is a billable visit?

19 MEMBER GOLDMANN: That's very helpful.
20 Thank you.

21 CO-CHAIR THOMPSON: Yes. And just to
22 clarify, alternative visits like that are a

1 requirement in most of the new recognition
2 programs. So that's a completely acceptable way
3 to provide care now.

4 MEMBER LEWIS: I have a question.
5 This is Jeff Lewis on the phone. How is that
6 communication charted if they -- if they talk to
7 you via the phone or email, like that? How do
8 you get that in the medical records in terms of
9 that it happened?

10 MEMBER GARZARO: I mean, I can
11 explain. We have telephone appointments and
12 they're entered into the medical record as a
13 telephone appointment or as a video visit. So,
14 it does get into the medical record or clinical
15 documentation. So, we do enter it.

16 MEMBER LEWIS: But it's not billable?
17 Or is it?

18 MEMBER GARZARO: Well, we don't bill
19 it. It's an HMO so we don't bill directly. I'm
20 not sure how other people do it.

21 CO-CHAIR EISENBERG: Keep in mind that
22 billing is not part of this measure. It's

1 visits.

2 MEMBER LEWIS: I understand that. I
3 was just curious of how it was charted in the
4 grand scheme of things. Sorry.

5 CO-CHAIR EISENBERG: Are there other
6 comments or questions about the evidence at this
7 point?

8 MEMBER ORLANDO: This is Rocco with a
9 comment. It really has to do with the data
10 collection. And my concern about the measure has
11 been that one doesn't want the force of this kind
12 of measure to constrain innovations in care.

13 This really has to do with tele-
14 health. And sadly, the billing and the
15 documentation are related. Again, I think I'm
16 comforted that there are ways to document
17 electronically without generating a bill, which
18 is a very troubled area right now.

19 But, again, our electronic health
20 records are structured so that those are
21 commingled. But I think, in a world where you
22 can create an electronic document that the client

1 hasn't touched, that's what's important here.

2 CO-CHAIR EISENBERG: Yeah. Keep in
3 mind everyone, this is a paper measure. We'll be
4 dealing with the electronic measure, maybe, soon.
5 Okay? So, good comments. But it may not relate
6 directly to this measure.

7 MEMBER BRADY: Right. So it means
8 there has to be some sort of a note. Whether
9 it's electronic or whether it's on a piece of
10 paper. But that's what you'd be looking for.
11 Evidence in whether that visit happened face-to-
12 face or over the phone does not matter.

13 CO-CHAIR EISENBERG: So, Melissa, now
14 what's our next step?

15 MS. MARINELARENA: How about we revote
16 for evidence? Remember, low is bad, insufficient
17 is not bad. And so we'll revote for medical
18 visit frequency on evidence. Number 1 moderate,
19 number 2 low, number 3 insufficient.

20 (Voting.)

21 MEMBER HART: Jeff Hart, moderate.

22 MR. MENENDEZ: So the revote totals

1 are 13 percent for moderate, 6 percent for low,
2 81 percent for insufficient. The final verdict
3 is insufficient.

4 We'll move onto whether or not it's
5 with exception or not.

6 CO-CHAIR EISENBERG: Very good. Okay.
7 So how do we do that?

8 MS. MARINELARENA: Well, there's
9 another slide.

10 CO-CHAIR EISENBERG: Alright.

11 MR. MENENDEZ: Okay. Now, for 2079,
12 vote 1 for insufficient evidence with exception
13 or 2 with no exception.

14 CO-CHAIR EISENBERG: And Mauricio,
15 could you define for us what it means to rate as
16 insufficient evidence with exception? What will
17 then happen?

18 MS. MUNTHALI: We would go forward and
19 continue with performance gap. If you go no
20 exception, we stop and it dies.

21 MR. MENENDEZ: Okay. So, voting for
22 evidence, vote for 1 for insufficient evidence

1 with exception, or 2 for no exception.

2 MEMBER HART: Sorry. Could you repeat
3 that?

4 MR. MENENDEZ: Vote 1 for insufficient
5 evidence with exception, or 2 with no exception.

6 (Voting.)

7 MEMBER HART: This is Jeff. One,
8 please.

9 MR. MENENDEZ: Jeff Hart?

10 CO-CHAIR EISENBERG: Jeff Hart or Jeff
11 Lewis?

12 MEMBER HART: Jeff Hart.

13 (Pause.)

14 MR. MENENDEZ: The final count is 88
15 percent for insufficient evidence with exception,
16 13 percent no exception.

17 CO-CHAIR EISENBERG: Okay. Thank you.
18 So at this point we're going to move on with our
19 evaluation of the measure.

20 And Nanette or Kathleen, if you could
21 now take us through the next section.

22 MEMBER BENBOW: Hi. It's Nanette.

1 I'll continue. Hopefully I'll really try to
2 speed it up.

3 So, gap in care, the developer
4 presented data from the HIV Research Network,
5 which is a consortium of community and academic
6 HIV providers that are representative -- there
7 are 11 sites total. They represent four major
8 geographic divisions and insurance status and
9 coverage types typical of the population in care.

10 They present data for a number of
11 different years. 2011 and '13 were not presented
12 due to resource constraints. But of the data
13 presented, the mean performance was nearly 67
14 percent for 2007 to 2008. And that increased to
15 nearly 73 percent in 2014 to 2015.

16 So, the measure does indeed allow and
17 assess improvement over time. And for any given
18 time period you can also observe variation across
19 sites. So it is also helpful in distinguishing
20 where different clinics and providers might be.

21 If we look at disparities, we observe
22 improvement in some of these and not in other

1 categories. For 2014 and 2015 we're able to
2 distinguish disparities in this measure across
3 race, ethnicity, gender and age.

4 So, it is adequate in being able to
5 assess where people are now. And that there is
6 indeed room for improvement.

7 CO-CHAIR EISENBERG: Does anybody have
8 any --

9 MEMBER BENBOW: Kathleen, anything
10 you'd like to add?

11 CO-CHAIR EISENBERG: Kathleen, do you
12 have your mic on? Thank you.

13 MEMBER BRADY: I did not have my mic
14 on. I apologize.

15 The only other thing that I wanted to
16 really add was that the disparities that are
17 actually seen in terms of race and ethnicity are
18 actually also very similar to what we see in
19 terms of viral suppression, for the most part.
20 Except for those under the age of 18 where
21 there's often lower rates of viral suppression.
22 But definitely in terms of race and ethnicity.

1 CO-CHAIR EISENBERG: Great. Thank
2 you. Does anyone have any questions or comments
3 for Nanette and Kathleen or the developer
4 regarding gaps in care and opportunity for
5 improvement?

6 (No audible response.)

7 CO-CHAIR EISENBERG: No? Hearing
8 none, let's proceed.

9 MR. MENENDEZ: For Measure 2079
10 performance gap, voting is now open. Vote 1 for
11 high, 2 for moderate, 3 for low, 4 for
12 insufficient.

13 (Voting.)

14 MEMBER SREERAMOJU: Hi, this is
15 Pranavi. I vote two, moderate.

16 MR. MENENDEZ: Say that again, please
17 Pranavi.

18 MEMBER SREERAMOJU: Two, moderate.
19 Did you get that?

20 CO-CHAIR EISENBERG: We got that.
21 Thank you.

22 MEMBER SREERAMOJU: Thank you.

1 MEMBER HART: Jeff Hart, I vote 2 for
2 moderate as well.

3 CO-CHAIR EISENBERG: Thank you.

4 MR. MENENDEZ: The final count is 81
5 percent high, 19 percent moderate, zero percent
6 low, zero percent insufficient. The final vote
7 is passed.

8 CO-CHAIR EISENBERG: Very good. Thank
9 you. So, we will be proceeding with the rest of
10 the areas for voting for this measure. But we're
11 at a point now where we need to take a break.
12 And two things, before we grab some lunch, we
13 need to have time for public comment.

14 So, at this point, Christy, do we
15 direct now our operators, please?

16 MS. SKIPPER: Yes. Operator, could
17 you please open our lines to hear if there are
18 any public comments?

19 OPERATOR: Yes, ma'am. If you would
20 like to make a public comment, press star-1 on
21 your telephone keypad.

22 (No audible response.)

1 OPERATOR: And currently no public
2 comments.

3 CO-CHAIR EISENBERG: Okay. Thank you.
4 So, in that case, we are going to take a little
5 break here just to pick up our lunch.

6 So, for those of you who are on the
7 phone, it's now ten minutes to 1:00 almost.
8 We're planning to pick up our lunch and come back
9 and start talking again at 1 o'clock.

10 So, we're hoping that you all will be
11 able to join us to continue the session in ten
12 minutes. Thank you.

13 (Whereupon, the above-entitled matter
14 went off the record at 12:52 p.m. and resumed at
15 1:03 p.m.)

16 MS. MARINELARENA: Okay. Hi, this is
17 Melissa. To those of you on the phone, I hope
18 you had enough time to grab your lunch. While
19 everybody here has their mouth full, I'm going to
20 go ahead and speak for Woody. A verbal order
21 from Woody.

22 We are going to continue voting on the

1 measure that we were on. Which is, for the
2 record, 2079. We were on reliability. We're
3 going to finish discussing this measure, voting
4 on this measure. Then we're going to continue on
5 the accompanying eMeasure.

6 Then we're going to move on to sepsis.
7 We're going to finish the discussion on the
8 additional two HIV measures on post-meeting call
9 next week, on the 24th, I believe. The 23rd.
10 I'm sorry.

11 And in the meantime, Eileen is also
12 going to get us some additional data to support
13 that process, the measure process and the
14 outcomes.

15 So, we'll have some more information
16 for you so that we have something in addition to
17 the guidelines that they provided for us. So
18 that we don't do this, you know, going around and
19 around. So, she's going to get us some stronger
20 evidence for that.

21 So, who was leading the discussion on
22 reliability?

1 CO-CHAIR EISENBERG: Kathleen and
2 Nanette are going to kick us off again. No
3 lunch, Kathleen, sorry. Okay, Nanette, please,
4 take it upwards.

5 MEMBER BENBOW: Okay. Can you hear
6 me?

7 CO-CHAIR EISENBERG: We hear you. And
8 we're on the reliability. Go for it.

9 MEMBER BENBOW: Okay. Reliability.
10 Quickly, specifications of the measure. The
11 measure is specified at the facility level and
12 the clinician office clinic. I won't go through
13 the definitions of numerator and denominator. I
14 think we've covered that.

15 Since this is a maintenance measure,
16 in previous review of this measure, the developer
17 conducted signal-to-noise testing to assess
18 reliability. Testing was not updated.

19 Summary of testing, the reliability
20 testing level, it was a measured score. And
21 reliability testing performed with a data source
22 and level of analysis indicated for this measure.

1 The dataset included to review the
2 section was, again, the HIV Research Network data
3 that I described earlier of 11 different sites
4 with over 17,000 patients and were representative
5 of geography and other indicators representing
6 people in care.

7 Results show a median reliability of
8 .97. As discussed, 1 is good, is the highest
9 possible. So, high median reliability.

10 Based on guidance from the reliability
11 algorithm, the preliminary rating for reliability
12 is high.

13 CO-CHAIR EISENBERG: Thank you. Are
14 there any comments or questions from the
15 committee members for Nanette, Kathleen, or our
16 measure developer?

17 (No audible response.)

18 CO-CHAIR EISENBERG: Anyone on the
19 phone have a comment?

20 (No audible response.)

21 CO-CHAIR EISENBERG: If not, Mauricio,
22 would you take us on?

1 MR. MENENDEZ: So, voting will now
2 begin on Measure 2079, reliability. Option 1 is
3 high, 2 moderate, 3 low, 4 insufficient.

4 (Voting.)

5 MEMBER HART: Jeff Hart, moderate.

6 CO-CHAIR EISENBERG: Okay, Jeff.

7 Thank you.

8 (Pause.)

9 MR. MENENDEZ: Can Nanette, Esther,
10 and Laura please cast their votes?

11 MEMBER AARONSON: Oh, I thought I had.
12 I just did it again.

13 MS. SKIPPER: Yeah, we got it.

14 Thanks.

15 MEMBER EVANS: This is Laura.

16 Likewise.

17 MS. SKIPPER: Thank you. We got it.

18 (Pause.)

19 MS. SKIPPER: Emily, are you still on
20 the line?

21 (No audible response.)

22 MR. MENENDEZ: Voting is now closed

1 with the final count at 87 percent high, 13
2 percent moderate, and zero percent low and zero
3 percent insufficient.

4 The final vote is high. It passed.

5 CO-CHAIR EISENBERG: Very good. Thank
6 you. So let's move onto reliability testing.

7 MEMBER BENBOW: Okay. For validity,
8 validity specifications. The specifications are
9 consistent with the evidence.

10 Validity testing, the results
11 demonstrate sufficient validity so that
12 conclusions about quality can be made.

13 I'm looking at the committee pre-
14 evaluation comments. One of the comments says,
15 though guidelines agree, I do not see evidence of
16 the score for this measure as specified as an
17 indicator of quality. Only in terms of method of
18 validity testing for measure score, it was face
19 validity only.

20 And do the results demonstrate
21 sufficient validity so that conclusions about
22 quality can be made? Again, face validity only.

1 And it's unclear why the developer has not tested
2 validity for its approval in 2013.

3 Marlene, I don't know if that's
4 something you can comment on.

5 MS. MATOSKY: Sure. As I had
6 mentioned last week, or two weeks ago on the
7 panel work group conference call, one, if it had
8 passed last time with face validity, we weren't
9 required to redo validity.

10 So, rather than devoting resources to
11 working on the validity of the paper measure or
12 the chart-abstracted measure, we decided to put
13 our resources into the development and testing of
14 the ECQMs. Because as you probably have gathered
15 just through today's conversation, doing
16 additional work on measures, you know, more
17 specifically around the testing, it requires a
18 significant amount of resource.

19 So, we wanted to pivot those resources
20 elsewhere. Thank you.

21 MEMBER BENBOW: Okay. Thank you. And
22 data presented from 2014 and '15, the mean

1 performance of HIV medical frequency was 72.6
2 percent. Which was up from 66.7 in 2007/2008.

3 And providers in the 75th percentile
4 had medical visit rates between almost 80 percent
5 in the most recent year, compared to the 68
6 percent for providers in the 25th percentile.

7 Analyses of data provided indicate
8 that the measure identified meaningful
9 differences by year and by provider.

10 The guidance from the validity
11 algorithm, preliminary rating for validity was
12 indicated as insufficient, I think primarily due
13 to the fact that it was face validity. But, this
14 was indicated as -- was approved by a panel of
15 experts.

16 CO-CHAIR EISENBERG: Very good. Thank
17 you. Does anybody have any comments or questions
18 for Nanette or Kathleen?

19 (No audible response.)

20 CO-CHAIR EISENBERG: Well, if not, we
21 do not necessarily have to vote on this. But, if
22 any of the Committee members would like to, we

1 will.

2 So, anyone in the room that wants to
3 vote on validity? Anyone on the phone who would
4 like us to vote on validity?

5 (No audible response.)

6 CO-CHAIR EISENBERG: Hearing nothing,
7 we will then move on. Please, Kathleen?

8 MEMBER BENBOW: I'll continue.
9 Nanette. In terms of feasibility, the developer
10 states that all data elements are collected,
11 generated, and used as part of routine delivery
12 of care.

13 And preliminary rating for feasibility
14 was high.

15 CO-CHAIR EISENBERG: Thank you. Any
16 Committee members with comments or questions?

17 (No audible response.)

18 CO-CHAIR EISENBERG: And was this
19 something we need to vote on or not? No? So,
20 again, we don't -- no? So we can continue.

21 MS. BENBOW: Okay. So, the last --

22 CO-CHAIR EISENBERG: Oh, I'm sorry.

1 I'm sorry. Now I'm being -- my mistake, please.

2 So we do have to vote on feasibility?

3 MS. MUNTHALI: Yes.

4 CO-CHAIR EISENBERG: Oh, okay. Fine.

5 Are there any other questions on feasibility

6 before we bring this to a vote?

7 (No audible response.)

8 CO-CHAIR EISENBERG: If not, Mauricio?

9 MR. MENENDEZ: Okay. Voting for 2079,
10 feasibility is now open. One for high, two for
11 moderate, three for low, four for insufficient.

12 (Voting.)

13 MEMBER HART: Jeff Hart, high.

14 CO-CHAIR EISENBERG: Thank you, Jeff.

15 MR. MENENDEZ: Voting is now closed.

16 The final count is 13 for -- sorry, 93 percent
17 for high, seven percent for moderate, zero for
18 low, zero for insufficient.

19 The final count is passed.

20 CO-CHAIR EISENBERG: Very good. Thank
21 you. Let's proceed. Use and usability.

22 MEMBER BENBOW: Okay. The measure is

1 currently being used by the Physician Quality
2 Reporting System and Value-Based modifier, the
3 Merit-Based Payment System, and the HRSA Ryan
4 White HIV/AIDS Program.

5 The developers received feedback
6 during the initial development of the measure.
7 And reports that Ryan White grant recipients have
8 provided positive and supportive feedback.

9 Ryan White recipients also suggested
10 that the measure elements be aligned across
11 related performance measures.

12 Do the benefits of the measure
13 outweigh any potential unintended consequences?
14 No potential unintended consequences were
15 identified.

16 And has the measure been vetted in
17 real-world settings by those being measured or
18 others? And there is local data that supports
19 this.

20 There were other questions regarding
21 sort of how this was calculated. And whether it
22 was -- if it was presented nationally. And as

1 Marlene mentioned earlier, they're in the process
2 of calculating this measure across years. And
3 will soon be in their Ryan White Services report
4 data.

5 CO-CHAIR EISENBERG: Thank you. Any
6 comments or questions?

7 (No audible response.)

8 CO-CHAIR EISENBERG: If not, we now
9 vote on use and usability.

10 MR. MENENDEZ: Measure 2079, voting
11 for use and usability is now open. One for high,
12 two for moderate, three for low, four for
13 insufficient.

14 March 17, 2017

15 MEMBER HART: Jeff Hart, high.

16 CO-CHAIR EISENBERG: Thank you.

17 MR. MENENDEZ: Hi Laura, could you
18 please cast your vote?

19 Okay, voting is now closed. The final
20 count is 73 percent high, 27 percent moderate,
21 zero percent low, zero percent insufficient.

22 The final verdict is passed.

1 CO-CHAIR EISENBERG: Very good. Thank
2 you. So at this point we're ready for our final
3 vote on whether to recommend this measure for
4 endorsement. Correct? Okay, Mauricio?

5 MR. MENENDEZ: Okay. Voting is now
6 open. Vote one for yes, overall suitability for
7 endorsement, or two for no.

8 (Voting.)

9 MEMBER HART: Jeff Hart, yes.

10 CO-CHAIR EISENBERG: Thank you.

11 MR. MENENDEZ: The final count is 100
12 percent yes.

13 CO-CHAIR EISENBERG: Very good. So
14 this measure continues to move forward for
15 endorsement. Thank you.

16 And now we're going to consider the
17 electronic version of this same measure, which is
18 3209. And Marlene, is there -- would you like to
19 fill us in just on the things that are different
20 about the electronic measure, please?

21 MS. MATOSKY: Rute, are you on the
22 line?

1 MS. MARTINS: Yes.

2 MS. MATOSKY: Is there anything that
3 you would add?

4 MS. MARTINS: No. I think that the
5 measure was designed to be consistent with the
6 chart abstracted measure.

7 The only elements that I would point
8 out are that in terms of the timing calculations
9 representing a visit every six months, we had a
10 bit of a challenge in making sure that the
11 periods were represented absolutely accurately.

12 So there was one day in the entire
13 period of two years that maybe a little bit
14 counter-intuitive. So, I think it's the las --
15 the first day in April that counts as being part
16 of the first semester. So, that's very, very
17 minor.

18 And then the other elements that I
19 would like to point out is that for the eQMs, we
20 -- and this is true for all of the, we reused the
21 values that Sarah used for other eQMs out there.

22 So, there's a lot of feedback from the

1 provider community and the vendor community in
2 that measures need to be harmonized in order to
3 reduce the barriers and the effort to implement
4 them.

5 And so we made sure we were using the
6 value sets that already existed.

7 CO-CHAIR EISENBERG: Very good. Thank
8 you. Does anybody have any questions for the
9 developers before I had it over to our
10 facilitators here?

11 (No audible response.)

12 CO-CHAIR EISENBERG: If not, Kathleen?

13 MEMBER BRADY: Yes. I'm doing this
14 one. So, actually my first question is, we do
15 not have to do the evidence, correct? Okay. Or
16 the gaps? Okay.

17 So, we will move directly to validity.
18 Or reliability, excuse me. Okay. All right.

19 So, the data source for this is it's
20 an eMeasure. So, -- and they did provide the
21 specifications for this eMeasure.

22 The level of analysis is at the

1 facility level. The numerator and the
2 denominator are the same for the previous
3 measure. And same exclusions that we saw before.

4 And basically in terms of the
5 reliability testing that was done, it was at the
6 data element level, a measure score. And
7 reliability testing was performed with the data
8 source and level of analysis indicated for this
9 measure.

10 Basically, the data set used for
11 testing included 64 synthetic patients created in
12 the Bonnie testing system, simulating the year
13 2012. They developer tested data elements
14 including name, date of birth, race, ethnicity,
15 gender, pair diagnosis, among others.

16 And basically they actually provided
17 reliability results from the paper version of the
18 measure. And stated currently, there are no
19 performance data available to test the eCQM.

20 And so, based upon that, the
21 preliminary algorithm gave it a rating of
22 moderate.

1 CO-CHAIR EISENBERG: Thank you. Any
2 comments or questions from Committee members?

3 (No audible response.)

4 CO-CHAIR EISENBERG: If not, we can
5 move to a vote.

6 MR. MENENDEZ: Okay. Voting for 3209,
7 reliability, is now open. Moderate is the
8 highest possible score. Vote one for moderate,
9 two for low, three for insufficient.

10 (Voting.)

11 MEMBER HART: Jeff Hart, moderate.

12 CO-CHAIR EISENBERG: Thank you.

13 MR. MENENDEZ: Voting is now closed.

14 The final count is 67 percent moderate, 33
15 percent low, and zero percent insufficient.

16 The final vote is passed.

17 CO-CHAIR EISENBERG: Very good. Thank
18 you. Okay, Kathleen, validity, please.

19 MEMBER BRADY: Sure. Okay. So, for
20 validity testing, once again, the testing level
21 was data element testing against a gold standard.
22 They used the Bonnie testing tool and the 64

1 synthetic patient records.

2 We've heard about the Bonnie tool
3 already. The testing results from the Bonnie
4 tool reached 100 percent coverage. And confirmed
5 there was a test case for each pathway of logic,
6 both negative and positive test cases.

7 And the measure had 100 percent
8 passing rate, which confirmed that all test cases
9 performed as expected. Oops, sorry, I just
10 popped ahead.

11 In terms of assessment for threats to
12 validity, excuse me, so there's one exclusion.
13 And that is that the patient died during the
14 measurement period.

15 And the developer reports that the
16 exclusion was tested similarly to other criteria
17 using synthetic patients in Bonnie. And when the
18 exclusion element was present, the patients were
19 correctly excluded from the measure.

20 And in the absence of the exclusion
21 element cases were not excluded from the measure.
22 So, it performed well. There's no risk

1 adjustment method.

2 And in terms of additional results, in
3 2014 to '15, the mean performance for HIV medical
4 visit frequency was 72.6 percent. Actually it's
5 the same data actually, correct? From -- that
6 was presented with 2079. So we don't have to
7 repeat that.

8 CO-CHAIR EISENBERG: Thank you. Are
9 there any questions or comments from Committee
10 members? Yes?

11 CO-CHAIR THOMPSON: Just a question
12 that has to do with, when looking at populations
13 living with HIV again, as we know, the
14 marginalized of the marginalized, when looking at
15 folks who have been incarcerated, how is that
16 going to be handled here?

17 Will that -- because I know there was
18 a denominator exclusion for that at one point a
19 long time ago. And I was an advocate to get rid
20 of it. Because I think we should be considering
21 individuals who are swinging in and out of jails.

22 But when you look at over two years,

1 I think it could be, for some providers, again,
2 who provide services to specific populations that
3 this could be a measure they could get really
4 dinged on for folks who are kind of swinging in
5 and out of incarceration.

6 MEMBER EVANS: Yes. This is Laura.
7 I think this difficulty is trying to capture that
8 electronically anywhere, or even in a chart. That
9 you are saying this person is incarcerated.

10 Often you don't know what happened to
11 the person until they come back, you know, a year
12 and a half, or two years later. Just from a
13 practical perspective.

14 MS. MATOSKY: And this is Marlene. I
15 just want to remind us that this is the eCQM
16 measure. So, any time we're going to put a
17 variable into the eCQM, there has to be a
18 standardized variable that already exists.

19 And there isn't a standardized
20 variable for incarceration that exists in EHRs.

21 CO-CHAIR THOMPSON: Okay. Excellent.
22 Thank you for pointing that out.

1 CO-CHAIR EISENBERG: Other comments or
2 questions?

3 (No audible response.)

4 CO-CHAIR EISENBERG: Hearing none,
5 let's move to a vote.

6 MR. MENENDEZ: For measure 3209,
7 voting is now open for validity. Moderate is the
8 highest possible score. Vote one for moderate,
9 two for low, three for insufficient.

10 (Voting.)

11 MEMBER HART: Jeff Hart, moderate.

12 CO-CHAIR EISENBERG: Thank you.

13 MR. MENENDEZ: Voting is now closed.
14 Ninety-three percent moderate, seven percent low,
15 zero percent insufficient.

16 The final verdict is passed.

17 CO-CHAIR EISENBERG: Thank you.

18 Kathleen, if you could take us through
19 feasibility, please?

20 MEMBER BRADY: Sorry. The developer
21 provided information on feasibility testing in
22 the eMeasure, a feasibility scorecard. And so,

1 this was -- the assessment -- a feasibility
2 assessment was conducted by consensus of a panel
3 of minor clinical informatics measure development
4 in e-CQM standard export -- experts, excuse me.

5 On a scale from one to three, where
6 three is the highest score, all but three of the
7 data elements received a score of three. Both
8 encounter performed face to face, and patient
9 characteristic care, scored a two on data
10 standards.

11 And basically that sounds like it's an
12 issue between SNOMED codes, as we saw in the
13 first measure. And basically they indicated that
14 on a scale of zero to 100 percent, the measure is
15 currently 98.21 percent feasible. And in one to
16 two years will be 98.81 percent feasible.

17 So, very high on that rating scale.

18 CO-CHAIR EISENBERG: Thank you. Any
19 comments or questions for Kathleen from the
20 Committee members?

21 (No audible response.)

22 CO-CHAIR EISENBERG: Hearing none,

1 let's move on to a vote. Mauricio?

2 MR. MENENDEZ: Measure 3209,
3 feasibility, voting is now open. Vote one for
4 high, two for moderate, three for low, four for
5 insufficient.

6 (Voting.)

7 MEMBER HART: Jeff Hart, moderate.

8 CO-CHAIR EISENBERG: Thank you.

9 MR. MENENDEZ: Voting is now closed.
10 The final tally is 60 percent high, 40 percent
11 moderate, zero percent low, zero percent
12 insufficient.

13 The final verdict is passed.

14 CO-CHAIR EISENBERG: Thank you.

15 Kathleen, move us onto use and usability, please.

16 MEMBER BRADY: Great. So, currently
17 the measure is not publically reported. And is
18 not in use in an accountability program.

19 But it is planned to be used in an
20 accountability program. Specifically in CMS'
21 Merit-Based Incentive Payment Program, or MIPS.

22 And the developer reports that the

1 paper-based version of the measure has -- that
2 has been adopted by CMS. And the developer
3 reported no harms in using the measure.

4 It's been vetted the same way as the
5 other measures. And has been used in national
6 quality improvement campaigns. And they received
7 feedback from Ryan White grant recipients, and
8 who have provided positive feedback.

9 CO-CHAIR EISENBERG: Thank you. Any
10 comments or questions for Kathleen?

11 (No audible response.)

12 CO-CHAIR EISENBERG: Hearing none,
13 let's move onto a vote, please.

14 MR. MENENDEZ: Measure 3209, usability
15 and use, voting is now open. Vote one for high,
16 two for moderate, three for low, four for
17 insufficient.

18 (Voting.)

19 CO-CHAIR EISENBERG: Jeff Hart, are
20 you voting?

21 MEMBER HART: Yes, sorry. Moderate.

22 CO-CHAIR EISENBERG: Thank you.

1 MR. MENENDEZ: Voting is now closed.
2 The final count is 44 percent high, 50 percent
3 moderate, zero percent low, six percent
4 insufficient.

5 The final verdict is passed.

6 CO-CHAIR EISENBERG: Very good. Thank
7 you. Okay, so at this time we're at a point
8 where we can do the overall -- yes. We're ready
9 to vote to recommend that this measure move
10 forward for endorsement.

11 So, unless there are any other
12 comments or questions?

13 (No audible response.)

14 CO-CHAIR EISENBERG: Hearing none,
15 Mauricio, please move us forward.

16 MR. MENENDEZ: So, for the overall
17 suitability for endorsement for 3209, vote one
18 for yes, two for no.

19 (Voting.)

20 MEMBER HART: Jeff Hart, yes.

21 CO-CHAIR EISENBERG: Thank you.

22 MR. MENENDEZ: Voting is now closed.

1 The final count is 100 percent yes. So the
2 measure moves on for endorsement.

3 CO-CHAIR EISENBERG: Very good. Thank
4 you. Okay. Now at this point there still are
5 some HIV measures that we must consider.

6 But we've made a decision not to do
7 that right now. Because we have some other areas
8 that require our full attention.

9 So Marlene and team, thank you so much
10 for joining us. Your presence on the call has
11 been very helpful in helping this to move
12 forward. And we'll want to engage you again by
13 teleconference at a date to be announced by
14 Melissa. Okay?

15 So but at this point, I'm going to
16 hand it over to Adam so that we can move into the
17 sepsis measures.

18 CO-CHAIR THOMPSON: Right. So, our
19 next measure up is 3215, Adult Inpatient Risk
20 Adjusted Sepsis Mortality. The measure's steward
21 is New York State Department of Health, Office of
22 Quality and Patient Safety.

1 Are they in the room or on the phone?

2 MS. MARINELARENA: They're on the
3 phone.

4 CO-CHAIR THOMPSON: On the phone. If
5 you want to go ahead and give us the measure
6 overview.

7 DR. GESTEN: Hi. This is Foster
8 Gesten from the Office of Quality and Patient
9 Safety. Can folks hear me okay?

10 CO-CHAIR THOMPSON: Yes, sir.

11 DR. GESTEN: Great. Well, thanks for
12 the opportunity to be part of the discussion and
13 to submit the measure.

14 This measure of risk adjusted sepsis
15 mortality for adults was developed as part of an
16 overall initiative in New York State that began a
17 few years ago. That included regulatory
18 requirements for hospitals to develop evidence
19 and form protocols to identify and treat patients
20 with severe sepsis and septic shock, as well as
21 report information to the Department of Health to
22 evaluate the degree to which those protocols were

1 in use.

2 And also the outcome of those --
3 implementations of those protocols, including the
4 data that would enable the department in
5 consultation with clinical experts to develop a
6 risk-adjusted mortality model. All of which to
7 be used both for feedback and quality improvement
8 at the hospital level.

9 But also eventually for public
10 reporting. Public reporting will be started in
11 this year.

12 We built the model using both clinical
13 expertise and also made use of the existing model
14 that had been in the literature from the
15 Surviving Sepsis campaign. But made some
16 adaptations and changes based on data that we had
17 available and in a different context for an
18 application of risk adjusted model.

19 We -- I think all the members or most
20 of the members of the team that helped us in the
21 development of this, both within the New York
22 State Department of Health, and also externally

1 at Brown and Ohio State, excuse me, are on the
2 phone and able to answer questions.

3 And they were all instrumental in the
4 development, in the iterations of the model.
5 Including input from our clinical advisory panel,
6 which included clinicians from a number of
7 different hospitals across New York State.

8 I think I'll stop there and let you
9 move on. And if there are questions, I or other
10 folks on the phone can try to answer them.

11 CO-CHAIR THOMPSON: Great. Thank you
12 so much. We'll pass it off now to our lead
13 discussants. Who will be taking the lead on this
14 one? I see.

15 MEMBER RONEY: This is Jamie Roney.
16 Thank you for joining us on this call, and to the
17 rest of the Committee.

18 They're looking at standardizing the
19 way we measure sepsis mortality in a way that's
20 generalizable across the United States. Some of
21 the questions that came up in our discussion on
22 the phone call was the idea of comparing -- or

1 differentiating between what kind of healthcare
2 facilities they were in, whether it be teaching
3 hospitals.

4 But the authors of this kind of really
5 wanted to keep it generalizable. And then
6 further break down and analysis could be done for
7 comparison.

8 So, it has been used in the State of
9 New York already. And for reporting purposes and
10 standardizing across the United States, I think
11 it would offer some benefit to us as clinicians
12 on how each of our facilities does with sepsis
13 and sepsis mortality.

14 And I don't know if anybody else from
15 the groups on the call.

16 CO-CHAIR THOMPSON: Yes. I believe we
17 have Pranavi, who has a comment. And also, I
18 just want to make sure we focus right now
19 beginning on the evidence piece of it.

20 So that we can kind of keep it driving
21 forward. And I believe we have a comment from
22 Pranavi?

1 MEMBER SREERAMOJU: Yes. So in
2 addition to what Jamie said, we did have a
3 limited discussion on comparability between
4 organizations.

5 The measure as it stands, the evidence
6 is strongest for within institution comparison.
7 Risk adjusted sepsis mortality is definitely an
8 important measure that's needed to track the
9 efficacy of interventions to improve sepsis
10 mortality.

11 So, it's a -- the evidence is strong
12 for -- within organization tracking and trending.

13 MS. MARINELARENA: Hi. This is
14 Melissa. So, this is an outcome measure. Again,
15 I want to remind you that the criteria for an
16 outcome measure is different from a process
17 measure.

18 So, for an outcome measure we just ask
19 the measure developer to provide a rationale that
20 links the provider to the outcome measure. Is
21 there something that a provider or a hospital
22 system can do to impact the outcome?

1 And then the committee decides, yes,
2 they provided a rationale. Or no, they did not
3 provide a rationale.

4 So it almost seems like for a process
5 measure we require the evidence to be -- we're
6 more stringent. This is just a yes or no, did
7 they provide a rationale.

8 And we, you know, did a couple of
9 bullet points here summarizing what they have
10 provided.

11 CO-CHAIR THOMPSON: And I believe we
12 have a comment from Laura on the phone.

13 MEMBER EVANS: Yes. Hi everyone, this
14 is Laura Evans. Just to echo Melissa's comment
15 then. I think that there is clearly a rationale
16 for how healthcare facilities and healthcare
17 providers can change processes to impact sepsis
18 mortality.

19 I don't see specific references listed
20 here. But at last time I checked, there's at
21 least 50 publications in the literature of
22 implementation of sepsis bundles and looking at

1 how that impacts inpatient mortality.

2 So I think there's a very robust
3 evidence base to suggest that what we do locally
4 can impact sepsis mortality.

5 CO-CHAIR THOMPSON: Thank you. Any
6 other comments about the rationale presented for
7 evidence?

8 (No audible response.)

9 CO-CHAIR THOMPSON: I think we can
10 move to voting. Hold on one second. Don?

11 MEMBER GOLDMANN: Yes. Not a comment
12 about the rationale. I think there's a strong
13 rationale.

14 I just take exception to the statement
15 that there are scores of papers in the literature
16 or tens of papers in the literature showing that
17 sepsis bundles reduce mortality. Which I think
18 is not an evidence-based statement.

19 CO-CHAIR THOMPSON: Thanks. All
20 right. Let's go ahead and with our vote.

21 MR. MENENDEZ: Okay. For measure
22 3215, evidence, vote one for yes, two for now.

1 (Voting.)

2 MEMBER HART: Jeff Hart, yes.

3 CO-CHAIR THOMPSON: Thank you.

4 MR. MENENDEZ: The final count is 100
5 percent yes.

6 CO-CHAIR THOMPSON: Making the next
7 step: performance gap.

8 MEMBER RONEY: There's definitely a
9 performance gap in how mortality is measured and
10 reported across the United States. Which again,
11 leads to the need for standardizing mortality
12 reporting.

13 CO-CHAIR THOMPSON: Any comments or
14 questions?

15 (No audible response.)

16 CO-CHAIR THOMPSON: Any comments from
17 the phone?

18 (No audible response.)

19 CO-CHAIR THOMPSON: Okay. We can go
20 ahead and move to voting. Don?

21 MEMBER GOLDMANN: I'm probably going
22 to say these things over -- the same thing over

1 and over again.

2 But, while I'm sure there is
3 variability in risk adjusted or even through
4 mortality rates of sepsis across the country,
5 which is real, the current state of coding for
6 the diagnosis of sepsis has been clearly shown to
7 alter the denominator that's included, and the
8 risk adjustment.

9 So, therefore, I don't know that the
10 variability that's being displayed here is the
11 actual variability. Or it's distorted by coding.

12 MEMBER RONEY: I'll just add to that.
13 He's absolutely correct. There is a large
14 variability in coding by practitioners out there.

15 And so, it's very hard to know what
16 our denominators truly are based on coding. But
17 it's the best we have.

18 MEMBER SREERAMOJU: Yes. I will just
19 comment as well. This is Pranavi.

20 MEMBER AARONSON: Yes. This is Emily.
21 I would also echo that. Particularly we've run
22 into the issue of sepsis being sort of the final

1 common pathway for many of our sick patients in
2 hospitals will come in with many different
3 diseases.

4 So coding becomes a fairly large
5 problem if it's a disease that's only present at
6 the very end of life.

7 CO-CHAIR THOMPSON: Oh, Kathleen?

8 MEMBER BRADY: So how can we measure
9 something, or how well we're doing with something
10 if we can't measure it well? Because that will
11 come up under validity and reliability, but.

12 MEMBER SREERAMOJU: Hello, this is
13 Pranavi. We have to start somewhere. So, and
14 this is the best we have at the moment.

15 And that's the best I can come up
16 with. We start here and fine tune it as we go.
17 This is by no means a perfect measure overall.
18 Not specific to what we're discussing.

19 But, at the same time, we don't have
20 anything else to go with. And sepsis is so
21 important. And this intervention has the
22 potential to save lives.

1 So, we can't wait before we can get a
2 perfect measure. And the way to reach a more
3 perfect measure is to go through this process of
4 iteration. Those are my thoughts.

5 MEMBER BRADY: Wouldn't it -- well, I
6 may be wrong. But wouldn't the first step be
7 actually developing standards on how to code for
8 sepsis?

9 MEMBER RONEY: This is Jamie again.
10 We have had definitions for sepsis that were
11 published in 1992. And the first practice
12 guidelines came out in 2002 for Manny Rivers'
13 randomized control trial. And then in 2003 our
14 early goal directed treatment.

15 But, the best description I've ever
16 heard of sepsis is it's like trying to hug the
17 fog. It's not like we have a test that says you
18 have this.

19 So it's very difficult. And there's
20 so many variables in the diagnostic process that
21 I don't know how best to describe sepsis to
22 somebody that's not familiar with the diagnostic.

1 MEMBER BRADY: Well, I'm quite. I'm
2 an infectious disease physician. I'm quite
3 familiar with it.

4 But, I've seen people, you know,
5 called sepsis and they're sitting on -- in a
6 floor bed with a normal heart rate and a normal
7 blood pressure. So, -- but that's, you know,
8 documented that they're septic in the medical
9 record.

10 So, and I'm sure that ended up in a
11 billing code, so.

12 MEMBER BRADY: And right, we
13 understand that.

14 DR. LEVY: So, this is Mitchell Levy
15 from the New York State Department of Health.
16 Can I add something?

17 MEMBER BRADY: Absolutely.

18 CO-CHAIR THOMPSON: Yes. Please do.

19 DR. LEVY: So, I totally understand
20 the concern about the accuracy of the diagnosis.
21 And as an author on the recent definitions paper
22 and -- that was published in JAMA last year, I

1 definitely feel partly responsible for the
2 confusion.

3 But I think we're talking about two
4 different things here. In many ways you could
5 say that this risk adjusted mortality model is
6 the answer to the inadequacies of coding.

7 In that what this model does, is
8 actually address, if you have a mortality
9 reported, whether it's called sepsis, septic
10 shock, a severe sepsis, how do you compare that
11 mortality with a different institution that may
12 have more or less severe patients with different
13 comorbidities, different other kinds of admitting
14 diagnosis?

15 And there is to this point, no single
16 sepsis specific risk model that's been developed.
17 And that's why I think this is so important.
18 Especially now with more and more States moving
19 to mandate reporting of sepsis.

20 This allows almost like a leveling of
21 the playing field. That if you report a patient
22 and you report a mortality, we can now allow

1 hospitals to compare each other's mortality
2 regardless of how they code it in many ways.

3 MEMBER SREERAMOJU: This is Pranavi.
4 I have two comments to add. One is, I believe
5 there is a separate group that's developing a
6 definition for sepsis mortality that there's not
7 depend on coding. And that's something we all
8 need to watch out for, look out for.

9 And the second comment is, the coder
10 rule book, I believe it's pretty clear. I'm not
11 a coder, but I've worked with coders quite a bit.
12 So, their rule book is clear.

13 But, what goes through the coding is
14 also there are these clinical opportunities and
15 documentation opportunities. So no two providers
16 will call the same thing sepsis.

17 And similarly, some providers have a
18 tendency to over-document sepsis, whereas some
19 don't. So, that contributes to the coding
20 problem as well.

21 That's what makes this imperfect. But
22 that's what we have right now.

1 CO-CHAIR THOMPSON: I believe we also
2 have a comment from Rocco on the phone.

3 MEMBER ORLANDO: Yes. Rocco Orlando.
4 The -- I think that this really highlights what
5 really is an inter-rater reliability challenge.

6 And having said that, I think that
7 having this measure really will enhance
8 institutions across the country to begin
9 grappling with coding and inter-rater
10 reliability. It is a chicken and an egg problem.

11 And in a perfect world we would define
12 all of the definitions up front. The world isn't
13 perfect. And I think this really is a way to
14 advance the ball.

15 MEMBER GARZARO: I had a comment. I
16 mean, this is going to become a, again, pay for
17 performance. But if you have a crappy measure,
18 how can you interpret it in a pay for performance
19 when you know it's really not good.

20 And you're going to say, well that's
21 the best we have. I mean, like Kathleen was
22 saying, I've diagnosed patients after 48 hours

1 with sepsis in the hospital.

2 That the first thing that he tells me
3 that I feel like I have a dog sitting in my
4 chest. The patient was having an acute MI. Or a
5 patient that is sitting in the chair in the floor
6 and like, oh, you're septic. No, he's not
7 septic.

8 And then we say our mortality so has
9 increased so much simply because we have
10 increased the denominator of healthy -- more or
11 less healthy patients that we coded as septic.
12 And oh my God, they're not dying. Well, they're
13 not going to die no matter what.

14 So, seeing this measure and knowing
15 what it's going to be used for, that's what
16 scares me. That it's really not -- and we are
17 admitting that well, this is the best we can.

18 I don't think we should allow that to
19 happen, say that is the best we can. But yes,
20 it's going to be pay for performance and there's
21 going to be money attached to this.

22 CO-CHAIR THOMPSON: So I -- just a

1 question to NQF about this. Much like the other
2 measure when we had started talking about its
3 applicability and the performance programs, are
4 we to include that in our discussion?

5 Or are we to consider it regardless of
6 that later use?

7 DR. BURSTIN: Yes. We've got to
8 disconnect it from that in this instance. It's
9 not clear how this measure, this particular
10 measure in particular will be used.

11 At this point it's a New York State
12 measure that they're bringing to us for their
13 consideration. And again, I don't know if the
14 developer wanted to add anything specifically
15 about what, if anything they've, you know,
16 learned.

17 And this really will come up, I think,
18 under validity. I want to hold the comments on
19 this specifically around the definitions.
20 Because I know they've done a fair amount of
21 validation work on this.

22 CO-CHAIR THOMPSON: I believe we also

1 have a comment from Laura Evans on the phone.

2 MEMBER EVANS: Yes, hi. I was going
3 to, I think, sort of say some of the same things
4 around a question for the developer.

5 But maybe it's best served in the
6 validity about how specifically patients are
7 included in the data base. Or how sites, you
8 know, was it all coding. Or were they also
9 using, you know, clinical definitions, you know,
10 the 2001 consensus conference definitions and so
11 forth to include patients in this data base?

12 But, I also just wanted to maybe try
13 to get us refocused a little bit on the -- I
14 think we're in the performance gap section still.

15 And I do think that the data that's
16 presented by the New York State Department of
17 Health here does illustrate a significant
18 decrease -- a significant variation in mortality
19 by -- particularly by age group. Also somewhat
20 by race and ethnicity, and by payer status.

21 So I do think, at least in the New
22 York State cohort that there is evidence of

1 variability of performance. And I would say that
2 there is a performance gap here.

3 CO-CHAIR THOMPSON: Don?

4 MEMBER GOLDMANN: So, just a question.
5 Because this is going to come up on the other
6 measure as well. About the coding issue.

7 I'm really happy about anything that
8 standardizes or encourages people to code
9 properly. But in the case of sepsis, the
10 evidence seems very clear that much of, at least
11 some of the increase in survival is attributable
12 to coding.

13 I think that Clompus and others
14 clearly showed that. I didn't see that cited in
15 the literature. I probably missed it. Felt
16 strongly enough to write a New England Journal
17 perspective on it, cautioning the use of a
18 measure that's subject to up-coding.

19 So, that's the observation. The
20 question would be, in New York State, and again,
21 I wasn't the primary reviewer on this, I may have
22 missed it. But, was there an audit performed, a

1 random audit of these hospitals to see the extent
2 to which coding was accurate?

3 MS. MARINELARENA: Hi, this is
4 Melissa. So that's under validity. It did do
5 some data element validity --

6 MEMBER GOLDMANN: Okay. We can wait
7 for that.

8 MS. MARINELARENA: And some inter-
9 rater reliability that they can talk about if we
10 can talk about gap right now.

11 If there's any more discussion, I
12 would vote on what is in front of us. This is
13 what they used. It was a years' worth of data
14 based on this measure.

15 And if you determine that on their
16 test data that there is a gap, we'll vote on
17 that.

18 MEMBER GOLDMANN: Yes. One other
19 question, which I think probably does relate to
20 this. It's -- statements have been made about
21 saving lives. And the only lives that can be
22 saved of course are those -- well no, take that

1 back.

2 But most of the lives that can be
3 saved are those who have been treated with
4 antibiotics. It's one of the core elements of
5 early treatment for sepsis.

6 And all the cases of sepsis that are
7 due to influenza or inflammatory storm or
8 whatever, can be saved with antibiotics.

9 In New York State have you tried to
10 advise the community that while there are in fact
11 preventable deaths or highly preventable deaths
12 that not all deaths are due to "sepsis" as coded
13 are preventable?

14 And therefore the public shouldn't
15 just do a simple extrapolation. Which I've seen
16 in multiple papers. Including from Surviving
17 Sepsis and the lives saved.

18 MS. MARINELARENA: Is that for the
19 developer?

20 MEMBER GOLDMANN: If New York State,
21 when they used the data and look at the evidence
22 for the mortality and so forth, that they make it

1 clear that there are different etiologies of
2 sepsis, only some of which are addressed by the
3 bundles that were cited by them earlier.

4 MS. MARINELARENA: Oscar?

5 DR. LEVY: This is Mitchell Levy. I'm
6 a little lost since I don't think we're -- maybe
7 I'm on the wrong measure.

8 I thought we were talking about the
9 risk adjusted mortality measure that the New York
10 State Department of Health had submitted. Which
11 has nothing to do with the bundles.

12 This has to do with just --

13 MEMBER GOLDMANN: I raised it only
14 because the bundles were raised in the rationale.
15 And then there was a comment about saving --
16 about lives saved based on this measure.

17 And the rationale and the evidence for
18 the measure depends on how it's interpreted. And
19 --

20 DR. LEVY: Of course. But the whole
21 point it seems is to try to be able to evaluate
22 what the word mortality means.

1 So, since obviously there are so many
2 different patients who die from sepsis, just as
3 you say, I think what our attempt to do in this
4 database was to be able to risk adjust so that we
5 could compare mortalities across institutions
6 independently of any bundles.

7 MS. MARINELARENA: That's why --

8 DR. GESTEN: Yes, this is Foster. Let
9 me just jump in.

10 The addition of the risk adjusted
11 mortality, and they're adding the risk adjusted
12 mortality to -- and data to be able to evaluate
13 that initiative, we thought of as an additive and
14 important ingredient to really being able to
15 evaluate the degree to which various
16 interventions, whether they're bundles or other
17 interventions, are effective.

18 But, in order to be able to really
19 evaluate that, as well as evaluate the
20 initiative, we felt strongly that having a risk
21 adjusted mortality outcome measure was important.

22 So, if the question is, do we believe

1 somehow that magically that all sepsis mortality
2 is preventable, the answer to that, you know,
3 both in terms of my experience with the
4 initiative as a clinician, would be the answer is
5 no.

6 Did we communicate and somehow falsely
7 communicate that we think that if people just did
8 all these things there would be no mortality, I
9 think the answer to that is also no.

10 Do we believe that there are different
11 patient populations with different
12 characteristics that may have an increased or
13 decreased risk, or maybe more or less advantage
14 by certain interventions, the answer to that is
15 yes.

16 And I think we're in the process of
17 using the data to try to better understand and
18 link the data that we have on the interventions
19 and patient characteristics and facility
20 characteristics with this outcome.

21 So, I guess we've never -- we haven't
22 viewed this as being the -- well we've always

1 viewed this as being part of the overall
2 initiative. And never sought to advertise or say
3 that we knew or thought that if only you did X, Y
4 or Z, there would be no deaths from severe sepsis
5 or septic shock.

6 But we are, I think, we're motivated
7 by the amount of variation that was displayed, as
8 well as the comparator of the mortality rate that
9 we see, at least in our population, non-research
10 population, and some of the mortality rates that
11 we've seen reported, whether it's in the process
12 trial of 18 percent, or in other institutions
13 across the country that have been re -- have
14 reported, you know, much lower mortality rates.

15 Does that help answer the question?
16 I mean, we can get into some of the validity
17 later. But, I just wanted to be responsive to
18 the question.

19 MEMBER GOLDMANN: Yes. That's fine.
20 We'll come back to it. I don't want to be
21 persnickety and yapping at your heels.

22 It's just when talking about it so

1 far, these terms get introduced. The prime
2 stakeholder for this, I think, is the patient
3 population, the customers. And real clarity
4 about what this risk adjusted measure means in
5 terms of risk is just so important.

6 And it's been -- so far there have
7 been some statements that just perked up my ears.
8 Because they went to things like lives saved,
9 bundles.

10 So, let's not use those terms in
11 looking at this measure. Let's just look at
12 whether the measure is a valid measure or not.
13 And I think we'll -- I'll keep much more quiet.

14 MS. MARINELARENA: Hey Don, this is
15 Melissa. I think that was one of the Committee
16 members who used those terms and not the measure
17 developers. That used the terms bundles and
18 lives saved.

19 CO-CHAIR THOMPSON: So, any comments
20 on gap and performance in disparities?

21 (No audible response.)

22 CO-CHAIR THOMPSON: All right. Were

1 there any online?

2 (No audible response.)

3 CO-CHAIR THOMPSON: Okay. We can move
4 to vote.

5 MR. MENENDEZ: For Measure 3215,
6 performance gap, voting is now open. Vote one
7 for high, two for moderate, three for low, four
8 for insufficient.

9 (Voting.)

10 MEMBER HART: Jeff Hart, high.

11 CO-CHAIR THOMPSON: Thank you.

12 MR. MENENDEZ: The final count is 75
13 percent high, 19 percent moderate, zero percent
14 low, six percent insufficient.

15 The final verdict is passed.

16 CO-CHAIR THOMPSON: All right, moving
17 on to reliability.

18 MEMBER RONEY: So as I looked at
19 reliability of their measure in New York, it has
20 been in place in New York for I want to say, on
21 the call the developers are here, too, for over a
22 year and they have been able to kind of compare

1 their responses and chart instructions.

2 And so, again, it was at the facility
3 level that it is being reported and then
4 reliability was compared in between facilities in
5 the State of New York.

6 CO-CHAIR THOMPSON: Do we have any
7 questions or comments about reliability?

8 MEMBER RONEY: Add to reliability they
9 did exclude the patients that came in from
10 outside of the facility to match that of the CMS
11 sepsis core measure.

12 It has the same exclusion criteria so
13 it only measures those who were diagnosed,
14 developed, presented, or developed severe sepsis,
15 sepsis, septic shock, whichever definition we are
16 using, during their hospital stay.

17 MEMBER GARZARO: I have a question on
18 the denominator. So the patients admitted with
19 this diagnosis of septic shock then is changed to
20 acute MI, that's counted in the denominator so
21 that that patient will be counted?

22 (Simultaneous speaking.)

1 DR. GESTERN: Yes, this is Foster.

2 Let me, why don't we just get into the
3 denominator issue that was raised earlier.

4 So in terms of the data that we
5 collected from the hospitals, we asked hospitals
6 to identify using any and all means, both, you
7 know, retrospective means, administrative data,
8 clinical data, registry, individuals that met the
9 existing clinical definition of severe sepsis or
10 septic shock.

11 And we were asked repeatedly about,
12 you know, which codes to use and, you know,
13 people were aware that there were ICU 9 at the
14 time codes, but we were clear repeatedly, and
15 still are clear, in our instructions that those
16 codes and, you know, almost any way of
17 identifying has various kinds of frailties and
18 issues.

19 And so essentially the data dictionary
20 and the information to the hospitals was to if
21 anyone is to be included who met that clinical
22 definition.

1 What that would mean is that if
2 somebody was incorrectly, you know, had an
3 administrative code that said sever sepsis or
4 septic shock but on review of the case the
5 hospital found that they did not, in fact, meet
6 the clinical definition they were allowed to
7 exclude that case.

8 But it also meant, and we can get into
9 some of this with some of our validity and
10 liability testing, that if we wanted them to also
11 make use of cases in which they might have billed
12 for, identified somebody as severe sepsis and
13 septic shock, and see whether or not, in fact,
14 that was accurate.

15 In fact, whether those individuals met
16 the definition, including even if they did not
17 initially send them to us in a clinical dataset.

18 So we did some work with the hospitals
19 and with our own dataset comparing the clinical
20 dataset to the administrative one, identifying
21 cases that might have been identified
22 administratively that were not in the clinical

1 set and fed that information back to the
2 hospitals with instructions of if these are
3 individuals that met the clinical definition then
4 they should be included in the clinical dataset
5 and you can resubmit them and if they aren't
6 then, you know, in some cases you should look at
7 your billing and administrative processes as
8 well, because we have, you know, also have
9 concerns not only about the accuracy of the
10 coding for this project but also accurate billing
11 as well.

12 So in the case and scenario that you
13 mentioned, if somebody was incorrectly identified
14 on, you know, a presumed initial diagnosis of
15 sepsis that turned out to be something else, then
16 they would not be included, and they should not
17 have been included in the denominator.

18 DR. TERRY: One of the things that I
19 want to -- This is Kathy Terry also representing
20 the DOH from IPRO. One of the things that I want
21 to add to what Foster was saying is that we did
22 audit the cases to determine whether or not there

1 was severe sepsis/septic shock and that
2 performance was over 98 percent accuracy.

3 So the cases that we did receive in
4 our database did accurately reflect severe sepsis
5 and septic shock.

6 CO-CHAIR THOMPSON: Any other
7 questions or comments?

8 MEMBER GOLDMANN: Do you all report or
9 look at crude mortality, crude sepsis rates? The
10 reason I ask is that I think this includes sepsis
11 occurring in the hospital, right, so if it
12 includes sepsis occurring in the hospital do you
13 look at trends and comparisons among just the
14 number of cases with sepsis?

15 DR. GESTERN: Yes. So we have looked
16 at trend back, both in an aggregate way and also
17 at the individual institution level, quarter-by-
18 quarter at the number of cases that are being
19 reported, looked at that over time, and, also,
20 you know, I have done comparisons to looking at
21 the phenomena of what we see in the coding.

22 So, you know, we are familiar with,

1 and the article that was referenced earlier about
2 coding issues and some of the challenges of using
3 administrative data, the challenges of
4 denominator creep, if you will, that may explain
5 some changes in mortality that have been seen,
6 and concern about it.

7 And as Mitch was saying earlier, you
8 view the risk adjustment as one way to
9 potentially try to address that in addition to
10 trying to be as precise and clear as we could be
11 about a definition that is after a clinical
12 definition, which is on I guess its third lap of
13 international definitions.

14 There is some discussion about the
15 application of the most recent definition. So we
16 do think that there is value in tracking both,
17 you know, crude denominator numbers, you know, as
18 well as crude mortality as well as risk-adjusted
19 mortality, and hospitals have access to both of
20 those, and I presume that they look at their own
21 rates and can obviously trend internally what
22 they are seeing over time.

1 MEMBER GOLDMANN: Yes, I was not
2 getting into so much coding with that is that you
3 could have a lot of sepsis and do a very good job
4 of treating it in your ICU and that wouldn't be a
5 good thing, so I'm just always cognizant if you
6 look at, for example, you can have a low catheter
7 infection rate and be using seven times too many
8 catheters.

9 So it's just a kind of a balancing
10 measure, if you will, that might be --

11 (Simultaneous speaking.)

12 DR. GESTERN: So you are talking about
13 hospital-acquired sepsis and looking at that as
14 sort of a --

15 MEMBER GOLDMANN: Yes.

16 DR. GESTERN: Yes.

17 MEMBER GOLDMANN: Yes, I would
18 separate them frankly.

19 DR. GESTERN: Yes.

20 MEMBER GOLDMANN: I would have de novo
21 admitted in the ED and I would look separately at
22 inpatient because I think their pathway to

1 infection and how they might even have different
2 outcomes is probably pretty important, but that's
3 a nuance.

4 DR. GESTERN: Yes, it's a really good
5 point and we certainly have looked at, and intend
6 to continue to look at, differences in both
7 population and outcomes for people that acquire,
8 you know, sepsis in the hospital versus people
9 that present with it, and the notion of, which is
10 really kind of a separate of idea, of, you know,
11 does hospital-acquired sepsis, would that be
12 potentially a good quality measure is an
13 interesting question.

14 It's not what we are posing here, but
15 it's something that, you know, is worth looking
16 at.

17 CO-CHAIR THOMPSON: All right.
18 Anyone, comments on reliability testing, I'm not
19 sure if we walked through that one at least, from
20 folks at our table? Jamie, do you guys have
21 anything about the reliability testing?

22 (No audible response.)

1 CO-CHAIR THOMPSON: No.

2 MR. MENENDEZ: So voting for Measure
3 3215, Reliability, is now open. Vote 1 for high,
4 2 for moderate, 3 for low, 4 for insufficient.

5 (Voting.)

6 MEMBER HART: Jeff Hart, moderate.

7 CO-CHAIR THOMPSON: Thank you.

8 MR. MENENDEZ: Final count is 13
9 percent for high, 50 percent for moderate, 0
10 percent for low, 38 percent for insufficient.
11 The final verdict is pass.

12 CO-CHAIR THOMPSON: Next section,
13 validity and validity testing.

14 MEMBER RONEY: I feel like we have
15 already touched on most of this validity testing
16 as we heard that they went back and re-abstracted
17 and audited charts as well as they used logistic
18 regression data analysis on the data that they
19 got from, I think it was almost 200 hospitals
20 that submitted data in the State of New York.

21 CO-CHAIR THOMPSON: Any comments on
22 validity?

1 MS. MARINELARENA: So this also
2 includes --

3 (Off microphone comment.)

4 MS. MARINELARENA: I'm sorry. This is
5 Melissa.

6 DR. GESTERN: I'm sorry.

7 MS. MARINELARENA: This was a risk
8 adjustment model, threats to validity, any
9 missing data, so if you want to have a discussion
10 on that as well.

11 So we look at the, consider the risk
12 adjustment model. They did the measure score
13 validity testing, so they looked to see how the
14 model would perform on two different samples, so
15 I don't know if you want to have a further
16 discussion on that.

17 If you would also want to discuss the
18 different variables, we do ask measure developers
19 to consider SDS risk adjustment for outcome
20 measures.

21 They did not provide a rationale as to
22 why they would or wouldn't use a certain

1 variable, but they did demonstrate how they used
2 certain variables when they started testing the
3 model and then talked about which variable ended
4 up in the model.

5 I don't know if you want to have more
6 discussion about that. I know on the work group
7 call there was a lot of discussion about the
8 different types of hospitals, but we're talking
9 about patient-specific variables to include in
10 the model.

11 MEMBER RONEY: And when that came up
12 they mentioned they wanted to keep the model as
13 simple as possible because there were so many
14 variables that could impact mortality.

15 And so they tried to, based on, and
16 correct me if I am wrong, you guys on the
17 development team, but tried to come up with the
18 variables to limit the number but come up with
19 ones that they thought impacted or would have an
20 impact on mortality the most, but they couldn't
21 include everything or it got really complicated
22 and muddy.

1 And there is a 60-page addendum to
2 this measure just on how they calculated
3 mortality. So there was a lot to read through as
4 far as their development and how they tested it.

5 But I don't know if you guys want to
6 talk to the specific measures that you included
7 in your model?

8 DR. GESTERN: Sure. Let me just
9 start. This is Foster, let me start, and then I
10 want to hand it over to Stan and Gary and others
11 on the group as well.

12 But just in a general way in terms of
13 the model, we obviously, or maybe not obviously,
14 it is important to say that we deliberately did
15 not want to make adjustments for characteristics
16 of the institution, deliberately did not want to
17 make, include in the model characteristics of the
18 interventions, but do the best we could with the
19 data that we were collecting, the data variables,
20 to make adjustments for co-morbidities and
21 "severity" were I believe those variables that we
22 thought, or from the literature or from our

1 clinical advisors, were critical and important
2 relative to predicting outcomes of survival or
3 mortality.

4 But maybe for a more detailed or a
5 more statistical way of describing, Gary or Stan,
6 can you jump in and want to just say a words
7 maybe starting at a high level about decision
8 making around variables in the model?

9 DR. LEMESHOW: Sure. This is Stan
10 Lemeshow. As with any model building exercise we
11 started with many potential predictors of the
12 outcome, which was hospital mortality.

13 We go through a model building
14 exercise where we select from among the many
15 variables available the ones that are significant
16 in the light of the other variables in the model.

17 That is, a variable by itself in a
18 crude sense might be significantly associated
19 with mortality but together with the other
20 predictors is no longer important.

21 So our objective is to develop the
22 simplest possible model and provide to the best

1 estimate of the probability of mortality, and I
2 want to really make it clear that we are not
3 predicting outcome, we are estimating the
4 probability of outcome.

5 And by estimating the probability then
6 we can turn those estimates of probability into
7 an expected number of events for each hospital.
8 So to be in our model a variable has to be
9 clinically significant as well as statistically
10 significant.

11 MEMBER SREERAMOJU: This is Pranavi.
12 I think there is no disagreement there about
13 estimating the probability and not predicting,
14 but we run into issues at an organization level
15 as to whether it is reflective of the
16 organization's characteristics and I think it's
17 best at this stage because the measure
18 development team deliberately did not include
19 organizational variables.

20 It's best to -- I mean I would take
21 the approach of accepting the measure as proposed
22 and not -- and then work on our -- and accept

1 that it is a limitation that it doesn't include
2 organizational variables, because many of us in
3 the field know and appreciate that organizational
4 variables do modify the probability of mortality
5 for patients.

6 It's not just the patient
7 characteristics and that is why there is no way
8 to simplify a prediction model for something like
9 this -- or something like this because there are
10 way too many factors.

11 CO-CHAIR THOMPSON: Any other -- Don,
12 do you have something?

13 MEMBER GOLDMANN: Yes. So I just
14 appreciate the rigor of the statistical model and
15 I am sorry I didn't read the 50-page addendum and
16 now I will because it seems -- It's so important,
17 obviously, to do that.

18 But it looks as if your calibration
19 was really superb but your discrimination,
20 although it's good, I am never totally thrilled
21 by something that's in the 0.7 or 0.7 plus range
22 because it indicates there is something missing

1 that you wish you had and I wondered if you'd
2 talk about.

3 It looks like you used not a split
4 half, but a split 10 percent model to satisfy, to
5 validate, the model, and that's how you got some
6 of the data.

7 But can you just comment on that C
8 statistic and what you think you wish you had?

9 DR. LEMESHOW: It's a great question,
10 what we think we, what we wish we had. Certainly
11 having an area under the ROC curve with a C
12 statistic as high as possible is desirable.

13 And for those who may not be aware the
14 C statistic is a measure of whether the model
15 tends to produce higher probabilities for the
16 people who actually die and our C statistic was
17 in the high 70s, I believe, and so we didn't
18 quite make it to 80 percent, which everybody
19 would have been very happy with.

20 But we were very -- Actually, our C
21 statistic is high enough in the high 70s that we
22 are satisfied with that. I mean certainly if our

1 C statistic was below 70 percent we wouldn't have
2 a model that we could bring forward.

3 So being in the high 70s I think is
4 adequate and the fact that our model performed
5 well, not only in the dataset we developed it on
6 but also in the dataset that was set aside that
7 was not part of the estimation of the
8 coefficients, I think that is reassuring that the
9 model does, in fact, perform well.

10 DR. LEVY: Yes. The other point,
11 remember, is we intentionally left out treatment
12 variables and since there are no treatment
13 variables in the model our expectation for the C
14 statistic was not that great.

15 Yes, you know, had we added those
16 variables, some of those treatment variables in,
17 then the C statistic does get up into the 0.8,
18 but we did not want to intentionally include
19 those variables in the model.

20 MEMBER GOLDMANN: Yes, that just
21 reminded me --

22 DR. LEVY: Foster, I think the other

1 --

2 (Simultaneous speaking.)

3 MEMBER GOLDMANN: -- of the C
4 statistic I am looking for here. I think it was
5 in the high 70s.

6 DR. LEVY: 0.77.

7 (Off microphone comments.)

8 DR. LEVY: It's 0.77.

9 MEMBER GOLDMANN: What was it?

10 DR. LEVY: 0.77.

11 MEMBER GOLDMANN: Okay, all right.

12 DR. BURSTIN: And this is Helen, I'll
13 just point out --

14 (Simultaneous speaking.)

15 DR. LEVY: Right. And 0.773 for the
16 validation dataset.

17 DR. BURSTIN: This is Helen. I'll
18 just point out that is actually quite a good C
19 statistic for many of the outcome measures we
20 tend to see.

21 DR. LEVY: But I would love to know
22 what it was --

1 MEMBER GOLDMANN: Actually, you
2 stumbled on an area where I have done a
3 considerable amount of work and when we, using
4 physiologic variables for predicting neonatal
5 mortality hit a C statistic of 0.92 I thought we
6 were into something really important and we would
7 have been -- I understand that a lot of these
8 models end up with C statistics in the 0.7s,
9 which is acceptable, and I am not dissing it.

10 I am just saying it would be great to
11 know what you think might have sharpened it. For
12 example, organ dysfunction, was that included at
13 all? It's in general now thought to be a really
14 important predictor of mortality in general in
15 sick patients.

16 DR. GESTERN: We had a number of organ
17 failures in the model.

18 (Simultaneous speaking.)

19 MEMBER GOLDMANN: Is it a -- It's not
20 a formal scorch, probably you didn't have the
21 data for that, right?

22 DR. GESTERN: No.

1 DR. LEVY: Correct. And I mean I
2 think in a general way we would love to know what
3 the variables are that we think could be
4 reasonably and feasibly collected across the
5 State across 190 hospitals. That would improve
6 the model.

7 So we did, not in the submission, we
8 did take a look at, in a cohort that we had that
9 we had charts and had further data, to look and
10 see if there was some of the physiologic
11 variables that were part of the new definition
12 that might, you know, contribute to the model in
13 some way.

14 And I think maybe Stan and Gary, or
15 Mitchell, you may recall, you know, that it did
16 not contribute in any significant way to the
17 model, and these were more physiologic, you know,
18 variables related to respiratory rate, and heart
19 rate I believe as well, on admission or on
20 initial diagnosis.

21 But, you know, whether there are other
22 "severity" variables or refinement of co-

1 morbidity that might improve the model, unclear,
2 but, you know, we, like you, would love to see
3 even a higher number.

4 CO-CHAIR THOMPSON: Real quick, just
5 --

6 (Simultaneous speaking.)

7 DR. GESTERN: Yes, and I would just
8 reiterate what Helen just said --

9 CO-CHAIR THOMPSON: One second.

10 DR. GESTERN: -- which is that given
11 the measures that are often looked at by NQF this
12 is a very good C statistic.

13 CO-CHAIR THOMPSON: So I just want to
14 remind the folks who are joining us on the phone
15 to be sure to say your name before your comment.
16 We would like to attribute your astute comment to
17 you and have you not show up as participant on
18 the line. Don?

19 MEMBER GOLDMANN: And just a final
20 question, this is kind of true/true and
21 unrelated, but I didn't see, and maybe again I
22 missed it, kind of a histogram or some other

1 display showing the range of hospital performance
2 and how many were actually true statistical
3 outliers versus within the error?

4 DR. LEMESHOW: So while we didn't
5 include it in this proposal, what we have
6 produced is we have calculated for each hospital,
7 all 190 hospitals, we computed the observed, well
8 we counted the observed number of deaths and we
9 compared the observed number of deaths to the
10 number of deaths that would have been estimated
11 based on the model.

12 Taking the ratio of the observed to
13 the expected number we have what is called the
14 standardized mortality ratio and we have actually
15 produced plots that look at the standardized
16 mortality ratios and the confidence intervals
17 without the standardized mortality ratios for all
18 190 hospitals.

19 Obviously, if that ratio is above one
20 then the hospital is exhibiting more deaths than
21 the model would have predicted and if the
22 confidence interval doesn't include one then that

1 confidence interval is significantly above one
2 and the hospital is doing significantly worse
3 than would be expected overall.

4 On the same token, a hospital that has
5 a standardized mortality ratio of less than one
6 is demonstrating fewer deaths than the model
7 predicts and if you look at the confidence
8 interval, if that confidence interval doesn't
9 include one, that's a hospital that can be kind
10 of pointed at as best practices because whatever
11 they are doing it's working and I think it really
12 helps us understand what works and what doesn't
13 work.

14 You can do that, by the way, for the
15 different kinds of hospitals, whether it's
16 community hospitals, university-based hospitals,
17 rural hospitals, this kind of thing can be very
18 revealing as to which hospitals are most
19 successful.

20 MEMBER GOLDMANN: Yes. So the
21 committee can tell me to shut up anytime, but you
22 are getting into exactly the point of this

1 debate, and that is I would have like to have
2 seen a funnel plot.

3 I would like to see how many hospitals
4 of what size are outliers and what your
5 statistical threshold is, because if you use a 95
6 percent confidence interval that's way too
7 liberal and you are going to get all kinds of
8 outliers that won't repeat when you come back a
9 year later or that if you use somebody else's
10 model will not -- That's basically what Sharon-
11 Lise Normand and others found with looking at
12 HSMR against other mortality measures.

13 And so in order to really understand
14 where this measure is going to be useful it would
15 be really nice to know what your threshold for
16 being an outlier is and what your funnel plot
17 looks like.

18 And that would be really helpful to me
19 personally because it would tell me whether you
20 are going to see real variation or noise and put
21 people through a lot of work.

22 Just because they are an outlier

1 doesn't mean that they have a problem in most of
2 these kinds of models.

3 CO-CHAIR THOMPSON: Kathleen?

4 MEMBER BRADY: Yes --

5 MEMBER GOLDMANN: Do you have funnel
6 plot?

7 MEMBER BRADY: Oh.

8 DR. LEMESHOW: No.

9 MEMBER GOLDMANN: Do you -- I assume
10 you know what it is, people are looking at me
11 puzzled.

12 DR. LEMESHOW: We don't have a --

13 MEMBER GOLDMANN: A funnel plot is
14 simply a very elegant way to show both size of
15 the sample in a hospital, the statistical
16 threshold that is used, and whether anybody comes
17 out of the cone of probability that you create
18 and it's -- It's not really different than a
19 fancy histogram with confidence intervals around
20 it and so forth, but it includes the thing you
21 are talking about which is the smaller, more
22 typical hospitals, not just the huge medical

1 centers and it is pretty standard in the NHS now
2 after the various problems they have had in the
3 staff inquiry to use funnel plots and it would be
4 really, really helpful to see it.

5 CO-CHAIR THOMPSON: Thanks. Kathleen?

6 MEMBER BRADY: Yes. So my comment is
7 related to the fact that sepsis is reportable to
8 the New York State Department of Health and as a
9 result of that, I'm not sure when that was
10 implemented, but do you think that since these
11 results only come from New York State is there
12 any kind of possibility that the reliability and
13 validity of your model would be different in
14 other areas where maybe that is not the case?

15 DR. GESTERN: This is Foster and I
16 will take the first whack at that. I mean it's
17 clear since we didn't test this in other States
18 it's hard to be able to answer that precisely and
19 it's accurate that the data upon which this is,
20 you know, this is based is a submission, you
21 know, a unique submission, of not administrative
22 data but clinical data from hospitals.

1 On the other hand I don't know, you
2 know, the data dictionary is, you know, explicit
3 and, you know, across the State 190 or so
4 hospitals were able to use that to submit data to
5 us that we think is reliable.

6 I don't have any reason to think that
7 the ability to identify cases or to identify the
8 variables that are in there are in some way
9 unique or special to patients in New York or in
10 New York hospitals.

11 But I think the direct answer to your
12 question is, you know, it's hard to know for sure
13 that if somehow this wouldn't be different and
14 clearly one of the questions for the group to
15 think about is is this generalizable to other
16 places that may have a different way of either
17 identifying patients and/or of collecting that
18 information.

19 So the model is built on a specific
20 way in which, you know, we described the
21 identification of patients, were described as
22 well as a specific way of collecting a specific

1 time period and a specific way of reporting those
2 cases to us using a discreet data dictionary, but
3 I don't know.

4 Does Mitchell or anybody else have a
5 thought about generalizability?

6 DR. LEMESHOW: Yes, this is Stan
7 Lemeshow. I just want to say one quick thing on
8 this. I was involved with developing models
9 based on an international sample of general
10 medical surgical ICU patients.

11 Once our model was published and
12 became very well-known there were many, many
13 papers published so people would explore the
14 performance of our model in the Netherlands or
15 they would explore the performance of our model
16 in Italian ICUs.

17 And we learned that it's very -- Once
18 the model is out there, the basic variables are
19 out there, it is very simple to adjust the
20 coefficients in the model to customize it to a
21 particular country.

22 And I would say that, you know, you

1 can make the same argument. None of us can
2 guarantee that our model will work extremely well
3 in any hospital in any State, that is impossible
4 to say right now.

5 What we can say is that we could look
6 at the performance in different States and we can
7 actually customize easily these coefficients so
8 that it does perform well wherever it is tried,
9 at least that was our experience in this
10 international study.

11 MS. MARINELARENA: And the question
12 that we posed to NQF, and it's a standard
13 question, was is a test sample adequate to
14 generalize for widespread implementation, so take
15 into consideration the size of the sample.

16 A lot of the times we see testing and
17 it might be, you know, 30 providers and a few
18 thousand patients, where in this case, you know,
19 we have a 179 hospitals over a year in 30,000,
20 what was it, 40,000-some patients, so take that
21 into consideration.

22 CO-CHAIR THOMPSON: Any other comments

1 on validity? Any comments from on the line?

2 All right, I think we can move to
3 voting.

4 MR. MENENDEZ: Voting for 3215,
5 Validity, is now open. Vote 1 for high, 2 for
6 moderate, 3 for low, and 4 for insufficient.

7 (Voting.)

8 MEMBER HART: Jeff Hart, moderate.

9 CO-CHAIR THOMPSON: Thank you.

10 MR. MENENDEZ: Voting is now closed.
11 The final count is 25 percent high, 50 percent
12 moderate, 6 percent low, 19 percent insufficient.
13 Final verdict is pass.

14 CO-CHAIR THOMPSON: Feasibility.

15 MEMBER RONEY: Feasibility leads to
16 more challenges because of the number of co-
17 variants that are required to be input into this
18 risk-adjusted model.

19 A lot of the stuff that came up on our
20 phone call discussion was the amount of chart
21 abstraction that would be required, and so
22 feasibility wise it's a little more difficult but

1 not impossible.

2 CO-CHAIR THOMPSON: Questions or
3 comments on feasibility?

4 (No audible response.)

5 CO-CHAIR THOMPSON: All right, I think
6 we can move to vote.

7 MR. MENENDEZ: Voting for 3215,
8 Feasibility, is now open. One of high, 2 for
9 moderate, 3 for low, 4 for insufficient.

10 (Voting.)

11 MEMBER HART: Jeff Hart, moderate.

12 CO-CHAIR THOMPSON: Thank you.

13 MR. MENENDEZ: Voting is now closed.
14 The final count is 6 percent high, 69 percent
15 moderate, 19 percent low, 6 percent insufficient.
16 The final result is pass.

17 CO-CHAIR THOMPSON: And, lastly,
18 usability.

19 MEMBER RONEY: I guess there has been
20 a lot of discussion around this as well, but is
21 it generalizable data that will be produced with
22 this risk-adjusted mortality and will we be able

1 to improve the care that we deliver and/or
2 measure of the care that we deliver.

3 Those are all questions that have been
4 raised, but usability of the measure in itself,
5 maybe even just at the institutional level, may
6 be seen as promising, but I think most of us are
7 looking at mortality already but as far as
8 generalizability across the nation.

9 Again, I don't know what other
10 comments may want to be added to usability.

11 CO-CHAIR THOMPSON: Woody?

12 CO-CHAIR EISENBERG: Yes. The comment
13 that I would add is one that's been made already
14 and that is that in New York State it's required
15 to report sepsis so the hospitals sort of bite
16 the bullet and do it.

17 But I am wondering about other States
18 that may not have that as a requirement and
19 whether the burden for them would prove all but
20 insurmountable.

21 CO-CHAIR THOMPSON: Do we have a sense
22 of how many States require reporting?

1 MEMBER RONEY: I have it as required
2 reporting but it is because it is my 1115 waiver
3 project.

4 MEMBER SREERAMOJU: This is Pranavi
5 Sreeramoju. I would like to add that our
6 hospital reports sepsis mortality as 1115 waiver
7 project, but it's not that it requires reporting
8 in the State of Texas.

9 To me the usefulness of this metric is
10 something that is available for organizations to
11 use if they are looking for risk-adjusted sepsis
12 mortality but it's not yet primetime for inter-
13 institutional compatibility as it stands, but
14 it's definitely useful in the organization.

15 DR. BURSTIN: And I'll just add that
16 NQF has inexperience of bringing in some
17 innovative State measures just to kind of get
18 that, some of these measures in use even if they
19 haven't been introduced in federal programs.

20 That is often a good way to get a good
21 sense of its performance.

22 MEMBER RONEY: To tag onto what Helen

1 just send, this is Jamie for you guys on the
2 phone, we did have to develop our own risk-
3 adjusted sepsis mortality model at our
4 institution and so it may just be that it could
5 be a resource for others to have a risk-adjusted
6 mortality measurement for sepsis without having
7 to develop it and look at the co-variants and go
8 through the statistical analysis process within,
9 at the national level to be NQF endorsed.

10 CO-CHAIR THOMPSON: Any other comments
11 or questions about usability?

12 (No audible response.)

13 CO-CHAIR THOMPSON: All right, we can
14 move to vote.

15 MR. MENENDEZ: Measure 3215, Usability
16 and Use, voting is now open. Vote 1 for high, 2
17 for moderate, 3 for low, 4 for insufficient.

18 MEMBER HART: Jeff Hart, moderate.

19 CO-CHAIR THOMPSON: Thank you.

20 MR. MENENDEZ: Voting is now closed.
21 The results are 13 percent high, 63 percent
22 moderate, 6 percent low, 19 percent insufficient.

1 Final verdict is pass.

2 CO-CHAIR THOMPSON: Okay, now we can
3 move onto the final endorsement vote. Yes, Don?

4 MEMBER GOLDMANN: Some comments before
5 we vote on the final. So here is some concerns I
6 have kind of been hinting at, and it comes from a
7 really deep experience with mortality, risk-
8 adjusted mortality measures, and aside from
9 whether you think that HSMR or the UHC or the MMM
10 or any of those are perfect models and whether
11 mortalities are all preventable, aside from that
12 I am really chasing by the study by Sharon-Lise
13 Normand and others on risk-adjusted mortality
14 where it basically took the hospitals in
15 Massachusetts, with arguably the best, most well
16 refined, over and over again iterated risk-
17 adjusted models, and showed that the four
18 measures they tested gave different results.

19 Not only did they give different
20 results, but hospitals that were outliers in one
21 were inliers in another and the rankings changed
22 considerably from year to year, which the

1 conclusion of the paper, and there are a lot of
2 critics of the paper, but it was pretty well done
3 and it argued that any one risk-adjusted model,
4 particularly one that hasn't been, as best as I
5 can tell, published and scrutinized in terms of
6 statistical methods by independent people, is
7 probably, it may be premature.

8 And Brian Jarmin would often say that
9 my model was not developed to create a lead table
10 where Mount Sinai could look at Montefiore and
11 say well where, we have lower mortality than you
12 do, there is a sort of self-fulfilling prophecy
13 in using your own data to validate your own data,
14 and he would say what it is really good for is
15 for people to say, well, we can do better and how
16 are we going to track it and to look for real
17 outliers.

18 And real outliers were outliers that
19 had a confident, sort of 0.99, a real, you know,
20 and repeatedly, and preferably with multiple,
21 different ways of looking at it.

22 And in England, therefore, rather than

1 just publishing it and whatever they notify
2 confidentially the hospital that you are an
3 outlier and then they have a 60-day I think it is
4 response period to, you know, explain why the
5 data doesn't apply to them.

6 So I am just a little worried that --
7 I haven't seen a peer review paper, I haven't
8 seen -- Is there any independent statistical
9 evaluation of this, I don't know.

10 It's done in New York State data,
11 which may be special. I haven't seen a final
12 plot, I haven't seen how many true outliers there
13 are, and I just have an opinion, and I'm just
14 expressing it, nobody has to listen to me, but
15 that some further development research and the
16 validation would be a good idea.

17 DR. BURSTIN: Do the developers want
18 to respond to -- I know there are several papers
19 submitted that they couldn't share with us yet
20 because they are under embargo. Foster, any
21 comments?

22 DR. LEMESHOW: This is Stan Lemeshow.

1 It's an interesting argument, but I think that
2 none of us believe that this is the somehow best,
3 God given, correct model.

4 I mean what we know is that we have a
5 model that has gone through a lot of trial and
6 tribulation. We know that the model does perform
7 well in the data that we developed it on.

8 We know that it does perform well in
9 data that was set aside. We also know that it
10 would be possible to develop a completely
11 different system for the same, using different
12 data, and we know that those two systems probably
13 would not agree with each other 100 percent of
14 the time.

15 But I am completely convinced that
16 there is a need for models that can --

17 (Telephonic interference.)

18 DR. LEMESHOW: -- probabilities of an
19 outcome that can be applied in institutions and
20 that can be validated in institutions over time,
21 because I think it adds a lot of information that
22 we don't currently have.

1 And I think this model that we
2 developed has potential for being useful going
3 forward and none of us are arguing that it is the
4 best one that will ever be made, but I think it's
5 a good one for the time right now and I think
6 that we have an opportunity to use it for
7 important purposes.

8 So, you know, I understand and can
9 well appreciate the comments made previously, but
10 I do think that this is something that we can
11 latch onto and actually demonstrate the
12 usefulness of this particular model over time and
13 in different institutions.

14 DR. LEVY: This is Mitchell Levy. And
15 that was, by the way, Stan Lemeshow, I just want
16 to make it clear. And, Stan, you have worked on
17 what other risk models before?

18 DR. LEMESHOW: I worked on the SAPS
19 model, the Simplified Acute Physiology Score
20 model, that involves something like 15 different
21 countries, and I worked on the Mortality
22 Probability Model that was exclusively in the

1 United States.

2 DR. LEVY: Yes, and I just wanted to
3 -- I think that's important. I think the
4 comments about risk modeling altogether are well
5 taken. I also want to, hopefully everyone is
6 clear is that there are a lot of risk models out
7 there that are currently used to risk adjust
8 people, especially in critical care.

9 And I think the advantage of this
10 model, which is as imperfect as any other risk
11 model out there, is that this is the first
12 sepsis-specific risk model and given the
13 increasing attention nationally and
14 internationally to sepsis to have a sepsis-
15 specific risk model, we think when one that, as
16 you just heard Stan describe, can be as helpful
17 as we think it is, I think it's an important
18 contribution to the field.

19 MEMBER GOLDMANN: Yes. I just want to
20 state I am not impugning that the talents and the
21 abilities of the modelers here at all. I mean I
22 think it looks very sophisticated and appropriate

1 methods were used.

2 DR. LEVY: Yes.

3 MEMBER GOLDMANN: But a question for
4 NQF since I am new this panel, if we were to vote
5 yes on this measure are we sending a signal that
6 this is suitable for national adoption or is it
7 more like what they are saying, well, people will
8 use it and will learn more and it's better than,
9 it's nice to have something out there?

10 So to what extent are we endorsing
11 this that, for example, it might be picked up in,
12 since sepsis a national HHS priority, that it
13 could end up as a national pay-for-performance
14 measure?

15 DR. BURSTIN: Right. So, again, I
16 want to try to separate out this is the measure,
17 the quality of the measure is what you are doing
18 today.

19 There is another group that will then
20 evaluate it in the context of, if, for example,
21 it got brought up to say this measure is
22 potentially being considered for the Inpatient

1 Quality Reporting, or IQR, at some point, they
2 will then look at the measure, your evaluation,
3 the context of how it will be used, and some of
4 that assessment will be made.

5 I think what you are saying is based
6 on NQF's criteria does this measure meet those
7 criteria, it has met the threshold, and that it
8 is suitable for use.

9 We are not saying, we are not
10 prescribing which use, but that it is out there
11 and people could have some confidence that it is
12 evidence based, valid, reliable, et cetera, and
13 begin using the measure.

14 CO-CHAIR THOMPSON: Okay. All right,
15 I think we are ready to vote.

16 MR. MENENDEZ: Okay. For the overall
17 suitability for endorsement of 3215 vote 1 for
18 yes, 2 for no.

19 (Voting.)

20 MEMBER HART: Jeff Hart, yes.

21 MR. MENENDEZ: The final count is 69
22 percent yes, 31 percent no. Final verdict is

1 pass.

2 CO-CHAIR THOMPSON: Great. Thank you
3 to those of us, you, from the New York State
4 Department of Health who joined us from the
5 phone. We appreciate you answering all of our
6 questions. And I believe Christy has an
7 announcement.

8 MS. SKIPPER: Yes, thank you. So
9 before we all go to break I just want to let you
10 know that as a standing committee you all will
11 randomly be assigned to two or three year terms.

12 So before you go to your break please
13 see me to draw your term slip from this cup and
14 when we return we'll have you call out your
15 number for the record so we know which term you
16 have been assigned, either two or three.

17 And then for the committee members on
18 the phone we will draw a slip for you and I just
19 want to let you know that committee members may
20 serve up to two consecutive terms.

21 The second term will automatically be
22 a 3-year term, but you don't have to accept that

1 second term. Yes?

2 (Off microphone comment.)

3 (Laughter.)

4 MS. SKIPPER: Mike, I'm sorry?

5 (Off microphone comments.)

6 MS. MARINELARENA: This is starting
7 fresh. Those were steering committees. This is
8 a standing committee so we can bring you together
9 any time.

10 (Off microphone comments.)

11 MS. MARINELARENA: You didn't realize
12 what you got yourself into, right. So this is
13 going forward, yes.

14 (Off microphone comments.)

15 CO-CHAIR THOMPSON: So we will --
16 Folks, remember to draw your term, and we will
17 take a 10-minute break and be back just before 3
18 o'clock.

19 (Whereupon, the above-entitled matter
20 went off the record at 2:49 p.m. and resumed at
21 3:03 p.m.)

22 CO-CHAIR EISENBERG: Okay, let us get

1 back underway. We are about to discuss our last
2 measure of the day, I believe, which is 0500, and
3 for this measure Laura Evans will be recused
4 because she has been involved in the construction
5 of the measure.

6 We have with us the measure
7 developers, yes, and --

8 (Off microphone comment.)

9 CO-CHAIR EISENBERG: Oh, hold on,
10 we're taking a little interruption here. We have
11 to -- Oh, is that a formal part of the --

12 MS. SKIPPER: Yes.

13 CO-CHAIR EISENBERG: Oh, okay. So at
14 this point before we actually get to the measure
15 we need to report in on whether we are getting a
16 two or a 3-year standing committee appointment
17 and apparently that has got to be part of our
18 recorded proceeding, so, Christy, let me hand it
19 over to you.

20 MS. SKIPPER: Yes. So I guess we will
21 start with Amesh here. If you will just call out
22 the number, your name, and your term limit, and

1 we'll go around the room.

2 MEMBER ADALJA: Amesh Adalja, three.

3 MEMBER RONEY: Jamie Roney, two.

4 MEMBER GOLDMANN: Don Goldmann, two.

5 MEMBER GARZARO: Piero Garzaro, three.

6 MEMBER BRADY: Kathleen Brady, three.

7 MEMBER LANE: Mike Lane, three.

8 CO-CHAIR EISENBERG: Woody Eisenberg,
9 two.

10 CO-CHAIR THOMPSON: Adam Thompson,
11 three.

12 MS. SKIPPER: Okay. And I will call
13 out numbers for individuals on the phone, I am
14 drawing from a cup. Nanette Benbow, three.
15 Esther Babady, two. Emily Aaronson, three.
16 Laura Evans, two. Rocco Orlando, two. Pranavi
17 Sreeramoju, three. Jeff Hart, three. And
18 Jeffrey Lewis, two. Thank you.

19 CO-CHAIR EISENBERG: Okay, now we are
20 going to address a new measure, 0500, which is
21 Severe Sepsis and Septic Shock, which is the
22 management bundle.

1 This is a composite measure. It is a
2 maintenance of a previously-approved endorsed
3 measure and at this point let me hand it over to
4 the measure developers to describe the measure to
5 us, please.

6 DR. RIVERS: Can you hear me okay?

7 CO-CHAIR EISENBERG: No. Try that
8 again, please.

9 DR. RIVERS: Emanuel Rivers, can you
10 hear me okay?

11 CO-CHAIR EISENBERG: Just barely.

12 DR. RIVERS: Okay. How about that?

13 CO-CHAIR EISENBERG: Oh, that's
14 better. That's better.

15 DR. RIVERS: Okay. Sorry about that.

16 CO-CHAIR EISENBERG: That's okay. Go
17 ahead.

18 DR. RIVERS: Good afternoon, everyone,
19 and thanks to the National Quality Forum
20 Infectious Disease Committee and everyone on this
21 call for their dedication to quality improvement.

22 As the measure stewards Dr. Townsend

1 and I apologize for not being able to attend
2 physically but we definitely are there in spirit.

3 This is the tenth year after the first
4 submission of NQF Measure 0500 and represents the
5 third submission for re-endorsement.

6 The concept of a sepsis measure began
7 almost 20 years ago after observing the mortality
8 at Henry Ford Hospital approaching 50 percent.
9 So as a result a quality initiative began in 1997
10 which gave way to the concept of early
11 interventions in sepsis.

12 Interestingly, the sepsis mortality of
13 46.5 has been validated within the last year by
14 an expert panel, recently published in JAMA. So,
15 clearly, sepsis continues to be a condition where
16 there is a gap in the quality of care.

17 The following are some noteworthy
18 statistics from CMS. The incidences of over a
19 million cases a year, over 250,000 of these are
20 septic shock.

21 The mortality is responsible for the
22 largest number of inpatient deaths in the United

1 States, and if you look at the number of deaths
2 it outstrips prostate cancer, breast cancer, and
3 AIDS combined.

4 Sepsis patients are eight times more
5 likely to die in a hospital than other diagnoses
6 and an average death rate of about 258,000
7 patients per year.

8 From a resource consumption
9 perspective it is the fifth largest consumer of
10 hospital days. It is also the largest increase
11 in emergency department visits from 2006 to 2011,
12 which is about a 74 percent increase.

13 And from a cost perspective it is the
14 most expensive reason for hospitalization. It
15 actually represents 5 percent of all U.S.
16 inpatient hospital costs.

17 So as a committee I am sure you are
18 aware about the concept of goal-directed therapy,
19 which was a combination of many studies that
20 created the components of composite NQF 0500.

21 One must remember that in the year
22 2001 there were no standards for early

1 identification and care for sepsis, so protocols-
2 based care was not a standard of care.

3 The landscape changed after adoption
4 of this methodology by the Surviving Sepsis
5 Campaign in 2004 and after a decade of the
6 Surviving Sepsis Campaign and after publication
7 of multiple protocol-based care trials there has
8 been much discussion about the elements of the
9 measure.

10 The protocol-based studies all
11 revealed that when core treatments of early
12 detection, risk stratification, fluid challenge,
13 cultures, antibiotics, and perfusion exam, if
14 done within six hours, sepsis mortality has
15 dropped to an all-time low below 20 percent
16 according to these trials.

17 It is important to note that these
18 trials do not question protocol-based care, which
19 is the foundation to NQF 0500, but only the
20 component related to the perfusion exam.

21 We made these modifications in the
22 measure to accommodate this research in the last

1 NQF submission.

2 More importantly, the most recent
3 trials, the most recent data, is CMS data. The
4 adoption of the measure by CMS in 2015 and
5 inpatient quality reporting has provided further
6 insight to sepsis care nationally.

7 This report reflects three quarters
8 from 2015 to 2016 and represents over 159,000
9 cases of eligible patients, and this is out of
10 over 300,000 patients submitted.

11 The sobering news is that the
12 mortality of septic shock is still 38 to 42
13 percent and severe sepsis 28 to 32 percent, which
14 is twice as high as the mortality reported in
15 these previous trials.

16 Needless to say, we have a lot of work
17 to do to improve sepsis care and NQF 0500 is
18 leading the charge to improve sepsis mortality
19 nationally.

20 The good news is that when the Sep 1
21 measure is adhered to, now this is a CMS measure,
22 mortality decreases by an absolute value of 8

1 percent and a relative mortality reduction of
2 over 25 percent.

3 We would like to also note that 100
4 percent of hospitals successfully reported the
5 measure and that the hospital performance have
6 been increasing each quarter.

7 After two NQF measure approvals and in
8 response to thoughtful stakeholder feedback
9 regarding the specification manual we have made
10 multiple changes to minimize clinician
11 documentation and decrease hospital extraction
12 burdens.

13 Most notable is the simplification of
14 the profusion exam which minimizes extraction,
15 but provide also guidance to the clinician.

16 So in keeping with the mission of NQF,
17 IHI, and the Institute of Medicine, we have
18 identified a disease of high mortality and a
19 performance gap.

20 We have also shown that improved
21 outcomes are associated with improving measure
22 performance. We believe that NQF 0500 will

1 continue to be a vital component of the national
2 sepsis quality improvement efforts.

3 So on behalf of the measure stewards,
4 Dr. Sean Townsend, Henry Ford Hospital, and I, we
5 would like to thank NQF and CMS for its past
6 support of this measure.

7 After 20 years of development we feel
8 we have made significant strides and taken the
9 best available science and converting it into
10 improving sepsis outcomes. Thank you.

11 CO-CHAIR EISENBERG: Thank you. Are
12 there any other comments that the representatives
13 here would like to make?

14 (No audible response.)

15 CO-CHAIR EISENBERG: No. Are there
16 any questions or comments from the committee
17 members for the developer before we hand it over
18 to our facilitators?

19 (No audible response.)

20 CO-CHAIR EISENBERG: No. If not, we
21 have Emily Aaronson, Amesh Adalja, Esther Babady,
22 and Donald Goldman. Take it away.

1 MEMBER GOLDMANN: I was asked by my
2 colleagues to lead it off. I should have
3 recognized the potential, I guess something to
4 disclose, which is Sean Townsend has been in the
5 past a, well once a faculty member always a
6 faculty member, and the same for Mitch Levy,
7 actually, so the degree to which my personal
8 affection for those people leads me to be civil
9 has yet to be determined.

10 (Laughter.)

11 MEMBER GOLDMANN: So basically there
12 are two distinct aspects to this bundle, one of
13 them to be completed within three hours and the
14 rest to be completed with six hours.

15 And the three hours include measuring
16 the initial lactate, drawing a blood culture
17 prior to antibiotics, and administering broad
18 spectrum or other antibiotics.

19 The rest of the three hours is to
20 administer a crystalloid bolus for hypotension or
21 lactate greater than four and then at six hours
22 there are other things to be done, repeat the

1 lactate level, which I presume is for guidance.

2 It, in and of itself, of course,
3 doesn't cure anything, but it's for guidance in
4 the future I imagine, and then, also, to apply
5 vasopressors, reassume volume status, and so
6 forth.

7 And there is a point made that you can
8 really assess volume status however you think is
9 appropriate and all you have to do is do a test
10 to it.

11 So that is the nature of the bundle.
12 I do have one question. Can I ask a question
13 about that now or should I -- So to the
14 developers, I didn't understand the three hour
15 for antibiotics.

16 I think a lot of literature says as
17 soon as possible or within two hours and I wonder
18 where the three hours came from and whether that
19 is evidence-based, and then I also wanted to ask
20 as to whether there are any balancing measures
21 about inappropriate use of antibiotics?

22 DR. TOWNSEND: Hi, Don, it's Sean

1 Townsend. I am not worried about your affections
2 for me overwhelming your comments regarding the
3 measure.

4 My experience over time has been that
5 you are very direct and very capable of telling
6 me your concerns where they may come up.

7 That much being said let me address
8 your question regarding antibiotics. Yes, there
9 is actually very good literature that every hour
10 counts and potentially every half hour if you
11 look at some of the published literature, which
12 was initially spearheaded by Anand Kumar, and we
13 are quite aware of that and cognizant of that.

14 The three hour requirement is actually
15 a property of the measure. We determined that
16 given the nature of hospital operations the
17 likelihood that hospitals can achieve the one
18 hour mark is quite low.

19 I mean you have to imagine the patient
20 arrives, they are seen at the triage desk, they
21 are triaged, vitals are taken. If they are not
22 brought back right away they wait for a bit in

1 the emergency department, then they are taken
2 back by the bedside nurse who then has to do
3 their own checkup on the patient, their own exam,
4 and then the physician comes in, meanwhile the
5 patient is getting into a gown, and then they go
6 to x-ray.

7 These types of logistics in the
8 hospital create a circumstance where even if we
9 could do the ideal thing, getting antibiotics in
10 within the first hour, the likelihood of success
11 is awfully low.

12 So we agreed and tested this three
13 hour measure, but the guidelines clearly state
14 that the sooner the better with regard to the
15 administration of antibiotics and we stand by
16 that, it's just that we hold hospitals to a three
17 hour measurement standard.

18 Your other question regarding
19 balancing measures, as you know to submit a
20 measure to NQF and to have it accepted for
21 national adoption later on you have to have data
22 in order to make your case.

1 And so things like antibiotic
2 stewardship, well, highly, are very important,
3 and, you know, mentioned in the 2016 guidelines
4 repeatedly, and a foundational aspect of the work
5 CMS is promoting along with the CDC are important
6 things to exist alongside this measure.

7 The measure had no data internally to
8 submit a measure such as antibiotic stewardship
9 to go along with it.

10 MEMBER GOLDMANN: Great. And the
11 developers offer a considerable amount of backup
12 support for the effectiveness of the bundle in
13 improving mortality and outcomes in general. And
14 it led to a question that I had that I would like
15 some elucidation. I'm going to turn to you guys
16 a lot because if it wasn't clear to me in the
17 submission, I think I should probably ask you so
18 we can be clear.

19 The actual level of evidence in the
20 table that's in this report varies from element
21 to element, and for some of it the evidence is
22 low or weak or doesn't have as a high a level.

1 So if you look at all of these elements which
2 occur over time, is the level of evidence robust
3 for all of them, and can we say every one of
4 these is necessary for the bundle to be
5 effective, or is the evidence -- I know the
6 evidence is really good for early blood culture,
7 I know it's really good for drawing the lactate
8 to gut therapy, and I know you got to give fluid
9 if somebody's going into shock, but what about
10 some of these later elements, the reassessment,
11 the vasopressors, the repeating lactate level?
12 Is the level of evidence for that of the same
13 type?

14 DR. TOWNSEND: It's Sean again. I
15 think there's variation, as you point out. If
16 you were to scroll down further on the page,
17 there's an evidence rating where the preliminary
18 assessment shows -- compared to the 2016
19 guidelines, the expert panel's rating there using
20 the grade methodology for the evidence. And as
21 you point out, they measure not only the quality
22 of the evidence using the grade criteria, but

1 they also measure the recommendation of the panel
2 is an aspect of the reporting under grade.

3 So in each case and I think right
4 there you've got it, or just up a little bit. In
5 each case, you see that the NQF staff have taken
6 from the guidelines what the recommendations were
7 on these aspects. Where there are low quality of
8 evidence, especially around things like lactate
9 or repeating lactate, that's the lowest -- ranked
10 the lowest evidence and the lowest quality
11 recommendation as a weak recommendation.

12 Everything else gets a strong recommendation or
13 it's a best practice statement, which is also a
14 strong recommendation.

15 So I would say there's variation.
16 It's been adjudicated independently by this
17 expert panel and it's pretty much as listed here.

18 Manny, I don't know, you may have a
19 different thought to say.

20 DR. RIVERS: No, I think we should
21 point out that things like antibiotic
22 administration, which is given a strong

1 recommendation, actually has a moderate level of
2 evidence simply because you can't do a randomized
3 prospective trial with plus or minus antibiotics.
4 So I think a lot of it's limited by just
5 basically best practice.

6 And if you look at the remainder, such
7 as the profusion exam, there are multiple studies
8 that show that they do add outcome benefit. Now
9 there's not randomized prospective trials, but
10 large case series, observational studies have
11 shown that if you itemize each measure element,
12 there is additive mortality reduction.

13 DR. TOWNSEND: And this is Sean again.
14 I should disclose I was an author on these
15 guidelines as well.

16 MEMBER GOLDMANN: So the developers
17 present a review, the various studies have been
18 done to show association between the application
19 of one bundle or another. One thing you'll
20 clarify for me, I hope, or for us is that not all
21 those studies use exactly this bundle, I think,
22 because it's evolved. But a number of studies

1 are quoted. The great majority of them are one
2 form or another of a observational or prospective
3 study. There's very little with a true
4 counterfactual.

5 Do you think that it -- what is the
6 application of this bundle that's before us today
7 to those multiple studies and do you think they
8 all can be recruited as evidence that this is the
9 bundle we should adopt because it's -- works?

10 DR. TOWNSEND: This is Sean.

11 (Simultaneous speaking.)

12 DR. RIVERS: -- Rivers.

13 DR. TOWNSEND: Oh, go ahead, Manny.

14 DR. RIVERS: No, please go ahead.

15 DR. TOWNSEND: You go ahead, Manny.

16 DR. RIVERS: Oh, no, I think in
17 principle all studies take the three-hour bundle
18 almost exactly as it is. What the issue becomes
19 is how to assess volume and profusion. And
20 because of two -- the three recent trials:
21 process, promise and arise, they all agreed with
22 the three-hour measure was essentially the same,

1 the reassessment of volume and perfusion status.
2 Because multiple methodologies were done; i.e.,
3 usual care, we consolidated this aspect into
4 multiple options for the clinician to use, but in
5 general they all showed that a re-perfusion
6 assessment was additive in improving mortality.
7 So essentially the bundles are all the same
8 except that re-perfusion aspect.

9 DR. TOWNSEND: And I would add onto
10 that, if you don't mind. So it's -- I think it's
11 really very important to recognize that, as
12 regards septic shock at least, there are only
13 four randomized control trials in literature
14 which sort of govern the application of these
15 principles to the care of sepsis patients, first
16 Dr. Rivers' trial back in 2001. Then there were
17 three trials that came out in 2014 and '15:
18 process first, then promise and arise.

19 And what's very important I'd like to
20 draw everybody's attention to is there's a
21 condition of randomization in those three trials
22 I mentioned. Patients received -- the first four

1 of these elements they had their -- they had a
2 lactate checked, they received blood cultures
3 before antibiotics were given, they got broad-
4 spectrum antibiotics, and on average, if you look
5 at the supplemental tables for those trials, they
6 received 30 mLs per kilogram of fluid. That's
7 before enrollment. So 100 percent compliance is
8 what those patients had with those elements every
9 time, which is pretty remarkable.

10 When it comes to these other questions
11 later about applying vasopressors or how volume
12 status was reassessed, we know that there was a
13 high degree of reassessment of these patients
14 because they were in a clinical trial. Bedside
15 nurse, study nurse, ER physician, ICU physician
16 monitoring those patients the entire time.

17 So we feel and we tried very hard in
18 2015 to -- when this measure was last up to make
19 it perfectly compatible with those three new
20 trials. And Don Yealy, the author of the process
21 trial, worked with us at that time. And we came
22 up with a solution, as Manny mentioned, which

1 included all these strategies to measure human
2 dynamics. And we went forward with that. And I
3 think when we reevaluated that strategy, we said,
4 you know what, we should be more consistent with
5 usual care and allow people to determine their
6 own ways to reassess volume status and not
7 require that measurement.

8 MEMBER AARONSON: So this is Emily
9 Aaronson here. I just had a clarifying question
10 based on what you just said. So just to clarify,
11 when you say that patients received all those
12 things prior to enrollment -- so does that mean
13 that that's for folks that did not receive the
14 fluids, for example, they then could not be
15 enrolled or could not be enrolled in the
16 intervention arm?

17 DR. TOWNSEND: That's right. I should
18 have said prior to a randomization. I apologize.
19 Yes, there was a requirement of entry to the
20 process trial. Patients were initially required
21 to have two liters of fluid -- was the number
22 that they mentioned in the protocol. That was

1 later changed in subsequent years to simply one
2 liter. But on average, if you look at what those
3 patients received, it's close to 30 mL per
4 kilogram.

5 MEMBER AARONSON: Okay. And does that
6 raise any concern about sort of confounding by
7 indication that the clinicians were making
8 choices about who could handle that amount of
9 fluid, and so not really true randomization?
10 Just when we think about the level of evidence to
11 support applying this to all comers, which is
12 really what we're talking about with this
13 measure.

14 DR. RIVERS: This is Manny Rivers.
15 Number one, I want to make sure that people
16 understand that in the process trial there were
17 three different groups. There was a goal-
18 directed, there was a usual care and then there
19 was a modified goal-directed group. Even with
20 the original bundle as it stands, it was compared
21 against the other two groups and it was equal in
22 terms of efficacy and mortality. So at minimum

1 the original measure as submitted showed to be as
2 efficacious as any other delivery of care in that
3 study.

4 So one thing, it was a confirmatory
5 study that if you just did the original measure,
6 you would have equal mortality reduction.

7 However, they provided other methodology that
8 would allow us to extend our profusion exam. So
9 in essence it was a confirmatory trial of the
10 original measure, meaning that sepsis mortality
11 was less than 20 percent for all groups.

12 Now there may have been some other
13 confounders such as patients were admitted to
14 ICUs within two to three hours of hospital
15 arrival, which is not the norm, but it's
16 important to understand that that mortality
17 reduction was the same in all the groups.

18 DR. TOWNSEND: And to your question,
19 Emily; this is Sean again, I guess there could --
20 I think my clarification that I should have used
21 the word "randomization" makes a difference,
22 because the -- if the patients were enrolled in

1 the trial, they would only be excluded for
2 whatever exclusions the trial accepted. And the
3 -- and when I said prior to randomization
4 patients received this amount of fluid, then that
5 should get rid of that possible confounding by
6 indication. In other words, you would -- unless
7 you hypothesize that people looked at patients
8 and said I'm not going to enroll you in the
9 trial. And then -- but I can't tell you about
10 the set of patients who didn't enroll. I just
11 don't have any information.

12 CO-CHAIR EISENBERG: Okay. Are there
13 other questions before Don continues? Yes,
14 Jamie?

15 MEMBER RONEY: Hi, this is Jamie Roney
16 from Texas, Dr. Townsend, and our paths cross
17 again. I have a couple of questions for you
18 guys.

19 One, Dr. Rivers, was your meta-
20 analysis of the resuscitation bundle alone that
21 included like 20,000 almost patients included to
22 support this measure, because we can only discuss

1 evidence that was presented to us for
2 consideration. But that meta-analysis, was it
3 included?

4 DR. RIVERS: It was more of a -- you
5 mean the evidence placed in the measure?

6 MEMBER RONEY: Yes, that you published
7 on looking at resuscitation bundle a decade
8 later.

9 DR. RIVERS: The analysis basically
10 included all the literature, so personal studies
11 that I may have done was not the essence of the
12 meta-analysis, but it was an all-inclusive look
13 at all studies, both observational, quasi-
14 observational, randomized studies. So if there
15 was included a meta-analysis that I did, this was
16 not a reproduction of that. It was basically a
17 comprehensive assessment of the literature as it
18 stands.

19 MEMBER RONEY: Okay. I just wanted to
20 be able to consider that since it was a higher
21 level than even a randomized control trial
22 because of the number of studies that you

1 included in that meta-analysis and the patient
2 sample size.

3 My other question is regarding the
4 six-hour piece of this new three-hour and six-
5 hour bundle. Why do vasopressors and a second
6 fluid bolus wait until a six-hour bundle? Is
7 there really a need for a six-hour bundle, or
8 could it all be lumped into a three-hour window?

9 DR. TOWNSEND: This is Sean. We've
10 never studied requiring vasopressors at three
11 hours. All the data we have is based upon the
12 notion of within six hours of the time of
13 presentation the patient, if they qualify for
14 vasopressors, receive them. So it's difficult
15 for me to say, Jamie, what the right thing to do
16 there would be.

17 The evidence on vasopressors in
18 general hasn't been based on the timing of giving
19 those agents at six-hour or three-hour
20 increments. So I don't see a lot of evidence in
21 the literature to support it. And in terms of
22 data to support the measure, I -- we wouldn't

1 have the data to advance a three-hour time frame.

2 DR. RIVERS: But there is data to show
3 that the longer the duration of hypotension, the
4 worse the mortality. So that cause and effect is
5 definitely established in the literature.

6 MEMBER RONEY: Thank you.

7 MEMBER GOLDMANN: So we can probably
8 move on. Just a couple of other clarifying
9 things. As I looked at your review of evidence,
10 a lot of what you use as experience to back up
11 the effectiveness of the bundle -- and we'll get
12 back to this when we talk about validity in some
13 of the other studies you present. It has to do
14 with trends in mortality due to sepsis over time.
15 And I had some trouble; and I hope you can
16 clarify this, really dis-aggregating the effect
17 of the bundle from secular trends.

18 So what particularly puzzled me was on
19 page 4 -- oh, it's a different page number that
20 they'll have, isn't it?

21 These are figure 1 where you draw a
22 line through a bunch of data points over -- from

1 a number of countries and over quite a period of
2 time. And you say, look, there's been a decline
3 in mortality over two decades. And to me you've
4 drawn kind of a regression line through a bunch
5 of plots. You've drawn a regression line through
6 a bunch of data points without any reference to
7 whether or not bundles are involved. I mean, it
8 looks like mortality due to sepsis for one reason
9 or another has gone down.

10 And that prompted me to look at the
11 Keystone Project's work on sepsis where they
12 couldn't distinguish better outcomes in those who
13 participated in bundle implementation in arguably
14 the best collaborative in the United States for
15 getting results from the people who didn't
16 participate in the exercise.

17 So how convinced are you that there's
18 really an impact of this bundle or modifications
19 thereof per se? I think you point out that most
20 of the data is observational and that there isn't
21 a counterfactual, that there are at least a half
22 dozen potential biases involved including

1 confounding by indication. So what's your level
2 of confidence?

3 DR. TOWNSEND: I think the question is
4 well stated, Don. And the real question is what
5 is the underlying secular trend and what -- why
6 would that just be happening without any actual
7 interventions in the real world influencing a
8 decline in mortality due to the disease process
9 of sepsis?

10 The Surviving Sepsis Campaign is a
11 global phenomenon in each of the countries that
12 are listed here: Australia, New Zealand, the
13 U.K., the United States. There are robust arms
14 of the Surviving Sepsis Campaign which has been
15 promoting in one form or another all of the
16 strategies listed in the current elements of this
17 measure, plus others over time.

18 And so, it gets very difficult to tell
19 you, well, absent the efforts of the Surviving
20 Sepsis Campaign promoting these efforts
21 throughout all of medicine in these
22 industrialized countries, what would have

1 happened? That is a question that is nice to
2 think about intellectually and impossible to
3 answer in reality.

4 So instead we're forced into looking
5 at, well, what happens when we apply sepsis
6 bundles to patients? And I'll just point out I
7 heard a comment earlier in the New York State
8 call. There are over 40 observational trials
9 that suggest sepsis bundles reduce mortality.
10 There is not a single trial that says that they
11 don't exist, that that doesn't happen. And so I
12 was surprised by the comment that those studies
13 don't exist or that they were counterfactual
14 studies where they don't exist.

15 So it gets to be very difficult to
16 answer your question because I can't speculate
17 about what we don't know, which is what would
18 have happened absent the Surviving Sepsis
19 Campaign.

20 DR. RIVERS: And also I refer to you
21 an article published in JAMA just last year. It
22 was about new sepsis definitions. And

1 accompanying those articles was one about the
2 sepsis mortality. And they actually did a meta-
3 analysis in that study. And these were over 50
4 publications where they examined just as you see
5 in the slide where you see the change in sepsis
6 mortality, they found that the mortality 15 years
7 ago was projected to be 46.5 percent, which is
8 exactly the mortality in the original goal-
9 directed study in 2001. And this was 15 years
10 later. So with the same analysis they performed,
11 that I performed submitted to you in this
12 measure, they came up with the same mortality.

13 MEMBER GOLDMANN: Okay. So let's --
14 yes, I'm aware there are lots and lots of
15 observational studies and none have been
16 published with, as you say, different results.
17 But I still think that you might want to -- I'm
18 sure you have considered the effect of coding as
19 shown in the Clompus study, the effect of just a
20 general improvement in the understanding you're
21 supposed to give people fluids and antibiotics.

22 And I just wonder if only fluids and

1 antibiotics had been given whether we'd still be
2 -- there's kind of a -- this disconnect here
3 between the miserable performance you talk about
4 and the gap we're all trying to address and the
5 rationale for this and the dramatic improvement
6 monotonically over time --

7 CO-CHAIR EISENBERG: All right. So --

8 MEMBER GOLDMANN: -- in
9 sepsis mortality. So --

10 (Simultaneous speaking.)

11 CO-CHAIR EISENBERG: Don --

12 MEMBER GOLDMANN: -- but let's move
13 on.

14 CO-CHAIR EISENBERG: -- and others,
15 could we move on from that point?

16 MEMBER GOLDMANN: Yes, that's it. I'm
17 done.

18 CO-CHAIR EISENBERG: You're done? All
19 right. What about other members of your
20 facilitation team? Anything further for them to
21 say?

22 MEMBER BABADY: Hi, this is Esther

1 Babady. I think for me the main -- not so much
2 concern, but question I have for the measure is
3 the fact that with the new guideline, to me there
4 seemed to be some disconnect. And I know some of
5 the presenter are also part of that guideline.
6 And mainly in terms of the definition of sepsis
7 and severe sepsis as it relate to this measure
8 and what's in the new guideline, as well as the
9 timing. I know we talked about the three-hour
10 versus one-hour, but -- versus six-hour, but one-
11 hour is also included in those guidelines. So
12 when hospital tried to reconcile all of this,
13 wouldn't that create some confusion?

14 DR. RIVERS: Can I chime in? This is
15 Manny Rivers. One reason why you have a one and
16 three-hour is simply because inpatient efficiency
17 is much better than emergency department. So
18 when you look at emergency department measures,
19 they actually get a three-hour window simply
20 because of the processing time of patients is --
21 are much different. An inpatient is usually a
22 one-hour time frame because the care is more

1 expedient and obviously the patient familiarity
2 is there. So that's one reason why you have the
3 two different time points because it becomes very
4 difficult for emergency department to actually
5 process a patient and get antibiotics within less
6 than three hours.

7 MEMBER BABADY: Is there some time of
8 presentation or recognition or sepsis, because
9 that's where -- where is sort of the -- when does
10 the clock start?

11 DR. RIVERS: Recognition. We actually
12 over time adopted it because the time of triaging
13 can be anywhere from hours --

14 MEMBER BABADY: Hm-mm.

15 (Simultaneous speaking.)

16 DR. RIVERS: -- patient may not be
17 processed quickly.

18 MEMBER BABADY: Okay. Because in the
19 document I think I was reading it as presentation
20 and I was worried about that. But that just
21 needed clarification.

22 How about the definitions? Are we

1 changing those at all?

2 DR. RIVERS: Definition-wise, again a
3 work in progress. If you go and look at perhaps
4 the video that accompanied that article, they
5 deemed it as a work in progress. There's what
6 they call prospective validation of that sepsis
7 definition. Just for instance, if you look at
8 the definition for septic shock, they wanted to
9 eliminate severe sepsis, so either you're septic
10 or septic shock.

11 But what they didn't realize also is
12 that patients with lactates greater than two
13 would be considered septic shock, but 30 percent
14 of patients in florid septic shock on
15 vasopressors would never make a lactate of two.
16 So in essence you will exclude 30 percent of
17 patients with those new definitions. So they
18 have to be re-calibrated once again and
19 prospectively validated before they become
20 standard.

21 DR. TOWNSEND: Yes, and this is Sean
22 Townsend. I would just say as regard to the

1 definitions there are a number of difficulties
2 applying the Sepsis III definitions, but the two
3 largest hurdles that -- is put out there are: (1)
4 ITD-9 -- rather ITD-10 doesn't recognize that
5 classification. So no hospital in the country
6 could bill using Sepsis III definitions until
7 such time that ITD-10 converts to those
8 definitions. And that would be disastrous
9 financially for hospitals.

10 Secondly, the purpose of using the
11 existing definitions in Sep I or in NQF-0500 is
12 that there is a long history of applying those
13 definitions to patients, and it helps with early
14 detection. So a number of trials suggested that
15 if you use SIRS criteria, you're much more likely
16 to detect a patient at an early stage, whereas
17 the Sepsis III definitions favor much later
18 detection. They are more specific. And so you
19 detect people later in the disease process.

20 CO-CHAIR EISENBERG: Are there other
21 comments or questions at this point from any of
22 the Committee members?

1 (No audible response.)

2 CO-CHAIR EISENBERG: No? Okay. If
3 not, Don, is there anything else that you or
4 others would like to present regarding the
5 evidence, or should we --

6 MEMBER GOLDMANN: I think we're all
7 set. I did have -- just prompted to ask a minor
8 question. Antibiotics are -- what are
9 antibiotics in your definition?

10 DR. TOWNSEND: I think you're asking
11 the question do we apply antifungals or
12 antivirals as well to that category. Is that
13 what you're asking, Don?

14 MEMBER GOLDMANN: Yes, I mean, if
15 somebody came in with influenza and sepsis and
16 didn't get antivirals, would that be adjudicated
17 as not getting proper treatment within three
18 hours?

19 DR. TOWNSEND: No, the measure looks
20 at bacterial sepsis.

21 MEMBER GOLDMANN: But there is a
22 mention of candidemia in some of the background

1 data and how important that is, so would
2 amphotericin be required or acyclovir for herpes
3 sepsis?

4 DR. TOWNSEND: The measure wouldn't
5 measure -- if sepsis -- if the cause is
6 determined to be fungal, then that would not be a
7 part of the measure data set.

8 MEMBER GOLDMANN: Okay.

9 DR. TOWNSEND: If it's viral, it's not
10 as well.

11 MEMBER GOLDMANN: Thanks.

12 MR. DICKERSON: Yes, and, Sean, if I
13 could; this is Bob Dickerson from Telligen,
14 that's one of the things -- when you're looking
15 at infection criteria is patients with fungal or
16 viral infections, those are disregarded. So if
17 that's --

18 DR. TOWNSEND: And that should have
19 been --

20 (Simultaneous speaking.)

21 MR. DICKERSON: -- infection, you
22 would be excluded from the measure. I didn't see

1 that --

2 (Simultaneous speaking.)

3 DR. TOWNSEND: And if I may, I
4 apologize; it's Sean, I should have introduced
5 the -- because Manny and I aren't in the room, a
6 couple of our colleagues who work closely with us
7 on the measure are. Bob Dickerson is one and Dr.
8 Tefera from CMS is there as well. And from time
9 to time they may help us since we don't have feet
10 on the ground.

11 MEMBER GOLDMANN: Yes, I must have
12 missed the exclusion. Is it in the --

13 MR. DICKERSON: So it's not listed as
14 an individual exclusion. Within the data element
15 that identifies whether or not patients have
16 severe sepsis, if the infection is identified as
17 viral or fungal, you disregard that infection.

18 MEMBER GOLDMANN: Yes, so this is
19 actually important. So very often, at least in
20 my ICU, a patient without a positive culture,
21 somebody will say it's viral sepsis. That's how
22 it will be coded. So all those patients are out,

1 correct?

2 DR. TOWNSEND: That's right.

3 MEMBER GOLDMANN: Okay. Is that good
4 or bad? I'm not sure.

5 DR. TOWNSEND: Well, yes, that's a
6 good question, Don. And I think what I hope is
7 that there's a bleed-over effect, that the -- at
8 the level at the ground the provider doesn't have
9 the capacity to measure in their head all the
10 three-hour and six-hour elements, but they know
11 they're treating sepsis. And for the most part,
12 I suspect, that if they followed this algorithm
13 even for viral sepsis, as long as they provided
14 acyclovir for herpes, for example, in the place
15 of using ceftriaxone or something, the other
16 pieces of the algorithm are still very important.
17 And I hope that just by osmosis essentially
18 they're used to this strategy.

19 DR. TEFERA: Yes, this is Lemeneh
20 Tefera from CMS. Since we're talking about
21 antibiotic selection, I also wanted to call out
22 that we recently changed the specification manual

1 to allow for more directed antibiotic choice. In
2 the initial measure it was a broad-spectrum
3 choice that allowed the case to proceed, but in
4 our recent update if a clinician can document
5 both a culture and sensitivities, then the
6 clinician can choose an antibiotic that they have
7 evidence works for the cause of the sepsis, and
8 that will pass the case.

9 And it is important for the broader
10 question I think that was raised earlier about
11 antibiotic stewardship and not having the
12 unintentional impact of promoting too aggressive
13 use of broad-spectrum antibiotics. And we're
14 pleased with that, with our recent change.

15 DR. RIVERS: And also I just wanted to
16 add that --

17 MEMBER GOLDMANN: We'll come back to
18 this later under another section, but let's --
19 before we forget. So if a chart abstractor is
20 looking at a chart and it says sepsis, no
21 organism specified, is that in or out?

22 DR. RIVERS: This is Manny Rivers.

1 You have to look at the nature of our
2 diagnostics. Number one, 30 percent of all
3 septic shock patients will have negative
4 cultures. So many times your therapy is empiric
5 simply because -- whether you get sputum, blood,
6 urine, etcetera, just the fact that patients will
7 be culture-negative -- and they have the same
8 mortality actually as a patient who's culture-
9 positive.

10 Over the last year there's been two
11 developments: There is procalcitonin that's
12 become FDA-approved, which has been shown to have
13 a decrease in antibiotic use of about four
14 percent in a recent article in JAMA. That will
15 aid in terms of antibiotic stewardship. And
16 there's also some PCR-based technologies that
17 will actually tell us whether you're bacteremic
18 within one-and-a-half hours of presentation.

19 So I think as we evolve and
20 accommodate more developments, that will aid our
21 diagnostics as well as therapeutic interventions.
22 I think that's just the fluidity of how sepsis

1 is, but I think we're in some --

2 (Simultaneous speaking.)

3 MEMBER GOLDMANN: Yes, and that's
4 fine. I'm just trying to settle on what the
5 denominator and numerator are, but that --

6 DR. RIVERS: Yes.

7 MEMBER GOLDMANN: We can probably move
8 on.

9 DR. TOWNSEND: No, but, Don, let me
10 answer your question. I can be very specific.

11 So, yes, sepsis not otherwise
12 specified would be caught as -- initially as one
13 of the coded diagnoses that could qualify for the
14 measure. Very important for the Committee to
15 understand. Sep I does not base its whole
16 denominator on coded data. There's a review for
17 whether severe sepsis or septic shock is present
18 based upon criteria specific for the measure that
19 determines the denominator. It's not simply the
20 coded population.

21 MEMBER GOLDMANN: Okay. Great.

22 CO-CHAIR EISENBERG: Are there other

1 questions for the developers? If not, this is a
2 maintenance measure. It's been endorsed already,
3 which means that we don't necessarily have to
4 vote on evidence, but we can if any Committee
5 members want us to.

6 Are there any Committee members that
7 would like us to vote on the evidence? Okay.
8 We've got one.

9 MEMBER AARONSON: This is Emily. I'm
10 just wondering if we don't vote on the evidence,
11 does that preclude us from continuing on to look
12 at validity and reliability?

13 CO-CHAIR EISENBERG: No. No, it's
14 simply on the evidence.

15 MEMBER AARONSON: That's helpful.
16 Thank you.

17 CO-CHAIR EISENBERG: Okay? And we
18 will go -- we will be voting on the evidence,
19 because there is one Committee member that wants
20 to. Okay?

21 So, Mauricio, are we ready to move
22 ahead with that?

1 MR. MENENDEZ: Ready to go. For
2 Measure 0500 for evidence, please vote now. One
3 for high; two for moderate; three for low; four
4 for insufficient.

5 (Voting.)

6 MR. MENENDEZ: Okay. Voting is now
7 closed. The final count is 27 percent for high;
8 60 percent for moderate; 0 percent for low; 13
9 percent for insufficient. Final verdict of pass.

10 CO-CHAIR EISENBERG: Very good. Thank
11 you, Mauricio.

12 Okay. Let's move forward then and
13 talk about gaps in care and opportunities for
14 improvement, please.

15 MEMBER GOLDMANN: Yes, so I think
16 there's a lot of evidence presented here that
17 there's a gap. I don't know that we need to
18 spend a whole lot of time on this. Abundant data
19 based on experience so far shows that not only is
20 there a general gap in the country, but there's a
21 lot of variation including some variation in
22 stratified data by race, ethnicity and so forth.

1 So I don't know that we -- I don't
2 have any particular problems with that. Anybody
3 else have questions?

4 MEMBER RONEY: I would just like to
5 have a comment on the record that we definitely
6 see gaps in clinical practice of use of these
7 measures for whatever reason. It's been decades
8 that these guys have worked to get these
9 implemented in our hospitals and yet we still see
10 lack of implementation.

11 CO-CHAIR EISENBERG: Any other
12 comments or questions?

13 (No audible response.)

14 CO-CHAIR EISENBERG: If not --

15 MR. MENENDEZ: Voting is now open for
16 Measure 0500 for performance gap. Vote one for
17 high; two for moderate; three for low; four for
18 insufficient.

19 (Voting.)

20 MR. MENENDEZ: Voting is now closed.
21 The final verdict is -- or sorry, the final count
22 is 93 percent high; 0 percent moderate; 7 percent

1 low; 0 percent insufficient. Final verdict is
2 pass.

3 CO-CHAIR EISENBERG: Thank you.

4 Now the next section is something that
5 we haven't done yet, at least at this Committee
6 meeting, and that is to evaluate the composite
7 quality construct and rationale. Let me read to
8 you what that means.

9 "The quality construct and rationale
10 should be explicitly articulated and logical. A
11 description of how the aggregation and weighting
12 of the components is consistent with the quality
13 construct and rationale also should be explicitly
14 articulated and logical."

15 So let me ask our facilitators to tell
16 us what they can about that.

17 MEMBER GOLDMANN: Well, I'd like to
18 either let my colleagues weigh in or ask the
19 developers to comment on why -- specifically why
20 we need to have all these elements in two
21 different time periods in a single put-together
22 measure. It wasn't clear. I have the sense that

1 they're weighted actually quite differently in
2 terms of evidence versus people saying it's a
3 good idea and in terms of the time in which
4 they're administered. So I'd love to hear a
5 little bit more about that, because it -- I
6 couldn't get my arms really around it, to be
7 honest.

8 DR. TOWNSEND: So this Sean. I'll
9 take a stab at that.

10 Our -- the reason we chose an all-or-
11 nothing measure for sepsis care was it actually
12 emerged out of the Institute for Healthcare
13 Improvement, circa 2004, where all-or-nothing
14 measurement became all the rage, to say the
15 least. And this -- the IHI and the Surviving
16 Sepsis Campaign banded together and developed
17 sepsis bundles as an all-or-nothing measure with
18 equal weight at that time.

19 There's a sense and logic to it beyond
20 just being the same time and place as when this
21 was a popular idea. Here in the case of this
22 diagnosis it spans two degrees -- two different

1 diagnoses, really. Severe sepsis and septic
2 shock are elements that qualify for -- or both
3 diagnoses qualify for this measure. And the
4 measure is constructed so that they are -- there
5 are dependencies both in time and then qualifying
6 for one component based upon them qualifying for
7 a prior component.

8 And so the best example here would be
9 the fluid requirements. Patients are required to
10 receive fluids only if two things are -- two
11 criterion are met: that they're hypotensive or
12 the lactate that was required in number one, the
13 first part of the numerator statement, came back
14 greater than four. Otherwise, patients don't
15 qualify for that part of Sep I or NQF-0500, and
16 they can pass through without receiving fluids.

17 Likewise, for vasopressors patients
18 are required to get vasopressors if they remain
19 hypotensive after getting that fluid challenge.
20 So there's another dependency there. So there's
21 an internal dependency of each piece of the next,
22 and it really reflects in many ways the severity

1 of the illness that the patient has.

2 CO-CHAIR EISENBERG: Good. Thank --

3 DR. TOWNSEND: They don't all apply.

4 So the right answer I guess to say is this: If a
5 patient doesn't qualify for vasopressors or
6 doesn't qualify for fluids, they still pass Sep
7 I --

8 CO-CHAIR EISENBERG: Thank you very
9 much.

10 DR. TOWNSEND: -- without -- yes.

11 CO-CHAIR EISENBERG: Piero?

12 MEMBER GARZARO: Yes, I have a
13 question. At any point did you mention having
14 read the whole documents, let's start out with
15 that-- but at any point do you mention or comment
16 on all the conditions that could cause an
17 elevated lactate that are not related at all to
18 sepsis? Because I see, at least in my hospital,
19 the lactate level has become equivalent of the
20 gold standard. If the lactate level accelerated,
21 the patient must be septic, therefore they may
22 need to get vancomycin irrespective of what they

1 had. Either they are crushing chest pains or
2 have liver failure. So do you account for those
3 elements?

4 DR. RIVERS: This is Manny Rivers.
5 One thing that lactate is is it's a risk
6 stratifier. It does not diagnose sepsis. So it
7 is an adjunct to help the clinician look at how
8 -- or decide illness severity. So a stand-up
9 lactate alone, you can have asthma, metformin
10 use, vitamin deficiency. These are all things
11 that can cause lactic acidosis. However, in the
12 presence of suspected infection along with
13 lactate, that is the risk stratification piece of
14 why we use lactate. So a stand-alone. It is not
15 a diagnostic criteria for sepsis.

16 DR. TOWNSEND: And to further help you
17 with that, we permit the provider to say this is
18 not sepsis if they don't believe this is sepsis,
19 and the abstraction of the chart stops at that
20 point. So there is a mechanism by which a
21 provider can just simply say, yes, lactate 7, but
22 this is not sepsis.

1 MR. DICKERSON: And if could add --
2 this is Bob, if I could add one more thing.
3 There are -- there is guidance within the
4 specifications that if a sign of organ
5 dysfunction is identified as being due to
6 something -- say for example a chronic condition
7 or something other than infection, then you would
8 disregard that value. So an example may be an
9 elevated creatinine and they've documented the
10 patient he has chronic kidney disease.

11 MEMBER AARONSON: This is Emily here.
12 So that question I know has come up just in our
13 work group offline, and so just wanted to raise
14 it here. And perhaps this is better discussed in
15 validity. But that piece exactly around the
16 determination of if organ dysfunction is new or
17 not, I'm concerned that that requires
18 abstractors, many of whom are not clinical --
19 clinicians themselves, to make a clinical
20 determination. How do you all sort of navigate
21 that piece around having abstractors determining
22 what the delta is for new organ dysfunction which

1 may be superimposed on a chronic condition?

2 DR. TOWNSEND: So a good example here;
3 this is Sean Townsend, would be chronic kidney
4 disease again. There are criteria that the
5 measure lays out that there needs to be an
6 increase in creatinine above the baseline of a
7 certain amount to qualify in chronic kidney
8 disease for an organ dysfunction. And so, one
9 answer to your question is that there are
10 criteria available.

11 The other answer is that the onus
12 should not be on the abstractor. The onus is on
13 the provider to declare whether there is an acute
14 and chronic condition that's occurring. And if
15 there -- if they say it's a chronic condition and
16 not acute, again that -- the patient will not be
17 considered part of the measure.

18 CO-CHAIR EISENBERG: Any other
19 comments or questions regarding the rating for
20 composite quality construct and rationale?

21 (No audible response.)

22 CO-CHAIR EISENBERG: If not, I think

1 it's time to vote.

2 MR. MENENDEZ: Voting for 0500
3 composite is now open. Vote one for high; two
4 for moderate; three for low; four for
5 insufficient.

6 (Voting.)

7 MR. MENENDEZ: Rocco, can you please
8 place your vote?

9 CO-CHAIR EISENBERG: Jeff, are you
10 voting on this one?

11 (No audible response.)

12 MR. MENENDEZ: Okay. Voting is now
13 closed. The final count is 33 percent high; 47
14 percent moderate; 7 percent low; 13 percent
15 insufficient. Final verdict of pass.

16 CO-CHAIR EISENBERG: Thank you. Let's
17 move onto reliability.

18 MEMBER GOLDMANN: So this was done
19 back in 2012, if I understand correctly. And
20 we've already talked before about the statistics
21 of signaling the noise ratio, which very few of
22 us in the room truly understand, but it looks

1 good. It's in the 90s and it seemed pretty
2 clear. So, but others on this group may have
3 comments.

4 CO-CHAIR EISENBERG: Are there any
5 other comments or questions about reliability?

6 (No audible response.)

7 CO-CHAIR EISENBERG: All right. Are
8 we -- let's move onto a vote.

9 MR. MENENDEZ: Voting for 0500
10 reliability is now open. Vote one for high; two
11 for moderate; three for low; four for
12 insufficient.

13 (Voting.)

14 MR. MENENDEZ: Voting is now closed.
15 Final result is 47 percent high; 47 percent
16 moderate; 0 percent low; 7 percent insufficient.
17 Final verdict of pass.

18 CO-CHAIR EISENBERG: Thank you. Let's
19 move onto validity testing.

20 MEMBER GOLDMANN: So there was an
21 analysis done on some enormous number of records.
22 I think it was done by CMS. Was it done by CMS?

1 (No audible response.)

2 MEMBER GOLDMANN: And certain
3 associations and conclusions were drawn about the
4 fact that those patients who had successful
5 completion of the bundle had a lower mortality,
6 substantially lower mortality actually than those
7 who failed the bundle. I think those were the
8 words, in quotes, "failed the bundle."

9 So to be honest, without access to the
10 primary data and the model and the methods used I
11 can't evaluate this. It's -- I -- what I
12 appreciate is, I think we'd all appreciate is a
13 discussion of the forms of biases you think may
14 be involved, the explicit way in which variables
15 were entered into the model, confounding was
16 adjusted for. Was there any thought of
17 indication bias? Just as it stands it's just
18 another observational study like the women's
19 health study that --

20 DR. TOWNSEND: Could you articulate
21 your problems with the women's health study?
22 That was a joke.

1 MEMBER GOLDMANN: I'm just thinking
2 about --

3 (Laughter.)

4 (Simultaneous speaking.)

5 MEMBER GOLDMANN: -- at risk for
6 significant myocardial and cerebrovascular events
7 for years. There was an observational study that
8 apparently was not backed up by a clinical trial.
9 So, and here I think the risk is potentially
10 higher in some ways in terms of the nature of the
11 data. So I'd like to hear defense of this --

12 DR. TOWNSEND: Sure.

13 MEMBER GOLDMANN: -- particular
14 approach.

15 DR. TOWNSEND: Sure. Well, I don't
16 want to defend it. I'd rather describe it.

17 Did I hear Emily? Did somebody have a
18 question?

19 MEMBER AARONSON: Yes, sorry. I guess
20 just to add to our sort of initial -- We're going
21 to ask you questions and have you reply. I just
22 want to be sure that you have sort of all of our

1 questions available.

2 I think from the validity perspective,
3 just to share with those in the group that are
4 not in our work group and maybe haven't gone
5 through all of the materials in detail, I think
6 the piece that was really helpful that we
7 received in Section 2B.2.2 of the data sheet that
8 described the measure was the 303 cases which
9 were selected randomly to be validated.

10 And I guess the concern around
11 validity that I have that I'd be interested in
12 hearing more about is that from that analysis 73,
13 or 72.7 percent of those data elements did not
14 reach sufficient levels to be deemed sort of
15 acceptable. They were below that 90 percent
16 threshold.

17 And I know that there's a hypothesis
18 which is presented in this document, which is
19 that -- acknowledging that it's fairly low
20 agreement -- but that since the time that this
21 data has been collected there's been lots of
22 clarification provided to abstractors, and the

1 hypothesis is that since that clarification has
2 been provided to the abstractors and since the
3 measure itself has been streamlined, that the
4 validity -- we would expect to be much higher at
5 this point. But I guess it's just a little
6 concerning in my mind that we don't have that
7 data available and that the data we do have
8 available suggests that actually the validity is
9 quite low in looking at the cases that were
10 reviewed in detail.

11 DR. TOWNSEND: So --

12 MS. MARINELARENA: Sean --

13 DR. TOWNSEND: It's Sean. Go ahead.

14 MS. MARINELARENA: Hey, Sean, this is
15 Melissa. Do you mind if I just quickly describe
16 what is required from NQF and then I'll let you
17 talk about the data that is provided? Is that
18 okay?

19 DR. TOWNSEND: Sure.

20 MS. MARINELARENA: Okay. So what the
21 updated data that we got from the measure
22 developers; and I'm going to talk about what --

1 this is a composite. So for a composite we
2 require different testing. And for this at
3 maintenance we require measure score validity
4 testing.

5 Now CMS does do the data element
6 validity testing, but that's at the patient
7 level. We want it at the measure score at the
8 facility level. So it's interesting to look at
9 the data elements, but that's not what we require
10 for a composite measure. And I quote it here.
11 And this link will actually take you -- if you
12 look on page 11, the link that says "NQF
13 Composite Performance Measure Evaluation Guidance
14 2013" will take you to our report.

15 That states that, "Validity testing is
16 directed toward the inferences that can be made
17 about accountable entities on the basis of their
18 performance measure scores. For the purposes of
19 endorsing composite performance measures,
20 validity testing of the constructed composite
21 performance measure score is more important than
22 validity testing of the component measures. Even

1 if the individual component measures are valid,
2 the aggregation and weighting rules for
3 constructing a composite could result in a score
4 that is not actually a reflection of quality."

5 So I just want to let you know that
6 that -- it's a different requirement for a
7 composite measure for validity. So we're looking
8 at the measure score.

9 DR. TOWNSEND: So this is Sean
10 Townsend. So with Melissa's remarks we were
11 aware when we submitted the measure. And there
12 were two different questions. I heard a question
13 about the data element information that was
14 presented and a separate question about the
15 mortality analysis. And I'll try to handle those
16 in turn starting with the last question first
17 regarding the data elements pieces.

18 So we're aware that the appropriate
19 testing was at the performance measure score, not
20 the data element score. At the same time, we
21 wanted to provide some insight into the
22 performance that we had assessed on the data

1 element level for purposes of full disclosure.
2 And we do advance the position that the measure
3 has gone through a stabilization phase.

4 And the first four revisions of the
5 measure; they happened in each quarter
6 performance, had substantial changes to the data
7 elements as they were written. And this would by
8 nature lead to some degree of confusion among the
9 abstractors who had to abstract those data
10 elements. And we believe that the differences
11 that you're seeing at the data element level are
12 attributable to the changes we were making as the
13 measure unrolled.

14 As you may be very well aware, this is
15 the most complicated measure that has been in the
16 IQR to date. And so the specifications were
17 quite broad as they were taken from its initial
18 conception into its application nationally. And
19 in some ways the hospitals drive that themselves
20 because they pose questions to quality and say
21 what happens in this circumstance and what
22 happens in that circumstance? And then the

1 answers are aggregated and then we update the
2 specifications in response to that type of
3 questioning.

4 So you do see some variation at the
5 data element level, which has caused us to
6 suppress the measure for benchmarking purposes.
7 So there is no benchmarking data available to
8 hospitals with regard to Sep I until such time
9 we're convinced that there's stability at that
10 level.

11 That said, at the performance measure
12 score level, which as Melissa pointed out, is
13 appropriate for assessing the validity of a
14 composite measure, the roll-up of all those
15 elements suggests that there is tight correlation
16 with the observed mortality of the patients in
17 the data set.

18 And so, at that level of testing we
19 show a couple different ways we demonstrate
20 reduction in mortality. The first method is just
21 a straight comparison of those who passed the
22 measure versus those who failed the measure,

1 looking at mortality of those patients up to 30
2 days post-discharge. And there it's a 1.36 to
3 1.41 difference overall risk ratio in mortality
4 if you pass the elements versus if you were to
5 fail them.

6 But then we thought, well, at the
7 performance measure score, which is the
8 appropriate testing, we ought to also break down,
9 well, what happens at percentiles of performance?
10 Can we show that there's correlation at each
11 level of change? So if you perform at 20
12 percent, what's your mortality? If you perform
13 at 30 percent, what's your mortality? If you
14 perform at 70 percent, what's your mortality?

15 And we provide that information as
16 well, demonstrating that in the majority of
17 changes between deciles of performance and
18 compliance there are statistically significant
19 correlations and drop in mortality.

20 So ask me questions about that, but
21 that's the general overview.

22 MEMBER AARONSON: That's very helpful.

1 Thank you.

2 DR. TOWNSEND: In the absence of
3 further questions I just would say that the
4 mortality analysis that -- there was a specific
5 question about that, and if I didn't answer it in
6 full, please ask me further questions.

7 MEMBER GOLDMANN: It's a question
8 about the statistical analysis and how it dealt
9 with bias and confounding that often makes these
10 kinds of things problematic.

11 DR. TOWNSEND: Well, which biases
12 would you be thinking of, Don?

13 MEMBER GOLDMANN: That's for you to
14 tell me. I'm not going to coach you on bias and
15 confounding. If you guys don't know what biases
16 are in play, then I'm really worried.

17 CO-CHAIR EISENBERG: So I think can we
18 move forward at this time or are there still
19 unanswered questions that we'd like to address?

20 MEMBER GOLDMANN: I think that the
21 developers who did a statistical analysis that
22 claims robust association mortality should be

1 able to tell us how they controlled -- what they
2 did what they thought were biases and what they
3 did was confounding, and whether there's residual
4 confounding and bias that could account for these
5 results, which are pivotal results. I mean, if
6 they are, quote/unquote, "real," then this is a
7 terrific thing.

8 DR. TOWNSEND: So I'll be glad to
9 answer this, Don. It's very -- the analysis is
10 an analysis that you're very familiar with, the
11 type of work that we've both done at the
12 Institute for Healthcare Improvement together.

13 We simply took all-or-nothing
14 compliance and we said for all comers that
15 applied to -- this measure applied to them, if
16 you pass Sep 1, what was the mortality in that
17 group? And if you failed it, what was mortality
18 in that group? And then you see the results
19 here. So it's raw mortality and there's no risk
20 adjustment involved in this.

21 The measure in some ways self-
22 stratifies in terms of risk of illness. And I've

1 alluded to this previously. You don't qualify
2 for certain elements if you're less ill and you
3 qualify for more complex elements if you're in
4 shock, for example, versus severe sepsis. So you
5 get vasopressors or repeat perfusion assessment,
6 or the fluid bolus for that matter. Each of
7 those things are -- they're clinical data upon
8 which you qualify. So severity of illness is
9 dealt with by the nature of the dependencies
10 themselves.

11 MEMBER GOLDMANN: Yes, I -- well,
12 first of all, the Institute of Healthcare
13 Improvement has never done a multi-variable
14 analysis on anything, but -- so I actually -- so
15 basically did not make an effort to control for
16 confounding or for confounding by indication or
17 by why some people were prone to get the bundle,
18 some weren't. So basically this is a crude
19 bivariate analysis of association, right?

20 DR. TOWNSEND: That's essentially
21 right. And my recollection of the measurement
22 that we incurred at hospitals was very similar to

1 this.

2 MEMBER GOLDMANN: Okay. I just want
3 to for the record be clear, because it influences
4 the weight in which I put on this study.

5 DR. RIVERS: This is Manny Rivers.

6 CO-CHAIR EISENBERG: Are there -- oh,
7 go ahead.

8 DR. RIVERS: Yes, if you look at --
9 (Simultaneous speaking.)

10 CO-CHAIR EISENBERG: I'm sorry, we can
11 barely hear you.

12 DR. RIVERS: Oh, I'm sorry. If you
13 look at figure 2 -- we supplied this on page 40.
14 If you look at all the trials; and this is
15 combination of experimental, randomized, et
16 cetera, the mortality reduction of 25 percent is
17 almost identical to the literature. So there is
18 not a great deviation from what we've seen from
19 an historical examination of the literature. And
20 this is almost identical.

21 DR. TOWNSEND: This is Sean again.
22 And I would just add here that it's very easy to

1 say, well, hypothetically maybe this could have
2 happened, didn't it? But in fact, there is just
3 nothing but a dearth of evidence to suggest that
4 acting on the measure and complying with it does
5 not reduce mortality. There's simply no evidence
6 to that effect.

7 And so while we could speculate about
8 things were uncontrolled or perhaps confounded
9 the analysis, we see no evidence that that's
10 what's happening.

11 (Pause.)

12 CO-CHAIR EISENBERG: There seems to be
13 a break in the action. Does that mean our
14 questions have been answered to whatever degree
15 of satisfaction --

16 (Simultaneous speaking.)

17 MEMBER GOLDMANN: All I'm doing is I'm
18 talking about the data that's sitting right in
19 front of us, not about the vast number of studies
20 and all the weight of evidence. Obviously all
21 that stuff influences your degree of confidence
22 in whether or not there's a true association.

1 And I don't think we need to do some sort of a
2 publication bias analysis and all that kind of
3 stuff. I mean, there's a lot of stuff out there.
4 But I'm just pointing out this is a rigorous
5 committee, and what you presented here is not a
6 rigorous study. So that's the only point.

7 CO-CHAIR EISENBERG: Okay. So noted.
8 Thank you. But I think at this point we've
9 gotten as much information from the developers as
10 we're going to get on that particular question.

11 DR. TOWNSEND: I do have one more
12 remark, actually. Enrolling this many patients
13 in the type of simple analysis that I've
14 described and compliance versus non-compliance
15 associated with mortality is unheard of in the
16 course of three quarters of data. And so, the
17 likelihood that the difference in the two
18 statistics being described by some confounding
19 element is extraordinarily low at this degree of
20 enrollment.

21 MEMBER GOLDMANN: Can we cut this
22 debate off? I think the last thing you want to

1 do is challenge me on this. So let's just cut it
2 and do a vote.

3 CO-CHAIR EISENBERG: Okay, so this is
4 Woody Eisenberg and I think that it's a good idea
5 for us to cut off the debate on that particular
6 issue but I do have to ask the committee members
7 if there is any other comments or questions that
8 they would like to raise.

9 Any on the phone?

10 MEMBER LEWIS: No, I don't have any.

11 CO-CHAIR EISENBERG: Okay, if not,
12 Mauricio, can we move ahead?

13 MR. MENENDEZ: So for 0500, validity,
14 voting is now open. Vote 1 for high; 2 for
15 moderate; 3 for low; 4 for insufficient.

16 Voting is now closed. The final count
17 is zero percent high; 73 percent moderate; 7
18 percent low; 20 percent insufficient. Final
19 verdict is pass.

20 CO-CHAIR EISENBERG: Very good. Thank
21 you.

22 So, at this point, we are going to

1 move on to feasibility, which again, is a little
2 bit different because we've got a composite
3 measure. So, I -- I'm sorry, what was that? Oh,
4 I'm sorry. I've skipped a spot -- a portion of
5 our responsibilities here.

6 So we're moving on to analysis to
7 support composite construction. Thank you.

8 Does the facilitating team have any
9 comments on it?

10 MEMBER GOLDMANN: Any one of my
11 colleagues can lead; kind of lay it out here.
12 Yes, why don't you take a crack at it?

13 MEMBER ADALJA: So for 2(d) for the
14 composite, the empirical analysis should
15 demonstrate that the component measures add value
16 to the composite and the aggregation of weighting
17 rules are consistent with the quality.

18 And then they have an analysis showing
19 a demonstration of each component to the
20 composite score, the three-hour and six-hour
21 measures. And they have, they breakdown repeat
22 volume and profusion into a focused exam in

1 hemodynamic elements that are no longer -- that's
2 no longer required and they don't have any data
3 on the new attestation strategy, where you just
4 have to say that you have done this.

5 And then there is a little chart below
6 there that I think is kind of helpful to show you
7 what the three-hour and six-hour elements are to
8 catalogue -- to catalogue what goes on in the
9 bundle itself.

10 So I think then the question is
11 whether or not these components add value to the
12 composite measure. And I think there's a lot of
13 discussion in general about unbundling the bundle
14 and which are the most important and which ones
15 aren't. And clearly, some of them are linked to
16 each other and dependent upon each other and fit
17 the criteria for a component but there is some
18 debate that goes on regarding unbundling the
19 bundle.

20 But I don't have anything else
21 specific to say.

22 CO-CHAIR EISENBERG: Thank you. Any

1 other comments or questions?

2 Okay, if not I think we're moving
3 ahead.

4 MEMBER GOLDMANN: I just have one
5 question. I note there was a note that there's
6 no -- that this is not yet suitable for an
7 electronic measure. And does that come here or
8 do we do that under usability?

9 MS. MARINELARENA: That's under
10 feasibility. This is just the composite
11 construct. So you're looking how each of the
12 data elements -- how each of the elements
13 contribute to the overall composite.

14 MEMBER GOLDMANN: Okay.

15 MS. MARINELARENA: Usually, we're
16 looking to make sure that none of the elements
17 are top-tottered or covering up anything. That's
18 what we're looking for.

19 MEMBER GOLDMANN: All right.

20 MR. MENENDEZ: Okay, voting for 0500,
21 2(d) composite is now open. Vote 1 for high; 2
22 for moderate; 3 for low; 4 for insufficient.

1 Voting is now closed. The final count
2 is 7 percent high; 67 percent moderate; 13
3 percent low; 13 percent insufficient. Final
4 verdict of that is pass.

5 (Simultaneous speaking.)

6 CO-CHAIR EISENBERG: Very good. Thank
7 you.

8 Could I ask those of you on the phone
9 who aren't speaking as part of the meeting to put
10 yourselves on mute, please?

11 And now --

12 MEMBER GOLDMANN: Could I ask a
13 procedural question?

14 CO-CHAIR EISENBERG: Yes.

15 MEMBER GOLDMANN: When this goes
16 forward for whatever use, others may consider, do
17 they know the vote or do they just say it passed?
18 Because that's an unusually divided vote.

19 MS. MARINELARENA: Well, we will, when
20 we summarize it, we do, we list out the number of
21 votes. And it's actually we look at the high --
22 the combination of high and moderate. We had 7

1 percent for high and 67 percent for moderate. So
2 we take -- we are actually looking at the
3 combination of the two. So that's well above our
4 threshold for passing. It was split between low
5 and insufficient at 13 percent.

6 So, it's not --

7 MEMBER GOLDMANN: So nobody -- so
8 they'll just say it passed and then --

9 MS. MARINELARENA: No, no, no, we
10 write it out in the report.

11 MEMBER GOLDMANN: Oh, okay.

12 MS. MARINELARENA: We list it by
13 individual vote.

14 MEMBER GOLDMANN: Because I think it's
15 important that people know when there's division
16 of opinion.

17 MS. MARINELARENA: If it was closer,
18 we were -- if it goes into the gray zone, the
19 consensus not reached, then we definitely say
20 that. For a criteria that is must-pass, we say
21 that and then we continue voting. This is not
22 even close. So we say we pass it; now we can

1 move on.

2 But when we write out the report and
3 we give a summary to CMS, we list out number and
4 percentages.

5 MEMBER GOLDMANN: That's great. Thank
6 you.

7 CO-CHAIR EISENBERG: Okay, are we
8 ready to move on?

9 So now we're going to be looking at
10 the -- I'm sorry. Further comments? No, okay.

11 Then let's move on to look at
12 feasibility of the measure.

13 MEMBER ADALJA: So next is
14 feasibility, the extent to which specifications
15 include measure logic or prior data that are
16 readily available that could be captured without
17 unique burden.

18 Here, this is -- they talk about data
19 elements are abstracted from a record and you
20 think -- if you look at some of our committee
21 pre-evaluation comments, a lot of them I think
22 have already been addressed because the fact that

1 the attestation doesn't have to -- it's not
2 something that is a date. It comes really from
3 the burden on the abstract. The burden is on the
4 provider for this. So I think most of that has
5 been already talked about and addressed with
6 regard to the feasibility of this measure.

7 CO-CHAIR EISENBERG: Now, it's been
8 talked about and the conversation was what? I'm
9 sorry I don't remember.

10 MEMBER ADALJA: And so we talked about
11 the fact that the burden on the abstract is in --
12 the developers had talked about the fact that
13 this is -- the burden is actually on the care
14 provider to do this. It's not the abstractor
15 that has to go rooting around looking for the
16 diagnosis of sepsis or new organ dysfunction,
17 that type of stuff. It should all be acute
18 versus chronic and that it should all be already
19 available in what they're doing. It's not -- the
20 burden isn't on the abstractor to extract that --
21 to make that diagnosis.

22 And the other point is that some of

1 the stuff, there is some comments that came out
2 earlier in the call we talked about the fact that
3 extensive documentation of parameters that do not
4 currently exist in discrete fields, such as
5 bedside, cardiovascular ultrasound, passive leg
6 raise and I think that's been addressed, as we've
7 emphasized earlier, that this requires an
8 attestation, rather than actual enumeration of
9 which measure is being used for reassessment.

10 CO-CHAIR EISENBERG: Jamie.

11 MEMBER RONEY: I would like to say
12 that from somebody that does these chart
13 abstractions, that it is not very easily done,
14 even for somebody at the doctoral level
15 clinician. And to find the fluid resuscitation
16 and determine appropriate antibiotic, especially
17 based on Biograms and I'm well aware of our
18 Biograms in my area and I'm still determining
19 whether they got the appropriate antibiotic.

20 So, it's important but difficult for
21 us to do and it's been almost impossible to
22 capture fluid resuscitation electronically in the

1 EHR.

2 MEMBER GOLDMANN: I actually have a
3 question. Maybe the developer can help with
4 this.

5 Do we have any idea, based on all the
6 work that's been done, the tens of thousands of
7 records, what a 600-bed teaching hospital would
8 have to put in in terms of FTEs to collect the
9 data?

10 DR. TOWNSEND: This is Sean Townsend.
11 I don't actually -- I can't directly answer your
12 question. I don't know how much effort -- well,
13 actually, since I am a 600-bed teaching hospital
14 in San Francisco and I run the abstraction at
15 this hospital, I can tell you how many people we
16 have dedicated. I have 12 FTE abstractors in my
17 department and four of them work on this measure
18 but they don't exclusively work on this measure.

19 So for an n of 1 and an anecdote from
20 one of the measure developers' hospitals, that's
21 the best answer I can give but I --

22 MEMBER GOLDMANN: Well -- go ahead.

1 Sorry.

2 DR. TOWNSEND: What I like to remind
3 people of is we talk about the burden of
4 collection. You have to compare that to the
5 burden of the disease that we're working with.

6 And here, as Dr. Rivers points out in
7 the beginning with the largest number of
8 inpatient deaths in the country was disease
9 process or people are eight times more likely to
10 die from this disease than other diseases in the
11 hospital, or the fifth largest number of hospital
12 days are attributed to sepsis, the dedication of
13 resources to this disease, this is sort of a
14 minimum of what we ought to be doing.

15 MEMBER GOLDMANN: You know, so have
16 there been actual, even with your own staff,
17 interviews with people who do this work to ask
18 them how this fits into their flow, what it's
19 like to -- I didn't find any qualitative inquiry
20 or ethnographic inquiry about the burden of data
21 collection on the institutions, the opportunity
22 costs. I mean this is very important. Everybody

1 thinks this is very important. I, above all
2 people, know that but everybody thinks their
3 measure is important.

4 And I'm just trying to understand what
5 it means to put this -- and there are some
6 judgments here which I think makes it a little
7 bit more difficult about antibiotics, about
8 attestation, and somebody's got to attest, and is
9 that really an attestation. So people are making
10 judgments. They've got to be trained. And I'm
11 kind of -- I don't understand what the national
12 burden would be if every hospital had to do this,
13 even compared to the impact that a little bit
14 better compliance from the quality improvement
15 activities that would result would be.

16 (Simultaneous speaking.)

17 DR. RIVERS: Yes, I'm at Henry Ford.
18 We have almost a thousand -- well, 850-bed
19 hospital. We average about 60 sepsis cases a
20 month. We have pretty much a 1 FTE and we've
21 been maintaining our database for over eight to
22 nine years.

1 But electronic health records, we were
2 able to basically create an abstraction segment
3 that basically collects the data from medical
4 record into one chart and that chart is actually
5 read by our data collectors.

6 So with electronic health records,
7 it's easy to program -- not easy but that is to
8 say that you can program these healthcare records
9 to abstract this data from certain fields, which
10 actually aids abstraction. So, we're able to
11 accomplish 50 to 60 cases a month with one FTE.

12 DR. TOWNSEND: This is Sean. I would
13 just -- what I would say here is that the
14 information we've provided is that of the 3,000
15 hospitals to whom this measure applies, each of
16 them in three quarters have been able to report
17 cases, 99 percent of those hospitals in each of
18 three quarters has been able to do this work.

19 So as an empiric matter, it is
20 feasible and there's little question about that.

21 The burden of it, it may be
22 substantial but the burden of this disease is

1 justifying that degree of effort.

2 DR. TEFERA: And this is Lemeneh
3 Tefera from CMS.

4 So we do get regular feedback from
5 hospitals and hospital abstractors. And it's
6 certainly a true statement that when the measure
7 initially debuted in the Inpatient Quality
8 Reporting Program, there were a lot of challenges
9 for abstractors. Those challenges were
10 communicated to us and part of the issues related
11 to the validity of the measure is always this
12 ongoing reassessment refinement of the measure
13 from quarter to quarter. We think that the
14 current version, Version 5.2, will be a stable
15 and plateau version. In the last three
16 specifications, there were significant changes
17 and with direct feedback from hospitals to
18 decrease the challenges for their abstractors.
19 And we received the feedback that subsequent to
20 those changes, that abstraction has been more
21 fluid. And our results show that performance has
22 been increasing for the various data elements and

1 the various bundles and we hope that trend
2 continues.

3 MEMBER GOLDMANN: And in your opinion
4 or the opinion of others, if the -- and I know we
5 can't do this ad hoc here but if the elements
6 that require adjudication by a chart abstractor
7 as to whether or not something was appropriate or
8 truly whatever, as opposed to was lactate drawn,
9 was antibiotics given, was fluid given, which is
10 probably extractable by other means, do you think
11 that would eviscerate the bundle and, therefore,
12 we would not make any dent in the problem?

13 DR. TEFERA: This is Lemeneh Tefera.
14 The specification, as written, doesn't really
15 provide the abstractor the opportunity to
16 adjudicate. It's sufficiently precise, where we
17 think the abstractor will be able to determine
18 the clinician's actions and appropriately
19 categorize the case.

20 I think the most recent changes have
21 focused on decreasing clinician charting
22 requirements. So today, clinicians don't feel

1 overly burdened to have to be hypervigilant about
2 what they're writing.

3 So the current specification in the
4 next version we think will continue to drive the
5 improvement we seek without being overly
6 challenging.

7 MEMBER GOLDMANN: Just -- go ahead.

8 MS. MARINELARENA: If it's helpful,
9 CMS offers a free tool. Every hospital uses
10 different software. I worked at Cedars-Sinai and
11 we used Midas.

12 But I went and pulled the free tool
13 that CMS offers off of qualitynet.org, which is
14 the cart tool, and pulled the questions off for
15 this measure. And it's literally you know -- and
16 it asks did the patient receive this. If so, at
17 what date. If it's helpful, I can send that to
18 the committee, if you want to see how this is
19 actually -- how CMS implements the measure.

20 MEMBER GOLDMANN: I've actually been
21 through some of those algorithms and the aids
22 that you get to do it. So I do get that.

1 This is just a quick narrative because
2 narratives are powerful. In our hospital we have
3 certain highly structured and very much supported
4 by the CEO clinical pathways, some of which are
5 required for reporting, although in pediatrics
6 there is less of that. And I can tell you that
7 residents are hounded that they did not check the
8 box of whatever it was when they're on their 18th
9 hour and the patient is sick with status
10 epileptic. It's right in front of them and
11 somebody's hounding them because they didn't put
12 that down, the attestation and, therefore, the
13 hospital is going to get penalized.

14 And so all of this, all we hear, all I
15 hear is people at every level of hospital saying
16 not on us. It's too much. I just can't take
17 anymore.

18 So this may be the most important
19 measure ever but in terms of its impact, I just
20 think we need to really hitch up our pants and
21 say this has real impact; we'd better be darn
22 sure about this one.

1 MEMBER ORLANDO: This is Rocco Orlando
2 and I emphasize that point about the burden on
3 documentation. I think certainly the measure
4 developers have commented on how the provider
5 needs to provide appropriate documentation that
6 that is a very significant burden because of the
7 complexity of the measure and the number of items
8 that need to be documented.

9 I think the task is getting easier for
10 the abstractors, as Dr. Tefera has pointed out
11 but there is -- you know the amount of
12 documentation, the burden on documentation, I
13 actually think may be a distraction from our
14 performance improvement process.

15 And we're, you know our health system
16 is making major investments. I agree with the
17 order of magnitude in terms of nurse performance
18 improvement and abstractors. It's large. We're
19 making that but are we deploying them on
20 documentation and coding or are we deploying them
21 on process improvement.

22 DR. TOWNSEND: I'd like to be a little

1 bit helpful here, if I can. It's Sean Townsend
2 again.

3 You know the only element that's of
4 the type I just heard you folks describe
5 regarding box-checking applies to the attestation
6 in the measure. And every other piece is just
7 routine clinical care and doesn't require the
8 doctor to document anything extraordinary.

9 So let's take the fluids example. In
10 order to determine whether a patient received 30
11 mLs per kilogram of fluid, we look for a few
12 things. We look for a physician order. We look
13 for a volume of infusion, and we look for a rate.
14 Those are all things that anybody writing an
15 order for fluid has to do. It doesn't require
16 special documentation or anything unique for
17 providers.

18 So the abstractors have clear guidance
19 on looking for those elements. If they find
20 them, the measure -- they pass the measure. If
21 they're not there, they fail the measure. But
22 nobody would order fluids without specifying

1 those elements. Likewise for antibiotics, we
2 tell you we need a date and time, when did they
3 begin, and what the drug is.

4 So we're actually just observing care,
5 with the exception of the attestation, where yes,
6 there is an onus to provide that documentation.

7 MEMBER GOLDMANN: Yes, why do you need
8 that? I'm puzzled as to what -- if there was no
9 attestation, everything else were in place, what
10 would change? I mean you really think that
11 somebody is given the fluid bolus, given the
12 antibiotics, drawn the lactate, and all that has
13 to attest that they did an assessment?

14 DR. TOWNSEND: Well, I'll tell you why
15 it's there, Don. You know we forget when we
16 think of our academic facilities where we work
17 that they are not the norm across the country for
18 care. In fact, what, there are 250 or so
19 academic facilities versus more than 2,500
20 nonacademic facilities in the country. And in
21 most of those hospitals, where you have beds of
22 less than 300, you have a single hospitalist on

1 overnight, covering the entire house.

2 And so if you stop and think for a
3 second, I want to be sure that hospitalist gets
4 back and checks on the person they put in the
5 ICU, while they're off discharging somebody else,
6 and admitting somebody from the ER, and taking
7 care of that asthma exacerbation that shows up.
8 It's to make sure that in the staffing situations
9 we see in typical hospitals, that they get back
10 to the bedside and they actually say they did it.

11 We believe doctors won't say they did
12 it if they didn't do it.

13 CO-CHAIR EISENBERG: Let me just
14 interrupt this conversation. We have somebody on
15 the phone that has a question, too.

16 Rocco, please.

17 MEMBER ORLANDO: I asked my question.

18 CO-CHAIR EISENBERG: Oh.

19 MEMBER AARONSON: Yes, this is
20 actually Emily here.

21 CO-CHAIR EISENBERG: Okay.

22 MEMBER AARONSON: I guess I just

1 wanted to clarify around the physician
2 documentation piece. I think that what I'm
3 hearing is that the attestation is the only piece
4 that really alters routine documentation.

5 But I guess I would ask about some of
6 the other elements which were mentioned earlier
7 in the call around, for example, being very
8 explicit about underlying chronic conditions as
9 what's contributing to current lab abnormalities,
10 as well as we talked about abnormalities and
11 vital signs that may look very similar, even in
12 the setting of infection to things like sepsis.
13 However, as long as you document that there's no
14 sepsis suspected and I think those are some
15 things that we have begun to get some coaching on
16 that are a little bit different than what we
17 would always 100 percent of the time routinely
18 document.

19 DR. TOWNSEND: That's right, Emily. I
20 think a good example is metformin use and
21 elevated lactate. I see a lot of charts and a
22 lot of the time I see providers indicate, just as

1 a matter of routine habit now, that the lactate
2 elevation is attributable to metformin, as
3 opposed to infection. And we do that in our
4 differential diagnosis a lot of the time when we
5 write a note or in medical decisionmaking
6 elements of our urgency department notes.

7 So I see doctors trying to describe
8 their actions, mainly, as a part of the work that
9 they do. It's also, like the examples I've given
10 to you are a safeguard. If people are cognizant
11 that SEP-1 is out there and they're being
12 monitored and they want to make sure that they
13 say this is not sepsis, then that is an option
14 but it's not a requirement.

15 CO-CHAIR EISENBERG: Yes, Jamie.

16 MEMBER RONEY: I just wanted to
17 quickly move back to feasibility.

18 I abstract about 1,700 charts from
19 four hospitals a month. And we have four full-
20 time quality people assigned to sepsis. I'm a
21 full-time sepsis coordinator for the region. We
22 have another full-time quality person and we have

1 seven abstractors.

2 And so it's a burden but I'm with you,
3 Dr. Townsend, it's worth it. But it is a burden.
4 And so I think as we look at feasibility, it's
5 not -- this doesn't impact whether we have
6 endorsed the measure but we do need to
7 realistically look at feasibility.

8 MEMBER GARZARO: I have a comment
9 here. And I think the main problem that we're
10 seeing is that the definition of sepsis is more
11 of a syndrome. It is not a disease but a
12 syndrome. So it is a constellation of symptoms.
13 We're trying to treat sepsis like we treat stroke
14 -- stroke alert, sepsis alert, MI alert.

15 But with sepsis, it has a little bit
16 more nuances on it. And what I'm seeing, at
17 least in the hospitals that I work with is the
18 hospitalist or the ED is saying the patient is
19 sick. I have a three-hour window. I am going to
20 start antibiotics. I'm going to start fluids.
21 I'm going to get a lactate. Lactate is 0.1. All
22 right, I'm calling it sepsis. You enter it into

1 that flow sheet and you don't have to think
2 anymore. The patient is septic; that's it and
3 they can push it to the next physician.

4 But is this lack of clear definition
5 of what sepsis actually is, which is severe
6 inflammation and presence of an infection but
7 many times we cannot find the infection, yet we
8 still call it sepsis, which again, goes a little
9 bit against my reality. How could that be?

10 But at some point you have to say
11 well, how much harm are we doing by these very
12 well-intended measures that in the end are just
13 creating people getting antibiotics, vanco and
14 Zosyn; vanco and Primaxin; vanco, Primaxin and
15 Levaquin just because they are very sick. They
16 may be hypotensive for multiple other reasons but
17 we are creating more C. diff. We are creating
18 more work and we say well, our mortality is
19 decreasing. Like yay, you classify somebody that
20 has acute appendicitis as septic, of course they
21 are going to improve with antibiotics but they
22 were going to improve no matter what we did.

1 But in these windows of time, that
2 somebody in the ED really doesn't or the
3 hospitalist doesn't have time to think or get the
4 studies back, they are just going to throw
5 antibiotics, the same way that they did for
6 pneumonia when we had the pneumonia four-hour
7 window that later, fortunately, was eliminated.

8 But in my colleagues here that
9 practice probably have the same experience.
10 Whenever we see a patient admitted with sepsis,
11 we think well, 50-50 chance that this patient
12 actually has sepsis and we need to start
13 investigating well what did the patient actually
14 have, diagnose sarcoidosis, diagnose acute MI,
15 all have diagnosis of sepsis because they fit the
16 definition but really, that's not what they have.

17 So, I'll set down my shoebox now.

18 DR. TOWNSEND: This is Sean. What I
19 think is good news is that we would look for
20 evidence or studies that suggest that that's
21 occurring indiscriminately, that we are
22 inappropriately treating patients in order to

1 make a decision about whether that's actually
2 happening. And you know to date, I haven't seen
3 data or information in peer review journals to
4 that effect that we bring to the table today to
5 make a decision.

6 MEMBER GARZARO: I think that the
7 reason is because we don't publish those. So we
8 are not in a -- if you go to big hospitals that
9 are not research hospitals, it happens. I mean
10 that is the reality. That is real-world
11 medicine. It is not academic medicine. We are
12 not there trying to publish in a journal a study
13 of how badly do we treat our patients.

14 So but that's what happens.

15 DR. TOWNSEND: I appreciate your
16 comment.

17 CO-CHAIR EISENBERG: So let me just
18 remind us all that we sort of strayed from the
19 feasibility issue into the use and usability and
20 burden issues.

21 Pardon me?

22 DR. BURSTIN: Burden is feasibility.

1 CO-CHAIR EISENBERG: Okay. So we're
2 okay.

3 MS. MARINELARENA: If anybody has
4 anything else --

5 MEMBER AARONSON: Yes, is there
6 anything new? Are there any other new ideas that
7 we should be hearing?

8 DR. RIVERS: Well, I just wanted to
9 add that if you look at a parallel disease like
10 stroke and you look at the actual diagnosis of
11 stroke and treatment, only ten percent of
12 patients who get diagnosed with stroke actually
13 go on to get TTA. Ten percent of stroke patients
14 actually are mimics. They are not stroke
15 patients. They have hypoglycemia. They have
16 other issues but it's a highly-documented disease
17 that, obviously, is looked at at various high
18 levels of government, as well as clinical
19 practice. So I think that disease perfectly
20 parallels sepsis and the actual reality is that
21 that's another problem with stroke.

22 CO-CHAIR EISENBERG: Very good. Thank

1 you. Okay, does the --

2 MEMBER GOLDMANN: I have a question
3 for NQF.

4 CO-CHAIR EISENBERG: Yes.

5 MEMBER GOLDMANN: I have a question
6 for NQF. So does NQF ever, as part of endorsing
7 a measure, specify the need for a balancing
8 measure, so I'm thinking of the pneumonia core
9 measure? And that's what everybody around the
10 table is reacting to. We all know that imipenem
11 is thrown at every patient that walks in and
12 doesn't look good.

13 So can you do that?

14 DR. BURSTIN: There have been a couple
15 of examples of measures endorsed with balancing
16 measures but not very many.

17 I guess one question would be what
18 would be a balancing measure for something like
19 this.

20 MEMBER GOLDMANN: I think the two and
21 that they make more burden but one would be
22 overuse of broad spectrum antibiotics, the other

1 would be heart failure and patients getting over-
2 hydrated who had an MI.

3 MEMBER GARZARO: Incidence of C. diff,
4 hospital-acquired C. diff, secondary to overuse
5 of antibiotics. A patient that was just given
6 antibiotics because they were sick when they came
7 in.

8 DR. BURSTIN: It's actually in
9 existence because we do have endorsed measures of
10 C. diff. We do have endorsed measures. We don't
11 have the measure of fluid overload and CHF. We
12 do have a measure of -- CDC put in a measure last
13 year that is now endorsed that looks at overall
14 use of antibiotics in hospitals. So, again, it
15 may be interesting to begin thinking about how
16 those should get looked at.

17 MEMBER GOLDMANN: Yes, it would be
18 good. We don't have to do it now but with
19 antibiotic stewardship now becoming an HHS thing
20 and there is going to be penalties, you just can
21 see it coming. There is going to be this tension
22 between the two camps. Right? ID is going to

1 want to beat down the antibiotics and sepsis is
2 going to want to make sure everybody gets them.

3 CO-CHAIR EISENBERG: Other comments or
4 questions regarding feasibility?

5 DR. RIVERS: Well I have to say we'll
6 have point-of-care testing hopefully within the
7 next five years or so --

8 CO-CHAIR EISENBERG: I'm sorry we
9 can't hear you.

10 DR. RIVERS: We'll have point-of-care
11 testing that's been FDA approved in the last year
12 that hopefully will help both antibiotic
13 administration, as well as stewardship.

14 CO-CHAIR EISENBERG: Thank you.

15 So, Mauricio, I think we're ready to
16 move on to our vote.

17 MR. MENENDEZ: Sure. So voting for
18 feasibility for 0500 is now open. Vote 1 for
19 high; 2 for moderate; 3 for low; 4 for
20 insufficient.

21 Voting is now closed. The final count
22 is 7 percent high; 60 percent moderate; 33

1 percent low; zero percent insufficient, with a
2 final verdict of pass.

3 CO-CHAIR EISENBERG: Very good. Thank
4 you.

5 So we're on the usability, right?

6 MEMBER GOLDMANN: I think we've
7 conflated reliability and usability. I think
8 we've already discussed usability.

9 CO-CHAIR EISENBERG: I think we mostly
10 did. But nonetheless, there may be some comments
11 or questions.

12 So, there is nothing further that the
13 facilitators would like to address on use and
14 usability. Yes, I agree, I think we covered both
15 topics with that last discussion.

16 Are there any comments or questions on
17 the line? No.

18 So it sounds like we are ready to move
19 on to -- oh, yes. Let's just hold. One of our
20 committee members just stepped out. I'm sure she
21 couldn't conceive of the fact that we could move
22 to a vote so quickly. So let's --

1 MS. MARINELARENA: We have quorum.

2 CO-CHAIR EISENBERG: We have quorum.

3 MS. MARINELARENA: We can vote without
4 her. We have quorum.

5 CO-CHAIR EISENBERG: Oh.

6 MS. MARINELARENA: We have quorum
7 because we just need a minimum of 11 votes.
8 Without her, we have 14. As long as we still
9 have 14 votes. We want to move forward so we can
10 get you out of here. Yes?

11 CO-CHAIR EISENBERG: Let's do that.

12 MEMBER LEWIS: That's fine. That
13 works for me.

14 CO-CHAIR EISENBERG: Okay. Okay,
15 Mauricio, it's yours.

16 MR. MENENDEZ: Okay, so voting for
17 0500 use and usability is now open. Vote 1 for
18 high; 2 for moderate; 3 for low; 4 for
19 insufficient.

20 Voting is still open.

21 MS. MARINELARENA: Jamie, voting is
22 still open for use and usability, if you want to

1 vote. If not, were going to --

2 MR. MENENDEZ: The final count is 14
3 percent high; 43 percent moderate; 43 percent
4 low; zero percent insufficient. Final count is
5 --

6 MEMBER AARONSON: So it's consensus
7 not reached. It's not a must-pass criterion but
8 we will gather input from our public and member
9 comment period.

10 CO-CHAIR EISENBERG: So at this point,
11 we can move on to the vote. Is that right?

12 All right, are there any other
13 comments?

14 MEMBER LEWIS: I didn't hear the
15 question. This is Jeff Lewis on the line. I
16 didn't hear that question.

17 CO-CHAIR EISENBERG: Elisa, could you
18 please say -- repeat your comments on that last
19 vote?

20 MEMBER AARONSON: Yes. So this is not
21 a must-pass criterion but we do want to hear what
22 our members and the public think about use and

1 usability. So, we will gather that information
2 during the 30-day member and public comment
3 period.

4 CO-CHAIR EISENBERG: Very good, thank
5 you.

6 MEMBER LEWIS: Oh, okay, thank you.

7 CO-CHAIR EISENBERG: Yes. And so now
8 what we're going to do is move on to a final vote
9 on recommendation for measure endorsement, unless
10 there are any other comments or questions that
11 the committee members have before that vote.

12 MEMBER LEWIS: This is Jeff Lewis
13 again. I have a question.

14 This is an ongoing measure, right?

15 CO-CHAIR EISENBERG: It is a
16 maintenance -- yes, this is for maintenance of a
17 measure that's been in existence for quite some
18 time and has had many revisions. That's right.

19 MEMBER LEWIS: Okay. All right, thank
20 you.

21 CO-CHAIR EISENBERG: Any other
22 questions or comments?

1 If not, Mauricio.

2 MR. MENENDEZ: Overall suitability for
3 endorsement for Measure 0500. Voting is now
4 open. Vote 1 for yes; 2 for no.

5 (Simultaneous speaking.)

6 MR. MENENDEZ: Rocco, would you like
7 to place a vote for overall suitability for
8 endorsement?

9 The final count is 71 percent yes; 29
10 percent no.

11 CO-CHAIR EISENBERG: So the measure
12 moves ahead. The measure will move ahead with
13 our recommendation for endorsement plus there
14 will be some further information collected from
15 public comment, which I am actually going to
16 start right now, right, because we have some time
17 for public comment.

18 So are there any people in the room
19 that would like to add comments? No.

20 Are there any on the phone that would
21 like to add either member or public comments?

22 OPERATOR: If you would like to make a

1 public comment, please press star 1.

2 MEMBER LEWIS: This is Jeff, I don't -
3 - Jeff Lewis. I don't have any comment.

4 OPERATOR: And there are no public
5 comments.

6 CO-CHAIR EISENBERG: Thank you. Okay,
7 hearing none, let me now turn the meeting back
8 over to Melissa so that she can give us some
9 parting words of wisdom and tell us our next
10 steps.

11 MS. MARINELARENA: First of all, thank
12 you all very much for hanging in there, those of
13 you here in the room and especially those on the
14 phone. I know it is a very long day being on the
15 phone.

16 Thank you to our measure developers,
17 also on the phone, who were able to make it and
18 those of you who braved the weather and made it
19 here today.

20 Next steps, very quickly I am going to
21 talk about, and then Christy will actually give
22 you the specifics. But we are going to have a

1 post-meeting call, which is next week, and
2 Christy will verify the date, where we are going
3 to finish discussing the two HIV measures that we
4 deferred today with some additional evidence that
5 they are going to give us so we don't have that
6 discussion that we had today about insufficient
7 evidence.

8 And then we are going to discuss gaps.
9 We have a copy here. You don't have to take it.
10 I'll email it to you. I did sort of a framework
11 where I took all of the measures within our
12 portfolio and additional infectious disease-
13 related measures, I think like some of you had
14 requested, and sort of categorized them into
15 screening, diagnosis, treatment, and outcome.
16 And if there's a lot of antibiotic-related ones,
17 a lot of the C. diff, MRSA, typical ones. So,
18 we'll email it to you so you don't have to take
19 the hard copy. Take a look at that, as you think
20 about gaps within the portfolio.

21 Again, as a standing committee, you
22 are responsible for the management of this

1 portfolio.

2 We'll send you a list of previously
3 identified gaps. There's not a whole lot. A lot
4 of them have already been filled with other
5 measures in different portfolios. So just so you
6 know, just because it's not before this
7 committee, they do exist within our portfolios in
8 different projects.

9 And so we'll talk about that and then
10 we talk about related or competing measures that
11 once all the recommendations have gone through,
12 the measure has to be recommended on its own
13 merit and then we'll look at either related or
14 competing measures. And we'll pull that
15 information for you as well and we'll have all of
16 that.

17 After the post-meeting call, we draft
18 the report. We'll put it out for comment for 30
19 days and then we bring you all together again and
20 we will have a post-comment call.

21 Okay? I'll turn this over to Christy.

22 MS. SKIPPER: Thank you, Melissa.

1 MEMBER GOLDMANN: To clarify, on that
2 vote where there was almost even -- I didn't
3 quite get what happens with that.

4 MS. MARINELARENA: So that is
5 consensus not reached because it did not pass the
6 threshold of greater than 60 percent but it is on
7 a criterion that is not must-pass.

8 So, we will draft -- when we draft the
9 report, we also draft a memo accompanying it,
10 asking for specific comments around usability and
11 use of our members and the public. We want to
12 get that feedback.

13 We'll give that feedback to the
14 measure developers. We'll give it to you. We
15 have the committee also respond to the public.
16 Then you come together and you know depending on
17 what kind of comments we get, do you decide if
18 you want to revote. But it is not a must-pass
19 criterion. It does not kill the measure. The
20 must-pass criteria was scientific acceptability,
21 importance to measure and report.

22 MEMBER GOLDMANN: Because I think you

1 heard a lot of angst in the room about usability.
2 So I just wanted to make sure it got transmitted.

3 MS. MARINELARENA: Absolutely. And we
4 want feedback from users. So we encourage you to
5 -- you know when we put the report out, we'll
6 send it out to you as well. Please encourage
7 your organizations to provide feedback as well,
8 which I think is really helpful to CMS. We have
9 CMS right here and measure developers on the
10 phone. So that feedback is really important.

11 MEMBER GOLDMANN: Great, thank you.

12 MS. SKIPPER: Thank you, Melissa.
13 You've covered pretty much what we will be doing
14 on the post-meeting and the post-draft report
15 call but you can see there on the slide our next
16 webinar is March 23rd from 1:00 to 3:00.
17 Following that, we will have drafted the report
18 of the deliberations and post it for a 30-day
19 member and commenting period and we will bring
20 you back together for that post-comment call on
21 June first.

22 Then your measure recommendations go

1 out to the committee for member voting for 15
2 days. And then member voting, as well as the
3 committee's recommendations go to CSAC review on
4 June 29th. And then this project will be
5 discussed at this -- I'm sorry? The CSAC review
6 -- the measure recommendations and the votes go
7 out for CSAC review on June 29th. And then at
8 the in-person CSAC meeting on July 11th, this
9 project will be discussed, where the CSAC will
10 make the final endorsement decision on the
11 measures.

12 Following that point, all measures are
13 moving to the 30-day appeals period.

14 Are there any questions about next
15 steps or about anything?

16 CO-CHAIR EISENBERG: Not a question,
17 just a clarification. So at our meeting on the
18 23rd, we'll be expecting those committee members
19 that were identified for the HIV measures that
20 haven't been covered yet to be prepared to
21 present.

22 MS. SKIPPER: Yes, that's right.

1 All right, so if there are no other
2 questions in the room or on the phone, we can
3 adjourn for the day.

4 And again, just echoing Melissa, thank
5 you all for making it out here and for hanging on
6 the phone all day. And we'll talk to you next
7 week.

8 Thank you.

9 (Whereupon, the above-entitled matter
10 went off the record at 5:05 p.m.)
11
12
13
14
15
16
17
18
19
20
21
22

A			
a.m 1:9 5:2 103:14,15	accept 75:2 98:3,5,8	actions 376:18 384:8	address 66:8 82:9
Aaronson 1:14 14:18	261:22 290:22	active 6:11 82:4 105:18	172:22 233:8 253:9
14:19 60:2,7,9,21	acceptability 26:20	105:18 113:10,10	293:20 303:7 323:4
61:3,9,13 199:11	33:5 67:20 85:14	actively 84:18	356:19 393:13
229:20 293:15 300:21	401:20	activities 10:3 15:21	addressed 48:22 86:1
312:8,9 313:5 335:9	acceptable 44:3 45:14	76:12 88:12 373:15	153:15 242:2 368:22
335:15 343:11 348:19	146:2 186:2 266:9	activity 11:20	369:5 370:6
355:22 382:19,22	349:15	actual 106:12 123:18	addresses 75:10
389:5 395:6,20	accepted 41:16 132:13	126:8 229:11 305:19	addressing 44:11,14
abilities 287:21	304:20 315:2	320:6 370:8 372:16	145:20
ability 73:18 76:10	accepting 261:21	389:10,20	adds 285:21
92:12,13 107:7 133:5	access 94:17 153:12	acute 236:4 248:20	adequate 75:8 192:4
172:22 173:8 274:7	253:19 347:9	286:19 344:13,16	264:4 276:13
able 19:2 21:19 34:10	accessible 99:7 128:21	369:17 386:20 387:14	adequately 141:4
35:6 55:5 76:6 80:6	accommodate 297:22	acutely 150:15	adhered 298:21
83:6 84:9 89:2 111:15	333:20	acyclovir 329:2 331:14	adherence 82:5 145:9
118:10 119:5,7 133:8	accommodation 43:17	ad 376:5	adjourn 4:22 404:3
141:15 148:18 151:4	accompanied 326:4	Adalja 1:15 12:21,21	adjudicate 376:16
153:13 159:15 192:1	accompanying 103:6	95:14 96:8,15 158:10	adjudicated 307:16
192:4 195:11 223:2	196:5 322:1 401:9	161:10,14 169:21	328:16
242:21 243:4,12,14	accomplish 374:11	293:2,2 300:21	adjudication 376:6
243:18 247:22 273:18	accomplished 75:8	363:13 368:13 369:10	adjunct 342:7
274:4 278:22 295:1	account 81:22 88:12	Adam 1:9,12 6:13 7:11	adjust 243:4 275:19
316:20 357:1 374:2	153:20 159:3 342:2	8:1,3 12:14 30:19	287:7
374:10,16,18 376:17	357:4	39:22 43:10 87:20	adjusted 4:16 21:8
398:17	accountability 100:14	140:6 150:6 151:2	82:17 220:20 221:14
ABMM 1:17	135:8,19,21 136:2	154:7 220:16 293:10	222:18 225:7 229:3
abnormalities 383:9,10	217:18,20	Adam's 85:3	233:5 242:9 243:10
above-entitled 103:13	accountable 93:17	adaptations 222:16	243:11,21 246:4
195:13 291:19 404:9	146:3 183:15 184:1	add 39:6,16 40:20	281:3 282:8,17
absence 183:15 212:20	351:17	41:19 44:6 69:15 85:3	347:16
356:2	accuracy 95:18 96:5,9	92:19 94:13 121:11	adjustment 80:19 82:13
absent 320:19 321:18	96:18,22 132:7	141:10 142:18 146:12	82:19 83:12 84:15
absolute 298:22	232:20 251:9 252:2	172:14 185:14 192:10	213:1 229:8 253:8
absolutely 151:15	accurate 85:4 97:15	192:16 208:3 229:12	257:8,12,19 357:20
208:11 229:13 232:17	240:2 250:14 251:10	232:16 234:4 237:14	adjustments 259:15,20
402:3	273:19	248:8 251:21 279:13	administer 301:20
abstract 353:9 369:3,11	accurately 73:15	280:5,15 308:8 310:9	administered 339:4
374:9 384:18	208:11 252:4	332:16 343:1,2	administering 301:17
abstracted 208:6	ace 46:3	348:20 359:22 363:15	administration 3:2,3,11
368:19	achieve 29:8 36:20	364:11 389:9 397:19	304:15 307:22 392:13
abstraction 277:21	141:4 155:15,16	397:21	Administration's 35:18
342:19 371:14 374:2	303:17	added 66:4 264:15	administrative 249:7
374:10 375:20	achieved 116:4	279:10	250:3,20 251:7 253:3
abstractions 370:13	achievement 147:10	addendum 259:1	273:21
abstractor 332:19	achieving 92:14 141:2	262:15	administratively
344:12 369:14,20	159:22 163:17	adding 243:11	250:22
376:6,15,17	acidosis 342:11	addition 11:6 132:17	Administrator 36:3
abstractors 343:18,21	acknowledged 30:13	133:15 140:1 196:16	admission 267:19
349:22 350:2 353:9	31:12	225:2 243:10 253:9	admitted 248:18 254:21
371:16 375:5,9,18	acknowledging 349:19	additional 27:5,9 66:5	314:13 387:10
379:10,18 380:18	ACN 13:9	125:8 152:2 154:5	admitting 233:13
385:1	acquire 255:7	165:2 167:3 185:14	236:17 382:6
Abundant 336:18	acronym 111:2	196:8,12 201:16	adolescent 171:6
academic 191:5 381:16	act 28:18	213:2 399:4,12	adopt 37:10 309:9
381:19 388:11	acting 46:3 360:4	Additionally 149:6	adopted 129:18 130:19
accelerated 341:20	action 360:13	additive 243:13 308:12	218:2 325:12
	actionable 88:16	310:6	adoption 288:6 297:3

298:4 304:21
Adult 4:16 220:19
adults 221:15
advance 28:16 30:17
 235:14 318:1 353:2
advanced 65:4 185:2
advancing 88:13
advantage 244:13
 287:9
advertise 245:2
advise 85:15 241:10
advisors 260:1
advisory 11:9 16:10
 223:5
advocate 213:19
affect 14:10 152:14
affection 301:8
affections 303:1
afternoon 294:18
age 39:5 63:20,22
 117:11 119:5 122:15
 124:8,9 140:17 192:3
 192:20 238:19
agenda 6:8 31:8
agents 317:19
aggregate 252:16
aggregated 354:1
aggregation 338:11
 352:2 363:16
aggressive 332:12
aging 140:16
ago 108:16 124:18
 139:19 148:14 201:6
 213:19 221:17 295:7
 322:7
AGRAWAL 2:13 5:10
agree 46:17 48:2 53:10
 78:4 97:10 146:2
 153:2 156:6 170:15
 173:18,21 183:13
 200:15 285:13 379:16
 393:14
agreed 22:4,5 304:12
 309:21
agreeing 60:16
agreement 349:20
agrees 116:15 145:22
ahead 19:6 57:16,21
 64:18 98:4 103:17
 104:5 115:12 119:14
 129:8 161:19 172:1
 195:20 212:10 221:5
 227:20 228:20 294:17
 309:13,14,15 335:22
 350:13 359:7 362:12
 365:3 371:22 377:7
 397:12,12
aid 333:15,20

aids 1:13 8:5 12:15
 13:17 296:3 374:10
 377:21
aiming 69:22 138:18
alert 385:14,14,14
algorithm 25:7 64:6
 76:3,3 95:15 126:14
 145:21 167:14 168:20
 198:11 202:11 210:21
 331:12,16
algorithms 25:3 31:10
 377:21
aligned 205:10
alignment 110:20
alive 155:21
all-inclusive 316:12
all-or- 339:10
all-or-nothing 339:13
 339:17 357:13
all-time 297:15
Alliance 7:15 12:9
Allison 36:14
allow 20:17 21:3 30:22
 112:14 191:16 233:22
 236:18 312:5 314:8
 332:1
allowed 73:12 250:6
 332:3
allows 107:1 111:6,19
 128:6 233:20
alluded 32:16 41:1
 358:1
alongside 305:6
alpha 79:7
Alright 63:12 72:10
 73:8 95:8 98:12 99:1
 99:12 101:4 102:19
 189:10
alter 229:7
alternative 185:22
alters 383:4
altogether 287:4
American 13:7
Amesh 1:15 12:21
 158:9 292:21 293:2
 300:21
amount 40:12 48:12,21
 201:18 237:20 245:7
 266:3 277:20 305:11
 313:8 315:4 344:7
 379:11
amphotericin 329:2
analyses 84:15 202:7
analysis 22:22 24:5,16
 45:3,7,13 52:14 63:14
 69:19 97:10 148:16
 149:9 154:7,21
 197:22 209:22 210:8

224:6 256:18 281:8
 315:20 316:9 322:3
 322:10 346:21 349:12
 352:15 356:4,8,21
 357:9,10 358:14,19
 360:9 361:2,13 363:6
 363:14,18
Analyst 2:17 9:3
Anand 303:12
ancillary 65:9
and/or 58:11 59:1
 274:17 279:1
anecdote 371:19
angst 402:1
Ann 2:15 9:17,20 18:22
announced 220:13
announcement 290:7
annual 155:8,9,14,15
annually 10:5
answer 95:17 96:9
 223:2,10 233:6 244:2
 244:4,9,14 245:15
 273:18 274:11 321:3
 321:16 334:10 341:4
 344:9,11 356:5 357:9
 371:11,21
answered 360:14
answering 290:5
answers 354:1
Anti- 145:11
antibiotic 23:18 305:1,8
 307:21 331:21 332:1
 332:6,11 333:13,15
 370:16,19 391:19
 392:12
antibiotic-related
 399:16
antibiotics 241:4,8
 297:13 301:17,18
 302:15,21 303:8
 304:9,15 308:3 311:3
 311:4 322:21 323:1
 325:5 328:8,9 332:13
 373:7 376:9 381:1,12
 385:20 386:13,21
 387:5 390:22 391:5,6
 391:14 392:1
anticipate 158:19
antifungals 328:11
Antigone 36:6
antiretroviral 20:9
 160:19
antiretrovirals 44:16
 158:19
antivirals 328:12,16
anybody 10:20 57:12
 57:17 98:13 105:5
 116:17 118:2 143:7

173:21 192:7 202:17
 209:8 224:14 272:16
 275:4 337:2 380:14
 389:3
anymore 378:17 386:2
anytime 123:14 270:21
apart 152:20
apologize 50:3 125:14
 192:14 295:1 312:18
 330:4
appalled 45:16
apparently 49:5 77:15
 292:17 348:8
appeals 403:13
appendicitis 386:20
applicability 53:12
 164:1 237:3
applicable 37:5 44:4
 163:14 165:17
application 85:15
 222:18 253:15 308:18
 309:6 310:14 353:18
applications 109:18
applied 285:19 357:15
 357:15
applies 42:18 374:15
 380:5
apply 82:20 88:7 284:5
 302:4 321:5 328:11
 341:3
applying 311:11 313:11
 327:2,12
appointment 51:17
 64:17 186:13 292:16
appointments 186:11
appreciate 5:15 18:11
 53:2,17 262:3,14
 286:9 290:5 347:12
 347:12 388:15
approach 50:10 261:21
 348:14
approached 82:12
approaching 295:8
appropriate 39:10
 59:13 82:6 111:18
 183:22 287:22 302:9
 352:18 354:13 355:8
 370:16,19 376:7
 379:5
appropriately 82:15
 376:18
approval 30:3 201:2
approvals 299:7
approved 106:6 202:14
 392:11
approximately 70:5
April 208:15
architecture 106:15

107:15
area 6:2 16:21 23:1
 38:22 48:6 53:20 55:4
 82:22 90:17 187:18
 263:11 266:2 370:18
areas 32:1,2 38:8 67:7
 194:10 220:7 273:14
arguably 282:15 319:13
argued 283:3
arguing 286:3
argument 80:19 84:3
 276:1 285:1
arguments 166:4
Aright 100:12
arm 312:16
arms 320:13 339:6
arrival 314:15
arrives 303:20
article 253:1 321:21
 326:4 333:14
articles 322:1
articulate 347:20
articulated 338:10,14
artificial 151:8
aside 264:6 282:8,11
 285:9
asked 10:2 21:12,21
 22:3 249:5,11 301:1
 382:17
asking 56:11 69:4 97:3
 128:15 328:10,13
 401:10
asks 377:16
aspect 305:4 307:2
 310:3,8
aspects 301:12 307:7
assays 41:8
assess 66:20 76:10
 191:17 192:5 197:17
 302:8 309:19
assessed 352:22
assessing 354:13
assessment 41:8
 112:10 127:14 131:14
 146:6 170:12 212:11
 216:1,2 289:4 306:18
 310:6 316:17 358:5
 381:13
assigned 290:11,16
 384:20
assistance 94:19
assistant 1:18 2:4
 15:14
assistants 65:4
Associate 1:15 36:2
associated 42:5 110:2
 113:21 120:9 156:1
 260:18 299:21 361:15

association 13:7
 308:18 356:22 358:19
 360:22
associations 347:3
assume 272:9
asthma 105:19 342:9
 382:7
astute 268:16
attached 236:21
attachments 24:13
attempt 243:3
attend 14:20 295:1
attended 44:18
attending 65:19
attention 220:8 287:13
 310:20
attest 25:21 373:8
 381:13
attestation 364:3 369:1
 370:8 373:8,9 378:12
 380:5 381:5,9 383:3
attributable 239:11
 353:12 384:2
attribute 268:16
attributed 372:12
audible 54:2,5 57:14,19
 66:12 67:14 72:12
 80:14 95:7 99:11
 100:22 101:3 173:12
 193:6 194:22 198:17
 198:20 199:21 202:19
 203:5,17 204:7 206:7
 209:11 211:3 215:3
 216:21 218:11 219:13
 227:8 228:15,18
 246:21 247:2 255:22
 278:4 281:12 300:14
 300:19 328:1 337:13
 344:21 345:11 346:6
 347:1
audio 102:12
audit 239:22 240:1
 251:22
audited 256:17
Australia 320:12
author 232:21 308:14
 311:20
authoritative 132:9
Authority 110:8
authors 224:4
automatically 88:20
 122:22 123:6 182:7
 290:21
availability 90:14 95:18
 96:10,22 132:2
available 52:9 80:8
 115:18,22 118:7
 130:4 132:5 133:1

210:19 222:17 260:15
 280:10 300:9 344:10
 349:1 350:7,8 354:7
 368:16 369:19
average 48:14 296:6
 311:4 313:2 373:19
avoid 30:21
aware 249:13 263:13
 296:18 303:13 322:14
 352:11,18 353:14
 370:17
awesome 5:22
awfully 304:11

B

BA 1:12 2:6
Babady 1:17 15:5,5
 293:15 300:21 323:22
 324:1 325:7,14,18
back 26:11 39:22 41:11
 43:5 46:21 49:19 50:9
 79:6 86:6 93:13 103:4
 103:10,17 132:19
 134:6 140:6 141:12
 141:14 149:19 154:8
 159:18 160:6 166:13
 195:8 214:11 241:1
 245:20 251:1 252:16
 256:16 271:8 291:17
 292:1 303:22 304:2
 310:16 318:10,12
 332:17 340:13 345:19
 382:4,9 384:17 387:4
 398:7 402:20
backed 348:8
background 10:16 45:4
 131:6 328:22
backup 305:11
bacteremic 333:17
bacterial 328:20
bad 188:16,17 331:4
badly 388:13
balancing 87:10,15
 254:9 302:20 304:19
 390:7,15,18
ball 235:14
banded 339:16
Baptista 36:11
bar 75:16
bare 77:10 78:9
barely 294:11 359:11
barrier 94:21
barriers 94:20 153:12
 153:15 209:3
base 109:4 184:9,9
 227:3 238:7,11
 334:15
based 16:4 20:19 91:22

97:1,6 132:18 133:13
 145:11,21 146:4,8
 147:13 150:4 154:15
 162:1 165:13 168:17
 171:7 198:10 210:20
 222:16 229:16 240:14
 242:16 258:15 269:11
 273:20 275:9 289:5
 289:12 297:2 312:10
 317:11,18 334:18
 336:19 340:6 370:17
 371:5
baseline 344:6
basic 68:15 275:18
basically 71:3 103:7
 105:10 111:8 122:1
 130:21 131:20 155:3
 210:4,10,16 216:11
 216:13 271:10 282:14
 301:11 308:5 316:9
 316:16 358:15,18
 374:2,3
basis 351:17
bathroom 103:3
Bay 90:17
bear 165:1
beat 392:1
becoming 104:16
 391:19
bed 232:6
beds 381:21
bedside 304:2 311:14
 370:5 382:10
began 221:16 295:6,9
beginning 82:14 224:19
 372:7
begun 383:15
behalf 300:3
behavior 125:18 141:16
behavioral 82:1,3
 135:15
believe 11:22 20:1,14
 36:17,17 61:6 77:5
 85:22 97:4,8 111:4
 115:10 125:10 152:19
 196:9 224:16,21
 226:11 234:4,10
 235:1 237:22 243:22
 244:10 259:21 263:17
 267:19 285:2 290:6
 292:2 299:22 342:18
 353:10 382:11
Bellevue 1:21 15:20
Benbow 1:18 15:11,12
 15:13 143:15,18
 144:13,16,21 145:3
 146:16 181:21 182:11
 182:22 183:6 190:22

192:9 197:5,9 200:7
201:21 203:8,21
204:22 293:14
benchmarking 354:6,7
bend 92:4
beneath 31:8
beneficial 183:14
benefit 175:10,16
224:11 308:8
benefits 183:16 205:12
best 229:17 230:14,15
231:15,21 235:21
236:17,19 238:5
259:18 260:22 261:17
261:20 270:10 282:15
283:4 285:2 286:4
300:9 307:13 308:5
319:14 340:8 371:21
beta-binomial 66:20
better 7:10 39:14 41:7
83:13 87:17 150:16
151:19 153:13 164:1
165:15 244:17 283:15
288:8 294:14,14
304:14 319:12 324:17
343:14 373:14 378:21
beyond 5:15 18:9 146:6
339:19
bias 18:9 170:21 347:17
356:9,14 357:4 361:2
biases 319:22 347:13
356:11,15 357:2
big 67:22 93:13 106:5
388:8
bill 186:18,19 187:17
327:6
billability 66:9
billable 66:1 185:16,18
186:16
billed 250:11
billing 186:22 187:14
232:11 251:7,10
Biograms 370:17,18
bipolar 154:12
birth 107:12,14,16
210:14
birthdate 113:5
bit 10:15 11:1 19:18
20:17,18 21:4 25:13
32:5 33:17 38:7 48:6
52:1 68:2,5 76:6 94:7
104:12 127:13 158:3
182:15 208:10,13
234:11 238:13 303:22
307:4 339:5 363:2
373:7,13 380:1
383:16 385:15 386:9
bite 147:2 279:15

bivariate 358:19
BJC 14:2
bleed-over 331:7
blindly 79:4
blips 41:22 42:4
block 107:5
blocks 105:21 146:19
blood 42:3 112:19
113:1 170:5 232:7
301:16 306:6 311:2
333:5
board 36:1 43:16
Bob 329:13 330:7 343:2
body 114:17 167:19
bolus 301:20 317:6
358:6 381:11
bones 77:10 78:9
Bonnie 111:1 112:8
117:14,16 118:3,17
118:19 119:11 121:20
122:12 123:16 125:12
210:12 211:22 212:2
212:3,17
bonus 83:20
book 234:10,12
books 158:11
Boston 14:6
bottle 171:18
bottom 24:6 171:16
box 95:16 96:7,8
167:16 168:1,12
169:5 378:8
box-checking 380:5
Brady 1:19 13:15,15
52:7 53:2 65:22
111:22 133:11 134:8
143:11 146:13 154:5
154:11 161:18,20
162:14,19 188:7
192:13 209:13 211:19
215:20 217:16 230:8
231:5 232:1,12,17
272:4,7 273:6 293:6,6
brand 108:6 112:7
Brantley 3:2 36:14 68:9
brave 40:5
braved 7:3 398:18
braving 6:16 9:12
break 29:3 48:10 103:1
103:3 194:11 195:5
224:6 290:9,12
291:17 355:8 360:13
breakdown 68:22
363:21
breakthrough 42:1
breast 296:2
Brian 283:8
brief 31:18

briefly 104:11 114:10
bring 64:14 92:6 108:7
140:4,19 204:6 264:2
291:8 388:4 400:19
402:19
bringing 237:12 280:16
broad 301:17 353:17
390:22
broad- 311:3
broad-spectrum 332:2
332:13
broader 53:12 332:9
broadly 163:14
brought 87:2 140:6
288:21 303:22
Brown 3:8 223:1
BSHCM 2:8
building 105:20 107:4
260:10,13
built 222:12 274:19
bullet 226:9 279:16
bump 149:9 151:6,8
bunch 318:22 319:4,6
bundle 4:19 19:14 20:6
293:22 301:12 302:11
305:12 306:4 308:19
308:21 309:6,9,17
313:20 315:20 316:7
317:5,6,7 318:11,17
319:13,18 347:5,7,8
358:17 364:9,13,19
376:11
bundles 14:12 16:5,7
226:22 227:17 242:3
242:11,14 243:6,16
246:9,17 310:7 319:7
321:6,9 339:17 376:1
burden 33:13 89:5
165:2 279:19 368:17
369:3,3,11,13,20
372:3,5,20 373:12
374:21,22 379:2,6,12
385:2,3 388:20,22
390:21
burdened 377:1
burdens 299:12
Bureau 12:15,17 35:18
36:3,13 37:4
Burstin 2:14 9:10,11
77:10 79:12 80:10
85:2,22 86:12 87:4
97:19,22 103:21
104:2 112:4 174:20
179:2,8 180:16,21
181:7,12,18 184:5
237:7 265:12,17
280:15 284:17 288:15
388:22 390:14 391:8

button 30:10

C

C 22:15 263:7,11,14,16
263:20 264:1,13,17
265:3,18 266:5,8
268:12 386:17 391:3
391:4,10 399:17
calculate 111:14,20
119:7
calculated 113:19
125:21 205:21 259:2
269:6
calculating 123:18
206:2
calculation 64:6 126:8
126:14
calculations 208:8
calendar 52:11
calibration 262:18
California 3:18 13:14
90:17 162:10
call 12:4 14:17 28:6
30:14 41:3 80:18
104:14,19,22 105:1
108:14 154:20 196:8
201:7 220:10 223:16
223:22 224:15 234:16
247:21 258:7 277:20
290:14 292:21 293:12
294:21 321:8 326:6
331:21 370:2 383:7
386:8 399:1 400:17
400:20 402:15,20
called 41:22 105:11
106:6,14 107:4 110:7
232:5 233:9 269:13
calling 28:2 385:22
calls 20:15 21:5,6 23:4
campaign 15:22 16:5,7
222:15 297:5,6
320:10,14,20 321:19
339:16
campaigns 218:6
camps 391:22
canceled 5:18
cancer 1:18 15:8 296:2
296:2
candidate 4:6,9,13
35:11
candidemia 328:22
capable 303:5
capacity 331:9
caps 171:18
capture 35:6 46:11,22
128:6 133:6 141:15
142:9 149:21 214:7
370:22

captured 141:12 368:16
captures 92:21
card 128:10 131:12
cardiovascular 370:5
care's 143:4
Caribbean 1:13
carry 116:13,15
cart 377:14
case 2:6 51:6 87:8
 112:8 117:18 166:20
 181:2 195:4 212:5
 239:9 250:4,7 251:12
 273:14 276:18 304:22
 307:3,5 308:10 332:3
 332:8 339:21 376:19
cases 117:20 125:5,6
 148:1,6 152:13 212:6
 212:8,21 241:6
 250:11,21 251:6,22
 252:3,14,18 274:7
 275:2 295:19 298:9
 349:8 350:9 373:19
 374:11,17
cast 199:10 206:18
catalogue 364:8,8
categories 11:22 54:10
 58:19 59:8 74:19 88:8
 192:1
categorize 376:19
categorized 399:14
category 45:1 54:11,16
 55:14,17,19 58:8
 132:2 328:12
caterer 5:18
catheter 254:6
catheters 254:8
caught 334:12
cause 42:7 318:4 329:5
 332:7 341:16 342:11
caused 354:5
cautioning 239:17
CCRN-K 2:8
CD4 22:16 160:20
CDC 38:15 39:2 52:9
 116:2 139:18 305:5
 391:12
Cedars-Sinai 377:10
ceftriaxone 331:15
cell 22:16 42:2 157:8
 171:18 184:18
cells 160:20
center 1:16,18,21 2:6
 3:19 8:5 12:22 15:8
 17:14 87:14 110:8
centers 1:13 3:15 12:16
 37:9 81:12,18 83:4
 87:15 273:1
century 51:16

CEO 2:13 5:8 378:4
cerebrovascular 348:6
certain 24:20 25:7
 81:10 175:11 244:14
 257:22 258:2 344:7
 347:2 358:2 374:9
 378:3
certainly 85:3 86:14
 255:5 263:10,22
 375:6 379:3
Certification 130:20
certified 36:1 43:16
certify 130:22
cetera 65:5 289:12
 359:16
chair 2:1 13:12 236:5
chairs 1:9 7:12 12:4
 18:19
challenge 208:10 235:5
 297:12 340:19 362:1
challenged 81:14 84:6
 164:13
challenges 82:5 253:2
 253:3 277:16 375:8,9
 375:18
challenging 377:6
Chan 14:7
chance 29:4 32:3
 387:11
change 25:15 61:21,22
 104:18 120:11 129:20
 133:22 137:12 158:13
 226:17 322:5 332:14
 355:11 381:10
changed 25:13 26:22
 62:16 120:20 137:11
 147:16 182:13,21
 248:19 282:21 297:3
 313:1 331:22
changes 222:16 253:5
 299:10 353:6,12
 355:17 375:16,20
 376:20
changing 120:17 326:1
characteristic 127:21
 128:17 130:10 216:9
characteristics 72:6
 244:12,19,20 259:15
 259:17 261:16 262:7
characterized 113:4,6
charge 298:18
chart 93:1 143:3 208:6
 214:8 248:1 277:20
 332:19,20 342:19
 364:5 370:12 374:4,4
 376:6
chart-abstracted 37:19
 64:19 185:11 201:12

chart-based 116:13,16
charted 186:6 187:3
charting 376:21
charts 84:17 86:13
 118:14 256:17 267:9
 383:21 384:18
chasing 282:12
chat 34:10 55:1 56:5
cheaper 158:1
check 378:7
checked 44:17 159:1
 226:20 311:2
checking 69:3 159:17
checks 382:4
checkup 171:6 304:3
Cheever 3:3 35:22 39:5
 39:7,15 41:19,20 44:5
 44:7 46:6 47:14 65:12
 65:21 66:8 84:11 91:6
 91:8 92:18,20 94:12
 141:9,11 142:18
 147:4 150:8,10,11
 152:22 153:1 159:11
 159:13 170:7,14,15
 171:21 172:2,3
Cheever's 50:9
cherry- 141:13
chest 236:4 342:1
CHF 391:11
Chicago 61:20
chicken 235:10
Chief 2:2,7,10,14 9:11
 17:5
Chiefs 2:1 13:12
Children's 14:6
chime 40:7 68:9 324:14
choice 332:1,3
choices 90:5 181:17
 313:8
choose 116:12 332:6
chose 147:12 339:10
chosen 41:2
Christy 2:20 7:5 8:16
 8:19 9:4,19 39:22
 54:9,22 56:5 57:6
 194:14 290:6 292:18
 398:21 399:2 400:21
chronic 343:6,10 344:1
 344:3,7,14,15 369:18
 383:8
circa 339:13
circumstance 304:8
 353:21,22
cited 239:14 242:3
cities 46:9
city 15:8 164:9
civil 301:8
claims 356:22

claims-based 20:20
clarification 314:20
 325:21 349:22 350:1
 403:17
clarify 160:17 178:8
 185:22 308:20 312:10
 318:16 383:1 401:1
clarifying 312:9 318:8
clarity 246:3
class 90:20
classification 327:5
classify 386:19
classifying 125:18
clear 24:20,22 53:1,3
 166:2 181:16,16
 184:8 234:10,12
 237:9 239:10 242:1
 249:14,15 253:10
 261:2 273:17 286:16
 287:6 305:16,18
 338:22 346:2 359:3
 380:18 386:4
clearer 182:16,18
clearly 165:11 226:15
 229:6 239:14 274:14
 295:15 304:13 364:15
click 55:16 62:1
clicker 61:21
clickers 58:17
client 50:12 65:7 92:14
 142:10 147:15 149:22
 163:16 187:22
clients 138:20 139:3,5
 139:13,14 141:14,14
 142:6 148:20 149:10
 149:11,13
clinic 17:15 45:10 46:21
 48:2,22 65:8 118:10
 128:22 136:4 159:10
 197:12
clinic's 92:13
clinical 1:17 2:2 13:6
 15:6,7 105:2,11,14
 122:11 123:11 126:2
 127:15 131:17 164:11
 168:15 169:9 172:17
 186:14 216:3 222:5
 222:12 223:5 234:14
 238:9 249:8,9,21
 250:6,17,19,22 251:3
 251:4 253:11 260:1
 273:22 311:14 337:6
 343:18,19 348:8
 358:7 378:4 380:7
 389:18
clinically 14:20 109:14
 175:7 261:9
clinician 45:13 197:12

244:4 299:10,15
 310:4 332:4,6 342:7
 370:15 376:21
clinician's 376:18
clinicians 223:6 224:11
 313:7 343:19 376:22
clinics 47:17 48:12
 68:13 93:4 118:13
 136:1 152:5 164:15
 191:20
clock 325:10
Clompus 239:13
 322:19
close 54:13,17 70:1
 313:3 367:22
closed 72:22 100:7
 101:18 127:7 134:22
 136:19 137:8 199:22
 204:15 206:19 211:13
 215:13 217:9 219:1
 219:22 277:10 278:13
 281:20 336:7 337:20
 345:13 346:14 362:16
 366:1 392:21
closely 330:6
closer 68:17 367:17
CMQ 2:9
CMS 83:6 84:12,22
 85:16 86:17 88:6
 89:12 105:1 135:9
 218:2 248:10 295:18
 298:3,4,21 300:5
 305:5 330:8 331:20
 346:22,22 351:5
 368:3 375:3 377:9,13
 377:19 402:8,9
CMS' 217:20
co- 1:9 7:11 12:3 18:18
 140:13,15,17 267:22
 277:16
co-chairs 6:12 12:6
 30:14 35:12
co-leaders 34:11
co-morbidities 259:20
co-pay 94:19 156:16,18
co-pays 152:2
co-variants 281:7
coach 356:14
coaching 383:15
coast 6:22 8:22
code 107:7 126:3,6
 133:22 231:7 232:11
 234:2 239:8 250:3
coded 110:4 132:13,13
 134:4,6 236:11
 241:12 330:22 334:13
 334:16,20
coder 234:9,11

coders 234:11
codes 64:6 107:14
 133:16 216:12 249:12
 249:14,16
coding 106:9 133:14
 229:5,11,14,16 230:4
 233:6 234:7,13,19
 235:9 238:8 239:6,12
 240:2 251:10 252:21
 253:2 254:2 322:18
 379:20
coefficients 264:8
 275:20 276:7
coerce 93:9
cognizant 254:5 303:13
 384:10
cohort 155:2 238:22
 267:8
Colbert 107:20
collaborate 14:11 16:6
collaborative 319:14
colleague 21:6 103:4
colleagues 301:2 330:6
 338:18 363:11 387:8
collect 371:8
collected 175:14
 203:10 249:5 267:4
 349:21 397:14
collecting 50:7 259:19
 274:17,22
collection 142:17
 187:10 372:4,21
collectors 374:5
collects 374:3
columns 69:17
combination 296:19
 359:15 366:22 367:3
combined 296:3
come 31:16,17 34:20
 37:20 69:8 74:13
 85:19 91:11 103:4,10
 103:17 108:6 131:8
 132:8 146:22 156:15
 157:3 159:1,8 167:20
 195:8 214:11 230:2
 230:11,15 237:17
 239:5 245:20 258:17
 258:18 271:8 273:11
 303:6 332:17 343:12
 365:7 401:16
comers 313:11 357:14
comes 13:9 26:11
 106:12,16 114:5
 170:21 272:16 282:6
 304:4 311:10 369:2
comforted 187:16
coming 5:11 6:9,15
 10:15 46:21 50:7,8

93:17 119:2 141:14
 150:19 159:18 160:1
 391:21
comment 4:12,20 24:9
 31:1 34:17,17 52:7
 59:22 84:9,12 85:1
 88:1,2 89:17 149:7
 154:5 157:20 165:4,7
 166:1 172:12 187:9
 194:13,20 198:19
 201:4 224:17,21
 226:12,14 227:11
 229:19 234:9 235:2
 235:15 238:1 242:15
 257:3 263:7 268:15
 268:16 273:6 279:12
 291:2 292:8 321:7,12
 337:5 338:19 341:15
 385:8 388:16 395:9
 396:2 397:15,17
 398:1,3 400:18
commented 379:4
commenting 29:19,20
 402:19
commingled 187:21
commitment 8:9
committee 1:3,7 5:5
 10:5,6,21 11:10,14,18
 16:10 17:11 18:13
 28:18,21 29:4 30:3,12
 32:4 56:4 69:9 93:14
 97:14,18 98:2 116:12
 128:2 135:15 137:11
 145:21 173:15 174:2
 174:22 176:22 183:13
 198:15 200:13 202:22
 203:16 211:2 213:9
 216:20 223:17 226:1
 246:15 270:21 290:10
 290:17,19 291:8
 292:16 294:20 296:17
 300:16 327:22 334:14
 335:4,6,19 338:5
 361:5 362:6 368:20
 377:18 393:20 396:11
 399:21 400:7 401:15
 403:1,18
committee's 403:3
committees 27:8 291:7
common 230:1
communicable 50:11
communicate 184:17
 244:6,7
communicated 375:10
communication 186:6
community 2:6 17:14
 46:10,22 47:10 191:5
 209:1,1 241:10

270:16
comorbidities 233:13
company 121:22
comparability 225:3
comparator 245:8
compare 233:10 234:1
 243:5 247:22 372:4
compared 48:17 81:15
 95:2 202:5 248:4
 269:9 306:18 313:20
 373:13
comparing 223:22
 250:19
comparison 224:7
 225:6 354:21
comparisons 252:13
 252:20
compatibility 280:13
compatible 311:19
compensated 11:12
competing 154:1
 400:10,14
completed 301:13,14
completely 153:2,7,7
 186:2 285:10,15
completeness 50:6
completes 135:14
completion 347:5
complex 53:13 358:3
complexity 379:7
compliance 92:11,17
 311:7 355:18 357:14
 361:14 373:14
complicated 50:8 84:16
 84:20 113:20 127:13
 258:21 353:15
complicating 107:18
complying 360:4
component 36:10 43:6
 297:20 300:1 340:6,7
 351:22 352:1 363:15
 363:19 364:17
components 296:20
 338:12 364:11
composite 294:1
 296:20 338:6 344:20
 345:3 351:1,1,10,13
 351:19,20 352:3,7
 354:14 363:2,7,14,16
 363:20 364:12 365:10
 365:13,21
comprehensive 42:20
 124:22 142:19 153:14
 316:17
computed 96:1,4,15
 269:7
computer 101:12
 144:19,22 156:20

conceive 393:21	54:19 77:8 79:1	196:4 203:8,20 255:6	cost 88:10 94:1 157:21
concept 86:11 138:15	131:21 132:18 177:22	300:1 367:21 377:4	157:22 158:2,7 165:2
295:6,10 296:18	216:2 238:10 367:19	Continued 4:9,13,14	296:13
conception 353:18	395:6 401:5	continues 135:17	costs 151:21 296:16
concepts 105:14	consents 127:15	207:14 295:15 315:13	372:22
concern 32:2 42:7,8	consequences 205:13	376:2	Counsel 2:15 9:20
81:8 86:16 151:18	205:14	continuing 87:3 183:10	counseling 175:2,5
187:10 232:20 253:6	consider 49:13 74:20	335:11	count 22:16 43:3 49:5
313:6 324:2 349:10	75:3,14 162:12	continuum 38:11,19	50:21,22 101:18
concerned 81:2 343:17	175:19 207:16 220:5	145:13 163:5	127:8 157:8 177:12
concerning 350:6	237:5 257:11,19	contribute 267:12,16	190:14 194:4 200:1
concerns 86:20 251:9	316:20 366:16	365:13	204:16,19 206:20
282:5 303:6	considerable 58:10,21	contributes 234:19	207:11 211:14 219:2
concise 30:19	266:3 305:11	contributing 383:9	220:1 228:4 247:12
conclusion 283:1	considerably 282:22	contribution 287:18	256:8 277:11 278:14
conclusions 200:12,21	consideration 4:6,9,13	control 40:13 172:20	289:21 336:7 337:21
347:3	35:11 85:20 87:9 88:9	173:8 231:13 310:13	345:13 362:16 366:1
concur 60:3	135:9 237:13 276:15	316:21 358:15	392:21 395:2,4 397:9
condition 135:14	276:21 316:2	controlled 172:21	counted 49:9 50:13,15
295:15 310:21 343:6	considerations 39:11	357:1	51:5 150:2,21 184:21
344:1,14,15	considered 49:7 70:5	conundrums 45:16	248:20,21 269:8
conditions 64:5 341:16	70:20 148:8 288:22	convening 6:14	counter-intuitive
383:8	322:18 326:13 344:17	conversation 6:11 86:4	208:14
conducted 127:14	considering 213:20	87:12 93:14,20	counterfactual 309:4
197:17 216:2	consistency 167:19	176:19 182:8,17	319:21 321:13
cone 272:17	consistent 109:6 161:1	201:15 369:8 382:14	counting 49:13
conference 1:8 201:7	200:9 208:5 312:4	conversations 8:13	countries 286:21 319:1
238:10	338:12 363:17	141:13	320:11,22
confidence 80:1 269:16	consistently 160:19,20	convert 111:18	country 37:6 38:16,20
269:22 270:1,7,8	consolidated 310:3	converting 300:9	48:4 163:6,15 172:10
271:6 272:19 289:11	consortium 130:18	converts 327:7	229:4 235:8 245:13
320:2 360:21	131:2 191:5	convinced 285:15	275:21 327:5 336:20
confident 283:19	constellation 385:12	319:17 354:9	372:8 381:17,20
confidentially 284:2	consternation 5:17	coordinator 13:5	counts 49:17 63:3 73:4
confirmatory 314:4,9	constrain 187:12	110:12 384:21	208:15 303:10
confirmed 117:17,20	constraints 191:12	copies 41:15	couple 23:21 30:5
212:4,8	construct 79:18 338:7	copy 37:19 399:9,19	91:11 104:21 112:22
conflate 181:15	338:9,13 344:20	core 241:4 248:11	131:3 179:3 226:8
conflated 393:7	365:11	297:11 390:8	315:17 318:8 330:6
conflict 10:12,17,22	constructed 118:21	Corporation 3:9,13	354:19 390:14
17:17,20,20 18:7,8,14	119:10 340:4 351:20	88:4 111:4 112:2	course 11:21 18:6
18:15,20	constructing 352:3	122:10	41:21 240:22 242:20
conflicts 11:3	construction 292:4	correct 35:15 49:10,11	302:2 361:16 386:20
confounded 360:8	363:7	59:16 82:11 83:17	cover 158:4
confounders 314:13	consultant 12:17 16:21	97:13 103:1 119:15	coverage 117:17 125:3
confounding 313:6	consultation 150:12	119:22 126:1 132:10	191:9 212:4
315:5 320:1 347:15	222:5	133:18 136:2 143:12	covered 158:1 197:14
356:9,15 357:3,4	consulting 11:20	167:10 183:5 207:4	393:14 402:13 403:20
358:16,16 361:18	consumed 123:6	209:15 213:5 229:13	covering 365:17 382:1
confuse 181:14	consumer 296:9	258:16 267:1 285:3	CPPS 2:4
confusion 60:11 233:2	consumption 296:8	331:1	CPT 64:6 128:7 129:16
324:13 353:8	content 106:10	correctly 115:16 212:19	130:3 133:13 134:7
connect 153:13	CONTENTS 4:1	345:19	crack 363:12
connection 58:3 62:3	context 104:12 113:8	correlate 79:22	crappy 235:17
99:20,21	222:17 288:20 289:3	correlation 151:5	create 53:12 122:13,19
connectivity 55:3	continue 83:11 144:9	170:11 354:15 355:10	124:22 125:4 187:22
consecutive 290:20	178:17,20 189:19	correlations 355:19	272:17 283:9 304:8
consensus 30:2 34:1,2	191:1 195:11,22	correspond 113:2	324:13 374:2

created 117:14 124:4
 210:11 296:20
creates 46:1 82:5
creating 163:21 386:13
 386:17,17
creatinine 343:9 344:6
credible 171:14
creep 253:4
criteria 25:11,11,15,16
 27:12 28:7 29:11 32:7
 32:8,11,17,21,22 33:4
 34:3 35:3,3 82:13
 108:10 109:7 125:6
 130:21 166:13 182:12
 185:15 212:16 225:15
 248:12 289:6,7
 306:22 327:15 329:15
 334:18 342:15 344:4
 344:10 364:17 367:20
 401:20
criterion 63:8 66:5
 74:14 132:6 340:11
 395:7,21 401:7,19
critical 1:21 13:1,7
 15:19 260:1 287:8
critics 283:2
CROI 52:9
Cronbach 79:7
cross 315:16
crude 252:9,9 253:17
 253:18 260:18 358:18
crushing 342:1
crystalloid 301:20
CSAC 30:2 403:3,5,7,8
 403:9
CT 107:9 128:3,4,6
cubed 160:21
culture 301:16 306:6
 330:20 332:5
culture- 333:8
culture-negative 333:7
cultures 297:13 311:2
 333:4
cumulative 53:7
cup 290:13 293:14
cure 302:3
curious 187:3
current 27:12 133:17
 171:11 229:5 320:16
 375:14 377:3 383:9
currently 100:13 128:5
 128:8 133:12 135:7
 135:19 195:1 205:1
 210:18 216:15 217:16
 285:22 287:7 370:4
curve 84:5 92:4 263:11
customers 246:3
customize 275:20

276:7
cut 361:21 362:1,5
cutoff 39:9 41:1 42:15

D
D 1:17
D.C 1:8 55:4
darn 378:21
database 66:19 243:4
 252:4 373:21
dataset 68:13 198:1
 250:17,19,20 251:4
 264:5,6 265:16
date 210:14 220:13
 353:16 369:2 377:17
 381:2 388:2 399:2
day 25:1 90:6 184:20
 208:12,15 292:2
 398:14 404:3,6
days 146:21 149:18
 150:6 155:7 296:10
 355:2 372:12 400:19
 403:2
de 82:22 104:19 109:9
 254:20
dead 178:11,17
deal 39:17 107:1
dealing 94:14 188:4
dealt 184:13 356:8
 358:9
dearth 360:3
death 296:6
deaths 241:11,11,12
 245:4 269:8,9,10,20
 270:6 295:22 296:1
 372:8
debate 271:1 361:22
 362:5 364:18
debuted 375:7
decade 297:5 316:7
decades 319:3 337:7
decide 91:1 132:1
 168:10 342:8 401:17
decided 74:3 176:22
 201:12
decides 226:1
deciles 355:17
decision 94:22 184:9
 220:6 260:7 388:1,5
 403:10
decisionmaking 384:5
decisions 93:10
declare 344:13
decline 319:2 320:8
decrease 238:18
 299:11 333:13 375:18
decreased 25:18 40:15
 244:13

decreases 298:22
decreasing 376:21
 386:19
dedicated 371:16
dedication 294:21
 372:12
deemed 326:5 349:14
deep 282:7
deeper 50:4
default 171:5
defects 111:16
defend 348:16
defense 348:11
defer 22:3 86:5
deferred 21:13,20,21
 86:3 399:4
deficiency 342:10
define 51:14 123:9
 189:15 235:11
defined 42:22 63:15
 66:2 99:4 129:14,16
 131:1 164:14,15
defining 51:15 64:11,20
definitely 90:17 93:4
 118:12 129:2 130:7
 163:8 172:16 192:22
 225:7 228:8 233:1
 280:14 295:2 318:5
 337:5 367:19
definition 65:7 75:4
 76:18 185:12 234:6
 248:15 249:9,22
 250:6,16 251:3
 253:11,12,15 267:11
 324:6 326:7,8 328:9
 385:10 386:4 387:16
Definition-wise 326:2
definitions 197:13
 231:10 232:21 235:12
 237:19 238:9,10
 253:13 321:22 325:22
 326:17 327:1,2,6,8,11
 327:13,17
degree 50:5 71:9
 139:13,13 221:22
 243:15 301:7 311:13
 353:8 360:14,21
 361:19 375:1
degrees 169:19 339:22
deliberately 259:14,16
 261:18
deliberations 402:18
deliver 279:1,2
delivered 93:7 143:4
delivery 203:11 314:2
Delphi 77:8
delta 343:22
demographic 130:15

158:6
demographics 122:16
demonstrate 175:5
 200:11,20 258:1
 286:11 354:19 363:15
demonstrated 58:9,21
demonstrates 114:17
 118:19
demonstrating 270:6
 355:16
demonstration 363:19
Dempsey 36:6
denominator 43:4
 50:16,17 53:14 63:19
 113:14 117:6,9 123:4
 123:22 124:21 138:20
 164:14 197:13 210:2
 213:18 229:7 236:10
 248:18,20 249:3
 251:17 253:4,17
 334:5,16,19
denominators 229:16
denote 129:18
dent 376:12
department 1:20 3:4
 4:17 13:18 14:21
 16:11 21:9 147:5
 160:11 220:21 221:21
 222:4,22 232:15
 238:16 242:10 273:8
 290:4 296:11 304:1
 324:17,18 325:4
 371:17 384:6
depend 234:7
dependencies 340:5
 358:9
dependency 340:20,21
dependent 90:2 364:16
depending 147:8 158:5
 401:16
depends 45:6 162:8
 242:18
deploying 379:19,20
depth 76:7
Deputy 36:4
describe 76:4,6 107:16
 231:21 287:16 294:4
 348:16 350:15 380:4
 384:7
described 105:19 198:3
 274:20,21 349:8
 361:14,18
describes 73:10
describing 105:11
 107:9 260:5
description 231:15
 338:11
design 16:12

designed 109:5 208:5
desirable 263:12
desk 303:20
detail 349:5 350:10
detailed 10:2 260:4
detect 327:16,19
detectable 42:6
detection 297:12
 327:14,18
determination 343:16
 343:20
determine 77:2 169:3
 240:15 251:22 312:5
 370:16 376:17 380:10
determined 46:15
 301:9 303:15 329:6
determines 334:19
determining 343:21
 370:18
develop 12:9 14:11
 36:22 44:3 83:11
 163:13 221:18 222:5
 260:21 281:2,7
 285:10
developed 12:17 37:3
 111:3 130:17 221:15
 233:16 248:14,14
 264:5 283:9 285:7
 286:2 339:16
developer 31:17 40:9
 73:10 116:1 128:1
 138:3 143:8 144:3
 145:5 191:3 193:3
 197:16 198:16 201:1
 203:9 210:13 212:15
 215:20 217:22 218:2
 225:19 237:14 238:4
 241:19 300:17 371:3
developers 7:2 19:20
 21:12 22:10 24:14
 25:20 32:3 35:15
 205:5 209:9 246:17
 247:21 257:18 284:17
 292:7 294:4 302:14
 305:11 308:16 335:1
 338:19 350:22 356:21
 361:9 369:12 379:4
 398:16 401:14 402:9
developers' 371:20
developing 146:7 160:9
 231:7 234:5 275:8
development 16:6,15
 38:4 74:2 76:8 127:16
 131:19 163:21 169:18
 201:13 205:6 216:3
 222:21 223:4 258:17
 259:4 261:18 284:15
 300:7

developments 333:11
 333:20
deviation 359:18
devoting 201:10
DHHS 146:4
diagnose 342:6 387:14
 387:14
diagnosed 45:19 63:20
 64:1 116:4 152:10
 163:9 164:18 235:22
 248:13 389:12
diagnosees 296:5
diagnoses 334:13
 340:1,3
diagnosis 38:14 105:18
 105:19 113:6,10
 117:11 122:15 147:9
 210:15 229:6 232:20
 233:14 248:19 251:14
 267:20 339:22 369:16
 369:21 384:4 387:15
 389:10 399:15
diagnostic 231:20,22
 342:15
diagnostics 333:2,21
diagram 144:5
dialing 8:21
Dickerson 3:4 329:12
 329:13,21 330:7,13
 343:1
dictionary 249:19 274:2
 275:2
die 236:13 243:2 263:16
 296:5 372:10
died 42:2 212:13
dies 189:20
diff 386:17 391:3,4,10
 399:17
difference 22:9,13 26:7
 26:21 27:15 96:3
 147:22 165:8 179:20
 182:20 314:21 355:3
 361:17
differences 32:2 67:6
 202:9 255:6 353:10
different 11:1 20:17,18
 25:16 43:9 54:10 67:5
 74:4 78:11 92:16 94:7
 104:17 105:3 109:4
 109:20 110:14 115:4
 119:4,6 126:4 138:16
 147:8 161:15 165:14
 165:14 167:8 168:18
 168:20 169:20 191:11
 191:20 198:3 207:19
 222:17 223:7 225:16
 230:2 233:4,11,12,13
 242:1 243:2 244:10

244:11 255:1 257:14
 257:18 258:8 270:15
 272:18 273:13 274:13
 274:16 276:6 282:18
 282:19 283:21 285:11
 285:11 286:13,20
 307:19 313:17 318:19
 322:16 324:21 325:3
 338:21 339:22 351:2
 352:6,12 354:19
 363:2 377:10 383:16
 400:5,8
differential 157:22
 384:4
differentiating 224:1
differently 339:1
difficult 107:21 109:17
 231:19 277:22 317:14
 320:18 321:15 325:4
 370:20 373:7
difficulties 58:2 67:19
 327:1
difficulty 214:7
dinged 214:4
direct 16:14 166:18
 194:15 274:11 303:5
 375:17
directed 231:14 313:18
 322:9 332:1 351:16
direction 58:17
directions 30:2 166:11
directly 30:7 86:17
 111:20 186:19 188:6
 209:17 371:11
Director 1:13,17,19,21
 2:16 6:20 8:4 13:16
 15:7 36:5,6
dis-aggregating 318:16
disagree 116:17
disagreement 116:17
 261:12
disastrous 327:8
discharged 150:15
discharging 382:5
disclose 10:8,11,16
 11:16 301:4 308:14
disclosing 17:19 18:1
disclosure 4:3 11:14
 14:9,15 15:9 112:1
 353:1
disclosures 9:18,22
 11:3,7,16,21 12:5,13
 13:2,14,20 14:3 15:2
 15:15 17:1,8 18:4
 19:3
disconnect 237:8 323:2
 324:4
discreet 275:2

discrete 370:4
discretionary 151:22
discrimination 262:19
discuss 32:7,11 63:8
 122:4 178:12,13
 257:17 292:1 315:22
 399:8
discussant 35:1,2
discussants 31:21 35:2
 40:3 115:13 121:5
 143:9,19 223:13
discussed 63:13 86:3
 94:14 100:15 198:8
 343:14 393:8 403:5,9
discussing 177:20
 196:3 230:18 399:3
discussion 9:5 12:11
 25:6,7 30:22 31:16
 32:14 33:1,2,9 34:15
 35:4,8 37:21 39:8
 40:22 41:2,4 52:4,5
 57:12,16,18 85:19
 87:3 108:14 115:5
 138:2 164:6 165:8
 166:10 172:15 174:5
 196:7,21 221:12
 223:21 225:3 237:4
 240:11 253:14 257:9
 257:16 258:6,7
 277:20 278:20 297:8
 347:13 364:13 393:15
 399:6
discussions 38:3
disease 1:3 5:4 7:6 13:1
 13:19,22 19:11 23:8
 23:16 24:1 36:1 40:14
 41:21 42:5 43:13,16
 43:18,20 50:11
 141:22 230:5 232:2
 294:20 299:18 320:8
 327:19 343:10 344:4
 344:8 372:5,8,10,13
 374:22 385:11 389:9
 389:16,19
disease- 399:12
diseases 2:1 7:17 13:13
 230:3 372:10
disenfranchised 94:15
disorder 84:19
disparities 39:4 48:11
 48:13 58:12 84:14
 191:21 192:2,16
 246:20
disparity 48:21 81:1
display 269:1
displayed 229:10 245:7
disproportionately
 82:16

disregard 330:17 343:8
disregarded 329:16
dissent 171:3
dissing 266:9
distinct 301:12
distinction 42:22 44:1 181:17
distinguish 71:19 73:19 75:12 78:5 97:6 143:3 151:4,6 192:2 319:12
distinguishing 191:19
distorted 229:11
distraction 30:18 379:13
distribution 67:4 68:2
divide 165:13
divided 366:18
division 36:5,6 367:15
divisions 191:8
DNP 2:8
doc 184:17
doctor 91:2 150:9 156:11 380:8
doctoral 370:14
doctors 14:11 382:11 384:7
document 106:10,15 187:16,22 325:19 332:4 349:18 380:8 383:13,18
documentation 186:15 187:15 234:15 299:11 370:3 379:3,5,12,12 379:20 380:16 381:6 383:2,4
documented 65:14 93:1,11 232:8 343:9 379:8
documents 31:10 341:14
dog 236:3
DOH 251:20
doing 14:10 26:12 30:8 45:12 48:5 71:12 82:21 84:14 87:17 142:19 143:4 148:19 149:19 201:15 209:13 230:9 270:2,11 288:17 360:17 369:19 372:14 386:11 402:13
dominating 30:21
Don 14:4 49:2 74:7 79:13 81:19 170:19 227:10 228:20 239:3 246:14 262:11 268:18 282:3 293:4 302:22 311:20 315:13 320:4 323:11 328:3,13

331:6 334:9 356:12 357:9 381:15
Donald 2:2 300:22
door 47:20 90:11
dovetail 163:2
downsides 175:12
dozen 319:22
draft 400:17 401:8,8,9
drafted 402:17
dramatic 323:5
draw 41:17 290:13,18 291:16 310:20 318:21
drawing 170:5 293:14 301:16 306:7
drawn 65:8 319:4,5 347:3 376:8 381:12
drive 47:4,7 166:13 353:19 377:4
driving 224:20
drop 355:19
dropped 297:15
drove 93:19
drug 381:3
drugs 171:15
due 120:8 191:12 202:12 241:7,12 318:14 319:8 320:8 343:5
durability 158:18
durable 52:15 53:4,18 155:8,12,16
durably 158:21 159:4
duration 318:3
dying 236:12
dynamics 312:2
dysfunction 266:12 343:5,16,22 344:8 369:16

E

e-CQM 216:4
earlier 37:21 40:18 72:4 97:16 106:1 110:19 140:7 141:13 163:20 198:3 206:1 242:3 249:3 253:1,7 321:7 332:10 370:2,7 383:6
earliest 171:1
early 7:1 61:20 139:14 231:14 241:5 295:10 296:22 297:11 306:6 327:13,16
ears 246:7
easier 108:1 379:9
easily 99:7 128:21 276:7 370:13
Eastern 103:12
easy 139:9 359:22

374:7,7
echo 32:6 226:14 229:21
echoing 404:4
eCQM 37:20 105:1 109:10 110:17 111:6 115:22 127:16 131:18 135:13 178:13 210:19 214:15,17
eCQMs 20:19 36:9 38:5 201:14 208:19,21
ED 254:21 385:18 387:2
edges 124:10
edition 130:20
Education 1:13 8:5 12:16
effect 53:7 318:4,16 322:18,19 331:7 360:6 388:4
effective 243:17 306:5
effectiveness 305:12 318:11
efficacious 314:2
efficacy 225:9 313:22
efficiency 324:16
effort 111:5 209:3 358:15 371:12 375:1
efforts 47:5 300:2 320:19,20
egg 235:10
EHR 104:20 106:12 107:16 109:15,15 110:1 112:10 118:16 130:22 132:4 371:1
EHRs 111:12 214:20
eight 6:3 56:18 57:7,8 136:20,20 296:4 372:9 373:21
Eileen 196:11
either 29:5 32:22 41:18 46:8 50:20 56:5 64:16 67:13 74:15 75:19 79:17 91:2 94:1 97:9 146:17 165:20 178:10 274:16 290:16 326:9 338:18 342:1 397:21 400:13
EI 2:6 17:13
electronic 45:12 99:4 105:1 109:12 111:19 117:3 118:8,9 122:11 128:21 137:22 171:18 187:19,22 188:4,9 207:17,20 365:7 374:1,6
electronically 118:21 129:2 187:17 214:8 370:22

elegance 163:21
elegant 272:14
element 75:21 95:17,21 96:5,9,17,22 98:22 100:11 105:17 106:3 119:15 127:19 128:1 129:12 130:12 132:3 132:5 134:3 210:6 211:21 212:18,21 240:5 305:20,21 308:11 330:14 351:5 352:13,20 353:1,11 354:5 361:19 380:3
elements 67:13 78:12 78:13 99:2 104:22 105:3 108:19 112:22 113:2 120:9 129:10 130:15 131:21 132:12 132:21 203:10 205:10 208:7,18 210:13 216:7 241:4 297:8 306:1,10 311:1,8 320:16 331:10 338:20 340:2 342:3 349:13 351:9 352:17 353:7 353:10 354:15 355:4 358:2,3 364:1,7 365:12,12,16 368:19 375:22 376:5 380:19 381:1 383:6 384:6
elevated 341:17 343:9 383:21
elevation 384:2
eligible 298:9
eliminate 144:20 326:9
eliminated 387:7
Elisa 2:18 9:7 56:5 98:8 395:17
else's 271:9
elucidation 305:15
email 89:12 186:7 399:10,18
Emanuel 3:14 294:9
embargo 284:20
eMeasure 103:6,20 104:16 107:5 108:7,9 108:18,20 109:2,12 112:7,20 114:16 116:12 117:4 196:5 209:20,21 215:22
eMeasure's 111:16
eMeasures 4:8 20:13 21:1 86:9 103:5 104:20 106:19 112:17 115:3
emerged 339:12
emergency 14:20 44:10 296:11 304:1 324:17

324:18 325:4
Emily 1:14 14:17,19
 59:19,22 60:14
 199:19 229:20 293:15
 300:21 312:8 314:19
 335:9 343:11 348:17
 382:20 383:19
emphasis 25:16,18
 26:10 27:16,17 28:8
 144:1 182:2
emphasize 32:1 138:5
 138:22 163:4 379:2
emphasized 370:7
empiric 75:3,17,18 76:1
 77:19,20 78:9 174:16
 184:8 333:4 374:19
empirical 168:2,6 183:8
 183:16 363:14
employer 10:20
EMR 129:1
EMRs 99:7
enable 222:4
encounter 124:16
 127:20,22 128:13,15
 129:15 216:8
encountered 128:6
encounters 122:17
 124:18 128:4 129:19
 134:5 184:19
encourage 89:6 402:4,6
encourages 239:8
ended 70:11,12 96:7
 232:10 258:3
endorsed 19:15,18,21
 19:22 22:6,6,19 26:11
 26:18 27:20 108:15
 114:12 182:6 281:9
 294:2 335:2 385:6
 390:15 391:9,10,13
endorsement 22:7,13
 29:13 34:4 102:1,4,21
 137:5,20 143:21
 207:4,7,15 219:10,17
 220:2 282:3 289:17
 396:9 397:3,8,13
 403:10
endorser 85:12
endorsing 288:10
 351:19 390:6
engage 139:14 220:12
engaged 30:18 151:10
 153:22 162:17 164:18
engaging 8:9
England 239:16 283:22
enhance 235:7
enormous 346:21
enroll 315:8,10
enrolled 312:15,15

314:22
Enrolling 361:12
enrollment 311:7
 312:12 361:20
ensure 135:16
enter 122:14 123:1
 186:15 385:22
entered 155:2 186:12
 347:15
entering 184:4
entire 52:15 208:12
 311:16 382:1
entities 351:17
entitled 90:4
entry 312:19
enumeration 370:8
environment 43:1,2
 45:6 46:2,18 87:1
 111:10,13 114:19
 121:20 181:20
environments 81:9
epic 109:19
epidemic 48:3 92:3
Epidemiologist 1:20
 13:17
epileptic 378:10
eQCM 128:5
equal 69:12,13,13
 313:21 314:6 339:18
equivalent 341:19
ER 311:15 382:6
error 64:15 269:3
especially 6:22 11:19
 39:4 45:11 82:1
 129:18 151:21 171:2
 181:18 233:18 287:8
 307:8 370:16 398:13
essence 160:3 314:9
 316:11 326:16
essentially 105:7 107:1
 109:6 114:2 122:21
 125:12 126:3 132:15
 177:22 249:19 309:22
 310:7 331:17 358:20
established 74:2
 157:10 318:5
Esther 1:17 15:4,5
 199:9 293:15 300:21
 323:22
estimate 261:1
estimated 38:19 116:2
 269:10
estimates 261:6
estimating 261:3,5,13
estimation 264:7
et 65:5 289:12 359:15
etcetera 333:6
ethnicity 39:5 192:3,17

192:22 210:14 238:20
 336:22
ethnographic 372:20
etiologies 242:1
evaluate 73:18 108:22
 117:15 221:22 242:21
 243:12,15,19,19
 288:20 338:6 347:11
evaluated 20:16 168:6
evaluating 95:16
evaluation 4:5 19:8
 24:2,7 98:3 142:20
 190:19 200:14 284:9
 289:2 351:13
Evans 1:21 15:17,18,19
 199:15 214:6 226:13
 226:14 238:1,2 292:3
 293:16
evening 5:20
events 261:7 348:6
eventually 89:20,22
 222:9
everybody 5:11 9:10
 61:1 110:4 116:15
 118:7 162:20 163:6
 195:19 263:18 372:22
 373:2 390:9 392:2
everybody's 159:10
 310:20
evidence-based 168:15
 169:8 180:18 227:18
 302:19
evidence-free 184:4
eviscerate 376:11
evoke 177:18 179:1
evolution 112:19
evolve 333:19
evolved 308:22
exacerbation 382:7
exactly 44:8 45:1 47:5
 51:1 68:6 84:12
 148:10 180:13 270:22
 308:21 309:18 322:8
 343:15
exam 297:13,20 299:14
 304:3 308:7 314:8
 363:22
examination 359:19
examine 177:6
examined 68:6 322:4
example 47:15 76:13
 79:19 84:18 85:8
 105:16 106:3 107:3,8
 107:13 109:20 110:3
 110:14 112:18,19,22
 113:1,4,7 173:2
 174:21,21 180:18
 254:6 266:12 288:11

288:20 312:14 331:14
 340:8 343:6,8 344:2
 358:4 380:9 383:7,20
examples 384:9 390:15
exceed 175:11
Excellent 126:12
 214:21
exception 114:20,21
 169:4 174:11,14,15
 175:1 176:7 177:19
 177:19 179:1 183:4,4
 183:7,12 189:5,12,13
 189:16,20 190:1,1,5,5
 190:15,16 227:14
 381:5
excited 7:9 8:8
exclude 65:12 248:9
 250:7 326:16
excluded 45:21 65:15
 212:19,21 315:1
 329:22
excluding 72:7 87:17
exclusion 212:12,16,18
 212:20 213:18 248:12
 330:12,14
exclusions 64:4 113:16
 210:3 315:2
exclusively 286:22
 371:18
excuse 55:2 209:18
 212:12 216:4 223:1
exercise 114:10 260:10
 260:14 319:16
exhibiting 269:20
exist 132:5 135:17
 159:9 160:14 305:6
 321:11,13,14 370:4
 400:7
existed 209:6
existence 158:11 391:9
 396:17
existing 20:20 82:19
 86:10 104:13 108:2
 108:15 110:21 114:12
 222:13 249:9 327:11
exists 132:3 214:18,20
expand 21:16
expect 37:4 130:4
 131:9 152:7 159:20
 350:4
expectation 109:2,16
 123:20,21 264:13
expectations 123:10
expected 41:21 117:21
 121:11 125:18 175:17
 212:9 261:7 269:13
 270:3
expecting 403:18

expedient 325:1
expensive 296:14
experience 97:7 150:4
 153:9 244:3 276:9
 282:7 303:4 318:10
 336:19 387:9
experienced 149:14
experiences 183:8
experiencing 32:5
experimental 359:15
expert 67:22 76:17
 131:19 146:6 166:9
 175:9 184:6,7 295:14
 306:19 307:17
expertise 222:13
experts 75:10 77:1,5
 78:4 97:3 127:16
 131:16,18 149:21
 166:9 169:2 174:17
 184:10 202:15 216:4
 222:5
experts' 131:21
explain 68:4 121:21
 131:8 186:11 253:4
 284:4
explanation 61:17
 115:11
explicit 274:2 347:14
 383:8
explicitly 75:10 338:10
 338:13
explore 88:8 275:13,15
export 216:4
expressing 284:14
extend 160:21,22 314:8
extended 134:1
extensive 370:3
extent 29:10 240:1
 288:10 368:14
externally 222:22
extract 369:20
extractable 376:10
extracted 118:10
extraction 299:11,14
extraordinarily 361:19
extraordinary 380:8
extrapolation 241:15
extreme 183:17
extremely 276:2

F

F 8:6
face 73:9,15 74:4,15,18
 75:1,4,6,14 76:15,18
 77:2,3,22 78:3,21
 79:18 95:12 96:6,11
 96:21 128:1,14
 149:19 156:15 188:12

200:18,22 201:8
 202:13 216:8,8
face-to- 127:22 128:13
 188:11
face-to-face 66:5
 127:20 129:12 185:15
facilitating 363:8
facilitation 323:20
facilitators 209:10
 300:18 338:15 393:13
facilities 224:2,12
 226:16 248:4 381:16
 381:19,20
facility 63:15 136:4
 197:11 210:1 244:19
 248:2,10 351:8
FACS 2:7
fact 46:7 97:5 111:13
 112:16 130:19 142:8
 142:18 143:1 181:22
 202:13 241:10 250:5
 250:13,15 264:4,9
 273:7 324:3 333:6
 347:4 360:2 368:22
 369:11,12 370:2
 381:18 393:21
factor 158:7
factors 107:18 142:16
 173:1 262:10
faculty 301:5,6
fail 157:6 355:5 380:21
failed 347:7,8 354:22
 357:17
fails 46:1 177:16 180:1
failure 342:2 391:1
failures 266:17
fair 86:17 237:20
fairly 74:9 139:13 162:6
 230:4 349:19
faith 151:17
fall 11:21 33:21 84:6
 123:11 131:21 134:6
 139:15 141:17 183:3
falls 45:1 165:2
falsely 244:6
familiar 24:3 231:22
 232:3 252:22 357:10
familiarity 325:1
family 112:1
fan 151:14
fancy 272:19
fantastic 6:1
far 40:20 42:21 49:8
 52:1 117:13 246:1,6
 259:4 279:7 336:19
fashion 133:6
faster 103:7 116:20
favor 327:17

FCCP 3:8
FDA 392:11
FDA-approved 333:12
fear 94:10
feasibility 27:14,15
 33:11 76:10 95:18
 96:10 97:1 98:22 99:2
 99:10,15 100:3
 127:11,14 131:14
 133:18 134:9,13
 135:3 203:9,13 204:2
 204:5,10 215:19,21
 215:22 216:1 217:3
 277:14,15,22 278:3,8
 363:1 365:10 368:12
 368:14 369:6 384:17
 385:4,7 388:19,22
 392:4,18
feasible 128:9,9,12,20
 129:3 130:6 133:13
 216:15,16 374:20
feasibly 267:4
February 89:11
fed 251:1
federal 20:21,22 45:5
 95:4 104:16 114:13
 130:13 280:19
feedback 24:11 28:3,3
 28:3 34:19 73:12,13
 144:8,17,20 205:5,8
 208:22 218:7,8 222:7
 299:8 375:4,17,19
 401:12,13 402:4,7,10
feel 82:22 89:3 92:1
 154:11 166:1,10
 233:1 236:3 256:14
 300:7 311:17 376:22
feeling 156:13 181:10
feels 180:7
feet 330:9
fell 123:3
Fellow 1:14
fellowship 14:22
felt 171:13 239:15
 243:20
fewer 71:18 270:6
FIDSA 2:9
field 37:22 77:13 80:4
 97:4 233:21 262:3
 287:18
fields 99:4 370:4 374:9
fifth 296:9 372:11
Fifty-five 48:18
figure 77:14 94:18
 318:21 359:13
figured 67:18
fill 207:19
filled 10:1 23:13 131:15

400:4
final 54:13,19 63:3
 67:12 72:22 73:4
 100:9,10 101:18
 120:22 134:22 136:6
 136:20 137:1 177:12
 189:2 190:14 194:4,6
 200:1,4 204:16,19
 206:19,22 207:2,11
 211:14,16 215:16
 217:10,13 219:2,5
 220:1 228:4 229:22
 247:12,15 256:8,11
 268:19 277:11,13
 278:14,16 282:1,3,5
 284:11 289:21,22
 336:7,9 337:21,21
 338:1 345:13,15
 346:15,17 362:16,18
 366:1,3 392:21 393:2
 395:2,4 396:8 397:9
 403:10
finally 6:4 36:12 38:7
 130:9 149:17
financial 11:3,3,6
financially 327:9
find 139:9 164:7 167:9
 370:15 372:19 380:19
 386:7
findings 135:11,12
fine 104:4 112:5 144:9
 156:13 157:7 204:4
 230:16 245:19 334:4
 394:12
finish 196:3,7 399:3
finished 30:10
finishing 14:22
first 6:14 7:17 10:4
 20:12 21:14 23:4 27:4
 28:9 32:17 35:14
 36:16 43:6 55:13 64:1
 74:11 82:11 91:7 92:5
 137:22 138:21 141:3
 147:3 152:9,22
 159:12 160:15 163:11
 169:6 208:15,16
 209:14 216:13 231:6
 231:11 236:2 273:16
 287:11 295:3 304:10
 310:15,18,22 340:13
 352:16 353:4 354:20
 358:12 398:11 402:21
fit 364:16 387:15
fits 372:18
five 103:10,11 130:1
 148:21 392:7
five-year 155:5,17
flip 104:4

float 85:18
 floor 1:8 232:6 236:5
 florid 326:14
 flow 372:18 386:1
 flu 40:7
 fluid 297:12 306:8
 311:6 312:21 313:9
 315:4 317:6 340:9,19
 358:6 370:15,22
 375:21 376:9 380:11
 380:15 381:11 391:11
 fluidity 333:22
 fluids 312:14 322:21,22
 340:10,16 341:6
 380:9,22 385:20
 focus 53:20 166:16,19
 224:18
 focused 30:19 95:17,21
 96:9,17,21 126:7
 138:15 140:2 363:22
 376:21
 focusing 92:16 165:8
 fog 231:17
 folks 38:12,20 39:13
 41:17 43:20 50:1
 70:14 82:2 89:6 92:1
 115:7 139:8 147:19
 149:15 155:3 213:15
 214:4 221:9 223:10
 255:20 268:14 291:16
 312:13 380:4
 follow-up 49:2 152:4
 172:4,9
 followed 147:16 331:12
 following 29:16,20
 63:22 295:17 402:17
 403:12
 food 5:17
 force 180:5,8 187:11
 forced 321:4
 Ford 3:14 4:19 20:6
 295:8 300:4 373:17
 foremost 36:16
 forget 332:19 381:15
 form 10:1 221:19 309:2
 320:15
 formal 266:20 292:11
 format 105:15 106:8,13
 108:18 110:18 114:4
 114:20 132:4
 formatted 110:18
 forms 347:13
 forth 238:11 241:22
 272:20 302:6 336:22
 fortunately 387:7
 Forum 1:1,8 294:19
 forward 6:17 8:13 9:1
 175:1 177:7,21

189:18 207:14 219:10
 219:15 220:12 224:21
 264:2 286:3 291:13
 312:2 336:12 356:18
 366:16 394:9
 Foster 3:4 221:7 243:8
 249:1 251:21 259:9
 264:22 273:15 284:20
 found 66:21 71:15
 250:5 271:11 322:6
 foundation 297:19
 foundational 305:4
 four 20:10 59:8 63:4
 73:5 130:1 134:16,22
 136:14 139:6 152:18
 155:14 168:4 191:7
 204:11 206:12 217:4
 218:16 247:7 282:17
 301:21 310:13,22
 333:13 336:3 337:17
 340:14 345:4 346:11
 353:4 371:17 384:19
 384:19
 four-hour 387:6
 fraction 69:20,20
 frailties 249:17
 frame 318:1 324:22
 framework 38:11
 399:10
 Francisco 371:14
 frankly 254:18
 free 377:9,12
 frequencies 166:17
 frequency 4:11,14,15
 20:7 93:15 138:9,14
 146:3 148:16 154:16
 158:8 167:12 169:14
 170:22 172:16,19
 181:3 183:20 188:18
 202:1 213:4
 frequent 153:12
 frequently 44:10 147:7
 147:14 148:8 158:3
 fresh 291:7
 friends 92:10 112:14
 front 25:4 37:13,18 38:6
 59:12 84:21 160:17
 167:5 235:12 240:12
 360:19 378:10
 frontier 110:10 118:16
 FSHEA 2:9
 FTE 371:16 373:20
 374:11
 FTEs 371:8
 full 7:18 14:9 112:10,16
 151:17 159:8 195:19
 220:8 353:1 356:6
 full- 384:19

full-time 384:21,22
 function 30:13 31:4
 funded 12:15
 funding 151:22
 fungal 329:6,15 330:17
 funnel 271:2,16 272:5
 272:13 273:3
 funny 182:17
 further 57:12,16 72:11
 177:6 178:12 224:6
 257:15 267:9 284:15
 298:5 306:16 323:20
 342:16 356:3,6
 368:10 393:12 397:14
 future 87:12 104:18
 110:16 112:15 115:4
 134:1 135:10 302:4

G

gain 53:11 164:1
 game 94:6
 gap 26:9 32:10,20
 42:10,12 44:21 57:11
 57:13 58:9,21 59:8,15
 59:19,20 60:6,8,11,12
 60:15,16,20 61:7 62:7
 62:11,12 115:21
 116:11,14 135:16
 149:8 150:22 189:19
 191:3 193:10 228:7,9
 238:14 239:2 240:10
 240:16 246:20 247:6
 295:16 299:19 323:4
 336:17,20 337:16
 gaps 20:7 23:2,10,12
 24:1 38:8,18 115:19
 116:7 145:9 163:9
 193:4 209:16 336:13
 337:6 399:8,20 400:3
 garnered 73:14
 Gary 259:10 260:5
 267:14
 Garzaro 2:1 13:11,11
 51:13,19 64:10 65:11
 65:16 67:9 89:17
 115:14 117:2 118:5
 121:6 127:12 135:6
 136:6 156:6 162:8,18
 184:3 186:10,18
 235:15 248:17 293:5
 293:5 341:12 385:8
 388:6 391:3
 gather 175:4 395:8
 396:1
 gathered 201:14
 gender 192:3 210:15
 genders 119:5
 general 1:14 2:15 9:20

14:21 30:15 44:2 53:9
 88:2,5 138:18 170:22
 172:3 259:12 266:13
 266:14 267:2 275:9
 305:13 310:5 317:18
 322:20 336:20 355:21
 364:13
 generalizability 275:5
 279:8
 generalizable 223:20
 224:5 274:15 278:21
 generalize 276:14
 generally 41:16 68:16
 70:3,20 71:14 139:8
 generate 124:2
 generated 99:3 104:20
 203:11
 generating 187:17
 geographic 191:8
 geography 198:5
 Gesten 3:4 221:7,8,11
 243:8
 GESTERN 249:1
 252:15 254:12,16,19
 255:4 257:6 259:8
 266:16,22 268:7,10
 273:15
 getting 43:21 47:9
 71:15 78:4 79:15 87:6
 89:22 90:15 96:22
 106:22 140:9 144:8
 147:7 148:20 149:2,4
 149:5 156:9 161:6
 163:7,8 254:2 270:22
 292:15 304:5,9
 319:15 328:17 340:19
 379:9 386:13 391:1
 give 5:8 31:18 47:14
 53:11 55:11 104:11
 173:4 174:20 182:15
 221:5 282:19 306:8
 322:21 368:3 371:21
 398:8,21 399:5
 401:13,14
 given 49:6 52:11
 191:17 268:10 285:3
 287:12 303:16 307:22
 311:3 323:1 376:9,9
 381:11,11 384:9
 391:5
 gives 80:5 112:18 123:2
 giving 184:20 317:18
 glad 357:8
 global 76:14 79:2,20
 320:11
 globally 184:15
 goal 29:7 141:3 162:11
 231:14

goal- 313:17 322:8
goal-directed 296:18
 313:19
goals 29:8
God 236:12 285:3
gold 78:14 211:21
 341:20
Goldman 300:22
Goldwater 103:4
gotten 151:19 361:9
govern 310:14
government 389:18
gown 304:5
grab 194:12 195:18
grade 306:20,22 307:2
graded 168:19 169:13
grading 168:3 169:1
 174:9
grand 187:4
grant 11:20 205:7 218:7
granular 45:8
graphic 107:20
grappling 235:9
grateful 8:9
gray 179:9,10 367:18
greater 33:19 68:17,22
 69:1,2,12,13,13,22
 70:4,11,12,13,18
 161:2 301:21 326:12
 340:14 401:6
ground 30:5 330:10
 331:8
group 16:10 21:5,6 23:9
 64:9 73:11 74:1,3,6
 76:8,9 86:4 107:10
 124:5 130:14 131:15
 162:7 175:14 201:7
 234:5 238:19 258:6
 259:11 274:14 288:19
 313:19 343:13 346:2
 349:3,4 357:17,18
groups 58:12 59:2 74:5
 224:15 313:17,21
 314:11,17
guarantee 276:2
guess 16:22 20:15
 39:21 47:2 60:9
 158:15 166:9 175:13
 244:21 253:12 278:19
 292:20 301:3 314:19
 341:4 348:19 349:10
 350:5 382:22 383:5
 390:17
guidance 26:8 75:6
 95:16 158:13 182:16
 198:10 202:10 299:15
 302:1,3 343:3 351:13
 380:18

guide 80:16
guideline 145:13 166:7
 167:11,12,20,21
 168:9,11,18 169:18
 169:22 170:1 324:3,5
 324:8
guidelines 15:22 16:1
 26:5 40:19 41:13,13
 145:12 146:4,8 147:6
 147:13 154:15 158:15
 158:20 159:12 160:11
 160:12,14,17 161:3,8
 167:6,6 169:20 170:3
 196:17 200:15 231:12
 304:13 305:3 306:19
 307:6 308:15 324:11
gut 306:8

H

habit 384:1
half 214:12 263:4
 303:10 319:21
Hammersmith 2:15
 9:17,19,20 12:19
 14:16 15:3,10,16
 16:17 17:2,9,18,22
 18:3
hand 7:22 30:13 31:4
 56:8,8,16 57:22 58:5
 62:4,13 69:10 84:22
 143:8 184:12 220:16
 259:10 274:1 292:18
 294:3 300:17
handle 313:8 352:15
handled 213:16
handout 23:6
handouts 23:1
hands 62:10,11
hanging 398:12 404:5
happen 90:1 110:16
 184:2 189:17 236:19
 321:11
happened 110:11
 124:18 186:9 188:11
 214:10 321:1,18
 353:5 360:2
happening 46:22 164:9
 320:6 360:10 388:2
happens 5:21 68:5
 321:5 353:21,22
 355:9 388:9,14 401:3
happy 239:7 263:19
hard 7:7 36:22 46:11
 71:19 142:20 143:2
 159:7 229:15 273:18
 274:12 311:17 399:19
harder 79:14 129:2
harkens 93:13

harm 386:11
harmonization 107:6
harmonized 209:2
harmonizing 110:13
harms 100:18 218:3
Hart 2:4 16:18,19,19
 55:2,3,9,22,22 56:22
 59:10,10,16,19 62:17
 62:17 72:17,17 98:17
 99:18,18 101:14,15
 102:6,6 119:21,21
 120:4 126:22,22
 127:4 134:19,19
 136:17 137:7,7
 176:14,15,17,19
 177:9 179:13,13
 188:21,21 190:2,7,9
 190:10,12,12 194:1,1
 199:5,5 204:13,13
 206:15,15 207:9,9
 211:11,11 215:11,11
 217:7,7 218:19,21
 219:20,20 228:2,2
 247:10,10 256:6,6
 277:8,8 278:11,11
 281:18,18 289:20,20
 293:17
Hartford 2:7 17:6
Harvard 14:6,7,22
Hawaii 157:5
head 139:8 331:9
health 1:16,20 2:6,10
 3:2,3,5,10,14 4:17
 13:4,18 14:8 16:11
 17:14 21:9 35:17
 36:18 43:14 45:11,12
 46:8 47:10 48:11,12
 50:10 56:12 81:12
 82:1,3 91:18 99:5
 106:7 109:13 118:6
 128:2 130:7,17,20
 131:2 135:15 147:6
 160:11 162:15 165:9
 165:16,16 168:14
 169:8 172:22 173:3
 187:14,19 220:21
 221:21 222:22 232:15
 238:17 242:10 273:8
 290:4 347:19,21
 374:1,6 379:15
healthcare 2:3,8 14:2,5
 17:6 46:12 56:13 81:9
 224:1 226:16,16
 339:12 357:12 358:12
 374:8
healthy 236:10,11
hear 12:1 19:2 35:14
 102:12 116:17 122:6

143:14,15,16 144:9
 144:14,22 145:1
 159:13 166:3 194:17
 197:5,7 221:9 294:6
 294:10 339:4 348:11
 348:17 359:11 378:14
 378:15 392:9 395:14
 395:16,21
heard 8:16 174:4 212:2
 231:16 256:16 287:16
 321:7 352:12 380:4
 402:1
hearing 57:20 193:7
 203:6 215:4 216:22
 218:12 219:14 349:12
 383:3 389:7 398:7
heart 232:6 267:18
 391:1
heavy 180:6
heels 245:21
Helen 2:14 9:11 78:8
 79:13 85:2 118:18
 183:18 265:12,17
 268:8 280:22
Hello 16:19 230:12
help 25:6 30:20 115:7
 245:15 330:9 342:7
 342:16 371:3 392:12
helped 111:4 222:20
helpful 61:13 185:19
 191:19 220:11 271:18
 273:4 287:16 335:15
 349:6 355:22 364:6
 377:8,17 380:1 402:8
helping 220:11
helps 16:11 107:5
 111:17 270:12 327:13
hemodynamic 364:1
Henry 3:14 4:19 20:6
 295:8 300:4 373:17
Hepatitis 22:15
herpes 329:2 331:14
Hey 246:14 350:14
HHS 41:13 158:15,20
 288:12 391:19
hi 6:18 9:2 12:7,21 13:3
 13:11 15:12,18
 102:10 136:17 141:18
 144:13 190:22 193:14
 195:16 206:17 221:7
 225:13 226:13 238:2
 240:3 302:22 315:15
 323:22
higher 50:21 68:16
 70:20 77:16 263:15
 268:3 316:20 348:10
 350:4
highest 95:12 119:19

120:6,8,13 126:18
 127:18 176:11 198:8
 211:8 215:8 216:6
highlights 104:8 235:4
highly 241:11 305:2
 378:3
highly-documented
 389:16
hinting 282:6
histogram 268:22
 272:19
historical 359:19
history 327:12
hit 50:20 104:10 152:6
 266:5
hitch 378:20
hitting 43:2 125:3
HIV 4:7,10,11,14,15 8:7
 12:10 17:1,15 20:6,7
 20:8,9 21:17 26:3
 36:19 37:1 38:10,11
 38:17,21 39:19 40:16
 41:13 42:20 43:7,13
 43:17,22 44:12,14,17
 49:14 51:4 80:20
 82:15,18 83:14 86:21
 90:21 91:19 92:3
 94:15 105:18 107:17
 109:3 110:3,14 113:8
 113:10 116:3 117:6
 117:11 122:15 139:17
 139:20,21 140:7,9,12
 140:15,22 142:11,13
 145:16 146:4 149:1
 153:11 154:22 158:16
 160:9,10,14 161:21
 162:20 163:5,6,16
 166:9 167:6 181:3
 184:17 191:4,6 196:8
 198:2 202:1 213:3,13
 220:5 399:3 403:19
HIV/AIDs 8:6 12:15
 19:13 22:16 35:18
 36:3,13 37:3 205:4
Hm-mm 325:14
HMO 186:19
hoc 376:5
hold 93:16 146:2
 183:14 184:1 227:10
 237:18 292:9 304:16
 393:19
home 82:3
homeless 81:11,13
 90:19
honest 339:7 347:9
hope 9:5 67:19 106:21
 107:5 195:17 308:20
 318:15 331:6,17

376:1
hopefully 110:4 115:2
 191:1 287:5 392:6,12
hoping 195:10
hospital 1:15,21 2:10
 4:19 14:6,21 15:20
 20:6 150:15 222:8
 225:21 236:1 248:16
 250:5 252:11,12
 255:8 260:12 261:7
 269:1,6,20 270:2,4,9
 272:15 276:3 280:6
 284:2 295:8 296:5,10
 296:16 299:5,11
 300:4 303:16 304:8
 314:14 324:12 327:5
 341:18 371:7,13,15
 372:11,11 373:12,19
 375:5 377:9 378:2,13
 378:15
hospital-acquired
 14:12 254:13 255:11
 391:4
hospitalist 381:22
 382:3 385:18 387:3
hospitalization 296:14
hospitals 79:5 118:16
 221:18 223:7 224:3
 230:2 234:1 240:1
 249:5,5,20 250:18
 251:2 253:19 256:19
 258:8 267:5 269:7,18
 270:15,16,16,17,18
 271:3 272:22 273:22
 274:4,10 276:19
 279:15 282:14,20
 299:4 303:17 304:16
 327:9 337:9 353:19
 354:8 358:22 371:20
 374:15,17 375:5,17
 381:21 382:9 384:19
 385:17 388:8,9
 391:14
hounded 378:7
hounding 378:11
hour 302:14 303:9,10
 303:14,18 304:10,13
 304:17 317:5 324:11
 378:9
hours 235:22 297:14
 301:13,14,15,19,21
 302:17,18 314:14
 317:11,12 325:6,13
 328:18 333:18
house 382:1
HQMF 110:19 114:1,20
 121:10
HRSA 3:2,3,11 4:7,10

4:11,14,15 7:2 12:15
 19:13 20:10 37:4
 42:19 87:13 88:4
 111:5 112:14 115:7
 115:10 122:10 160:8
 205:3
HSMR 271:12 282:9
hug 231:16
huge 151:14 272:22
human 147:6 160:11
 312:1
humble 74:9
hundred 57:9 91:15
 101:20 102:20 137:9
hurdles 327:3
husband 90:3
hydrated 391:2
hygiene 184:12
hyper 152:1
hypervigilant 377:1
hypoglycemia 389:15
hypotension 301:20
 318:3
hypotensive 340:11,19
 386:16
hypothesis 349:17
 350:1
hypothesize 315:7
hypothetically 360:1

I

i.e 310:2
IAPAC 145:12
ICD-10 64:5
ICD-9 64:5
ICU 249:13 254:4
 275:10 311:15 330:20
 382:5
ICUs 275:16 314:14
ID 172:15 391:22
idea 109:13 122:2
 223:22 255:10 284:16
 339:3,21 362:4 371:5
ideal 77:3 304:9
idealized 111:10,12
 114:18
ideally 76:22
ideas 389:6
identical 359:17,20
identification 274:21
 297:1
identified 23:12 75:10
 100:19 145:14 202:8
 205:15 250:12,21
 251:13 299:18 330:16
 343:5 400:3 403:19
identifies 330:15
identify 24:1 107:7

221:19 249:6 274:7,7
identifying 249:17
 250:20 274:17
IHI 76:14 299:17 339:15
III 327:2,6,17
ill 150:15 358:2
illness 341:1 342:8
 357:22 358:8
illustrate 238:17
illustrated 127:13
imagine 302:4 303:19
imipenem 390:10
immensely 129:21
impact 225:22 226:17
 227:4 258:14,20
 319:18 332:12 373:13
 378:19,21 385:5
impacted 258:19
impacting 89:4
impacts 16:3 227:1
imperfect 234:21
 287:10
implement 76:11 209:3
implementation 79:15
 100:19 226:22 276:14
 319:13 337:10
implementations 222:3
implemented 273:10
 337:9
implementing 53:15
implements 16:13
 377:19
import 122:20
importance 25:12
 32:18 55:14,19 56:10
 58:8 76:9 401:21
important 6:9 7:8 53:19
 79:13 85:11 86:19
 104:10 113:13 154:14
 168:12 171:13 174:18
 184:14 188:1 225:8
 230:21 233:17 243:14
 243:21 246:5 255:2
 259:14 260:1,20
 262:16 266:6,14
 286:7 287:3,17
 297:17 305:2,5
 310:11,19 314:16
 329:1 330:19 331:16
 332:9 334:14 351:21
 364:14 367:15 370:20
 372:22 373:1,3
 378:18 402:10
importantly 298:2
imported 122:21
impossible 276:3 278:1
 321:2 370:21
impression 47:9

improve 42:16 52:16
 77:18 131:9 160:2
 225:9 267:5 268:1
 279:1 298:17,18
 386:21,22
improved 77:17 156:2
 299:20
improvement 1:14 2:3
 14:5 15:1 36:20 38:8
 38:22 42:13 47:5,18
 53:16 61:8 76:12
 77:14 78:21 79:1,11
 88:12 100:17 191:17
 191:22 192:6 193:5
 218:6 222:7 294:21
 300:2 322:20 323:5
 336:14 339:13 357:12
 358:13 373:14 377:5
 379:14,18,21
improvements 41:7
 47:8 80:6,7
improving 36:18
 299:21 300:10 305:13
 310:6
impugning 287:20
in- 64:13
in-person 12:5 65:18
 403:8
inadequacies 233:6
inadvertent 112:1
inappropriate 302:21
inappropriately 387:22
incarcerated 213:15
 214:9
incarceration 214:5,20
incentive 135:10
 217:21
Incidence 391:3
incidences 295:18
include 24:12,12 50:1
 65:1,7 141:5 145:8
 184:22 237:4 238:11
 258:9,21 259:17
 261:18 262:1 264:18
 269:5,22 270:9
 301:15 368:15
included 65:17 68:14
 72:2,7 123:22 130:15
 132:18 198:1 210:11
 221:17 223:6 229:7
 238:7 249:21 251:4
 251:16,17 259:6
 266:12 312:1 315:21
 315:21 316:3,10,15
 317:1 324:11
includes 24:4 63:19
 117:10 252:10,12
 257:2 272:20

including 12:10 16:1
 49:16 64:13 65:3,6
 100:15 155:20 210:14
 222:3 223:5 241:16
 250:16 319:22 336:21
inclusion 83:4
inclusive 38:16
incorrectly 250:2
 251:13
increase 133:18 149:15
 153:18,20 154:9
 239:11 296:10,12
 344:6
increased 26:9 27:17
 28:7 191:14 236:9,10
 244:12
increasing 287:13
 299:6 375:22
increasingly 130:19
incredibly 71:17 154:14
 164:7 172:4
increments 317:20
incurred 358:22
independent 283:6
 284:8
independently 79:4
 243:6 307:16
indicate 202:7 383:22
indicated 40:9,18 73:14
 99:6 197:22 202:12
 202:14 210:8 216:13
indicates 139:12
 262:22
indicating 40:12 67:1
indication 313:7 315:6
 320:1 347:17 358:16
indicator 75:8 200:17
indicators 84:17 198:5
indiscriminately
 387:21
individual 10:19 28:20
 41:15 45:13 46:11,13
 48:2,11 68:13 70:9
 120:16 124:6 125:2
 142:10 151:13 172:20
 252:17 330:14 352:1
 367:13
individually 37:11
individuals 28:13,22
 30:11,22 43:2 80:20
 81:13 86:22 151:9
 152:3,10 154:21
 155:13 162:7,22
 164:22 213:21 249:8
 250:15 251:3 293:13
industrialized 320:22
inequities 39:3
inexperience 280:16

infected 157:2
infection 2:10 49:14
 51:4 254:7 255:1
 329:15,21 330:16,17
 342:12 343:7 383:12
 384:3 386:6,7
infections 14:12 139:20
 329:16
infectious 1:3 2:1 5:4
 7:6,17 13:1,12,19,22
 19:11 23:8,16 24:1
 36:1 43:16,20 141:22
 232:2 294:20 399:12
inferences 351:16
inflammation 386:6
inflammatory 241:7
influences 359:3
 360:21
influencing 320:7
influenza 241:7 328:15
informaticists 131:18
informatics 127:16
 216:3
information 27:9,21
 42:20 61:12 88:13
 89:8 97:2 122:18
 132:8 145:5 196:15
 215:21 221:21 249:20
 251:1 274:18 285:21
 315:11 352:13 355:15
 361:9 374:14 388:3
 396:1 397:14 400:15
infusion 380:13
ingredient 243:14
initial 31:22 150:12
 205:6 251:14 267:20
 301:16 332:2 348:20
 353:17
initially 250:17 303:12
 312:20 334:12 375:7
initiative 221:16 243:13
 243:20 244:4 245:2
 295:9
inliers 282:21
innovations 187:12
innovative 280:17
inpatient 4:16 220:19
 227:1 254:22 288:22
 295:22 296:16 298:5
 324:16,21 372:8
 375:7
input 89:3 106:11 114:2
 223:5 277:17 395:8
inquiry 273:3 372:19,20
insight 34:19 298:6
 352:21
installation 109:19
instance 70:16 81:11

108:12 112:11 113:18
 114:22 124:7,16
 132:1,21 140:12
 147:15 175:13 237:8
 326:7
Institute 2:3 3:19 14:5
 299:17 339:12 357:12
 358:12
institution 225:6
 233:11 252:17 259:16
 281:4
institutional 279:5
 280:13
institutions 235:8
 243:5 245:12 285:19
 285:20 286:13 372:21
instructions 54:9 248:1
 249:15 251:2
instrumental 223:3
insurance 46:15 90:18
 158:5 191:8
insurer 128:22
insurmountable 279:20
intellectually 321:2
intend 53:3 255:5
intended 92:7
intense 158:17
intensivist 15:20 17:7
intent 83:10 92:15
 136:3
intentional 88:7
intentionally 264:11,18
inter- 240:8 280:12
inter-rater 235:5,9
interact 94:10
interaction 127:20
 128:1,14 129:12
interactions 53:8
interest 4:3 9:18,22
 10:13,22
interested 11:2,5,8,14
 11:19 349:11
interesting 87:5 142:4
 172:14 255:13 285:1
 351:8 391:15
interestingly 111:1
 295:12
interference 285:17
intermediate 87:7
 168:15 169:8
intermittent 42:4
internal 5:14 93:2
 340:21
internally 253:21 305:7
international 253:13
 275:9 276:10
internationally 287:14
internet 62:2

internist 43:11
interpret 235:18
interpretation 85:4
 96:21
interpreted 242:18
interrupt 51:21 382:14
interruption 292:10
interval 269:22 270:1,8
 270:8 271:6
intervals 269:16 272:19
intervention 56:14
 230:21 312:16
interventions 225:9
 243:16,17 244:14,18
 259:18 295:11 320:7
 333:21
interviews 372:17
intrinsic 170:21
introduce 8:15,17 10:7
introduced 246:1
 280:19 330:4
introduction 4:4 19:7
 31:19
introductions 4:3 7:18
 9:18
investigating 93:8
 387:13
investments 379:16
involved 112:3 146:7
 275:8 292:4 319:7,22
 347:14 357:20
involves 286:20
iPhone 51:16
IPRO 3:17 251:20
IQR 289:1 353:16
irrespective 341:22
issue 50:6 84:22 86:1
 86:12 93:7,8 112:3
 216:12 229:22 239:6
 249:3 309:18 362:6
 388:19
issued 130:21
issues 46:15 62:3 66:9
 85:18 89:5 95:2
 153:11 249:18 253:2
 261:14 375:10 388:20
 389:16
Italian 275:16
ITD-10 327:7
ITD-9 327:4
item 140:19
itemize 308:11
items 379:7
iterated 282:16
iteration 231:4
iterations 223:4

J

jails 213:21
JAMA 232:22 295:14
 321:21 333:14
Jamie 2:8 13:3 116:18
 169:16 223:15 225:2
 231:9 255:20 281:1
 293:3 315:14,15
 317:15 370:10 384:15
 394:21
January 150:1,1
Jarmin 283:8
Jason 21:6 103:4,19,21
 103:22 113:20 114:8
JD 2:15
jealous 157:5
Jeff 17:13 55:2,22
 56:22 59:10 62:17
 72:17,19 99:18,20
 101:11,14,14 102:9
 119:21 120:19 126:22
 127:2 134:19 136:17
 137:7 141:18 157:19
 175:20 179:13 186:5
 188:21 190:7,9,10,10
 190:12 194:1 199:5,6
 204:13,14 206:15
 207:9 211:11 215:11
 217:7 218:19 219:20
 228:2 247:10 256:6
 277:8 278:11 281:18
 289:20 293:17 345:9
 395:15 396:12 398:2
 398:3
Jeffrey 2:4,6 16:18,19
 59:19 176:14,15
 293:18
Jersey 8:4
Jess 102:6
job 29:20 119:1 254:3
join 195:11
joined 35:19 36:4
 103:22 290:4
joining 8:20 9:4 220:10
 223:16 268:14
joke 113:21 347:22
Joseph 13:4
journal 239:16 388:12
journals 388:3
judgments 373:6,10
July 403:8
jump 152:13 243:9
 260:6
June 402:21 403:4,7
jurisdiction 65:2
 162:21 164:10
jurisdictional 47:1 48:5
jurisdictionally 46:9
justifying 375:1

K

Kaiser 2:1,4 13:13
 16:20
Kathleen 1:19 13:15
 133:10 143:9,19
 146:11 154:3 156:7
 190:20 192:9,11
 193:3 197:1,3 198:15
 202:18 203:7 209:12
 211:18 215:18 216:19
 217:15 218:10 230:7
 235:21 272:3 273:5
 293:6
Kathy 3:17 251:19
keep 23:22 30:19 32:12
 62:11,13 81:19 104:3
 104:5 108:13 146:10
 159:16,16 186:21
 188:2 224:5,20
 246:13 258:12
keeping 153:22 299:16
Kettering 1:18 15:8
key 104:22 108:19
keypad 194:21
Keystone 319:11
kick 197:2
kidney 343:10 344:3,7
kill 182:4 401:19
kilogram 311:6 313:4
 380:11
kinds 233:13 249:17
 270:15 271:7 272:2
 356:10
knew 245:3
knowing 236:14
known 49:14 79:22
knows 159:5
Kumar 303:12

L

lab 15:7 122:17 133:6
 383:9
laboratories 170:4
laboratory 41:14
 132:22 172:7
labs 65:8 147:8 156:9
 156:10,20 157:6,22
 158:2,22 159:16,17
 170:5,9,17
lack 83:22 337:10 386:4
lactate 301:16,21 302:1
 306:7,11 307:8,9
 311:2 326:15 340:12
 341:17,19,20 342:5,9
 342:13,14,21 376:8
 381:12 383:21 384:1
 385:21,21
lactates 326:12

lactic 342:11
landscape 297:3
Lane 2:4 13:21,21 40:6
 42:9 63:12 66:16 73:8
 99:1 100:12 293:7,7
lap 253:12
large 59:14 68:3 71:8
 229:13 230:4 308:10
 379:18
largely 40:18
largest 295:22 296:9,10
 327:3 372:7,11
las 208:14
lastly 278:17
latch 286:11
latest 133:1
Laughter 62:19 86:7
 127:6 291:3 301:10
 348:3
launching 160:13
Laura 1:21 3:3,12 15:16
 15:19 35:22 36:11
 40:4 46:6 64:8 67:8
 84:9 87:22 88:3 94:12
 99:8 141:9 172:2
 199:10,15 206:17
 214:6 226:12,14
 238:1 292:3 293:16
law 118:6
lay 363:11
lays 344:5
lead 31:20 35:1,1 40:3
 115:12 121:4 139:16
 141:6 143:20 223:12
 223:13 283:9 301:2
 353:8 363:11
leading 7:16 8:11
 196:21 298:18
leads 228:11 277:15
 301:8
learn 288:8
learned 237:16 275:17
leave 6:5 18:4 87:14
led 305:14
left 31:7 106:8 264:11
leg 370:5
legacy 20:13,16,18
 104:13 108:3,14,20
 112:12,15 114:11
 116:11
Lemeneh 3:15 331:19
 375:2 376:13
Lemeshow 3:6 260:9
 260:10 263:9 269:4
 272:8,12 275:6,7
 284:22,22 285:18
 286:15,18
length 147:9

let's 56:7 63:7 90:7,7,8 107:8 113:7 144:17 145:19 193:8 200:6 204:21 215:5 217:1 218:13 227:20 246:10 246:11 322:13 323:12 332:18 336:12 341:14 345:16 346:8,18 362:1 368:11 380:9 393:19,22 394:11 letting 112:5 Levaquin 386:15 level 22:22 41:10 45:3,7 45:10,13 46:8 47:1 48:2,5,22 53:11 63:14 63:15 68:2 70:9 77:16 78:12 120:9 136:4 145:17 164:8,11 197:11,20,22 209:22 210:1,6,8 211:20 222:8 248:3 252:17 260:7 261:14 279:5 281:9 302:1 305:19 305:22 306:2,11,12 308:1 313:10 316:21 320:1 331:8 341:19 341:20 351:7,8 353:1 353:11 354:5,10,12 354:18 355:11 370:14 378:15 leveling 233:20 levels 46:10 67:5 349:14 389:18 Levy 3:8 232:14,14,19 242:5,5,20 264:10,22 265:6,8,10,15,21 267:1 286:14,14 287:2 288:2 301:6 Lewis 2:6 17:13,13,21 18:2 99:19,20 101:11 101:11 102:9,9 120:5 120:10,19,20 141:18 141:18 157:18,19 175:20,20 186:4,5,16 187:2 190:11 293:18 362:10 394:12 395:14 395:15 396:6,12,12 396:19 398:2,3 liability 250:10 liberal 271:7 Library 110:6 licensed 65:2 life 36:18 119:8 154:1 175:3 230:6 light 260:16 likelihood 132:9 303:17 304:10 361:17 Likert 77:4	Likewise 199:16 340:17 381:1 limit 29:5 258:18 292:22 limitation 262:1 limited 225:3 308:4 line 35:19 41:17 95:4 100:2 125:2 165:5 171:16 199:20 207:22 268:18 277:1 318:22 319:4,5 393:17 395:15 lines 104:1 124:6 194:17 lining 164:17 link 47:11 148:18 244:18 351:11,12 linkage 40:13 linked 364:15 links 31:6,8 225:20 Lise 271:11 list 77:1 107:3 366:20 367:12 368:3 400:2 listed 107:8,11 226:19 307:17 320:12,16 330:13 listen 284:14 listening 144:18 lists 110:8 liter 313:2 literally 377:15 literature 14:14 26:17 222:14 226:21 227:15 227:16 239:15 259:22 302:16 303:9,11 310:13 316:10,17 317:21 318:5 359:17 359:19 liters 312:21 little 10:15 11:1 19:18 20:17,18 21:4 25:13 33:17 38:7 52:1 68:1 68:5 76:5,6 80:8 94:7 104:12 105:8 108:1 126:2 138:16 144:8 154:11 158:3 176:20 182:14,15,15,16,17 182:18,20 195:4 208:13 238:13 242:6 277:22 284:6 292:10 307:4 309:3 339:5 350:5 363:1 364:5 373:6,13 374:20 379:22 383:16 385:15 386:8 live 55:3 107:13,16 lived 6:2 liver 342:2	lives 230:22 240:21,21 241:2,17 242:16 246:8,18 living 8:7 17:1 36:19 38:17,20 80:20 82:18 83:14 95:4 116:3 140:14 145:16 149:1 154:22 161:21 162:20 163:6,15 213:13 load 4:7,10 20:8 37:15 41:15 42:7 44:16 49:5 49:7,15 50:2,14 51:5 56:10 63:16,17 90:8 91:3 94:4 100:17 117:6,7 123:14 142:2 154:16 155:11 160:19 160:22 161:6,11 166:20 170:1 loads 41:7,9 155:12 local 205:18 locally 227:3 location 140:11 logic 111:7,21 114:3,18 117:15,18 118:20 119:10 124:6 125:2 130:11 164:3 212:5 339:19 368:15 logical 338:10,14 logically 175:9 logistic 256:17 logistics 304:7 long 27:2,11 51:7,9 103:1 157:16 213:19 327:12 331:13 383:13 394:8 398:14 long-term 156:2 longer 22:11,18,19 46:19 139:11 155:19 164:16 260:20 318:3 364:1,2 longer- 139:11 longer-term 138:19 140:3 look 6:17 8:12,22 23:22 27:6 28:5 38:15 39:4 46:9,20 47:1 48:4 52:20 69:6 78:20 81:8 82:1 84:2 85:16 87:14 88:18 91:17 93:21 95:15 105:22 108:18 109:7 113:13,14 114:7,11 115:3 138:19 142:8,15 148:16 151:7,20 154:13 163:4 164:8 169:17 191:21 213:22 234:8 241:21 246:11 251:6 252:9,13	253:20 254:6,21 255:6 257:11 267:8,9 269:15 270:7 276:5 281:7 283:10,16 289:2 296:1 303:11 306:1 308:6 311:4 313:2 316:12 319:2 319:10 324:18 326:3 326:7 333:1 335:11 342:7 351:8,12 359:8 359:13,14 366:21 368:11,20 380:11,12 380:12,13 383:11 385:4,7 387:19 389:9 389:10 390:12 399:19 400:13 looked 6:7 20:2 21:13 22:2 46:5 50:4 67:3 109:3 132:19 139:10 142:1 145:6 155:4,7 169:11 172:5 247:18 252:15,19 255:5 257:13 268:11 315:7 318:9 389:17 391:16 looking 19:12 28:1 37:14 47:6 48:10 49:4 52:9,10,14 53:4 76:14 80:17 84:13 88:15 92:9 104:13 124:7 126:3 143:21 148:17 151:9 162:16,19 163:5 166:22 185:10 188:10 200:13 213:12 213:14 223:18 226:22 246:11 252:20 254:13 255:15 265:4 271:11 272:10 279:7 280:11 283:21 316:7 321:4 329:14 332:20 350:9 352:7 355:1 365:11 365:16,18 367:2 368:9 369:15 380:19 looks 79:8,9 85:13 112:20 113:20 159:6 262:18 263:3 271:17 287:22 319:8 328:19 345:22 391:13 loop 28:3 lose 22:7 losing 58:2 lost 22:12 55:3 77:19 99:19,20 172:4,9 176:20 184:19 242:6 lost-to-care 164:14 lot 8:16 13:8 23:18,19 23:20 34:13 42:19 46:14 53:19 74:10,13 76:16 77:3 82:2 93:19
---	--	---	--

103:7 118:15 152:8
208:22 254:3 258:7
259:3 266:7 271:21
276:16 277:19 278:20
283:1 285:5,21 287:6
298:16 302:16 305:16
308:4 317:20 318:10
336:16,18,21 361:3
364:12 368:21 375:8
383:21,22 384:4
399:16,17 400:3,3
402:1
lots 42:15 52:16 61:7
106:9 322:14,14
349:21
Louis 14:1
love 80:4 167:4 265:21
267:2 268:2 339:4
lower 41:6 70:14 81:10
129:12 192:21 245:14
283:11 347:5,6
lowest 307:9,10,10
lumped 317:8
lunch 29:3 194:12
195:5,8,18 197:3

M

MA 1:18 3:17
ma'am 194:19
magically 244:1
magnitude 379:17
main 94:16,20 324:1
385:9
maintain 22:12 110:8
maintaining 373:21
maintains 110:7
maintenance 19:22
21:14,19,22 22:1,3
25:14,17,20 26:10,12
26:18,21 27:1,16,18
40:10 97:17 137:20
143:21 144:1 182:1
197:15 294:2 335:2
351:3 396:16,16
major 145:22 191:7
379:16
majority 72:8 80:20
95:3 159:22 161:21
162:21 183:2 309:1
355:16
making 46:17 93:10
125:1,2 151:5 164:2
208:10 228:6 260:8
313:7 353:12 373:9
379:16,19 404:5
managed 140:13
management 4:19 20:5
43:13,18,22 293:22

399:22
Manager 2:6,20 8:19
9:15
mandate 233:19
Manny 231:12 307:18
309:13,15 311:22
313:14 324:15 330:5
332:22 342:4 359:5
manual 299:9 331:22
manually 123:1
MAP 85:19 86:1,2,3,10
135:9,12
mapping 126:9
March 1:5 206:14
402:16
Marcia 2:21 5:19 9:14
marginalized 80:21
213:14,14
Marie 76:6
Marinelarena 2:16 6:18
6:19 7:20 8:14 19:5
34:22 52:3 56:7,18
57:2,5,8,15,20 58:4
60:14,22 61:5,10 63:7
74:21 76:19,22 77:20
78:3,8 86:2,8 96:6,14
96:19 97:21 98:7,18
103:2 116:9 118:2,17
144:10 166:12 168:8
173:15 174:3,7,15
176:3 182:5,12 183:5
183:11 188:15 189:8
195:16 221:2 225:13
240:3,8 241:18 242:4
243:7 246:14 257:1,4
257:7 276:11 291:6
291:11 350:12,14,20
365:9,15 366:19
367:9,12,17 377:8
389:3 394:1,3,6,21
398:11 401:4 402:3
Mariner 36:14
mark 303:18
marker 39:18 41:17
markers 44:15
market 108:8
Marlene 3:10 7:2 35:17
91:9 138:1,3,12
146:18 160:6 167:9
201:3 206:1 207:18
214:14 220:9
Martins 3:9 36:10 122:5
122:8,9 126:7,11,13
129:7,7,9 131:11
133:20 208:1,4
Mass 14:21
Massachusetts 1:14
282:15

match 248:10
matched 125:20
matches 123:19
materials 31:6 349:5
mathematical 91:22
Matosky 3:10 35:16,17
39:12,20 41:12 43:5
45:9 49:11,18 50:17
51:1,7,11 52:21 53:1
64:18 65:20 66:2 68:8
69:18 70:3 71:5,14
74:6 82:8 84:8 87:21
91:6,10 97:12 122:3,7
129:8 133:19 136:3
138:8,13 142:3 147:1
148:10 150:9 152:21
154:4 159:11 160:7
161:13,17,19 163:2
170:3 178:8 184:22
185:6,9 201:5 207:21
208:2 214:14
matter 5:21 11:17
103:13 171:19 188:12
195:13 236:13 291:19
358:6 374:19 384:1
386:22 404:9
Matthews 36:4
Mauricio 2:17 8:16 9:3
54:7 67:16 173:13
176:2 189:14 198:21
204:8 207:4 217:1
219:15 335:21 336:11
362:12 392:15 394:15
397:1
max 68:21
maxing 91:21
MBA 2:21
MCCM 3:8
MD 1:11,14,15,19,21
2:1,2,4,7,9,13,14 3:3
3:4,8,14,15,18
mean 10:12,17 53:18
65:22 71:17 76:22
77:7 86:19,20 87:4
90:16 93:18 109:18
151:14 153:13 154:14
156:7 162:4,9 164:20
174:14 178:10,18
180:6,13 182:7 183:7
185:16 186:10 191:13
201:22 213:3 235:16
235:21 245:16 250:1
261:20 263:22 267:1
272:1 273:16 285:4
287:21 303:19 312:12
316:5 319:7 328:14
357:5 360:13 361:3
372:22 381:10 388:9

meaning 60:17 108:10
128:19 314:10
meaningful 132:19
133:1 146:19 151:12
202:8
meanings 74:14
means 21:21 60:19
132:2,4 156:15 177:6
177:15 180:17,21
184:4 188:7 189:15
230:17 242:22 246:4
249:6,7 335:3 338:8
373:5 376:10
meant 25:19 250:8
measure's 220:20
measured 86:22 172:19
197:20 205:17 228:9
measurement 1:12
2:19,22 9:8 33:13
37:17 41:10 49:8
63:18,21 64:2,2,12,15
80:2 117:8,12 123:15
124:19 138:22 139:1
151:12 161:11 170:1
212:14 281:6 304:17
312:7 339:14 358:21
measuring 17:16 64:16
92:17 141:15 156:1
301:15
mechanism 185:5
342:20
median 68:20 71:10
198:7,9
Medicaid 3:16 37:10
83:5
medical 1:16,19,20,21
2:2,6,7 3:18 4:11,14
4:15 12:22 13:16,16
14:7,22 17:5 20:7,8
49:22 51:15,16 63:20
64:12,14,21 65:17
90:4,8 93:15,17 106:2
117:4,12 118:8,9
128:22 130:3 138:9
138:14,16 139:14
143:3 144:5 146:3,21
150:18 156:8 157:10
166:16 169:13 170:18
172:8 183:19 185:12
186:8,12,14 188:17
202:1,4 213:3 232:8
272:22 275:10 374:3
384:5
Medicare 3:15 37:9
83:4
medications 41:8 94:17
156:13
medicine 1:22 2:5,5

13:6 110:6 171:6
 299:17 320:21 388:11
 388:11
medium 66:21
meet 52:18 63:22 65:9
 76:18 124:15 162:2,5
 162:22 250:5 289:6
meeting 5:5 7:7,17 9:1
 10:4 18:6,17 29:16
 30:6 31:6,11,22 34:9
 74:11 76:17 92:1
 93:14 108:18 338:6
 366:9 398:7 403:8,17
meetings 24:10
meets 27:12 29:11
Melissa 2:16 6:19 8:20
 28:11 60:15 74:22
 98:1 166:12 188:13
 195:17 220:14 225:14
 240:4 246:15 257:5
 350:15 354:12 398:8
 400:22 402:12 404:4
Melissa's 226:14
 352:10
members 30:12 32:4
 36:8 56:4 198:15
 202:22 203:16 211:2
 213:10 216:20 222:19
 222:20 246:16 290:17
 290:19 300:17 323:19
 327:22 335:5,6 362:6
 393:20 395:22 396:11
 401:11 403:18
membership 28:19
 29:12
memo 401:9
Memorial 1:18 15:7
memory 59:12
Menendez 2:17 9:2,3
 54:8 55:13 58:1,7,16
 58:20 59:7,14 63:3
 67:17 72:13,19,21
 95:9 99:14 100:6
 101:6,17 102:2,19
 119:16 120:2,7,22
 121:14 126:17 127:7
 134:15,21 136:12,19
 137:8 157:14 176:8
 177:12 188:22 189:11
 189:21 190:4,9,14
 193:9,16 194:4 199:1
 199:9,22 204:9,15
 206:10,17 207:5,11
 211:6,13 215:6,13
 217:2,9 218:14 219:1
 219:16,22 227:21
 228:4 247:5,12 256:2
 256:8 277:4,10 278:7

278:13 281:15,20
 289:16,21 336:1,6
 337:15,20 345:2,7,12
 346:9,14 362:13
 365:20 392:17 394:16
 395:2 397:2,6
mention 121:7 148:11
 328:22 341:13,15
mentioned 38:2 54:9
 108:3 110:18 114:2
 135:7 148:12 201:6
 206:1 251:13 258:12
 305:3 310:22 311:22
 312:22 383:6
Meredith 3:2 36:14 68:9
 69:3
merit 179:16 400:13
merit-based 135:9
 205:3 217:21
met 1:7 52:17 155:13
 249:8,21 250:15
 251:3 289:7 340:11
meta- 315:19 322:2
meta-analysis 316:2,12
 316:15 317:1
metformin 342:9
 383:20 384:2
method 118:4 200:17
 213:1 354:20
methodologies 82:19
 83:12 310:2
methodology 297:4
 306:20 314:7
methods 185:3 283:6
 288:1 347:10
metric 41:10 42:11
 127:15 280:9
MHSA 3:4,12
MI 236:4 248:20 385:14
 387:14 391:2
mic 12:20 30:8 192:12
 192:13
Michael 2:4 40:4
Micro 15:7
microbiologist 15:6
Microbiology 1:17
microphone 59:6 61:18
 85:21 180:3 257:3
 265:7 291:2,5,10,14
 292:8
microphones 30:9
Midas 377:11
middle 91:16 147:12
 148:3 152:17 165:3
Mike 13:21 63:11 291:4
 293:7
millimeter 160:21
million 295:19

mimics 389:14
min 68:21
mind 91:11 186:21
 188:3 310:10 350:6
 350:15
minimal 71:12
minimize 299:10
minimizes 299:14
minimum 71:9 75:1
 146:20 313:22 372:14
 394:7
minor 208:17 216:3
 328:7
minority 162:2
minus 308:3
minute 78:19
minutes 103:3 195:7,12
MIPS 81:6,6 83:8 85:4
 88:6,7 89:8 100:15
 217:21
mirror 115:15
miserable 323:3
misinterpreting 51:3
missed 17:11 168:19
 239:15,22 268:22
 330:12
missing 49:4 121:8,9
 124:15 125:8 257:9
 262:22
mission 299:16
misspoke 50:1
mistake 204:1
mistaken 125:12
Mitch 253:7 301:6
Mitchell 3:8 232:14
 242:5 267:15 275:4
 286:14
MITRE 3:9,12 88:3
 111:3 112:2 115:7
 118:3 122:9 131:15
 131:20
MITRE's 121:22
mL 313:3
mLs 311:6 380:11
MMM 282:9
modal 66:20
model 16:14,15 43:19
 105:12 106:4 113:3
 142:6 222:6,12,13,18
 223:4 233:5,7,16
 257:8,12,14 258:3,4
 258:10,12 259:7,13
 259:17 260:8,10,13
 260:16,22 261:8
 262:8,14 263:4,5,14
 264:2,4,9,13,19
 266:17 267:6,12,17
 268:1 269:11,21

270:6 271:10 273:13
 274:19 275:11,14,15
 275:18,20 276:2
 277:18 281:3 283:3,9
 285:3,5,6 286:1,12,19
 286:20,22 287:10,11
 287:12,15 347:10,15
modelers 287:21
modeling 287:4
models 43:9,10 44:3,5
 91:22 140:7 266:8
 272:2 275:8 282:10
 282:17 285:16 286:17
 287:6
modest 153:19
modifications 297:21
 319:18
modified 313:19
modifier 205:2
modify 262:4
moment 55:12 230:14
moments 108:16
money 236:21
monitor 54:20
monitored 384:12
monitoring 47:17 145:7
 145:15 160:22 311:16
monotonically 323:6
Montefiore 283:10
month 138:22 139:4,7
 147:17 158:14,14
 373:20 374:11 384:19
months 64:1 138:21
 139:2,7 152:16
 156:10,11,15 157:6
 158:12 161:1,6 166:3
 208:9
morbidities 140:14,16
 140:18
morbidity 39:19 268:1
morning 5:3,6 6:3 7:13
 8:2,18 9:6,10,21
 15:18 31:9 35:16
mortalities 243:5
 282:11
motion 178:6 182:9
motivated 245:6
Mount 283:10
mouth 195:19
move 32:10 33:10
 35:11 38:18 57:11
 63:7 73:7 81:5 87:6
 99:13 101:5 106:19
 113:22 116:21 119:15
 121:13 127:10 134:13
 136:10 137:2,19
 173:14 177:7 179:15
 179:19 189:4 190:18

196:6 200:6 203:7
 207:14 209:17 211:5
 215:5 217:1,15
 218:13 219:9,15
 220:11,16 223:9
 227:10 228:20 247:3
 277:2 278:6 281:14
 282:3 318:8 323:12
 323:15 334:7 335:21
 336:12 345:17 346:8
 346:19 356:18 362:12
 363:1 368:1,8,11
 384:17 392:16 393:18
 393:21 394:9 395:11
 396:8 397:12
moves 76:2,3 220:2
 397:12
moving 53:15 104:3
 106:20 116:20 173:22
 233:18 247:16 363:6
 365:2 403:13
MPA 2:16
MPH 2:9,14,18 3:2,10
MPHS 2:4
MRSA 399:17
MSc 1:21 2:4
MSCE 1:19
muddy 258:22
multi-variable 358:13
multi-year 10:5
multiple 38:13 153:15
 154:1 167:6 241:16
 283:20 297:7 299:10
 308:7 309:7 310:2,4
 386:16
Munro 3:12 36:11 87:22
 88:2,3
Munthali 2:18 9:6,7
 56:6 62:2 98:1 177:15
 178:15 179:7,10,21
 180:8,15 181:5,14
 189:18 204:3
must-pass 367:20
 395:7,21 401:7,18,20
mute 144:12,19 366:10
muted 144:22
myocardial 348:6

N

n 371:19
N.W 1:8
name 7:14 8:3,19 13:21
 31:13 54:11,16 55:7
 120:18 122:8 210:14
 268:15 292:22
Nanette 1:18 15:10,12
 143:9,11,13 144:8
 181:21 190:20,22

193:3 197:2,3 198:15
 199:9 202:18 203:9
 293:14
narrative 378:1
narratives 378:2
nation 279:8
national 1:1,7 13:5
 38:15 110:6,12 218:5
 281:9 288:6,12,13
 294:19 300:1 304:21
 373:11
nationally 132:13
 205:22 287:13 298:6
 298:19 353:18
nationwide 116:1
nature 11:4 158:7
 302:11 303:16 333:1
 348:10 353:8 358:9
navigate 343:20
nay 55:6
nearly 133:12 155:16
 191:13,15
necessarily 23:15
 52:18 82:20 88:19
 111:11 159:1 161:16
 202:21 335:3
necessary 177:2 306:4
need 30:7 31:1 34:8
 35:6 36:21 47:1 48:4
 48:7,7 57:22 87:9
 97:14,18 104:9
 111:14 112:21 114:7
 114:19 141:5 142:22
 144:19 150:18 194:11
 194:13 203:19 209:2
 228:11 234:8 285:16
 292:15 317:7 336:17
 338:20 341:22 361:1
 378:20 379:8 381:2,7
 385:6 387:12 390:7
 394:7
needed 21:18 184:18
 225:8 325:21
Needless 298:16
needs 48:21 156:9
 344:5 379:5
negative 125:6 173:21
 212:6 333:3
negotiate 94:18
neonatal 266:4
Netherlands 275:14
network 55:4 191:4
 198:2
never 91:13 143:1
 183:19 184:2 244:21
 245:2 262:20 317:10
 326:15 358:13
new 1:21 3:4 4:17 15:8

16:9,11,12 19:15
 20:11 21:9,9 25:14,19
 25:21 26:2,6,15,21
 27:16 52:8 69:8 97:19
 104:19 108:6 109:12
 110:1,21 112:7 115:3
 137:21 153:18 154:8
 175:4 186:1 220:21
 221:16 222:21 223:7
 224:9 232:15 237:11
 238:16,21 239:16,20
 241:9,20 242:9
 247:19,20 248:5
 256:20 267:11 273:8
 273:11 274:9,10
 279:14 284:10 288:4
 290:3 293:20 311:19
 317:4 320:12 321:7
 321:22 324:3,8
 326:17 343:16,22
 364:3 369:16 389:6,6
newer 79:16
newly 151:10 152:9
 164:18,18
news 298:11,20 387:19
NHS 273:1
nice 271:15 288:9 321:1
nine 19:17,20 137:15
 139:20 373:22
Ninety-three 215:14
noise 271:20 345:21
nominated 10:21
non-compliance
 361:14
non-face-to-face 66:6
non-research 245:9
non-suppressed
 152:11
nonacademic 381:20
Nope 146:13
norm 314:15 381:17
normal 232:6,6
normally 5:12,13
Normandy 271:11
 282:13
Northeast 1:13
Northern 13:13 90:16
 162:9
Northwestern 1:19
 15:13
nos 56:20 98:14
notable 299:13
note 28:12 30:6 34:7
 85:11 86:19 95:11
 137:10,14 188:8
 297:17 299:3 365:5,5
 384:5
noted 24:15 31:3 361:7

notes 41:13 147:2
 384:6
noteworthy 295:17
notice 38:17 39:1
noticed 111:22
notify 284:1
notion 255:9 317:12
novo 82:22 104:19
 109:9 254:20
NQF 2:11 4:20 8:9 9:11
 18:19 22:19 28:19
 29:8,12 75:6 85:11
 114:12 131:12 135:9
 135:15 166:13,14
 237:1 268:11 276:12
 280:16 281:9 288:4
 295:4 296:20 297:19
 298:1,17 299:7,16,22
 300:5 304:20 307:5
 350:16 351:12 390:3
 390:6,6
NQF's 9:20 289:6
NQF-0500 327:11
 340:15
nuance 255:3
nuances 385:16
number 25:11 45:18,19
 55:18 60:11 63:16
 68:12 69:1 72:3 83:2
 83:3 117:5,10 119:22
 119:22 125:9 127:1,4
 145:9 148:11 153:18
 168:4 185:17 188:18
 188:19,19 191:10
 223:6 252:14,18
 258:18 261:7 266:16
 268:3 269:8,9,10,13
 277:16 290:15 292:22
 295:22 296:1 308:22
 312:21 313:15 316:22
 318:19 319:1 327:1
 327:14 333:2 340:12
 346:21 360:19 366:20
 368:3 372:7,11 379:7
numbers 69:14,15
 131:8 164:22 253:17
 293:13
numerator 50:20 53:14
 63:15 113:12,13
 117:5 123:4,13 124:1
 139:3 197:13 210:1
 334:5 340:13
numerical 60:13
numerous 153:11
nurse 2:9 65:3 304:2
 311:15,15 379:17
nurses 13:7 65:4
NYU 15:20

O

o'clock 195:9 291:18
objective 260:21
objectives 165:12
observation 239:19
observational 308:10
 309:2 316:13,14
 319:20 321:8 322:15
 347:18 348:7
observe 191:18,21
observed 269:7,8,9,12
 354:16
observing 295:7 381:4
obtain 129:2
obtained 132:15
obviously 45:5 113:12
 125:5 134:11 243:1
 253:21 259:13,13
 262:17 269:19 325:1
 360:20 389:17
occur 306:2
occurring 170:9 252:11
 252:12 344:14 387:21
offer 224:11 305:11
offered 43:8
offers 377:9,13
office 13:17 106:2
 110:11 175:16 197:12
 220:21 221:8
Officer 2:2,7,14 9:11
 17:6
offline 343:13
Ohio 3:6 223:1
OIs 40:15
ONC 105:1 130:21
once 26:10,17,18 30:9
 45:20 47:20 54:12,17
 139:7 159:20 211:20
 275:11,17 301:5
 326:18 400:11
one- 324:10
one-and-a-half 333:18
one-hour 324:10,22
ones 86:9 125:8 167:7
 258:19 260:15 364:14
 399:16,17
ongoing 110:15 375:12
 396:14
online 19:1 56:4,21
 57:3 120:11,20,21
 144:19 159:18 247:1
onus 344:11,12 381:6
Oops 212:9
open 10:14 54:11,16
 55:15 58:14 61:22
 101:7 119:17 121:15
 136:13 176:9 193:10
 194:17 204:10 206:11

207:6 211:7 215:7
 217:3 218:15 247:6
 256:3 277:5 278:8
 281:16 337:15 345:3
 346:10 362:14 365:21
 392:18 394:17,20,22
 397:4
Opening 4:1
operate 118:13
operations 1:17 303:16
Operator 103:22 194:16
 194:19 195:1 397:22
 398:4
operators 194:15
opinion 18:9 146:6
 175:9 184:7 284:13
 367:16 376:3,4
opinions 32:2
opportunities 42:12
 90:22 234:14,15
 336:13
opportunity 42:15
 73:12 89:1 193:4
 221:12 286:6 372:21
 376:15
opposed 376:8 384:3
optimal 58:11,22
Optimizing 145:13
option 95:22 108:5
 119:19 174:8,11
 177:18 178:19 199:2
 384:13
options 95:10 99:15
 130:5 185:1 310:4
oral 9:21
order 32:12 33:18 36:21
 195:20 209:2 243:18
 271:13 304:22 379:17
 380:10,12,15,22
 387:22
organ 266:12,16 343:4
 343:16,22 344:8
 369:16
organism 332:21
organization 12:12
 29:1 91:18 225:12
 261:14 280:14
organization's 261:16
organizational 261:19
 262:2,3
organizations 11:1,2
 28:21 141:1 225:4
 280:10 402:7
orientation 104:9
original 16:4,8 20:21
 313:20 314:1,5,10
 322:8
Orlando 2:7 17:3,4,4

58:18 165:6,7 187:8
 235:3,3 293:16 379:1
 379:1 382:17
Oscar 242:4
osmosis 331:17
Otete 15:4
other's 234:1
ought 174:5 355:8
 372:14
outcome 56:12 80:7,8
 87:7,8 92:14 141:2
 166:20,20 168:14,15
 169:8,9 172:16
 183:21 222:2 225:14
 225:16,18,20,22
 243:21 244:20 257:19
 260:12 261:3,4
 265:19 285:19 308:8
 399:15
outcomes 14:1 19:16
 36:18 40:9,14 107:12
 156:2 160:2 172:18
 181:3 196:14 255:2,7
 260:2 299:21 300:10
 305:13 319:12
outlier 271:16,22 284:3
outliers 269:3 271:4,8
 282:20 283:17,18,18
 284:12
outlined 41:12 147:5
outlines 147:6
outlining 144:5
output 106:14 123:16
outside 37:6 64:4
 170:10 248:10
outstrips 296:2
outweigh 205:13
over- 391:1
over-document 234:18
overall 30:3 34:3 48:3
 48:17 58:10,22 62:4
 63:5 68:20 79:21
 102:1,3,20 128:8,20
 137:3,3,4,13 139:1
 172:9 207:6 219:8,16
 221:16 230:17 245:1
 270:3 289:16 355:3
 365:13 391:13 397:2
 397:7
overcome 94:10
overestimate 52:12
overload 391:11
overly 53:12 377:1,5
overnight 382:1
oversee 30:4
overuse 390:22 391:4
overview 4:4,8 19:8
 221:6 355:21

overviews 103:20
overwhelming 303:2

P**P-R-O-C-E-E-D-I-N-G-S**

5:1
p.m 195:14,15 291:20
 291:21 404:10
Pacific 3:18
page 10:1 29:18 306:16
 318:19,19 351:12
 359:13
paid 185:8
pains 342:1
pair 210:15
palliative 174:21
panel 127:15 145:11
 146:8 149:21 150:5
 163:16 201:7 202:14
 216:2 223:5 288:4
 295:14 307:1,17
panel's 306:19
pants 378:20
paper 37:18,19 64:19
 86:13 108:16 118:14
 136:8 188:3,10
 201:11 210:17 232:21
 283:1,2 284:7
paper-based 20:20
 86:10 185:11 218:1
papers 227:15,16
 241:16 275:13 284:18
paperwork 65:9
parallel 389:9
parallels 389:20
parameters 370:3
Pardon 388:21
Parkland 2:10
part 8:6 10:13 21:1 25:7
 40:22 41:2 45:17
 87:16 91:5 95:1,1
 106:5 108:20 109:13
 111:4 113:10 130:13
 132:16 150:12 153:17
 159:7 167:12 168:18
 168:20 175:17 186:22
 192:19 203:11 208:15
 221:12,15 245:1
 264:7 267:11 292:11
 292:17 324:5 329:7
 331:11 340:13,15
 344:17 366:9 375:10
 384:8 390:6
participant 268:17
participate 92:12
 319:16
participated 150:5
 319:13

particular 46:21 87:15
92:14 123:12 124:8
124:15 132:6 237:9
237:10 275:21 286:12
337:2 348:13 361:10
362:5
particularly 79:16
107:22 109:10,11
151:20 181:8 229:21
238:19 283:4 318:18
parting 398:9
partly 233:1
Partner 1:12 8:4
partners 37:9
partnership 85:15
93:22
parts 82:10 105:13
pass 28:7,9 32:21,21
33:7,8,16,18 40:2
54:7 63:5 66:14 67:15
101:20 102:20 108:10
115:12 121:2 127:8
135:3 137:1,9,16
147:3 169:4 173:18
176:5,6 178:1 223:12
256:11 277:13 278:16
282:1 290:1 332:8
336:9 338:2 340:16
341:6 345:15 346:17
355:4 357:16 362:19
366:4 367:22 380:20
393:2 401:5
passed 34:5 98:7,20
100:9 137:13 173:17
174:19 194:7 200:4
201:8 204:19 206:22
211:16 215:16 217:13
219:5 247:15 354:21
366:17 367:8
passes 33:3 57:10 73:3
passing 117:19 212:8
367:4
passive 370:5
path 148:4
paths 315:16
pathway 117:18 212:5
230:1 254:22
pathways 378:4
patience 5:6
patient 1:14 15:1 49:6,6
49:14 50:13 64:17
66:3 70:16 71:17
78:12 90:2,14 92:17
93:21 94:3,15 95:3
117:10 120:8 122:13
122:15 123:3,7,10,13
123:13,19,22 124:7,9
124:12,12,17,20

127:21 128:16,17
130:9 141:5 147:7
150:14 156:9,22
157:11 158:6 159:4
161:1 164:16 165:14
172:18 173:2,4
184:17 212:1,13
216:8 220:22 221:8
233:21 236:4,5
244:11,19 246:2
248:21 262:6 303:19
304:3,5 317:1,13
325:1,5,16 327:16
330:20 333:8 341:1,5
341:21 343:10 344:16
351:6 377:16 378:9
380:10 385:18 386:2
387:10,11,13 390:11
391:5
patient's 92:11,11
152:2
patient-specific 258:9
pattern 150:13
pause 55:10 56:2 58:6
59:17 63:2 72:20
80:11 100:5 101:16
102:18 141:8 177:11
190:13 199:8,18
360:11
pay 121:7 156:16,17
235:16,18 236:20
pay-for-performance
81:4,7 89:21 288:13
payer 127:21 128:18
130:10,18 131:5
238:20
payment 85:10 94:2
131:1 135:10 205:3
217:21
PCR-based 333:16
pediatrics 2:3 171:3
378:5
peer 284:7 388:3
penalized 81:17 88:21
378:13
penalizing 159:3
penalties 121:8 391:20
penalty 83:21 84:7
PEPFAR 171:12 172:3
percentage 69:2
percentages 368:4
percentile 202:3,6
percentiles 355:9
perfect 145:3 230:17
231:2,3 235:11,13
282:10
perfectly 111:10 148:9
311:19 389:19

perform 69:18 88:19
121:9 257:14 264:9
276:8 285:6,8 355:11
355:12,14
performance 1:12
26:14,19 32:10 38:8
42:10 57:13 58:9,11
58:21 59:1,7,20 60:17
60:18,20 61:7 62:7,11
62:12 75:11 83:9,19
88:10 96:1,4,16
115:18,22 116:11
121:8 135:16 152:7
160:9 168:14 169:7
183:15 189:19 191:13
193:10 202:1 205:11
210:19 213:3 228:7,9
235:17,18 236:20
237:3 238:14 239:1,2
246:20 247:6 252:2
269:1 275:14,15
276:6 280:21 299:5
299:19,22 323:3
337:16 351:13,18,19
351:21 352:19,22
353:6 354:11 355:7,9
355:17 375:21 379:14
379:17
performed 117:20
127:20,22 128:13
147:8 197:21 210:7
212:9,22 216:8
239:22 264:4 322:10
322:11
performers 60:19
performing 26:13 61:2
87:18 170:4
performs 78:17
period 22:4 29:15,19,20
123:15 138:22 139:1
139:5,7 145:10 155:5
155:6,13,18,20
191:18 208:13 212:14
275:1 284:4 319:1
395:9 396:3 402:19
403:13
periods 25:20 139:5
208:11 338:21
periop 23:19
perked 246:7
Permanente 2:1,4
13:13 16:20 162:10
permissive 42:11,14
permit 342:17
persistent 38:18
persnickety 245:21
person 6:21 8:7,21 9:12
16:22 38:16 64:14

126:6 143:1 173:18
214:9,11 382:4
384:22
personal 301:7 316:10
personally 271:19
perspective 214:13
239:17 296:9,13
349:2
Pharmacy 7:15 12:8
phase 353:3
phases 38:13
PhD 1:17 2:21 3:2,6,17
phenomena 252:21
phenomenon 320:11
Philadelphia 1:20 13:18
154:21 155:1,21
166:6
phone 5:12 6:22 7:2
9:13 12:4 14:17 17:10
17:11 23:4 28:13
30:11 31:3,13,18
34:10,14 40:4 54:4,21
57:17 62:15 79:14
89:16 98:15 99:21
101:2,13 103:11
104:1 116:16 119:13
138:11 143:14 148:13
157:13,17 165:21
171:18 175:15 181:19
184:18 186:5,7
188:12 195:7,17
198:19 203:3 221:1,3
221:4 223:2,10,22
226:12 228:17 235:2
238:1 268:14 277:20
281:2 290:5,18
293:13 362:9 366:8
382:15 397:20 398:14
398:15,17 402:10
404:2,6
phones 185:2
physical 161:11
physically 159:1 295:2
physician 13:1,19,22
14:2 36:2 43:12,17,21
44:11 85:6 93:16 94:1
172:15,21 184:16
205:1 232:2 304:4
311:15,15 380:12
383:1 386:3
physician's 65:4
physicians 65:3 94:9
118:8
physiologic 266:4
267:10,17
Physiology 286:19
pick 65:8 85:8,9 195:5,8
picked 288:11

picking 141:14
picks 126:5
pictures 108:21
piece 77:19,21 84:21
 93:13 123:8,9 154:6
 167:11 188:9 224:19
 317:4 340:21 342:13
 343:15,21 349:6
 380:6 383:2,3
pieces 331:16 352:17
Piero 2:1 13:11 40:4,6
 51:18 64:8 67:8 99:8
 293:5 341:11
pills 157:3,3
Pittsburgh 1:16 12:22
pivot 201:19
pivotal 357:5
pivoted 38:3
place 24:18 25:10 73:18
 141:21 184:5,8
 247:20 331:14 339:20
 345:8 381:9 397:7
placed 316:5
places 133:16 274:16
planned 135:20 217:19
planning 84:12 195:8
plateau 375:15
platform 31:7 109:17
play 83:14 110:5 356:16
playing 85:10 233:21
plays 114:18
please 18:9 31:3 35:9
 54:19 56:1,4 58:16
 60:13 62:13,16 72:18
 97:13 110:22 111:21
 113:11,15 114:5
 126:17 127:1 131:8
 143:10 144:9,11
 172:1 176:2 190:8
 193:16 194:15,17
 197:3 199:10 203:7
 204:1 206:18 207:20
 211:18 215:19 217:15
 218:13 219:15 232:18
 290:12 294:5,8
 309:14 336:2,14
 345:7 356:6 366:10
 382:16 395:18 398:1
 402:6
pleased 7:16 36:15
 332:14
plot 271:2,16 272:6,13
 284:12
plots 269:15 273:3
 319:5
plug 110:5
plus 179:3 262:21
 308:3 320:17 397:13

PMP 3:12
pneumonia 387:6,6
 390:8
point 5:13 7:22 50:9
 54:19 58:16 79:13
 80:10 83:3,8 86:17
 87:5 103:1 136:5
 140:4 142:17 148:18
 149:15 154:7 156:7
 159:14 160:13 161:9
 161:20 163:11,12
 164:6 175:15 177:10
 179:9 187:7 190:18
 194:11,14 207:2
 208:7,19 213:18
 219:7 220:4,15
 233:15 237:11 242:21
 255:5 264:10 265:13
 265:18 270:22 289:1
 292:14 294:3 302:7
 306:15,21 307:21
 319:19 321:6 323:15
 327:21 341:13,15
 342:20 350:5 361:6,8
 362:22 369:22 379:2
 386:10 395:10 403:12
point-of-care 392:6,10
pointed 164:5 270:10
 354:12 379:10
pointing 214:22 361:4
points 160:8 226:9
 318:22 319:6 325:3
 372:6
Policy 36:5,7
poll 54:11,13
polling 54:16,18
poor 73:19 75:13 78:5
 90:4 97:6 152:8,10
poorly 87:19
popped 212:10
popular 339:21
population 21:16 50:13
 58:12 59:1 70:16
 71:17,21 80:21 81:13
 84:3,6 94:15 95:3
 123:12 124:1,2
 140:16 141:5 158:6
 162:13 165:15 191:9
 245:9,10 246:3 255:7
 334:20
populations 48:20
 81:10 165:15 213:12
 214:2 244:11
portfolio 19:11 21:12
 22:18,21 23:5 30:4
 399:12,20 400:1
portfolios 23:7 400:5,7
portion 140:8 363:4

pose 353:20
posed 276:12
posing 168:21 255:14
position 353:2
positive 125:4 139:22
 205:8 212:6 218:8
 330:20 333:9
positively 125:5
possibility 273:12
possible 70:1 95:12
 110:20 119:19 126:19
 176:11 198:9 211:8
 215:8 258:13 260:22
 263:12 285:10 302:17
 315:5
post 402:18
post-comment 400:20
 402:20
post-discharge 355:2
post-draft 402:14
post-meeting 196:8
 399:1 400:17 402:14
posted 29:17
potential 100:18 175:11
 205:13,14 230:22
 260:11 286:2 301:3
 319:22
potentially 84:7 85:5,9
 122:16 253:9 255:12
 288:22 303:10 348:9
poverty 95:4
powerful 378:2
PQA 1:12
PQRS 100:15
practical 214:13
practice 45:6 65:4 90:5
 90:16 109:14 162:9
 165:17 166:7 231:11
 307:13 308:5 337:6
 387:9 389:19
practices 89:10 94:8
 165:14 270:10
practicing 17:6 36:1
practitioners 65:3
 229:14
Pranavi 2:9 17:9 19:1
 100:1 102:7,11
 172:12 193:15,17
 224:17,22 229:19
 230:13 234:3 261:11
 280:4 293:16
pre- 24:6 31:21 200:13
pre-conference 41:3
pre-disposed 140:15
pre-evaluation 368:21
pre-meeting 24:8
pre-work 80:18
precise 253:10 376:16

precisely 273:18
precision 114:15
preclude 335:11
predicted 269:21
predicting 260:2 261:3
 261:13 266:4
prediction 262:8
predictor 146:1 266:14
predictors 260:11,20
predicts 270:7
prefer 18:18
preferably 283:20
preliminary 24:4,16
 148:15 149:8 154:7
 198:11 202:11 203:13
 210:21 306:17
premature 283:7
prepared 30:16 403:20
preponderance 82:18
 178:9
prescribe 65:2
prescriber 65:15
prescribing 64:22 66:4
 185:13 289:10
prescription 20:9
 147:16
presence 220:10
 342:12 386:6
present 1:10 3:1,22
 35:1,2 42:11 49:6
 191:10 212:18 230:5
 255:9 308:17 318:13
 328:4 334:17 403:21
presentation 20:15
 317:13 325:8,19
 333:18
presented 49:20 115:17
 115:20 138:1 142:5
 151:17 154:20 167:2
 178:21 191:4,11,13
 201:22 205:22 213:6
 227:6 238:16 248:14
 316:1 336:16 349:18
 352:14 361:5
presenter 324:5
President 1:12 2:7,13
 2:18,21 7:15 9:7,15
 17:5
presiding 1:9
press 28:14 30:10
 194:20 398:1
pressure 112:19 113:2
 180:6 181:6 232:7
pressuring 181:7
presume 253:20 302:1
presumed 251:14
pretty 26:6 67:1 104:8
 107:21 108:21 139:9

156:14 172:10 234:10
 255:2 273:1 283:2
 307:17 311:9 346:1
 373:20 402:13
preventable 241:11,11
 241:13 244:2 282:11
preventative 140:10
Prevention 2:10
preventive 43:14
preview 88:17
previous 27:6,11 38:2
 66:18 98:19 115:15
 138:5 197:16 210:2
 298:15
previously 19:22 23:11
 39:2 98:6,20 100:14
 286:9 358:1 400:2
previously-approved
 294:2
primarily 42:22 202:12
primary 43:1,12,14,18
 44:11 140:10 141:21
 142:11,12,22 184:16
 239:21 347:10
Primaxin 386:14,14
prime 246:1
primetime 280:12
principal 16:20
principle 309:17
principles 310:15
prior 64:2 98:3 301:17
 312:12,18 315:3
 340:7 368:15
priorities 154:1
priority 288:12
private 90:18
privileges 64:22 66:4
 185:13
probabilities 263:15
 285:18
probability 261:1,4,5,6
 261:13 262:4 272:17
 286:22
probably 23:1 89:22
 92:9 93:6 105:7
 112:13 134:10 146:17
 174:17 183:19 184:13
 201:14 228:21 239:15
 240:19 255:2 266:20
 283:7 285:12 305:17
 318:7 334:7 376:10
 387:9
problem 84:1 138:13
 230:5 234:20 235:10
 272:1 376:12 385:9
 389:21
problematic 356:10
problems 27:7 128:13

273:2 337:2 347:21
procalcitonin 333:11
procedural 366:13
proceed 178:12 193:8
 204:21 332:3
proceeding 194:9
 292:18
process 4:5 19:8 21:2
 24:2 25:13 31:15
 56:13 73:10,13,18
 75:9 85:12 89:1
 125:14 143:20 166:15
 168:16 169:9 176:3
 180:18 196:13,13
 206:1 225:16 226:4
 231:3,20 244:16
 245:11 281:8 309:21
 310:18 311:20 312:20
 313:16 320:8 325:5
 327:19 372:9 379:14
 379:21
processed 325:17
processes 85:12
 226:17 251:7
processing 324:20
produce 263:15
produced 269:6,15
 278:21
professional 10:3
 11:10
professor 1:19 2:2,5
 15:14
profusion 297:13,20
 299:14 308:7 309:19
 310:1 314:8 358:5
 363:22
program 8:6 37:7 81:5
 81:7 83:8 85:4,16
 88:6,7 89:8 135:8,20
 135:21 142:9 149:2
 205:4 217:18,20,21
 374:7,8 375:8
programs 20:21,22
 37:11 48:15 83:5
 85:20 100:14 104:16
 114:13 130:14 186:2
 237:3 280:19
progress 47:12 48:1
 326:3,5
progression 40:15 42:5
project 2:17,20 4:4 7:6
 7:17 8:19 9:3 19:7
 29:9,10,18 112:3
 142:14,16 251:10
 280:3,7 403:4,9
Project's 319:11
projected 322:7
projects 23:14 400:8

promise 86:16 309:21
 310:18
promising 279:6
promoting 305:5
 320:15,20 332:12
prompted 319:10 328:7
prone 358:17
proper 328:17
properly 239:9
property 303:15
prophecy 283:12
proportion 37:15 149:1
 170:8,9,17
proposal 269:5
proposed 261:21
prospective 308:3,9
 309:2 326:6
prospectively 326:19
prostate 296:2
protocol 312:22
protocol-based 297:7
 297:10,18
protocols 221:19,22
 222:3
protocols- 297:1
prove 279:19
provide 26:3,7 31:21
 34:19 73:12 89:2
 116:1 129:22 131:6
 167:4 186:3 209:20
 214:2 225:19 226:3,7
 257:21 260:22 299:15
 352:21 355:15 376:15
 379:5 381:6 402:7
provided 24:5,7 25:22
 40:11,17 46:12 88:17
 131:12 144:3,4 145:5
 166:17 175:2,6
 196:17 202:7 205:8
 210:16 215:21 218:8
 226:2,10 298:5 314:7
 331:13 349:22 350:2
 350:17 374:14
Providence 13:4
provider 45:10,22
 46:12,13 49:9,15 51:6
 64:21 65:18,19 66:3
 67:3,5 68:2 70:9
 133:2 140:11 142:10
 142:12,13,22 157:1
 172:21 185:13 202:9
 209:1 225:20,21
 331:8 342:17,21
 344:13 369:4,14
 379:4
provider-level 136:1
providers 37:5 58:11
 59:1 68:12,14,22

69:11 70:17 83:19
 88:15 133:2,4,5 146:3
 150:5,14 159:3
 163:14 183:14 184:1
 191:6,20 202:3,6
 214:1 226:17 234:15
 234:17 276:17 380:17
 383:22
providing 43:12,17
 81:17 88:17 89:4
 130:5
provision 99:4
proxy 28:19
psychiatric 84:19
psychologist 90:3
public 1:20 4:12,20
 13:18 14:8 24:8,11
 29:18 46:8 47:10
 50:10 89:1 130:17
 131:2 148:5 162:15
 165:9,16 194:13,18
 194:20 195:1 222:9
 222:10 241:14 395:8
 395:22 396:2 397:15
 397:17,21 398:1,4
 401:11,15
publically 217:17
publication 297:6 361:2
publications 226:21
 322:4
publicly 100:13
publish 388:7,12
published 14:13 16:2
 39:2 231:11 232:22
 275:11,13 283:5
 295:14 303:11 316:6
 321:21 322:16
publishing 284:1
pull 27:9 104:4 105:8
 152:19 400:14
pulled 167:15 377:12
 377:14
pulmonary 15:19
purpose 16:16 128:5
 327:10
purposes 35:5 224:9
 286:7 351:18 353:1
 354:6
push 81:19 86:22 386:3
pushed 87:6
put 19:9,10 23:2,4 24:9
 54:20 86:14 111:9
 123:19 144:11 150:22
 156:21 174:22 201:12
 214:16 271:20 327:3
 359:4 366:9 371:8
 373:5 378:11 382:4
 391:12 400:18 402:5

put-together 338:21
putting 114:3 156:7
puzzled 272:11 318:18
 381:8

Q

QDM 105:12,17
qpp@cms.hhs.gov
 89:13
QQC 167:18 169:2
QRDA 106:14 114:6
qualify 124:20 184:6
 317:13 334:13 340:2
 340:3,15 341:5,6
 344:7 358:1,3,8
qualifying 340:5,6
qualitative 372:19
quality 1:1,8,14 2:18,22
 7:15 9:7,15 12:8,9
 14:2 15:1 16:21 36:18
 36:20 47:18 53:15
 73:16,19 75:7,13
 76:10,11 78:6 85:13
 88:9,20 93:2 97:6
 105:2,12 106:4,7,15
 106:18 113:3,19
 122:11 145:18 165:10
 165:17 167:18 200:12
 200:17,22 205:1
 218:6 220:22 221:8
 222:7 255:12 288:17
 289:1 294:19,21
 295:9,16 298:5 300:2
 306:21 307:7,10
 338:7,9,12 344:20
 352:4 353:20 363:17
 373:14 375:7 384:20
 384:22
qualitynet.org 377:13
quantify 41:15
quantity 167:18
quarter 252:18 299:6
 353:5 375:13,13
quarter-by- 252:17
quarters 298:7 361:16
 374:16,18
quasi- 316:13
question 34:16 42:18
 42:21 43:6 47:3 49:1
 64:11 74:1 80:16 82:7
 82:9 91:12 95:14
 106:1 129:6 141:19
 142:4 146:15,20
 147:3 149:17 151:4
 157:20 168:13,17,21
 169:6 171:11 175:14
 179:4 181:22 183:1
 183:17 184:13 186:4

209:14 213:11 237:1
 238:4 239:4,20
 240:19 243:22 245:15
 245:18 248:17 255:13
 263:9 268:20 274:12
 276:11,13 288:3
 297:18 302:12,12
 303:8 304:18 305:14
 312:9 314:18 317:3
 320:3,4 321:1,16
 324:2 328:8,11 331:6
 332:10 334:10 341:13
 343:12 344:9 348:18
 352:12,14,16 356:5,7
 361:10 364:10 365:5
 366:13 371:3,12
 374:20 382:15,17
 390:2,5,17 395:15,16
 396:13 403:16
question's 108:17
questioning 166:7
 354:3
questions 10:2 18:20
 24:19 25:2 28:10 32:4
 34:14 37:22 44:20
 64:9 66:10 67:12
 72:11 89:12 99:10
 115:6 116:8 117:22
 118:3 119:13,13,14
 121:13 125:14 126:16
 128:11 134:13 136:9
 143:7 145:20 156:4
 157:13,17 164:20
 173:10 179:12 183:22
 187:6 193:2 198:14
 202:17 203:16 204:5
 205:20 206:6 209:8
 211:2 213:9 215:2
 216:19 218:10 219:12
 223:2,9,21 228:14
 248:7 252:7 274:14
 278:2 279:3 281:11
 290:6 300:16 311:10
 315:13,17 327:21
 335:1 337:3,12
 344:19 346:5 348:21
 349:1 352:12 353:20
 355:20 356:3,6,19
 360:14 362:7 365:1
 377:14 392:4 393:11
 393:16 396:10,22
 403:14 404:2
quick 19:7 22:20 103:3
 268:4 275:7 378:1
quickly 74:17 108:8
 197:10 325:17 350:15
 384:17 393:22 398:20
quiet 246:13

quite 40:8 48:6 81:16
 232:1,2 234:11
 263:18 265:18 303:13
 303:18 319:1 339:1
 350:9 353:17 396:17
 401:3
quorum 34:7 394:1,2,4
 394:6
quote 351:10
quote/unquote 357:6
quoted 309:1
quotes 347:8

R

R-load 161:1
race 39:5 122:15 192:3
 192:17,22 210:14
 238:20 336:22
races 119:5
rage 339:14
raise 30:12 31:4 56:16
 69:10 87:5 313:6
 343:13 362:8 370:6
raised 62:12,13 86:15
 106:1 242:13,14
 249:3 279:4 332:10
raising 93:18
random 240:1
randomization 310:21
 312:18 313:9 314:21
 315:3
randomized 231:13
 308:2,9 310:13
 316:14,21 359:15
randomly 290:11 349:9
range 124:8,9 125:7
 262:21 269:1
ranged 66:22
ranging 52:1
ranked 307:9
rankings 282:21
rate 47:19,22 48:15,17
 97:9,11 117:19
 129:13 149:14 189:15
 212:8 232:6 245:8
 254:7 267:18,19
 296:6 380:13
rated 24:19 96:19
 129:10,11 168:9
 178:9 180:17
rater 240:9
rates 52:12 81:11
 163:17 172:3,9
 192:21 202:4 229:4
 245:10,14 252:9
 253:21
ratification 297:12
rating 24:17 95:13

131:20 132:7 133:17
 133:22 168:1 176:11
 198:11 202:11 203:13
 210:21 216:17 306:17
 306:19 344:19
ratings 131:22 132:17
ratio 64:7 66:21 69:21
 70:4 269:12,14,19
 270:5 345:21 355:3
rationale 24:21 35:7
 47:3 56:11 138:4
 225:19 226:2,3,7,15
 227:6,12,13 242:14
 242:17 257:21 323:5
 338:7,9,13 344:20
ratios 269:16,17
raw 357:19
RCTs 183:19
re- 62:3
re-abstracted 256:16
re-approved 108:16
re-calibrated 326:18
re-endorsed 182:7
re-endorsement 295:5
re-profusion 310:5,8
re-specified 108:2,3
re-vote 98:13,19
reach 34:2 173:4 231:2
 349:14
reached 34:1 117:17
 177:22 178:1 212:4
 367:19 395:7 401:5
reacting 390:10
reaction 6:5
read 259:3 262:15
 338:7 341:14 374:5
readily 368:16
reading 325:19
ready 21:13 22:2
 173:14 207:2 219:8
 289:15 335:21 336:1
 368:8 392:15 393:18
real 18:11 103:3 119:8
 229:5 246:3 268:4
 271:20 283:16,18,19
 320:4,7 357:6 378:21
real-world 205:17
 388:10
realistically 385:7
reality 107:19 134:10
 321:3 386:9 388:10
 389:20
realize 291:11 326:11
realized 6:3
reason 10:13 19:2 22:5
 47:3 87:16 93:3
 129:11 133:20 140:1
 148:2 153:17 252:10

274:6 296:14 319:8
 324:15 325:2 337:7
 339:10 388:7
reasonable 148:9 171:9
reasonably 267:4
reasons 94:16 386:16
reassess 312:6
reassessed 311:12
reassessment 306:10
 310:1 311:13 370:9
 375:12
reassume 302:5
reassuring 264:8
recall 9:22 267:15
receive 148:4 252:3
 312:13 317:14 340:10
 377:16
received 29:21 127:19
 205:5 216:7 218:6
 310:22 311:2,6
 312:11 313:3 315:4
 349:7 375:19 380:10
receiving 142:7 340:16
recipients 73:11 205:7
 205:9 218:7
recognition 186:1
 325:8,11
recognize 45:11 178:20
 310:11 327:4
recognized 301:3
recollection 358:21
recommend 52:6 207:3
 219:9
recommendation 34:4
 145:14 159:8 307:1
 307:11,11,12,14
 308:1 396:9 397:13
recommendations
 29:12,22 146:9 307:6
 400:11 402:22 403:3
 403:6
recommended 27:8
 33:19 34:5 135:12
 145:16 400:12
recommends 128:3
reconcile 324:12
reconvene 29:19
record 19:4 31:14 60:1
 103:14 109:13 117:4
 118:8,9 128:22
 137:11,14 185:5
 186:12,14 195:14
 196:2 232:9 290:15
 291:20 337:5 359:3
 368:19 374:4 404:10
recorded 89:11 292:18
recordings 89:9
records 45:12,12 99:5,6

186:8 187:20 212:1
 346:21 371:7 374:1,6
 374:8
recovering 40:7
recruited 309:8
recurrently 42:6
recused 292:3
red 30:10
redo 201:9
reduce 209:3 227:17
 321:9 360:5
reduction 92:3 299:1
 308:12 314:6,17
 354:20 359:16
reevaluated 312:3
refer 25:3 321:20
reference 31:11 148:13
 319:6
referenced 253:1
references 226:19
referrals 143:1
refined 282:16
refinement 267:22
 375:12
reflect 73:15 252:4
reflection 352:4
reflective 261:15
reflects 298:7 340:22
refocused 238:13
refresh 28:14
refuse 92:22
refusing 93:5
regard 304:14 326:22
 354:8 369:6
regarding 193:4 205:20
 299:9 303:2,8 304:18
 317:3 328:4 344:19
 352:17 364:18 380:5
 392:4
regardless 50:13 63:20
 72:2 86:13 117:10
 163:7 234:2 237:5
regards 40:14 63:12
 66:16 73:8 88:5 99:2
 157:20 310:12
region 384:21
Regional 1:12 8:4
Registered 2:9
registry 249:8
regression 256:18
 319:4,5
regular 375:4
regulations 16:12
regulatory 221:17
reiterate 133:12 268:8
relate 188:5 240:19
 324:7
related 12:12 23:8,16

23:18 41:5 67:6 89:18
 132:20 139:21 168:14
 169:7 172:16 187:15
 205:11 267:18 273:7
 297:20 341:17 375:10
 399:13 400:10,13
relates 140:20 141:19
relationship 56:12
 166:19
relative 260:2 299:1
relatively 95:1 115:2
 148:22 153:19
released 42:3
relevant 11:13,17,22
 13:2 17:7 79:1
reliability 27:3 32:14
 33:8 63:9 66:11,17,22
 67:1,4,7,13 68:1,3,18
 70:18 71:1,10,13
 72:14 73:3 109:5
 116:21 117:1,13
 118:1 119:17 196:2
 196:22 197:8,9,18,19
 197:21 198:7,9,10,11
 199:2 200:6 209:18
 210:5,7,17 211:7
 230:11 235:5,10
 240:9 247:17,19
 248:4,7,8 255:18,21
 256:3 273:12 335:12
 345:17 346:5,10
 393:7
reliable 33:6 67:10
 274:5 289:12
remain 22:6,6 30:18
 340:18
remainder 308:6
remark 361:12
remarkable 311:9
remarks 4:1 5:9 352:10
remember 114:21
 151:16 188:16 264:11
 291:16 296:21 369:9
remembering 48:18
remind 10:9,18 214:15
 225:15 268:14 372:2
 388:18
reminded 264:21
reminder 18:5 56:3
 118:18
Renee 36:11
repeat 31:1 58:19 190:2
 213:7 271:8 301:22
 358:5 363:21 395:18
repeatedly 249:11,14
 283:20 305:4
repeating 306:11 307:9
replication 40:14

reply 348:21
report 25:12 27:7 29:17
 32:18 35:6 55:14,20
 56:11 58:9 86:15
 123:17 206:3 221:21
 233:21,22 252:8
 279:15 292:15 298:7
 305:20 351:14 367:10
 368:2 374:16 400:18
 401:9,21 402:5,14,17
reportable 273:7
reported 100:13 133:2
 133:5,7 139:20
 217:17 218:3 228:10
 233:9 245:11,14
 248:3 252:19 298:14
 299:4
reporting 16:21,22 45:7
 106:15,17 205:2
 222:10,10 224:9
 228:12 233:19 275:1
 279:22 280:2,7 289:1
 298:5 307:2 375:8
 378:5
reports 88:18 205:7
 212:15 217:22 280:6
represent 10:19,20
 129:18 130:2 131:5
 191:7
representative 191:6
 198:4
representatives 28:22
 300:12
represented 208:11
representing 198:5
 208:9 251:19
represents 295:4
 296:15 298:8
reproduction 316:16
request 22:10
requested 23:3 399:14
require 105:2 108:20
 109:11,22 110:2,17
 112:9,15 130:22
 167:15 220:8 226:5
 279:22 312:7 351:2,3
 351:9 376:6 380:7,15
required 26:14 27:11
 85:8 130:12 131:13
 201:9 277:17,21
 279:14 280:1 312:20
 329:2 340:9,12,18
 350:16 364:2 378:5
requirement 114:14
 186:1 279:18 303:14
 312:19 352:6 384:14
requirements 63:22
 166:14 221:18 340:9

376:22
requires 201:17 280:7
 343:17 370:7
requiring 152:1 317:10
research 1:18 3:19
 11:20 15:14 53:19
 191:4 198:2 284:15
 297:22 388:9
reservation 173:6
reset 28:15
residents 378:7
residual 357:3
resolve 18:20
resource 191:12 201:18
 281:5 296:8
resources 3:2,3,10
 35:17 201:10,13,19
 372:13
respecify 111:5
respiratory 267:18
respond 29:14,21 30:1
 32:4 52:21 91:7
 152:21 172:1 284:18
 401:15
response 54:2,5 57:14
 57:19 66:12 67:14
 72:12 80:14 91:11
 95:7 99:11 100:22
 101:3 173:12 193:6
 194:22 198:17,20
 199:21 202:19 203:5
 203:17 204:7 206:7
 209:11 211:3 215:3
 216:21 218:11 219:13
 227:8 228:15,18
 246:21 247:2 255:22
 278:4 281:12 284:4
 299:8 300:14,19
 328:1 337:13 344:21
 345:11 346:6 347:1
 354:2
responses 248:1
responsibilities 363:5
responsible 233:1
 295:21 399:22
responsibly 46:4
responsive 245:17
rest 8:15 35:19 194:9
 223:17 301:14,19
restate 54:15
resubmit 62:16 251:5
result 117:16 123:2,18
 151:20 273:9 278:16
 295:9 346:15 352:3
 373:15
resulting 75:11
results 117:16 122:17
 132:22 133:6 198:7

200:10,20 210:17
 212:3 213:2 273:11
 281:21 282:18,20
 319:15 322:16 357:5
 357:5,18 375:21
resume 126:17
resumed 103:14 195:14
 291:20
resuscitation 315:20
 316:7 370:15,22
retention 138:15,17,19
 139:11,12 140:3
 141:7 145:7,15,22
 151:15 152:9,10
 154:13 155:4,8,14
 156:1 162:6,22 164:9
 164:18
retested 27:2
retrospective 249:7
Retroviral 145:12
return 290:14
reused 208:20
revealed 297:11
revealing 270:18
review 13:8 29:9,15
 40:10 74:17 79:4 93:2
 103:5,8 146:8 167:16
 167:17 168:3 169:2
 197:16 198:1 250:4
 284:7 308:17 318:9
 334:16 388:3 403:3,5
 403:7
reviewed 30:16 135:8
 350:10
reviewer 239:21
reviewing 20:4 37:2
reviews 135:16
revised 16:1
revisions 353:4 396:18
revote 178:6 179:14,22
 188:15,17,22 401:18
rid 144:17 213:19 315:5
rightly 152:16
rigor 169:18,19 262:14
rigorous 361:4,6
Rio 2:6 17:14
risk 4:16 21:8 40:15
 80:18 82:12,17,19
 83:12 84:15 212:22
 220:19 221:14 222:18
 225:7 229:3,8 233:5
 233:16 242:9 243:4
 243:10,11,20 244:13
 246:4,5 253:8 257:7
 257:11,19 286:17
 287:4,6,7,10,12,15
 297:12 342:5,13
 348:5,9 355:3 357:19

357:22
risk 281:2 282:7,16
risk-adjusted 16:14
 222:6 253:18 277:18
 278:22 280:11 281:5
 282:13 283:3
Rivers 3:14 294:6,9,9
 294:12,15,18 307:20
 309:12,12,14,16
 313:14,14 315:19
 316:4,9 318:2 321:20
 324:14,15 325:11,16
 326:2 332:15,22,22
 334:6 342:4,4 359:5,5
 359:8,12 372:6
 373:17 389:8 392:5
 392:10
Rivers' 231:12 310:16
RN 2:16 3:10,12
RN-BC 2:8
road 18:12 147:13
 148:4 152:17
ROBERT 3:4
robust 153:5 227:2
 306:2 320:13 356:22
ROC 263:11
Rocco 2:7 17:3,4 58:18
 165:4,6,22 187:8
 235:2,3 293:16 345:7
 379:1 382:16 397:6
role 16:14
roles 28:18
roll-up 354:14
Roney 2:8 13:3,3 34:16
 71:8 118:15 165:22
 169:17 223:15,15
 228:8 229:12 231:9
 247:18 248:8 256:14
 258:11 277:15 278:19
 280:1,22 293:3,3
 315:15,15 316:6,19
 318:6 337:4 370:11
 384:16
room 1:8 12:2 30:7
 34:12,14 40:3 44:10
 56:9,19 60:17 61:7,10
 61:11 62:21 63:1
 98:13 165:20 175:10
 192:6 203:2 221:1
 293:1 330:5 345:22
 397:18 398:13 402:1
 404:2
root 160:10 161:8
rooting 369:15
round 112:13
routine 10:22 43:14
 99:3 132:16 140:10
 142:11 203:11 380:7

383:4 384:1
routinely 134:3,6
 383:17
RRT 3:4
RSR 66:19
rule 234:10,12
rulemaking 88:22
 135:13
rules 30:5 352:2 363:17
run 104:21 122:22
 123:7 229:21 261:14
 371:14
rural 89:10 118:15
 270:17
Rute 3:9 36:10 122:3,4
 122:9 129:7,8 133:19
 207:21
Ryan 8:6 37:6 66:19
 73:11 118:12 119:3
 142:9 149:1 205:3,7,9
 206:3 218:7

S

sadly 187:14
safeguard 384:10
safety 1:14 15:1 79:21
 79:22 220:22 221:9
sample 67:6 272:15
 275:9 276:13,15
 317:2
samples 257:14
San 371:14
SAPS 286:18
Sarah 208:21
sarcoidosis 387:14
sat 11:9
satisfaction 360:15
satisfied 263:22
satisfy 263:4
save 230:22
saved 240:22 241:3,8
 241:17 242:16 246:8
 246:18
saving 240:21 242:15
saw 42:19 80:7 116:8
 149:15 154:9 210:3
 216:12
saying 39:13 41:6
 44:13 49:3 71:3 81:18
 91:20 111:11 153:2
 159:14 161:3,11
 177:18 179:18 214:9
 235:22 251:21 253:7
 266:10 288:7 289:5,9
 339:2 378:15 385:18
says 69:12 81:3 90:4
 95:16,17 96:8 105:7
 114:8 160:18,21

170:1 200:14 231:17
302:16 321:10 332:20
351:12
scale 81:16 127:17
216:5,14,17
scares 236:16
scenario 123:11 251:12
scenarios 122:14 124:3
124:22 125:17
scheduling 150:13
scheme 187:4
schizophrenic 154:12
166:1
School 1:22 2:5 14:7,7
15:1
science 182:21 300:9
scientific 2:2,14 9:11
26:20 33:4 67:20
85:13 401:20
ScM 3:3
scorch 266:20
score 68:17 70:14 75:7
75:20 77:4 78:15 96:1
96:4,16 126:19
127:18,19 128:10
131:12 132:2 197:20
200:16,18 210:6
211:8 215:8 216:6,7
257:12 286:19 351:3
351:7,21 352:3,8,19
352:20 354:12 355:7
363:20
scorecard 215:22
scored 69:1 216:9
scores 67:4 68:3 75:11
227:15 351:18
scoring 60:13
scratch 107:22
screen 28:15 31:7
49:21 105:6,9
screening 22:17 399:15
screwing 180:10
scroll 306:16
scrutinized 283:5
SDS 257:19
se 150:1 319:19
Sean 3:18 300:4 301:4
302:22 306:14 308:13
309:10 314:19 317:9
326:21 329:12 330:4
339:8 344:3 350:12
350:13,14 352:9
359:21 371:10 374:12
380:1 387:18
second 58:8 92:6
123:16 160:16 227:10
234:9 268:9 290:21
291:1 317:5 382:3

secondary 391:4
Secondly 327:10
section 31:6 135:5
190:21 198:2 238:14
256:12 332:18 338:4
349:7
sections 32:15
secular 318:17 320:5
Security 1:16
seeing 43:20 44:9,14
90:1 98:14 140:17
142:10,12 152:5
159:17 163:8 165:13
236:14 253:22 353:11
385:10,16
seek 377:5
seen 26:3 44:9,10 81:14
93:4 109:14,15 141:2
147:14,21 148:7
150:14,16 152:4,12
153:21 156:22 160:1
171:10 192:17 232:4
241:15 245:11 253:5
271:2 279:6 284:7,8
284:11,12 303:20
359:18 388:2
segment 374:2
select 29:4 260:14
selected 349:9
selection 331:21
self- 357:21
self-fulfilling 283:12
self-selection 85:7
semester 208:16
semi-urgent 150:18
send 250:17 281:1
377:17 400:2 402:6
sending 288:5
Senior 1:11,15 2:7,16
2:21 6:19 7:14 9:14
17:5
sense 80:5 151:9
159:15 166:4 169:15
174:19 175:7 260:18
279:21 280:21 338:22
339:19
sensitivities 332:5
sent 10:1
Sep 298:20 327:11
334:15 340:15 341:6
354:8 357:16
SEP-1 384:11
separate 234:5 254:18
255:10 288:16 352:14
separately 142:2
254:21
sepsis- 287:14
sepsis-specific 287:12

sepsis/septic 252:1
septic 4:18 19:14 20:5
221:20 232:8 233:9
236:6,7,11 245:5
248:15,19 249:10
250:4,13 252:5
293:21 295:20 298:12
310:12 326:8,9,10,13
326:14 333:3 334:17
340:1 341:21 386:2
386:20
sequence 122:16
sequential 144:5
series 308:10
serve 29:2 290:20
served 16:9 238:5
service 1:17 10:21
56:14
services 3:2,3,11,16
35:18 147:6 160:12
206:3 214:2
serving 163:15
session 6:17 195:11
set 6:9 84:1 91:18,19
107:8,13 110:4,7
113:11 122:19 129:13
210:10 251:1 264:6
285:9 315:10 328:7
329:7 354:17 387:17
sets 107:4 110:2,9,14
129:15 130:2 209:6
setting 83:6,9,16
383:12
settings 165:18 205:17
settle 334:4
settled 150:6
seven 57:6 62:14
137:14 168:1 204:17
215:14 254:7 385:1
Seventy-five 135:2
sever 250:3
severe 4:18 20:5
221:20 233:10,12
245:4 248:14 249:9
250:12 252:1,4
293:21 298:13 324:7
326:9 330:16 334:17
340:1 358:4 386:5
severity 259:21 267:22
340:22 342:8 358:8
Shantanu 2:13 5:8 7:5
share 23:12 35:9 86:16
284:19 349:3
sharing 60:10
Sharon- 271:10
Sharon-Lise 282:12
sharpened 266:11
sheet 349:7 386:1

shock 4:18 19:14 20:5
221:20 233:10 245:5
248:15,19 249:10
250:4,13 252:1,5
293:21 295:20 298:12
306:9 310:12 326:8
326:10,13,14 333:3
334:17 340:2 358:4
shoebox 387:17
short 146:11
shortly 147:17
show 70:8 77:16 173:3
173:5 198:7 268:17
272:14 308:8,18
318:2 354:19 355:10
364:6 375:21
showed 65:7 67:4
119:10 168:6 239:14
282:17 310:5 314:1
showing 227:16 269:1
363:18
shown 100:16 229:6
299:20 308:11 322:19
333:12
shows 118:19 129:3
153:10 166:17 306:18
336:19 382:7
shut 270:21
sick 230:1 266:15 378:9
385:19 386:15 391:6
side 83:20,21 84:7 94:1
94:1,3
sides 94:6
sign 343:4
signal 288:5
signal- 70:10
signal-to-noise 66:21
68:15 69:19,21,22
70:4,6,9,21 71:16,20
197:17
signaling 345:21
significant 38:22 40:12
42:12 48:12,21 68:12
134:2 140:8 163:9
201:18 238:17,18
260:15 261:9,10
267:16 300:8 348:6
355:18 375:16 379:6
significantly 260:18
270:1,2
signify 71:11
signs 383:11
similar 192:18 358:22
383:11
similarly 212:16 234:17
simple 64:7 108:13
164:2 241:15 258:13
275:19 361:13

simplest 260:22	62:6,10,20 98:12	170:14 171:22 176:17	334:18 356:4 364:21
simplification 299:13	100:1 120:16 137:10	187:4 190:2 196:10	401:10
Simplified 286:19	144:18 145:2 176:13	197:3 203:22 204:1	specifically 36:9 37:12
simplify 262:8	194:16 199:13,17,19	204:16 212:9 215:20	75:15 89:9 107:12
simply 49:16 90:15,21	290:8 291:4 292:12	218:21 257:4,6	114:7 172:6 175:8
128:18 137:20 141:17	292:20 293:12 400:22	262:15 291:4 294:15	201:17 217:20 237:14
156:12 175:17 236:9	402:12 403:22	337:21 348:19 359:10	237:19 238:6 338:19
272:14 308:2 313:1	slide 19:10 22:8 30:4	359:12 363:3,4	specification 111:19
324:16,19 333:5	34:12 104:5 106:4	368:10 369:9 372:1	299:9 331:22 376:14
334:19 335:14 342:21	107:2,19 108:21	392:8 403:5	377:3
357:13 360:5	109:9 112:17 113:14	sort 16:7,12 38:3 46:8	specification's 109:6
simulated 119:3	113:18 114:5 189:9	76:17,19 80:22 83:7	specifications 63:9,13
simulating 210:12	322:5 402:15	84:4 87:11 94:9	114:16 116:22 117:3
simulation 111:9	slides 28:14	104:22 114:18 124:13	122:20 197:10 200:8
114:19	slightly 115:4 163:22	147:12,22 148:4	200:8 209:21 343:4
Simultaneous 96:13	184:7	150:18 160:12 179:8	353:16 354:2 368:14
180:11 181:11 248:22	slip 290:13,18	188:8 205:21 229:22	375:16
254:11 265:2,14	Sloan 1:18 15:8	238:3 254:14 279:15	specificity 53:11
266:18 268:6 309:11	small 71:20 89:10 95:1	283:12,19 310:14	163:22
323:10 325:15 329:20	118:15 148:22 162:7	313:6 325:9 343:20	specifics 398:22
330:2 334:2 348:4	164:22	348:20,22 349:14	specified 75:12 78:10
359:9 360:16 366:5	smaller 70:15 272:21	361:1 372:13 388:18	96:2 97:5 145:10
373:16 397:5	snapshot 22:20	399:10,14	197:11 200:16 332:21
Sinai 283:10	SNOMED 107:9 128:3,4	sought 245:2	334:12
single 233:15 321:10	128:6 129:14,17	sound 113:17	specify 390:7
338:21 381:22	133:15,22 134:2,4,6	sounds 32:6 78:22	specifying 380:22
sir 221:10	216:12	121:22 182:17 216:11	specs 26:22
SIRS 327:15	snow 6:5	393:18	spectacular 6:15
sit 10:18 28:21	sobering 298:11	source 22:21 117:3	spectrum 301:18 311:4
sites 71:15,18 72:2,6,8	society 11:10 13:6	131:1 132:9 197:21	390:22
72:8 191:7,19 198:3	socioeconomic 173:1	209:19 210:8	speculate 321:16 360:7
238:7	software 377:10	South 8:4	speed 191:2
sitting 232:5 236:3,5	solid 171:8	spans 339:22	spend 53:13 336:18
360:18	solution 311:22	speak 18:10,17 30:7	spirit 295:2
situations 49:13 119:6	somebody 18:7 98:9,10	31:18 55:6 129:10	spiritual 175:2,5
382:8	121:19,21 154:8	159:12 195:20	split 147:22 177:21
six 130:1,2 138:21	161:4 231:22 250:2	speaking 18:11 30:10	263:3,4 367:4
139:4,7 147:18	250:12 251:13 271:9	31:14 68:16 70:3	spoke 34:21
152:16 156:11 158:12	328:15 330:21 348:17	96:13 144:11 180:11	spot 363:4
158:14 161:1,6 166:3	370:12,14 381:11	181:11 248:22 254:11	spread 83:18
208:9 219:3 247:14	382:5,6,14 386:19	265:2,14 266:18	sputum 333:5
297:14 301:14,21	387:2	268:6 309:11 323:10	squirrelly 77:15
317:12	somebody's 140:12	325:15 329:20 330:2	Sreeramoju 2:9 17:10
six- 317:4	306:9 373:8 378:11	334:2 348:4 359:9	102:7,8,10,11,16
six-hour 317:4,6,7,19	someone's 43:13	360:16 366:5,9	172:13 193:14,18,22
324:10 331:10 363:20	somewhat 238:19	373:16 397:5	225:1 229:18 230:12
364:7	soon 150:17 188:4	spearheaded 303:12	234:3 261:11 280:4,5
six-month 146:19 155:6	206:3 302:17	special 142:19 143:5	293:17
sizable 149:9	sooner 304:14	162:13 274:9 284:11	St 13:4 14:1
size 67:6 70:16 271:4	sophisticated 159:5	380:16	stab 339:9
272:14 276:15 317:2	287:22	specialist 43:1 142:1	stability 354:9
skin 94:6	sorry 41:12 50:1 51:20	specialize 81:9	stabilization 353:3
skip 104:6,6,6,8,8,9	55:17 58:1 60:10 62:5	specific 69:4 74:19	stable 148:7 375:14
skipped 363:4	65:20 67:21 72:18	75:4 89:12 129:13	staff 2:11 18:19 24:6,16
Skipper 2:20 5:3 8:18	80:9 120:19 121:17	214:2 226:19 230:18	29:8 96:19 97:10
8:19 9:16 18:22 28:11	127:2,4 144:13 145:4	233:16 259:6 274:19	273:3 307:5 372:16
35:10 55:7,11 56:3	147:1 150:8 155:9	274:22,22 275:1	staffing 382:8
57:3,7 59:18 60:5,8	157:15,19 168:5	287:15 327:18 334:10	stage 132:19,20 133:1

261:17 327:16
stages 82:14
stakeholder 28:19
 246:2 299:8
Stan 259:10 260:5,9
 267:14 275:6 284:22
 286:15,16 287:16
stance 91:13
stand 84:3 304:15
stand-alone 342:14
stand-up 342:8
standard 78:14,20
 106:9 107:15 108:19
 121:10 128:3 130:16
 131:1,5 211:21 216:4
 273:1 276:12 297:2
 304:17 326:20 341:20
standardization 106:22
 107:6
standardized 105:15
 106:3,13,21 131:12
 214:18,19 269:14,15
 269:17 270:5
standardizes 239:8
standardizing 106:16
 223:18 224:10 228:11
standards 30:2 104:17
 106:7 128:2 130:17
 131:18 132:11 216:10
 231:7 296:22
standing 1:3,7 5:4
 28:18 135:15 168:11
 183:13 290:10 291:8
 292:16 399:21
standpoint 42:13
stands 225:5 280:13
 313:20 316:18 347:17
STANLEY 3:6
star 398:1
star-1 194:20
start 5:7 12:6 24:18
 25:10 32:8 91:8
 104:20 116:22 143:12
 143:22 173:20 195:9
 230:13,16 259:9,9
 292:21 325:10 341:14
 385:20,20 387:12
 397:16
started 5:6 19:6 28:12
 141:17 157:21 222:10
 237:2 258:2 260:11
starting 12:3 107:22
 260:7 291:6 352:16
state 3:4,6 4:17 16:10
 16:13 21:9 47:10
 54:10,15 220:21
 221:16 222:22 223:1
 223:7 224:8 229:5

232:15 237:11 238:16
 238:22 239:20 241:9
 241:20 242:10 248:5
 256:20 267:5 273:8
 273:11 274:3 276:3
 279:14 280:8,17
 284:10 287:20 290:3
 304:13 321:7
State's 16:11
stated 82:15 120:17
 128:2 160:3 185:10
 210:18 320:4
statement 227:14,18
 307:13 340:13 375:6
statements 240:20
 246:7
states 43:7 45:5 46:9
 75:6 145:7 161:22
 203:10 223:20 224:10
 228:10 233:18 273:17
 276:6 279:17,22
 287:1 296:1 319:14
 320:13 351:15
stating 18:9 180:5
statistic 263:8,12,14,16
 263:21 264:1,14,17
 265:4,19 266:5
 268:12
statistical 79:7 260:5
 262:14 269:2 271:5
 272:15 281:8 283:6
 284:8 356:8,21
statistically 261:9
 355:18
statistician 68:9
statisticians 36:12
statistics 45:17,17
 266:8 295:18 345:20
 361:18
status 191:8 238:20
 302:5,8 310:1 311:12
 312:6 378:9
stay 30:17,20 51:21
 248:16
steering 291:7
step 35:14 188:14
 228:7 231:6
Stephen 107:20
stepped 393:20
steps 19:9 144:5
 398:10,20 403:15
Steps/Committee 4:21
steward 220:20
stewards 21:18 294:22
 300:3
stewardship 305:2,8
 332:11 333:15 391:19
 392:13

STI 23:21
stick 24:17 25:9
stop 28:10 32:22 33:9
 104:10 177:16 189:20
 223:8 382:2
stopped 150:19
stops 342:19
storm 241:7
straight 35:5 120:13
 354:21
straightforward 115:2
strategies 312:1 320:16
strategy 43:15 312:3
 331:18 364:3
stratification 342:13
stratified 68:19 70:8,10
 336:22
stratifier 342:6
stratifies 357:22
stratify 49:20 142:5
strayed 388:18
streamlined 350:3
Street 1:8
stress 24:16
strides 300:8
stringent 226:6
stroke 385:13,14
 389:10,11,12,13,14
 389:21
strong 60:4 145:17
 225:11 227:12 307:12
 307:14,22
stronger 196:19
strongest 225:6
strongly 89:6 239:16
 243:20
structure 56:13 106:11
structured 111:17
 132:3 133:6 187:20
 378:3
studied 317:10
studies 296:19 297:10
 308:7,10,17,21,22
 309:7,17 316:10,13
 316:14,22 318:13
 321:12,14 322:15
 360:19 387:4,20
study 143:5 276:10
 282:12 309:3 311:15
 314:3,5 322:3,9,19
 347:18,19,21 348:7
 359:4 361:6 388:12
studying 22:21
stuff 13:8 166:7 277:19
 360:21 361:3,3
 369:17 370:1
stumbled 266:2
subject 11:17 239:18

submission 142:5
 178:22 267:7 273:20
 273:21 295:4,5 298:1
 305:17
submit 100:2 221:13
 274:4 304:19 305:8
submitted 20:12 29:15
 130:12 131:13 167:8
 242:10 256:20 284:19
 298:10 314:1 322:11
 352:11
subsequent 139:4
 140:21 313:1 375:19
substance 26:5 82:4
substances 84:18
substantial 26:6 92:3
 353:6 374:22
substantially 347:6
success 304:10
successful 135:14
 270:19 347:4
successfully 299:4
sufficient 27:3,12,13
 112:9 150:21 169:1,3
 181:1 182:8 200:11
 200:21 349:14
sufficiently 376:16
suggest 146:18 180:17
 227:3 321:9 360:3
 387:20
suggested 43:11 205:9
 327:14
suggesting 147:13
suggests 350:8 354:15
suitability 102:3,20
 137:4 207:6 219:17
 289:17 397:2,7
suitable 288:6 289:8
 365:6
suite 37:1
sum 33:20
summarize 60:13
 366:20
summarized 29:17
summarizing 226:9
summary 31:21 76:5
 114:9 197:19 368:3
superb 79:3 184:20
 262:19
superimposed 344:1
supplemental 130:11
 311:5
supplied 359:13
support 40:19 92:13
 135:13 165:12 177:4
 179:17 196:12 300:6
 305:12 313:11 315:22
 317:21,22 363:7

supported 378:3
supporting 88:4
supportive 205:8
supports 56:12 145:6
 155:22 167:11 169:13
 205:18
supposed 79:20 182:2
 322:21
suppress 354:6
suppressed 38:21
 139:16 152:18 155:12
 158:21 159:4 160:20
 161:5,22 162:5,11,12
 163:1 164:22 171:16
suppression 4:7,10
 20:8 37:14 38:14
 39:17 41:18,18 47:19
 47:21 48:14 52:10,12
 52:15 53:4,18 56:10
 81:11,15 100:17
 116:5 141:3,7 142:2
 146:1 147:10,11,20
 149:10,14 151:6
 152:13 154:9 155:9
 155:10,15,17 158:18
 163:10,17 166:21
 169:10,14 172:17
 192:19,21
surgical 17:7 275:10
surprised 321:12
surrogate 39:18
surveillance 145:8
survival 239:11 260:2
Surviving 15:22 16:5,6
 222:15 241:16 297:4
 297:6 320:10,14,19
 321:18 339:15
suspect 50:5 331:12
suspected 342:12
 383:14
sustained 147:10,19,20
 151:13
swear 78:19
swinging 213:21 214:4
switch 61:17
symptoms 385:12
syndrome 385:11,12
synthetic 21:3 103:9
 117:14 119:2,9 121:9
 121:21 125:19 210:11
 212:1,17
system 2:10 3:14 50:8
 63:6 94:18 107:8
 114:4 118:13 142:21
 152:3 153:14 172:22
 173:3 205:2,3 210:12
 225:22 285:11 379:15
systematic 73:17 75:9

145:7,15 146:6
 167:16,17 168:3
 169:2
systematically 45:22
 96:12
systems 43:3 99:6
 130:22 164:8 285:12

T

T- 157:7
T.H 14:7
table 8:10,11 10:6 12:3
 12:20 31:17,18 69:12
 182:9 255:20 283:9
 305:20 388:4 390:10
tables 116:6 311:5
tag 123:12 280:22
taken 91:13 287:5
 300:8 303:21 304:1
 307:5 353:17
takes 62:1 152:3,4
 168:4
talents 287:20
talk 7:8 12:18 21:7 23:2
 38:7 68:1 72:5,5
 140:2 170:3 186:6
 240:9,10 259:6 263:2
 318:12 323:3 336:13
 350:17,22 368:18
 372:3 398:21 400:9
 400:10 404:6
talked 20:14 21:4 80:18
 178:22 258:3 324:9
 345:20 369:5,8,10,12
 370:2 383:10
talking 5:19 33:5,12,17
 51:13 53:14 116:22
 132:12 135:22 157:21
 162:14 163:3 195:9
 233:3 237:2 242:8
 245:22 254:12 258:8
 272:21 313:12 331:20
 360:18
talks 45:4
tallies 72:22
tally 54:13,18 120:22
 134:22 136:20 217:10
targets 91:19 92:2
task 30:18,20 379:9
taxonomy 130:18
TB 22:16
teach-back 126:2
teaching 224:2 371:7
 371:13
team 5:14,14 8:15
 35:19 36:9,10 53:8
 65:10 84:9 87:22 94:9
 122:4 131:16 132:18

220:9 222:20 258:17
 261:18 323:20 363:8
techie 104:22
technical 39:10 58:2
 67:19 73:11 74:1,3
 76:8 92:9
technologies 333:16
Tefera 3:15 330:8
 331:19,20 375:2,3
 376:13,13 379:10
tele- 187:13
tele-help 185:3
tele-visits 185:1
teleconference 3:22
 220:13
telehealth 66:7
telephone 51:17 64:16
 186:11,13 194:21
Telephonic 285:17
tell 10:7 49:9 79:9 90:7
 90:9 154:19 156:14
 156:18 180:12 270:21
 271:19 283:5 315:9
 320:18 333:17 338:15
 356:14 357:1 371:15
 378:6 381:2,14 398:9
Telligen 3:4 329:13
telling 303:5
tells 123:3,17 236:2
ten 103:2 110:14 126:4
 126:5 139:20 168:12
 169:5 195:7,11
 389:11,13
ten-minute 103:3
tend 74:8 79:17 265:20
tended 70:15
tendency 234:18
tends 263:15
tens 227:16 371:6
tension 391:21
tenth 295:3
term 29:5 44:8 46:19
 92:9 139:12 290:13
 290:15,21,22 291:1
 291:16 292:22
terminology 74:10
 128:3,7 132:14
terms 29:3 39:10,18
 40:11,17 42:10 84:13
 89:3 93:2 106:17
 125:17 141:20 142:2
 142:6 147:14 153:3
 158:17 159:21 186:8
 192:17,19,22 200:17
 203:9 208:8 210:4
 212:11 213:2 244:3
 246:1,5,10,16,17
 249:4 259:12 283:5

290:11,20 313:22
 317:21 324:6 333:15
 339:2,3 348:10
 357:22 371:8 378:19
 379:17
terrific 71:4,7 357:7
Terry 3:17 251:18,19
test 37:16 49:7 50:14
 77:21 79:7 111:6,9
 117:18,20 119:5
 122:17 124:8,10,12
 124:14 125:4,5,6
 132:22 133:6 210:19
 212:5,6,8 231:17
 240:16 273:17 276:13
 302:9
tested 37:3 73:9 77:12
 108:7,11 109:11,12
 201:1 210:13 212:16
 259:4 282:18 304:12
testing 20:17 21:2,3
 24:13 26:16 27:2,3,5
 27:9,11 37:22 38:4
 39:3 49:20 63:17
 66:15,17,17,20 68:16
 68:18 69:21 71:1 75:3
 75:17,18,19,22 76:1,4
 78:9,13,15,15 97:19
 103:9 112:8,10,17
 114:17 117:13,15,16
 118:3,18,19 119:11
 121:20 123:5 124:5
 125:1,15 135:14
 154:17 156:22 197:17
 197:18,19,20,21
 200:6,10,18 201:13
 201:17 210:5,7,11,12
 211:20,20,21,22
 212:3 215:21 250:10
 255:18,21 256:13,15
 257:13 258:2 276:16
 346:19 351:2,4,6,15
 351:20,22 352:19
 354:18 355:8 392:6
 392:11
tests 41:14
Texas 118:16 280:8
 315:16
thanks 9:19 17:18,22
 58:17 102:17 115:8
 126:12 199:14 221:11
 227:19 273:5 294:19
 329:11
that-- 341:15
therapeutic 333:21
therapy 20:10 160:19
 296:18 306:8 333:4
thereof 319:19

things 5:13 10:10 11:8 11:16 14:13 91:11 105:16,22 113:4 135:18 138:6 153:3 158:6 159:18 161:15 173:5 180:10 187:4 194:12 207:19 228:22 233:4 238:3 244:8 246:8 251:18,20 301:22 305:1,6 307:8 307:21 312:12 318:9 329:14 340:10 342:10 356:10 358:7 360:8 380:12,14 383:12,15	363:20 364:7 385:19 three-year 29:2 threshold 75:2 84:1 124:11 271:5,15 272:16 289:7 349:16 367:4 401:6 thresholds 83:7,9,16 thrilled 262:20 throw 387:4 thrown 84:5 390:11 tie 13:9 ties 135:21 169:14 tight 354:15 tightened 182:14 Timeline 4:21 times 34:9 150:16 155:14,16 254:7 276:16 296:4 333:4 372:9 386:7 timing 124:16 208:8 317:18 324:9 timings 125:7 titled 20:12 to-noise 70:11 today 6:8,17 8:13,21 9:4,9 12:11,18 18:5 20:4 23:2 29:3,10 31:11,16 34:8 36:16 37:2,13 38:6 90:8 104:18 108:13 112:8 182:9,19 288:18 309:6 376:22 388:4 398:19 399:4,6 today's 30:6 108:14 114:10 201:15 token 270:4 told 6:13 111:3 tomorrow 5:22 tool 76:14 79:3,20 111:3 117:16 122:12 123:1,17 126:2 211:22 212:2,4 377:9 377:12,14 tools 17:16,19 top-tottered 365:17 topic 22:22 23:9 87:12 topics 7:8 19:12 95:19 97:1 393:15 topology 131:1 topped 61:1 torn 166:10 total 57:9 127:8 139:6 191:7 totally 184:18 232:19 262:20 totals 188:22 touched 188:1 256:15 Townsend 3:18 294:22	300:4 301:4 302:22 303:1 306:14 308:13 309:10,13,15 310:9 312:17 314:18 315:16 317:9 320:3 326:21 326:22 328:10,19 329:4,9,18 330:3 331:2,5 334:9 339:8 341:3,10 342:16 344:2,3 347:20 348:12,15 350:11,13 350:19 352:9,10 356:2,11 357:8 358:20 359:21 361:11 371:10,10 372:2 374:12 379:22 380:1 381:14 383:19 385:3 387:18 388:15 track 225:8 283:16 tracking 225:12 253:16 tracks 38:12 Tracy 36:4 traffic 6:6 train 7:3 trained 373:10 Training 1:13 8:5 12:16 transferring 21:18 translate 94:2 translates 94:3 transmissions 139:17 transmitted 402:2 transmitting 40:16 transparent 10:14 75:9 treat 171:2 221:19 385:13,13 388:13 treated 241:3 treating 81:12 254:4 331:11 387:22 treatment 38:12,13 43:7,22 91:3,20 92:22 93:5 94:11,22 140:8 141:1 146:5 160:10 160:14 231:14 241:5 264:11,12,16 328:17 389:11 399:15 treatments 297:11 trend 252:16 253:21 320:5 376:1 trending 225:12 trends 252:13 318:14 318:17 triage 303:20 triaged 303:21 triaging 325:12 trial 108:9 231:13 245:12 285:5 308:3 310:16 311:14,21 312:20 313:16 314:9	315:1,2,9 316:21 321:10 348:8 trials 297:7,16,18 298:3 298:15 308:9 309:20 310:13,17,21 311:5 311:20 321:8 327:14 359:14 tribulation 285:6 tried 23:9 26:7 77:18 241:9 258:15,17 276:8 311:17 324:12 Trigger 76:14 79:2,20 trouble 28:14 318:15 troubled 187:18 true 6:4 153:8 169:21 208:20 269:2 284:12 309:3 313:9 360:22 375:6 true/true 268:20 truly 229:16 345:22 376:8 truth 80:2 try 24:20 32:12 52:2 63:6 67:18 103:17 145:1 182:16 191:1 223:10 238:12 242:21 244:17 253:9 288:16 294:7 352:15 trying 21:15 69:7,15 70:22 77:13 144:16 146:10 150:20 153:4 182:18 214:7 231:16 253:10 323:4 334:4 373:4 384:7 385:13 388:12 TTA 389:13 Tucson 17:14 TUESDAY 1:5 tune 230:16 turn 7:11 9:16 12:20 31:20 35:12 39:21 63:11 103:19 150:10 160:5 261:6 305:15 352:16 398:7 400:21 turned 251:15 turning 5:7 turns 148:20 149:3 twelve 134:22 twice 147:21 298:14 two-year 46:19 162:1 type 22:22 23:16 65:13 76:4 128:15 141:16 157:10 306:13 354:2 357:11 361:13 369:17 380:4 typed 60:6 types 153:6 159:9 191:9 258:8 304:7
--	--	---	--

typical 77:8 191:9
272:22 382:9 399:17
typically 79:14

U

U.K. 320:13
U.S. 296:15
Uber 173:4
UHC 282:9
ultimately 70:22 111:17
ultrasound 370:5
unanswered 356:19
unbundling 364:13,18
unclear 178:7 201:1
268:1
uncontrolled 360:8
underlying 320:5 383:8
underserved 89:10
understand 47:6 68:6
69:17 78:2 115:16
128:18 134:8 187:2
232:13,19 244:17
266:7 270:12 271:13
286:8 302:14 313:16
314:16 334:15 345:19
345:22 373:4,11
understanding 21:15
27:19 68:15 81:6 83:5
83:13,17 97:13 126:1
322:20
understood 178:5
undertake 47:7
undertaking 170:12
underway 292:1
undetectable 41:9
157:7
undiagnosed 45:18
unexpected 125:16
135:11,12
unfavorable 46:2 87:1
unfortunately 35:20
unheard 361:15
uninsured 185:17
unintended 205:13,14
unintentional 332:12
unique 138:6 273:21
274:9 368:17 380:16
unit 45:2
United 43:7 161:22
223:20 224:10 228:10
287:1 295:22 319:14
320:13
University 1:15,19,22
2:5 3:7,8 12:22 14:1
15:13
university-based
270:16
unrated 167:13,21

unrelated 268:21
unrolled 353:13
unsuppressed 152:19
untreated 84:19
unusually 366:18
unvirally 139:16
up-coding 239:18
up-front 72:1
update 332:4 354:1
updated 26:4 40:18,19
66:17 144:3 145:4
197:18 350:21
upper/middle 90:20
uptick 131:4
upwards 197:4
urgency 384:6
urine 333:6
usability 27:14,18
33:13 100:11,21
101:7,21 135:5,6
136:7,10,12 137:13
204:21 206:9,11
217:15 218:14 278:18
279:4,10 281:11,15
365:8 388:19 393:5,7
393:8,14 394:17,22
396:1 401:10 402:1
use 17:15,19,20 23:22
27:14,18 30:12 31:4
33:13 37:5 44:8 48:8
76:7,11 77:1 78:10
80:5 81:5 100:11,16
104:15 108:9,9 109:7
114:12 126:2 130:22
131:4 132:19 133:2
134:2 135:22 136:7
136:13 139:9 204:21
206:9,11 217:15,18
218:15 222:1,13
237:6 239:17 246:10
249:12 250:11 257:22
271:5,9 273:3 274:4
280:11,18 281:16
286:6 288:8 289:8,10
302:21 308:21 310:4
318:10 327:15 332:13
333:13 337:6 342:10
342:14 366:16 383:20
388:19 391:14 393:13
394:17,22 395:22
401:11
useful 33:14 74:12
164:8 271:14 280:14
286:2
usefulness 280:9
286:12
users 82:4 402:4
uses 377:9

usual 310:3 312:5
313:18
usually 98:9 130:12
324:21 365:15

V

vaccination 23:20
valid 33:6 34:18 159:14
246:12 289:12 352:1
validate 263:5 283:13
validated 285:20
295:13 326:19 349:9
validation 237:21
265:16 284:16 326:6
valuable 34:19
value 107:4,13 110:2,7
110:9,14 113:11
129:13,15 130:2
209:6 253:16 298:22
343:8 363:15 364:11
Value-Based 205:2
values 208:21
vanco 386:13,14,14
vancomycin 341:22
variability 71:9 153:6
229:3,10,11,14 239:1
variable 214:17,18,20
258:1,3 260:17 261:8
variables 231:20
257:18 258:2,9,14,18
259:19,21 260:8,15
260:16 261:19 262:2
262:4 264:12,13,16
264:16,19 266:4
267:3,11,18,22 274:8
275:18 347:14
variance 70:8
variants 277:17
variation 58:10,22
60:18 67:5 68:4
191:18 238:18 245:7
271:20 306:15 307:15
336:21,21 354:4
varies 305:20
variety 43:8
various 169:19 243:15
249:17 273:2 308:17
375:22 376:1 389:17
vasopressors 302:5
306:11 311:11 317:5
317:10,14,17 326:15
340:17,18 341:5
358:5
vast 161:21 162:21
360:19
vendor 109:18 209:1
ventilator 14:12
verbal 195:20

verdict 189:2 206:22
215:16 217:13 219:5
247:15 256:11 277:13
282:1 289:22 336:9
337:21 338:1 345:15
346:17 362:19 366:4
393:2
verge 148:19
verify 111:7 399:2
version 109:3 136:8
137:22 207:17 210:17
218:1 375:14,14,15
377:4
versions 38:5
versus 154:8 157:22
164:16 255:8 269:3
324:10,10 339:2
354:22 355:4 358:4
361:14 369:18 381:19
vetted 166:8 205:16
218:4
Vice 1:11 2:7,18,21
7:14 9:7,15 17:5
video 51:17 64:16
65:13 186:13 326:4
view 74:18 94:11 253:8
viewed 244:22 245:1
viewing 28:14
viewpoint 94:8
viral 4:7,10 20:8 37:14
37:15 38:14 39:17
40:13 41:7,9,15,18
42:7 44:16 47:19,21
48:14 49:5,7,15 50:2
50:14 51:5 52:10,12
52:15 56:9 63:16,17
81:11,15 90:8 91:3
94:3 100:17 116:4
117:6,7 123:14 141:3
141:6 142:2 146:1
147:10,10,20 149:10
149:14 152:13 154:9
154:16 155:8,9,11,12
155:15,17 160:19,21
160:22 161:6,11
163:10,17 166:20
169:9,14 170:1
172:17 192:19,21
329:9,16 330:17,21
331:13
virally 38:21 161:4,22
162:5 163:1
virus 41:16 42:2,3,6
visit 4:11,14,15 20:7
45:21 50:1,2,12 51:8
51:10,16 63:21 64:3
64:12,14,21,21 65:13
65:14,14,17,18,19

66:1,3 91:2 93:15
 106:2,2 117:12 126:6
 138:9,14,21 139:4
 145:8 148:16 149:4
 149:11,13,22 154:10
 157:10 158:1 161:12
 166:16 167:12 169:13
 169:22 170:2,18,22
 171:7 172:8,15,19
 175:16 181:3 185:12
 185:12,16,18 186:13
 188:11,18 202:4
 208:9 213:4
visits 20:8 51:14,15
 64:16,17 93:18 126:4
 130:3 139:6 145:9
 146:4,21 147:8
 148:12,21 149:2,5,11
 149:16,18 150:4,6,13
 150:21 151:5,11
 152:16 153:13 154:10
 155:7 156:8 157:22
 158:8,14,14,18 170:8
 170:10 183:20 185:22
 187:1 296:11
vital 300:1 383:11
vitals 303:21
vitamin 342:10
voice 40:8
volume 302:5,8 309:19
 310:1 311:11 312:6
 363:22 380:13
volunteer 11:9,11 13:5
 15:21
voted 35:7 76:9 173:17
 174:8 179:3
votes 32:19 33:20,21
 33:22 34:6,8 54:12,14
 54:17,18,20 56:5 57:3
 57:5 61:17 99:18
 116:13,15 119:21
 137:15,15 178:9,16
 182:20 199:10 366:21
 394:7,9 403:6
vouchers 173:4

W

wait 58:4 231:1 240:6
 303:22 317:6
waiting 59:18 176:13
waiver 280:2,6
walk 9:17 105:3 115:8
walk- 103:19
walked 47:20 255:19
walks 390:11
wanted 36:16 44:1
 87:21 88:1 131:6
 133:11 137:14 140:4

140:19 149:21 150:3
 160:7,16 172:14
 192:15 201:19 224:5
 237:14 238:12 245:17
 250:10 258:12 287:2
 302:19 316:19 326:8
 331:21 332:15 343:13
 352:21 383:1 384:16
 389:8 402:2
wanting 94:21
wants 98:10,11 173:16
 173:19 184:17 203:2
 335:19
Washington 1:8 2:5
 13:22
Washingtonian 6:4
wasn't 123:22 239:21
 305:16 338:22
watch 234:8
water 178:11
way 12:20 24:20 38:14
 46:12 50:6 78:20
 85:17 93:7 105:8,11
 105:12,20 106:9,16
 107:9,10,17 111:16
 112:13 113:5,5,6
 118:20 122:12 123:4
 131:15 146:20 163:10
 164:13 173:3 176:5
 180:5 186:2 218:4
 223:19,19 231:2
 235:13 249:16 252:16
 253:8 259:12 260:5
 262:7,10 267:2,13,16
 270:14 271:6 272:14
 274:8,16,20,22 275:1
 280:20 286:15 295:10
 347:14 387:5
ways 126:4,5 187:16
 233:4 234:2 283:21
 312:6 340:22 348:10
 353:19 354:19 357:21
weak 305:22 307:11
weather 6:16 35:21
 398:18
web 99:20
WebEx 55:5
webinar 31:7 102:13
 402:16
webinars 89:9
website 89:7
week 196:9 201:6 399:1
 404:7
weeks 24:10 147:18
 148:14 201:6
weigh 338:18
weighed 131:19
weight 156:8,21 339:18

359:4 360:20
weighted 339:1
weighting 338:11 352:2
 363:16
weird 181:19
welcome 4:2 5:4 7:4 9:9
 9:15 18:17
welcoming 5:8 46:18
well-child 171:7
well-educated 93:10
well-intended 386:12
well-known 275:12
went 45:10 86:10
 103:14 149:19 168:1
 195:14 246:8 256:16
 291:20 312:2 377:12
 404:10
weren't 11:11 21:5,13
 22:2 71:15 150:20
 201:8 358:18
west 6:22 8:22
whack 273:16
whichever 248:15
White 8:6 37:6 66:19
 73:11 118:12 119:3
 142:9 149:2 205:4,7,9
 206:3 218:7
widely 128:5,7 129:17
widespread 276:14
wildly 109:20
willing 152:6 179:15
Wilson 2:21 9:14,14
 61:19
window 317:8 324:19
 385:19 387:7
windows 387:1
wisdom 398:9
wise 277:22
wish 263:1,8,10
withdraw 22:11
withdrawn 19:19 22:9
 22:18
woeful 118:14
women's 347:18,21
wonder 81:21 152:12
 302:17 322:22
wondered 263:1
wondering 154:15
 279:17 335:10
Woody 1:9,11 6:13 7:11
 7:14 12:7 30:20 73:21
 137:17 195:20,21
 279:11 293:8 362:4
word 111:2 242:22
 314:21
words 7:12 106:9 260:6
 315:6 347:8 398:9
work 7:9 11:4 12:8,15

13:4 14:6 15:13 16:20
 17:15 18:19 19:10
 21:4,6 29:7 36:13
 46:7 48:6 82:22 83:6
 83:11 84:21 109:17
 110:11,15 111:11
 119:11 153:4 171:12
 201:7,16 237:21
 250:18 258:6 261:22
 266:3 270:13 271:21
 276:2 298:16 305:4
 319:11 326:3,5 330:6
 343:13 349:4 357:11
 371:6,17,18 372:17
 374:18 381:16 384:8
 385:17 386:18
worked 12:16 36:9,22
 37:8 47:18 118:20
 234:11 286:16,18,21
 311:21 337:8 377:10
working 7:6 28:4 47:17
 82:14 101:12 122:10
 162:15 171:12 201:11
 270:11 372:5
workloads 132:14
works 63:10 111:10
 112:2 118:22 122:12
 123:5 125:13 166:3
 270:12 309:9 332:7
 394:13
worksheets 24:3 31:9
workshopping 149:20
Workwood 36:11
world 91:18 171:12
 187:21 235:11,12
 320:7
worried 284:6 303:1
 325:20 356:16
worse 180:13,15,16
 270:2 318:4
worth 93:8 240:13
 255:15 385:3
worthwhile 183:9
wouldn't 50:19 51:6
 64:14 65:7 150:1
 179:4 231:5,6 254:4
 257:22 264:1 274:13
 317:22 324:13 329:4
Wow 5:22
wrap 139:8
write 29:16 239:16
 367:10 368:2 384:5
writing 377:2 380:14
written 19:3 49:19
 353:7 376:14
wrong 80:16 83:18
 97:14 167:10 231:6
 242:7 258:16

wrote 75:5
www.qpp.cms.gov
89:7

X

X 245:3
x-ray 304:6
XML 106:9 114:3
122:20

Y

Y 245:3
yapping 245:21
yay 386:19
yea 55:6
Yealy 311:20
year 25:13 37:17 45:20
47:21 49:8 52:11,15
52:18,19 53:5,6 63:18
63:21 64:2,3,12 88:10
110:12 117:8,12
124:19 147:21 148:17
148:21 149:3,5,11,12
149:13,16 150:22
151:12 152:9 153:16
158:4 162:4 164:16
182:13 202:5,9
210:12 214:11 222:11
232:22 247:22 271:9
276:19 282:22,22
290:11 295:3,13,19
296:7,21 321:21
333:10 391:13 392:11
years 6:3 22:1 29:6
47:16 66:18,22 90:9
90:12 124:18 128:10
129:20 131:4 133:16
139:2,18 141:15
147:20 148:19 152:15
157:2,4 160:18 161:2
161:5 164:17 182:14
191:11 206:2 208:13
213:22 214:12 216:16
221:17 295:7 300:7
313:1 322:6,9 348:7
373:22 392:7
years' 240:13
yesterday 5:18,20
York 1:22 3:4 4:17 15:8
16:9,11,13 21:9
220:21 221:16 222:21
223:7 224:9 232:15
237:11 238:16,22
239:20 241:9,20
242:9 247:19,20
248:5 256:20 273:8
273:11 274:9,10
279:14 284:10 290:3

321:7
yup 105:9 115:11

Z

Z 245:4
Zealand 320:12
zero 56:20 62:22,22
63:4,5 73:1,2,5,5
88:10 91:14 92:5
100:7,8 101:18,19,19
121:1 127:8,9 135:1,1
136:21,21 194:5,6
200:2,2 204:17,18
206:21,21 211:15
215:15 216:14 217:11
217:11 219:3 247:13
362:17 393:1 395:4
zone 179:9 184:4
367:18
zoned 179:10
Zosyn 386:14

0

0 256:9 336:8 337:22
338:1 346:16
0.1 385:21
0.29 71:10
0.7 68:22 69:14 70:11
262:21,21
0.77 265:6,8,10
0.773 265:15
0.7s 266:8
0.8 69:1,13 70:5,12,20
79:9 264:17
0.9 69:2,13 70:12,18
0.92 266:5
0.95 66:22
0.98 67:1 71:10
0.99 283:19
0058 21:14
0069 21:14
0500 4:18 16:3,4,8
19:14 20:4 292:2
293:20 295:4 296:20
297:19 298:17 299:22
336:2 337:16 345:2
346:9 362:13 365:20
392:18 394:17 397:3

1

1,700 384:18
1.36 355:2
1.41 355:3
1:00 195:7 402:16
1:03 195:15
10 263:4
10-minute 291:17
10:56 103:14

100 159:20,21 207:11
212:4,7 216:14 220:1
228:4 285:13 299:3
311:7 383:17
100% 117:17,19 125:3
127:8 133:21 134:9
152:7
103 4:8
1030 1:8
11 34:8 191:7 198:3
351:12 394:7
11:00 103:10,11
11:11 103:15
1115 280:2,6
11th 403:8
12 63:4 73:4 371:16
12-month 37:16
12% 149:3
12:52 195:14
13 48:16 189:1 190:16
191:11 200:1 204:16
256:8 281:21 336:8
345:14 366:2,3 367:5
138 4:11
14 1:5 100:7 394:8,9
395:2
147 4:10
15 88:12 101:18 120:22
201:22 213:3 286:20
310:17 322:6,9 403:1
150 42:8
159,000 298:8
15th 1:8
16 57:9 127:8
17 124:12 206:14
17,000 198:4
179 138:7 276:19
18 124:11 192:20
245:12
18% 149:4
18th 378:8
19 4:5 77:4 124:13
194:5 247:13 277:12
278:15 281:22
190 267:5 269:7,18
274:3
194 4:12
196 4:14
1992 231:11
1997 295:9
1st 89:11
1TD-10 327:4

2

2 55:16,18 58:13 59:3,8
59:20 61:10 72:14
95:10 99:15 100:3
101:8 102:3 150:1

176:10 188:19 189:13
190:1,5 193:11 194:1
199:3 256:4 277:5
278:8 281:16 289:18
359:13 362:14 365:21
392:19 394:18 397:4
2(d) 363:13 365:21
2,500 381:19
2:49 291:20
20 52:13 77:5 157:2
162:4 295:7 297:15
300:7 314:11 355:11
362:18
20% 152:13
20,000 315:21
200 37:16 39:9 41:1,9
41:16 42:1 50:21
52:11 63:17 117:6
123:14 155:11 256:19
2001 238:10 296:22
310:16 322:9
2002 231:12
2003 231:13
2004 297:5 339:13
2006 296:11
2007 191:14
2007/2008 202:2
2008 191:14
2010 47:16 154:8 155:1
155:3
2011 191:11 296:11
2012 6:14 20:1 182:19
210:13 345:19
2013 201:2 351:14
2014 69:7,11 70:17 71:3
116:2 133:3 191:15
192:1 201:22 213:3
310:17
2015 47:16 130:20
139:19 154:8 155:1
191:15 192:1 298:4,8
311:18
2016 298:8 305:3
306:18
2017 1:5 88:10 206:14
207 4:15
2079 4:11,14 20:6
138:11 176:9 189:11
193:9 196:2 199:2
204:9 206:10 213:6
2080 20:7
2082 4:7 20:8 56:9 62:7
62:13 72:14 95:9
99:15 100:3 101:7,21
2083 20:9
21st 51:15
220 4:17
23 177:13

23rd 196:9 402:16 403:18 24 48:16 139:2,7 24- 138:21 24-month 139:5 24th 196:9 25 71:18 73:1 88:13 277:11 299:2 359:16 250 381:18 250,000 295:19 258,000 296:6 25th 202:6 27 206:20 336:7 28 298:13 29 397:9 292 4:19 29th 403:4,7 2B.2.2 349:7	125:13 37 4:7 37% 148:20 38 256:10 298:12 397 4:20 398 4:21	367:1 68 202:5 69 47:18 278:14 289:21	133:18 134:10 9th 1:8
<hr/> 3 <hr/>	<hr/> 4 <hr/>	<hr/> 7 <hr/>	
3 58:13 59:3,9,21 60:8 61:4,11 72:15 95:11 99:16 100:3 101:8 176:10 188:19 193:11 199:3 256:4 277:6 278:9 281:17 291:17 362:15 365:22 392:19 394:18 3-year 290:22 292:16 3,000 374:14 3:00 402:16 3:03 291:21 30 38:20 276:17 311:6 313:3 326:13,16 333:2 355:1,13 380:10 400:18 30-day 396:2 402:18 403:13 30% 116:4 30,000 276:19 300 160:20 381:22 300,000 298:10 303 349:8 31 289:22 32 298:13 3209 4:15 207:18 211:6 215:6 217:2 218:14 219:17 3210 4:10 119:16 121:14 126:18 135:3 136:12 137:9 3215 4:16 220:19 227:22 247:5 256:3 277:4 278:7 281:15 289:17 33 211:14 345:13 392:22 34 117:13 121:21	4 58:14 59:4,9,21 61:12 72:15 95:17 96:7,8 99:16 100:3 101:8 193:11 199:3 256:4 277:6 278:9 281:17 318:19 362:15 365:22 392:19 394:18 40 33:22 34:6 93:4 217:10 321:8 359:13 40,000-some 276:20 404 4:22 42 298:12 43 395:3,3 44 177:13,14 219:2 46.5 295:13 322:7 47 345:13 346:15,15 48 235:22	7 337:22 342:21 345:14 346:16 362:17 366:2 366:22 392:22 7.3 149:15 70 264:1 355:14 70s 263:17,21 264:3 265:5 71 397:9 72.6 202:1 213:4 72.7 349:13 73 191:15 206:20 349:12 362:17 73.7 149:14 74 296:12 75 72:22 247:12 75th 202:3	
	<hr/> 5 <hr/>	<hr/> 8 <hr/>	
	5 4:1 296:15 5.2 375:14 5:05 404:10 50 42:8 219:2 226:21 256:9 277:11 295:8 322:3 374:11 50-50 387:11 50-page 262:15 50% 136:22,22 500 160:20 54,000 133:2 55 48:16	8 298:22 80 202:4 263:18 80% 133:8 800 68:13 157:8 81 189:2 194:4 81% 149:16 813 69:11 82 145:17 83 47:21 48:17 850-bed 373:18 86% 116:3 87 200:1 88 190:14	
	<hr/> 6 <hr/>	<hr/> 9 <hr/>	
	6 4:2 189:1 277:12 278:14,15 281:22 60 33:19,22 88:9 146:21 149:18 150:6 155:7 178:15,18,18 179:5,6 180:1 217:10 336:8 373:19 374:11 392:22 401:6 60-day 150:22 284:3 60-page 259:1 600-bed 371:7,13 63 281:21 64 125:11 210:11 211:22 66 34:8 66.7 202:2 67 191:13 211:14 366:2	9 4:3 249:13 9:03 1:8 5:2 90 91:20,20,20,21 92:1 349:15 90% 133:4 900 157:8 90s 346:1 91% 133:4 93 100:8 204:16 337:22 93% 133:7 94% 121:2 95 70:17 162:10 271:5 97 198:8 98 252:2 98.21 216:15 98.81 216:16 98.89 128:9 131:9 99 374:17 99% 133:13 99.44 128:9 131:9	

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Infectious Disease
Standing Committee

Before: NQF

Date: 03-14-17

Place: Washington, DC

was duly recorded and accurately transcribed under
my direction; further, that said transcript is a
true and accurate record of the proceedings.



Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com