

NATIONAL QUALITY FORUM

+ + + + +

INTEROPERABILITY 2016-2017
PROJECT COMMITTEE

+ + + + +

TUESDAY
MARCH 21, 2017

+ + + + +

The Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 8:30 a.m., Rainu Kaushal and Mark Savage, Co-Chairs, presiding.

PRESENT:

RAINU KAUSHAL, MD, MPH, Nanette Laitman
Distinguished Professor of Healthcare
Policy and Research Chair; Department of
Healthcare Policy and Research Executive
Director; Center for Healthcare Informatics
and Policy; Weill Cornell Medicine New
York-
Presbyterian Hospital, Weill Cornell
Medical; Co-Chair

MARK SAVAGE, JD, Director, Health Information
Technology Policy and Programs, National
Partnership for Women & Families; Co-Chair

JULIA ADLER-MILSTEIN, PhD, Associate Professor,
University of Michigan

JOHN MARC ALBAN, MS, RN, CPHIMS, Associate
Director of Quality Measurement and
Informatics, The Joint Commission

A. JOHN BLAIR, MD, CEO, MedAllies

JASON BUCKNER, Senior Vice President, The Health
Collaborative

HANS BUITENDIJK, MSc, FHL7, Senior Strategist

NEAL R. GROSSCOURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

Interoperability Standards &
Interoperability, Cerner Corporation

SARAH DINWIDDIE, MSN, RN, American College of
Physicians

MARK FRISSE, MD, MS, MBA, Accenture Professor,
Department of Biomedical Informatics,
Vanderbilt University-Vanderbilt
University
Medical Center

DAVID HIRSCHORN, MD, Director of Radiology
Informatics, Chief of Informatics --
Imaging
Service Line

DAVID KAELEBER, MD, PhD, MPH, MS, FAAP, FACP,
Chief Medical Informatics Officer and Vice-
President for Health Informatics, The
MetroHealth System

TERRY KETCHERSID, MD, MBA, Senior VP and Chief
Medical Officer, Integrated Care Group
Fresenius Medical Care North America

TERRENCE O'MALLEY, MD, Physician, Partners
HealthCare System, Inc.

FRANK OPELKA, MD, FACS, Medical Director,
American College of Surgeons

WILLIAM RICH, MD, President, Medical Director of
Health Policy, American Academy of
Ophthalmology

ROBERT ROSATI, PhD, Vice President of Data,
Research and Quality, VNA Health Group

ROBERT RUDIN, PhD, Information Scientist, RAND
Corporation

THERESA (TESS) SETTERGREN, MHA, MA, RN-BC,
Director, Nursing Informatics, Cedars-Sinai
Health System

JASON SHAPIRO, MD, Professor of Emer Medicine,
Co-Director of MS in Biomedical
Informatics,
Mount Sinai Medical Center

BRUCE SIGSBEE, MD, MS, FAAN, FACP, Past
President, American Academy of Neurology

ALAN SWENSON, Technical Coordinator, Epic

STEVEN WALDREN, MD, MS, Director, Alliance for
eHealth Innovation, American Academy of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

Family Physicians
MARIANN YEAGER, CEO, Sequoia Project *

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

NQF STAFF:

SHANTANU AGRAWAL, MD, President and CEO
POONAM BAL, MHSA, Senior Project Manager
JOHN BERNOT, MD, Senior Director
HELEN BURSTIN, MD, MPH, Chief Scientific Officer
HIRAL DUDHWALA, RN, MSN/MPH, Project Manager
JASON GOLDWATER, MPA, Senior Director
VANESSA MOY, MPH, Project Analyst
MARCIA WILSON, PhD, MBA, Senior Vice President,
Quality Measurement

ALSO PRESENT:

VAISHALI PATEL, PhD, MPH, Senior Advisor, Office
of the National Coordinator for Health
Information Technology, U.S. Department of
Health and Human Services

* present by teleconference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C-O-N-T-E-N-T-S

Opening Remarks/Welcome	5
Introductions and Disclosure of Interest	
Marcia Wilson.....	15
Project Introduction	
Jason Goldwater.....	29
Overview: Measurement Framework and Domains	
Helen Burstin.....	32
Jason Goldwater.....	38
Committee Discussion on Interoperability	
Measurement Framework	
Mark Savage.....	40
Rainu Kaushal.....	48
Environmental Scan and Key Informant Interview	
Results	
Jason Goldwater	115
Identifying Measurement Framework Domains and	
Possible Sub-Domains	
Poonam Bal.....	141
Full Committee Discussion on Measurement	
Sub-Domains	
Rainu Kaushal and Mark Savage.....	145
Identifying Measure Concepts within Domains	
Jason Goldwater.....	150
Committee Discussion on Prioritization of Measure	
Concepts	
Rainu Kaushal and Mark Savage.....	156
NQF Member and Public Comment	270
Adjournment	272

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 8:34 a.m.

3 MR. GOLDWATER: All right. Good
4 morning, everyone. Thank you so much for coming.
5 My name is Jason Goldwater. I'm the voice behind
6 the webinars and I'm joined here by my colleagues
7 Poonam, Vanessa and Hiral, also the voices behind
8 the webinars. So it's great to meet all of you
9 in person finally. I know that I know some of
10 you and have known some of you for a while. I'm
11 not sure what that says about me specifically,
12 but happy to see all of you again.

13 So we are really looking forward to
14 this, a very productive, very important couple of
15 days to really begin to focus on the development
16 of a framework to support measure development and
17 interoperability.

18 I want to go over a few housekeeping
19 items and then I'm going to turn it over to our
20 new CEO who has a background and understanding of
21 interoperability, which is terrific, and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 turn it over to our co-chairs Mark and Rainu.

2 As we showed you I think in the
3 beginning of the slide what our access to Wi-Fi
4 is -- can we go back to that slide? So the user
5 name is Guest and the password is NQFguest.
6 You're welcome to use your Wi-Fi whenever
7 necessary.

8 We do ask that you do keep your
9 ringers on mute. This meeting is being recorded.
10 We will have a transcript at the end of two days
11 that we're going to need to go over to make sure
12 that we have collected all of the appropriate
13 information, and there's nothing more annoying
14 than having a variety of cell phone rings in the
15 middle of the transcript, which has happened
16 before. So please keep your emails -- your phone
17 silent. If you do need to take a call; we realize
18 all of you are very busy people, feel free to
19 step outside and take the call as necessary.

20 For those of you that would like to
21 know where the bathrooms are, which I'm sure is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 all of you, if you go out and all the way to the
2 end of the hall and make a right, the bathrooms
3 are there. Feel free to use them at any point.
4 We will be taking breaks at various points in
5 time during the day.

6 We expect this to be a very
7 interactive meeting, especially knowing the group
8 the people we have around the table. So to talk
9 to you about how we do this, you will all notice
10 that you have tent cards in front of you. When
11 you would like to speak, if you would just hold
12 your tent card up like this. I will probably
13 start facilitating at least in selecting who will
14 be speaking as Mark and Rainu facilitate the
15 conversation.

16 What we would specifically ask again
17 is that when you want to speak, you'll notice
18 that in your microphone there is a mute and a
19 speak button. Please hit the speak button and
20 talk directly into the microphone, and that way
21 it is being transcribed accurately and we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recording the notes accurately for our records.
2 When you are not speaking, please turn it off.
3 Right? Don't do what I just did.

4 (Laughter.)

5 MR. GOLDWATER: It's early in the
6 morning. If there were -- if there are too many
7 microphones that are on at the same time, then
8 none of them work, and then we have to find out
9 who is not actually speaking.

10 When you are done speaking, please
11 take your tent card and put it down, because if
12 everybody keeps their tent card up, we'll keep
13 calling on you even if you have nothing to say
14 anymore.

15 So we will be taking breaks at 11:00
16 for 15 minutes. At 12:30 we'll be breaking for
17 lunch today. Lunch is provided by NQF. 3:15
18 we'll also be taking a 15-minute break.

19 And again, please mute your cell phone
20 during the meeting.

21 Mark Savage and Rainu Kaushal are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 going to be the co-chairs. They're going to be
2 doing a large portion of the facilitation today
3 and the discussion. The NQF staff will take
4 somewhat of a back seat to this. We'll be taking
5 very copious notes, making sure we have all the
6 information as we present summaries at the end of
7 the day and at the end of the meeting, but -- and
8 we will interject in the event that we need to
9 sort of clarify the scope of what we're doing or
10 to answer any questions about the scope, which at
11 this point I think hopefully is relatively clear.

12 So without further ado, I want to turn
13 it over to our CEO who has a few comments before
14 we begin this afternoon.

15 so, Shantanu, the floor is yours.

16 DR. AGRAWAL: Thanks, Jason. I won't
17 take long. I just want to thank you all for
18 participating in this committee. This is
19 extremely important work. I have been
20 astounded -- so I've been on the job for seven
21 weeks and two days. And I'm going to stop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 counting at some point, but not yet.

2 (Laughter.)

3 DR. AGRAWAL: And it's been
4 astounding to me for all of these committees just
5 the sheer expertise that is around the table that
6 helps and facilitates this work. It would not
7 be possible without the time that you volunteer,
8 so we are deeply appreciative. It is also
9 incredible to me the different areas of expertise
10 that are represented around the table from
11 academia to industry, patient advocates and
12 representatives.

13 There's a couple of Ohioans here,
14 which I always appreciate since I grew up in Ohio.
15 Always great to see some Ohioans in the room, or
16 at least on the phone if you're not in the room.

17 And this is incredible. I think the
18 interoperability work, in particular being able
19 to establish a framework for quality measurement
20 and interoperability will I think drive our field
21 forward for years to come. Thinking about what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 quality measures are actually sensitive to
2 interoperability that might actually be
3 facilitated by different kinds of data sources
4 will I think be a gigantic step forward. And I
5 can imagine the endorsement bodies really picking
6 up on the work and moving it forward.

7 I want to thank in particular our two
8 co-chairs Rainu and Mark. Again, without their
9 leadership I think this would not be possible.

10 So that's about it. It's just an
11 appreciative message this morning. And I'm going
12 to turn it back over to Jason.

13 MR. GOLDWATER: Thank you very much.
14 So now I'm going to turn it over to both Rainu
15 and Mark for them to introduce themselves
16 briefly. Once they're done, I'll have the NQF
17 staff introduce themselves and then we'll go
18 around the room for very brief introductions.
19 And then once Helen Burstin arrives, which should
20 be shortly, we'll do the conflict of interest,
21 which we have to do by requirement before the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 meeting commences.

2 So, Rainu?

3 CO-CHAIR KAUSHAL: Good morning. My
4 name is Rainu Kaushal. I'm the Chair of
5 Healthcare Policy and Research at Weill Cornell
6 in New York-Presbyterian Hospital.

7 A lot of people in the room -- my own
8 research background has been in health
9 information technology and health information
10 exchange. Done a lot of work with John Blair
11 over the years and with Vaishali, and it's really
12 nice to see everyone here today.

13 I feel like we have an ambitious day-
14 and-a-half or two days ahead of us and I look
15 forward to the discussion.

16 CO-CHAIR SAVAGE: Good morning. Mark
17 Savage. I am at the National Partnership for
18 Women and Families. I direct the Health IT
19 Policy and Programs team there, one of many
20 health teams at the National Partnership because
21 Debra Ness realizes that health IT is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 backbone of much of what we're trying to
2 accomplish in healthcare delivery.

3 It is wonderful to be here. The
4 interoperability work is front and center. I'm
5 very excited to be participating in this
6 conversation among all of us. Thank you.

7 MR. GOLDWATER: Okay. So as I said
8 before, I'm Jason Goldwater. I'm a senior
9 director here at NQF. I oversee most of our
10 health IT work and work in the area of eMeasure
11 development and overview. I've been at NQF for
12 two-and-a-half years. Seems like it's been five,
13 but two-and-a-half years.

14 Prior to my time with NQF I did
15 consulting work for NORC at the University of
16 Chicago and was with the Centers for Medicare and
17 Medicaid Services for 10 years back when it was
18 called HCFA, for those of you that can remember
19 that far back, is what I often refer to it when
20 it was called by its appropriate name as opposed
21 to what's being called now. But and in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 capacity worked half with Medicaid policy and
2 Medicaid state systems and the other half was in
3 the Office of Clinical Standards and Quality
4 helping stand up what then became the PQRS and
5 the Inpatient Quality Reporting Program.

6 MS. BAL: Hi, I'm Poonam Bal. I'm a
7 senior project manager. I've been with NQF for
8 about three-and-a-half years and have worked on
9 various projects throughout NQF. And I've worked
10 with a few of you on this committee before.

11 MS. MOY: Hello. Good morning,
12 everyone. My name is Vanessa Moy. I'm a project
13 analyst here at NQF. I've been here for about
14 five months, so I'm still very interested to hear
15 all of your feedback and looking forward to this
16 conference. Thank you.

17 MS. DUDHWALA: Hi, my name is Hiral
18 Dudhwala. I'm also new to NQF. I've been here
19 about four or five months, too, and I'm looking
20 forward to the discussion today and tomorrow and
21 working with all of you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 John?

2 DR. BERNOT: Well, good morning,
3 everybody. My name is John Bernot. I am a
4 family medicine doctor by training, but in a
5 prior life I had done a lot of work on quality
6 measurement. And we're a performance
7 measurement system, so at that time we were on
8 the receiving end of the lack of any sort of
9 structured data and really trying to make sense
10 with all these different INS systems. So I have
11 a particular interest in both, the combination of
12 the technology and how it impacts actual
13 healthcare and healthcare deliveries. I think I
14 mentioned I'm a senior director also, relatively
15 new to the team. I've been at NQF about eight
16 months now.

17 MR. GOLDWATER: So before we begin;
18 I'm sorry to interrupt, Marcia, do you want to do
19 the conflict of interest while we go through the
20 introductions?

21 DR. WILSON: Sure, my name is Marcia

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Wilson. I'm Senior Vice-President for Quality
2 Measurement. Our chief scientific officer Helen
3 Burstin is running just a little bit late.

4 So as is our custom here, we're going
5 to combine introductions with the disclosure of
6 interests. So when you were invited to be seated
7 on this committee you filled out a disclosure of
8 interest form, and today we combine introductions
9 and those -- an oral disclosure of interests.

10 You all have considerable expertise,
11 as Shantanu has pointed out, so it is not
12 necessary to summarize your entire résumé when we
13 do the introductions. What we're interested in
14 is work that you've done that is relevant to this
15 committee, whether it was funded or unfunded.
16 For example, you may have been seated on an expert
17 panel. So we're looking for oral disclosures of
18 activities that are related directly to the work
19 before this committee today.

20 And a couple of reminders: You sit
21 on this committee as an individual, not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 representing your organization. So for example,
2 if I were to introduce myself, I would say I'm
3 Marcia Wilson and I'm with the National Quality
4 Forum. And also, just because you disclose
5 something, it does not mean you have a conflict.
6 We do this in the spirit of transparency and
7 openness.

8 So what I'm going to do is start here
9 in the room; I'm going to start with our co-
10 chairs, ask you to introduce yourself, say who
11 you're with and if you have anything to disclose.

12 And, Poonam, do we have any committee
13 members on the phone today?

14 MS. BAL: (No audible response.)

15 DR. WILSON: Okay. So what we'll do
16 is we'll go around the room first and then I'll
17 call on the folks on the phone. So if we could
18 start with our co-chairs?

19 CO-CHAIR SAVAGE: Good morning. Mark
20 Savage with the National Partnership for Women
21 and Families. I sit on the HIMSS ConCert for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Interoperability Committee. That would be
2 relevant I think to this work, a variety of other
3 things, but perhaps more tangential. Thank you.

4 CO-CHAIR KAUSHAL: Rainu Kaushal
5 again from Weill Cornell in New York-Presbyterian
6 Hospital. I've had a number of federal and
7 foundational grants over the years, research
8 grants, and have served in various leadership
9 capacities for several national organizations
10 including parts of AU.

11 DR. WILSON: Okay. Thank you. And
12 if we could start with Vaishali?

13 DR. PATEL: So I'm Vaishali Patel.
14 I'm with the Office of the National Coordinator
15 for Health IT. I'm a senior advisor there and I
16 work on issues related to interoperability
17 measurement.

18 DR. WILSON: Okay. Thank you.

19 Next?

20 MEMBER FRISSE: My name is Mark
21 Frisse. I'm associated with Vanderbilt

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 University Medical Center. My only possible
2 conflict; and I don't think it is one, is working
3 on a study of the value of interoperability for
4 the Urban Institute. And I built the health
5 information exchange in Memphis, Tennessee. And
6 I'm an internist.

7 MEMBER ADLER-MILSTEIN: I'm Julia
8 Adler-Milstein. I'm with the University of
9 Michigan. I am a researcher, and so similarly
10 to Rainu, have had a lot of federal and foundation
11 funding on work related to interoperability and
12 interoperability measurement. And also I do some
13 work with AMIA and sort of several advisory
14 boards. And I sit on the advisory board for QPID
15 Health, which is a software company.

16 MEMBER SETTERGREN: Good morning.
17 Tess Settergren. I'm with Cedars-Sinai Health
18 System. The reason that I'm here is because I'm
19 working with a national group of nurse leaders on
20 interoperability of nursing data to generate new
21 knowledge, but also for care coordination and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other purposes, including mapping nursing data to
2 LOINC and SNOMED.

3 MEMBER DINWIDDIE: Good morning. I'm
4 Sarah Dinwiddie with the American College of
5 Physicians. I have nothing to disclose.

6 MEMBER SIGSBEE: Good morning. My
7 name is Bruce Sigsbee. I'm a neurologist in
8 practice on the mid-coast of Maine, not a bad
9 place to be. I am chair of the Registry
10 Committee for the American Academy of Neurology,
11 which has involved setting up the registry,
12 identifying appropriate relevant measures for
13 neurologic practice and converting them to
14 eMeasures and implementing them in our registry.
15 And also as part of this trying to really keep
16 the burden of measure collection down for
17 practicing physicians.

18 MEMBER BLAIR: Good morning. I'm
19 John Blair. I'm with MedAllies, a New York-based
20 company that's a health information service
21 provider that works on interoperability between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 electronic health records and provider
2 organizations. Also we do transformation
3 consulting, part of the CPC+ effort and several
4 other SIM, statewide innovation model,
5 transformation projects.

6 The only thing that I'm currently
7 involved in that would -- that's tangentially
8 related is I chair the Direct Trust Board. It's
9 an accreditation organization for the National
10 Direct Networks. No other conflict.

11 MEMBER O'MALLEY: Hi, Terry O'Malley.
12 I'm with Partners HealthCare in Boston and
13 Harvard University, and I work on several ONC S&I
14 framework committees that are tangentially
15 related to interoperability. Thanks.

16 MEMBER RICH: My name is Bill Rich.
17 I'm an ophthalmologist and I chair the -- our
18 IRIS Registry, which has 80 percent of our
19 practitioners, almost all on EHRs, and the IRIS
20 Registry has 34 million people in it, 142 million
21 charts. And we are integrated with 43 different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 certified EHRs. And so we have a lot of
2 experience and we've worked with ONC using their
3 -- some of their tools to measure data exchange.
4 And we also, like Bruce, have developed our own
5 eSpec. And we've done a lot of work looking at
6 the variability even on eSpecified measures from
7 EHR to EHR. I think that's why I'm here. I have
8 no conflicts and none of this work has been
9 funded.

10 MEMBER OPELKA: Good morning. Frank
11 Opelka. I am a retired colorectal surgeon, now
12 employed by the American College of Surgeons
13 where I serve in quality and health policy.
14 There are lots of different activities that we
15 have ongoing at the college, but those that are
16 most directly related to these efforts deal with
17 our registry work. And our base of activities
18 currently involves the Health Services Platform
19 Consortium, which is an opportunity trying to
20 improve the semantic interoperability on a broad
21 scale.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And additionally we have related to
2 that project work with others in the Federal
3 Government: FDA, the VHA and others, for building
4 interoperability solutions in cancer where we
5 currently run the National Cancer Database. And
6 as we migrate that to the cloud environment we
7 are building syntactic and semantic
8 interoperability solutions for cancer.

9 MEMBER BUITENDIJK: My name is Hans
10 Buitendijk. I'm with Cerner. I'm involved in a
11 number of different interoperability activities
12 in the industry that relate somewhat to this
13 topic. I'm on the board of HL7, part of the
14 Carequality Executive Committee, the Sequoia
15 Project, EHRA. And within that we have a task
16 force that I'm leading to identify what kind of
17 interoperability measures our collective members
18 would be able to consistently collect and
19 provide. And other than that just generally
20 focused on standards to improve upon
21 interoperability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 MEMBER HIRSCHORN: Hi. Good morning.
2 I'm David Hirschorn. I'm a radiologist, but
3 don't hold it against me. I'm the chief of
4 informatics for the Imaging Service Line in
5 Northwell Health, which is New York's largest
6 health system. And I also chair the Government
7 Relations Committee for the Informatics
8 Commission for the American College of Radiology.
9 I've been in this space a long time.
10 Radiologists are typically an afterthought when
11 it comes to EHRs. They're like, oh, who's that?
12 Some guy in the back room in the dark and he
13 doesn't need to know what's going on with the
14 patient. So when I see EHRs and I see lack of
15 interoperability, I'm a little dangerous because
16 I'm a C/UNIX programmer. And so I see
17 information; I take it for the benefit of
18 patients. And so I'll hack my way in. I'll do
19 whatever I have to do to get a radiologist the
20 information they need to give the best care of
21 patients. So I'm used to breaking down barriers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to interoperability.

2 MEMBER SHAPIRO: I'm Jason Shapiro.
3 I'm an emergency physician at Mount Sinai and
4 I've been doing informatics research for the last
5 10 years with a focus on health information
6 exchange. I also chair the American College of
7 Emergency Physicians' Informatics Section.

8 MEMBER ROSATI: Hi, I'm Rob Rosati.
9 I'm from the VNA Health Group in New Jersey. We
10 are a provider of home care and hospice services
11 as well as primary care. My role with the
12 organization is I chair a connected health
13 institute overseeing technology and IT
14 integration into the organization.

15 The only overlap I have with this
16 group is I'm on the CMS Temp Committee looking at
17 the impact measures on the transfer of
18 information.

19 MEMBER KAELEBER: Good morning. I'm
20 David Kaelber. I'm an internist and pediatrician
21 and the chief medical informatics officer for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MetroHealth System. It's an integrated
2 healthcare delivery network in Cleveland, Ohio.
3 We just celebrated our 10 millionth patient
4 document exchange earlier this month. I'm doing
5 a lot of stuff in the health information exchange
6 space both clinically as well as from a research
7 perspective.

8 In terms of possible conflicts I sit
9 on the Epic Corporation's Care Everywhere
10 Governing Council to sort of help chart the Epic
11 Corporation's health information exchange
12 efforts.

13 MEMBER ALBAN: Good morning. I'm
14 JohnMarc Alban with the Joint Commission. I
15 manage the Center for Performance Measurement
16 where our teams develop and maintain all of the
17 quality measures that we use on the chart-based
18 as well as the eCQM side that we use for
19 accreditation and certification. I have no
20 conflicts.

21 MEMBER WALDREN: Good morning. Steve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Waldren. I'm a family physician and
2 informaticist. I work with the American Academy
3 of Family Physicians, otherwise no other
4 disclosures.

5 MEMBER BUCKNER: Hi, Jason Buckner
6 with the Health Collaborative in Cincinnati,
7 Ohio. So yet another Ohioan here. Work with
8 Health Information Exchange in Quality
9 Measurement for our community. We've been
10 awarded several ONC grants over the years. No
11 conflicts.

12 MEMBER SWENSON: Alan Swenson with
13 Epic. I represent Epic on Carequality on the
14 eHealth Exchange and related work groups under
15 those two initiatives.

16 MEMBER KETCHERSID: Hi, good morning.
17 Terry Ketchersid. I'm a nephrologist by
18 training. Practiced for fifteen years. Spent
19 five or six years as the chief medical officer
20 for Acumen, a small office-based electronic
21 health record. Potential conflicts, I serve on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the Renal Physicians Association Board of
2 Directors. We operate a renal-facing QCDR. And
3 I'm employed by Fresenius Medical Care as their
4 chief medical officer for integrated care.

5 MEMBER RUDIN: Good morning. I'm Bob
6 Rudin from the Rand Corporation -- with the Rand
7 Corporation. Sorry. A researcher there. I've
8 done some research on interoperability and I'm on
9 an expert panel with the Urban Institute.

10 DR. WILSON: Okay. And I think we
11 have one committee member on the phone, Mariann
12 Yeager.

13 Are you with us?

14 MEMBER YEAGER: I am. Good morning.
15 So I'm Mariann Yeager. I'm with the Sequoia
16 Project. We support three interoperability
17 initiatives: the eHealth Exchange, which involves
18 sharing data across 47 HIEs, 4 federal agencies
19 and quite a few healthcare organizations, as well
20 as Carequality. And that effort interconnects
21 different data sharing networks. So Epic,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CommonWell and many others. We also support --
2 the third initiative is work that we support with
3 the Radiological Society of North America in
4 support of their Image Share Validation Program
5 to enable image exchange. I serve on the HL7
6 Advisory Council, as well as the Board of
7 Directors for ConnectVirginia HIE, which is an
8 HIE here in Virginia.

9 DR. WILSON: Thank you. And I think
10 Chris Boone will be joining us later, but I just
11 want to make sure.

12 Chris, are you on the phone yet?

13 (No audible response.)

14 DR. WILSON: When Chris joins us, he
15 can do an introduction.

16 Is there anyone else on the phone who
17 has not introduced them self?

18 (No audible response.)

19 DR. WILSON: Okay. Thank you for all
20 those disclosures. And the only thing I would
21 say in parting is that if at any time during the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meeting you feel like you have a conflict of
2 interest or that someone else does, please bring
3 that to the attention of the co-chairs or any of
4 the NQF staff. What we don't want is for you to
5 sit there and think someone is acting in a biased
6 manner and not bring it to our attention. So
7 based on what you've heard from your colleagues
8 or any comments that I've made, do you have any
9 questions?

10 (No audible response.)

11 DR. WILSON: Okay. Thank you very
12 much.

13 MR. GOLDWATER: Thank you very much,
14 Marcia.

15 Okay. So what we're going to do now
16 is just turn to what the scope of today's
17 activities are going to be and then to do a brief
18 project introduction and then to do an
19 introduction into what a measurement framework
20 is, which is really going to be the end goal of
21 these two days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So apart from welcome and
2 introductions, which we've done, we're going to
3 do just a quick review of the meeting purpose,
4 the objectives and scope; to talk about the
5 measurement framework, what a measurement
6 framework is, how it's composed, what it needs to
7 be and what we need from you in order to populate
8 that; go over the environmental scan and key
9 informant interview results, which I know we have
10 done in webinars, but just to do a quick review
11 to see if there's any final thoughts before those
12 documents are finalized; and then begin the
13 process of identifying measurement framework
14 domains and sub-domains; identifying measure
15 concepts within those sub-domains; prioritizing
16 those measure concepts; and then opening this up
17 for public comment, which is how NQF typically
18 ends most of its meetings. Again, Mark and Rainu
19 will be facilitating a majority of this
20 discussion.

21 Next slide. So the meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 objectives: what we really need by the time we
2 leave tomorrow. And I often say I'm not letting
3 any of you go until we actually get to this point,
4 but I have a feeling we'll get here well before
5 3:30 tomorrow.

6 One is to develop a measurement
7 framework that addresses the measurement of
8 interoperability and its impact on clinical
9 outcomes and processes, to identify prioritized
10 measure concepts within the framework that can be
11 leveraged for future measure development. And
12 then; and I know some of you completed this
13 exercise already, so we will be going over the
14 results, identify existing measures that are
15 interoperability-sensitive. It could be
16 enhanced through data from multiple sources.

17 So essentially constructing the
18 framework for us so that we can then go and write
19 up a report that's reflective of this committee.
20 What we really do not want to have is any sort of
21 ambiguity or any indecisiveness so that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 clearly know what this committee would like go
2 forward with, because again the report needs to
3 be reflective of all of your thoughts, which is
4 why all of you are here.

5 Next slide. The project activity and
6 timeline: Today we're having our first and our
7 only in-person meeting, which will cover both
8 today and tomorrow. I think all of the
9 activities that we've done in the past have been
10 webinars where we have introduced each other,
11 gone over the project and talked about the work
12 that we have done so far. We will be having
13 another webinar on April 5th, which will be a
14 follow up to this in-person meeting, and then
15 another one in which the draft framework will be
16 presented so that we can get some feedback from
17 all of you on it.

18 Next slide. So at this point in time
19 I'm going to turn it over to our chief scientific
20 officer who has sat in on many, many, many, many
21 meetings with respect to measurement frameworks,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and so there is no one better qualified to talk
2 about what a measurement framework is and what it
3 is not than Dr. Burstin.

4 So, Helen, I will turn it over to you.

5 DR. BURSTIN: Great. Good morning.
6 Apologies for being a little late. My deadbeat
7 son hadn't finished his physics homework and
8 insisted on a ride to school. Frank tells me he
9 had one of those and he's in law school now, so
10 I'm hopeful --

11 (Laughter.)

12 DR. BURSTIN -- this teenager will
13 eventually turn around, but for those of you with
14 children, you can relate.

15 So thank you all of you for joining us
16 today. We want to give you a little sense of how
17 we think about a measurement framework. And
18 again, we pretty much think about as a document
19 intended to help at the end of the day. We don't
20 want this to be something we're just going to
21 stick on a shelf and say we've done it. The hope

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would be what comes out of this framework
2 provides a way to conceptually think about the
3 issue, ensure that whatever you want to measure
4 at the end of the day is actually reflected, has
5 a place in a framework so that we can actually
6 work through what are the key issues, the key
7 domains, as well as the sub-domains.

8 And I often like to think about these
9 as sort of a tree, that if a tree trunk in this
10 case is interoperability and the big branches off
11 of it are the four domains that you've already
12 identified, then what are those key sub-domains,
13 those key areas that you wouldn't feel it would
14 be a complete approach to look at measurement
15 unless you could identify what those different
16 branches would be.

17 And then from that we're hoping you'll
18 actually help us get even further in helping ONC
19 and CMS and others to help think about what would
20 those measure concepts then be? What would be
21 those ways -- a concept in our mind is not quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 a fully-fledged measure. We don't have time for
2 that today; many you know what developing
3 measures is like, but can you at least come up
4 with a measure concept that can describe the
5 measure focus and the target population in words
6 such that ONC or CMS could hand this off to
7 measure developers and say of the top prioritized
8 measure concepts that come out of this meeting,
9 develop these five, because they will really
10 allow us to chart our ability to see how we're
11 progressing overall as a nation towards
12 interoperability across those four domains.

13 So you'll work through this process
14 today. I believe you've already got the four
15 domains, thanks to ONC, directly out of the ONC
16 Interoperability Roadmap, but then really
17 beginning to think about how you'd want to think
18 about what those sub-topics would be to ensure
19 that at the end of the day again this is a useful
20 document that can drive towards measure
21 development.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now this will not of course fix all of
2 the nation's ills around getting to
3 interoperability. We recognize that. This is
4 not as far as -- I know many of us would love to
5 go -- to drive towards it. The question is
6 really if a set of these kinds of measures were
7 available to track our progress, would that be
8 useful in some way to drive further innovation,
9 to drive further efforts to change, maybe moving
10 beyond trying to hack into things, but trying to
11 actually make it part and parcel of the project.

12 I do think that's the first time
13 anybody's acknowledged hacking at our table, so
14 thank you for that.

15 But really thinking about it from that
16 perspective of having a set of measures. If you
17 think about it sometimes going backwards, not
18 even worrying about what the sub-domains are, but
19 what would you think would be the most important
20 thing you could measure at the end of the day
21 that we could hold ourselves accountable to to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ensure progress as a nation across those four
2 domains?

3 If it's helpful to work it that way
4 and then figure out what sub-domains, that's just
5 measurement stuff we could put in boxes later.
6 We really just want to make sure you at the end
7 of these next two days have a set of measure
8 concepts you think would really be reflective of
9 being able to look back and then gauge progress
10 as a nation across those four key domains of the
11 Interoperability Roadmap.

12 Questions? Thoughts? Is that
13 helpful? Oh, I guess I didn't realize there's a
14 whole set of these. So pretty much have worked
15 right through these. But again, conceptual
16 model. How to organize these ideas. And then
17 really just a way to structure and organize the
18 ideas.

19 Next? These are just some
20 definitions we have for you. Again, as a domain,
21 you've already got those. The highest level

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 categorization of what those ideas would be.
2 Sub-domaining these groupings within a domain.
3 And very importantly, thinking about what you
4 wouldn't want to leave out in a sub-domain to
5 reflect the overall. Sometimes for example those
6 could be balancing kind of measures. Do you want
7 to look at for example cost? Do you want to make
8 sure access doesn't suffer? Not in this context,
9 but things like that would be important to
10 consider. And we've already gone through the
11 difference between a measure and a concept.

12 So with that, Jason, do you want to
13 give them a couple of examples from Telehealth?

14 MR. GOLDWATER: Sure. So just as an
15 example, we just concluded a Telehealth Committee
16 meeting, very similar to this one where we were
17 coming up with a measurement framework, and I
18 just wanted to point this out as sort of an
19 example of what we're looking for.

20 So after a lot of discussion the four
21 domains that those on the Telehealth Committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 deemed were important to sort of building under
2 were access, financial impact and cost,
3 experience, and effectiveness. And again, keep
4 note that these are fairly broad because it can
5 encompass a number of different areas.

6 The sub-domains under those included
7 access for patients or families, access for the
8 care team. For financial impact and cost the
9 sub-domains were financial impact to care team or
10 financial impact to society. Experience really
11 related to patient, family and/or caregiver
12 experience or community experience. And
13 effectiveness we looked at system effectiveness,
14 clinical effectiveness and operational
15 effectiveness. A lot of this was generated from
16 the literature that we found and then a lot of it
17 was generated from the experiences of people on
18 the committee.

19 So what we're asking all of you to do
20 in the course of the next two days is to do
21 something very similar, to come up with high-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 level domains, sub-domains and then eventually
2 talk about measure concepts that would fit into
3 those. And then eventually we'll get into a
4 discussion about measures we've already
5 identified that you have stated could be
6 potentially interoperability-sensitive and which
7 ones would be included in the framework.

8 Next slide. Okay. So with that in
9 mind, I think this is the point in time where we
10 stop talking, which is always the point where
11 Hiral, Poonam and Vanessa really enjoy, when I
12 don't say anything. So we're going to just
13 briefly talk about the goals of the measurement
14 framework.

15 Next slide. And then we're going to
16 turn it over to Rainu and Mark to lead the
17 discussion.

18 So the issues we really need the
19 Committee to address in the next two days: What
20 are the most critical areas of interoperability
21 to measure? What measures have the greatest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 potential to drive improvement in
2 interoperability? What measures could be
3 implemented now versus those in the future,
4 realizing we are not at a full state of
5 interoperability yet? What's the data
6 availability for these measures? And what gaps
7 exist and how could those gaps be filled, both
8 now and in the future?

9 And with that, Mark and Rainu, we will
10 turn it over to you.

11 CO-CHAIR SAVAGE: So I just want to
12 ask Helen a question since we've got the benefit
13 of your overview. One of the things I've been
14 reflecting on is that interoperability is
15 something that's quite in motion, so we're
16 looking backwards in some ways, but we're also
17 looking forward. Things have changed quite a
18 bit. The Interoperability Roadmap in 2015 for
19 the first time talked about interoperability not
20 just among providers, but interoperability with
21 patients. We've been looking at data in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 clinical setting knowing that 85 to 90 percent of
2 health status is explained by data outside the
3 clinical setting. Now we're getting structures
4 that are bringing in social determinants of
5 health.

6 When we're looking at measurement
7 framework and we are dealing with sort of
8 backward-looking measures; at least the ones that
9 have been developed so far, we're developing a
10 framework for a future that's evolving quickly.
11 Any guidance? Any insights about ways that we
12 should be thinking about the task for these two
13 days?

14 DR. BURSTIN: I think that's a great
15 question, Mark. My sense of it would be you
16 should be as future-looking as possible. Ensure
17 the framework can flex to what you hope
18 interoperability would be. I don't think it
19 should be about the present tense by any means,
20 but be as expansive as you think you hope
21 interoperability will be such that you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure it going forward.

2 Yes, go ahead.

3 MEMBER BLAIR: So just to follow on
4 with that answer, at the expense of getting
5 things done now?

6 DR. BURSTIN: I think that's a
7 question for all of you at the table. I mean, I
8 really do think that. I mean, I would hope there
9 would be a limited set of measures that would be
10 useful for now so you can -- again, I think some
11 of the goal here is to track progress. So if you
12 have a completely aspirational measure concept,
13 it may not be something you could track progress
14 on for a while. And maybe that's okay to have
15 really low levels of adoption, but it would be
16 nice I think to have a blend with an eye towards
17 this being more about the future than the now.

18 Please, go --

19 (Simultaneous speaking.)

20 MEMBER BLAIR: So, okay. That's what
21 I'm -- so more toward the future --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BURSTIN: Yes.

2 MEMBER BLAIR: -- than now?

3 DR. BURSTIN: Yes, I think so. I
4 mean, again, I think this is a great question for
5 all of you at the table, for ONC. To me it seems
6 logical that we don't want to build out a
7 framework that becomes obsolete or a set of
8 measure concepts where the field will pass it,
9 God willing, in two to three years. We want it
10 to be something that could live and breathe and
11 be expansive I think to that future vision.

12 Vaishali, do you --

13 (Simultaneous speaking.)

14 DR. PATEL: Yes, so I think from ONC's
15 perspective I think a blend would be ideal,
16 something that we can begin with now on knowing
17 that interoperability is limited. The measures
18 are crude right now, I would say, but at least
19 it's something. And then thinking about the
20 future as well. And that way we can build
21 measures towards that or be able to monitor, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as things evolve. So I would say a blend. And
2 the balance between how much of the -- based on
3 the evidence that's available now versus what we
4 aspire towards I think will probably evolve as we
5 go through the next day-and-a-half.

6 MEMBER BLAIR: Okay. I promise this
7 will be the last and I'll --

8 DR. BURSTIN: This is really helpful.

9 MEMBER BLAIR: Okay.

10 DR. BURSTIN: It's perfect. Keep
11 going, John.

12 MEMBER BLAIR: So I mean, from a
13 political and policy standpoint, I think patience
14 is running out on interoperability. So I worry
15 a little bit about too much future and not enough
16 now and being -- and not -- and being stuck in
17 the quicksand and patience really running out and
18 not having opportunities to do a lot going
19 forward.

20 DR. BURSTIN: And that's why I think
21 Vaishali's answer and my answer would be I'd keep

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 both of those in mind, have something that can
2 flex with the state of the art, but at the same
3 time have something now that allows you to
4 measure progress. Complete agreement.

5 Bill?

6 MEMBER RICH: More of a philosophical
7 question to follow up on John's. I think all of
8 the domains and all the discussion we've had over
9 the last couple months are -- have been great.
10 The domains and things we selected are very, very
11 high-level. And I think we can -- if you go back
12 to the -- some of the wonderful handouts; and I
13 thank the staff, on page 10 we have the --
14 actually ONC interoperability domains.

15 And No. 2 is availability of data to
16 facilitate interoperability. None of our high-
17 level aspirations are going to work if the data
18 is not there. So I think that we -- I don't want
19 us to lose that fact that none of the high-level
20 things like the -- we have a lot of interactions
21 with patients and families. We're stuck way, way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 before that question arises now, so I don't want
2 us to -- I want us to make sure that we have some
3 measures that actually measure is the data there
4 and how is it -- and is it available for transfer?

5 DR. PATEL: So I think to that point
6 we don't want a framework that's going to sit on
7 the shelf, because it's so aspirational that it's
8 going to take some time to build. We identified
9 those concepts because we thought they were
10 important to measure now.

11 It's the impacts piece I would say
12 that is more aspirational. Should be a mix of
13 the aspirational because there's certain probably
14 impacts that haven't been realized yet. So I
15 would say that aspect of the framework.

16 And I don't know if there's a graphic
17 of it or not, but in terms of the exchange, the
18 availability, the usage. I mean, those are
19 things that we can measure now and should be
20 measuring now and should be reporting out on now.
21 It's the piece that I would say is more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 aspirational is the impacts piece.

2 Now there may be measures, more
3 refined measures of exchange availability use
4 that are aspirational in the sense that it'll
5 take some time to develop those measures, but I
6 don't see those as aspirational pie-in-the-sky
7 measures because those things aren't necessarily
8 occurring right now. It's -- I would say the
9 impacts piece would be the more aspirational
10 part, but we should be able to measure and develop
11 measures that we can measure now in those
12 domains. So maybe that helps clarify.

13 MR. GOLDWATER: You're next.

14 MEMBER O'MALLEY: Thanks. So echoing
15 Bill's comment about sort of where we're at and
16 where the data aren't, the standards around the
17 information, its quality, its reliability, its
18 mutually understood, the fact that itself is
19 mutually understood -- so it's really the
20 semantic interoperability. I think if we look
21 at where interoperability has its -- among its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 greatest challenges is the fact that we all speak
2 a different language.

3 And the exchange of information that
4 doesn't have any meaning to the next party over
5 really has no value. We can exchange it. We can
6 measure interoperability and the fact that you're
7 getting the information, but if fundamentally the
8 data aren't semantically clean and interoperable,
9 then we're kind of getting ahead of ourselves.
10 Is that what you said?

11 MEMBER RICH: Yes.

12 CO-CHAIR KAUSHAL: I have a comment
13 that actually feeds well off of what you just
14 expressed, which is that there is aspirational
15 components in terms of the effects of
16 interoperability and there's aspirational
17 components in terms of measures. And part of the
18 aspirational nature of the measures is the
19 quality, the systematic availability of high-
20 quality data.

21 And so I guess a question I have for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 both of you is I hear the answer on the balance
2 in terms of aspirations for the effects of
3 interoperability, the outcomes of it. How
4 aspirational should we be in terms of thinking
5 about what can actually be measured to the
6 measures themselves and the quality of the data
7 that influences measures?

8 DR. BURSTIN: Yes, I'm happy to start.
9 I mean, I think again it's going to be a blend.
10 I think you're going to want some measures that
11 are going to be pretty darn aspirational, but I
12 think the question would be if they're important
13 enough -- for example, let's say it's a -- I'm
14 just being heavy now. Let's say it's a measure
15 that reflects patient-reported outcomes at three
16 points in time in the future. That's pretty darn
17 aspirational right now. It gets to Mark's point
18 about patient engagement, right?

19 But at the same time it would
20 certainly be the kind of measure that would
21 potentially be very interoperability-sensitive,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to be able to look at it across time, across
2 patient, across provider platforms. I think
3 you'd want some of those. You'd also like to
4 have some I think that are something that may
5 even be measured now that really to do well on
6 that measure you would also want to have
7 interoperability to have that be improved.

8 So again, I think some of this will
9 become very apparent as you start walking through
10 it, but at the end of the day you should feel
11 like what you've put forward is a way to gauge
12 progress. And some of it's going to be very
13 aspirational and some of it's going to be
14 something to drive your understanding of where
15 you are now and -- to John's earlier point, and
16 what are the steps to make some of those next
17 really important pieces happen to ensure you have
18 the data to even move some of these things
19 forward?

20 MEMBER HIRSCHORN: You seemed to have
21 touched upon the issue of barriers to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interoperability, so that -- what -- meaning if
2 we're trying to set our goals, what are the
3 barriers that will make things realistic here and
4 now versus aspirational, depending on how
5 difficult it is to get there?

6 So just -- or just say -- just to say
7 now from a 10,000-foot view, things that I see as
8 barriers are security. So a lot of vendors and
9 institutions will say, well, I'd like to share
10 the information, but how do I know that you're
11 you? How do I know that you're entitled to it?
12 How do I know that it's safe and secure,
13 especially when you have cloud-based systems
14 where they suspect if someone hacking in there
15 and doing something untoward with it? So then
16 it makes it difficult.

17 Second is standards, like you were
18 saying before, that to make sure that the
19 information that you're getting is what you think
20 it is.

21 And a third one, though, which is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one that I get frequently from vendors, is
2 performance. They say if I let you query my
3 data, who knows what that might do to my system.
4 I might come to a halt. So I can't share my data
5 with you because you just might query -- you may
6 do a denial of service attack on me. You may ask
7 me for a million records even though I'm only
8 asking for a teeny bit of information to take
9 care of a patient. They kind of follow the Nancy
10 Reagan approach and say just say no because
11 they're afraid of the unknown of what could
12 happen to their system if they try to share
13 information.

14 So those are some of the barriers that
15 I think can help us assess to what is -- that are
16 -- if we can deal with them and address them, can
17 help us decide what we can do here and now versus
18 what we have to wait for later to we -- are we
19 able to grapple with these?

20 MEMBER BLAIR: That's the problem
21 with waiting for a few of these to go around, now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I've got several things I want to go over, but
2 I'll keep it down to two.

3 A comment, then a question. So to
4 Vaishali's -- the comment about we can measure
5 exchange and usability now, I'm not quite sure I
6 believe we really can well and meaningfully and
7 understand it, particularly on a national level.
8 So I think we could spend the next two days just
9 on that and still might -- probably not even get
10 this framework completed. So that's just a
11 comment about that.

12 So I'm trying to -- how is this going
13 to be scoped? So are we just going to go after
14 all these measures and some will be aspirational
15 and some will be short-term. Or is it going to
16 be different buckets? How are you planning on
17 scoping how we do this in the next couple of days?

18 DR. BURSTIN: I mean, the general
19 approach to this is take all the ideas and then
20 before you leave help us to prioritize them.
21 Come up with a top list. And maybe some of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 will sort out over the next couple days of how
2 many you want to have truly be completely
3 aspirational for domain and how many you want to
4 make sure reflect where we are now and where we
5 need to go in the short term. So again, I think
6 that's something I'd love to have the chairs help
7 us with you think through over the next couple
8 days.

9 MEMBER WALDREN: Yes, just real
10 quick. So a couple things. I think we focus on
11 the how, that -- when we share to be out of date
12 by next week with the technology. But I think
13 if we think about the what and the why, I think
14 that makes it a little bit easier to be more
15 aspirational around those things, because I think
16 a lot of times when I hear about
17 interoperability, we talk about, oh, exchange or
18 connectivity. We don't talk about what does the
19 patient really need to deliver good quality care
20 for them, the coordination, the continuity and
21 those type of things. So I think if we focus on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those things, that would be better for kind of
2 future-proofing.

3 The next thing I would say then is,
4 going back to John's point though, how do you
5 start to back-cast from that? So if that's the
6 thing we want, what's the thing right before that
7 that you need? What's the thing you need before
8 that? So you get back to closer where we're at
9 and then we can start thinking about how you apply
10 and put measures on those back-castings going
11 back.

12 MR. GOLDWATER: So I've been having a
13 brief sidebar with Mark, and I think just based
14 on the tenor of the conversation that perhaps we
15 need to spend some time on this slide ahead of
16 us, and rather than questions being more
17 declarative. So perhaps to go around the room
18 and just get an idea of what you think are the
19 critical areas to measure where very -- and again
20 high-level, very brief can be now or aspirational
21 that would drive improvement, what could be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 implemented now. And I think this will start to
2 set the course a little bit for the domain, sub-
3 domain measure concept discussion. And it seems
4 that just sort of based on where you all are that
5 might be where to go rather than I think spending
6 some time on the environmental scan and key
7 informed interview, which will I don't think be
8 a discussion that will take that long to do.

9 So, Mark and Rainu, why don't I leave
10 it up to you and why don't we focus on that?

11 CO-CHAIR SAVAGE: And I just wanted
12 to add one comment from the discussion we've
13 already had. There's a -- sometimes we try to
14 think about what needs to come first in order for
15 the next thing to follow. I think the reason
16 we're all around the table is that we need to be
17 thinking sort of in parallel, multiple tracks.
18 What are we -- that I think goes to aspiration.
19 What are we trying to achieve? What can we do
20 now?

21 Hopefully these questions in front of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 us will help us tease out both what we -- what's
2 not possible at the moment, but what may be
3 possible in two years. We have the discussion
4 about interoperability-sensitive measures
5 because we only have what we've got at the moment,
6 but we are also trying to measure
7 interoperability. So I -- in thinking sort of
8 with multiple tracks and not so much either or,
9 but both and. I think that's why we're all
10 around the table.

11 Rainu, do you have anything?

12 CO-CHAIR KAUSHAL: No, I think we
13 should open it up for a discussion. So maybe we
14 go around the table.

15 Julia, do you have some thoughts that
16 you wanted to start with?

17 MEMBER ADLER-MILSTEIN: Sure, that's
18 what I get for vigorous head nodding.

19 (Laughter.)

20 MEMBER ADLER-MILSTEIN: Yes, so
21 ultimately I think that -- I mean, I guess I see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measures as a way to help us make progress, right?
2 I mean, we've all seen what happens when you put
3 a measure out there and it guides behavior. And
4 so, I think I'm particularly excited about this
5 project because I think if we can get the right
6 measures, it will guide actions, it will address
7 some of the barriers that were just brought up.

8 I think the challenge with measurement
9 is that ultimately interoperability is best
10 measured in the eye of the clinician or the eye
11 of the patient. I mean, I think they know when
12 interoperability is working or not working. And
13 so, in my measurement work it's sort of been how
14 close can they get to a measure that approximates
15 that perspective. And I think it's actually
16 hard. I don't think we can get as close as we'd
17 like to get, but I do think that we have the
18 ability to measure some concepts that relate to
19 how often is needed information there? And
20 again, you can ask clinicians that and they can
21 tell you right away, or patients.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I think similarly you can use our
2 current infrastructure to say how much
3 information was available about that patient and
4 how much of it was available to that clinician in
5 the moment that they were making a decision?
6 That's not an easy thing to measure, but I think
7 it's doable today. And so I think -- so for me
8 that would be an example of a measure that I think
9 we should pursue. And so that is availability.

10 I think we can also look at measures
11 of actual use, right? When a clinician requests
12 a piece of information, how often do they get it
13 back and look at it, right? Those are things we
14 can measure. And ultimately I think on the
15 interoperability-sensitive measures these are
16 all things we all have encountered, things like
17 reducing redundant utilization.

18 I think that is the most sensitive of
19 the measures, and there's going to be times when
20 something is not redundant even though it was
21 repeated, right? And only again the clinician

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 or the patient could truly say was that repeated
2 or redundant. But I think that that measure is
3 going to get us pretty close.

4 So I think there's a set of feasible
5 measures that as I said don't get us exactly where
6 we want to be, but get us close.

7 CO-CHAIR SAVAGE: Mark then Bruce?

8 MEMBER FRISSE: I always start with
9 Julia.

10 (Laughter.)

11 MEMBER FRISSE: Yes, let's see. This
12 is Mark. I always define interoperability kind
13 of like you define the suspension of belief in a
14 good play. You only know you don't have it when
15 something breaks. And in the case of the
16 clinician quandary though a lot of times they
17 don't even know they don't have it. So that's
18 why I just wanted to lend my very strong support
19 to the process in the spreadsheet, because I
20 think those metrics in the spreadsheet, the
21 columns you had and the criteria for one through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 three, nail about 90 percent of the initial work
2 we have to do. We could disagree, but I just
3 really like the way you teed that up because you
4 really do talk about the marginal contribution of
5 something. And so I just -- that's all I wanted
6 to say, is I think the spreadsheet is our guide.

7 CO-CHAIR SAVAGE: Bruce?

8 MEMBER SIGSBEE: I'll have to --
9 interoperability has obviously many facets to it,
10 and certainly I come at it from the standpoint of
11 being able to access information that's critical
12 for quality measures: numerator and denominator
13 information, and can you get that? And I have
14 become an enormous fan of measurement of care,
15 and I've seen too many examples where measurement
16 has actually improved the quality of care
17 delivered, has improved outcomes. And how do you
18 get at that data?

19 And if you think about it, an enormous
20 amount of care is delivered in the ambulatory
21 setting from solo practitioners to large groups

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and how can you really access that data? And
2 that's where I come into the interoperability
3 realm is that can you find a way of interfacing
4 with various EHRs and getting the data that you
5 need, if it exists? You take the EHRs that
6 exist, but can you get the data out of that? And
7 there certainly have been barriers there. And
8 how do you measure that interoperability?

9 And I think, at least from my
10 perspective, if we're going to move forward with
11 the whole area of quality measurement, it has to
12 be done in a way that it's done electronically
13 pulling it out of the EHRs and really improving
14 our ability to do eMeasures that are meaningful
15 to the care delivered by the physicians.

16 I happen to be on Epic. One of my
17 quality measures is checking for smoking in every
18 patient that comes through the door. And I know
19 somebody did the same thing the day before. And
20 that is an impact in the quality of care for that
21 patient. Smoking's important, but am I asking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that question is doing it?

2 So how do we develop measures that are
3 really effective and then be able to extract the
4 data from EHRs? I would like to see us move in
5 that direction at some point with a framework to
6 really be able to do that going into the future.

7 CO-CHAIR SAVAGE: John?

8 MEMBER BLAIR: Yes, two things: One
9 to Julia's comment, the redundancy thing, you
10 said you think that's the main -- or a thing to
11 look at first or -- because I mean, I think
12 there's other utilization --

13 (Simultaneous speaking.)

14 MEMBER ADLER-MILSTEIN: Oh, sure,
15 sure. No, I was -- it was an example of I think
16 if you polled people and said what is the most
17 sensitive measure?

18 MEMBER BLAIR: Yes.

19 MEMBER ADLER-MILSTEIN: There's
20 probably five or six.

21 MEMBER BLAIR: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ADLER-MILSTEIN: And we could
2 come up with it today.

3 MEMBER BLAIR: Yes.

4 MEMBER ADLER-MILSTEIN: Like I think
5 there's a common understanding of some of the
6 measures that we think are most interoperability-
7 sensitive.

8 MEMBER BLAIR: Okay. So there's more
9 than -- okay.

10 MEMBER ADLER-MILSTEIN: Oh, oh,
11 absolutely, yes.

12 MEMBER BLAIR: Because I think that I
13 could give you some utilizations I think that --
14 okay.

15 So that first box, because I thought
16 we were going to go around and comment on these.
17 So I'm going to comment just on that first box,
18 the most critical areas of interoperability to
19 measure. I guess it depends on who you're
20 asking. If you're asking somebody on Epic or
21 that it's at a large integrated delivery network,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 probably 95, 99 percent of everything they need
2 is there. So I'm not sure about
3 interoperability. Okay. Then 50 percent,
4 whatever.

5 Because I'll give you another -- I see
6 you shaking your head. Fine. That's okay. So,
7 but whatever. I guess it depends on the
8 integrated delivery network. So if it's Kaiser,
9 maybe it is 90. Anyway.

10 But the point is if you're a large
11 system that has the specialty primary care
12 hospitals, etcetera, health plans, all -- as that
13 organization, that's a different answer you're
14 going to get from somebody that's in a multi-
15 specialty group that's not connected to a
16 hospital or a primary care provider that's in a
17 5 to 10-physician practice.

18 The person in the 5 to 10-physician
19 practice, maybe 20 percent of what they're
20 looking for is there, or 30. And the other, the
21 multi-specialty group is maybe 50. In the large

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 IDN it's 70. So that interoperability measure
2 is completely different between those settings.

3 And so, as I think about some of this
4 stuff, how do you deal with that, because if
5 you're asking people that are in these large
6 IDNs, it's a lot different answer than an
7 ambulatory primary care provider. I'm wondering
8 if you fix the ambulatory primary care provider,
9 you're probably going to take care of a lot of
10 the others.

11 CO-CHAIR SAVAGE: Frank?

12 MEMBER OPELKA: So first thanks for
13 teeing this up because I think it's kind of helps
14 in giving us some clarity of purpose here, and
15 it's an important discussion.

16 To me when I look at the question of
17 interoperability, I don't really think of EHRs.
18 I think of the patient and the data that may apply
19 to the patient, and EHRs are just one data source.
20 And I'm quite different from John. I don't think
21 Epic has even 50 percent of the necessary data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that you need out there.

2 I think when I think of the EHR or the
3 data environment, I think what I'm looking to
4 interoperate are data that I need for specific
5 key purposes that semantically are ready for me
6 to use for clinical care, whether it's quality
7 measurement or whether it's helping a patient set
8 goals. Or whatever it is, there are multiple use
9 cases.

10 I also think on the reverse of that I
11 want the interoperable system to be able to have
12 the data input to receive the data and I want to
13 measure its ability to take data in and to then
14 fit it to a use case at the point of care to
15 whatever that end user is looking for. And to
16 me redundancy is one. That's great. Data from
17 all instance surrounding that patient, that's
18 what interoperability is to me, wherever those
19 instances are.

20 I don't think EHRs in their life cycle
21 can keep up with what's happening in medicine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We need clinical registry inputs, so we need to
2 interoperate from the contextual owners of the
3 science and be able to integrate that into the
4 work flow at the moment of care. That's an
5 interoperability standard far above what's in the
6 work flows of EHRs and was never contemplated
7 when EHRs were developed. But it is how
8 clinicians think.

9 Additionally, we're seeing an
10 enormous amount of activity in mobile devices,
11 and those mobile devices are able to aggregate
12 all sorts of information, none of which were ever
13 conceived or contemplated and are far short of
14 really reaching the point of care in an EHR.
15 Those mobile devices need to be able to
16 interoperate at the point of care, and whether
17 that's an EHR or a cloud environment, whatever it
18 is. And that's not that far in the future.
19 People are writing the patient cloud environment
20 now.

21 So if we're going to set standards

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 today that are going to be applied in three years
2 and then we should have a life cycle longer than
3 that, we've got to be talking about the entire
4 data environment and not limit ourselves to an
5 EHR environment.

6 CO-CHAIR SAVAGE: David?

7 MEMBER HIRSCHORN: Thank you. Okay.
8 If I may, I'm reading the question: What are the
9 most critical areas of interoperability to
10 measure? And so I'm looking at this saying,
11 well, I'm wearing my medical imaging hat, and if
12 it's safe to actually dive one level into the
13 weeds of what would I consider from an imaging
14 perspective, advocating from my point of view in
15 healthcare to what areas we would want to
16 measure.

17 So there's a low-hanging fruit that's
18 been out there for many years. We're in 2017 and
19 all of your imaging data is digital and yet almost
20 none of it is shared from one institution to
21 another. And this is clearly not a technological

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 barrier. It is clearly other things that are
2 getting in the way of being able to share image
3 data. And it's estimated about 10 percent of
4 imaging is redundant, so to your point before
5 about getting to redundant things.

6 And redundancy, remember, in imaging,
7 it's not just cost and not just time, it's also
8 radiation burden on a patient. And that's bad.
9 Because we want to help patients and not cause
10 cancer when we don't have to. And this is -- and
11 it's -- look at this, this is ridiculous. It's
12 2017 and I can't get the CAT scan across the
13 street. So that image sharing, this has been a
14 major focus with the medical imaging community
15 for a number of years.

16 A lot of grants and things coming from
17 NIH, things trying to push projects saying how
18 can we get institutions to share images? And
19 we've been doing this for well over 10 years and
20 still can't find a way in the United States of
21 America to share imaging data across the street.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 And that -- so there -- that's a big area is image
2 sharing.

3 And one that's very much related to it
4 is radiation dose index, which again the American
5 College of Radiology tries to -- has one and is
6 trying to amass this information. Again, all the
7 interests of looking for all kinds of population
8 health things, but also trying to make sure that
9 you don't wind up with too much radiation because
10 you went to 10 different hospitals and none of
11 them knew that you got the same CAT scan over and
12 over and over and over again, and eventually
13 we're going to give you cancer because no one
14 knows that you had this done somewhere else.

15 So those are two areas I think we can
16 focus on from the imaging perspective of critical
17 areas of interoperability to measure.

18 CO-CHAIR SAVAGE: Bill and then
19 Jason?

20 MEMBER RICH: Yes, this is probably
21 going to drive Julia crazy when I say this, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 when you look at -- you're trying to develop a
2 policy and there's many, many different
3 attributes or parts of it, I think you can divide
4 people's approach into being lumpers and
5 splitters. Well, philosophically I'm a lumper,
6 but this is a very complex thing and I think you
7 -- we're going to have to think -- as Mark and
8 Rainu suggested, a dual track.

9 If you look at the five boxes here, I
10 think if you look at one and three, it'll help
11 you actually develop -- one and four, two, three
12 and five will follow.

13 The issue of imaging is huge because
14 the files are often so huge. Even if you have a
15 DICOM interface, the EHRs can't accept them. The
16 files are too large. So we need a lot of work
17 to do to get down to a number rather than a file
18 with an image.

19 Let's go to something much more
20 important is the exchange of a hemoglobin A1C.
21 As an ophthalmologist we've decreased from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 blindness from diabetes 70 percent in the last 18
2 years. A lot of that has to do with -- and do
3 you recognize a state of retinopathy? And the
4 treatment decision is based upon is there a
5 current control, hemoglobin A1C and hypertension?
6 I cannot get a hemoglobin A1C from another
7 certified EHR. I can't. The patients bring me
8 in a crumpled piece of paper. Someone calls.
9 Someone says I think it was this. The doctor
10 said it was okay. It drives other practitioners
11 crazy. The current measure, less than nine, is
12 not applicable at all for renal disease and eye
13 disease.

14 So that's what I'm talking about. We
15 have to get down to a very basic level. How do
16 we get a hemoglobin A1C, which has a LOINC -- how
17 do you get that back to the treating physician,
18 whether they're an ophthalmologist or a primary
19 care physician?

20 MEMBER BLAIR: I could tell you.

21 MEMBER RICH: Yes. Well, we all have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work-arounds we're doing. But I think we have -
2 - again, I made this point before, but we have to
3 find some way of having a basic measure of
4 exchanging the data from on EHR into a registry
5 or one practitioner to another.

6 Also, how do we import data from the
7 patients for patient-reported outcomes and blood
8 pressure management? We don't have a good way
9 of important blood pressures from home into the
10 point of care.

11 So I don't want us -- I think we have
12 to be aspirational. I think we are going to have
13 to take two tracks, but we have to have some very
14 basic measures of how data is exchanged.

15 CO-CHAIR SAVAGE: Jason?

16 MEMBER SHAPIRO: Yes, I just wanted
17 to sort of echo a little bit of what John was
18 saying earlier, and I think the degree of
19 interoperability is dependent somewhat on what
20 type of system the patient's being seen in. But
21 I think fundamentally what we really want to see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is what percentage of the patient's outside data
2 is both available, structured and of high enough
3 quality to use at any given visit no matter where
4 they're seen. What percentage of the patient's
5 data is actually following them as they transit
6 the healthcare system and cause fragmentation,
7 which is really ultimately what we're trying to
8 solve.

9 And then I think after that, as Julia
10 mentioned, is usage, because just because the
11 data is available doesn't mean that the
12 practitioners are going to actually use it. And
13 we've seen some studies showing less than 10
14 percent usage in the ED because the doctor
15 doesn't feel they need the data or they don't
16 know that the data is actually available because
17 there's nothing flagging their attention towards
18 it.

19 CO-CHAIR SAVAGE: Hans?

20 MEMBER BUITENDIJK: Actually I want
21 to echo a lot of what you just mentioned when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 looking at the first question, that as part of
2 the aspirational interoperability availability,
3 semantic interoperability; Frank just mentioned
4 it, the usability of it, and then the impact.
5 Those seem to be the four areas as we flow through
6 it that we want to have a look at them, see what
7 kind of measures are there existing in each of
8 those areas.

9 So that ultimately I think one of the
10 questions is is that if we want to use other
11 clinical indicators to look at the impact of
12 interoperability, then we need to have something
13 at the front end to see is something going up or
14 down. Is the amount of the volume of
15 interoperability going up or down and what is the
16 effect? What is the semantic level that we have?
17 Is it fully structured? Lots of free text? Do
18 we use a common terminology set or not? The
19 vocabularies that we are using, are we in sync on
20 that or are we still talking past each other? I
21 can be syntactically structured, but if the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 semantics are completely different, we might as
2 well speak different languages. And we could
3 today with the number of people, if we wanted to.

4 So from that perspective I think we
5 need to start look at those kind of areas and
6 what can we already attain in there?

7 Availability in many ways is probably
8 the easier one. What kind of volumes are
9 flowing? And we can talk about what kind of
10 sources are available. Are we connected or not?
11 So the number of areas that it's easier.

12 The further we go down the path on
13 those four areas, the more difficult it becomes.

14 Impact. Well, the number of the
15 measures in the spreadsheet that we were looking
16 at, how much are those truly just impacted by
17 more data available on the patient versus more
18 data available from knowledge sources, or the
19 fact they could just see the patient right there
20 and then? That's enough. And trying to isolate
21 out interoperability as the contributing factor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to improvements becomes more challenging for a
2 number of those metrics. But that doesn't mean
3 we should not try. It's just a matter of the
4 further we do from availability to impact, the
5 more difficult it gets.

6 And so those are the -- kind of the
7 areas that I would be looking at to see is that
8 how can we parse this apart? And recognizing
9 that we don't want to stop with the easy part,
10 but we really want to get to the tough part. But
11 there's a couple of other steps in between that
12 are not going to be easy either. How do we
13 measure semantic aspects of it that we're all
14 sufficiently structured? How do we measure that?
15 Everybody using LOINC? I don't know, but we
16 might have different opinions about that.

17 CO-CHAIR KAUSHAL: So I'd like to try
18 to tie together several of the comments that have
19 just recently been said, and I'll pull it back to
20 some experiences that we had that many of you in
21 the room were involved with in New York State 10

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 years or so ago now.

2 So New York State embarked about 10
3 years ago in the Heal New York Program, which
4 ended up being an almost billion dollar
5 investment in health information exchange of
6 various types. Some of it was in the EHRs, but
7 most of it was in interoperability.

8 And we had a large group, a consortium
9 of several universities that was dedicated to
10 trying to evaluate the effects of the
11 interoperability component on healthcare, so very
12 similar to the types of activities that we're
13 engaged in today. And the conclusions that we
14 came to over several years of studying were very
15 similar to what's being discussed.

16 The first was that the initial set
17 of things that we could measure were --
18 surrounded data. Was data available? How was
19 it structured? The issues that you're raising.
20 Was it standardized or not? Was it actually
21 accessible to the end-user, whether that was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 clinician or a patient?

2 It seemed to us then that the next set
3 of things that we were able to measure were,
4 Julia, something that you stated, which was the
5 perceptions of physicians and the perceptions of
6 patients. Did they feel like there was some
7 tangible difference in the way in which they were
8 receiving care? Was it -- or delivering care.
9 Was it better? Was it -- was interoperability
10 there or not according to their perceptions?

11 The next set of things were what we
12 thought of as patient safety, and this hearkens
13 back to redundancy. It's the radiological
14 imaging and repeat radiological imaging and the
15 effects on patients. It was a lot having to do
16 with drug interactions or drug events that were
17 occurring because of lack of knowledge about
18 medications and so on. But we categorized that
19 entire area as safety.

20 And then what we really wanted to get
21 to, which is what I think we're talking about as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 aspirational here today, is how does it affect
2 the quality of care that's being delivered,
3 whether that's measures like hemoglobin A1C or
4 measures that might be even more sensitive to
5 interoperability? And then how does it affect
6 the cost of care and the efficiency with which we
7 were delivering care?

8 So as I look at these questions that
9 are in front of us in these boxes in terms of the
10 measurement framework, Helen and I just had a
11 sidebar which I would agree with, which is that
12 we may want to structure the questions in the way
13 we think about these questions as first what is
14 the data availability for measures? That's a
15 very here and now question. What are the most
16 critical areas of interoperability to measure
17 that we can do today? Again, a very here and now
18 question.

19 And then the next three, the remaining
20 three are the real aspirational issues that I
21 think it would be terrific if we could spend some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 significant time addressing as well, which is
2 what are the things we really want to measure?
3 How can those measures be implemented? What are
4 the data needs that we have to start looking at
5 those types of questions and how can those gaps
6 be filled?

7 So with that, Mark, let me turn it to
8 you. And I wonder if we should go down -- I feel
9 like most of this side got to comment. I wonder
10 if we should go down this side now. But, Mark,
11 let me turn it to you first.

12 CO-CHAIR SAVAGE: So just when I look
13 at these questions in front of us, I'm drawn first
14 to the critical areas. And I think about
15 interoperability not as a thing in itself, but as
16 a means to an end. So my answer to critical
17 areas are things like care coordination, patient
18 engagement, the ability for patients to actually
19 contribute information, patient-generated health
20 data. I think about the more aspirational, but
21 we've got a -- we're thinking about a -- as we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 building systems a research component and a
2 learning health system. So that's in the back
3 of my mind.

4 I'm thinking about work that I'm doing
5 now with 10 communities across the country to
6 build in social determinants of health, where
7 they're looking at multiple sectors. So it's not
8 just the clinical setting, but it's school
9 clinics, it's jails who are also providing
10 patient care. So when I think about what are the
11 most critical areas of interoperability to
12 measure, I'm actually thinking of the uses.

13 And from there I then get much more
14 real. What data is available to measure there?
15 What are the measures that we have right now?
16 But for me the starting point is what -- is sort
17 of what am I aiming for? And things like care
18 coordination and patient engagement are the
19 things that come to mind.

20 And then it helps me to focus -- to
21 decide what measures are available? Well, what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 am I measuring? What measures are available for
2 something like care coordination or shared care
3 planning that involves both patients and
4 providers? I mean, that's what comes to mind
5 when I look at that.

6 MEMBER KETCHERSID: You're right,
7 this waiting for the tents, you have to scroll
8 back.

9 I think as I look at that first
10 question, we each bring our own experiences to
11 the table. And as a nephrologist I contrast what
12 I and my colleagues do every day with what my
13 wife does, who's -- she's a primary care
14 provider, right? So yesterday she saw 30
15 patients and probably five of those were what she
16 would call walk-ins, right? Those are patients
17 who woke up Monday morning and had some simple
18 symptoms that needed to be addressed.

19 Those walk-in patients and my wife,
20 their needs, their interoperability needs are
21 substantially different, right, than the patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that I would see when making rounds in a dialysis
2 facility, right, where half the patients have
3 three or more comorbid conditions and on average
4 they're taking 12 different medications.

5 And the care coordination piece that
6 you mentioned, Mark, is incredibly important,
7 because they're in the hospital 1.6, 1.7 times
8 per year.

9 And I think the other thing to -- that
10 we should contemplate as a group -- again
11 depending on your perspective, meaningful use is
12 kind of what brought us to where we stand today,
13 but importantly a number of the important venues
14 of care were not part of that original framework.
15 So nursing homes to some degree, behavioral
16 health, certainly the dialysis units. So those
17 patients that are in and out of the hospital 1.3,
18 1.4 times per year actually can't get a discharge
19 summary until six or seven days when 10 percent
20 of them are actually back in the hospital. So I
21 think it's important.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 When I look at that first question, I
2 think about the care paradigms because I -- as a
3 group this framework that we're building is are
4 we swinging for the fences and planning to
5 encompass an interoperability framework that
6 works for everybody or are we going to focus
7 instead on the large percentage of the
8 transactions that deal with primary care? I just
9 hope we don't marginalize the patients that are
10 out on the extreme. Lot of comorbid conditions.
11 Extremely expensive patient population. Very
12 vulnerable.

13 MEMBER WALDREN: So I guess I think
14 one of the issues here is that we're trying to
15 take a multidimensional space and put it down
16 into one of the domains, because I've heard
17 multiple different ones. The patient type, the
18 provider type, the data type, all those different
19 things, too. So it may be helpful for us to
20 think about more than one dimension as we think
21 about interoperability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The other thing that I was going to
2 mention though is if we look to people that have
3 tried to solve this before, some of the
4 electrical and engineering, they think about it
5 as layers. So instead of thinking about it as
6 different pathways and stuff like this, I think
7 that it's really a set of layers where each one
8 has to be there to be able to build upon the next
9 one.

10 And I think Hans laid out what could
11 be our OSI model in healthcare? I would say
12 impact is probably not one of those layers. It's
13 more of an outcome. And we may want to think
14 about that. So think about the physical layer.
15 And the OSI model is really about moving an
16 electron or an electromagnetic wave, but in ours
17 it's really like are the dots connected?

18 So if two people want to exchange
19 information, is there a tunnel for them to do it?
20 Then you could go at the next layer above that
21 and say, okay, at the transport layer. Well, how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 easy is it? Is there actually protocols to be
2 able to do that? And then you think about the
3 next layer above that. Okay. Well, is there
4 syntactic structure to that saying, oh, I can
5 send you stuff. Or I know there's a med list and
6 there's a lab piece.

7 The next layer above that is do I have
8 the semantics? Okay. Well, I know what those
9 are. And then to the next layer, which I think
10 Frank was -- and Bill was getting to with the
11 registries, is that you have a deep understanding
12 of what that data really, really means. So we
13 may want to think about it as a layered approach
14 as well.

15 MEMBER KAELBER: I guess just to add
16 on that, I mean, a paradigm I think about in
17 clinical quality improvement is sort of process
18 measures versus outcome measures. So think about
19 the diabetics are the one is -- are you getting
20 the hemoglobin A1C versus what is the hemoglobin
21 A1C? So I guess I'd just throw that out in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussion of sort of thinking about process
2 measures for interoperability, which is more just
3 around some of these layers, just is the data
4 being exchanged maybe versus outcomes? Are we
5 really decreasing the redundant or repeat
6 imaging?

7 MEMBER BLAIR: Okay. So a few
8 things: Just I wanted to get back at Frank since
9 he seemed to disagree with everything I said.
10 No, I'm kidding.

11 (Laughter.)

12 MEMBER BLAIR: But I'll let you know
13 I actually agree with everything you said.
14 That's okay. I'm a surgeon, so I'm used to
15 bickering with other surgeons.

16 The comment about -- first of all, the
17 Epic statement I was making was much more about
18 care settings. And the percentages were
19 arbitrary that I threw out. But I do think it's
20 very different. And when you get to the smaller
21 primary care provider, the degree of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interoperability that they need versus others
2 really starts to drive all these different --
3 some of these different things we're thinking
4 about, at least provider to provider.

5 And when Frank mentioned the EHR
6 versus registries and the whole environment, that
7 gets back to what I was talking about on the --
8 or even a little bit what you were saying about
9 the layers. Which are we going to go at first?
10 Because if we -- and I don't disagree with what
11 you said about the EHRs versus registries, but I
12 do think there needs to be some expediency on
13 starting to show interoperability, because again
14 I think patience is wearing out.

15 And that's really what we've got right
16 now, and that's what, at least politically and
17 policy-wise, people that are -- make the
18 decisions I think about what gets funded and
19 doesn't are looking at. So I do believe that
20 even though it's imperfect on EHRs with
21 interoperability, that becomes a pretty important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 focus for right now.

2 And then the other comment I -- there
3 was a comment about primary care versus more
4 specialty care. When I talk about the primary
5 care, I'm really thinking about that 5 to 10
6 percent that are the complex patients that are
7 exactly what you're talking about. Even
8 though -- I'm not thinking about the walk-in with
9 an earache. I'm thinking about the complex
10 patients. And it all comes together there on
11 that for the primary care.

12 MEMBER SWENSON: So I guess looking
13 at some of the questions here -- so John had
14 commented earlier when you look at what are the
15 most critical areas of interoperability to
16 measure; and it's going to depend on who you ask,
17 I think it's going to depend on what the question
18 is, right, and how high-level you're starting.
19 If the question is what do I as a provider need
20 to know in order to treat my patient, or do I as
21 a provider have the information that I need to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, then it doesn't matter who you ask because
2 what the radiologist needs to know versus the
3 ophthalmologist versus the nephrologist versus
4 the family doctor.

5 If the question is do I as a provider
6 have what I need to know, then I'm -- they're all
7 going to need to know different things, but I can
8 -- I'll answer, yes, I have what I need to know;
9 no, I don't have what I need to know. So that
10 gets into the question of availability, of what's
11 being exchanged.

12 Dr. Kaelber, David mentioned that
13 MetroHealth is up to 10 million patient records
14 that have been exchanged now, and that's looking
15 at IHE transactions, CDA documents. I think one
16 of the interesting areas that could be better to
17 find is how do we measure what is being exchanged?
18 Ten million patient records is a big number.
19 Within Epic we count that as a patient record,
20 not as transactions or documents. If they were
21 counting individual documents, it would be at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 least five or six times that amount in the CDA
2 documents exchanged. And I know other systems
3 that even count it in transactions, which would
4 be double that.

5 So when we look at is interoperability
6 happening, first we need to figure out what are
7 we even trying to measure? Like what are we
8 measuring as interoperability just happened?
9 MedAllies you can obviously count a transaction
10 just happened. Somebody pushed through the HIST
11 to someone else. Interoperability just
12 happened.

13 We need to define how are we going to
14 count what is happening as interoperability
15 before we can figure out what does everybody need
16 to know? And are CDA documents the answer? Oh,
17 not necessarily. If what I care about is an
18 image, if I'm a school nurse and all I care about
19 is my patient's -- my student's allergies and
20 what medications they have to take when they have
21 -- when they touch a peanut or something, then I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't need a CDA document.

2 So we need to figure out what does
3 everybody need? And now are we actually
4 addressing those needs with the interoperability
5 that's happening? And then we can start looking
6 at the impact of now that I know what I need and
7 how to get it, what's the impact of having that
8 information?

9 MEMBER BUCKNER: I'll address the
10 third box on the right there, which measures can
11 be implemented now versus in the future?

12 So just a brief little example on the
13 importance I think of normalization of data. We
14 did a study of the data that flows through our
15 health information exchange for a 12-month period
16 of time and we looked at patient class. So this
17 is I, O and E, inpatient, outpatient, emergency.
18 HL7 has a few more that are listed in there. We
19 had 62 variations of that value.

20 Now all those were Epic hospitals.
21 This is not an Epic problem. This is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 implementation choice at health systems. So they
2 have a variety of reasons for creating a large
3 set of codes, but if you just want to know what
4 are my emergency patients or ambulatory
5 outpatient, you can't quite figure that out.

6 So when we talk about measuring
7 complex things, I can't even get patient class
8 identified properly, much less we start talking
9 about using LOINC codes. And the ONC, one thing
10 I'd like to say is I'd really like to see that
11 standards advisory not become an advisory and
12 become mandatory, because that granular level
13 really drives everything that we want to do
14 within measurement.

15 And then when we talk about the why,
16 we want to push this up to another level, and
17 what Steve was saying is understand what's the
18 purpose. Why are we trying to measure something,
19 right? And so there's got to be sort of a carrot
20 or stick there for -- to instigate someone to
21 change their work flow and say, well, I'm going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to take the effort and get rid of all these and
2 map them into the proper codes that need to
3 happen.

4 It happened a little bit with
5 meaningful use. You saw some more LOINC going.
6 But it's still the Wild West out there. And
7 we've got to give providers a reason to do that.

8 So I'm really curious if we had
9 planned on taking these potential measures and
10 saying here's why we want to do this. Does this
11 -- are each of these going to try to the triple
12 AIM? Do we want to say there's improvement in
13 patient care if you can get above this level? I
14 think getting back to that level to me makes a
15 lot of sense because otherwise it's just going to
16 be another measure that means more work for an
17 institution that they don't want to take on.

18 MEMBER O'MALLEY: Yes, this is a great
19 conversation. I'm struck on several different
20 levels. One is obviously the complexity of
21 exchanging information across a really diverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and complex system, the multiple different users,
2 the different uses of the information that's
3 being put to. And it's just sort of this
4 daunting complexity.

5 But if you -- I think if we take it
6 back, as several folks have mentioned, to the
7 issues around clinical care and taking care of an
8 individual, taking care of the patient that's in
9 front of you, then I'm struck. I'm a
10 geriatrician, so I take care of old people with
11 long medication lists and longer problem lists.
12 And to Mark's point, 90 percent of really matters
13 to them is not in the medical record. It has to
14 do with their social supports, their
15 transportation, their availability of nutrition,
16 how integrated they are in the community.

17 These are all issues that are critical
18 to patient care and they're all issues where
19 there's another diverse set of stakeholders and
20 folks who can provide this information who are
21 not part of the eligible provider, meaningful

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 use, Epic, EHR, access. They are the community-
2 based service providers, the people in the home
3 who, (A) don't share our vocabulary, don't share
4 our common vocabulary and don't have any
5 electronic way of exchanging it even if they did.
6 So I get information from the home health
7 agencies with a phone call, or it's really
8 sophisticated a fax, but -- and occasionally an
9 email, but never part of an EHR that I'm part of.

10 So I think -- so the challenge -- to
11 pull that loop all the way around, I think the
12 challenge for interoperability I think has to be
13 clinically-driven. And for me it's really trying
14 to get information that's critical for the care
15 of the patient from any particular source. And
16 it doesn't have to be electronic, because I'll
17 aggregate it. I mean, I'm sort of the electronic
18 source. And then I may distill it and put it
19 into an electronic system. But I'm not basing
20 care on what I find in my EHR. I'm basing care
21 on what comes from multiple sources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I think of our challenges in
2 interoperability is how do we engage the other
3 folks who are not part of meaningful use in the
4 process of exchanging information that's critical
5 to patient care? How do we bring that group in?
6 Because they're dark. They have no electricity
7 out where they are and they're not electronic
8 interoperability, nor will they ever be. But
9 without them the impact of interoperability for
10 the rest of us in the clinical world is much,
11 much less.

12 And I think we need to build this much
13 broader base, much less complex base, agree on
14 some critical elements that we all need to know.
15 How do I know you're you would be one. So
16 individual identification. And then we can begin
17 building clinical use cases and what are the
18 components that we need to do our work?

19 MEMBER SIGSBEE: So at least trying
20 to look forward and how do we move forward and
21 develop a conceptual framework that we can really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 make work to come up with what we're supposed to
2 come with by some time tomorrow? And thinking
3 about this, I think there are -- we really have
4 to; and I think Bill suggested this, too, do two
5 parallel efforts.

6 One is surrounding the patient. And
7 patients are different. What they want to know
8 is different. What they need is different. And
9 not only the examples that were given, but they
10 want access to online information about their
11 disease process, where can they get reliable
12 information, appointments, all those kinds of
13 things that are part of a portal, or maybe not
14 part of a portal, and resources that they can
15 access to help take care of their disease, which
16 typically is not in the EHR.

17 And then physicians and what do they
18 need, whether it's a specialist or a primary care
19 physician. What do they need in terms of access
20 to really care for that patient? That obviously
21 is the lab data, the imaging data, the actual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 images, to best practices and what are quality
2 guidelines, pharmacy data? Not only are you
3 prescribing the right thing, but what's the
4 patient's compliance with those medications?
5 And that's not typically in EHRs. And how do you
6 capture all of this?

7 And I think those are really two
8 parallel tracks. and I think you can start
9 thinking about how do you measure those two
10 different things. If you think about those two
11 tracks, it's going to be really difficult to I
12 think develop measures if you're trying to blend
13 those two things together.

14 CO-CHAIR SAVAGE: Is this
15 conversation feeling useful to folks? Should we
16 continue talking about these five questions?
17 Should we instead move to picking up on Bruce's
18 comments?

19 I see a tent.

20 MEMBER FRISSE: Again, I'm finding
21 great comfort in believing most of these opinions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can be represented in the framework on the
2 spreadsheets. I think it's all kind of there.
3 We're all processing our own internal views of
4 that, but I'm still hard-pressed to find
5 something I don't think can happen when those
6 discussions take place, but maybe a bunch of
7 broad discussions are important first. I don't
8 know.

9 MEMBER YEAGER: Well, this is Mariann
10 Yeager. Sorry, I just wanted to weigh in. I
11 think the conversation here has been really
12 interesting.

13 I'd like to introduce a slight
14 variance I guess in some of it, and I wonder if
15 it would be helpful to also measure the
16 progression. So I think it's been noted that
17 there's sort of the perception, well, now there
18 are computer -- digital records are computerized.
19 They should be interoperable out of the gate, but
20 not recognizing that there is a progression of
21 before you can really get there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So is it beneficial to -- for instance
2 based upon the desired impact to identify the
3 extent to which -- it's even -- the environment's
4 even digitalized, the percentage of adoption of
5 the standards and then looking at percentage
6 market penetration for connectivity. Is it even
7 possible for it to flow?

8 And then the use of that connectivity,
9 whether it's the number of transactions or the
10 percentage of time that data are available. And
11 then looking at the progression of, okay, well,
12 once you've done that, then you look at the
13 usability, the value of the data and the
14 outcomes.

15 So sometimes I feel like it seems like
16 policy makers sort of set the expectation that,
17 well, once you have a computerized system in
18 place, then the outcome should just be available,
19 when actually it's a progression over time and
20 just really to have benchmark of how you're doing
21 at that point in time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I don't know if that really changes
2 the conversation, but I just wanted to share that
3 perspective.

4 MEMBER RICH: Yes, to help a little
5 bit, I'm going to ask our ONC colleague, doesn't
6 ONC have some tools; are they widely used now, to
7 measure the ability of an EHR to respond with a
8 CCDA file with the elements of well-established
9 PQRS measure and outcome measure? I know that
10 we've actually run some tests on different EHRs
11 and the performance range from D minus to B plus.
12 Are those tools readily available, or was that
13 just a test exercise that we were part of?

14 DR. PATEL: That's a good question.
15 I mean, I think that's -- the specific thing that
16 you're talking about is in the test environment.
17 I mean, the measures that we have, which I think
18 I briefly presented on in one of the webinars --
19 I think to John's point, they're pretty crude.
20 I mean, they're national survey measures of
21 hospitals, of physicians and of consumers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So we have survey-based measure where
2 we can ask things about perceptions, and we do,
3 about interoperability and their abilities and
4 including the availability of information, the
5 uses of information from outside -- that they
6 receive from outside sources, so some of the key
7 domains that we talked about. But they are crude
8 measures because they're self-reported and
9 they're not from systems themselves.

10 So they don't really tell us
11 necessarily -- they tell us indirectly about
12 the -- whether the information is in a structured
13 format and that kinds of things, because there's
14 only I think so much you can ask. A physician
15 who works in a large practice might not even know
16 what we're talking about when we're talking about
17 structured. So there's some questions that are
18 just hard to ask.

19 So in terms of what is available now
20 that we have at a national level, it's basically
21 meaningful use data, which we know on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 physician side is going to evolve now with MIPS,
2 but -- and these national survey data. That's
3 what we're -- that's what we have right now at
4 the national level. Obviously there's data from
5 other sources like direct trust or other things
6 that we can talk about as we get into more of the
7 nitty-gritty of how can we measure exchange of
8 information? What's available at the national
9 level? I think there's a lot of rich data that's
10 available at the local/regional level, but how
11 you get that at the national level is more
12 challenging.

13 And Julia's also working on some work
14 that's trying to get at measuring -- developing
15 a patient, more patient-centered measure of
16 interoperability that looks at claims data, does
17 some survey work as well. And maybe when the
18 time comes she can kind of describe that, too.

19 So we're trying to move the needle on
20 some of this measurement work, getting beyond
21 just survey-based measures, but it's a challenge.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But I think the work today could help identify
2 with regards to exchange availability, what it is
3 that we -- we know what we have right now, which
4 is the survey-based measures and MU, which is all
5 self-reported, but are there measures that we
6 could develop of exchange of interoperability
7 looking at the impacts that could be generated
8 from the systems themselves and provide more I
9 guess concrete measures of information exchange
10 that could be going on that we could report up at
11 a national level.

12 MEMBER RICH: I think that the testing
13 phases would address several of the issues, like
14 box 1 and 4. We've used it and it actually
15 amazingly reflected what our experience is,
16 because we've had 142 million exchanges, and not
17 simple data points, but very complex measures
18 with many data points for each measure. And we
19 find actually just looking at something like a
20 cataract pre and post-op vision much less
21 patient-reported outcomes and patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 satisfaction. Massive variability from a well-
2 established PQRS measure.

3 So I think that where you're going,
4 actually if you draw back a little bit, you'll --
5 we have found that there's tremendous variability
6 even in well-established 10-year-old measures.
7 And something like that I think would address
8 what's in box 4. And I think that would address
9 some of the issues that Bruce and I have made.

10 MEMBER BLAIR: Listening, I'm
11 thinking about Steven's comments on the layers
12 and then Mariann's about the different components
13 of connectivity, transaction usability, and I
14 can't remember -- and I agree with both of those.
15 They're different a little bit. One's more of
16 the system. The other is the usage by the
17 provider.

18 And I'm thinking about Class, that
19 organization that rates vendors, came out with
20 something in the last year on interoperability.
21 And I can't remember it, but it's something about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 availability, which means the transactions are
2 going place to place or you can pull them in.
3 Usability, which is usability of the EHR. And
4 then something about being able to reconcile or
5 incorporate the data. I think those are the
6 three components.

7 And it started with maybe 30 or 40
8 percent on the availability. Usability dropped
9 it down to about 15 percent. And being able to
10 do all three was under 10 percent. And then they
11 looked at each vendor along those lines.

12 And when I think about our experience,
13 when you think about direct, for example, I think
14 there's 700,000 or so physicians that are
15 connected. And then I think -- and so if you
16 look at where we do our Comprehensive Primary
17 Care Initiative work with 600 practices at this
18 point, they're all connected. They all can
19 transact. And about 10 to 20 percent of the
20 transactions are going electronically. So
21 they're all connected, but they're not doing it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 because of usability.

2 But then if you drill down to what
3 we're doing at the practice level and provider
4 training and EHR configuration level and
5 transitions of care, and get in there and teach
6 them how to do the referrals and incorporate the
7 documents to get the discharges real time so that
8 they're actionable by the care managers and
9 stuff, it goes up to 70 or 80 percent across those
10 practices. So they're all connected. And it's
11 that in-the-office configuration usability
12 making those EHRs useable for them that gets that
13 interoperable.

14 So I think getting back to what
15 Mariann was talking about a little bit on
16 connectivity, transactions, usability, etcetera,
17 makes some sense.

18 MEMBER ROSATI: So listening to this
19 conversation has been really valuable, but one of
20 the pieces that I feel maybe needs to be brought
21 into play in this framework is about settings,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 because just thinking about the environment that
2 I'm in and some of the challenges we have with
3 the exchange of data.

4 And to give you an example of this, in
5 home health; and it's certainly an issue in
6 hospice, timeliness of care is very important.
7 So when do we get in to do that first visit?
8 It's both important for the care we deliver, but
9 it also is important to patient experience. But
10 I will tell you that discharge planning will make
11 referrals to home care and hospice, but hospitals
12 won't inform us when patients are actually
13 discharged. So here's a very simple ADT piece
14 of information that does not move easily from the
15 hospital environment to the post-acute setting.

16 And we've been told you could get that
17 out of the HIEs and what you see there are
18 discharge summaries that are sometimes two weeks
19 old. So that's not really relevant. And if were
20 to try go to the approach of direct, not
21 everybody's using that and then we would also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 need to think about how we'd direct that to the
2 right provider.

3 So I think that here when we talk
4 about this idea of exchange, we need to think
5 about whether or not -- if it's happening in a
6 meaningful way is really -- is something to
7 measure in itself because without the data
8 flowing we really can't come and impact our
9 further measure down the line, which is that
10 timeliness without that capability being in
11 place.

12 So I like the idea of this layered
13 approach and thinking about just the basic
14 technology and then what needs to be above that,
15 but I think that's the challenge with this
16 framework is thinking of all those pieces that
17 are interplaying here. In a way it's a matrix
18 that has to work in all dimensions for us to see
19 an impact on outcomes.

20 MEMBER O'MALLEY: Just following on
21 both of those, John's comment and your comment,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and Mark's comments about sort of major use
2 cases. And I think maybe if we drilled down to
3 a set of very specific use cases and just said
4 care coordination is one, transitions of care are
5 another. And those are probably the two
6 fundamental use cases for the entire system of
7 care, because all of our patients -- no matter
8 what our specialties or where we are in the
9 system, we're a part of one or both of those
10 processes all the time.

11 And if we looked at those as sort of
12 the critical use cases, then begin to dissect
13 those out, then what are the critical pieces,
14 components of those use cases? And then in kind
15 of a systematic way go through what do you need
16 to build those components? What do you need to
17 exchange those components? What do you need to
18 reconcile them? It might give us a way to
19 approach interoperability and focus it on just
20 sort of some critical components of the system
21 itself and sort of how the system works.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER SWENSON: So I think one of the
2 things looking at the long-term vision future of
3 this -- I think the ideal state of
4 interoperability is that we stop talking about
5 interoperability, right? It's just when I'm a
6 provider and I need to know a hemoglobin A1C
7 trending over time or I need a CT scan or
8 whatever, it's there. And it doesn't matter to
9 me if it was done locally within my health system
10 or if it was done across the country when the
11 patient was somewhere else. It's just there.

12 And that's where some of this
13 perception and measuring based on provider-
14 reported measures gets difficult, because if as
15 an EHR we're making it so that the information is
16 just available, the provider ideally doesn't know
17 that interoperability is even happening because
18 it's just there when I look at it. So that's
19 essentially where we need to get.

20 What I would love to see on some of
21 these measures looking more at the exchange and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the availability is measuring how that
2 information gets exchanged in order to drive
3 industry changes. One of my focus areas is on
4 patient consent, authorization requirements. A
5 lot of what we're talking about here is already
6 covered by HIPAA, but various states have
7 additional regulations, or their lawyers have
8 interpretation of state regulations anyways, that
9 limit the information that gets exchanged because
10 they require patients to do something, to sign a
11 form.

12 I would love to see some measure --
13 and there have been things published about the
14 impact of that, but some measure of how much of
15 this exchange is being prevented because we're
16 asking the patient to do something that they
17 don't really need to do anyway because it's
18 already covered by HIPAA and use that information
19 to then drive changes to allow interoperability
20 to happen more, which then gives us more data to
21 measure the impact.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER OPELKA: So I don't know if
2 we're starting to around in circles a bit, but we
3 need to tighten this up at some point, but to --
4 I like the concept of the layered approach
5 because I think it's how most of this is
6 structured, but to me it still -- to me there's
7 an EHR world and then there's the world beyond
8 the EHR, and we are very fast moving to the world
9 beyond the EHR. I think the now is the EHR
10 interoperability, but within two years we will be
11 out of the EHR interoperability world.

12 So the patient cloud environment needs
13 to be able to take data from multiple disparate
14 EHRs, because patients see multiple different
15 providers and have multiple different instances
16 of their data. That data, once it's in the
17 patient cloud, currently as those clouds are
18 trying to deal with that data, it's completely
19 non-interoperable.

20 And CDAs are a thing of the past.
21 They're relatively useless. And it's like giving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 me this entire package on a patient and when I
2 open it up and spill it on the desk, it's
3 confetti. I can make nothing out of it. Fire
4 is too narrow. It gives me a lot of solutions,
5 but not enough solutions.

6 So how do we actually structure this
7 so that in this patient world that's emerging --
8 and it's a non-HIPAA world -- the patients own
9 their data and they have an opportunity to do
10 whatever they want to their data, and they're
11 going to find out it doesn't interoperate. We've
12 got disparate systems that are not acting in the
13 patient's best interest.

14 How do we measure that environment
15 where all the data now sits and it has an ability
16 to be structured and pulled together to the
17 benefit of that patient and anybody treating that
18 patient. That's going to happen in three years.
19 It's going to take us a year to come up with this.
20 So we've got to be thinking of EHR transmissions
21 today, CDA world, but we have to be thinking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 beyond that in this other world, and we've got to
2 do it now.

3 CO-CHAIR KAUSHAL: I'm going to
4 suggest that we move on to -- that was a terrific
5 discussion. I'm going to suggest we move on to
6 the environmental scan and key informant
7 interviews.

8 Jason, do you want give a brief
9 overview of that or --

10 MR. GOLDWATER: Sure, I can do just a
11 brief one.

12 So I think all of you know that we
13 have spent probably the last six months on key
14 informant interviews and doing a fairly
15 comprehensive literature review and have gone
16 through a few iterations of the document. We --
17 the purpose of the literature review was to
18 identify through literature key measure concepts
19 that would align with those initial four domains
20 of use, availability, exchange and impact. That
21 addressed both current and future problems of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interoperability. And then to provide at least
2 a basic foundation for identifying potential
3 measure concepts and/or existing measures to be
4 used within the framework.

5 And within the scan we were able to
6 tease out concepts through the literature. And
7 then we were also then able to follow on the work
8 that Rainu had done and others to identify
9 existing measures. And then from that form a
10 framework within the spreadsheet to help take
11 some measures that we were able to grab from the
12 literature and have you all evaluate to determine
13 the level of sensitivity and the impact
14 interoperability would have.

15 The literature, as many of you know;
16 and I know there's a lot of health services
17 researchers in the room that have done plenty of
18 this work before -- the literature does not
19 always address all of the issues, so we then
20 decided to proceed with interviewing a number of
21 key informants across a host of areas, both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 payers, providers, vendors and so forth.

2 The purpose of it was to supplement
3 the information data found within the literature
4 review. We wanted to obtain information and
5 details on interoperability measurement we were
6 not able to find through the literature.

7 And through discussions with -- we
8 interviewed eight people. I'm sure most of you
9 know them. And we identified existing and future
10 measures and possible data sources ranging from
11 public programs such as Medicaid, to private
12 programs, to what hospitals are using, to what
13 health plans are using, processes and outcomes
14 that were enabled by interoperability. And they
15 were very upfront about sort of taking into
16 consideration the current realities and
17 implementing the framework.

18 And a -- I think one of the major key
19 themes that sort of came from that was a lot of
20 what Dr. Opelka was talking about, that it's a
21 very changing framework. Interoperability was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 initially addressed through whether EHRs could
2 exchange data and the processes that goes through
3 in order for that data to be exchanged, but that
4 over time it was evolving into something far more
5 different, that it was talking about patients'
6 access to data, patient engagement, digital
7 health and other areas.

8 The recommendations for the
9 framework -- and I think that as we now start to
10 move into the area of identifying domains, sub-
11 domains and concepts, really keeping in to mind
12 a lot of what you all have discussed, the
13 framework again is to really organize measure
14 concepts and potential measures of
15 interoperability.

16 So we're probably going to take the
17 rest of today and a good portion of tomorrow and
18 identify some of these core domains, keeping in
19 to mind the topics you all have already brought
20 up, and sub-domains of interoperability and align
21 the outcomes and/or process measures for them to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 identify the concepts and measures that
2 individuals will then in time build systems
3 toward.

4 We need you all to help identify and
5 prioritize those measures. I think that was
6 something that was very clear in your
7 discussions, particularly looking at two tracks
8 and also looking about aspirational measures
9 versus those now that could be used currently,
10 but to really identify and prioritize them and
11 include all the community-reconciled data prior
12 to visit, really examining the use cases that
13 would be relevant.

14 Those measures that would be -- or
15 measure concepts that would be aspirational, to
16 base those on completeness of record and the
17 timeliness of its availability -- whether it's an
18 EHR or another data source -- to create a test
19 environment to validate these interoperability-
20 sensitive measures and the data sources the
21 information comes from, and then finally to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 prioritize what would have the most impact on
2 clinical quality, patient experience and reduced
3 cost. So that is the -- some of the objectives
4 ahead of us.

5 CO-CHAIR KAUSHAL: So I'm going to
6 suggest we keep going on to slide 26, which are
7 the measurement framework domains.

8 These were the four domains that we
9 had come up with, which are exchange of data
10 across disparate systems, availability of data to
11 facilitate interoperability, use of
12 interoperability to facilitate decision making,
13 and the impact of interoperability on health and
14 health-related outcomes.

15 And I think what might be useful is to
16 first talk about whether there are any other
17 recommended domains that we think should be
18 considered and to start going a little bit more
19 deeply into each of these stated domains to make
20 sure that we understand and can conceptualize
21 what we're trying to discuss within each of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these.

2 DR. PATEL: Point of clarification.

3 So these domains were identified in the
4 Interoperability Roadmap, ONC's Interoperability
5 Roadmap, as key aspects of interoperability that
6 we would want to measure to assess progress.
7 That doesn't mean that these are the be-all-end-
8 all, but I just wanted to provide that as a
9 context background. Totally open to other
10 suggestions on this, but these were identified as
11 part of the roadmap process as assessing progress
12 related to interoperability.

13 DR. BURSTIN: Just a comment on that.
14 I guess the only question is, based on what you've
15 been talking about for the last couple of hours
16 now, is there anything you don't think could
17 potentially fit in one of those four buckets
18 considering how you might layer it, the use cases
19 you might use? Is there just another big
20 category that's not captured by these four, is
21 really the only question for domains. And then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we'll let you have a break.

2 MEMBER BUITENDIJK: Just a question
3 is that I think most of what we talked about fits
4 in there. I'm just curious now looking at these
5 -- again in this -- in light of this discussion
6 to clarify the first one maybe a little bit more
7 because I'm curious whether that actually can
8 fall under one of the other two that are -- or
9 other three that are there. So can you clarify
10 just the first one a little bit more? It seems
11 to be able to fit in the second one, and I'm
12 curious whether that's true or not based on our
13 conversation this morning.

14 DR. PATEL: So we had conceived of
15 exchange and availability as separate kinds of
16 domains in terms of whether information is
17 transmitted or not versus whether the provider --
18 it's available to the provider like, as John I
19 think was saying, within their clinical work
20 flow. So information could be transmitted, but
21 it's not readily available to make use of.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I think that was the distinction
2 there that information can be exchanged, but it
3 might not be readily available necessarily,
4 depending on I guess the clinical work flow and
5 the user interface. Is it easy to -- is it
6 actually available to the provider? As I think
7 Alan was saying, is it really seamless kind of
8 way? Does the provider perceive it to be
9 available? So I think that would be the
10 distinction between the two, and we identify
11 those as separate things that we would want to
12 measure just because information exchange doesn't
13 necessarily mean it's readily available to the
14 provider or to the patient.

15 CO-CHAIR KAUSHAL: And just to
16 clarify, I'm getting some clarity from our NQF
17 colleagues here, we're not wedded to these four
18 domains. And so if we want to change the
19 domains, we can change the domains. So it seems
20 to me that we may want to first have a discussion
21 about what are the domains that we'd like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 include; and four or five would probably be a
2 good set of numbers, and then understand whether
3 or not they map against the ones that the roadmap
4 came up with.

5 CO-CHAIR SAVAGE: And I'd just add,
6 we have some definitions up here on the screen,
7 but if "exchange" or "use" means something
8 different to you, feel free to mention that as
9 well.

10 DR. PATEL: I mean, Jason, I don't
11 know if we have the roadmap, because there was
12 like a very -- like one-sentence-kind of
13 definitions there. I mean, if we could pull
14 those up.

15 MEMBER BLAIR: Yes, so to Helen's
16 question is there an area that's left out, I think
17 usability is not there.

18 And to Vaishali's thing, I actually
19 had the same question you had, Hans, I thought
20 they were kind of the same thing.

21 But as I listened to you, Vaishali, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 started to think, no, maybe it's not. You could
2 have -- you can have the data moving and getting
3 there -- and I'll just give an example, a poor
4 patient matching capability within the EHR
5 so it never gets to that patient record for the
6 provider to even use it. So I went from thinking
7 they are the same to maybe they're not.

8 MEMBER WALDREN: And I went the other
9 way. I thought they weren't the same to begin
10 with and then after Vaishali talked, I thought
11 maybe they are the same, which is funny.

12 (Laughter.)

13 MEMBER WALDREN: So when I heard about
14 availability to facilitate interoperability, to
15 me that meant that the data was available to be
16 exchanged. So this was on the source side, not
17 on the recipient side. So are you collecting the
18 data? Because if you're not collecting the data
19 and structuring the data in a way that could then
20 be interoperable, that could really be a problem.
21 So you could have an EHR, for example, that could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be able to do the exchange, but you're never
2 putting it in the EHR, so it's not. So that I
3 think if -- that piece of it, I would keep that
4 separate from exchange.

5 MEMBER BLAIR: Well, so, yes, it's
6 availability on both sides.

7 DR. PATEL: So I'm just reading from
8 the -- I have -- he has a copy of the roadmap
9 here. I wrote this a while ago now, two years
10 ago. So exchange -- I'm just going to read what
11 it says here and then we can discuss whether --
12 however the group thinks it's appropriate to
13 group, to reorganize this, if necessary.

14 So exchange of electronic health
15 information. "It is important to assess how
16 information is moving electronically, which
17 involves the measurement related to the extent to
18 which individuals and providers along the care
19 continuum can electronically send, receive, find
20 and use priority data elements in an
21 interoperable manner. For example, identifying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a reduction, even in the amount of paper-based
2 exchange methods such as fax, could be an
3 indication that providers and individuals are
4 increasingly using electronic interoperable
5 methods to exchange data."

6 So now availability of electronic
7 health information should be -- "Electronic
8 health information should be available to both
9 providers and individuals when and where they
10 need it. The electronic -- the availability of
11 electronic health information from outside
12 sources, starting with the priority data elements
13 listed in the roadmap, will serve as key
14 indicators of the degree to which information is
15 accessible and interoperable. It is also
16 important to assess the extent to which data is
17 made available to appropriate parties outside a
18 healthcare provider's organization such as
19 patients, providers and outside organization."

20 And then the use of electronic health
21 information and decision making. So that concept

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is defined as: "To achieve desired clinical and
2 health impacts, electronic health information
3 should be used effectively. Measures in this
4 domain will assess whether electronic health
5 information from outside sources is used to
6 inform decision making in managed care.
7 Measuring usage will enable us to understand how
8 information from outside sources is used and
9 valued."

10 So I don't -- maybe that helps clarify
11 the distinction between those three concepts, but
12 again, totally open to the Committee kind of
13 reorganizing it or reframing it in a different
14 way. But that's the original -- that's why
15 originally it was availability and exchange was
16 denoted as separate.

17 MEMBER SHAPIRO: I just wanted to
18 comment on the availability part, and I think
19 maybe this is implied, but perhaps it should be
20 more explicit, and that's that the data is not
21 just available, but it's also structured and of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 high quality and semantically interoperable.
2 And there's lot of data available that is being
3 exchanged, but it doesn't have those three
4 elements, which decreases the usefulness of it,
5 especially for secondary use cases.

6 It's one thing to display a lab value
7 on a clinical portal for one doctor seeing one
8 patient, but if you want to try and do anything
9 where you have to aggregate lab values and make
10 comparisons or trend them across time, if it's
11 not mapped to a standard, you can't do it.

12 DR. BLAIR: Yes, just I see these
13 three as given the CDA, data are exchangeable.
14 And when I receive it, it's moderately to highly
15 inefficiently available and it's rarely useful.
16 So I think they're very good to define them in
17 all three ways because we do have a lot of things
18 happening here in each one of these steps. And
19 whether it's the syntax or the semantics, they
20 all need to be ironed out.

21 MEMBER RUDIN: I wonder if we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limiting ourselves a little bit in these domains
2 to assuming a certain type of data exchange like
3 a point-to-point data exchange. I'm hoping that
4 whatever framework will capture ability to -- for
5 interoperability to support more complex use
6 cases such as these patients where it's not
7 simply having some medical record available, but
8 having some back and forth and communication
9 among members of the care team, including the
10 patient. And that means having interoperability
11 supporting not just simply exchanging or having
12 data available and exchanging it, but supporting
13 these care processes. Just wanted to bring that
14 up as maybe we should put some kind of domain in
15 there to capture that type of thing.

16 MEMBER SWENSON: I guess the one that
17 I'm wondering about is availability. It seems
18 like some of the examples of availability, the
19 structured data thing could be measured in
20 exchange. Like is exchange happening? Here's
21 some number of interoperability that happened and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what is being exchanged is all kind of in that
2 same bucket, I view it. And the fact that it's
3 discrete information: LOINC codes, RxNorm codes,
4 etcetera, would be in the exchange bucket.

5 Availability seems like that's a
6 problem of the system receiving the information
7 and how they handle making it available to
8 somebody, right? So if Epic does it one way,
9 Cerner does it one way, whoever, that's kind of
10 an EHR or a recipient system problem. I don't
11 know that that's something that's measured across
12 everybody. What's measured is do the
13 providers -- are the providers able to use it,
14 right?

15 So what's being exchanged? Is
16 exchange happening? Is it discrete so that it
17 can be used? The EHRs then have to do something
18 with it to make it useable. And then is it being
19 used? And is it being used is again something
20 that we can now measure. And that's regardless
21 of how the recipient system presents it to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 provider. Are they using it?

2 DR. PATEL: I would ask Julia
3 actually. So, Julia and I came up with these
4 domains when we put this together, so I guess one
5 question is do you think that this -- I mean,
6 we've been measuring availability and exchange as
7 distinct measures. Do you think they could be
8 basically conflated or is it valuable to measure
9 those two as separate concepts?

10 MEMBER ADLER-MILSTEIN: Yes, I think
11 separate --

12 DR. PATEL: Yes.

13 MEMBER ADLER-MILSTEIN: -- does make
14 sense, because I do think that there are
15 different things that impact. I mean, I think
16 there have been several examples given of
17 different influences on what shapes whether
18 exchange happens and what shapes whether
19 information is available to the user of that
20 information. And so I do think they are
21 distinct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I mean, I think we wind up with
2 different measures of them, whether we've put
3 them in a same category or not. I'm not sure it
4 matters, because I think at the end of the day
5 there will be different measures that are needed
6 to -- because of that distinction between whether
7 it arrives at the door, and whether once it
8 arrives at the door it's being used.

9 I think, Alan, to your example, I
10 mean, how vendors are treating that information
11 differently -- I mean, we need to measure that,
12 right? That's an important dimension of
13 interoperability. So I think to me what you said
14 actually reinforces that distinction.

15 DR. PATEL: I mean, one thing I'll say
16 is that in studies that we've done with the
17 national survey data where we do measure the
18 sending, receiving, finding, integrating piece
19 separate from the availability piece is that
20 there is a strong association between those
21 measures of exchange, so the sending, receiving,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 finding, integrating and availability, but there
2 is a gap between the two. And so -- and there --
3 then there's a further gap when we look at the
4 usage piece.

5 And so -- and we've looked at some of
6 the reasons that providers have reported as
7 barriers to the use piece, and it relates to
8 clinical -- a lot of it relates to clinical work
9 flow, not having the information available at the
10 right place at the right time kind of thing.
11 Like within the EHR is part of clinical work flow.
12 So in my mind those are probably important.

13 There are various reasons why there
14 might be the difference between the exchange and
15 the availability piece, but there is -- there
16 does seem to be value in measuring those as
17 distinctly and --

18 CO-CHAIR SAVAGE: And just to check,
19 isn't that where the issue of sub-domains could
20 help tease that out, that you have good
21 availability in one situation, bad in another.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 You want to know that it's good in one area and
2 bad in another. That's why you want to measure
3 1 separately and differently, because exchange
4 may be working well in one sub-domain but not in
5 another.

6 Mark?

7 MEMBER FRISSE: I'm a big lump and
8 not a splitter. And I see that we have some
9 breakouts along the way. And I would just say
10 if you pick four buckets and in our breakouts we
11 throw things in there and see what fits and what
12 doesn't, we'll find pretty far -- because at
13 this -- the level of the ONC thing does give you
14 some wiggle room about where you put certain
15 things so that utility and usability can all fit.
16 But how that will come in the breakouts -- but I
17 think it's important to do a few buckets and look.

18 And I would also remind people of the
19 literature review result studies where you looked
20 across four major areas, which weren't really --
21 aren't overlapping, but really more drilled down

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 into point 3 and 4 of ONC, too. Interoperability
2 behind the healthcare continuum,
3 interoperability to enable processes, system-
4 generated data, existing measures, sensitive
5 outcomes. I mean, they were four other valuable
6 points.

7 So we've got a bunch of lists sitting
8 around here, maybe three or four sets of lists of
9 three or four things. And I think that's maybe
10 leading to some confusion, but I think if we just
11 arrive at a bucket and you have this one, great.
12 Can we talk about that in smaller groups? I
13 don't know if that's the agenda or not, but that's
14 where this stuff can get worked out and then we
15 can come back and validate the framework more
16 efficiently.

17 MR. GOLDWATER: Just to very briefly
18 interject, so that is the point is to take the
19 larger domains and break them out and see what
20 fits inside. And so perhaps the exercise is if
21 after that there's still something that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 missing, then we think about maybe adding a
2 domain or two.

3 MEMBER BUCKNER: So I would just add
4 that I think all of us need to wrestle with this
5 question of is some exchange better than zero
6 exchange and should that be measured or not? So
7 even if it's sent as a PDF and viewed in the PDF,
8 is that greater than zero? Well, yes. Right.
9 And my opinion is yes.

10 And so, I think that's -- it's not
11 just about the source or the destination, right?
12 But discrete is always better, but I think we
13 need to account for the low-hanging fruit. And
14 so maybe that's our easy one back to the beginning
15 of this conversation.

16 MEMBER SWENSON: So I agree
17 definitely with Mark's point. I mean, some of
18 this will all be fleshed out as we look at the
19 sub-domains within each of these domains. I
20 think availability though is still one that seems
21 it's a good one when we're looking at provider-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 reported perspective. Do I view the information
2 as available?

3 But if we're now trying to look at
4 measures that can be systematically measured by
5 the system and reported on, if the exchange is
6 happening and we're looking at the numbers there,
7 how one vendor versus another makes the
8 information available would be measured in how
9 well the provider uses it. Because if the
10 provider is using it, then it must have been
11 available to use it. And the provider doesn't
12 need to know this was available information from
13 interoperability. We're just measuring: are
14 they using the information that is available
15 regardless of where it came from?

16 MEMBER SIGSBEE: One aspect we -- that
17 I can't see getting captured in these domains is
18 that of the patient perspective and their access
19 to the information as well as being able to
20 provide information to the healthcare delivery
21 system. And I can't see even in a sub-domain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that that would fit in there. And I don't know
2 how you -- maybe you could define it as the
3 patient has access and can provide information
4 critical to their shared decision making in
5 healthcare, or something equivalent to that.

6 Maybe people could wordsmith it better than
7 I, but I just don't see that being captured in
8 the domains that we are here. And through the
9 discussions -- and Frank and others have pointed
10 out how critical that is going forward -- if you
11 look at those three domains we have, they're very
12 much dependent on the current EHR environment,
13 which I do think is going to change as we move
14 forward.

15 MEMBER BUITENDIJK: And so as you
16 explained the three that are currently on the
17 screen and the combination with the discussion
18 this morning, initially I thought that exchange
19 and availability here were more -- meant the
20 same, but the more I'm hearing it, it's more
21 sounds like the availability and use are the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and there are some other things there.

2 But, so I'm curious what -- just as an
3 offer of a slightly different way of getting to
4 the three: availability, usability and impact, is
5 that the availability can have sub-domains of
6 syntax, of semantics, of a variety of different
7 aspects of how available is this? Do I have
8 connections? Is it readable? Things like that.
9 How do I get to it? Useable is on the other side
10 saying is that -- now I got it, is it something
11 that I can work with? And the third, which is
12 ultimately what we are trying to figure out, is
13 there an impact?

14 So to me they seem to be still
15 capturing all the aspects that we have, but allow
16 for a little bit easier pushing off of sub-
17 domains and measures in there, and then agree
18 that if we find other, then create other. So
19 that's just a consideration.

20 MEMBER WALDREN: Yes, so I was kind
21 of along the same lines. So I thought the four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 categories for me is -- one is to make available
2 or capture. I hate available, because I mean
3 when my patients would tell me, oh, yes, it's in
4 the chart, when I have this big huge paper chart
5 and say it's available, my concern would be that
6 the EHRs would say, oh, yes, that was available.
7 It was in there. It was buried. So I hate the
8 word "available," but I think that one capture
9 piece.

10 The next is exchange, so actually
11 being able to move from point A to point B.

12 The third being useable. The data,
13 once it's received can actually be used.

14 And then the fourth I had support,
15 care, delivery, but I think I would change it to
16 impact, going to Hans' piece. You have is it
17 captured appropriately to make it interoperable,
18 so it's available, is it exchanged appropriately,
19 is it useable once it's been exchanged, and does
20 it actually impact the care that we want it to be
21 able to impact?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And then the sub-domains you could
2 have like syntax, semantics, work flow. You
3 could have patient or particular provider types
4 in those sub-domains.

5 MR. GOLDWATER: Okay. With that in
6 mind, why don't we take 15 minutes, and then we'll
7 decide how we're going to break out into groups.

8 (Whereupon, the above-entitled matter
9 went off the record at 10:49 a.m. and resumed at
10 11:08 a.m.)

11 MR. GOLDWATER: All right. So, I've
12 been told by my illustrious staff -- and I know
13 many of you, some of you have been on these
14 committees before. So traditionally at the end
15 of the first night there is a dinner for everyone,
16 so we have arranged a reservation at Siroc, which
17 is at -- it's five minutes from here. It's just
18 down -- two blocks away, right? So it's
19 terrific. Highly recommend it. And no, we're
20 not paying for it, Julia, so --

21 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PARTICIPANT: Yes, what time is it?

2 MR. GOLDWATER: 6:30. I'm just
3 kidding.

4 PARTICIPANT: We are paying for it.
5 He's giving you misinformation.

6 MR. GOLDWATER: Yes, okay. Because
7 I've known her long enough, I can get away with
8 that. So 6:30. All right.

9 Okay. So I'm going to turn it over
10 to Poonam to just sort of explain to you sort of
11 the exercise we're going to do in the breakout
12 groups and then Mark will provide some context.

13 MS. BAL: So, we're just pulling up
14 the slides, but we did rephrase the core domains
15 a little bit just based on the discussion.

16 Okay. So just rephrased it a little
17 bit. Hopefully this provides a little clarity.
18 And as -- based on what we talked about earlier,
19 there are different layers. And so while these
20 overlap, we want to see all the different layers
21 represented. And again, we're going to do the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exercise of sub-domains. And after that point
2 if it becomes clear that there is a domain that's
3 missing or a domain that should be combined or so
4 on, we will have that discussion after the sub-
5 domain discussion.

6 And so first the exchange of
7 electronic health record information. That's
8 really just that information has gone from one
9 point to another. Availability, that is
10 available for -- someone can access it and see
11 it.

12 Use and usability. So we did add the
13 word "usability" to that definition based on
14 the -- there, so that's really saying that
15 someone can use it. And that can be the patient.
16 It can be the physician or some -- another care
17 giver.

18 And then the last one is impact of
19 that information on processes and outcomes. So
20 maybe it's useable for decision making, but did
21 it really make the impact and improve care, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is really the end goal of all of our work.

2 And so those are the domains that
3 we're focusing on, and hopefully that provides a
4 little bit more clarity about the differences,
5 but again after we go through the exercise, if
6 there still comes -- there's still confusion, we
7 can definitely change those.

8 CO-CHAIR SAVAGE: So I just wanted to
9 add, as we're going to look at sub-domains, I'm
10 wondering if that's where actually a lot of the
11 conversation this morning is going to end up.
12 Somebody made the great point about multiple
13 lists, so there are lists of different kinds of
14 stakeholders that might actually be their own
15 separate sub-domain, depending upon the
16 conversation within the breakout group.

17 So patients might be a sub-domain
18 under availability. Clinicians might be a sub-
19 domain under availability. Similarly, under
20 exchange and usage. So stakeholder or something
21 like that, people using -- users may be one kind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of a list as a way of thinking about what -- how
2 to identify sub-domains.

3 Another might be use cases. Care
4 coordination. We've had a conversation about
5 that.

6 Somebody mentioned research. That
7 may be a different sub-domain. We have precision
8 medicine, learning health system. Depends on
9 what the group thinks. But the use cases may be
10 another way of categorizing sub-domains.

11 Data sectors in the -- I mentioned
12 already the work that I'm doing with the 10
13 communities across the nation. The categorize
14 information by which data sectors are trying to
15 exchange information. So that's where things
16 like housing, criminal justice, homelessness,
17 management information systems, data sectors may
18 be a way of thinking about sub-domains. Data
19 types may be a way of thinking about sub-domains.
20 Not meant to be exclusive here, but to think
21 broadly about categories, and that may help us as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we identify which sub-domains are really
2 important.

3 I think Jason was telling me that we
4 can come up with whatever want, but ideally not
5 more than 10. So we will -- as we brainstorm we
6 will perhaps come up with more than 10, but then
7 the winnowing process will help us identify which
8 ones are the most important.

9 Rainu, did you have anything you
10 wanted to add?

11 CO-CHAIR KAUSHAL: Nope, that sounds
12 great.

13 MS. BAL: All right. So thank you
14 for that clarification, Mark.

15 And then just to explain what we'll be
16 doing now, and I'm just going to read a couple of
17 these things just to make sure. We're really
18 trying to be consistent with the main domain.
19 Think about when you think of that topic area
20 what's underneath there. And then also sub-
21 domains where we can actually come up with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure concepts. We don't want to come up with
2 a sub-domain where there's really no way even if
3 we think all future there's no ways to measure
4 that aspect.

5 And then once we -- and we'll go
6 through the setup, but we do want to actually
7 narrow it down. While no more than 10, 10 is
8 still a lot. So trying to really think what are
9 the most important sub-domains? Where do we
10 really need to focus, and so on.

11 Next slide, please. Oh, actually
12 that's -- never mind.

13 So the setup is going to be we'll have
14 four groups, and they're here. Your name will
15 be found next to exchange, availability, usage or
16 impact. Each team member is assigned to be with
17 you, but we're really there just to answer any
18 questions that you have and help you with note
19 taking. It's really -- we want it to be
20 committee-driven. And so we ask that you -- once
21 you get together, pick one person to be your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 representative. That person, when we come back
2 together as a group, will basically present on
3 behalf of the group and say what sub-domains they
4 came up with.

5 The first 45 minutes of -- you'll have
6 a full hour to meet as a group. The first 45
7 minutes, we really want you to focus on what --
8 just kind of brainstorming, getting the ideas out
9 there, talking through it, but then using the
10 last 15 minutes to come up with those exact sub-
11 domains you want to present. So kind of
12 narrowing it down, really using that time to --
13 here's the big list that we came up with. Now
14 what is really our focus? What do we want to
15 actually be the sub-domains?

16 And then we'll be meeting in different
17 rooms, so we'll have group -- the exchange group
18 will be in that corner. The availability group
19 will actually be in a separate room with Jason.
20 Usability -- Jason gets the fun group. Usability
21 will be in that corner, and the impact group will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be meeting in the back.

2 And so once we part for that, please
3 go to the different section that you will be for
4 your group. Again, exchange. Availability is
5 going to follow Jason. Usage will be in that
6 corner and then impact will be in the back.

7 (Off microphone comment.)

8 MS. BAL: Yes, and we'll have this up.

9 And then could you just put up the
10 slide with the examples? It was earlier in the
11 presentation.

12 And I just wanted to show the examples
13 one more time to get an idea. I think Mark gave
14 a couple examples, but just to go back to that
15 example slide of what do mean by a sub-domain?
16 So you'll see here under access it was access for
17 patients, and then access for care team, and so
18 on. So it can be those different options.

19 And so with that we are ready for the
20 breakout groups unless there are any questions
21 about the ask.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 MEMBER SETTERGREN: Could you go back
2 to the domains list that we're using today? And
3 the only reason, I just want to make sure that
4 I'm clear. For me, use and usability is more
5 than decision making; it's being able to drop a
6 problem onto your own problem list, a med onto
7 your own med list, and re-fill it from there. So
8 I'm just wondering if decision making makes it a
9 little bit too narrow. That's my question.

10 CO-CHAIR KAUSHAL: I think that's a
11 good point. So why don't we do exchange of
12 electronic health information, availability of
13 electronic health information, use and usability
14 of electronic health information, and impact. I
15 like that. It's cleaner.

16 MS. BAL: Okay. Were there any other
17 questions?

18 (No audible response.)

19 MS. BAL: Okay. Thank you. And then
20 please go to your separate sections. And if you
21 have a question about where you need to go, let

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 us know. We'll be pulling up the slide with the
2 different locations.

3 (Whereupon, the above-entitled matter
4 went off the record at 11:17 a.m. and resumed at
5 1:04 p.m.)

6 MR. GOLDWATER: So thank you all very
7 much for the breakout groups and participating.
8 I know we had a very active discussion in our
9 private room off the middle of nowhere, which I
10 couldn't find. But it was a very active and
11 productive discussion. I heard that most of the
12 other ones followed suit.

13 So what we're going to do now is let
14 Rainu and Mark sort of lead the way and talk about
15 the different sub-domains you all came up with
16 under each of the domain topic categories, and
17 then we'll probably proceed to try to winnow them
18 down perhaps.

19 CO-CHAIR SAVAGE: So what you want
20 from us now is to just sort of report out from
21 the different groups? Excellent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Who was reporting out for the exchange
2 breakout group? Excellent. You want to take us
3 through what you want to report out?

4 MEMBER WALDREN: Sure. So we came up
5 with four sub-domains: who, what, how, and when.
6 And then we spent 45 minutes -- no. All right.

7 But those are our sub-domains. And
8 underneath the who, for example, we talked about
9 who are the parties of exchange. So what are the
10 different categories of exchange, and are you
11 exchanging with whom you should be exchanging is
12 a part of that. What are the capabilities of
13 those individuals to be able to do exchange?

14 Underneath the what, we talked about
15 what is the quantity of information being
16 exchanged, both from the standpoint of who is
17 sending it, how much ends up being received. We
18 also talked about what's the quality of that
19 exchange, so what level of syntax and what level
20 of semantics does that exchange content have?

21 And how is it fitting a particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 need? So we talked about the examples that were
2 discussed earlier in the day around referrals and
3 transitions of care, access to ADT type of fees.

4 And then we spent a lot of time on the
5 how, and we talked about a lot of different stuff.
6 We talked about the security, of how secure the
7 exchange was. Was it utilizing standards?
8 What's the mode or initiation of exchange? Is
9 it push, pull, or some combination? We talked a
10 little bit about kind of end users, as well, so
11 was training available and was it completed? Was
12 the configuration, once something has been
13 installed, actually completed? What's the level
14 of automation inside of the exchange, and what
15 effort is needed for the front line? And,
16 finally, kind of what are some incentives, and
17 are they in place to actually facilitate
18 exchange?

19 And the last one was when. We just
20 kind of talked about things being timely, but we
21 didn't get into a lot of detail of what that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meant. I thought that's what we'll do when we
2 get into our measure concepts.

3 So happy to answer any questions, or,
4 if any of my other colleagues have something that
5 I missed or misconstrued, please jump in.

6 CO-CHAIR SAVAGE: So just to check in,
7 in terms of sub-domain names, that would be who,
8 what, when, and how?

9 MEMBER WALDREN: Yes.

10 CO-CHAIR SAVAGE: Excellent.

11 CO-CHAIR KAUSHAL: We thought that
12 was particularly innovative.

13 MEMBER WALDREN: And if you want to
14 write a story about it, you know.

15 CO-CHAIR SAVAGE: It tells itself,
16 right? Thank you so much.

17 So I'll go next. Yes? What happened
18 to the why not?

19 So I was ordered to report out for the
20 availability, the availability domain. We
21 looked at, we looked at different kinds of ways

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 of sort of categorizing sub-domains, and I'll
2 sort of share them with you the way that we talked
3 about them.

4 So I think most of our time was spent
5 around maybe role or user and whether it was
6 available to a particular role or to a particular
7 user or stakeholder. Patients, family,
8 caregivers being one of them. Clinical
9 providers, we settled on that as a broad category
10 but including hospitals, specialty, even
11 certification bodies. Third, payers and
12 purchasers, saw that as including pharmacy/PBM
13 data. Fourth, public health. Fifth, research,
14 including things like PMI, industry-specific
15 research, like pharma. Sixth, certification
16 bodies. Then, seventh, non-clinical settings or
17 non-clinical providers, again as a role or as a
18 user, also mentioned for professional
19 associations and for government.

20 Then we talked about external data
21 sources. So all of these are sub-domains but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just different ways of organizing them. And we
2 talked about social determinants of health. We
3 talked about patient-generated health data, and
4 we talked about personal health records, PHRs.

5 And here I'll flag a question that
6 came to mind for us. We didn't resolve it, but
7 we wondered if there was, in thinking about, say,
8 PHRs and the way we were talking about it, the
9 way that patient-generated health data was used
10 and integrated, if there weren't something
11 especially important about mobile access, not
12 just any availability, not just any access, but
13 mobile availability.

14 So I mentioned that because it was a
15 question in our minds, but we didn't lift up
16 mobile as a separate sub-domain, but I just
17 wanted to share that.

18 And we did have a discussion also
19 about data types. This did not emerge as any
20 special sub-domains, but, picking up on the
21 comment that any availability is better than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 none, and so a PDF can be better than nothing, we
2 talked about PDFs, structured text, that kind of
3 availability. Very good conversation.

4 Is this the time to share the ones
5 that got the most attention? Okay, okay. So we
6 did go around the table and just poll people on
7 what they thought was most important, and I'll
8 save that.

9 CO-CHAIR KAUSHAL: Questions or
10 comments for Mark? Anybody else who was in the
11 group that would like to add to it? Please, go
12 ahead.

13 MEMBER OPELKA: So I'm looking at
14 what's on this list. Is this your list that's
15 up here on the screen?

16 CO-CHAIR SAVAGE: That's a part of the
17 list that we developed.

18 MEMBER OPELKA: And the only question
19 I had was government.

20 CO-CHAIR SAVAGE: Yes. Professional
21 associations and government did make it on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 list.

2 MEMBER OPELKA: Okay, thanks.

3 CO-CHAIR SAVAGE: Yes.

4 MEMBER O'MALLEY: Mark, you had also
5 mentioned non-clinical providers. Did you drop
6 it?

7 CO-CHAIR SAVAGE: It appears to have
8 been dropped from what's on the slide but, yes,
9 non-clinical. When we talk about alternatively,
10 sometimes it's non-clinical settings, sometimes
11 it's non-clinical providers. So the way we wrote
12 it out was non-clinical providers/settings.

13 CO-CHAIR KAUSHAL: Any other comments
14 or questions for Mark? Okay. On to our use and
15 usability group.

16 MEMBER ADLER-MILSTEIN: That's me.
17 So our group broke usability and use up
18 separately and then actually are going to propose
19 renaming use in a moment. And our high-level
20 conceptual model is that usability comes before
21 use, so the information needs to be usable and if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it is usable it is going to lead to increased
2 use.

3 So we started with usability and I
4 think quickly decided that usability is really
5 about the quality of the information, as well as
6 how it is formatted and presented. And so these
7 are dimensions of information quality. So is the
8 information accurate? Is it timely? Is it
9 complete? Is it relevant and novel? Is it
10 coherent, which means sort of if you pull it all
11 together does it make sense as a whole,
12 individual pieces of data? And is the
13 information valid? And then the sort of format
14 and presentation piece. Is it presented to me
15 in a way that is not cognitively burdensome to
16 process? And we recognized that, again, these
17 will vary by setting, by user, by use case, but
18 these characteristics will generally describe
19 whether information is usable.

20 And then when we moved on -- is there
21 a next slide with the use on it? Okay. So we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 moved on then to use and really said that use is
2 a process. Use is taking that information and
3 doing something with it, and we broke this up
4 into sort of two ways that are sort of
5 applications of information. One is human where
6 a human is looking at information and deciding to
7 do something with it, and the other is that it's
8 computable, that an algorithm or something else
9 is looking at that information and deciding to do
10 something with it.

11 And we then started to come up with
12 some perhaps examples or proxy measures of, you
13 know, what would human use look like? Well, that
14 would be was the data viewed, or perhaps does it
15 lead to an action, though I think in some cases
16 we said you could look at information and the
17 right answer would be not to take an action, so
18 it doesn't necessarily mean an action but, in
19 some ways, influences a decision. And is
20 information used in a computable way? You could
21 say did it feed into some kind of clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 decision support or other algorithm? Was it used
2 for a quality measure? So, again, these are
3 measures of how information could be used in a
4 computable way.

5 We then, I think, got concerned about
6 whether usability and use were going to be
7 confusing just as terms because they sound so
8 similar. And so we did talk about some
9 alternatives to use that might be better, and we
10 actually thought that application might be a
11 better terminology for this bucket. So we have
12 usability and then application, which conveys a
13 sort of active use of data for some kind of
14 purpose.

15 So that was our framework and, again,
16 recognizing that this, too, will vary by use
17 case, user, context, etcetera. But these were
18 sort of the right high-level concepts.

19 CO-CHAIR KAUSHAL: Anyone from Group
20 3 that wanted to contribute? Questions for Julia
21 or the group? Okay. On to the impact group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER RUDIN: To come up with our
2 list of impacts, types of impact, we pretty
3 quickly realized that we needed to answer the
4 question of impact on whom, so we started coming
5 up with a list of the key stakeholders who would
6 be impacted by interoperability. And here's some
7 subset of the ones we came up with.

8 In addition, and this isn't on the
9 screen, but we also, as I think was mentioned a
10 couple of times previously, the impacts are going
11 to vary by the use case, so the what of
12 interoperability. And we came up with a few use
13 cases to help us think through, which we might
14 talk about later.

15 Now, for our domains, for the next
16 slide, I think we got the award for the most
17 number of domains here. They might be able to
18 be consolidated, and some of them are huge
19 categories. So we didn't try to break up health
20 outcomes into subcategories, even though,
21 clearly, it could be reduction and duplication of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 imaging in labs, cost savings. There's a
2 potential to reduce hospital admissions or reduce
3 visit volumes from improved clinical decision-
4 making, increase the appropriateness of patient
5 follow-up decisions. There's a bunch of safety-
6 related categories. There's the potential to
7 help reduce omissions because of omissions in
8 clinical decision-making. Better adherence to
9 guidelines. There's -- medication management is
10 a big category. Potential to improve drug-
11 seeking regulation, impacts on efficiency.

12 And then we have a few which are
13 either potential unintended consequences or
14 negative impacts of interoperability, or we
15 weren't sure. I think some of these other ones
16 are also potential unintended consequences. So
17 there's potential to propagate misinformation if
18 the data is inaccurate. There's a potential to
19 improve data quality for research analysis.
20 Also, it might go the other way. And then it has
21 the potential to change referral patterns in some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interesting ways, which we weren't necessarily
2 sure if that was a good thing or a bad thing.

3 MEMBER O'MALLEY: We did have one
4 question that came up, and that was sort of what
5 is interoperability? Because it depends on who
6 you're talking to. So let's throw that one out
7 to the group.

8 CO-CHAIR KAUSHAL: I'm going to turn
9 that one back to you. What do you think it is?

10 MEMBER O'MALLEY: We passed it on
11 first so --

12 MEMBER HIRSCHORN: I'd just point out
13 that, by default, we're thinking of
14 interoperability as information that I don't have
15 within my walls that I need to get from outside,
16 be it from like the one thing that came up about
17 from police records letting you know that someone
18 died, you know, or some other, you know, some
19 other healthcare setting perhaps that, you're
20 right, it doesn't come to my walls in my
21 institution to have that information available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But then there's also the internal
2 stuff, you know, where I have, you know, I have
3 the data, it's in my systems. My systems just
4 don't talk to each other, you know, and that's
5 also a level of interoperability. It's not all
6 just about things that I don't have because
7 they're outside. They're inside, but they just
8 don't talk. And if I understand correctly, that
9 is also an aspect of interoperability.

10 CO-CHAIR SAVAGE: So just to add on
11 to the question about what is interoperability,
12 there is an interoperability roadmap definition
13 which is the ability of a system to exchange
14 electronic health information with and use
15 electronic health information from other systems
16 without special effort on the part of the user.
17 I'm not sure if that raises more questions than
18 it answers, but that's, that is a definition that
19 has been out in the public space for a couple of
20 years now.

21 CO-CHAIR KAUSHAL: David, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 appreciated the nuance of what you just said
2 because I think that the morning started off and
3 even our subgroup started off a little bit
4 thinking about interoperability as defined as
5 external to an organization. And I think the
6 point you're raising is a good one -- that, even
7 within an organization, there can be such
8 fragmentation of electronic systems that the
9 information is contained but not usable.

10 MEMBER HIRSCHORN: Yes. A real-world
11 example we have where I watch this go on every
12 day, and I just shake my head, and I know it will
13 get better eventually, is where I have a
14 scheduling system, it's an enterprise scheduling
15 system that says we do scheduling so wonderfully,
16 this is great, so what happens when the patient
17 comes in? They said, well, then you have to
18 enter all the information all over again with
19 your other systems to actually get the exam done.
20 And I said you're kidding me? Why not? Why
21 should I have to enter all the information about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 who's the patient, what's the exam, and all this
2 stuff all over again, and they said because
3 that's a different system. And I just shake my
4 head, and I'm like this is insane, this is
5 madness, you know. And they're looking at me
6 like, no, this is normal, you know. I said this
7 is not normal, you know. And one of the
8 challenges they have is that the scheduling
9 system is cloud-based, and they said so you can't
10 query our database because it's not in your data
11 center, you know.

12 And so I said, well, can you get the
13 data, and they said why would you want that? I
14 said so I know how to protocol an exam about a
15 patient that is coming in a week from now and not
16 only find out the day of, you know, and to find
17 out at the last minute that they can't get this
18 exam because it's contraindicated because they
19 have metal in their body or they have some other
20 contraindications. This is absolute madness.

21 And these are systems that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enterprise systems, quote/unquote, within our
2 walls. But they're not really within our walls,
3 and they don't give us a web API address, and
4 they're worried about security and all this other
5 stuff. And even if they were within our walls,
6 they said still these systems don't need to talk
7 to each other and we have to, like, get it through
8 their heads that, yes, we do.

9 So, yes, this is a real-world problem
10 we're dealing with right now about trying to get
11 our systems within our walls to talk to each
12 other.

13 CO-CHAIR SAVAGE: Terry?

14 MEMBER O'MALLEY: Just a longer-range
15 issue. Assuming that we want interoperability
16 to increase and multiply, what are we going to be
17 doing that helps either provide guidance or
18 incentives for those who do not exchange
19 electronic information now but, yet, have
20 valuable clinical information for the rest of the
21 system? So it's really what sort of standards

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are out there to help guide non-electronic users
2 to become electronic users? And is that part of
3 our, is that way out of scope?

4 MR. GOLDWATER: Sorry. I think that
5 sort of ventures a little out of scope. Just a
6 little.

7 MEMBER FRISSE: Only because it's
8 difficult to solve.

9 CO-CHAIR KAUSHAL: So let me pose some
10 questions for us to reflect on. So each of our
11 four groups reflected on sub-domains and we'll
12 soon be moving towards understanding measure
13 concepts when we know sub-domains. So this feels
14 to me like our opportunity to comment on the
15 entirety of these sub-domains. Does this list
16 of sub-domains across the four domains, are we
17 touching on the important things? Is there a lot
18 of cross-cutting issues that we could start
19 thinking about how they might relate to each
20 other before we break down into our sub-groups?
21 Are there important areas that, now that we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 looking at our four domains and the sub-domains,
2 feel like they're missing, so are there
3 perspectives that, you know, the impact group
4 might have for the exchange group that you think
5 we didn't consider when you start to think about
6 your sub-domains and how you want -- what kind of
7 data you might want to have available to measure
8 them and so on? So, Vaishali?

9 DR. PATEL: So one thing that I
10 noticed across the different groups was the list
11 of stakeholders, so the who. And I think it's,
12 I mean, the list that people came up with was
13 pretty consistent across the different domains.
14 And so I guess this is a question to put out there
15 is is that something that it's more about who
16 we're measuring it across, you know? So it's
17 like a cross-cutting type of thing, as opposed to
18 a domain onto itself. Like within each bucket,
19 it's like the same list of stakeholders, you
20 know, patients or individuals, providers,
21 different, you know, researchers, payers. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 seems like these concepts should be applicable
2 across all of these, and there may be different
3 ways to measure, I guess, across all of the
4 different groups, but it seems like the groups
5 were roughly consistent across the different, you
6 know, the larger domains that we talked about.
7 So that's just a --

8 CO-CHAIR KAUSHAL: You're saying that
9 the groups of users.

10 DR. PATEL: Well, if you want to call
11 them users or stakeholders. Yes. I mean, like
12 researchers, public health. I mean, you know,
13 that list, you know, was consistent across each
14 one of the domains. I think that was -- like,
15 you know, the exchange, availability. It came
16 up under, you know, the usability and use group,
17 as well as the impact group. So I'm just
18 wondering whether that's more of a we want to
19 measure this construct across these groups
20 because it's relevant to all these groups, as
21 opposed to the who is a construct onto itself.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 I don't know if that makes sense, but maybe that's
2 more of an NQF. I mean, I don't know, in terms
3 of your experiences with creating these
4 measurement frameworks, whether we specify, okay,
5 this measurement framework is applicable to these
6 groups or these settings, these groups of
7 individuals or settings, and then this is who you
8 would want to measure it across, as opposed to
9 having it who be the construct that we are
10 measuring within each domain.

11 CO-CHAIR KAUSHAL: So does anyone
12 want to comment on Vaishali's point? Mark, go
13 ahead.

14 MEMBER FRISSE: Speaking as an
15 individual, but I think there's a consensus on
16 this, you get to the same place. You get to a
17 sparse matrix kind of users and functional roles
18 or value. The important thing, I think, that was
19 being said was that the applicability of
20 information is very broad: clinical,
21 administrative, consumers, a million different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 places, each with different importance.

2 Second, so that depends on the context
3 and use then for the value. And out of scope was
4 raised during the meeting and also what are the
5 role and responsibilities of the individuals to
6 contribute the information in the first place,
7 but that was all out of scope. But we went both
8 back and forth on which way to go because it's
9 kind of a tree, right? But it gets you to the
10 same place, so I think it's just important to
11 recognize some broader extent of users, broader
12 extent of context, and that the value of certain
13 standards of certain people will be different.
14 That's all.

15 CO-CHAIR SAVAGE: Terry?

16 MEMBER KETCHERSID: I just wanted to
17 get back to something that Julia's group brought
18 up, which almost sounded like splitting the sub-
19 domain into usability and applicability which I
20 thought was intriguing because now so you have,
21 you know, you sort of have the exchange, the data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is moving. You have the availability, it's there
2 or it's not there. You have the usability.
3 Somebody is acting on it, and then maybe it had
4 an impact. And it's almost as though, by adding
5 that, we're basically stating that we're not
6 entirely sure it is going to have an impact if
7 you act upon it. So I think if, consciously,
8 we're collectively good with that, maybe that
9 should be a completely separate sub-domain.

10 MEMBER ADLER-MILSTEIN: Can I briefly
11 respond? So I think this has been an issue that
12 maybe has been relevant to me throughout the day,
13 which is, like, if all we think matters is the
14 impact and everything else sort of goes in
15 lockstep upstream from it, then why are we just
16 only measuring impact and we sort of assume that
17 the rest will fall in place? And my sense is
18 that we're not comfortable with that because we
19 do think that you, that there's sort of not a
20 direct A-to-B causal relationship. And so that
21 is why we have to measure each of those along the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 way because we're just not at the point yet where
2 we know for sure that, if you see the impact,
3 that's because we had exchange, availability,
4 usability, applicability.

5 But I struggle with that, as well,
6 because a part of me feels like this should only
7 be about the impact because the rest has to come
8 upstream.

9 CO-CHAIR KAUSHAL: I'm actually going
10 to go back to Vaishali's comment for a second
11 because I think that the list that your group
12 came up with, which is the availability group,
13 was the same list that our group, the exchange
14 group came up with when we were thinking through
15 the sections of who. And I do think it's true
16 for exchange and for availability that those, the
17 list of people are the same, or we should have
18 one list of --

19 DR. PATEL: And we came up with the
20 same list for use. We just didn't list it as,
21 you know, a list of who. We talked about it and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we came up with the list, but we didn't, you know,
2 which was the exact same as the other two groups.

3 CO-CHAIR KAUSHAL: So there's a
4 couple of things that that is sparking in my mind.
5 One is do we have a list and have agreement across
6 those three groups on what that list is, and that
7 seems to make a lot of sense. But the other
8 question I would have for groups one and two is
9 are exchange and availability sufficiently
10 different to have two separate domains? I feel
11 like I sparked something down there at the end of
12 the table. I'm not sure what. But in our group,
13 talking about exchange, of course availability is
14 such a central concept to exchange that I don't
15 think we can really tease that apart. And I
16 don't know if for you with availability, for the
17 availability group, I don't know if exchange was
18 so central that it's hard to tease those two
19 domains apart and whether it's meaningful to
20 tease them apart.

21 CO-CHAIR SAVAGE: I don't think we had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 much discussion difficulty teasing them apart,
2 except for a conversation about, we've already
3 heard about, what happens when there is, you know
4 there's data, but it's just not available to use.
5 So was that an exchange issue? There was an
6 example of dialysis information, but it had been
7 built separately with different standards, so it
8 doesn't fit into certified or into other sources.
9 Is that an availability problem? Is that an
10 exchange problem?

11 So we did have, we did have some
12 conversation about that. But, generally, I think
13 we did okay with just talking about it as
14 availability.

15 CO-CHAIR KAUSHAL: For people in the
16 exchange group, do you think that we were
17 distinct enough from availability, or do you
18 think that there was a lot of overlap?

19 MEMBER WALDREN: This is Steve. I
20 think they're distinct. I think about them
21 again, going back to the layer piece, that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have to have exchange first because if the data
2 is not moving then it can't be available at all.
3 And then once you have availability, then it
4 actually could be used. And if it can be used,
5 then you can actually apply it appropriately.
6 And if you can apply it appropriately, then you
7 have a chance to do the impact.

8 So as I was thinking about these, you
9 almost think that, like in the exchange, once
10 they get to some threshold and we just
11 arbitrarily said 90 percent because the meaning
12 for use seemed to be 90 percent. But give us
13 some threshold. It's like, okay, we don't even
14 measure that anymore. What we want to do is we
15 want to go up the layer of the cake. So now we
16 want to say, well, okay, well, now we know that
17 exchange is happening. Is it actually available?
18 Well, yes, it's available. Okay. Well, if it's
19 available, then is it actually being used and if
20 so, moving up?

21 But one thing I hadn't thought about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and I think it's really important when Julia
2 mentioned that that assumption is that those are
3 all causal, that if you have that then you'll be
4 able to move up to impact. And I don't think
5 that's completely correct, and I think that was
6 a good point.

7 CO-CHAIR SAVAGE: So, Bob, you had
8 your sign up. Are you taking it down, or do you
9 have something you want to share?

10 CO-CHAIR KAUSHAL: Yes, this topic is
11 done.

12 MEMBER RUDIN: On the topic of cross-
13 cutting themes, the stakeholders I agree is
14 clearly a cross-cutting one. One thing that we
15 started to do was have a big grid with
16 stakeholders at the top and use cases on the
17 bottom. And for our impact, we started to go
18 through for a specific use case and each
19 stakeholder what is the anticipated logical
20 impact, which is kind of what, you know, starts
21 to build toward a logic model based on specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 use cases. And we identified three use cases,
2 and we got through one of them and then we ran
3 out of time, but I think that grid might be useful
4 for all of these to go through as an exercise
5 because the stakeholder and the use case is going
6 to affect every single one of these.

7 And also we haven't talked too much
8 about contextual factors, so, you know, for any
9 one of these we have, like, the impact is going
10 to be mediated by a whole bunch of contextual
11 factors, like the payment model and those type of
12 things. We might defer that for a little bit,
13 but I wanted to put that grid idea out there.

14 CO-CHAIR KAUSHAL: Steven, you had a
15 -- well, first, does anyone want to respond to
16 Bob's comment?

17 MEMBER BUITENDIJK: Just to add on a
18 little bit more to Bob in response to Vaishali's
19 comments, I think that, depending on the area,
20 the domain that we're looking at for stakeholders
21 have a slightly different meaning because in an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 impact area it was very helpful to start the
2 conversation by what does it mean if I have a
3 case for this particular stakeholder and impact,
4 what kind of impact, versus another stakeholder
5 has a different impact or there is no impact,
6 versus if I'm hearing the conversation around
7 exchange and availability, same list of
8 stakeholders perhaps, but I'm looking at it from
9 a different perspective. Can I get the data from
10 this stakeholder group or not?

11 And then I'm hearing a third one that
12 you raised in your comments is that do I want to
13 do all the measurements across the board at some
14 level of aggregation or not? Is it okay to just
15 do it within a particular stakeholder group or
16 not? What is the scope of interoperability?

17 So I think we have to be very cautious
18 that we are not ending up with one stakeholder
19 group that we look at exactly the same way across
20 the board. They all have a different purpose in
21 the context of the domain based on what I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hearing so far.

2 CO-CHAIR SAVAGE: Hans, you had your
3 card up before. Does that -- okay.

4 MR. GOLDWATER: All right. So I
5 guess before we get on to some additional
6 questions, I just wanted to chime in here about
7 past frameworks. And I know a couple of you have
8 probably sat in on meetings like this, and these
9 are always the issues that arise whenever we do
10 frameworks like this, that there's always cross-
11 cutting issues. You can develop domains or sub-
12 domains and they cut across a whole swath of
13 categories. It's extremely difficult, if not
14 altogether impossible, to come up with a sub-
15 domain that is just going to uniquely represent
16 one stakeholder group in one particular element.
17 That is virtually impossible to do.

18 So having done telehealth a couple of
19 weeks ago, you know, the biggest issue for every
20 one that was there, and, like you, they had years
21 and years and years of experience building,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 implementing, maintaining telehealth systems,
2 and access is always the dominant issue because
3 they believe that having telehealth environments
4 in rural or under-served areas or even in urban
5 environments increased access to care to people
6 that otherwise would not have those services.
7 And that entire framework could have been built
8 just simply around access, and every issue they
9 came up with related to access and all the
10 stakeholders that are affected by access. So it
11 would be patients, it would be providers, it
12 would be specialists, it would be payers, it
13 would be purchasers.

14

15 But the way it moved forward was what
16 is really important to measure in the framework?
17 As Mark said, it all comes back to the same thing:
18 every concept or every domain, sub-domain, and
19 concept was going to come back to the patient and
20 the provider. It was always going to get us back
21 to that place. But the group, the committee,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ultimately decided that, even with the issues
2 being cross-cutting, that access is one issue,
3 but it was also very important to measure
4 financial impact, it was very important to
5 measure experience, experience of the patient and
6 the caregiver, experience of the provider,
7 experience of the community. It was very
8 important to measure effectiveness, system
9 effectiveness, operational effectiveness. It
10 all could tie into access. You could make an
11 argument that they all relate to access. You
12 could also make an argument that they all relate
13 to a patient and, to some extent, a provider.
14 And while it all gets back there, the way they
15 broke that out and the way they came up with the
16 sub-domains and the concepts was, even taking
17 apart interoperability and just focusing on the
18 aspects of availability or exchange, what really
19 becomes important to measure, what really becomes
20 something that, in time, whether now or in the
21 future, would become a metric that would, A,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 align with what ONC's objectives are but also
2 would be something to measure in the future.

3 And so telehealth, when they set up that
4 framework, was what they really wanted to measure
5 and assess now and in the future, and that's sort
6 of the way to think about it.

7 CO-CHAIR SAVAGE: So I'll call on
8 myself next as the next tent up. On the comment
9 about whether it's all about impact, I just
10 wanted to share one thought that came to my mind,
11 which is in my work we often think about the range
12 of patients, the range of consumers. They're not
13 all patients. Some of them are well. So, in
14 some sense, just having access to your health
15 information can be important, but there's no
16 clinical impact.

17 So, anyway, I just wanted to share the
18 thought in my mind is that it's still good to
19 measure some of these other things, especially
20 now since we're building a system that isn't
21 fully functional yet, and not to just measure by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 impact or outcome.

2 Steve Waldren, you had your card up.
3 You done? Okay. John? I had John B., and I
4 don't remember. Okay. Alan?

5 MEMBER SWENSON: Sure. So in our
6 grid, I guess this kind of goes back to the topic
7 of the whole who and cross-cutting stuff. So in
8 our grid, we kind of did it based on the
9 assumption that the other things were there. So
10 if we just assume that interoperability has
11 happened, that the information has been applied,
12 etcetera, now what's the impact, and we have the
13 grid of what's the impact to the patient, the
14 impact to each of these going across all the who.

15 What we potentially would change on
16 that, I guess what I'm thinking now is how we
17 handle those who's going up the line. We were
18 looking at it from the case of if the provider
19 has this information and did all this stuff,
20 what's the impact? If the patient had all this
21 information, what's the impact. But what if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 start crossing the who's? And that's where I
2 wonder if we change things. What's the impact
3 to a provider if a patient has access and the
4 patient acted on something, the patient used it?
5 What's the impact then to the payer, if that
6 changes things if we have all these different
7 who's at different levels and now we're trying to
8 cross between the who's.

9 MEMBER O'MALLEY: Alan, I mean,
10 that's an interesting concept because when you
11 think about interoperability it really, it takes
12 a payer. And so different payers lining up will
13 have different needs and will require different
14 things to underlie their interoperability.

15 So in many ways, that sort of is the
16 next level of complexity for this. Once we iron
17 out access and efficiency and impact, it's going
18 to be drilling it down to the actual trading
19 partners and then what happens when there are
20 three or ten or fifty, you know.

21 CO-CHAIR SAVAGE: Vaishali?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. PATEL: I was just going to say
2 that, I guess similar to what Bob described, in
3 the usability/use group, we also had thinking
4 about use cases under which, you know,
5 interoperable data is used. You know, there are
6 an infinite number of use cases potentially, so
7 that was something that we talked about.

8 So I think that that, again, that grid
9 thing, that rubric I think applies across these
10 different domains. And one thing that we tried
11 to do was to come up with concepts that would be
12 generally applicable across all, although how one
13 might measure it would be different for each
14 group or each use case.

15 So I think that might be something
16 worth, I don't know, maybe revisiting in some of
17 these domains is, if we together, as a group,
18 decide that, okay, some of this measurement is
19 going to vary across the types of individuals and
20 the use cases, then, you know, what are some of
21 the distilling some of the key concepts within

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 each? Like, for example, like how we did looking
2 at information quality within usability, you
3 know, that could be measured across different use
4 cases, you know, the relevance of those
5 different, accuracy, timeliness might vary across
6 different use cases and you might measure some of
7 those concepts for some but, you know, some of
8 those use cases, but it might not be applicable
9 to all of them.

10 So, anyway, that's another point to
11 consider as we move forward.

12 CO-CHAIR SAVAGE: Robert?

13 MEMBER ROSATI: So this is a comment
14 about the potential overlap between availability
15 and exchange. And the reason I bring this up is
16 I think if you thought of the sub-domains as a
17 hierarchy, in some ways I think availability has
18 to precede exchange, so if the data itself
19 doesn't exist it can't be exchanged and then we
20 can't move on to the next stage of usability and
21 then it's -- so I suggest that because I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 what happens is, when you look at availability,
2 it can be interpreted from the fact is it
3 information that didn't get exchanged or could it
4 be perceived as information that doesn't exist?
5 And that means it couldn't even be moved.

6 So I think this is a general challenge
7 with the overlap between those two sub-domains,
8 if that makes sense. So just a point I wanted
9 to make.

10 CO-CHAIR KAUSHAL: So I brought up the
11 theme of availability and exchange. You've
12 brought it up now, as well. Anyone else want to
13 weigh -- I felt like there's a lot of people who
14 didn't feel like there were significant overlaps,
15 so Mark and -- go ahead.

16 MEMBER FRISSE: One solution, because
17 we kept delving into the responsibilities of
18 various people to contribute to that because
19 we're also highly interdependent in this game.
20 So maybe one thing to do is, before you get to
21 exchange, we've got these layers, is have another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 labeled contribution or something like that
2 because there's clearly an act of contributing
3 data and there's the act of exchanging it, then
4 there's making it accessible, then there's
5 usability. Otherwise, we get all tangled up
6 because the people in the end are also people
7 that have to contribute. But if you separate the
8 contribution in the process so you have a real
9 value change from contribution to impact, then it
10 might be the same people in both but they have
11 different ways you can measure it. Are you
12 entering immunizations into the registry, for
13 example?

14 Maybe I'm just arguing should there be
15 a label saying contribution part of this?

16 CO-CHAIR SAVAGE: Bill, did you have
17 a comment you wanted to share?

18 MEMBER RICH: Yes. To go back to
19 David's point, our group discussed this in
20 availability. If the data is not there, and that
21 does happen, and we debated is this part of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exchange or should this be addressed
2 specifically, and we decided not to address it
3 specifically, but this was discussed extensively
4 in Mark's group.

5 CO-CHAIR SAVAGE: Jason, did you have
6 a comment? I saw you raise your tent and put it
7 back down.

8 CO-CHAIR KAUSHAL: Mark has eagle
9 eyes, so be careful.

10 MEMBER BUCKNER: All right.

11 CO-CHAIR SAVAGE: I just have a list,
12 that's all.

13 MEMBER BUCKNER: So I was just
14 thinking about the impact and Alan's comment, and
15 it's often how we measure things where I'm at
16 now. There could be a patient impact, there
17 could be a clinician impact, there could be a
18 cost impact, which is why we typically will
19 report back on the triple aim impact because you
20 could have more than one area, to your point,
21 being impacted and, actually, most often you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 more than one point being impacted. The patient
2 is getting better, which typically impacts the
3 provider, as well.

4 So I like your concept, and I don't
5 think it's like the next-level super complicated
6 thing. I think this is an easy nut to crack.
7 You have a measure, and you want to have an
8 impact, you can have multiple categories where
9 you show that there's an impact.

10 CO-CHAIR KAUSHAL: So calling on
11 myself as next, the one thing that Alan's comment
12 raised for me was maybe I said to myself, a-ha,
13 do we have an assumption here that everybody
14 should have access to information who needs it
15 without asking the question does having that
16 access create an impact.

17 So I just throw it out there. Is that
18 an assumption underlying our work that people
19 should have and should be available to everyone
20 who needs it or should be exchanged with everyone
21 who needs it without questioning what the result

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of that is? I don't know the answer. I'm just
2 throwing out that that occurred to me.

3 CO-CHAIR KAUSHAL: Does anyone want
4 to respond to that question? I can respond a
5 little bit from our group, which is the first
6 group on exchange. As we started to think about
7 denominators of, you know, how we would measure
8 various metrics, our inclination was to measure
9 everything, not whether or not it would
10 ultimately create an impact. But I think it's a
11 valid question and an important one.

12 CO-CHAIR SAVAGE: Alan?

13 MEMBER SWENSON: Yes. Mine is
14 related on that but kind of based on Mark's
15 comment earlier about the contributing being the
16 first part. I think there has to be some amount
17 of underlying assumptions because we're
18 ultimately measuring interoperability and the
19 information being exchanged. So we have to
20 assume the information already exists somewhere.
21 Otherwise, there's no exchange happening, there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 no availability, there's no use because it wasn't
2 there in the first place.

3 So on some of this, there have to be
4 some assumptions made at the beginning before we
5 can even get into measuring what happens with the
6 information we're assuming is there.

7 MEMBER BUCKNER: So yes. However,
8 you get the data. You'll have a lot of
9 organizations that will have the data
10 electronically but will have a limitation,
11 whether it be risk adverse, whether it be
12 technical challenges, whatever, that does not
13 permit them to share that or they choose not to
14 share that.

15 And so I like that, you know, in the
16 world of a health information exchange, it's all
17 about who's willing to give me data and then who
18 connects that data, and those are very distinct
19 lines in that space. So your point is well
20 taken, Mark.

21 CO-CHAIR SAVAGE: Julia?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ADLER-MILSTEIN: Sure. So I
2 think on this issue, is it captured, right? Is
3 it even in electronic form, and is it
4 contributed? And then we sort of head into the
5 value chain that we've been talking about.

6 And so I think, for me, capture is out
7 of scope. I think we sort of take in
8 interoperability at that moment in time, given
9 what is digitized. And, obviously, we want to
10 move towards broader digitization, but that, to
11 me, feels a little broad for what this group is
12 doing. But I think after that step, we should
13 maybe think about picking up this contribution
14 piece because I do think now, after hearing this,
15 that that may be an important part that's
16 missing.

17 So, anyway, that was just sort of one
18 distinction that I wanted to comment on. I also
19 wanted to briefly comment on the exchange versus
20 availability. And to me, I do see those as very
21 distinct. I guess I see the exchange as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 technical phenomenon, and I see the availability
2 as once you have introduced some of the human
3 processes and factors because I think, again,
4 something can technically be exchanged, but if
5 you have not designed that in a way that a human
6 or an algorithm sort of knows that it's there and
7 is available for use, then that's a different
8 state.

9 So I do think that they're important
10 to differentiate because of that. Again, sort
11 of what can be done from a technical perspective,
12 that only gets us so far and may not get us to
13 that next step of availability. So those were
14 my two comments.

15 CO-CHAIR SAVAGE: Mark, is your card
16 still up from before or -- okay. Frank?

17 MEMBER OPELKA: Just so I understand
18 this conversation that's going on right now, to
19 me it's captured, and that's a great point that
20 someone is raising, but it's also was it captured
21 as per a standard ready for exchange? So it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could have been captured, but it may not be in a
2 format that they would wish to have or should
3 have captured it as per standard to allow for it
4 to exchange. And then there's the ability to
5 exchange it, and then there's the willingness to
6 exchange it.

7 CO-CHAIR KAUSHAL: So can I ask a
8 question of the availability group? So I think
9 what I'm hearing is different definitions of
10 availability, and when I looked at the four, the
11 order of the four groups, I had assumed that it
12 was availability of information to the end user
13 that had already been exchanged. But I'm also
14 hearing a theme here of a different type of
15 availability of electronic health information,
16 which is the availability of health information
17 that is ready to be exchanged or that is able to
18 be exchanged. And I think we need to explore
19 that a little bit more and get consensus about
20 what we're defining as availability. So let me
21 pose that as a question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR SAVAGE: So for the
2 availability workgroup, we did talk about it in
3 a way when we talked about picking up on the point
4 about any kind of information that's available is
5 better than none, so the point about the PDF and
6 that it may not be structured. So we did talk
7 about it in part, but it did not, it did not
8 inform the sub-domains that we identified.

9 CO-CHAIR KAUSHAL: I think the
10 question I'm posing is are we talking about
11 availability of data that is ready to be
12 exchanged or availability of exchange data that
13 is ready for use? Mark?

14 MEMBER FRISSE: That's why I come back
15 to, and I'll be assured by my colleagues across
16 the way, that you look at information blockage
17 and we blame the vendors, but, as we all know,
18 it's more than that. And so if I'm a provider
19 and I have something I'm withholding for
20 unnecessary reasons, I'm not contributing it,
21 that's a foul right there, okay?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I think it's very clean to separate
2 that availability, calling it a contribution and
3 making it available to the exchange from that
4 form of availability, the receiving end of
5 getting it to use it. So that's why I keep coming
6 back to this contribution because I hadn't really
7 thought of that before until this discussion came
8 up, but, you know, darn it, when people don't
9 play nice and they're supposed to, that's a foul
10 right there. That is a root cause. So that's
11 why I like the idea of actually separating that
12 notion of contribution of data you need to do,
13 like immunization registries or something, public
14 reporting, from availability once it's in there
15 to getting it to the right person.

16 CO-CHAIR KAUSHAL: The exchange group
17 did talk about that concept of whether someone is
18 sitting on data and not contributing it. So why
19 don't we go around the room to other comments?
20 Bill?

21 MEMBER RICH: Yes. I think that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually defined the issue very clearly.
2 Sometimes, the data is just not there when it
3 should be there. For instance, an
4 electronically-specified measure that's been in
5 PQRS. Sometimes, the data is just not there in
6 EHRs, and we probably have more experience than
7 everyone else calculating these measures. And,
8 indeed, in some EHRs, it's just not there. So
9 that's one issue. That stops exchange, that
10 stops everything.

11 And then the next question is, as
12 Frank pointed out, is it in a usable form? Is
13 it structured data? Is it in text? But
14 sometimes the data is just not there, and one of
15 our exchanges, I think it was an email exchange,
16 I think there should be a measure that we have
17 that actually is very well established. Maybe
18 it's an outcome measure. And, actually, that
19 should be a measure of whether the data is there
20 or not when it should be. Those are pretty easy
21 to define. Like a cataract, blood pressure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure, those things I think are pretty well
2 established now, and I don't know. I'll defer
3 to my colleagues to see if the measure, the
4 defined measures, the Million Hearts measures,
5 are always available.

6 But there are some outcome measures
7 that, shockingly, they're not there. The data
8 is just not there.

9 CO-CHAIR SAVAGE: Steve?

10 MEMBER WALDREN: So I was under the
11 assumption that it was availability of exchange
12 data, but I also agree with Mark that notion of
13 the contribution piece of it. And that's why I
14 talked about capture before we broke up.

15 But one thing that I think that --
16 this is in context of creating a set of measures,
17 so two things. One, is there a performance gap?
18 So are people, is there a real gap in the
19 marketplace of people putting data in a format
20 that can be exchanged or not? I'm assuming that
21 there is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The next would be do we care? Because
2 if they're not putting the data in in a way that
3 can be exchanged, they're going to fail the
4 exchange measures, right? But what I think we
5 want to do from a measure perspective, going back
6 to the point that a PDF is better than nothing,
7 is if there's a real market need to demonstrate
8 that they're putting the data in, they just can't
9 overcome the challenges for exchange. Then we
10 should have that kind of availability at the
11 source as another domain.

12 But if we're thinking about the
13 information blocking, I see that as completely
14 separate because I think those should be
15 penalized because they can't do exchange, so if
16 we use these as performance I'm fine with not
17 having any other measures because they should be
18 penalized. There should be no exception for
19 them.

20 CO-CHAIR SAVAGE: Alan?

21 MEMBER SWENSON: So just along those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 similar lines, it seems, at least to me, that
2 availability, whether before exchange or
3 availability after exchange, really could be
4 divided between exchange and usability. Like
5 availability itself doesn't need to be a domain
6 -- that's the word I'm looking for -- because if
7 the information was available in the source
8 system, we're going to be measuring that in the
9 exchange anyway. The exchange group had topics
10 in there about whether things were exchanged
11 discretely, what information was being exchanged,
12 etcetera. So that's already going to be covered
13 by the exchange happening is going to tell us
14 that it was available.

15 Once it's into the system, if it's
16 available is going to already be measured by some
17 of the sub-domains and concepts that were brought
18 up by the use and application.

19 MEMBER ADLER-MILSTEIN: So we
20 actually explicitly left out accessibility from
21 our framework because we thought it was going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be captured upstream, but we could easily add it
2 back in if we needed to to sort of bridge that
3 gap. So I think it could happen like that, but
4 the list we made so far, I sort of had assumed
5 that that concept would be measured elsewhere.

6 CO-CHAIR SAVAGE: Mark, is your card
7 up?

8 MEMBER FRISSE: Yes.

9 CO-CHAIR SAVAGE: It is up. Did you
10 have something you wanted to say?

11 MEMBER FRISSE: Yes, one quick aside.
12 The comment of something is better than nothing,
13 I think it was Dolan who wrote a few papers
14 talking about narrative interoperability. It's
15 not a term that's used very much, but I always
16 liked it because it said, and I was successful
17 with this, sometimes just sending a glob of text
18 is good enough, and we keep forgetting this. We
19 have these levels of interoperability, but, darn
20 it, narrative operability ought to account for
21 something because it generally, like a discharge

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 summary, gives a clinician all they need.

2 So I don't know if you people have
3 heard that term a lot or not, but I just thought
4 it was a clever term. It's in the literature.
5 It's in everything.

6 CO-CHAIR KAUSHAL: So we're at the top
7 of the hour. We had devoted one hour to this.
8 I know that Jason, Terry, and Mark all have their
9 cards up, so I would ask if, it looks like
10 everyone's card is still up, so how about a minute
11 a piece? Jason, take us away.

12 MEMBER SHAPIRO: So I just want to
13 say, you know, I think we were discussing
14 availability before exchange in our group. We
15 didn't explicitly define that in our group, but
16 that was my understanding in the availability
17 group. But one of the things that I brought up
18 there, you know, there's a high degree of
19 messiness, and I think that goes sort of to data
20 quality. But if only, you know, say, I guess the
21 example of diagnosis data, you know, the HIE that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I work with a lot lists that as one of their
2 available data elements. But when we do
3 descriptive stats before running an analysis, we
4 found that it was missing in 85 percent of the
5 patients at one site. So is that available if,
6 you know, you only have the data present 15
7 percent of the time? I would argue that it's not
8 because it can't be leveraged for most use cases
9 then.

10 And then, you know, as far as the
11 format, I think, you know, to Mark's point,
12 having narrative data sometimes is good enough,
13 you know, or is having just scanned documents
14 that are digitized. That's available for the
15 primary use case when a clinician is reading it,
16 but it's not going to be available for a lot of
17 secondary uses. We're going to need to leverage
18 aggregated, structured data. So, you know, I'm
19 not really sure where to divide that line.

20 CO-CHAIR SAVAGE: Terry?

21 MEMBER O'MALLEY: That's kind of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thrust of my question, too. So where does
2 electronic data end? When is a PDF not
3 electronic exchange, or when is a CCD or a text
4 blob, you know, is that electronic exchange? So
5 I think we need to clarify the boundaries because
6 that will help us know who we want to include in
7 the measure, among other things.

8 CO-CHAIR SAVAGE: And that may go to
9 the definition of the domains because it just
10 says electronic health information without
11 saying, for example, structured electronic health
12 information.

13 Calling on myself as the last comment,
14 I just wanted to throw out one of the things that
15 I mentioned in our group about availability,
16 which is sometimes availability is not about the
17 clinical setting. So there's some examples that
18 I've seen where different sectors within a
19 community were trying to exchange data with
20 themselves. So one was a housing trying to
21 exchange data with the criminal justice system.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It was to improve care. It was to understand
2 where transitions were happening in order to help
3 with care, but there wasn't a clinical provider
4 at the table yet. And then in the coalition
5 building, eventually clinicians were brought to
6 the table. So just expanding the mind a little
7 bit that availability sometimes does not, as we
8 move forward, may not even include clinical
9 settings at the beginning.

10 And perhaps another example that may
11 resonate more is that, in our work, we've
12 described patient-generated health data as an
13 example where doctors are the ones that don't
14 have access to the information that they need and
15 that we're trying to build a system so that
16 doctors and clinical providers actually have
17 access that it's available to them.

18 MR. GOLDWATER: Okay. So I need to,
19 I guess, put a question forward to all of you
20 before we progress. So we do have a list of a
21 lot of different sub-domains under each one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the major topic categories, and the discussion
2 per the agenda was to now break up again and start
3 identifying measure concepts under all of these
4 sub-domains.

5 I think our collective feeling here is
6 that we need to start prioritizing those sub-
7 domains, what's really crucial, what do you think
8 is really important, what do you think we really
9 need to move forward before we start the
10 discussion of measure concepts. Otherwise, it
11 might get a bit convoluted to have all of these
12 sub-domains, all of these concepts, and then try
13 to spend the time whittling them down.

14 Do you all believe you're in a place
15 right now for the next hour to be discussing how
16 to whittle these down or consolidate so we can
17 come up with a set of sub-domains that we can
18 then move forward with with measure concept
19 discussion, or do you want to just go into the
20 measure concept discussion now? Yes, Steve?

21 MEMBER WALDREN: So I like Alan's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 point about maybe taking the two types of
2 availability and pushing them both upstream so
3 availability at the source becomes part of
4 exchange and the availability after it's been
5 exchanged becomes part of use. As a group, is
6 that what we want to do? Because I think
7 exchange needs to know that, going into that,
8 that we have to think about that source
9 availability. So I thought that was just a good
10 suggestion.

11 MR. GOLDWATER: I think if that's what
12 you all think is best, we're fine with that. I
13 think what our concern is that, whatever the
14 strategic direction of the group is, that, within
15 the next hour, we come up with a set of domains
16 and sub-domains, whatever that may look like, and
17 that there's consensus among the group about what
18 those are. That will make discussing measure
19 concepts a lot easier. Again, I think if we
20 start discussing concepts and then you want to
21 move them upstream or consolidate, then you've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 got to start reconfiguring the concepts again,
2 which I think will take time which is certainly
3 worthwhile if that's what you all would like to
4 do. But I think, collectively, we were just
5 thinking, you know, at this point, we really want
6 to try to have a standardized set of domains and
7 sub-domains before we start discussing concepts.

8 CO-CHAIR SAVAGE: You're saying
9 narrow the universe of sub-domains before we --

10 MR. GOLDWATER: Yes. Because, I
11 mean, if you've got 20 sub-domains and you're
12 going to start discussing concepts, and then we
13 decide tomorrow we're going to start taking away
14 some of those sub-domains or consolidating them,
15 then you've got to re-examine the concepts again,
16 which, you know, I'm not sure how efficient that
17 would be.

18 So I think the discussion has been
19 phenomenal. I think there's been some great
20 ideas going forward about how to do this. I
21 don't think anyone at NQF wants to inhibit that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussion, but just, I think, from a logistic
2 point of view and also from a programmatic point
3 of view, I would like us to continue this
4 discussion as Mark and Rainu continue to
5 facilitate. But now, rather than sort of the
6 discussions about what constitutes a domain and
7 sub-domain, let's talk about how we're going to
8 finalize this, and then we can take a break and
9 then we can start working on concepts. Does that
10 sound feasible to everybody?

11 MEMBER ADLER-MILSTEIN: I guess I
12 feel like I need, I would need guidance on
13 priorities through which we would narrow the sub-
14 domain. So if you tell me, like, take this
15 stakeholder's perspective and tell me the most
16 important or take this -- like, I just worry we
17 need a, you know, reason to which to take them
18 off because, if not, I think really, I mean, it
19 sounds silly, but I really do think they're all
20 important. And so I just, I think we can narrow
21 if given some kind of construct in which to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that narrowing.

2 CO-CHAIR KAUSHAL: And, Jason, are
3 you suggesting that we each spend, each group
4 spends the next -- no. Okay. So then I think
5 what you're suggesting, which does make a lot of
6 sense to me, is that we collectively, as an entire
7 group, look at the four domains and prioritize
8 the sub-domains, and that will, it doesn't change
9 it in the way that you're suggesting, Julia, but
10 I think it changes it in another way, which is
11 that we'll have the collective group's input.

12 MS. BAL: So I was going to say I
13 think the first step, before we even get to the
14 sub-domain, is coming to agreement on the
15 domains. I think there has been conversation
16 about making more domains, making more domains,
17 but there's also been conversation about
18 consolidating domains. And one session was to
19 take availability and cut it in half and make
20 three domains.

21 So I think we need to know are we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 making five domains or three domains or keeping
2 the four? And then based on that, do the sub-
3 domains still make sense the way that they were
4 written? I think that will help. That's at
5 least what I'm envisioning that, now that we have
6 this new mind set of what each domain is, do the
7 sub-domains still apply and do they still fit as
8 we saw fit? Yes, it's not about a number. It's
9 just like -- yes.

10 DR. BURSTIN: Just my two cents. I
11 don't think it actually matters how many sub-
12 domains and domains you have at this point.
13 That's just sort of stuff we can clean up and
14 lump or split or whatever we need to do later.
15 You're absolutely right, Julia. I mean, thinking
16 collectively about what would be the most
17 prioritized ones, I would say, is where you think
18 there are going to be some measurements. That
19 will really drive where you want to go. And
20 maybe, you know, again, sometimes committees work
21 better even just saying, okay, this is where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we've laid it out, now let's think about what
2 those measure concepts are and where they'll be
3 most important, and then we'll just sort of fit
4 them in and slot them in to the actual framework
5 domains. That's not as important as getting to
6 what you think will be useful to drive
7 improvement.

8 CO-CHAIR SAVAGE: So, Helen, can you
9 weave into that the question I asked earlier this
10 morning, which is also looking at the future. So
11 are we looking just at prioritizing around
12 measures that we've got now? Are we -- okay.

13 DR. BURSTIN: Very much so. I mean,
14 the measures you have now is part of what you'll
15 talk about under impact and HIT sensitivity
16 tomorrow. But I think a lot of what you're doing
17 today is really saying these aren't even measures
18 we have yet for the most part, what measures would
19 you want to build to ensure you can track, and
20 that should very much be, I think the consensus
21 this morning I heard was a combination of both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measurers that could drive the now in the short
2 term, as well as some measures that are more
3 future-oriented to drive what we hope it will be.

4 CO-CHAIR KAUSHAL: I think there's
5 still a question. Do people feel like we need
6 to still refine the sub-domains, or is the
7 argument that we should just go right on to the
8 measures? I think that, I'm hearing different
9 opinions on that, depending on what side of the
10 table you're on it seems like.

11 And it seems like, to me, frankly, it
12 seems six of one, half a dozen of another, right?
13 Like, we can either spend some time as a group
14 simplifying our sub-domains and that then would
15 mean that we'd just be spending less time on
16 measure development, or we can do vice versa, but
17 I think we just need to decide as a group which
18 way we want to approach this. Mark?

19 MEMBER FRISSE: Wouldn't it be
20 interesting if we just proceeded to pick our
21 domains within our four groups and found that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's a lot of overlap? I want to be an
2 optimist here. It might be that that happens.

3 CO-CHAIR KAUSHAL: I think that's
4 another vote for measure development. Why don't
5 we just do a show of hands. How many people
6 think that we should just go right on to measure
7 development? Helen, you can raise your hand.
8 It's okay. And how many people think we should
9 spend some time simplifying the sub-domains?

10 Okay. So it's interesting. The
11 people who think we should simplify the sub-
12 domains are doing this, and the people who are
13 like measure development are way up here. So,
14 Jason, I think we have a vote to go right on to
15 measure development.

16 DR. BURSTIN: And it may very well be
17 that the next question is are you going to do
18 that in a small group or a large group? If you
19 think it's useful in doing it in a small group,
20 you could do both. You can try to simplify your
21 sub-domains as you come forward with your measure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 concepts. Some of them will logically kind of
2 collapse into one, and you may find there are
3 some sub-domains for which it's hard to even
4 contemplate what a measure would be, and maybe
5 it's not as important for measurement framework,
6 and that might be useful, too.

7 CO-CHAIR KAUSHAL: So one thing I
8 might suggest, and this might be so unorthodox
9 that you would nix it, but I might suggest that
10 this who question, which is cross-cutting across
11 the first three workgroups, that that who
12 question, the measure development under the who
13 sub-domains we do as a collective group before we
14 break out to each of our individual groups. I
15 don't know if that's too confusing.

16 Okay. So why don't we do that one
17 first, which is doing measure development under
18 who is exchanging, who has data available or who
19 is making data available, depending on how you
20 define that one, and on use and usability. So
21 let me throw that out first. Impact. Okay. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's all four. That makes sense. Impact on who?

2 So, Mark, I'm going to put you on the
3 spot. I feel like your group did a lot of
4 thinking about the who's, and could you get us
5 started?

6 CO-CHAIR SAVAGE: So what the list of
7 who's is or how they might fit across the four
8 domains?

9 CO-CHAIR KAUSHAL: I think it's
10 really --

11 CO-CHAIR SAVAGE: Just list. Okay.

12 CO-CHAIR KAUSHAL: Just make the
13 list? Okay. And maybe a prioritized list. So
14 if there's, you know, these top three are the
15 most important or these top five are the most
16 important.

17 CO-CHAIR SAVAGE: We did do that. We
18 actually went around the table and asked that
19 question. But I'll give you the entire list and
20 annotate with where the priorities were.

21 Patients and family caregivers, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was a priority. Clinical providers, that was a
2 priority. Payers and purchasers, not quite so
3 much on the priority but it got some hands.
4 Public health got one vote. Research got a
5 couple of votes. So I'm now at a place where I
6 wouldn't say it was at the same level of priority,
7 but I'm giving you the full list.

8 Non-clinical settings/non-clinical
9 providers. Professional associations,
10 government. So, again, the priorities and the
11 list of users was patients, family, caregivers,
12 clinical providers, followed by payers and
13 purchasers. And there were some other things
14 that were important to us, but that's the list.
15 And I should say there were other domains that we
16 would have, sub-domains that we would have picked
17 that we didn't categorize as roles or users.

18 DR. PATEL: I just wanted to add in
19 the roadmap there are actually a prioritized set
20 of, what we did was we, you know, across these
21 different concepts, we had certain settings or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 types of individuals where we defined as, okay,
2 this is what we can do in the near term or what
3 we'll prioritize for the near term, and these are
4 settings that we'll prioritize for what we call
5 just longer term.

6 And so in the near term were,
7 obviously, the meaningful users because there's
8 a lot of money that was devoted to getting them
9 on electronic health records and becoming
10 interoperable but also looking beyond that to
11 individuals, behavioral health settings, and
12 long-term care settings. So those were defined
13 as kind of near-term priorities, both in terms of
14 measurement as well as the focus of the
15 interoperability roadmap work.

16 And then looking beyond that to non-
17 clinical settings, like social service agencies,
18 schools, like beyond that, you know, research,
19 public health would be something that we wanted
20 to include in the near term, but, anyway, we
21 realized that, you know, we looked at public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 health capacities and thought that that would be
2 probably maybe after -- 2017 was actually where
3 we had defined when the near term would end.

4 So that might help as a guide. It
5 doesn't have to drive this list, but that might
6 be one thing to think about.

7 CO-CHAIR SAVAGE: So is that the
8 framework where you had divided things, ONC
9 divided things, from 2015 to 2017, then 2018 to
10 2020, then --

11 DR. PATEL: Yes.

12 CO-CHAIR SAVAGE: -- 2021 to 2024?

13 DR. PATEL: Yes, and then learning
14 health system was kind of, you know, at the end,
15 yes.

16 CO-CHAIR SAVAGE: Okay. Mark?

17 MEMBER FRISSE: I'm looking at that
18 list right now, and if you recognize that
19 government can be a payer, an employer, or a
20 provider, the government is kind of an elusive
21 term. State governments, too. Then if you put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 government in those slots, believe it or not,
2 organizations that pay for care is number three.
3 You know, one is patients, next organizations,
4 then organizations that pay for care, and then
5 supporting the public good, and then blah, blah.

6 So you get to the same place if you
7 just remember that government is playing all
8 kinds of different roles wearing different hats.
9 So then it's almost the same.

10 CO-CHAIR SAVAGE: John?

11 MEMBER BLAIR: Yes. So how was this
12 list derived, and who's asking for this
13 interoperability? Who wants it? Who wants it
14 the most? Shouldn't that be who's on the first
15 on the list?

16 CO-CHAIR SAVAGE: So when you said how
17 was the list derived, you're not seeing the
18 availability subgroup of how we --

19 MEMBER BLAIR: The list that Vaishali
20 gave or this list, how are we making this decision
21 on who's first, second, and third? And I'm just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 asking why isn't it who wants it the most?

2 CO-CHAIR SAVAGE: Vaishali, do you
3 want to answer for your process first, and then
4 I'll --

5 DR. PATEL: Yes. I mean, I can say
6 that, what I can say is that it was driven, in
7 part, by internal, you know, like us looking at
8 what was measurable. So a combination of
9 aspirational versus what we can measure now, so
10 we have the ability to measure exchange and
11 interoperability to a greater extent amongst
12 those who are meaningful users and also in terms
13 of the policy priorities. We definitely wanted
14 to include them, as I mentioned earlier, given
15 the incentive money that was given to them.

16 And then in terms of the addition of
17 behavioral health and LT pack, I think those were
18 seen as other key priority domains where a lot of
19 meaningful users interact with those two types of
20 settings in particular and would be considered,
21 I guess, the next leap. You know, this was two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 years ago, in terms of, like, how the roadmap was
2 designed to focus on who. It's probably a
3 broader question that I can't answer, but, you
4 know, that was, in part, some of the thinking at
5 least behind that. And individuals are seen as
6 kind of a key element to making all this happen.

7 MEMBER BLAIR: So I'm just throwing
8 that out there. How important should that be
9 when we list availability and the priorities as
10 to who wants it the most?

11 CO-CHAIR SAVAGE: So you're saying
12 you think that should be a consideration, who
13 wants it --

14 MEMBER BLAIR: I think because why do
15 we care about someone on the list that doesn't
16 care about it right now or cares about it one-
17 tenth as much as some other group?

18 CO-CHAIR SAVAGE: Well, it may be a
19 matter even of whether the person knows that it's
20 there in the first place, so they're in a place
21 to actually want it because they know that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 can have it.

2 MEMBER BLAIR: But there are probably
3 groups out there that really want this. It seems
4 to me that that's where you go first.

5 CO-CHAIR KAUSHAL: Mark, I think this
6 is what you're getting at, as well. Is it who
7 wants it or who needs it?

8 MEMBER BLAIR: I think it's who wants
9 it because who wants it is going to use it.
10 They're going to jump through the hoops for
11 whatever is out there. Well, I can tell you, I
12 mean, when I think back about meaningful use and
13 transitions of care and what drove that, that
14 half of the time, when a patient is referred to
15 a specialist, they don't get any information.
16 Half the time, when a primary care patient sees
17 someone after a specialist, they don't have the
18 information. And half the time after a
19 discharge, the primary care provider never knew
20 the patient was in the hospital and then the
21 safety issues around that and what drove that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and there was a lot of interest amongst providers
2 for that capability. I mean, 15 years at this
3 with 5,000 providers, that's the number one thing
4 that I've heard consistently. So I know
5 providers want this.

6 CO-CHAIR SAVAGE: Hans?

7 MEMBER BUITENDIJK: Just a quick note
8 regarding the government's role and kind of wear
9 multiple hats. I think, depending on the domain
10 that we are in, those aspects might change a
11 little bit. But if we need to include it, I
12 would suggest that we separate those out so that
13 wherever the government is a payer or a provider
14 or somebody else that we separate it out to be a
15 regulator, so we focus on the function that they
16 play at that point in time and not an overall
17 umbrella.

18 CO-CHAIR SAVAGE: Thank you. Terry?

19 MEMBER KETCHERSID: Just getting back
20 to Vaishali's list, the meaningful users, great.
21 Non-meaningful users, you know, there's always

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people that are left off that list, many in this
2 room, you know, home health, hospice, PT, OT,
3 dialysis organizations and providers. In terms
4 of who wants it, count us in. We're in.

5 MEMBER BLAIR: Yes. I'm just saying
6 is that lens on this?

7 CO-CHAIR SAVAGE: Terry O'Malley?

8 MEMBER O'MALLEY: Yes. So the
9 prioritization. Certainly, who wants it should
10 add in, but maybe we need to have this use case-
11 based. It's very hard, I have a hard time
12 conceptualizing this question without rounding it
13 on a specific business case, use case, because
14 that really determines who wants it a lot.

15 CO-CHAIR SAVAGE: Julia?

16 MEMBER ADLER-MILSTEIN: So, I mean, I
17 guess I think about the prioritization here in
18 terms of the impact. If we gave it to these
19 people on the list, who would have the most
20 ability to turn that into the impacts that we
21 care about? And I think, ultimately, that is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sort of prioritization. I mean, I worry who
2 needs it is a function of the incentives that we
3 have today. I mean, who wants it is a function
4 of the incentives that we have today and I think
5 everyone would agree are not optimal. And so I
6 don't think that if we let that guide us that we
7 will necessarily end up with the same list than
8 if we let the impact guide us, until we say we
9 want the greatest improvement in the triple aim,
10 who on this list will get us that improvement?
11 To me that should be the sort of guiding
12 principle.

13 CO-CHAIR SAVAGE: Frank?

14 MEMBER OPELKA: So just a couple of
15 thoughts. First, to this issue of the
16 government, I think you've got multiple different
17 agencies who want this for different reasons. So
18 we have the FDA, we have CDC, we've got CMS,
19 Medicare/Medicaid. There's all sorts. And the
20 VA is also another player in this game. So we
21 have to somehow break the government out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But the other thing that I'm thinking
2 about is this issue of who wants. I guess it
3 bothers me. I wasn't thinking of the who as who
4 wants exchange. Like, tell me who doesn't want
5 exchange. That list is shorter. I want to know
6 who should provide exchange, and that, to me,
7 that's the denominator that I'm looking for is
8 who should be providing exchange. The patients
9 aren't going to provide it. The clinicians
10 aren't going to provide it. It's where do the
11 data reside and who should provide the exchange
12 of that data.

13 So that, to me, was the who question.
14 These other questions get to use case again. So
15 if we're going to be solving a priority of use
16 case, then I think that one is impossible. And
17 I don't even buy off on using the triple aim
18 because everyone will argue their own version of
19 it. PhRMA, 22 percent of the Medicare spent,
20 they've got a huge use case, and everyone wants
21 PhRMA to cut down on what they're doing, so we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't even have PhRMA on the list. So we could
2 come up with a million reasons why somebody wants
3 it. We want information exchanging out there for
4 better healthcare, and that's it. Now, who
5 should be providing that exchange, to me, is the
6 question.

7 CO-CHAIR KAUSHAL: So I think we're
8 going to take two last comments from Vaishali and
9 John and then -- John?

10 MEMBER BLAIR: Yes. I mean, it's
11 easier for me when I think about use cases, so it
12 does make some sense to me. The scoping of this
13 is so broad, it's just very hard to get our arms
14 around it. But, again, I think that there's
15 assumptions of who wants this that we could spend
16 time on and would not get much usage because they
17 probably don't care as much about it as we think.

18 CO-CHAIR KAUSHAL: So I'm going to
19 suggest, and, Mark and Jason, welcome your
20 inputs, as well, I'm going to suggest now that we
21 break back into our small groups and do the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure concept development under each sub-domain
2 and reconvene again in about 45 minutes.

3 MR. GOLDWATER: Okay. So, quickly,
4 before we delve into our groups, what exactly do
5 we mean when we say the word measure concept? So
6 a measure concept is an idea for a measure. It
7 is not a measure itself. It is an emphasis on
8 idea for a measure. That includes a description
9 and the plan to target a population, so there is
10 some degree of specificity around it.

11 The concept has to relate to one of
12 the sub-domains already developed within the
13 framework. I would strongly advise don't come
14 up with a measure concept that ends up with a
15 creation of yet another sub-domain. Please
16 don't. Make sure it relates to a sub-domain you
17 already have.

18 In the course of developing these
19 concepts, if you find that it applies to two or
20 three sub-domains and you can consolidate, that
21 would be preferable. The concept needs to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specific to an area that is directly related to
2 interoperability. It cannot be just a general
3 clinical topic. And, again, the concept does
4 have to be specific enough that it could be
5 developed into a quality measure. So imagine,
6 if you will, once the document is completed and
7 the framework has been finalized, someone would
8 be able to download this, look at the concepts,
9 and be able to turn one of the concepts into an
10 actual quality measure.

11 So some proposed measure concepts, so
12 like patient demonstrated increased
13 understanding of care plan, patient demonstrated
14 compliance with their care plan, telehealth
15 services facilitated transitions of care, the
16 percentage of patients enrolled in a telehealth
17 program for at least three months. These are
18 just general concepts.

19 What are not measure concepts because
20 they are too broad and too vague and could not
21 realistically be developed into a measure, things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 such as increased communication, better
2 transitions of care, that would need to be a
3 little bit more specified, or reduction in cost.
4 Reduction in cost where?

5 Okay. That's it. So I think the
6 availability group, we were shunned into the room
7 that no one knows about, including myself. But
8 I think we're going to be over here now, and then
9 everybody else will go back to where they were.
10 Correct?

11 CO-CHAIR KAUSHAL: And then just
12 before we break, any questions for Jason on this
13 concept of measures?

14 CO-CHAIR SAVAGE: Four domains. Just
15 to make sure everybody understands your --

16 MR. GOLDWATER: Four domains, all the
17 sub-domains you've come up with are applicable at
18 this point. Any concept you come up with needs
19 to relate to one or more of those. If you are
20 able, if you find that, again, they are cross-
21 applying to three or four and you can consolidate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 into a general sub-domain, that's also fine.
2 But, again, make sure that they do align to a
3 sub-domain, make sure it's something that can be
4 measurable, make sure it's something that relates
5 to interoperability.

6 DR. BURSTIN: And then just one last
7 qualification to that. Again, sometimes you'll
8 come up with a measure concept that doesn't fit
9 your sub-domains because you didn't think of it
10 yet. So, you know, I don't want to constrain
11 what could be a really important measure concept
12 because it doesn't fit a box. My guess is when
13 you go through all four groups it will fit a box
14 somewhere. Just kind of use your best thinking.

15 MR. GOLDWATER: Yes, to it is -- what
16 time is it now? 2:30? So 3:20? You want to
17 say 3:20? 3:20.

18 (Whereupon, the above-entitled matter
19 went off the record at 2:32 p.m. and resumed at
20 3:43 p.m.)

21 MR. GOLDWATER: So I was asked by our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 co-chairs to, for lack of a better term, to give
2 a pep talk, which I do frequently here at NQF to
3 teams. So first of all, you guys are doing
4 terrific work. Don't think that you're not.
5 We're actually where we need to be right now.
6 The fact that we are discussing measure concepts
7 at the end of the first day is a great sign. If
8 we were still arguing over domains, I would be
9 worried and I would not be giving you a pep talk.
10 I would already have left for the day.

11 So we're in a good spot. This is
12 tough stuff. You all know this. You all have
13 been working on this forever. We all have been.
14 This is not an easy topic. If this were easy,
15 we would have already done this by now. We would
16 already be interoperable, and we would just be
17 discussing measures. We wouldn't be discussing
18 concepts and domains and sub-domains.

19 This is a very challenging topic. It
20 always has been. It has lots of nuances and lots
21 of intricacies and lots of elements, like the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 semantic web and the OWL and ontologies and
2 things that normal people don't talk about in any
3 given social situation, except for us.

4
5 And, you know, I think that trying to
6 narrow this down into areas to effectively create
7 measures of things that we're not actually doing
8 right now is a challenge. And so I don't want
9 you all to get discouraged over the fact that you
10 might be struggling over what domains to use,
11 what sub-domains are appropriate, what measure
12 concepts would actually work here. I think
13 everything is fair game for discussion, and I
14 think what we're going to do is talk about some
15 of the measure concepts that we've come up with
16 already. And we'll spend the better part of
17 tomorrow, we're not going to break up into
18 anymore group sessions -- Steve, I know you're
19 heartbroken over that, but get over it -- that
20 we're going to talk about the different sub-
21 domains we've come up with and see if we can start

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to narrow those down a bit and see if we can make
2 sure that we really have strong measure concepts
3 and think about it in terms of somebody that will
4 actually download this report once it's finished,
5 that when the report is done and it's gone through
6 clearance and it's gone through public comment
7 and we've addressed those public comments and
8 it's finalized and it's on NQF's website and
9 we've announced it to the world, that people will
10 download this document and say here's a very
11 strong foundation by which we can look and
12 examine these concepts and build measures from
13 them. Even if it's something we can't measure
14 now, we can really look at building measures for
15 the future, things that we know will be coming,
16 things we know will be important, and that we
17 actually also have a list of measures that we
18 could start using right now. And that will help
19 people understand how to objectively assess
20 interoperability and we would hope, in the long
21 run, that actually helps advance the movement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 It advances the cause.

2 So I think the fact that we are where
3 we are is great progress. I know we're all very
4 happy about where we are. But I also understand
5 that it could be somewhat frustrating trying to
6 understand and whittle out domains, sub-domains,
7 and concepts. But understand that, you know, we
8 think you guys are doing a terrific job. We have
9 a little ways to go before we break for the break
10 tomorrow, but I think we are definitely, by the
11 time we get out of here by 3:30 tomorrow, we'll
12 have a very strong set of domains, sub-domains,
13 concepts, and measures to go forward with, and
14 we'll be able to produce a report that's very
15 reflective of all of your intelligence, all of
16 your experience, and all of your knowledge. And
17 that's really all that we can ask for.

18 Good enough? Do I need to go rah-rah-
19 rah at the end, go team go, something like that?
20 No? Go Cowboys. Sorry. I had to try.

21 CO-CHAIR KAUSHAL: I think, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the only thing I would add, Jason, and I think
2 that was terrific, I think the only thing I would
3 add is that this feels, to me at least, as a very
4 hard exercise because the concept of
5 interoperability is so very broad. I've been
6 struggling in grounding our conversation today,
7 and I suspect many others have, as well. And so
8 I just want to acknowledge that what we're
9 talking about is amorphous, which makes what
10 we're trying to do even more challenging.

11 CO-CHAIR SAVAGE: How do you measure
12 something that's amorphous?

13 MR. GOLDWATER: Well, I mean, I know
14 that I've been using the example of telehealth,
15 and I apologize for that. But it was just
16 recent, so it's easier. But, you know,
17 telehealth is a recognized technology. It's been
18 around three decades, and the number of
19 randomized control trials that have been
20 published on its effectiveness are abundant. I
21 mean, there's a lot of literature about how this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 works.

2 So, you know, doing a literature
3 review to inform measure concepts and build
4 domains and sub-domains and concepts from that
5 was a lot easier of a task. It's not so
6 amorphous, it's not so broad, it's not so
7 ambiguous. But, you know, again, this is a
8 challenging topic, and I think that you've done
9 great work so far in taking a very large, very
10 difficult concept and trying to narrow this down
11 into ways to objectively measure it, which is no
12 easy feat by any stretch.

13 So with that in mind, why don't we go
14 through the different domain groups and have the
15 speakers call out the measure concepts that
16 they've come up with. And I think. once
17 everybody has done that, then we can start
18 talking about those concepts you've derived.

19 MEMBER WALDREN: Okay. So exchange.
20 This was a little bit more challenging, I think,
21 than coming up with the sub-domains, so no kind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of funny little opening salvo into this one.

2 So the first underneath the who is
3 part of the exchange, we had two concepts that
4 we're thinking through. So the first one was,
5 of those patients where care was shared, what
6 percentage had their health information
7 exchanged? So the thinking here is that you
8 could be able to capture that, not a simple log
9 but by a log of saying, you know, each patient,
10 who sent it, who was supposed to have received,
11 and what was kind of the transaction type.

12 From that, you could also get, one of
13 our sub-measures from this would be what key
14 categories of organizations in which active
15 exchange has occurred? So this being more of
16 kind of a yes or no, and we thought that some
17 examples of those key categories could be dental,
18 behavioral health. So those areas where we're
19 seeing that there's not a lot of exchange
20 anywhere that we may want to try to drive, those
21 sub-categories could be, that sub-measure could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be put to them. So that was the first measure.

2 The next one was looking at how are
3 end users able to be engaged in organization. So
4 the measure concept was what percentage of care
5 providers in an organization have the capability
6 to send and receive health information exchange
7 or exchange health information? So this notion
8 of it's just not an organizational level event
9 but actually the front-line commissions are
10 actually engaged in the process. So those are
11 the two concepts we had underneath the who.

12 The what we kind of struggled with, so
13 we talked about two different things. So one
14 would be the volume of transactions, and we had
15 the discussion of is that a high enough priority
16 to be able to warrant us wanting to do that? And
17 we thought with the measures around the who, we
18 could start to get to some level of volume, as
19 well. So we didn't add a second one underneath
20 that.

21 The next kind of concept that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussed was this notion of the core clinical
2 discrete data. So are you actually exchanging
3 discrete data, or are you just sending the very
4 informative narratives around?

5 What we thought was, though, that that
6 party gets a little bit closer to usability than
7 it does exchange, so we decided not to do that.
8 So we came up with no measure concepts for the
9 what.

10 On the how, we talked a lot about
11 different things, and what we ended up coming up
12 with was a percentage of applicable standards
13 being used, so the percentage of applicable
14 standards being used. An applicable standard is
15 one that's nationally recognized and its domain
16 is part of the exchange occurring in the
17 organization. So the intent here would be
18 something like the ISA, if it's listed, I could
19 see this is probably not the best way to implement
20 this but you could have a survey where you'd have
21 two columns for each one of those. So the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 column, if it's about medications, do you
2 exchange medications. So if yes, you checkmark
3 that box and that will put you in the denominator
4 as an applicable standard, and the numerator is
5 did you actually use RxNorm for that particular
6 piece of it? So that was what we had underneath
7 the how.

8 And, finally, we talked about the
9 when. We felt like there were already measures
10 around timeliness on some of that exchange. It
11 was supposed to be around discharge summary and
12 referrals, and we also saw that the usability
13 group also had timeliness on theirs. So we
14 didn't have any recommended additional measure
15 concepts.

16 So we had one underneath the who,
17 which was about the percentage of patients that
18 you're actually exchanging with, and the
19 percentage of providers that are actually able to
20 do exchange. And nothing under what, and under
21 how was our using standards. And under when, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 didn't have any because we felt like there was
2 one existing.

3 So anything from my team that needs to
4 be added? Thank you.

5 CO-CHAIR SAVAGE: So for the
6 availability group, we worked off some
7 prioritization of sub-domains that we had done
8 earlier and did three sub-domains: patients and
9 family caregivers, clinical providers, and social
10 determinants of health. Not that those, not that
11 we would only choose three, but that's what we
12 got around to today.

13 For patients, patient and family
14 caregivers/authorized representatives have
15 electronic access to all of their electronic
16 health information in their care team's EHRs.
17 That was the first measure concept.

18 The second was patients can access and
19 use the electronic health information in their
20 providers' EHRs to identify and choose the care
21 of greatest value, which is quality over cost.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Then we went to clinical providers as
2 a second sub-domain, and we actually repeated
3 that measure concept but from a provider
4 perspective. So providers can access and use the
5 electronic health information in their electronic
6 health records to identify and choose the care
7 greatest value. And second measure concept,
8 providers receive and integrate complete
9 electronic summary of care records for each of
10 their patients.

11 And, lastly, for this sub-domain
12 social determinants of health, providers access
13 and integrate the patient's social and
14 environmental determinants of health into the
15 patient's electronic health record. And,
16 secondly, second measure concept there, non-
17 clinical providers in non-clinical settings can
18 contribute relevant social and environmental
19 determinants to the patient's electronic health
20 record.

21 CO-CHAIR KAUSHAL: Julia?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ADLER-MILSTEIN: Okay. So I
2 think there's already been a little bit of
3 overlap, which we'll probably end up discussing,
4 but that's useful. So for us, we did break up
5 separately measure concepts for usability and
6 use. And I think our discussion reflected the
7 fact that there are, in all cases, this could be
8 as perceived by the user whether information is
9 timely, complete, usable, et cetera. But there
10 also may be cases in which there are objective
11 standards against which you could measure
12 timeliness, completeness, et cetera. And so I
13 think our buckets, our measure concepts sort of
14 reflect, you'll see some are objective and
15 subjective, and sometimes they are just more
16 subjective.

17 So for any given dimension that is up
18 there, one measure concept is the perception of,
19 fill-in-the-blank, relevance, timeliness,
20 completeness, et cetera, of data for a given
21 decision or action. Again, that could be then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for any given user facing any given type of
2 decision, their perception of whether that
3 information met those criteria.

4 But then for completeness, and, in
5 particular, we felt that there were some more
6 objective measure that would be possible. So
7 percent of users who had a minimum data set
8 present for a given decision or action or the
9 percent of structured elements that were present
10 for a given decision or action. Again, you could
11 then define what is that set of structured
12 elements that's relevant for that decision or
13 action. So that's what we had in the usability
14 domain.

15 In the use or application sub-domain,
16 for the human use, we had two measure concepts.
17 The first was the percent or frequency with which
18 outside information has been viewed. So just is
19 that information actually getting in front of an
20 eyeball? And was outside data used for a given
21 decision or action, so, again, how you actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure whether something was used by a human.
2 We talked about the fact that that's quite
3 challenging.

4 And then for the computable
5 application, percent or frequency of
6 reconciliation or incorporation of outside
7 information, the percent or frequency of discrete
8 data that's used in a clinical decision. I think
9 that was actually supposed to be clinical
10 decision support or some other type of algorithm
11 or the percent of quality metrics that were
12 generated using discrete data.

13 So those were our --

14 MEMBER O'MALLEY: Fourth group. Here
15 we go. So Bob did our introduction earlier, and
16 he complained that his handwriting wasn't very
17 good. But as we know, he's not a physician, and
18 so when I took the notes this time, this is going
19 to be a much briefer presentation than Bob's.

20 So we whittled our list of potential
21 sub-domains down to really about three, and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we had a whole bunch of afterthoughts. But the
2 three main domains that we came up with were
3 patient safety, appropriate patient follow-up,
4 cost-savings, and propagation of misinformation.
5 And we created a couple of potential measure
6 concepts under each one.

7 So for patient safety, and all of
8 these apply because we assumed that
9 interoperability is fully functioning and that
10 all the work that the other groups have done has
11 come to fruition and we've got it all. So we
12 wanted to measure a few things. So for the
13 patients whose care is shared by two or more
14 health entities that are unrelated, the basis for
15 having interoperability, we wanted to know the
16 number of medication discrepancies among the
17 different medication lists in the shared care
18 team. And it was just the presence of
19 discrepancies which would be important, and
20 that's sort of one step shy of reconciliation
21 because what you do with the discrepancy is you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reconcile it ultimately.

2 And sort of related to that was the
3 number of instances where patients arriving from
4 an outside facility had a medication that was
5 discontinued on admission without an apparent
6 cause, so whether there's an omission. That's a
7 measure concept. And then we had appropriate
8 patient follow-up, so there's measures of number
9 of patients who actually picked up their
10 medication from the pharmacy and the number of
11 patients who were referred to another provider
12 who had their appropriate follow-up care. And
13 that gets into the concept of sort of the closed-
14 loop referral and that whole process of how we
15 use interoperability to manage that.

16 And then under cost-savings, we had
17 really reduction of duplicate labs and radiology
18 events. So, again, for anyone whose care is
19 shared across two or more entities, just the
20 number of, we figure for a select group of labs
21 that don't normally get repeated with any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 frequency, my example is vitamin D levels. You
2 don't measure those very often. You know, so the
3 presence of duplicate vitamin D and other labs
4 like that would be a marker for potential
5 duplication that would be reduced with
6 interoperability.

7 And the same with imaging. It was a
8 little trickier because there are different parts
9 of the system that use imaging differently.
10 Inpatient uses it differently than emergency room
11 differently than a patient, so based on some
12 adjustments that would have to be made. But it's
13 really the presence of duplicate images.

14 And then, finally, the one that got a
15 fair amount of discussion was the propagation of
16 misinformation, which we recognized your Facebook
17 page on the internet problem. How do you get it
18 back? How do you, A, identify that it even
19 exists, that your page got posted? But someone
20 is going to have to look through the record, and
21 it's probably going to be two parties that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 do that. One would be the patient or family
2 themselves to correct misinformation in the
3 family history, past medical history, allergies,
4 current medications. And the other would be the
5 providers doing the same thing. And it's really
6 the amount, the number of times that
7 misinformation is identified. And then a sub-
8 measure would be the number of times that that's
9 actually corrected. And, again,
10 interoperability becomes the tool that allows
11 that to perhaps be corrected.

12 So that was our group, and then we got
13 tired and we were done.

14 CO-CHAIR SAVAGE: Thank you, Dr.
15 O'Malley.

16 So you've heard four sets of measure
17 concepts for four domains. Any thoughts overall?
18 Terry?

19 MEMBER O'MALLEY: Listening to Julia,
20 the list of users and sort of what they are doing
21 really becomes an essential piece of our impact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 domain. So I think, and it's probably true for
2 all of these, I think the domains nicely build on
3 one another, and I think we were very smart in
4 picking in the order that we did because I think
5 there's a natural progression. I suspect that
6 the outputs of each one of these groups around
7 each of these domains is going to be the input
8 for the next sequential group. So good work.

9 DR. PATEL: I just had a quick
10 question. Steve, if you could repeat the who
11 part of your exchange one. The first one was, I
12 think it was like amongst the percentage of
13 patients that are shared between providers, like
14 what proportion of their information is shared.
15 And then the second one seemed to get more at
16 capability, like capability versus whether they
17 actually did it or not.

18 MEMBER WALDREN: So kind of the
19 denominator was this notion of those patients
20 that are being shared, and I use the word shared
21 because I know that Medicare has a patient-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sharing data set that you would actually be able
2 to know who those are because we struggle with
3 finding out how you find the denominator of
4 people that you should have had exchange with.

5 Anyway, and then the nominator then is
6 what percentage of those actually had their
7 information exchanged? So you could get a lot
8 more granular to that and say was it in
9 appropriate time frames so that it was related to
10 that, but we didn't get into that.

11 Then we thought if you were able to
12 capture kind of the NPI of who you're sending it
13 to, then you could use the healthcare taxonomy
14 and database trying to find out saying, okay,
15 well, are those particular categories? So one
16 of the things I think on the user groups in our
17 domain that we may want to be a lot more granular
18 because we may not just care about providers but
19 maybe actually specific type of providers, like
20 dental or behavioral health. So that was the
21 first one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The second measure in the who was
2 trying to say that there are organizations that
3 are engaging in exchange that, to some degree, is
4 about checkmarking the box. So the front-line
5 providers have no clue that there's exchange
6 going on or that they could be doing the exchange.
7 So the second measure is this notion of what
8 percentage of care providers have the capability
9 to send and receive health information exchange
10 so that they're actually engaged in it was the
11 thought there. So those were the two hows.

12 DR. PATEL: So is that capability, or
13 is that whether they actually did it or not?

14 MEMBER WALDREN: I mean, we could do
15 it either way. The problem is, if it's exchange,
16 I think that you have this notion of, well, should
17 they have had exchange? So is it -- I can't
18 think of a good example. Say it's
19 anesthesiologist that only does -- I mean, that
20 was my only concern with having it as doing it,
21 but I get to your point of saying just because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they had the capability that, you know, the
2 vendor and the organization checkmarked a box and
3 say, yes, I gave everybody a direct address, but
4 it's seven layers deep inside the product and it
5 was never used.

6 DR. PATEL: Right. So I guess, I
7 mean, one of the things I was thinking of was,
8 you know, you have a measure of capability, and
9 then that other measure would be the measure of
10 actually whether they're using that capability,
11 I mean potentially.

12 MEMBER WALDREN: Yes. And I guess
13 the question, too, is how feasible is it to do
14 that at the individual level. So is your ability
15 to say, well, this NPI wanted to send it to this
16 NPI. So, again, anyway --

17 DR. PATEL: Julia, not to put you on
18 the spot again, but I don't know if you want to
19 describe that patient-centered measure? Because
20 it relates to this, I think the measure about the
21 shared patients and, you know, the extent to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which information is shared amongst, you know, a
2 common set of patients.

3 MEMBER ADLER-MILSTEIN: Sure. So, I
4 mean, I think we're now sort of in the measure
5 feasibility discussion, but, I mean, Medicare
6 publishes each year a data set that essentially
7 has pairs of NPIs and then tells you the volume
8 of patients that were shared between those two
9 NPIs. So it actually allows you to then start
10 to be able to collect data, not just asking a
11 provider do you or don't you, but do you do it
12 with this particular partner who we can see you
13 share the majority of your patients with.

14 And so we're doing a project right now
15 for ONC where we're going into every HRR and
16 saying the two hospitals that share the highest
17 volume of patients in that market, asking them to
18 describe how they're sharing information with
19 that other hospital. So we'll be able to sort
20 of validate that approach, which I think is very
21 similar.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 And then we're doing a related project
2 where we've actually mapped physician networks
3 for colectomies and looking at the types of
4 providers who participate in the care prior to
5 the colectomy, the colectomy itself, and
6 following the colectomy so that we can really
7 start to understand who is it that needs to share
8 information at these various points in time in a
9 much more sort of use case-specific way.

10 So I think both of these are, you
11 know, I guess, to my mind, obviously important
12 ways to move the measurement forward because it's
13 really getting at who needs to share information.

14 MEMBER WALDREN: And that was the data
15 set I was thinking about, too. The concern would
16 be that it's a little bit delayed, but the
17 assumption -- so the question is do you look back
18 at the interoperability from last year as your
19 measure?

20 MEMBER ADLER-MILSTEIN: So it's very
21 stable year to year. So the highest volume

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sharers are what the five or six years of data
2 with almost very little change, so I think you
3 would ask about the interoperability based on the
4 patient sharing from last year or the year
5 before.

6 CO-CHAIR SAVAGE: So, Jason, the
7 agenda indicates that you would like for us to
8 prioritize these measure concepts. Can you tell
9 us a little bit about what that, give us some
10 guidance about --

11 MR. GOLDWATER: Right. I think just
12 in the 20 minutes that we've got left for today,
13 I think what would probably be helpful right now
14 is to, out of the measure concepts you've
15 discussed now, you know, which ones do you think
16 are the highest priority, you know, which ones do
17 you think could be implemented to the measures
18 that could actually be used in the short term,
19 which ones are more of the aspirational nature?
20 I think that would provide at least some insight
21 to us about how to categorize those.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And then tomorrow we'll start making
2 sure that we've got our sub-domains, domains and
3 sub-domains very carefully defined and crafted
4 and where everybody wants them, we've inputted
5 the appropriate measure concepts. And then
6 probably as a group, we'll start seeing if we can
7 tease out some additional concepts under all of
8 those, not in separate groups but as an entire
9 group. That should pretty much write the
10 framework, and then, after that, we can discuss
11 criteria developers or those that are going to
12 implement this need to keep in mind as they're
13 going forward.

14 So just in the time that we have left,
15 out of the concepts you've come up with, you know,
16 which ones collectively do you think are of the
17 highest importance or priority right now and not
18 just the ones you came up with but, you know --
19 I know that's what Julia was thinking, oh, all of
20 mine. No, I'm kidding.

21 CO-CHAIR SAVAGE: So are we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prioritizing within each of the sub-domains that
2 people identified, or are we -- are you asking us
3 to --

4 MR. GOLDWATER: I think just overall.
5 I think just overall because there's only, I
6 think I counted maybe 15 or 16, so I don't think
7 we need to get that granulate with such a small
8 cohort. So just overall, out of the ones that
9 you have, you know, which ones are very high
10 priority. Yes?

11 CO-CHAIR KAUSHAL: Do we have a full
12 list?

13 DR. PATEL: So maybe we should it
14 domain by domain or something.

15 MR. GOLDWATER: Okay.

16 CO-CHAIR KAUSHAL: So while we're
17 working on that, Tess and Frank have something to
18 say, and then maybe we can go back to domain
19 specific. So go ahead, Tess.

20 MEMBER SETTERGREN: Thank you. So if
21 I'm understanding correctly, if I'm understanding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correctly, tomorrow is when we will talk about
2 gaps in terms of the measurement concepts?

3 MR. GOLDWATER: Yes, correct.

4 MEMBER SETTERGREN: Because, you
5 know, we've tried to stay in our swim lane, which
6 means that, you know, we didn't get into concepts
7 that we thought were in other people's swim
8 lanes.

9 MR. GOLDWATER: That's correct.

10 MEMBER SETTERGREN: And I have not
11 heard from anyone anything about the longitudinal
12 plan of care, which I think is very important and
13 it's part of the reason I came here. So tomorrow
14 would be the right time to talk about that --

15 MR. GOLDWATER: Correct.

16 MEMBER SETTERGREN: Okay.

17 MR. GOLDWATER: Again, I think what
18 would probably be the most effective use of
19 tomorrow's time, just sort of given where we are
20 because, again, we've got domains and sub-domains
21 and some concepts, I think we need to firm up the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 domains and sub-domains, and what I mean by that
2 is that there's consensus amongst everyone that
3 this is what we want going forward, you all want
4 going forward, categorizing the sub-domains or
5 the measure concepts which you all already did in
6 your groups specifically in your swim lanes, and
7 then collectively as a group go back through all
8 of the domains and sub-domains and have you all
9 start thinking of other concepts that would
10 apply, even if you didn't discuss them today.

11 And then I think, at the end of that,
12 we've got a framework that is, again, very
13 representative of all of these.

14 CO-CHAIR KAUSHAL: Frank?

15 MEMBER OPELKA: So I raised this in
16 my group as we went through the exercise, and I'm
17 raising it again to the group as a whole, mostly
18 out of ignorance. But to me, this just is a very
19 broad overarching framework that could be
20 significantly influenced by how you actually
21 carry it out and how you implement this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 If I had to design this today, the way
2 that I would do this is I would go to Samsung or
3 Google or Apple or some group of engineers and
4 say I want you to design for me a mock EHR, I
5 want a mock ED, I want a mock clinic, I want a
6 mock pharmacy, and I want a mock skilled nursing
7 facility or home health. I'd come up with 15 or
8 so different data environments, and then I would
9 ask any EHR to test itself in exchange or any one
10 of these other environments to test themselves in
11 exchange against that environment, and I would be
12 looking at these domains and I would be looking
13 to see, for example, in the use/usability domain
14 work, I could probably do the majority, not all,
15 we talked about that, not all the things that we
16 identified could be easily measured. Some
17 require human interface to evaluate. But a lot
18 of it is machine-testable, and I know the answer.
19 I know what I'm sending or receiving, and I should
20 be able to test the enterprise against it.

21 I feel as if none of these major

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vendors put their own product out there without
2 going through similar quality assessment testing
3 internally and, yet, they may not have had the
4 same standards that we are looking for. In fact,
5 I very much doubt they did. But this seems that
6 it changes a little bit how you prioritize things
7 because, frankly, you could test very effectively
8 on a larger scale by taking a different approach
9 than our traditional measurement of silos and
10 singleton measures here and there. And you could
11 do something much more dynamic in the machine
12 environment if you had engineer input into this.
13 And I feel remiss, in fact it's my homework
14 assignment. I'll reach out to my own engineer
15 buddies who do my own application development for
16 me to ask them this very question.

17 MR. GOLDWATER: And, Alan, just
18 before we get to you, just a follow-up question
19 I think for our team, so I think that's an
20 excellent point. How do you think that should
21 be reflected in the framework itself? I mean,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you've seen frameworks before, so you know how
2 they're laid out. How would you want to
3 articulate that in a way that --

4 MEMBER OPELKA: The only thing I'm
5 worried about isn't so much what you have in terms
6 of domains, but that could dramatically change
7 your prioritization exercise. I mean, now it's
8 what are those things that are machine testable,
9 what are those things that are going to require
10 a human interface, and how do these things, when
11 you start testing along this way, your testing
12 exchange, availability, usability, all at once.
13 So I could have 200 metrics that I could be
14 testing immediately, so it changes how you
15 prioritize what you do. It doesn't change all
16 these frameworks and the elements, domains of the
17 framework. But it has significant contextual
18 influence of how you look at the prioritization.

19 MEMBER SWENSON: So just a question
20 as we're going into this next section of talking
21 about prioritizing the measures is how do we want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to handle or do we care if these are already being
2 measured very similarly in other ways? Like, as
3 we were going around the room and the groups were
4 talking about the ones they had come up with,
5 some of them are very similar to things that are
6 already in the meaningful use program, for
7 example. Should we, in those cases, look to, if
8 we want to measure that thing, just look at what's
9 already been written up for measuring that thing
10 in meaningful use, or are we going to recreate
11 the wheel on all of these, or do we not include
12 it because it's already in meaningful use?

13 CO-CHAIR SAVAGE: Well, speaking for
14 myself, I think we're still identifying priority
15 measure concepts, so maybe they were good in the
16 meaningful use program or they came closer to
17 measuring interoperability instead of being
18 interoperability sensitive measures. So I'd
19 sort of look at it on the merits. Does this
20 advance the cause or not? And the fact that
21 we've already seen a version of it somewhere else

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't think takes it off the table.

2 I know some of the things that the
3 availability group worked on do look like some of
4 the meaningful use measures and we changed some
5 of the wording in order to take it more to a
6 measure concept instead of a measure, so that
7 meaningful use measures might fit under them in
8 a sort of backwards or present-looking place, but
9 there was still room for improvement looking
10 forward to the next two to three years, as well.
11 That was part of the way we were talking about
12 them as our group. Don't know if that's helpful.

13 MEMBER SWENSON: Yes. So I guess the
14 idea is if it's already something that's in
15 meaningful use, we're still okay essentially re-
16 publishing the same idea because it's something
17 someone might have to in the future.

18 DR. PATEL: My view would be that that
19 would be great because we already have a data
20 source for it, you know. I mean, as long as it's
21 something that we all agree is important to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure, you know, if there's already data
2 available in meaningful use or another data
3 source, like a survey measure that we do,
4 national survey-based measures, then I think
5 that's --

6 CO-CHAIR SAVAGE: I'll jump in to say
7 we didn't call them meaningful use measures,
8 though, for a good reason. We were just looking
9 at them on the merits.

10 MEMBER SWENSON: Sure. I guess the
11 one maybe concern there is if what we're
12 publishing are already meaningful use measures,
13 are we coming up with anything beneficial if
14 they're already out there anyway?

15 CO-CHAIR SAVAGE: For myself, I'd say
16 patient access to their health information and
17 their care team's electronic health records, it's
18 still important.

19 CO-CHAIR KAUSHAL: And I think
20 there's a sufficient number of aspirational
21 concepts in here that haven't already been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 included in meaningful use that would be
2 meaningful. Sorry to use meaningful.

3 MEMBER SWENSON: And that's fine.
4 There were definitely several of them that aren't
5 in meaningful use. I guess I'm wondering about
6 the ones that are in meaningful use, are we okay
7 just re-publishing essentially what's already in
8 meaningful use anyway, or do we want this to be
9 entirely new?

10 MS. BAL: It won't necessarily be re-
11 publishing. The reason we're going through this
12 right now is to understand what measure concepts
13 we think are important, and then when we do the
14 exercise tomorrow, based on the exercise that you
15 did before this meeting that are the current
16 measures, we can say this measure already exists,
17 we don't need to reinvent the wheel, this is
18 important enough that we're going to incorporate
19 and emphasize that this is something that's
20 important that should move forward.

21 So it's not so much a re-publishing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It's more just first understanding what we want
2 to measure and then see if there's anything
3 currently that fills that gap.

4 MEMBER BUITENDIJK: Just as a general
5 observation and a challenge, you might have some
6 further insight on that, going through the
7 discussion within the impact group, one of the
8 challenges I think we will be having is that when
9 we talk about prioritization we're really talking
10 about a well-understood list of which we are
11 going to put the top three, the top five on top,
12 versus effectively starting the discussion,
13 examples scratching the surface, given some of
14 the challenges that the conversation we were
15 into.

16 So I think we had a great discussion
17 and conversation about a variety of different
18 measures that would be indicative of
19 interoperability working more effectively and
20 efficiently. But based on the discussions we had
21 earlier around the different stakeholders,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 beneficiaries, what's more or less important
2 areas that we have not been able to dig into,
3 makes me a little bit concerned that we
4 prioritizing giving the impression of we looked
5 at quite a bit and these are the top three that
6 came up, as opposed to these are the number of
7 examples along the lines of which we need to
8 explore further, which is a different perspective
9 on it. I'm kind of curious how you suggest to
10 comment and proceed on that as we go through them.

11 MR. GOLDWATER: That's a good
12 question, Hans. I don't think that, from our
13 perspective, we're looking at ones that are more
14 important than others. It's, as you said, you
15 know, these are the ones that seem to have
16 significance and we want to be exploring these
17 further and potentially including them as a
18 measure concept for future development. It could
19 be measure something now of importance or
20 something aspirationally in the future for
21 importance. And then those that you still view

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 as important where we're able to identify a
2 measure, as Poonam mentioned earlier, than we
3 would consider including that in sort of a
4 starter set of measures.

5 CO-CHAIR SAVAGE: Jason? Does it
6 help to kind of look at it the other way around
7 and just ask are there any measure concepts that
8 folks don't think make much of a contribution?
9 Perhaps drop those and everything else is a part
10 of your exploration.

11 MR. GOLDWATER: That's fine. I mean,
12 it could be done either way. You can look and
13 say these are the ones we want to explore further
14 or these are the ones we think have no value, at
15 least not now. I don't want to say they're
16 meaningless, but right now these are the ones we
17 would not want to explore and leave everything
18 else, that's fine. Whichever is easiest for you
19 all. I don't think we have any fidelity to a
20 particular methodology. It's whatever we want
21 to use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So it's 4:30. And so Bob was giving
2 me this look like it's 4:30, which I got. I
3 understand. So I'm very appreciative that
4 Vanessa was able to type so quickly to get these
5 up, but I think we do need to open it up for
6 public comment and see if there are anything that
7 the public has to say that's been listening in,
8 and then we can end for today and we'll just pick
9 this up tomorrow, and that will lead to the larger
10 discussion of formalizing everything and then
11 getting into the measures themselves.

12 So with that in mind, operator, can
13 you please open the line for public comments?

14 OPERATOR: And at this time, if you
15 would like to make a public comment, please press
16 star then the number 1 on your telephone keypad.
17 Again, that's star 1 to make a public comment.
18 And we have no public comments at this time.

19 MR. GOLDWATER: Okay. Thank you very
20 much. Again, thanks to all of you for your
21 active participation. It's been a long day, so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I am very appreciative. It makes us pine for the
2 open-source days, Steve, way back when. That was
3 a lot easier to do, but this has been a very
4 productive day. I think we've gotten a lot done,
5 and it certainly sets up tomorrow very well.

6 So thanks to all of you very, very
7 much. We appreciate all of your help and
8 participation. A particular thanks to Mark and
9 Rainu for being our cruise directors today. That
10 is never an easy task for anyone. And I hope you
11 all enjoy dinner. It's a terrific restaurant.
12 The veal saltimbocca is phenomenal there.

13 MS. BAL: Speaking of dinner, before
14 we adjourn, if you would like to attend, we do
15 have a sign-in sheet right here on this public
16 desk right here. So we just want you to sign in
17 and let us know that you're arriving, so we can
18 make sure that we give the restaurant an accurate
19 number. It is at 6:30 only about two blocks down
20 from here. Your hotel is not that far, so you
21 do have a little bit of time to go back to your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hotel and freshen up before dinner, as well.

2 But just give us a heads-up to let us
3 know if you'll be attending by signing up on the
4 sheet.

5 MR. GOLDWATER: It's Siroc, the
6 restaurant.

7 CO-CHAIR SAVAGE: Thank you so much,
8 everyone.

9 MS. BAL: And we can email that out,
10 as well.

11 MR. GOLDWATER: Thank you all very
12 much. We appreciate it, and we'll see you all
13 tomorrow. Thanks so much.

14 (Whereupon, the above-entitled matter
15 went off the record at 4:27 p.m.)

16

17

18

19

20

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1

2