

NATIONAL QUALITY FORUM

+ + + + +

MEASURE APPLICATIONS PARTNERSHIP

+ + + + +

HOSPITAL WORKGROUP

+ + + + +

THURSDAY  
DECEMBER 14, 2017

+ + + + +

The Workgroup met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 9:00 a.m., Cristie Upshaw Travis and Ronald Walters, Workgroup Co-Chairs, presiding.

MEMBERS PRESENT:

CRISTIE UPSHAW TRAVIS, Co-Chair  
RONALD WALTERS, Co-Chair  
KEITH BELLOVICH, Kidney Care Partners  
ANDREA BENIN, Children's Hospital Association  
JOAN BRENNAN, Geisinger Health System \*  
ANNA DOPP, Pharmacy Quality Alliance  
NANCY FOSTER, American Hospital Association  
FRANK GHINASSI, National Association of  
Psychiatric Health Systems  
KIMBERLY GLASSMAN, Nursing Alliance for Quality  
Care\*  
MARYELLEN GUINAN, America's Essential Hospitals  
HELEN HASKELL, Mothers Against Medical Error  
MARTIN HATLIE, Project Patient Care  
RICHARD KNIGHT, American Association of Kidney  
Patients  
MARSHA MANNING, University of Michigan  
SARAH NOLAN, Service Employees International  
Union

JANIS ORLOWSKI, Association of American Medical  
Colleges  
AISHA PITTMAN, Premier Healthcare Alliance  
KAREN SHEHADE, Medtronic-Minimally Invasive  
Therapy Group  
BROCK SLABACH, National Rural Health Association  
MARISA VALDES, Baylor Scott & White Health  
WEI YING, Blue Cross Blue Shield of  
Massachusetts

SUBJECT MATTER EXPERTS (VOTING):

GREGORY ALEXANDER  
ELIZABETH EVANS  
LEE FLEISHER  
JACK JORDAN \*  
R. SEAN MORRISON  
ANN MARIE SULLIVAN  
LINDSEY WISHAM

FEDERAL GOVERNMENT MEMBERS (NON-VOTING):

PAM OWENS, Agency for Healthcare Research and  
Quality \*  
DAN POLLOCK, Centers for Disease Control and  
Prevention  
PIERRE YONG, MD, MPH, MS, Centers for Medicare &  
Medicaid Services

MAP MEDICAID LIAISONS:

RICHARD ANTONELLI, MD \*  
MARISSA SCHLAIFER, RPh, MS \*

**NQF STAFF:**

ELISA MUNTHALI, MPH, Acting Senior Vice  
President

KAREN JOHNSON, Senior Director

MELISSA MARINELARENA, Senior Director

ERIN O'ROURKE, Senior Director

TAROON AMIN, NQF Contractor

KATE MCQUESTON, Project Manager

DESMIRRA QUINNONEZ, Project Analyst

**ALSO PRESENT:**

SUSANNAH BERNHEIM, MD, MHS, Yale School of  
Medicine

JOSEPH CLIFT, Centers for Medicare and Medicaid  
Services

ELIZABETH DRYE, MD, MS, Yale Center for Outcomes  
Research and Evaluation

REENA DUSEJA, Centers for Medicare and Medicaid  
Services

JESSE ROACH, MD, Centers for Medicare and  
Medicaid Services

JOSEPH MESSANA, MD, University of Michigan

COLLEEN MCKERNAN, The Lewin Group

LISA SUTER, MD, Yale University

\* present by teleconference

## C O N T E N T S

Welcome . . . . .	6
Disclosures of Interest and Introductions. . . . .	6
Review of Meeting Objectives . . . . .	17
CMS Opening Remarks and Review of Meaningful Measures Framework . . . . .	22
Overview of Pre-Rulemaking Approach. . . . .	54
Overview of the End-Stage Renal Disease Quality Incentive Program (ESRD QIP) Program and Opportunity for Public Comment on Measures Under Consideration - Pre-Rulemaking Input for ESRD QIP. . . . .	78
MUC17-176: Medication Reconciliation for Patients Receiving Care at Dialysis Facilities . . . . .	82
MUC17-241: Percentage of Prevalent Patients Waitlisted (PPPW). . . . .	95
MUC17-245: Standardized First Kidney Transplant Waitlist Ratio for Incident Dialysis Patients (SWR). . . . .	104
Overview of the Prospective Payment System (PPS)- Exempt Cancer Hospital Quality Reporting (PCHQR) Program and Opportunity for Public Comment on Measures Under Consideration . . . . .	151
Pre-Rulemaking Input for PPS-PCHQR MUC17-178: 30-Day Unplanned Readmissions for Cancer Patients. . . . .	151
Overview of the Ambulatory Surgery Center Quality Reporting (ASCQR) Program and Opportunity for Public Comment on Measures Under Consideration. . . . .	153

Pre-Rulemaking Input for ASCQR MUC17-233: Hospital Visits following General Surgery Ambulatory Surgical Center Procedures . . . . .	157
Overview of the Hospital Outpatient Quality Reporting Program (HOQR) and Opportunity for Public Comment on Measures Under Consideration . . . . .	178
Pre-Rulemaking Input for HOQR MUC17-223: Lumbar Spine Imaging for Low Back Pain. . . . .	180
Overview of the Hospital Inpatient Quality Reporting (HIQR) Program and Medicare and Medicaid EHR Incentive Program for Hospitals and Critical Access Hospitals (CAHs) (Meaningful Use) and Opportunity for Public Comment on Measures Under Consideration. . . . .	224
Pre-Rulemaking Input for HIQR MUC17-195: Hospital-Wide All-Cause Risk Standardized Mortality Measure . . . . .	228
Pre-Rulemaking Input for HIQR  MUC17-195: Hospital-Wide All-Cause Risk Standardized Mortality Measure . . . . .	228
MUC17-196: Hybrid Hospital-Wide All-Cause Risk Standardized Mortality Measure . . . . .	304
MUC17-210: Hospital Harm Performance Measure: Opioid Related Adverse Respiratory Events. . . . .	326
Input on Measure Removal Criteria. . . . .	355
Adjourned. . . . .	382

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:01 a.m.)

3 MS. MARINELARENA: Good morning,  
4 everyone. I think we're going to go ahead and  
5 get started. We have a long day to review these  
6 measures and have these great conversations.

7 Hi. My name is Melissa Marinelarena.  
8 I am the senior director on the MAP Hospital  
9 Group.

10 I'd like to welcome everyone back for  
11 those of you that are back with us again this  
12 year. And for those of you that are new, which  
13 we will have introductions, welcome to MAP  
14 Hospital Group. This is an exciting time for  
15 everyone.

16 Right now I'm going to -- and I'd also  
17 like to welcome our CMS colleagues, the measure  
18 developer colleagues in the back, and anyone  
19 who's listening on the phone to the meeting  
20 today. Welcome.

21 Right now I'm going to turn it over to  
22 Elisa Munthali to do the disclosures of interest.

1 MS. MUNTHALI: Good morning and  
2 welcome, everyone. My name is Elisa Munthali.  
3 I'm the acting Senior Vice President for the  
4 Quality Measurement Department.

5 I am going to ask you to combine  
6 disclosures of interest with your introductions  
7 and it's going to be done in two parts.

8 There are two types of members on this  
9 workgroup; the organizational representatives and  
10 subject matter experts.

11 We're going to start with the  
12 organizational representatives. And for you, as  
13 you remember, we asked you a very simple question  
14 about you as an individual because you are a  
15 representative. We've asked you to participate  
16 on this workgroup because of your affiliation  
17 with your employer.

18 So we asked you if you had anything to  
19 disclose in excess of \$10,000. And so we'll go  
20 around the room, and I think on the phone we have  
21 a couple of organizational reps. So I think  
22 we'll start with Marisa.

1                   And, Marisa, sorry, a couple of  
2                   housekeeping things. You have to press speak and  
3                   just say your name, tell us if you have anything  
4                   to disclose.

5                   MEMBER VALDES: Hi. Marisa Valdes  
6                   from Baylor Scott & White Health. Nothing to  
7                   disclose.

8                   MEMBER GLASSMAN: Kim Glassman. I'm  
9                   representing the Nursing Alliance for Quality  
10                  Care. Nothing to disclose.

11                  MEMBER EVANS: I'm Beth Evans. I'm  
12                  actually a subject matter expert for the American  
13                  Nephrology Nursing Association and I have nothing  
14                  to disclose.

15                  MS. MUNTHALI: And just before we  
16                  continue, we're just doing the organizational  
17                  reps right now and then we'll go through the  
18                  subject matter experts. Thank you.

19                  MEMBER YING: I'm Wei, Blue Cross Blue  
20                  Shield of Mass. Nothing to disclose.

21                  MEMBER GHINASSI: Frank Ghinassi from  
22                  Rutgers representing National Association of



1       Psychiatric Health Systems. Nothing to disclose.

2               MEMBER SHEHADE: And I'm Karen Shehade  
3 with Medtronic's Minimally Invasive Therapy Group  
4 and I do have disclosures of stock.

5               MS. MUNTHALI: Thank you.

6               MEMBER BRENNAN: This is Joan Brennan  
7 from Geisinger and I have no disclosures.

8               MS. MUNTHALI: Thank you. And we'll  
9 get to everyone else on the phone after we've  
10 gone around the room. Thank you so much.

11              MEMBER KNIGHT: Richard Knight, the  
12 American Association of Kidney Patients and I  
13 have nothing to disclose.

14              MEMBER BELLOVICH: Keith Bellovich  
15 representing Kidney Care Partners, rookie on the  
16 group, apparently. I am the medical director  
17 with the DaVita Corporation and also a joint  
18 venture partner.

19              MEMBER BENIN: I'm Andrea Benin. I'm  
20 at Connecticut Children's Medical Center, but I  
21 am the organizational representative for the  
22 Children's Hospital Association.

1                   MEMBER HASKELL: I'm Helen Haskell  
2                   representing Mothers Against Medical Error and I  
3                   have nothing to disclose.

4                   MEMBER SLABACH: Good morning. I'm  
5                   Brock Slabach with the National World Health  
6                   Association and I have nothing to disclose.

7                   MEMBER GUINAN: Good morning,  
8                   everyone. Maryellen Guinan for America's  
9                   Essential Hospitals. Nothing to disclose.

10                  MEMBER FOSTER: Good morning. I'm  
11                  Nancy Foster with the American Hospital  
12                  Association. Nothing to disclose.

13                  MEMBER POLLOCK: Dan Pollock, the  
14                  Centers for Disease Control and Prevention,  
15                  Atlanta. Nothing to disclose.

16                  MEMBER ORLOWSKI: Good morning. I'm  
17                  Janis Orlowski. I'm with the Association of  
18                  American Medical Colleges. Nothing to disclose.

19                  MEMBER HATLIE: I'm Marty Hatlie,  
20                  Project Patient Care. I have nothing to  
21                  disclose.

22                  MEMBER PITTMAN: Aisha Pittman with

1 the Premier Healthcare Alliance. Nothing to  
2 disclose.

3 MEMBER NOLAN: Sarah Nolan, Service  
4 Employees International Union. Nothing to  
5 disclose.

6 MEMBER MANNING: I'm Marsha Manning  
7 representing the University of Michigan Benefits  
8 Office. I have nothing to disclose.

9 MEMBER DOPP: Good morning. Anna  
10 Legreid Dopp. I work for the American Society of  
11 Health-System Pharmacists, but I'm representing  
12 the Pharmacy Quality Alliance this morning.  
13 Nothing to disclose.

14 MS. MUNTHALI: Great. Thank you. And  
15 so now we'll go to the phone for our  
16 organizational representatives.

17 And, Joan, if you could just give us  
18 your disclosure again, sorry about that.

19 MEMBER BRENNAN: I'm Joan Brennan.  
20 I'm representing Geisinger and I have nothing to  
21 disclose.

22 MS. MUNTHALI: Thank you.

1 Is Jeff Jacobs on from STS?

2 (No response.)

3 MS. MUNTHALI: Okay. Doesn't sound  
4 like he is on yet, and so we'll go back to the  
5 phone if he does join.

6 And so now we'll start with our  
7 subject matter experts. And for those of you who  
8 are subject matter experts, you know your form  
9 was a lot longer.

10 We asked you to disclose activities  
11 that were relevant to the work that's in front of  
12 you, whether it was, you know, disclosures  
13 related to consulting or any speaking  
14 arrangements or engagements that you've had,  
15 whether they were paid or not.

16 And so just as a reminder for those of  
17 you that are SMEs, you sit here as an individual.  
18 So you're not representing your employer or  
19 anyone who may have nominated you.

20 And just a couple of other reminders  
21 that are really important for you to remember is  
22 just because you disclose does not mean you have

1 a conflict.

2 And so we'll go around the room and I  
3 think we'll start with Kim. Kim, did you --  
4 okay. So we'll go around the room to see if  
5 there are any subject matter experts that didn't  
6 go around the first time when we did the  
7 organizations. Thank you.

8 MEMBER SULLIVAN: Ann Sullivan,  
9 subject matter expert, mental health, and the  
10 Commissioner, New York State Office of Mental  
11 Health. No disclosures.

12 MEMBER ALEXANDER: Greg Alexander,  
13 subject matter expert, nursing informatics. Only  
14 disclosures I have, I have research funding  
15 through the Centers for Medicare and Medicaid. I  
16 also have research funding through the Agency for  
17 Healthcare Research and Quality.

18 MEMBER FLEISHER: Lee Fleisher,  
19 subject matter expert for method, methodology. I  
20 have funding through NIA and NIH for developing  
21 novel methodology to assess quality.

22 The first measure on the ambulatory

1 surgery is based upon some of my own research  
2 from about a decade ago, and I currently have  
3 some work with Yale around an all-cause mortality  
4 measure.

5 I can't tell if that's what's  
6 submitted here. But if that is the Yale core  
7 measure, they can tell whether I was one of the  
8 consultants who helped develop it.

9 MEMBER WISHAM: Good morning. Lindsey  
10 Wisham. I serve as a subject matter expert for  
11 health informatics and electronic clinical  
12 quality measures. No disclosures.

13 MS. MUNTHALI: Okay. Great. I think  
14 that's all in the room -- oh.

15 MEMBER MORRISON: Sean Morrison, Chair  
16 of Geriatrics and Palliative Medicine at Mount  
17 Sinai. So obviously older adults and those with  
18 serious illness.

19 MS. MUNTHALI: Thanks, Sean. And  
20 wanted to see if Jack Jordan has joined us.

21 MEMBER JORDAN: Yes, I'm here.

22 MS. MUNTHALI: Oh, hi, Jack. Could

1       you let us know if you have anything to disclose?

2               MEMBER JORDAN: I'm employed by Henry  
3       Ford Health System and I consult with IMPAQ  
4       International on the -- in CMS contracts. But  
5       otherwise, I have nothing to disclose.

6               MS. MUNTHALI: Thank you very much.

7               And so now I'll turn it over to our  
8       federal liaisons for an introduction.

9               Oh, our co-chairs. Sorry about that.

10              CO-CHAIR TRAVIS: I'm Cristie Travis.  
11       I'm with the Memphis Business Group on Health and  
12       I'm going to ask you, Elisa, I'm not sure under  
13       which disclosure I should make my disclosures.

14              MS. MUNTHALI: You are a subject  
15       matter expert, yes.

16              CO-CHAIR TRAVIS: Okay. The only  
17       thing I have to disclose and it really doesn't  
18       address any of the specific issues that we're  
19       talking about today, but I do serve on a health  
20       policy intensive faculty where I am reimbursed to  
21       lead a course at Johnson & Johnson on CMS payment  
22       programs and the inclusion of quality, but it's

1 just a factual presentation.

2 CO-CHAIR WALTERS: Ron Walters. I'm  
3 a subject matter expert, I guess. I work at MD  
4 Anderson. I'm on the board of NCCN, which is the  
5 National Comprehensive Cancer Network, and the  
6 board of TMF QIN-QIO. Neither of those are  
7 paying positions, unfortunately.

8 And I'm very disappointed that under  
9 the Sunshine Act I was originally at \$11 and I  
10 don't know where that \$11 came from, and it  
11 jumped to \$110 this year and I don't know where  
12 that came from either. That's everything.

13 CO-CHAIR TRAVIS: Although not related  
14 to any specific measures today, I am the acting  
15 chair of the Leapfrog Group and serve on their  
16 board of directors.

17 MS. MUNTHALI: Great. Thank you and  
18 sorry for that. And so now to our federal  
19 liaisons. We have some in the room and some on  
20 the phone. We'll start with the room.

21 MEMBER YONG: Hi. Pierre Yong, CMS.

22 MS. DUSEJA: Reena Duseja, CMS.



1 MS. MUNTHALI: Pam from AHRQ, are you  
2 on the phone?

3 MEMBER OWENS: I am. This is Pam  
4 Owens from the Agency for Healthcare Research and  
5 Quality.

6 MS. MUNTHALI: Thank you. And I just  
7 wanted to remind you -- okay. Great. So our  
8 federal liaisons are on here for the discussion.  
9 They are nonvoting members.

10 Now that you've heard all of the  
11 disclosures from your colleagues, I just want to  
12 know if you have any questions of each other.

13 (No response.)

14 MS. MUNTHALI: Doesn't look like it.  
15 At any time if you remember or if something pops  
16 up like Lee was just saying, he wasn't sure if he  
17 has a conflict on a measure, please speak up.  
18 You can do so in realtime, you can approach your  
19 co-chairs or any one of us on the NQF team.

20 You can also just pull us aside and  
21 that's fine as well. So I just want to, before I  
22 conclude today, just see if there are any other

1 questions about disclosures.

2 (No response.)

3 MS. MUNTHALI: Okay. Thank you.

4 CO-CHAIR TRAVIS: Okay. Well, I'll  
5 just add Ron's and my welcome to everybody. Good  
6 to see you again, those of you who have served on  
7 this workgroup for a number of years, and we  
8 welcome our new participants as well.

9 It is a large group and so thank you  
10 for the time and commitment that you have made  
11 for this.

12 As you see on the agenda today, we do  
13 have, I think, nine measures that we will be  
14 going through related to specific federal  
15 programs, but we also do have a couple of special  
16 presentations that we will have after we have  
17 gone through the measures themselves, and they're  
18 listed on your agenda.

19 We will be hearing about the -- I want  
20 to be sure I get it right -- the Hospital-  
21 Acquired Condition Reduction Program.

22 And, really, we don't have any

1 measures under that today, but this is our  
2 opportunity to kind of hear about what the  
3 thoughts are moving forward with this program and  
4 for us to share our insights.

5 We also will be hearing later in the  
6 day from the MAP Rural Health Initiative and  
7 Karen will be giving us a presentation at the end  
8 of the day about that new group and how we will  
9 be interacting with that group and NQF's focus on  
10 rural health.

11 It is a very important piece. So I  
12 know it's at the end of the day. Hopefully we'll  
13 all still be here to listen to that.

14 And then the other piece is some input  
15 on the measure removal criteria. And, you know,  
16 as we will hear from Pierre when he kind of goes  
17 over some introductory remarks, being sure that  
18 the measure sets actually reflect the priorities  
19 and where there are opportunities is really  
20 important.

21 So thinking about the measure set as  
22 a whole, not just adding new measures, but at

1 some point when measures are ready to come out,  
2 that's going to be some of the conversation that  
3 we have later today.

4 So thank you all so much for all the  
5 prep that you have done to get ready for today,  
6 and also to help us think through some of these  
7 strategic issues at the end of the day.

8 So with that, I think that it is about  
9 time -- oh, we haven't introduced staff. Well,  
10 thank you. That's why there is a co-chair  
11 because as you're talking, you forget. So thank  
12 you, Ron, for that.

13 I would want to be sure to recognize  
14 the staff and have them introduce themselves.  
15 For those of us who have been on the workgroup  
16 for a number of years, you know what a vital role  
17 the staff plays in helping us prepare adequately  
18 to be able to actually take action on our  
19 responsibilities during the workgroup meeting.

20 So and all I can say is that we  
21 couldn't be here without their leadership and  
22 their assistance.

1                   So you've already met Elisa. So I  
2                   think we'll start over here and introduce  
3                   ourselves.

4                   MS. QUINNONEZ: Good morning. My name  
5                   is Desmirra Quinnonez and I am the project  
6                   analyst on this workgroup.

7                   MS. MCQUESTON: Hi, everyone. I'm  
8                   Kate McQueston. I'm project manager at NQF.

9                   MS. MARINELARENA: Hi, again. Melissa  
10                  Marinelarena, senior director.

11                  MR. AMIN: Hi, everyone. Good to see  
12                  everyone. Taroon Amin, consultant to the NQF  
13                  supporting the MAP Coordinating Committee with my  
14                  colleague Erin O'Rourke in the back.

15                  MS. QUINNONEZ: Erin says hi.

16                  (Laughter.)

17                  MS. QUINNONEZ: Before we move on, I'd  
18                  also like to recognize we have our Medicaid  
19                  liaisons on the phone.

20                  We have Marisa Schlaifer representing  
21                  the MAP Adult Workgroup, and Richard Antonelli  
22                  representing the MAP Child Workgroup liaison.

1 And they'll be available over the phone and be  
2 able to comment on any Medicaid-related measures.

3 CO-CHAIR TRAVIS: Okay. Well, thank  
4 you for that -- oh, and Karen -- Karen Johnson is  
5 in the back here as well. So thank you for that.

6 All right. Well, I think we will go  
7 on and get started. And we're going to turn it  
8 over to Pierre Yong from CMS to give us some  
9 opening remarks and also to review for us the  
10 meaningful measures framework that we should be  
11 keeping in mind as we take our action today.

12 MEMBER YONG: Well, thanks so much,  
13 Cristie. Good morning, everybody. And for folks  
14 who don't know me, I'm Pierre Yong, the director  
15 of the Quality Measurement and Value-Based  
16 Incentives Group at CMS where I and my team work  
17 on all the Medicare quality reporting and  
18 accountability programs that are a discussion at  
19 the MAP these past three days this week.

20 And so wanted to take the time and  
21 really thank all of you for taking time out of  
22 your really busy schedules and lending us your

1 expertise across, you know, the past couple of  
2 months for this particular effort. It's really  
3 nice to see a lot of familiar faces around the  
4 table and also nice to see some new faces as  
5 well.

6 So we hope that today we'll be able to  
7 -- and we always value the opportunity to hear  
8 your input and your recommendations. It's always  
9 a fantastic discussion. I expect nothing less  
10 today, but wanted to offer some framing comments.

11 And I see Erin sitting in the back  
12 over there, but Erin has heard this presentation  
13 so many times I think she can give it for me. I  
14 thought she was today, but -- and I would gladly  
15 let her, but you have probably heard this  
16 presentation also a number of times.

17 So I apologize I'm going to go fairly  
18 quickly in order to save some time for questions  
19 and discussion, but you probably have heard our  
20 Administrator Seema Verma as she launched an  
21 initiative called Patients Over Paperwork.

22 And the goal, I think, there, is

1 really to look critically at our regulations and  
2 our requirements and really think about what is  
3 really essential to help support, you know, and  
4 safeguard safety and quality and -- but really  
5 sort of try to support the work that -- the  
6 clinical care that's happening across the  
7 country. And really trying to minimize the  
8 burden and try to get out of the way so that you,  
9 as clinicians and providers and facilities, can  
10 really focus on what's important to the care  
11 that's being delivered and the patient.

12           So as part of that, we have been  
13 thinking about the quality measures as that's a  
14 big part of the CMS programs, is the quality  
15 reporting programs.

16           And so as part of that, we have also  
17 launched a framework called Meaningful Measures.  
18 And so that's what I was going to talk about  
19 today.

20           So if you move to the next slide, and  
21 if you move to the next slide, the framework  
22 itself is really drawn from a lot of the feedback



1 we have received over the past couple of years  
2 from conversations we've had in this very room  
3 and with this very workgroup, but also a lot of  
4 conversations that have happened elsewhere,  
5 including at the National Academy of Medicine as  
6 well as at the LAN, the Learning and Action  
7 Network, about sort of the measures that we're  
8 using in our programs.

9 Over the years, people have noted that  
10 we've had an increasing number of measures in our  
11 programs and that as that sort of measure -- the  
12 measure sets increase, there are a couple of  
13 issues that sort of arise.

14 One, that, you know, it becomes harder  
15 and harder to sort of decipher, when you look at  
16 the measure set, what is the overall measure set  
17 trying to accomplish? What are we really trying  
18 to focus on in terms of quality measurement as  
19 well as quality improvement?

20 Two, as we increase the number of  
21 measures, there's also an increasing burden,  
22 right, placed on providers for reporting measures

1 and as well as sort of reviewing, you know, the  
2 data, reviewing the preview reports, reviewing  
3 what's publicly reported.

4 So as a part of that, a way to address  
5 that, we have been thinking internally about, you  
6 know, how do we then get to the most  
7 parsimonious, but sort of meaningful measures for  
8 each of our programs that imposes the least  
9 burden possible?

10 And so we -- this framework that I'm  
11 going to go over has multiple components. The  
12 meaningful measure areas themselves really focus  
13 on the sort of topical areas that we think are of  
14 the highest importance to really drive quality  
15 and quality improvement really for -- to improve  
16 quality for the patient, but underlying that  
17 there are also other considerations that I think  
18 we -- are just as equally important. And they're  
19 listed on the slide and I'll review them really  
20 quickly.

21 So not only is the first point  
22 addressing sort of the measures, but we really

1 want to make sure that the measures themselves  
2 are meaningful to patients and to providers that  
3 -- and we've had many discussions around not just  
4 on the MAP side, but also on the endorsement side  
5 about sort of why you should eventually move to  
6 an increasing number of outcome measures over  
7 process measures.

8 This does not mean that there's no  
9 role for process measures. But I think when  
10 there's a choice, oftentimes we will prefer the  
11 outcome measure if possible.

12 That burden is a critical  
13 consideration, as I mentioned before. For  
14 measures that we use, we want to see that there's  
15 opportunity for improvement.

16 I think this is particularly critical  
17 as we have an increasing suite of accountability  
18 programs where we then try and decide payments  
19 based on performance of measures.

20 So if there's a significant  
21 opportunity in variation of the measure  
22 performance, I think that allows for more

1 meaningful distribution and assessment of  
2 facility and clinician performance.

3 We want to eventually sort of move to  
4 and support payment through alternative payment  
5 models and so think about measures in that  
6 context.

7 And we also want to make sure we align  
8 not only within CMS in terms of our measure work,  
9 but also across payers as we've often heard from  
10 clinicians and institutions that they're  
11 reporting not just to Medicare, right, we're not  
12 the only payer, but they're reporting to other  
13 payers, private payers, they're reporting to  
14 states. And so having some alignment between the  
15 reporting will help ease the burden there.

16 So if you move to the next slide, I'm  
17 not going to review this in detail, but it draws  
18 -- just illustrates that we've drawn on a couple  
19 of existing resources that have been really  
20 focusing on similar sort of efforts, including at  
21 the NQF.

22 If you move to the next slide, for

1       those familiar with the Learning and Action  
2       Network white paper on population health  
3       measures, I thought this particular graphic was  
4       really useful in sort of demonstrating at least  
5       conceptually what we're trying to do.

6               So if you look on the right side if  
7       you look on the bottom, you'll see these little  
8       circle -- blue circles. And what they've called  
9       Level 3, or atomistic performance measures, are  
10      little dots you can think of as an individual  
11      measure.

12             But what they encourage us -- or  
13      encourage the field, really, to do is move  
14      towards these Level 1 and Level 2 measures, these  
15      larger sort of more big dots, if you will.

16             And so the framework itself aren't  
17      measures, they are meaningful measurement areas,  
18      but we thought that was a good step forward in  
19      helping us focus our work.

20             So if you move to the next slide,  
21      these are the initial 18 that we identified of  
22      meaningful measurement areas.

1                   They are grouped in six domains and  
2                   are surrounded in the center with the patient at  
3                   the center and then surrounded by several  
4                   crosscutting principles.

5                   And so if you move to the next slide,  
6                   I'm going to quickly review each of the 18 just  
7                   before we open this up for discussion.

8                   The first domain is making care safer  
9                   and here we have healthcare-associated infections  
10                  as well as preventable healthcare harm.

11                  If you look on the right side of the  
12                  slide, you can see that you have these little  
13                  circles. That's just to demonstrate that we've  
14                  started to think about how to apply these  
15                  meaningful measure areas to our programs and see  
16                  what measures we have existing in our programs  
17                  that address this particular meaningful measure  
18                  area.

19                  So under healthcare-associated  
20                  infections, you'll see, for example, that we have  
21                  the CLABSI measure, which is the central line-  
22                  associated bloodstream infection measure, which

1 is present in several of our programs.

2 If we move to the next slide, we have  
3 strengthening person and family engagement. And  
4 here we have care that is personalized to and  
5 aligned with patient's goals, end-of-life care  
6 and patient -- I'm sorry, I can't see because of  
7 the reflection. Sorry. I apologize. I'm sorry,  
8 I can't see as well from this angle because of  
9 the reflection. Apologize.

10 If you move to the next slide, here we  
11 have promotion of effective communication and  
12 care coordination.

13 And here we have medication  
14 management, we have management -- sorry -- and we  
15 have seamless transfer of health information.

16 If you move to the next slide, here we  
17 have promotion of effective at prevention and  
18 treatment of chronic illnesses. And if you'll  
19 excuse me, I won't read all of them through, but  
20 we have a number of meaningful measurement areas  
21 here.

22 If you move to the next slide, working

1 with communities to promote best practices and  
2 healthy living. And here we have two meaningful  
3 measurement areas, including community engagement  
4 and equity of care. I do want to pause for a  
5 second on equity of care.

6 I think you can think of equity of  
7 care in a variety of ways. You can think of  
8 particular measures that might address equity of  
9 care, but you can also think about other ways.  
10 And certainly at CMS, we have other levers,  
11 really, to address equity of care. So we think  
12 about this a bit broader than particularly just  
13 measures, for example.

14 So those, you know, familiar with the  
15 Hospital Readmissions Reduction Program realize  
16 that we, this year, have shifted the direction of  
17 the program in terms of how we assess hospitals  
18 by stratification approach where we have  
19 stratified hospitals -- assessment of hospitals  
20 based on the percentage of dual eligibles. So  
21 that's sort of a more payment-side approach, if  
22 you will, to sort of address equity.



1                   We also have several initiatives  
2           happening on the quality-improvement side. So it  
3           is broader than measurement, I think. And the  
4           framework itself, I think, encompasses more than  
5           just measurement, per se. It includes quality  
6           improvement work as well.

7                   So if you move to the next slide,  
8           making care affordable is in this last sort of  
9           domain. And so I won't -- again, won't read  
10          through the specific domain -- specific mission  
11          meaningful measurement areas.

12                   If you move to the next slide, we've  
13          had the opportunity to do this presentation a  
14          number of times. And I apologize, I should have  
15          all these memorized at this point, right?

16                   So but a couple of questions that have  
17          come up that we just thought would be helpful to  
18          address up front; one is that the meaningful  
19          measure framework is really an overarching way  
20          for us to think about the measures and the  
21          quality improvement efforts that we have at CMS.

22                   It, by itself, is not a new quality

1 reporting program. It doesn't impose any new  
2 requirements or impose any new measures on any  
3 particular provider or institution.

4 I think the other common question we  
5 get is, well, how is this going to be applied?  
6 How will we see it manifest? How will it impact  
7 burden that I feel as a provider? And I think  
8 those are fantastic questions.

9 So, one, we have started to think  
10 about how this applies, you know, to the MUC  
11 list, for example.

12 And as you may have noted, and for  
13 those who have been following this and sat around  
14 the table, you know, the MUC list is fairly  
15 succinct this year.

16 And that's a reflection of, I think,  
17 the critical sort of thinking that we're doing as  
18 we apply this framework to, you know, our  
19 measurement work, you know.

20 This year we actually had almost 200  
21 measures submitted across the programs. And we  
22 actually put forward on the MUC list less than a

1 quarter of them, but it doesn't stop there.

2 I think we are also starting to think  
3 about how this applies to the existing measure  
4 sets and looking closely at each of the measures  
5 in each of our programs to see whether it makes  
6 sense to keep those measures, potentially remove  
7 those measures, you know, and so that's an  
8 internal discussion that's happening.

9 As noted earlier, we will have a  
10 discussion later on in the day about potential  
11 measure-removal criteria. We are having this  
12 discussion or have had this discussion across the  
13 other two MAP workgroups, and really has been  
14 great feedback to us about things that we should  
15 be thinking about as we do this review.

16 Certainly any decisions that get made  
17 will be put forward through our regular process  
18 in terms of notice and comment and rulemaking.  
19 So you can look forward to that in the coming  
20 months.

21 But as we also apply and look at our  
22 framework and at the measure sets, we are also

1 starting to think about gaps, right? And I think  
2 that's a common discussion that we have across  
3 all the workgroups, but I think there's  
4 opportunity to also think about how this applies  
5 to the measure development work. And so how do  
6 we fill those gaps and what kind of measures are  
7 we going to be developing?

8 And that's, obviously, a multi-year  
9 process, but we think ultimately hopefully this  
10 will lead us to our goal, which is really trying  
11 to get to these concise and less burdensome  
12 measure sets that really target the really  
13 critical quality areas that we want to -- are  
14 going to drive quality and quality improvement  
15 for the country.

16 So I'm going to stop there if you --  
17 there's one last slide, but see -- and open this  
18 up for questions. This is an initial sort of 18  
19 set of meaningful measure areas. We'd love to  
20 hear your feedback.

21 Are these the right 18? Is there  
22 something that's missing? Are there ways to make

1       this clearer? But welcome any and all feedback.

2                   CO-CHAIR TRAVIS: Thank you, Pierre.

3                   Any thoughts or comments from the  
4       workgroup?

5                   Nancy.

6                   MEMBER FOSTER: Thank you, Cristie.

7       And thank you, Pierre, and to your entire team.

8       Really delighted to see you embarking on this  
9       effort. Happy to provide some additional  
10      thoughts.

11                   I know you know we've sent some  
12      information in, probably two dozen comment  
13      letters that you've had to read. So, really  
14      excited about this.

15                   The thing I want to say and ask for  
16      your thoughts about is that, from a provider  
17      perspective, you don't experience measures as  
18      just those that CMS selects. There are other --  
19      dozens of other organizations asking hospitals  
20      for quality metrics.

21                   And to really make the kind of  
22      progress that I think you're striving to make

1 here, and I'm hoping we can all make together,  
2 CMS really needs to be in alignment with other  
3 organizations and with the public and with the  
4 providers who really need to weigh in and help  
5 understand what's going to matter.

6 So could you say a word about are  
7 these kinds of public discussions just the only  
8 way you're going to be soliciting comments? Are  
9 you looking at ways to work collaboratively with  
10 other organizations? What's that look like?

11 MEMBER YONG: So thanks, Nancy.  
12 Always count on you to ask really thought-  
13 provoking and great questions. No, but I think  
14 you bring up a great point, right?

15 And you might remember that one of the  
16 points that was on one of the earlier slides was  
17 about alignment, right? Not just within CMS, but  
18 with other payers and provider requirements.

19 And so -- and I recently was at Henry  
20 Ford and had a chance to visit there and they  
21 showed me a slide of all the different sort of  
22 initiatives and reporting requirements that they

1 have. And that filled two pages of slides and  
2 really sort of hit home that point that you're  
3 making exactly.

4 But, yes, no, I think we are trying to  
5 work and understand that there are ways that --  
6 and opportunities to sort of promote that  
7 alignment.

8 I mean, I think they're -- one, we  
9 have for the past three years been involved with  
10 the Core Quality Measures Collaborative, which  
11 released eight sort of core measure sets, if you  
12 will, focused on different -- a variety of  
13 clinical topic areas so that -- on which CMS and  
14 those payers have agreed to align. And so we  
15 have implemented those measures into the MIPS  
16 program, for example.

17 But we also when our Administrator  
18 launched and announced this initiative, brought  
19 it to the LAN and that wasn't an accident, right,  
20 the Learning and Action Network, which is really  
21 about sort of driving payment reform, but has  
22 participation from a lot of payers as well as

1 provider groups as well as patient and consumer  
2 groups.

3 But so and we did the presentation not  
4 only at the open general session, but then also  
5 to the guiding committee and have been continuing  
6 to talk to them about opportunities to sort of  
7 leverage their existing sort of interests in sort  
8 of promoting alignment of measures as well as our  
9 interest in trying to get to the goals of this  
10 work.

11 So I think there are ongoing  
12 conversations that we're having and we know it's  
13 an active area for a lot of opportunity.

14 CO-CHAIR TRAVIS: Anna.

15 MEMBER DOPP: Pierre, this is the  
16 third time I've heard you give the presentation  
17 and I appreciate it. Your team has done a really  
18 thoughtful job of explaining it and depicting it  
19 on the slides.

20 My question, and maybe you've  
21 addressed it in one of those three times that  
22 I've heard it, so I'm sorry if you have, but when



1       you talk about those individual measures that  
2       then roll up into the meaningful measurement  
3       areas, is there a resource that's available to  
4       look at to see how those are rolling in?

5               It's clear to see where the areas link  
6       into the domains, but as far as those individual  
7       measures, you depict some examples on the slides,  
8       but is there a more comprehensive that has  
9       everything to see how they roll into each other?

10              MEMBER YONG:   So I think that's a  
11       great question.   And so maybe next time you want  
12       to give the presentation for me since you've  
13       heard it a couple times, but -- I'm looking for  
14       volunteers, actually.

15              (Laughter.)

16              MEMBER YONG:   So but, yes, I think  
17       that would be -- I hear the need for that.   We  
18       don't have that existing.   I think right now  
19       we're trying to get comments about the meaningful  
20       measure areas themselves.

21              We've gotten some great feedback  
22       about, you know, potentially missing areas, so we

1 haven't quite -- like, this is an initial sort of  
2 set.

3 And even if we tweak them, I think  
4 it's going to be a living sort of process, right?  
5 There may be tweaks in the future.

6 So we have launched recently the CMS  
7 Measure Inventory Tool, which is a public tool of  
8 all the measures that we have across the CMS  
9 programs.

10 We have been actively talking about  
11 including in there like a column or field around,  
12 you know, linking each measure to a respective  
13 meaningful measure area.

14 So we're talking about that  
15 internally. It's not done yet, but that is  
16 something we want to make progress on and want to  
17 release in the future.

18 I think one particular issue that's  
19 come up is, you know, any particular measure may  
20 track to multiple meaningful measure areas which  
21 is not necessarily a bad thing. It's just that  
22 happens even, you know, regardless of what

1 framework you do. They're not mutually  
2 exclusive, but it is something that we have heard  
3 requests for and think there is value in doing,  
4 but it's not quite there yet.

5 CO-CHAIR TRAVIS: Dan.

6 MEMBER POLLOCK: Thanks, Pierre. And  
7 just to say out loud how grateful we are at CDC  
8 for the opportunity to work with CMS on the  
9 meaningful measures program and provide input on  
10 the decisions that are underway with regard to  
11 these measures. We're very grateful.

12 My question really relates more to  
13 data validation and how data validation figures  
14 into the whole movement towards more meaningful  
15 measures because certainly one of the ways to  
16 make measures more meaningful and credible to the  
17 end users is to assure that there is indeed  
18 validity to the data.

19 And that aspect of measure use  
20 actually becomes even more important when  
21 measures are aggregated into overarching measures  
22 where they could obscure some of the tails

1 regarding the components.

2           So just, if you would, just some  
3 thoughts about the way in which data validation  
4 figures into this process and one of the issues  
5 relates to the fact that the data validation and  
6 the inpatient quality reporting program is part  
7 of that program, but it doesn't necessarily  
8 extend to the HAC reduction or the value-based  
9 purchasing program.

10           So if a measure in IQR is effective,  
11 that could have implications for validation if  
12 it's -- the measure is used more exclusively in  
13 the other two programs.

14           MEMBER YONG: Yes. Thanks, Dan. And  
15 of course I certainly appreciate the  
16 collaborative relationship we have with CDC. So  
17 thank you for supporting that.

18           And I would also note that, like, you  
19 know, while all the measures that are in the,  
20 like, HAC, for example, are in IQR, they use the  
21 same data, right?

22           So any issues identified in IQR would

1 then carry over to the other programs that  
2 they're used in.

3 And so I think it's a great question  
4 about sort of validation. I mean, it's not  
5 explicitly mentioned and that's a good point.  
6 Maybe we should.

7 I sort of generally think of, you  
8 know, when we see meaningful measures or sort of  
9 measures that are, like, important to you, I  
10 think there are a couple ways to sort of slice  
11 and dice that.

12 I think it's not just sort of is the  
13 measure itself concept actually meaningful, but  
14 is it, you know, does it have the psychometric  
15 properties that, you know, that we all sort of  
16 look for like is it reliable and a valid measure?  
17 And is the data that we're collecting actually,  
18 you know, accurate?

19 So I think it's all part of my  
20 thinking in that, but it's a great point.  
21 Perhaps we should call that out more explicitly.

22 MEMBER JORDAN: Yeah. Pierre, this is

1 Jack Jordan. I'd like to, you know, tack onto  
2 that, that I think one of the ways it seems very  
3 unnatural for CMS to do this validation, but I  
4 think it's probably the most valuable and useful,  
5 and that's really to turn this on at scale with  
6 your QINs, HENs, TCPI and others to use this and  
7 tell you what's wrong with it at scale.

8 You know, if you turn this on and you  
9 have that large group kind of working through can  
10 we use it, what's wrong with it, how can we fix  
11 it, rather than kind of having a contractor in  
12 the background do this at three hospitals or  
13 whatever, I think you'll get much more robust and  
14 richer validation that's meaningful to the  
15 participants in the hospitals.

16 If you try to do that, though, I know  
17 that seems kind of counterintuitive to the way,  
18 you know, a lot of this kind of work gets  
19 contracted out and thought about.

20 MEMBER YONG: Thanks, Jack.

21 CO-CHAIR TRAVIS: Dan, did you have  
22 another follow-up?

1                   MEMBER POLLOCK: I did, but why don't  
2 we go ahead on in the interest of time?

3                   CO-CHAIR TRAVIS: Okay. All right.  
4 Thank you for that.

5                   Ron?

6                   CO-CHAIR WALTERS: This is a very good  
7 discussion and I would lump it into the category  
8 of maturation and evolution of the MAP.

9                   We've always used the terms parsimony  
10 and harmonization. But as I look back, it's  
11 always been from the perspective of the -- of the  
12 MAP's charge to give input to the MUC list or the  
13 CMS proposal measures.

14                   So, I mean, even starting today either  
15 during the meeting or as feedback to the measures  
16 or just plain commenting on proposed rules, start  
17 to put these thoughts together in what am I doing  
18 for other programs and how does that really  
19 harmonize or not with the kind of work I'm doing  
20 for other areas, and does it add value in that  
21 perspective?

22                   We haven't taken -- we've been, I

1 would say, informally addressing that in the  
2 past. We can't get every group -- everyone from  
3 every group in this room, but as representatives  
4 of a lot of different areas, that's the kind of  
5 feedback that you are asking for. And I think  
6 it's becoming ever more relevant for all the  
7 issues you heard mentioned earlier.

8 CO-CHAIR TRAVIS: Thank you, Ron.

9 Marty?

10 MEMBER HATLIE: Pierre and colleagues,  
11 I just want to really commend CMS for its work on  
12 person-family engagement and integrating it  
13 officially into your quality strategy.

14 I think it's been consistent over a  
15 number of years. You see CMS pushing that  
16 forward and it's transformative. I really think  
17 it is.

18 As healthcare gets more complex as it  
19 gets less acute, more ambulatory, the ground  
20 truth is that patients and families are going to  
21 have to be more engaged if we're going to get the  
22 outcomes we want to get.



1                   So, it's just -- my only concern is  
2                   that most Americans don't know about this  
3                   leadership that's coming here and I think that's  
4                   partly our job.

5                   So I have a kind of awkward request  
6                   that you do as much as you can to really talk  
7                   about this transformative move and we'll do our  
8                   part as well to get the word out, too, to people  
9                   about the opportunities that are being created.  
10                  Not just the point of care, but in policy venues  
11                  and in quality and improvement work at the  
12                  provider level. I think it's really, really  
13                  important.

14                  MEMBER YONG: Thanks, Marty. We  
15                  absolutely agree.

16                  CO-CHAIR TRAVIS: Any other comments  
17                  from people, workgroup members that are on the  
18                  phone?

19                  (No response.)

20                  CO-CHAIR TRAVIS: Okay. Andrea.

21                  MEMBER BENIN: I'll just say quickly  
22                  I think this is a lovely framework. There may be

1     some value to just mapping it to the IOM domains,  
2     the six IOM domains as people, I think, still  
3     think about those as a way to organize the stuff  
4     in their mind. So there may be some value as  
5     you're communicating either layering or mapping  
6     or at least alluding to that because people do  
7     attach to that.

8             And then I guess I could benefit maybe  
9     from a little bit of a comment around the extent  
10    to which the metrics, the little dots are multi-  
11    select.

12            To what extent would a little dot be  
13    in multiple of these groups or, in your mind, is  
14    it one-to-one kind of mapping? I mean, maybe  
15    that's still work to be sorted out.

16            I'm looking at this and trying to  
17    think of examples of ones that might be in  
18    multiple ones. I'm not really thinking of any,  
19    but is the idea that a little dot is always in  
20    one group or would a little dot be in multiple  
21    groups potentially and that would be okay, but --

22            MEMBER YONG: Yeah, it has -- I mean,

1       there are examples, and I can't think of one off  
2       the top of my head, where a little dot does map  
3       to multiple domains, you know.

4               So it's something we're trying to  
5       think through. I mean, certainly we've  
6       encountered this problem before with other  
7       frameworks, right?

8               For example, we're mapping to National  
9       Quality Strategy domains. Like oftentimes  
10      they're so broad that, you know, a particular  
11      measure can go to multiple categories.

12              And I think how we handled it there is  
13      we had identified a primary sort of domain and  
14      then a secondary domain.

15              So that could be one approach. But if  
16      you have ideas, we'd like to hear feedback. But,  
17      yeah, there are examples where a single dot may  
18      match multiples.

19              MEMBER BENIN: But I don't know that  
20      -- to me it doesn't matter. I like things that  
21      count in multiple areas, but it may just be that  
22      part of the discussion will be to be over about

1       whether how you guys think about it, you know. I  
2       don't know that it matters, per se, but --

3               MEMBER YONG: Yeah. From my  
4       perspective, I think there are pluses and minuses  
5       either way, right?

6               I mean, as you mentioned, if it maps  
7       multiple dots, perhaps that actually is a very  
8       good thing, right, because we're getting multiple  
9       ways and it sort of signifies its importance,  
10      potentially, is one way to look at it.

11              CO-CHAIR TRAVIS: And Maryellen.

12              MEMBER GUINAN: Thank you. So thanks  
13      for your great work here. We, I think, certainly  
14      support the initiative itself.

15              I would just caution in terms of any  
16      initiative that the unintended consequences are  
17      looked at as well either prospectively or a year-  
18      end review in terms of as we narrow down the set  
19      of measures being, you know, the goal is ideal,  
20      but what measures are left then have great  
21      significance.

22              And so I think it's important to

1 particularly for our members, Henry Ford being  
2 one of them that deal with large, vulnerable  
3 populations, that we looked at the measures that  
4 are left and make sure that the risk adjustment  
5 is adequate and appropriate because, like I said,  
6 they're probably going to have more weight and  
7 value in the long run. Thank you.

8 MEMBER YONG: Yeah. Thanks,  
9 Maryellen. That's a great comment and you're  
10 right. You're absolutely right. It's something  
11 we do think about and we probably should call  
12 that out more clearly in the slides.

13 It is one of the things that we'll  
14 talk about when we talk about measure-removal  
15 criteria. We pulled together some draft criteria  
16 for just initial sort of conversation to  
17 stimulate the conversation, but on there is  
18 unintended consequences.

19 CO-CHAIR TRAVIS: All right. Well,  
20 thank you, Pierre, for the overview. And I think  
21 it is a good way for us to get started, and to  
22 Ron's point, thinking about these issues as we go

1 through the measures themselves.

2 And maybe thinking about not just how  
3 they fit within CMS, but how they're fitting in  
4 other payment models just for us to kind of  
5 consider some of those crosscutting initiatives  
6 so that we can start contributing to the  
7 meaningful measure framework in terms of our  
8 action.

9 So thank you all very much for that  
10 and I'm going to turn it over to Kate who is  
11 going to get us started with some overview on how  
12 we're going to do our work today.

13 MS. MCQUESTON: Great. Thank you. So  
14 we'll begin with just an overview of the approach  
15 and the voting process. It should be a refresher  
16 from information that you guys have seen before.

17 Overall, the approach is a three-step  
18 process. First, we're going to provide a very  
19 brief overview of the program. Also an overview  
20 of the current measures in the programs.

21 You should also have this information  
22 in a handout. We know that it's a lot of

1 information for a slide, so it might be a little  
2 bit easier to see in your handouts, the  
3 information on the measures currently in the  
4 program.

5 Then we will be reviewing the measures  
6 under consideration for what they would add to  
7 the program measure sets.

8 When the workgroup evaluates the  
9 measures under consideration, you'll be reaching  
10 a decision about every measure.

11 The decision categories are  
12 standardized for consistency and each decision  
13 should be accompanied by one or more statement of  
14 rationale that explains why the decision was  
15 ultimately reached.

16 To facilitate the consent calendar  
17 voting process, the NQF staff have conducted a  
18 preliminary analysis of each measure under  
19 consideration.

20 The algorithm asks a series of  
21 questions about each measure under consideration.  
22 The measure was developed from the MAP measure

1 selection criteria, which were approved by the  
2 MAP Coordinating Committee.

3 And the preliminary analysis are  
4 intended to provide MAP members with a small  
5 profile of each measure to serve as a starting  
6 point for the MAP discussions today.

7 Here's an overview of the measure  
8 selection criteria. These are intended to assist  
9 MAP with identifying characteristics that are  
10 associated with the ideal measure sets used for  
11 public reporting and payment programs.

12 These aren't absolute rules. Rather,  
13 they're meant to provide general guidance on  
14 measure-selection decisions, and to complement  
15 program-specific statutory and regulatory  
16 requirements.

17 The central focus should be on the  
18 selection of high-quality measures that optimally  
19 address the NQF's three aims, fill critical  
20 quality measurement gaps and increase alignment.

21 There are four decision categories  
22 today. These are support for rulemaking,



1 conditional support for rulemaking, refine and  
2 resubmit prior to rulemaking, and do not support  
3 for rulemaking.

4 The MAP may support a measure for  
5 rulemaking for a number of reasons. For example,  
6 if it addresses a previously identified gap in a  
7 program or to help promote alignment, MAP may  
8 conditionally support a measure if the group  
9 thinks it's ready for rulemaking, but needs NQF  
10 endorsement or should need another criteria or  
11 condition.

12 Refine and resubmit, we have -- we're  
13 going to discuss this in the following slide, so  
14 we'll get more to it later about what exactly the  
15 category is. And then MAP may also decide not to  
16 support a measure for rulemaking.

17 So in terms of the refine and resubmit  
18 category, we wanted to note that concerns were  
19 raised about the category during the fall web  
20 meetings.

21 Originally the Coordinating Committee  
22 created this category with the thought that

1 measures under consideration receiving the  
2 designation would be brought back to MAP before  
3 implementation, but we do note that the HHS  
4 Secretary has the statutory authority to propose  
5 measures after considering MAP's recommendations.

6 In addition, there is a feedback loop  
7 that was implemented to provide MAP members with  
8 updates on measures on prior MUC lists.

9 And so we're going to discuss it a  
10 little bit more today and the Coordinating  
11 Committee will review the decision categories  
12 before their January meeting.

13 So as said, the Coordinating Committee  
14 already discussed this a little at their meeting  
15 last month and reiterated the intent of the  
16 decision was to support the concept of a measure,  
17 but recognize a potentially significant issue  
18 that should be addressed before implementation.

19 So as a result, the Committee  
20 suggested when moving into these meetings, that  
21 the category should be used judiciously.

22 The Coordinating Committee recommended

1       that the workgroups use this decision when a  
2       measure needs a substantive change, but also  
3       noted that there's a need for workgroups to  
4       clarify the suggested refinement to the measure.

5               So I'll pass this to Erin to provide  
6       some additional comments.

7               MS. O'ROURKE: Thank you, Kate. Good  
8       morning, everyone. So just to give a little bit  
9       of history of how we ended up here and some of  
10       the concerns that we heard from this workgroup,  
11       as well as the others, and what we brought to the  
12       Coordinating Committee.

13               So if you've been on MAP from the  
14       beginning, you may remember we used to have three  
15       categories. The middle was what we called  
16       support direction.

17               The Coordinating Committee changed  
18       that to conditional support to be a little more  
19       clear about what MAPs were saying and to echo  
20       what changes they may want to a measure.

21               We did receive some feedback that that  
22       was making it challenging for measures that were

1 still early in development to be supported, so we  
2 started reviewing those through a separate  
3 pathway.

4 We ultimately collapsed that when  
5 there were some process concerns, but created  
6 this refine and resubmit -- last year, I believe,  
7 was the first year we operationalized it -- to  
8 preserve what people liked about that, that you  
9 could echo your support for the concept of a  
10 measure, but, as Kate was saying, with the hope  
11 that it would come back to MAP with the full  
12 specifications prior to implementation.

13 However, that doesn't necessarily  
14 track with the statutory authority that the HHS  
15 Secretary has to consider MAP's input and move  
16 forward on the measure.

17 So I think what we heard this fall was  
18 some concerns that there's some discordance  
19 between the intent of the category and the limits  
20 of when MAP actually does review things. So we  
21 brought that to the Coordinating Committee to get  
22 some input.

1                   We couldn't change the categories  
2                   prior to these meetings, since this was only  
3                   about two weeks ago. So we wanted to see if they  
4                   had guidance for you all on how to operationalize  
5                   it, anything they wanted to share about their  
6                   intent.

7                   As Kate was saying, they recommended  
8                   this category should really only be used when a  
9                   measure has a significant change that would  
10                  require it to come back on the MUC list anyway so  
11                  that MAP could see it again.

12                  They recommended for other issues you  
13                  may consider attaching conditions to a measure  
14                  under the conditional support or not supporting  
15                  the measure, but to use this when you thought  
16                  there was a major issue with how the measure was  
17                  specified and send it more back to the drawing  
18                  board rather than minor changes or something that  
19                  was more in the domain of the NQF Endorsement  
20                  Committee.

21                  This didn't come up at PAC/LTC since  
22                  we had only one measure, but the Clinician

1 Workgroup used conditions to really specify what  
2 they would like the standing committees to look  
3 at, if it was something within the specs of the  
4 measure that are outside of what the MAP criteria  
5 addressed.

6 They tended to put some very specific  
7 things they wanted NQF to send to the standing  
8 committees when the measures came in for  
9 endorsement, a please-look-here type of flag, if  
10 you will.

11 So I think I just wanted to bring that  
12 to your attention to let you know that if you  
13 vote refine, there's no guarantee it will come  
14 back to you. You may see it just in an update in  
15 the feedback loop as you did in the fall.

16 So we just wanted to pause here and  
17 make sure everyone knew what their votes meant  
18 and that you could have the full set of  
19 information in front of you to consider when you  
20 do this and that we're being clear with anyone.

21 I know, Pierre, is there anything you  
22 wanted to share about how CMS operationalizes

1       these?

2                   MEMBER YONG:  Yeah.  Thanks, Erin.  So  
3       realize this has been an issue that came up  
4       actually across the workgroups.  So glad we are  
5       having a chance to discuss it certainly from our  
6       perspective.

7                   We really do value MAP's input.  
8       That's why we're here all day.  We've had  
9       multiple staff on the phone and in person at all  
10      of these meetings taking copious notes and, you  
11      know, these are sort of hard choices that we  
12      make.

13                  I mean, we are not opposed to bringing  
14      measures back to the MAP after considering MAP's  
15      input.  However, there are certain times when,  
16      you know, as Erin noted, you know, the Secretary  
17      has the discretion to really, after considering  
18      the MAP's recommendations, proceed with, you  
19      know, proposing a measure for a particular  
20      program.

21                  And, for example, sometimes, you know,  
22      there may be pressing sort of policy priorities

1       that, you know, we think that are really pressing  
2       that really drive those decisions.

3               So I do think, you know, having sat  
4       here for the past two days, I think the clinician  
5       workgroup really found a nice balance in terms of  
6       how they applied the different categories.

7               And it really was, as Erin was saying,  
8       thinking a little bit differently about sort of  
9       conditional support, including more explicit  
10      conditions in there so that the refine and  
11      resubmit category was used fairly sparingly.

12              I mean, of all the measures we had on  
13      the Clinician Workgroup, I think only two  
14      measures actually got refine and resubmit and the  
15      others were on the other three categories.

16              So I'll stop there, but certainly  
17      welcome any questions or discussions.

18              MS. O'ROURKE: Yes. And Ron, Cristie,  
19      could we pause for if people have questions or  
20      comments or anything to bring to the Coordinating  
21      Committee when we review these categories in  
22      January? We'd welcome any input from the



1       workgroup to take forward.

2                   CO-CHAIR TRAVIS:    Sure.

3                   Nancy.

4                   MEMBER FOSTER:    Thanks again.   And I  
5       really appreciate the explanation and the clarity  
6       around what refine and resubmit would mean.

7                   I think my concern is it does not go  
8       to the measures for which I actually can see the  
9       specifications and can make a judgment or make a  
10      decision for myself about how to vote as to  
11      whether or not there's a big-deal change that I  
12      think needs to be made in the measure, in which  
13      case refine and resubmit might be appropriate,  
14      and those measures for which we don't yet have  
15      enough information.

16                   And it's been more prevalent in the  
17      past, and I certainly recognize CMS for making  
18      sure they're bringing forward measures that have  
19      more meat to their bones than in some of the  
20      early phases, but I think at least in the past  
21      we've used refine and resubmit to mean nice  
22      concept, but we don't really see a measure yet

1 here.

2 So I would submit that the MAP is at  
3 a maturity level now, to your point, Ron, where  
4 we could actually articulate -- not today, but in  
5 some workgroup -- articulate what it is we expect  
6 to see in order for the MAP to actually opine on  
7 a measure.

8 And I suggest that because, for me,  
9 that line between did we get a measure to offer  
10 an opinion on, or did we get a concept and not  
11 enough detail to actually offer an opinion, is a  
12 big difference because I think the legislative  
13 language suggests, you know, we're giving you  
14 advice on a measure.

15 If we can't do that for CMS, then I  
16 think it would be right to say "Nice concept,  
17 bring me a measure," instead of trying to offer  
18 it up as opinion.

19 MEMBER YONG: Thanks, Nancy. And I  
20 think you bring up some really valid points. And  
21 my hope is, you know, based on those prior  
22 experiences, and I think we have brought you

1 measures --- or put measures on the MUC list for  
2 feedback which perhaps haven't been as developed  
3 as some other measures, but I think hopefully,  
4 you know, as we move forward and especially as  
5 thinking about the meaningful measures framework,  
6 have really tried to be much more sort of  
7 selective about which measures we put forward on  
8 the MUC list.

9           Hopefully you will see that reflected  
10 in this year's MUC list in terms of not only the  
11 number, but really the stage of development so  
12 that they have more meat on the bones, as you  
13 say, so that you have the sufficient information  
14 you need in order to make, you know, critical  
15 recommendations.

16           MEMBER JORDAN: Yeah, this is Jack  
17 Jordan. I think that Nancy's things were spot  
18 on. I think the example last year of the measure  
19 of multiple opioids at discharges or opioids and  
20 benzodiazepine really fit that, that it hadn't  
21 really been field-tested at the time it got all  
22 the way through the process to here.

1                   And, you know, then in the intervening  
2                   year being one of the three health systems it was  
3                   tested in, I think a lot more insight kind of  
4                   came into that measure and it was probably not  
5                   really ready to get all the way to MAP before, at  
6                   a minimum, having its kind of field-testing of  
7                   its definition.

8                   I think that's kind of a minimal  
9                   requirement that should be there before it gets  
10                  to this point.

11                 CO-CHAIR TRAVIS: Thank you.

12                 Lee.

13                 MEMBER FLEISHER: Yeah. Following up,  
14                 also the absence of NQF endorsement in some of  
15                 these measures, that's where some of the issues  
16                 of unintended consequences and really the way  
17                 they're analyzed make so much of a difference.

18                 So revise and resubmit for some  
19                 without NQF endorsement may mean something  
20                 different than for other measures.

21                 And I think that --- I don't know if  
22                 we can add that in, that something really needs a

1 more rigorous analysis because of the nature of  
2 the measure.

3 MEMBER YONG: Yeah. Thanks, Lee. And  
4 I believe --- and I think it was on the slides,  
5 but NQF endorsement was part of the criteria, I  
6 think, for full support, but --- and maybe Erin  
7 is going to comment on that.

8 I would just flag, I mean, I think we  
9 hear you. We certainly value NQF endorsement.  
10 We submit all our measures for endorsement  
11 processes.

12 I think just so folks understand the  
13 time lines, sometimes don't --- if you want to  
14 proceed sequentially through, like, development  
15 and then endorsement, then the MAP and then  
16 rulemaking, that could be like a five-year sort  
17 of time frame for a particular measure.

18 And so sometimes we think it's too  
19 important a measure to wait for that five years,  
20 really complete that sort of process in a linear  
21 fashion.

22 That doesn't mean we won't submit the

1 measure to NQF endorsement, but we understand its  
2 importance. And so that's why we continue to  
3 submit, but there are those sort of time line  
4 considerations because of, you know, just the  
5 sequencing of availability of endorsement  
6 proceedings, et cetera.

7 MS. O'ROURKE: And just to clarify,  
8 NQF endorsement is certainly a condition you  
9 could put on a measure and a conditional support  
10 that it should be reviewed and receive  
11 endorsement and that the workgroup recommends  
12 these are the areas the standing committee pay  
13 specific attention to during that endorsement  
14 review.

15 CO-CHAIR TRAVIS: Okay. Well, thank  
16 you for that overview. And I imagine that as we  
17 go through the measures, we might come upon some  
18 practical reasons to pause for a moment and be  
19 sure that we understand, you know, how to use  
20 this category.

21 But, also, I think it is helpful that  
22 the Clinician Workgroup has already gone through

1       this process and once again trying to share with  
2       us, you know, maybe some ways they found them --  
3       found ways to kind of give the kind of guidance  
4       that we want if there are other categories to  
5       which that might work. So feel free as we go  
6       through this to --- we can come back to this  
7       conversation.

8               So, Kate, you have some more things to  
9       tell us?

10              MS. MCQUESTON: Yes. So now we'll do  
11       a quick review of the voting instructions. So we  
12       have a few key principles.

13              The first is that there is a threshold  
14       of more than 60 percent of participants to reach  
15       consensus. This threshold was decided on because  
16       it was a good benchmark for allowing multiple  
17       stakeholder groups to agree to reach the  
18       threshold and just to note that those who abstain  
19       from voting do not count in the denominator.

20              Today every measure under  
21       consideration will need to receive a decision  
22       either individually or as part of a slate of the

1 measures. All measures will be voted on or  
2 accepted as part of the consent calendar.

3 Workgroups are expected to reach a  
4 decision on every measure. There is not a  
5 category of split decisions, which would mean  
6 that the Coordinating Committee decides on the  
7 measure.

8 However, the Coordinating Committee  
9 may decide to continue the discussion on a  
10 measure if it's deemed to be a particularly  
11 important matter of program policy or strategy.

12 So the way the voting will go, after  
13 introductory presentations from staff and the  
14 chair to give context to each program, the voting  
15 will begin.

16 And you can use the in-meeting -- in-  
17 person meeting discussion guide as a reference.  
18 And essentially the content is organized into a  
19 series of consent calendars where measures are  
20 grouped for the purposes of discussion and  
21 voting.

22 For our measures, these are organized



1 around programs. Each measure under  
2 consideration will have been subject to  
3 preliminary analysis based on a decision  
4 algorithm approved by the Coordinating Committee.  
5 And the discussion guide will note the end result  
6 of the preliminary analysis, one of the four  
7 decision categories, and provide rationale to  
8 support how that conclusion was reached.

9 So the first step of voting is that  
10 staff will present a group of measures as a  
11 consent calendar reflecting the result of the  
12 preliminary analysis using the MAP selection  
13 criteria and programmatic objectives.

14 Next, measures under consideration can  
15 be pulled from the consent calendar and become  
16 regular agenda items.

17 Co-chairs will ask the workgroup  
18 members to identify any measures under  
19 consideration they would like to pull off the  
20 consent calendar.

21 Any workgroup member can ask that one  
22 or more measures under consideration be pulled

1 off the consent calendar and removed for  
2 individual discussion.

3 Many of the measures we're looking at  
4 today have already been pulled from the consent  
5 calendar in advance, but we -- you can also  
6 remove a measure at any time during the meeting  
7 for discussion.

8 The workgroup members should clarify  
9 if they are pulling a measure for discussion only  
10 or if they disagree with the preliminary analysis  
11 result and would like to vote on a new motion.

12 Measures pulled for discussion will  
13 focus on resolving clarity questions, for  
14 example, if during the course of discussion a  
15 workgroup member determines the discussion has  
16 shown the need for a new vote, a workgroup member  
17 can put forward a new motion also during that  
18 discussion period.

19 There are many reasons members can  
20 pull measures, including disagreement with the  
21 preliminary analysis or the fact that new  
22 information is available that would change the

1 results of the algorithm.

2           Once all measures that the workgroup  
3 would like to discuss are removed from the  
4 consent calendar, the co-chair will ask if  
5 there's any objection to accepting the  
6 preliminary analysis and recommendation for the  
7 MUCs remaining on the consent calendar.

8           If a measure is not removed from the  
9 consent calendar, the associated recommendations  
10 will be accepted without discussion.

11           So for discussion and voting on  
12 measures, workgroup members who identify the need  
13 for discussion will describe their perspective on  
14 the use of the measure and how it differs from  
15 the preliminary recommendation in the discussion  
16 guide.

17           If a motion for conditional support or  
18 refine and resubmit is suggested, the member  
19 making the motion should clarify and announce the  
20 conditions or suggested refinements.

21           Workgroup members assigned as lead  
22 discussants for the relevant group of measures

1 will be asked to respond to the individual who  
2 requested the discussion.

3 Lead discussants should state their  
4 own point of view and note whether or not it's in  
5 agreement with the preliminary recommendation or  
6 the divergent opinion.

7 The co-chairs will then open the  
8 discussion among the workgroup. Other workgroup  
9 members should participate in the discussion and  
10 be ready to make their opinions known.

11 However, one should refrain from  
12 repeating points already made or presented by  
13 others just in the interest of time.

14 After the discussion, the workgroup  
15 member who made the motion has the option to  
16 withdraw the motion, if they would like.  
17 Otherwise, the workgroup will be asked to vote on  
18 the motion.

19 If the motion for conditional support  
20 or refine and resubmit --- if the motion is for  
21 conditional support or refine and resubmit, the  
22 chair can accept the additional conditions or

1 suggested refinement based on the workgroup's  
2 discussion.

3 If these conditions or refinements are  
4 contradictory to each other, the chair should ask  
5 for a separate motion after the original no  
6 motion has been subject to a vote.

7 The final step is the tallying of the  
8 votes. If the motion put forward by the  
9 workgroup member receives greater than 60 percent  
10 of the votes, the motion will pass and the  
11 measure will receive that decision.

12 If the motion does not receive greater  
13 than 60 percent of the votes, the co-chairs will  
14 resume discussion and develop another motion. To  
15 start discussion, the co-chairs will ask for  
16 another motion.

17 If the motion receives greater than 60  
18 percent of the votes, the motion will pass. And  
19 if not, the discussion will resume.

20 If no motion is put forward by the ---  
21 if no motion put forward by the workgroup  
22 achieves greater than 60 percent, the preliminary

1 analysis decision will stand.

2 And then, again, those who abstain are  
3 discouraged, but will not count in the  
4 denominator.

5 And then before we begin, you've seen  
6 this slide before with our time line of events.  
7 So currently we're in our in-person meeting  
8 stage.

9 After our in-person meeting, the  
10 decisions will go out for public comment. And  
11 then in January, the MAP Coordinating Committee  
12 will meet again to finalize the MAP's input. The  
13 guidance for hospital programs will be finalized  
14 February 15th.

15 Okay. So I think we can go ahead and  
16 begin with pre-rulemaking input. We'll be  
17 looking at five programs today. Sorry, this  
18 looks like an error. There are no measures for  
19 in-patient psych.

20 Okay. So the first program that we  
21 are looking at today is the End-Stage Renal  
22 Disease Quality Incentive Program.

1                   This is a review of information that  
2                   was provided during our web meeting, but this is  
3                   a pay-for-performance and public reporting  
4                   program.

5                   The program is designed to provide  
6                   payments to dialysis facilities that are reduced  
7                   to facilities do not meet or exceed the total  
8                   required performance score.

9                   Payment reductions are on a sliding  
10                  scale up to a maximum of two percent per year.  
11                  And the program goals are to improve the quality  
12                  of dialysis care and produce better outcomes for  
13                  beneficiaries.

14                  These are the measures currently in  
15                  the program and also included in your handouts.  
16                  It's a little bit easier on the eyes.

17                  There are two new measures for 2021.  
18                  And these two measures are replacing the current  
19                  vascular access measures that are included in the  
20                  program.

21                  CMS has identified several high-  
22                  priority domains for future measure

1 consideration. The first of these is care  
2 coordination.

3 They note that ESRD patients are a  
4 vulnerable population that depend on a large  
5 quantity and variety of medication and frequent  
6 utilization of multiple providers. And they note  
7 that medication reconciliation is a critical  
8 issue.

9 They also note that dialysis  
10 facilities pay a substantial role in preparing  
11 dialysis patients for kidney transplants and  
12 coordination of dialysis-related services among  
13 transient patients has consequences for a  
14 nontrivial population of ESRD patients.

15 The next area that they've noted is  
16 safety as ESRD patients are frequently immune-  
17 compromised and experience high rates of  
18 bloodstream infections, vascular access-related  
19 infections and mortality.

20 The next area is patient and  
21 caregiver-centered experience of care, which is  
22 one of the main goals of the program. And this



1 includes issues such as physical function,  
2 independence in cognition.

3 They note that quality of life  
4 measures should also consider the life goals of a  
5 particular patient where feasible.

6 And then, finally, access to  
7 transplantation noting that obtaining a  
8 transplant is an extended process for dialysis  
9 patients, including education, referral,  
10 waitlisting, transplantation, and follow-up care.

11 CO-CHAIR WALTERS: So for each measure  
12 group, the first thing we'll ask for is for  
13 public comments.

14 And then we'll start the process, as  
15 outlined further earlier, as far as reviewing the  
16 ones that have been pulled, whether there's any  
17 others to be pulled, and then go through the  
18 discussion, where again the puller talks first,  
19 the lead discussants talk second, and then  
20 anybody else provides input, and then we proceed  
21 to a vote.

22 So at this time, I'd like to ask for

1 public comment on the ESRD measure set.

2 THE OPERATOR: Okay. At this time if  
3 you would like to make a comment, please press  
4 star and the number one.

5 (Pause.)

6 THE OPERATOR: And there are no public  
7 comments from the phone lines.

8 CO-CHAIR WALTERS: Thank you. Is  
9 there any public comment from people attending  
10 within the room?

11 (Pause.)

12 CO-CHAIR WALTERS: Okay. I see none.  
13 So there are three measures. Again MUC17-176 med  
14 rec, MUC17-241 the waitlist, and MUC17-245 the  
15 waitlist ratio.

16 So, two of those, the last two, have  
17 already been asked to be pulled for discussion by  
18 Nancy.

19 The first one has not been pulled yet  
20 and remains on the consent calendar. So I will -  
21 -- we will put that up for auction right now.  
22 Going once. Okay. I see that Andrea and Anna

1 and -- anybody else? Okay.

2 MEMBER BENIN: Could I just ask for  
3 clarification about how this program works?  
4 Would this be for measurement --- what  
5 measurement year and what payment year? I'm just  
6 trying to understand this, the details of this  
7 program.

8 We would be adding these metrics to  
9 measurement year '19 and payment year '21? Is  
10 that what ---

11 CO-CHAIR WALTERS: '20-21.

12 MEMBER BENIN: '20 and '22? Do we  
13 know?

14 CO-CHAIR WALTERS: Not '18. That's  
15 for sure.

16 (Laughter.)

17 MS. DUSEJA: So the earliest we can  
18 actually propose would be for next year, but it  
19 would be not for two years after the fact, if  
20 that makes sense.

21 So it would be 2018 we would propose  
22 it in the rule and then --- if we propose it

1 based on the feedback, and then it would be 2020  
2 in terms of it being taking effect.

3 CO-CHAIR WALTERS: Okay. Let's go to  
4 the people who ask that it be pulled first.

5 Anna.

6 MEMBER DOPP: It will be for  
7 discussion only. Is that -- so related to this  
8 when this measure went through the patient safety  
9 project last fall, we indicated our support  
10 overall for the measure.

11 We recognize that medication  
12 reconciliation meets those high-quality domains  
13 that were just outlined.

14 We also appreciate that the measure  
15 addresses a gap that was identified by this group  
16 last year where there needed to be further  
17 identification of and better management of the  
18 comorbid conditions of this patient population.

19 And so we recognize that medication  
20 reconciliation might also help with that, too.  
21 So we appreciate the need for the measure and  
22 support it being in there, but we do want to

1 point out that this is one of three med rec  
2 measures that have been endorsed from NQF.

3 There's four total, and then there's  
4 other from commercial payers or other groups that  
5 are looking at it.

6 And just thinking about the experience  
7 of care of the patient if there's different  
8 processes and expectations for med rec throughout  
9 the course of care, it just doesn't allow for a  
10 consistent establishment of baseline.

11 And so we'd like to see in the future  
12 more consistency in how med rec is defined and  
13 measured.

14 And so I realize that this group  
15 doesn't necessarily address it, but I just felt  
16 like it was important to make that comment and  
17 hopefully see some consolidation, harmonization,  
18 so that there's not this different measurement in  
19 these different areas whether it's inpatient or  
20 dialysis centers, et cetera.

21 CO-CHAIR WALTERS: Very pertinent to  
22 our earlier discussion. You're right. It can be

1 med rec measure for each location or there can be  
2 med rec.

3 Yes. All right. We have two lead  
4 discussants. Helen is next.

5 MEMBER HASKELL: I just wanted to ask  
6 a question of Anna.

7 What is the variation in med rec in  
8 these different areas? I thought that these  
9 measures were harmonized.

10 MS. MCQUESTON: There is variation in  
11 terms of whether it's just a checkbox whether it  
12 was done, or whether or not it meets certain  
13 criteria. So one of them meets three different  
14 levels of criteria for how the med rec was  
15 conducted.

16 And then there's just some differences  
17 between who can do it and what's collected  
18 overall.

19 CO-CHAIR WALTERS: Yeah. We all know  
20 --- everybody that does med rec knows there's med  
21 rec and then there's med rec.

22 Helen, did you have any input as a

1 lead discussant?

2 MEMBER HASKELL: Well, that was one of  
3 my concerns that, you know, I know that there are  
4 issues with med rec and having it done well.

5 And is there --- is there any way to  
6 --- for the measure to actually enforce that?  
7 And if not, is it worth doing?

8 But at the same time I can see that in  
9 this, you know, in this setting it seems  
10 important to have that for people who might not  
11 be traversing these other settings.

12 So all in all I, you know, I support  
13 the measure, but, you know, I'd like to hear more  
14 discussion of it.

15 CO-CHAIR WALTERS: Okay. I think you  
16 might get your wish very shortly.

17 DR. ROACH: So this measure is, like  
18 you said, just medication reconciliation and  
19 doesn't include management.

20 We have --- this has --- was a measure  
21 that got the support of CMS and of the community.  
22 We're working on developing the measures further

1 to work on management as well as medication  
2 reconciliation.

3 But given the safety issues, the  
4 thought that getting one that dealt with  
5 medication reconciliation only to start was  
6 important.

7 CO-CHAIR WALTERS: Okay. I might also  
8 mention that currently on the consent calendar it  
9 is support. I have not heard any motions yet to  
10 change that. We'll proceed now with any other  
11 input anybody else wants to give.

12 Rich.

13 MEMBER KNIGHT: Yes. My name is  
14 Richard Knight, and my colleague Paul Conway  
15 couldn't attend today. But from a patient  
16 perspective, I support this very critical issue.

17 When you really look at --- I always  
18 go right to the end. How does this impact the  
19 patient? How does it impact the quality of life?

20 And when you have a patient taking  
21 this number of medications as articulated here  
22 and then you have it from different providers, it



1 can get to be very confusing.

2 And one of the things that I want to  
3 emphasize is that a number of patients are just  
4 given pills and they take them. And I've been in  
5 a hospital and been given the wrong dose of  
6 medication and had some pretty serious arguments  
7 about I can't take that, it will harm my kidney.

8 So I think that it's important that  
9 the medical -- that the reconciliation is done  
10 and it needs to be done in the context of the  
11 overall care.

12 Many things that are done at a  
13 dialysis facility, they have so many things to  
14 do, are done in a checklist fashion, but this was  
15 something that really goes to the heart of the  
16 health of the patient because it's not just your  
17 kidneys. We're talking about heart, the impact  
18 on the heart. We're talking about eyes, eye  
19 stroke and things of that nature.

20 So I think that it does need to be  
21 more emphasis put on this, and we need to  
22 understand how important that it is.

1 CO-CHAIR WALTERS: Thank you and I  
2 apologize. I forgot you were filling in for  
3 Paul.

4 Greg.

5 MEMBER ALEXANDER: I just --- I know  
6 this is the MAP Hospital Working Group, but I do  
7 a fair amount of work in long-term care  
8 facilities. And I just want to say that we  
9 address med reconciliation pretty heavily in  
10 long-term care facilities as well. And a lot of  
11 dialysis patients live in those facilities and  
12 transition out and go to the dialysis clinic and  
13 then come back.

14 And so med reconciliation really  
15 stretches across these different settings, like  
16 we said.

17 But don't forget long-term care  
18 because it's such an important -- a critical area  
19 for people who live in those residences who have  
20 dialysis.

21 Make sure that those reconciliation  
22 procedures are really well vetted across

1 different systems so they're the same, you know,  
2 so you're measuring the same thing.

3 CO-CHAIR WALTERS: Keith.

4 MEMBER BELLOVICH: Along the lines of  
5 representing the kidney community at large, both  
6 the large, small, and medium-sized dialysis  
7 providers, as well as the entire kidney  
8 community, we're in full support mainly because  
9 of the NQF endorsement that exists.

10 It is a highly reliable measure that  
11 has been proven and, therefore, we have a very  
12 strong support for this measure.

13 CO-CHAIR WALTERS: Marty.

14 MEMBER HATLIE: Two people so far have  
15 raised the potential conflict between metrics  
16 from CMS and metrics from commercial payers.

17 And I operate under an assumption --  
18 I'm just wanting to test it a little bit with the  
19 wisdom in this room -- that when CMS comes out  
20 with a measure set, the market moves.

21 I mean, is there some --- is that a  
22 valid sort of general assumption that when we do

1       this, there is adjustment in the field?

2                       (Pause.)

3                       MEMBER HATLIE:   Okay.   I guess I'm  
4       getting wisdom in the room because I do think  
5       that the med rec issue is important to patients  
6       and I want to support this very much, but I also  
7       am, you know, I'm sensitive to the burden issue.

8                       MEMBER EVANS:   So as an active  
9       clinician in this field, I just attended a  
10      meeting last month on one of the largest for-  
11      profit dialysis clinics and they initiated that  
12      prior to this because of that.   So, yes, it does  
13      make a difference and I think it's a very  
14      important measure.

15                      And I do like the fact that CMS  
16      outlined who were the professionals to actually  
17      do that reconciliation because that's very  
18      important.

19                      CO-CHAIR WALTERS:   Janis.

20                      MEMBER ORLOWSKI:   So good morning.  
21      First of all, just hello to everyone.   I'm new to  
22      -- not new to NQF, but new to this committee.   So

1        hopefully I will provide positive information.

2                    I'm the chief healthcare officer at  
3        the AAMC, but I'm also a nephrologist. And so I  
4        have a particular personal and professional  
5        experience with this.

6                    Pierre, you're going to be very  
7        surprised. I strongly support this.

8                    (Laughter.)

9                    MEMBER ORLOWSKI: I don't think I've  
10       ever said that with a measure. So that's --- and  
11       I think that there's two comments that I would  
12       make and it would just echo.

13                   I have to say having just made rounds  
14       yesterday, that the number of medications and the  
15       complicated medication schedule is so different -  
16       - is so difficult and has to be monitored so  
17       carefully that this is really something.

18                   And we all know that dialysis patients  
19       have a couple of providers, they actually touch  
20       many different aspects of the care system and so  
21       I really think that this is important for quality  
22       of care.

1 I don't believe that when CMS says  
2 something, that the other insurers move. I think  
3 what they do is they say, "What a good idea,  
4 let's develop our own."

5 And I think that --- and so making a  
6 comment, I think that there does have to be  
7 harmonization of measures. And if CMS developed  
8 something and someone else developed something  
9 that's better, then we should harmonize those  
10 measures.

11 But I will tell you from being in  
12 practice for a very long time, that a  
13 harmonization does not occur, there's differences  
14 in timing and reporting, you know, whether they  
15 report monthly or quarterly or whatever, and it  
16 really does cause a tremendous regulatory burden  
17 for us.

18 So I am absolutely in favor of this  
19 because it is high-quality care, and what people  
20 should do is then harmonize this requirement.

21 CO-CHAIR WALTERS: Okay. Thank you  
22 for the lively discussion. I have not heard any

1 other alternative proposal.

2 This --- going once, going twice,  
3 going three times. This remains on the consent  
4 calendar as support.

5 Okay. Now let's move on to MUC17-241,  
6 which is the percentage of prevalent patients  
7 waitlisted. That has already been asked to --  
8 that was conditional support. The conditional  
9 support was for endorsement.

10 That has been pulled from the consent  
11 calendar by Nancy. So Nancy goes first.

12 MEMBER FOSTER: Thank you, Ron. And  
13 I'm looking forward to an education on this one.  
14 First of all, agree with the condition that was  
15 put on here that this really needs to be reviewed  
16 and endorsed by the National Quality Forum before  
17 it should be moved forward into a program, but  
18 the reason I'm going to suggest that we do not  
19 support it is around some of the comments that  
20 were made prior to our meeting.

21 And questions were raised around whose  
22 responsibility is this, why are we proposing to

1       measure the dialysis unit around who's on a  
2       waiting list for the transplant surgeons.

3               Help me understand what the  
4       relationship is here and what responsibility the  
5       dialysis center would have for this.

6               And then the second issue I want to  
7       raise is around the risk adjustment factors for  
8       this measure, you know.

9               It seems to me that there are a number  
10      of factors that would influence whether or not  
11      the patient is on a waiting list and want to  
12      really understand how robust this set of risk  
13      adjustments would be here because it would not be  
14      just -- I believe not just clinical conditions  
15      that would need to be risk adjusted for, but  
16      other factors, social risk factors may come in to  
17      play here.

18              And then on this measure as we looked  
19      at the C-stat, it was not impressive. I know  
20      that will be a discussion item for the steering  
21      committee when they come up, but would certainly  
22      want to either put a condition on it or urge the



1 steering committee, if this does go forward for  
2 NQF endorsement, that they really pay careful  
3 attention to whether or not this has the  
4 scientific properties it needs to assess the  
5 issue that it's intending to measure.

6 And then finally, I guess I don't  
7 fully understand here what's the right percentage  
8 of people being on the waiting list? So what are  
9 we measuring and how are we trying to influence  
10 this?

11 So lots of questions, but my  
12 recommendation to put on the table is do not  
13 support.

14 CO-CHAIR WALTERS: Okay. We'll now go  
15 to the lead discussants --- yes, Pierre, you can  
16 respond.

17 MEMBER YONG: If we can, and I think  
18 we do want to respond to Nancy's comments, but I  
19 thought it may be helpful since there are two ---  
20 we think of these as paired measures, the two  
21 transplant measures. Thought it may be helpful  
22 for the committee if we just address why we have

1 two measures even though we're discussing one of  
2 them first.

3 So I'm going to turn to --- Jesse, I  
4 think you were going to do this.

5 DR. ROACH: My name is Jesse Roach.  
6 I am a nephrologist that works at CMS. So the  
7 rationale why we have two of these measures, so  
8 we have the SWR, which is the waitlist measure,  
9 which is an incident measure.

10 So what it measures is the number of  
11 patients that are in the first year of dialysis  
12 put on the waiting list.

13 And then the other measure, which is  
14 the PPPW, which is a prevalent patient measure,  
15 is how many patients after the first year you  
16 have on the waitlist.

17 And there's a couple of reasons why we  
18 have two measures. The first reason is we have  
19 the incident measure, the SWR measure, because we  
20 believe that getting someone on the waitlist is a  
21 different activity than maintaining someone on  
22 the waitlist.

1                   So there's survival and patient  
2 morbidity and mortality advantages to getting the  
3 transplant in the first year.

4                   We also think that when someone gets  
5 on dialysis, there's a significant amount of  
6 coordination of care that has to go on and  
7 education of the patient to give them their  
8 options for transplant.

9                   So we think getting someone plugged  
10 in, in that first year is especially  
11 advantageous, which is why we have that measure.

12                  Maintaining someone on the waitlist is  
13 a different activity which is more of a  
14 maintenance of health to keep them healthy enough  
15 to keep on the transplant list and we think that  
16 patients that are after the first year deserve  
17 that benefit.

18                  Furthermore, if we only had the  
19 incident measure, there wouldn't be incentive to  
20 -- there wouldn't be the incentive to work with  
21 patients that are after that first year, so  
22 patients that have been on dialysis for years.

1                   And if we only had the prevalent  
2                   patient measure, there wouldn't be the incentives  
3                   to work with patients --- or there wouldn't be as  
4                   much incentive to work with patients in the  
5                   beginning when it's so crucial to get them set up  
6                   for transplant.

7                   CO-CHAIR WALTERS:   Okay.   Keith, I  
8                   missed the fact that not only were you a lead  
9                   discussant, but you also asked that we pull some  
10                  measure.

11                  MEMBER BELLOVICH:   That is correct.  
12                  So I appreciate Nancy's comments and I wish ---  
13                  I'm very appreciative of all that you've proposed  
14                  because basically those are the same rationale  
15                  behind Kidney Care Partners' assessment of the  
16                  same measure.

17                  And they do apply to both of these  
18                  proposals.   And the main thing, indeed, that it  
19                  does not meet NQF endorsement criteria is the  
20                  first and foremost, but also holding dialysis  
21                  units accountable for performance or the  
22                  decision-making of transplant centers is ---

1       there's very little interrelationship.

2               Yes, there's an important part of  
3       education, guidance, and assistance in getting to  
4       that end point. But, unfortunately, because of  
5       access to a variety of transplant centers  
6       depending on where these patients are located or  
7       being dialyzed, they may be dependent on only one  
8       center who has the subjective criteria that they  
9       apply in their own domain that doesn't  
10      necessarily give them an opportunity to go across  
11      to other facilities or they may not have the  
12      resources.

13             Health is not the only factor related  
14      to maintaining your stability and eligibility for  
15      transplant either. We know that there's a lot of  
16      insurance purposes that the transplant centers  
17      will apply.

18             Sometimes there's patient choice,  
19      which is one thing that we strongly are  
20      proponents of and that patients make the decision  
21      of whether they truly want to be eligible, not  
22      just the fact that their age is less than 75

1 years of age.

2 We think there's a lot more  
3 sociodemographic factors that go into that  
4 decision-making about being eligible for  
5 transplantation.

6 And what we've seen in other measures  
7 in the past, is that not all measures apply  
8 equally based on dialysis facility size.

9 Smaller facilities in a location where  
10 they're near a transplant center that's turning  
11 over patients reliably may actually be reflected  
12 poorly merely by getting their patients  
13 transplanted quicker versus waitlist times which  
14 do vary across the country, thereby impacting and  
15 reflecting in the dialysis unit the fact of  
16 whether they're transplanting aggressively or not  
17 as aggressively or based on the transplant  
18 center's size.

19 So for these reasons Kidney Care  
20 Partners does not support either of these  
21 measures, 241 or 245.

22 CO-CHAIR WALTERS: Okay. Greg.

1                   MEMBER ALEXANDER: I'm a subject  
2 matter expert, so I don't have anything to do  
3 with KCP or the American Hospital Association,  
4 but I came to those same conclusions on my own.

5                   Just looking at some of the comments  
6 that they made, they made sense to me, you know,  
7 why these --- why this waitlist -- why there may  
8 be problems with this waitlist measure.

9                   One of the things that I didn't really  
10 hear mentioned completely, or at least it wasn't  
11 clear to me, is that -- the way that some of the  
12 --- the reasons why some waitlist times may vary,  
13 one of those being there was some discussion  
14 about the evidence of the absence of chronic  
15 conditions or presence of chronic conditions and  
16 how those are documented. And it could be  
17 different among different transplant centers or  
18 dialysis centers.

19                   And so the reasons that somebody might  
20 or might not be put on the waitlist could be  
21 dependent on the decisions made for that.

22                   And so it seemed to me like that

1 criteria needs to be applied consistently and  
2 it's not very well explained how it's applied or  
3 if it's consistently applied in this measure.

4 I also noted the C-statistics that  
5 they talk about, they recommended 0.8. And this  
6 would range from 0.67 to 0.72, which is below  
7 customarily what is expected with this sort of  
8 variation.

9 And then I know you spoke about the  
10 redundancy between 241 and 245, but I wasn't  
11 really --- or why they're needed, but I didn't  
12 really understand what the redundancy was.

13 And if there is redundancy, then  
14 that's not really --- I need some clarification  
15 on what that redundancy is.

16 I think it's an important measure. I  
17 don't know that I would necessarily go to the  
18 point of not supporting it.

19 This may be one of those that needs  
20 one of the refine and resubmits which has a  
21 substantial change to the methodology and the  
22 measure itself to clarify some of the issues that



1       were brought up.

2                   CO-CHAIR WALTERS:   Marty.

3                   MEMBER HATLIE:   I, at this point, I  
4       support the recommendation to conditional support  
5       pending endorsement.

6                   The thing about this measure that  
7       speaks to me is the incentive to really educate  
8       patients. I do worry that whether it is profit  
9       motive, that incentive is important.

10                  Richard, I don't mean to put you on  
11       the spot. I'm glad you're raising your hand  
12       because I'd love your point of view on this. The  
13       patient's voice, I think, would be really  
14       important here, but it is that incentivization of  
15       education of potential candidates that really is  
16       behind my supporting recommendation.

17                  CO-CHAIR WALTERS:   Okay. Good. The  
18       day is started. Now we got us a conditional  
19       support, a refine and resubmit, and a do not  
20       support. All right.

21                  (Laughter.)

22                  CO-CHAIR WALTERS:   First one up was

1 Janis.

2 MEMBER ORLOWSKI: I am going to  
3 recommend not supporting both measures. The  
4 reason for doing this is that I believe that  
5 referral for transplantation is very important  
6 and is the job of the nephrologist and the renal  
7 team as they look at renal replacement.

8 So whether you do dialysis in a unit,  
9 whether you do home dialysis, whether you do  
10 peritoneal dialysis, whether they are considered  
11 for a transplant, these are all decisions that  
12 need to be explained.

13 The patient needs to be educated, and  
14 it's the responsibility of the nephrologist and  
15 the renal team, and often should be done before  
16 dialysis is initiated, if possible, depending  
17 upon when the patient presents and what their  
18 illness is. These are all things that should be  
19 done.

20 What we have done in the nephrology  
21 world before is we've made sort of the dialysis  
22 unit the checkbox, you know. It sort of stops

1 and says, okay, you know, did all these things  
2 happen? Were there educations or whatever?

3 And I do believe that they can play a  
4 role in helping with that checkbox, but I don't  
5 believe, for the reasons that have been stated,  
6 that this is an appropriate measure for the  
7 dialysis unit.

8 Secondly, I think the measure is not  
9 how many folks you have on a transplant list, but  
10 whether the education occurred and whether the  
11 referral occurred. And so I believe we're  
12 measuring the wrong thing here.

13 And finally, this is a measurement  
14 that is more appropriate on the nephrologist and  
15 the transplant group, but the dialysis unit has  
16 in many, many areas, has helped to make sure that  
17 that patient education and social services and  
18 dietary, they play a very important role in  
19 providing additional education and being sort of  
20 a stopgap when all the appropriate education and  
21 referrals have not occurred, but it's not their  
22 principal responsibility.

1 CO-CHAIR WALTERS: Okay. Ann Marie,  
2 I think you're up.

3 MEMBER SULLIVAN: I understand the  
4 question about the ultimate responsibility being  
5 the transplant center, but I think the goal of  
6 this is to make sure, in some way, that the  
7 dialysis centers are doing absolutely everything  
8 possible to move that client to a waitlist and to  
9 get them into the transplant center.

10 That doesn't mean that everything is  
11 within their control. It reminds me a little bit  
12 of the readmission measure, 30-day readmissions,  
13 you know.

14 We do it, but everything isn't in our  
15 control when someone leaves the hospital, but  
16 we've been able to make gains over time in that  
17 readmission rate.

18 So I think the goal here is to push  
19 and do everything possible not necessarily to  
20 have 100 percent on the waitlist. So I'm not as  
21 concerned that there are exogenous factors that  
22 maybe can influence, I think it's just to keep

1 the dialysis centers right on in terms of pushing  
2 as much as they can to get clients on a waitlist.

3 And if you just use referral or  
4 others, those are kind of process measures.  
5 Actually sitting on the waitlist, to me, seems a  
6 little bit more like an outcome measure.

7 So I would go for conditional support  
8 maybe with modifying it, but I think that there's  
9 value overall in the measure.

10 CO-CHAIR WALTERS: Lee.

11 MEMBER FLEISHER: So I think Janis  
12 used the right word of "appropriateness," and  
13 it's almost an appropriateness criteria.

14 We're trying to get whether or not  
15 both the nephrologist appropriately refers, but  
16 the transplant surgeons in the center  
17 appropriately accepts.

18 And, therefore, whether this is not  
19 endorsed or revise and resubmit to try to get  
20 closer to whether or not the appropriate number  
21 of patients are on it, because I don't think this  
22 measure achieves that because of the pitchers and

1 the catchers as we talked about.

2 And I think that both need to be  
3 involved in the --- and the transplant centers  
4 are not appropriately integrated into this in a  
5 robust way from a risk adjustment.

6 It's only a patient risk adjustment,  
7 it's not how the center says, "Yes, we'll accept  
8 them."

9 CO-CHAIR WALTERS: Sean.

10 MEMBER MORRISON: Yeah. I'm going to  
11 speak as, actually, a subject matter expert in  
12 disparities, which is my other hat, and I just  
13 wanted to reiterate the NQF staff's conditional  
14 support.

15 And the reason behind that are  
16 several-fold. And I think it is about not making  
17 the perfect the enemy of the good here.

18 We know right now that close to 80  
19 percent of the dialysis centers are now a for-  
20 profit business, 70 percent are controlled by two  
21 companies, and one of those companies reported a  
22 350 percent profit margin.

1           We also know that there are very good  
2 data that demonstrate you are much less likely to  
3 be referred to transplant if you're in a for-  
4 profit rather than a non-for-profit transplant  
5 center.

6           And so right now, all the financial  
7 incentives and whether you agree with tax status  
8 or not, all the financial incentives now support  
9 continuing somebody on dialysis rather than  
10 referring to transplant.

11           And right now there are no measures,  
12 at least when I reviewed before this committee,  
13 that actually protect patients from unnecessarily  
14 long dialysis.

15           And we also have substantial data over  
16 the years that people do --- they live longer,  
17 they live better following transplant than on  
18 longstanding dialysis. Those are the data.

19           And, yes, there is the individual  
20 patient or the individual nephrologist who may  
21 make a different decision. But if we're looking  
22 at this from a policy perspective across a

1 population, then I think that we do need measures  
2 to be able to protect patients.

3 Is this the perfect measure? No.  
4 Then why is it a conditional? Because it hasn't  
5 gone through NQF endorsement yet, but I certainly  
6 would urge this group not to either reject it or  
7 to send it back for whatever revise and revision  
8 is under this year's measure, but think about the  
9 fact that does this measure protect patients who  
10 are very vulnerable in a system that all of the  
11 data right now, every single study, demonstrates  
12 that patients are not referred to transplant  
13 early enough. So I would just make that comment.

14 CO-CHAIR WALTERS: Thank you. By the  
15 way, the method to my madness is to let people  
16 who have not spoken yet, speak. And then we'll  
17 circle our way back kind of for any rebuttals  
18 that are necessary, so to speak, right before we  
19 vote.

20 All right. So, Beth.

21 MEMBER EVANS: So I want to bring up  
22 about the SWR measure first. And my concern



1 about that is they are excluding patients who are  
2 already waitlisted in --- being in that ratio and  
3 of course other people are institutionalized, et  
4 cetera.

5 But, to me, when you've selected that  
6 exclusion out, you're pretty much saying that the  
7 people who are coming in are the ones who haven't  
8 had --- or have had limited or no pre-ESRD care  
9 from a nephrologist.

10 Most of those people will already be  
11 started on the transplant list work-up and  
12 achieve it within that year if they're already in  
13 that process, and the dialysis clinic won't make  
14 a difference in that. That's part of their plan,  
15 the patient's plan.

16 The other patients who come in who  
17 have not had or very limited nephrology care,  
18 have so many issues that first year that need to  
19 be, to me, placed at a higher priority, we need  
20 an access that's a functional access. Not  
21 needing transplant is not important, but there's  
22 many issues.

1 I would rather have us not consider  
2 and not vote for that SWR measure because if  
3 they're truly already on that path, they will be  
4 in it, but I do feel that prevalent patient  
5 waitlist is an important measure.

6 The point that hasn't been brought out  
7 is the relationship between the dialysis staff  
8 and that patient is very strong, and patients  
9 listen to them very much.

10 And that tech who's placing that  
11 needle, they're the important provider to them.  
12 And if they don't know anything about transplant,  
13 have no idea of what these outcomes are, that may  
14 sway the patient to not pursue transplant.

15 And so I do feel if we put in some  
16 type of measure that transplant is the goal that  
17 we need to be at least attempting on these  
18 patients, is very important.

19 CO-CHAIR WALTERS: And I do realize  
20 from the first discussant, that it's very  
21 difficult to not talk about these in the same  
22 sentence, so just process that in your mind. It

1 will pay off in a little bit that we've actually  
2 talked about both of them.

3 Sarah.

4 MEMBER NOLAN: So Sean said some of  
5 what I was going to say, and I will just add that  
6 it is not only the profits or for-profit or not-  
7 for-profit status of the dialysis center that's  
8 at play here, I mean, it's also the fact that  
9 there is a big differential in reimbursement by  
10 private and public payers.

11 And that as CMS has laid out in the  
12 role that they released last year, there's clear  
13 evidence of steering of patients going on, which  
14 is supported indirectly by the two dialysis  
15 providers that you referenced.

16 And that that, in turn, has a very  
17 clear impact on whether people are placed on  
18 waitlists because people who are receiving  
19 premium support, lose that premium support when  
20 they have a transplant.

21 And if they have no evidence of care  
22 following the transplant or the ability to get

1       care following the transplant, are less likely to  
2       be placed on the transplant list.

3               So we think that some sort of measure,  
4       whether these are exactly the right measures or  
5       need some tweaks, but some sort of waitlist  
6       measure that holds dialysis centers accountable  
7       for people being --- receiving transplants is  
8       important. So support this.

9               CO-CHAIR WALTERS: It's important to  
10       state what you're recommending. So as you've  
11       heard so far, we've had support, do not support,  
12       and conditional support, and refine and resubmit.

13              Helen.

14              MEMBER HASKELL: Well, I just really  
15       am sort of echoing some of the earlier ones. I'm  
16       concerned about this that it's really a blunt  
17       instrument that we are sort of measuring the  
18       wrong thing and attributing it to the wrong  
19       people. That it needs to be more a decision  
20       between patient and doctor and not something that  
21       anyone's really putting pressure on the patient  
22       to do.

1                   So I feel as though this is a measure  
2                   that is just sort of unnecessary in terms of what  
3                   the patient is doing.

4                   CO-CHAIR WALTERS: Rich.

5                   MEMBER KNIGHT: Thank you. First of  
6                   all, I support both measures and I am a patient,  
7                   but I also want to put on my hat as --- I teach  
8                   graduate/undergraduate courses in business  
9                   policy, which looks at industry structure.

10                  And the very business model that is  
11                  set up to which the gentleman referred to down  
12                  here, you have 70 percent of the market control  
13                  by two businesses, which is an oligopoly, there's  
14                  certain behavior that takes place that is not  
15                  necessarily intentional, but it just turns out  
16                  that it may not be the best interest of the  
17                  patients.

18                  What Janis referred to earlier I agree  
19                  with, but the fact is, is that 62 percent of the  
20                  patients enter dialysis from the emergency room.  
21                  So the counseling and education that we talk  
22                  about, that doesn't happen, so we have to deal

1 with the situation as it currently exists.

2 Patients need to be educated, the  
3 incentive needs to be there so that they can get  
4 waitlisted.

5 The reality is, is that patients die  
6 on dialysis. And if they're not in the sooner,  
7 the better. If it occurs, if it does not occur  
8 and they're on dialysis for a number of years,  
9 the body deteriorates, and then you may not  
10 qualify. So the wait is long enough as it is.

11 Fortunately, they have changed the  
12 rules so that you can go back and make up for  
13 time that you were not listed.

14 When I was on dialysis, that wasn't  
15 the case. I didn't believe in the list. I went  
16 out and found my own donor because I looked at  
17 the numbers. I understand the numbers, but I  
18 think that for the patients overall, that these  
19 measures will be of great help to them.

20 The whole notion of education is  
21 important, but it's a question of who is doing  
22 the educating and there's a big difference in

1       that.

2                       So as the independent patient  
3       organization, our viewpoint is that it is  
4       important for patients to be educated, placed on  
5       the waitlist, so that that increases the chance  
6       of them having a transplant, which is the  
7       ultimate renal replacement therapy.

8                       And I also want to note that it is  
9       true that the non-for-profits have a much higher  
10      referral rate generally because they deal with a  
11      much fuller spectrum of the renal continuum --  
12      not just on dialysis, but they start early on  
13      with the thought of real identification, slow to  
14      progression pre-emptive transplant.

15                      Again, a very, very different business  
16      model. So I think that the incentives are in  
17      place that in some times you look at a process  
18      and you don't necessarily look at the patient  
19      outcomes. That's a concept.

20                      But when we really look at what's  
21      going on, the numbers say something else. So I  
22      support it, again, both measures.

1 CO-CHAIR WALTERS: Keith.

2 MEMBER BELLOVICH: I just want to  
3 reiterate our position, and I take offense as a  
4 nephrologist myself of painting a broad brush  
5 that this is all about for profit. I think  
6 that's the wrong --- the wrong position or wrong  
7 direction to take. It still becomes patients  
8 first.

9 And for those same reasons stated  
10 earlier, that is the main reason is that why the  
11 dialysis unit should not be held accountable to  
12 these outcomes, there's so many other variables  
13 that work.

14 And profit is not the driving force  
15 here, it is a multitude of variables both  
16 sociodemographic --- our lack of endorsement or  
17 our vote for nonsupport is not a vote in --- not  
18 in favor of transplantation. We strongly  
19 encourage transplantation, and agree with Sarah's  
20 comments about emphasizing education, other  
21 measures that these measures don't cover.

22 CO-CHAIR WALTERS: Before we vote, we



1 need to be moving. Last comment from the measure  
2 developer.

3 DR. ROACH: Thank you. So there's a  
4 lot of things that were brought up here. So I'm  
5 going to try and go point by point on them.

6 So in response to the concerns that  
7 this isn't the responsibility of the dialysis  
8 unit, we believe that this is a concept of shared  
9 accountability.

10 We have other measures such as the  
11 readmission measure, in which there has to be  
12 coordination of care between transplant or  
13 between other facilities and the dialysis units,  
14 so other facilities being the transplant centers  
15 and the dialysis unit.

16 The benefit of transplants is  
17 significant. Depending on the study, 40 to 80  
18 percent mortality benefit over staying on chronic  
19 dialysis, which is why it's so important that we  
20 have this measure.

21 And when I was practicing dialysis  
22 when I had a patient that wasn't listed, I

1 coordinated with the transplant center, with the  
2 dialysis facility, and we --- and the --- I'm  
3 sorry -- with the transplant facility and worked  
4 to get that patient listed. So it's a common  
5 effort and it's shared accountability.

6 We realize that some transplant  
7 centers aren't going to list every patient, but  
8 this gets measured on a facility level and this  
9 can --- and this can be evaluated compared to  
10 benchmarks. The TEP had support for this measure  
11 and we plan on submitting it to NQF.

12 As in reference to the concern that we  
13 should adjust this for transplant center rate, so  
14 when we did do testing on this measure, we looked  
15 at that looking at transplant center rate  
16 adjustment and found it wasn't statistically  
17 significant. And it's unstable depending on how  
18 a small percent of machine values are handled.

19 The C Index for both the model with  
20 and without a transplant rate --- center rate  
21 adjustment is 0.72 suggesting no improvement.

22 The IUR decreases from 0.82 to 0.79

1 when you add the transplant center rate to the  
2 model suggesting a small decline in reliability.

3 And when we looked at it and we added  
4 an adjustment for transplant readjustment, very  
5 few facilities, 3 percent, were reclassified.  
6 And the majority of those were to the  
7 disadvantage of the facilities.

8 What's next? So the comorbidity and  
9 socioeconomic status adjustments, we decided to  
10 include comorbidities as an adjustment in the  
11 incident measure, in the SWR, because we did feel  
12 that comorbidities that affected a patient's  
13 survival for the first year would make them less  
14 likely to be listed.

15 And so patients that were sick and had  
16 comorbidities that were likely to cause mortality  
17 in the first year, we did not think those should  
18 be counted.

19 However, for the prevalent measure for  
20 patients that have survived after that one year,  
21 we thought that that was a cohort of patients  
22 that were generally healthier and -- because they

1 had survived that year and they deserve the  
2 benefit of being --- they deserve the benefit of  
3 having access to transplants.

4 For instance, a diabetic patient might  
5 have worse outcomes or be less likely to be  
6 listed, but we feel that that diabetic patient  
7 still could be a potentially good transplant  
8 candidate and shouldn't be excluded.

9 And the one thing that I can't talk  
10 about is the C-stat comment that someone brought  
11 up. We have our contractor on the line. I was  
12 wondering if we could open it up just so they  
13 could comment on that.

14 MS. O'ROURKE: Operator, can you open  
15 the line?

16 THE OPERATOR: Yes, ma'am. To make a  
17 comment, please press star one.

18 DR. ROACH: Jennifer Sardone.

19 MS. O'ROURKE: Can you open the line  
20 for Jennifer Sardone.

21 THE OPERATOR: The line is open.

22 MS. O'ROURKE: Okay. Thank you.

1 DR. MESSANA: Yes. Good morning.  
2 This is Dr. Joseph Messina from the University of  
3 Michigan Kidney Epidemiology and Cost Center.  
4 Good morning.

5 So the question about C-statistic that  
6 was raised suggesting that there is a standard of  
7 0.8 for a C-statistic for a measure submitted to  
8 the National Quality Forum is a bit of a surprise  
9 to me.

10 I was not aware that that's an NQF  
11 requirement, and I would request clarification  
12 from the NQF staff as to whether that is, in  
13 fact, a criterion for acceptance for a measure.

14 If it is not, and if the C-statistic  
15 of any particular measure that's submitted is  
16 open to consideration and debate by the standing  
17 committee who evaluates measures for approval,  
18 then I would strongly recommend the C-statistic  
19 discussion be left to the NQF ESRD standing  
20 committee when these measures are discussed in  
21 the context of the overall evaluation on the  
22 measures.

1                   However, I happen to have one of the  
2                   leading senior biostatisticians at the  
3                   University, Dr. Jack Kalbfleisch, in the room.  
4                   And if he has any additional comments in general  
5                   about C-statistic or about the C-statistics of  
6                   these measures, I'd certainly offer him the  
7                   opportunity to ---

8                   CO-CHAIR WALTERS: This is Ron  
9                   Walters, the chair. I'm going to cut this off at  
10                  this point. This is not a standing committee.

11                  I know there was a question raised,  
12                  but this is not the committee to get into the  
13                  statistical arguments and the statistical  
14                  validity.

15                  (Off mic comments.)

16                  CO-CHAIR WALTERS: That's what I'm  
17                  trying to go back and forth, you know. So what  
18                  I'm going to do is I'm going to take the chair's  
19                  prerogative.

20                  We had a five-minute break scheduled  
21                  for 10:55. We're going to do that now. And the  
22                  reason we're going to do this now is because we

1 have had four recommendations for classification  
2 and for the MAP's recommendation to CMS. And so  
3 we have to talk about how we're going to handle  
4 that voting process.

5 We've done all kinds of it in the past  
6 where we just put all four up on a screen and  
7 then see what comes up.

8 It's very unlikely to get 60 percent  
9 for anything in that circumstance, and so we're  
10 going to talk about how we want to handle the  
11 voting before we move into the voting next when  
12 we return from the break. Okay?

13 I think everybody has heard the  
14 arguments for support, the original argument for  
15 conditional support, the argument for refine and  
16 resubmit, and the argument for do not support  
17 completely. Thank you.

18 MS. DUSEJA: Ron, just one more  
19 comment. We have one more comment, if we can.

20 CO-CHAIR WALTERS: No. I don't want  
21 to have any more comments.

22 MS. DUSEJA: No more comments.

1 CO-CHAIR WALTERS: No. We've got to  
2 get moving on. Again, I think everybody has  
3 heard all of the considerations. All right.  
4 Take a five-minute break.

5 (Whereupon, the above-entitled matter  
6 went off the record at 11:05 a.m. and resumed at  
7 11:15 a.m.)

8 CO-CHAIR WALTERS: If you want your  
9 vote to count, please come back to the table. I  
10 have to admit, I think in six years I don't  
11 remember all four options being open at the same  
12 time and discussed on a measure. It might have  
13 happened one time. It certainly is very unusual.

14 So, again, what we wanted to avoid was  
15 what we've done in the past where all four  
16 options are up or on the board because the odds  
17 are that will lead to nothing, the odds are. And  
18 the whole point of the MAP is to give a  
19 recommendation to CMS.

20 So despite the fact that we went kind  
21 of back and forth between the prevalent measure  
22 and the incident measure, the plans are to vote



1 first on the prevalent measure and then to only  
2 have discussion that differentiates everything  
3 everybody that has said, and I think there's been  
4 one or two comments about that, that from the  
5 prevalent measure. And then we'll try to get to  
6 a vote on the prevalent measure quickly.

7 And I did want to remind everybody  
8 that the 176 measure, the med rec, was left on  
9 the consent calendar and that passed. Our  
10 recommendation to CMS was that that was support.

11 So there's a lot of ways this could  
12 have been done, could be done. We had a little  
13 huddle about what we thought the best way was,  
14 and then we are limited a little bit by some  
15 technology glitches that occurred the last couple  
16 of days.

17 So the first motion that was made was  
18 actually Nancy's, and it was a do not support.  
19 So that's the first motion we're going to tackle.  
20 And then, this will be interesting, after we've  
21 reconciled that one, I'll ask for another motion  
22 if it doesn't pass. And then we'll reconcile

1 that one, and we'll move our way on down.

2 Remember that the preliminary assessment was  
3 conditional support.

4 So, Nancy, would you state your motion  
5 again, if it's still active?

6 MEMBER FOSTER: It is still active for  
7 me, and my motion was do not support.

8 CO-CHAIR WALTERS: Okay. And I only  
9 have one other thing. Because of the technical  
10 glitches and the fact that it's not easy to set  
11 up the voting machines as a binary function at  
12 this time, we are going to ask people to raise  
13 their hands. So please recognize that that is an  
14 extra intricacy to this.

15 So all those in favor of Nancy's  
16 motion of do not support measure MUC 17-241 raise  
17 your hand. All those opposed to the  
18 recommendation of do not support raise your  
19 hands. Okay, 13 to 9. Okay.

20 MS. MCQUESTON: For those on the  
21 phone, can you please indicate --

22 CO-CHAIR WALTERS: I'm sorry. Yes, I

1 forgot.

2 MS. MCQUESTON: -- your vote, either  
3 on the audio or over the chat function.

4 CO-CHAIR WALTERS: Probably audio at  
5 this point.

6 MS. MCQUESTON: Please just speak up  
7 and let us know your vote.

8 CO-CHAIR WALTERS: Everybody will  
9 raise their hands, you know, so --

10 MEMBER BRENNAN: Joan Brennan. I  
11 oppose the motion.

12 MEMBER JORDAN: Jack Jordan. I oppose  
13 the motion.

14 CO-CHAIR WALTERS: Okay. We've got  
15 them. So by my headcount, that's 15 to 9 in  
16 opposition to do not support. Okay. Here's  
17 where it's going to get interesting. Do I have  
18 another motion? Sean?

19 MEMBER MORRISON: Conditional support  
20 current --

21 CO-CHAIR WALTERS: It mentions what it  
22 is. So --

1 MS. MCQUESTON: So we're currently,  
2 I'm going to try my best to explain. Feel free  
3 to jump in. So we're currently voting to  
4 overturn the current recommendation, which is  
5 conditional support for rulemaking. So at this  
6 point, we're only making motions that are  
7 different than conditional support for  
8 rulemaking.

9 CO-CHAIR WALTERS: Greg?

10 MEMBER ALEXANDER: I have a question  
11 about process. So --

12 CO-CHAIR WALTERS: You're not the  
13 first.

14 MEMBER ALEXANDER: So if Nancy was the  
15 first to make a motion, shouldn't the second  
16 person that made the second motion be the next in  
17 line?

18 CO-CHAIR WALTERS: And who was that?

19 MEMBER ALEXANDER: That would be the  
20 lead discussant, which was me.

21 CO-CHAIR WALTERS: Okay.

22 MEMBER ALEXANDER: Right? Not that I

1 --

2 CO-CHAIR WALTERS: Make a motion.

3 MEMBER ALEXANDER: -- but I think it's  
4 important to follow protocol.

5 CO-CHAIR WALTERS: Feel free to make  
6 a motion.

7 MEMBER ALEXANDER: And I don't want to  
8 -- you know, I have a problem with the sort of  
9 conditional support because the recommendations,  
10 my motion is for the one that we have a problem  
11 with is the substantial one, and the reason I  
12 have that --

13 CO-CHAIR WALTERS: Wait, wait, wait.

14 MEMBER ALEXANDER: -- is the one, the  
15 third one down.

16 CO-CHAIR WALTERS: We're not taking  
17 that one right now.

18 MEMBER ALEXANDER: We're not.

19 CO-CHAIR WALTERS: Hold that right  
20 now. We're talking about 241.

21 MEMBER ALEXANDER: No, revise and  
22 resubmit. That is my motion. And the reason for

1       that is because I think that the recommendations  
2       that were made both by the commenters and also by  
3       a lot of people in this room in their discussion  
4       are very substantial issues. I don't think it's  
5       a conditional problem. I mean, I don't think  
6       it's a conditional level. To me, conditional  
7       support with minor revisions, these revisions are  
8       major, substantial. So I think that's why I  
9       raise this issue.

10               CO-CHAIR WALTERS: Okay. So a motion  
11       is on the table that will revise and resubmit.  
12       All those in favor -- can you be explicit about  
13       what you want to revise and resubmit?

14               MEMBER ALEXANDER: Okay. So there  
15       were issues that were raised around age being the  
16       only variable and that that's insufficient.  
17       There needs to be other variables considered, and  
18       there was discussion about exogenous variables,  
19       which I think the other exogenous variables that  
20       need to be filtered out in this that are  
21       important, size of facility matters. I think  
22       I've heard size. The absence of or the way that

1 chronic conditions criteria are applied across  
2 facilities hasn't been well vetted. And those  
3 would be my major ones. There may be others.

4 CO-CHAIR WALTERS: The motion on the  
5 table is revise and resubmit. All those in  
6 favor, raise your hand.

7 MEMBER BRENNAN: This is Joan Brennan  
8 on the phone, and I support that.

9 MEMBER ALEXANDER: Are we just doing  
10 the first one, 241?

11 CO-CHAIR WALTERS: We're only doing  
12 241. All those opposed?

13 MEMBER JORDAN: I oppose the revise  
14 and resubmit. This is Jack Jordan.

15 CO-CHAIR WALTERS: So that motion  
16 passes.

17 MS. O'ROURKE: Just to let everyone  
18 know that, as part of the MAP process, we capture  
19 all this feedback. It goes into the reports.  
20 The binary votes are not the only thing that goes  
21 to CMS. So when you see the report, you'll see  
22 all of this discussion, all of the concerns laid

1 out on people who support, people who suggested  
2 refinements, people who had concerns. So just  
3 to, before Kate announces anything.

4 MEMBER YONG: Can you repeat the  
5 count?

6 CO-CHAIR WALTERS: Fourteen - ten.

7 MS. O'ROURKE: So it is actually,  
8 refine is at 60. Kate pointed out it is greater  
9 than, not greater than or equal to 60 percent, so  
10 we actually need a 61. So that motion fails. So  
11 I think that, to jump in here, I know the process  
12 that Kate presented did not require a vote on the  
13 preliminary analysis decision of conditional  
14 support. The clinician workgroup was voting that  
15 so that people had some more comfort with where  
16 they were, so, Ron, Cristie, do you want to take  
17 a vote on that?

18 CO-CHAIR WALTERS: Is there another  
19 motion?

20 MEMBER HATLIE: I'm confused about  
21 support versus conditional support from comments  
22 made earlier today. If we want it to go through



1 the NQF endorsement process, is that a vote for  
2 conditional support or is that a vote for  
3 support?

4 CO-CHAIR WALTERS: That was the  
5 condition on the conditional support.

6 MEMBER HATLIE: Okay.

7 CO-CHAIR WALTERS: Is there a motion  
8 for support? And believe me, when we did our  
9 huddle the last, that break, these were all the  
10 considerations. So because no alternative motion  
11 passed the 60 percent, it defaults to the  
12 preliminary assessment of conditional support.  
13 And those conditions were?

14 MS. MCQUESTON: That the measure be  
15 submitted to NQF and it receive endorsement. And  
16 I'd also like to remind you that all of the lists  
17 that you gave us of the issues that you have, we  
18 will present that to the standing committee for  
19 consideration as well, and they can have that  
20 discussion.

21 CO-CHAIR WALTERS: Thank you for  
22 working through this process. Yes?

1                   MEMBER FOSTER: I have a question. We  
2 have not yet considered whether additional  
3 conditions might be offered up by the committee  
4 to the one that was --

5                   CO-CHAIR WALTERS: You can propose  
6 other conditions.

7                   MEMBER FOSTER: -- offered up by the  
8 staff.

9                   CO-CHAIR WALTERS: You can provide  
10 input to other conditions to the conditional  
11 support, yes. What would you have?

12                  MEMBER FOSTER: I would have, I would  
13 offer up as conditions that the measure be, that  
14 -- I don't even know. I mean, let me think about  
15 how to phrase this. But others have voiced a lot  
16 of concerns, and I just think that we ought to  
17 sort of capture some of that.

18                  CO-CHAIR WALTERS: Well, they got the  
19 feedback, yes. I knew that's where we're headed.  
20 All right. Now, and that's why I was a little  
21 bit abrupt earlier on because I could see that,  
22 ultimately, we're going to have to do something

1       like this, and it has to head to a recommendation  
2       to CMS.

3               MEMBER FOSTER:   One other question  
4       about process, because I am reminded that, in the  
5       past, when this kind of mixed vote has occurred,  
6       when there was not a 60-percent agreement on any  
7       particular recommendation, what went forward was  
8       consensus not reached, rather than a  
9       recommendation for --

10              CO-CHAIR WALTERS:   And that has been  
11       discouraged.   I mean, we --

12              MEMBER FOSTER:   But that would be a --

13              CO-CHAIR WALTERS:   -- some sort of  
14       consensus, even if it's -- well, the consensus we  
15       just reached in the voting process was not to  
16       overturn the conditional support assessment,  
17       preliminary assessment.   You know, that could  
18       have turned out differently in the voting.

19              MEMBER FOSTER:   So because only 60  
20       percent of us agreed that it should be revised  
21       and resubmit, we're declaring that there was a  
22       consensus of 40 percent for conditional support?

1 CO-CHAIR WALTERS: Yes.

2 MEMBER FOSTER: That defies a logic  
3 that I'm struggling to understand.

4 CO-CHAIR WALTERS: I understand.  
5 There was not greater than 60-percent support for  
6 the motion on the table.

7 MS. O'ROURKE: So this is the first  
8 time that we broke right at the 60 percent, so  
9 we're in a little bit of uncharted territory.  
10 Everything the other groups were at least -- yes,  
11 we haven't hit exactly 60 --

12 CO-CHAIR WALTERS: But everything has  
13 been documented, so I think that's why the  
14 discussion is worth it. And I'm sure a lot of  
15 the same issues will come up in the appropriate  
16 time.

17 MR. AMIN: So, Ron, can I weigh in on  
18 this voting question? I know we're trying to  
19 move on. So as we were discussing the voting, as  
20 it was introduced, Nancy, at the beginning of the  
21 presentations, the intent was to have the  
22 Coordinating Committee put out, you know, the

1 preliminary analysis algorithm, which is  
2 essentially what staff used to make a preliminary  
3 recommendation to the workgroup.

4 As we proposed, that's the decision of  
5 the workgroup until somebody overturns it. And,  
6 therefore, the binary questions that we asked  
7 everyone is to put forward a motion to overturn  
8 the PA discussion.

9 So when we look at the results of  
10 that, I mean, it would be appropriate if you do  
11 want to vote on the PA recommendation and see if  
12 it reaches a 60-percent majority. That would be  
13 appropriate to do from the, you know, the rules  
14 that have been set out. The assumption is if you  
15 haven't overturned that by 60 percent, then you  
16 default back to the PA recommendation.

17 So when we, you know, the problem is  
18 when you're doing that binary decision is that  
19 you could be, your alternative when you're saying  
20 no could be three options. So it's, you know, I  
21 think the other 40 percent is basically saying it  
22 could be any one of the other three options. So

1       that's where we landed.

2                   CO-CHAIR WALTERS:   We knew we were  
3       getting into a fix here when the discussion  
4       started.   That's right.

5                   MEMBER FOSTER:   Could I get  
6       clarification on what, on Taroon's clarification?  
7       So if I were to make a motion that we vote on  
8       conditional support, we could take that vote and  
9       if it did not achieve a 60 percent then we'd be  
10      in the no man's land that I think we actually are  
11      in?

12                  CO-CHAIR TRAVIS:   Well, and I'm going  
13      to ask staff to clarify, we are to make a  
14      decision.   We need to make a decision.   We don't  
15      have the, quote-unquote, luxury anymore of  
16      bouncing it up and saying consensus was not  
17      reached.   So depending upon what we do and if we  
18      don't get over 60 percent for anything, we have  
19      to keep talking about it until we get over 60  
20      percent.   That's what I was under the impression,  
21      and if I'm wrong, staff can correct me on that.

22                  So we don't have the consensus not

1 reached option anymore. We got rid of that last  
2 year because too many things were getting kicked  
3 up to the Coordinating Committee, and they are  
4 not to serve the same function we are to serve,  
5 which is to actually make a decision.

6 CO-CHAIR WALTERS: So, yes, you are  
7 correct. If you make a motion of conditional  
8 support, which is already the PA, we could vote  
9 on that. It could well lead to not getting off  
10 this measure for a while yet.

11 MEMBER FOSTER: That is such a heavy  
12 burden to bear. But I think, I think in this  
13 reality, I mean, we didn't do -- it didn't appear  
14 that we were doing a head-to-head comparison to  
15 vote, you know, you either conditionally support  
16 or you revise and resubmit or something else. I  
17 mean --

18 CO-CHAIR WALTERS: And that was  
19 discussed. It's just kind of like, again, what  
20 you put first because, again, when you pair two  
21 people off, you don't get the same result as if  
22 you put all four on the ballot at the same time.

1                   MEMBER FOSTER: Right. I understand  
2                   that.

3                   CO-CHAIR WALTERS: It's guaranteed.  
4                   And so --

5                   MEMBER FOSTER: I get that. But I  
6                   guess to look at a vote that was 40 percent for  
7                   one thing and 60 percent for another and declare  
8                   the consensus to be with the 40 percent seems to  
9                   be an erroneous misperception that we ought to  
10                  re-figure here.

11                  CO-CHAIR WALTERS: So when we ask to  
12                  pull a measure, that's why we point out what the  
13                  preliminary analysis was, and a lot of the  
14                  discussion that occurred was how strongly do I  
15                  feel about something else to not accept the  
16                  preliminary analysis. And, unfortunately, there  
17                  was a lot of people who did not want to accept  
18                  the preliminary assessment, but they were split  
19                  across what their alternatives were, and that  
20                  created a dilemma. So I understand. Yes?

21                  MEMBER MORRISON: So I hear that there  
22                  are a lot of people, Nancy particularly, who feel



1 very strongly about this, but what I'm hearing is  
2 that this is about how the process was  
3 established before this committee met. And we  
4 may not like the process, and I've certainly been  
5 on this committee long enough not to have liked  
6 the processes in the past. But what I'm hearing  
7 is an argument and a discussion about what the  
8 established process was. And I think that if we  
9 don't like that, the time is not at this meeting  
10 right now to address that. The time is either  
11 before or after.

12 But that's how it was set up, Nancy,  
13 and that's what we knew coming in. So I hear  
14 you. I mean, I'm not happy either, but that's  
15 where we are. And I just would -- otherwise,  
16 we're going to be here until tomorrow, and I have  
17 to get home tonight.

18 CO-CHAIR WALTERS: And following on  
19 that point, I'm sorry, but we need to now vote,  
20 we need to have any more discussion that  
21 differentiates, other than that already  
22 mentioned, the incident dialysis patient measure,

1 17-245, MUC17-245. We heard some discussion  
2 earlier about there could be a difference between  
3 those two populations for a number of reasons.  
4 And the preliminary assessment from staff was  
5 conditional support, and those conditions were?

6 MS. MCQUESTON: That it be submitted  
7 to NQF for review and endorsement.

8 CO-CHAIR WALTERS: All right. At some  
9 risk, is there another -- oh, I'm sorry.  
10 Elizabeth was the lead discussant for that one.  
11 Is there anything you have to add that hasn't  
12 been mentioned already?

13 MEMBER EVANS: I don't think so. Good  
14 answer, huh?

15 CO-CHAIR WALTERS: Well, anyway,  
16 Maryellen?

17 MEMBER GUINAN: Nothing more than has  
18 been said already.

19 CO-CHAIR WALTERS: Sarah?

20 MS. NOLAN: No.

21 CO-CHAIR WALTERS: And Nancy was the  
22 one that pulled it.

1                   MEMBER FOSTER: I have nothing more to  
2 say.

3                   CO-CHAIR WALTERS: Okay. Is there --  
4 so the preliminary assessment is conditional  
5 support. Is there any other motion proposed?

6                   MEMBER FOSTER: Ron, when I pulled it,  
7 my motion was do not support.

8                   CO-CHAIR WALTERS: Okay. We will have  
9 a vote on that motion then. So Nancy has put  
10 forth a motion of do not support, thereby  
11 canceling out the conditional support. If you  
12 are in favor of do not support, please raise your  
13 hand. And those on the phone, please tell us  
14 your recommendations.

15                   MEMBER BRENNAN: I support that.  
16 Joan.

17                   CO-CHAIR WALTERS: You support the  
18 motion of do not support?

19                   MEMBER BRENNAN: Yes.

20                   CO-CHAIR WALTERS: Okay, got you.

21                   MEMBER JORDAN: I do not support that.

22                   CO-CHAIR WALTERS: Okay. So we'll

1 count you in just a second. So all those who do  
2 not support the motion raise your hand.

3 MEMBER JORDAN: I do not support that.

4 MS. MCQUESTON: So for MUC17-245, we  
5 have 12 votes in favor of the motion to not  
6 support and 13 votes against the motion to not  
7 not support or --

8 CO-CHAIR WALTERS: Yes, we always get  
9 in trouble every year how you word that, but the  
10 point is it certainly is not 60 percent. Okay.  
11 So that means that it is conditional support. Is  
12 there any other motion that's proposed? It  
13 doesn't mean everybody is voting the same on each  
14 one. Is there any other motion about that  
15 measure?

16 MEMBER GUINAN: Can I submit a motion  
17 to refine and resubmit or revise and resubmit?

18 CO-CHAIR WALTERS: You most certainly  
19 can. Would you like to state what you would  
20 refine and resubmit?

21 MEMBER GUINAN: I think, at this  
22 point, a comment on the, I guess, locus of

1 control in this measure and that we're not  
2 measuring the right people, persons, facilities,  
3 and that it should be reinvestigated in terms of  
4 whether this measure targets what we're wanting  
5 to be measured, that being the discrepancy  
6 between facility centers versus dialysis centers.  
7 Also, just the statistical issues that came up in  
8 terms of this is even less than the prior  
9 measure. Yes, I think that should be enough for  
10 a vote.

11 CO-CHAIR WALTERS: All right. The  
12 vote is open for the motion of refine and  
13 resubmit. All those in favor of refine and  
14 resubmit raise your hand.

15 MEMBER BRENNAN: This is Joan Brennan.  
16 I support that.

17 MS. MCQUESTON: We have 11 votes in  
18 favor of the motion.

19 CO-CHAIR WALTERS: And all those  
20 opposed to the refine and resubmit?

21 MEMBER JORDAN: This is Jack Jordan.  
22 I'm opposed to refine and resubmit.

1 MS. MCQUESTON: We have 13 votes  
2 against the motion. Has someone abstained from  
3 voting? Okay.

4 CO-CHAIR WALTERS: Two people  
5 abstained. That's 26. Okay. That motion did  
6 not pass. Again, we are back to the conditional  
7 support. Is there any other motions that anybody  
8 would like to make? It's only one left. Okay.

9 I think what I'm going -- thank you,  
10 everybody. I mean, again, I think the discussion  
11 and the voting in this circumstance gives a lot  
12 of feedback and it's important feedback, so I  
13 don't want anybody to feel discouraged with the  
14 result, however you voted, because the discussion  
15 that occurred and the feedback that occurred and  
16 exactly the kind of issues we talked about are  
17 well reflected and certainly will be considered.

18 And I think, with that, I'm going to  
19 turn it over to Cristie.

20 CO-CHAIR TRAVIS: I'll add my thank  
21 yous. We're going to move on to the next MUC,  
22 MUC17-178, 30-day unplanned readmission for

1 cancer patients. And I'm going to ask the staff  
2 to, when they're ready, to give us an overview of  
3 the cancer project in this measure.

4 MS. MCQUESTON: Thank you. So this is  
5 the PPS-Exempt Cancer Hospital Quality Reporting  
6 Program. It's a quality reporting program, and  
7 it's voluntary. The data are published on  
8 Hospital Compare. The program goals are to  
9 provide information about the quality of care in  
10 cancer hospitals, specifically the 11 cancer  
11 hospitals that are exempt from the Inpatient  
12 Prospective Payment System and the Inpatient  
13 Quality Reporting Program. And the main goal of  
14 the program is to encourage hospitals and  
15 clinicians to improve the quality of their care,  
16 to share information, and to learn from each  
17 other's experiences and best practices.

18 These are the measures included in the  
19 program and also included in your handouts. On  
20 the next slide are the changes of the program,  
21 including measures that have been recently  
22 removed and measures that are new for 2022.

1 CMS had identified three domains as  
2 high priority for future measure consideration.  
3 These include measures related to communication  
4 and care coordination, making care affordable,  
5 and person and family engagement. In addition,  
6 last year, the hospital group identified the  
7 following gaps as global harm and informed  
8 consent.

9 CO-CHAIR TRAVIS: Okay. Operator,  
10 could you please open the lines for any public  
11 comment on this measure?

12 OPERATOR: Yes, ma'am. Just tell me  
13 if you would like to make a comment, and please  
14 press star and then the number one. And there  
15 are no public comments at this time.

16 CO-CHAIR TRAVIS: Thank you, operator.  
17 Any public comments in the room? Okay. Seeing  
18 none, we will move on for this measure. And I'm  
19 looking at my notes to be sure. At this point,  
20 no one has pulled this measure, and there is, I  
21 think, a slide -- I'm really sorry. Okay. This  
22 is MUC17-178. The preliminary analysis result is



1 support for rulemaking. No one has pulled this  
2 measure so far. Does any workgroup member want  
3 to pull this measure? Okay. Well, not seeing  
4 any. Boy, I like where I'm sitting today. Not  
5 seeing anybody raising their hand to pull this  
6 measure, this measure will move forward as a  
7 support for rulemaking as part of our consent  
8 calendar. And thank you all so much. It's  
9 great. Thank you, Ron.

10 CO-CHAIR WALTERS: I thought I was  
11 just in this position. Okay. Let's move on to  
12 the ASCQR.

13 MS. QUINNONEZ: Thank you. So the  
14 Ambulatory Surgical Center for Quality Reporting  
15 Program is a pay for reporting and public  
16 reporting. And the incentive structure is  
17 aligned so that there's a 2 percent reduction in  
18 annual payment for acts that do not participate  
19 or fail to meet the program requirements.

20 The program goals include promoting  
21 higher quality, more efficient healthcare for  
22 Medicare beneficiaries throughout measurement,

1 and also allowing consumers to find and compare  
2 the quality of care given at X to inform  
3 decisions of where to get care.

4 On this slide, you'll notice this is  
5 the ambulatory surgical center measure set as it  
6 stands today. There's 18 in total. In totality  
7 there is one measure that you'll notice with the  
8 green stars. The different stars mean different  
9 things. There's one measure that you'll notice  
10 that will be delayed and now added in calendar  
11 year 2020. There is one measure that is proposed  
12 for calendar year 2021, and there are two  
13 measures that are proposed for calendar year  
14 2022, and three measures will be removed in  
15 calendar year 2019.

16 So on this slide, you'll see the  
17 priority domains that were recognized by CMS's  
18 high-priority domains for future measure  
19 consideration. Under making care safer, you'll  
20 notice infection rates was added. Under person  
21 and family engagement, there was improved  
22 experience of care for patients, caregivers, and

1 families, and promoting patient self-management.

2 Under best practices of healthy  
3 living, there was the increase appropriate use of  
4 screening and prevention services and improving  
5 the quality of care for patients with multiple  
6 chronic conditions, as well as to improve  
7 behavior health, access, and quality of care.  
8 Under the effective prevention and treatment,  
9 you'll notice that was added surgical outcome  
10 measures. And communication, care, and care  
11 coordination embedded best practices to manage  
12 transitions across practical settings, enable  
13 effective healthcare system navigation, and  
14 reduce unexpected hospital emergency visits and  
15 admissions.

16 And at this time, we'll let Ronald  
17 stop for public comment.

18 CO-CHAIR WALTERS: Operator, could we  
19 open up the lines for external public comment?

20 OPERATOR: Yes, sir. At this time, if  
21 you'd like to make a comment, please press star  
22 and then the number one. And there are no public

1        comments at this time.

2                    CO-CHAIR WALTERS:    Is there public  
3        comment in the room?    Okay.    Hearing none, would  
4        the measure developer like to make any comments?

5                    DR. DRYE:    Hi.    Sorry.    I didn't know  
6        I was going to get a little chance to introduce  
7        the measure.    This is Elizabeth Drye.    I'm from  
8        the Yale Center for Outcomes Research and  
9        Evaluation, and we led the measure development  
10       for CMS for this measure.

11                   It covers a broad range of surgeries  
12       at general, at ambulatory surgery centers.    These  
13       are surgeries that are within the scope of  
14       general surgeons' training, and many of them are  
15       not done by general surgeons, so I want to just  
16       point that out up-front, because, as you know,  
17       many wound or skin procedures, plastic procedures  
18       could be done by sub-specialists or by general  
19       surgeons.    But we pulled this group of procedures  
20       together to evaluate care at ambulatory surgery  
21       centers because we, in consultation with  
22       surgeons, anesthesiologists, and other experts,

1       there was a recognition that the kinds of quality  
2       improvement efforts that can improve outcomes  
3       cross these areas, and the kinds of outcomes  
4       patients experience that can be improved are very  
5       similar for this broader group of procedures.

6               The outcome is hospital visits within  
7       seven days, specifically unplanned hospital  
8       visits, so unplanned admissions. We pull out  
9       planned admissions, ED visits, and observation  
10      stays. About two-thirds are ED visits. And the  
11      rates are relatively low compared to a similar  
12      measure that's been approved by NQF for hospitals  
13      that is a broad measure of different types of  
14      surgeries. It's two percent, but there is good  
15      variation both before and after risk adjustment.  
16      So it fills a gap.

17             Just my last quick comment. For ASCs,  
18      CMS has one measure that is just entering public  
19      reporting that is measuring colonoscopy care with  
20      the same outcome. They finalized in rulemaking  
21      two very similar measures structured similarly to  
22      this for urology and orthopedic patients. And

1 this one really covers the remaining groups of  
2 procedures that hang together within this broad  
3 category of procedures that general surgeons are  
4 trying to do and is harmonized in its outcome and  
5 basic approach to risk adjustment.

6 So I won't go into the technical  
7 issues. We submitted the measure for NQF  
8 endorsement under the new process to this first  
9 round of rapid review committees to the Surgery  
10 Committee. They had their first meeting this  
11 week, but they haven't started substantively  
12 engaging on the measure review.

13 We're really excited to hear your  
14 comments. We did review your comments, and I  
15 could speak specifically to those, but I'll defer  
16 that. I think it's probably better to just let  
17 you get started.

18 CO-CHAIR WALTERS: This measure, the  
19 preliminary assessment was conditional support  
20 pending endorsement. And Nancy asked that this  
21 measure be pulled for discussion, so, Nancy, the  
22 reasons why you pulled it for discussion and your

1 recommendation -- your formal recommendation.

2 MEMBER FOSTER: Sure. I'm going to be  
3 very popular today, huh? My formal  
4 recommendation is do not support. I'm glad to  
5 hear it has now been submitted for NQF review,  
6 but I am puzzled. As you've mentioned,  
7 Elizabeth, a vast majority of the procedures here  
8 are skin procedures, not typically general  
9 surgery domain. Yes, I'm sure they can do them  
10 but not a typical general surgery domain.

11 One of the things that bothers me  
12 about this measure is that it may already be  
13 topped out. Once we looked at the adjusted rate,  
14 we saw only 30 of the 650 surgery centers that  
15 were being assessed were significant outliers.  
16 That doesn't seem like a lot of room for  
17 improvement. It's not adjusted for social risk  
18 factors that may come into play here. You know,  
19 there are some other issues that I'm sure the  
20 Steering Committee will dwell on, but this seems  
21 like a fairly puzzling entry, given the comments  
22 Pierre made at the beginning about seeking

1 meaningful measures if it's this close to being  
2 topped out and not addressing general surgery in  
3 ambulatory surgery centers.

4 That said, I'd love to see some good  
5 measures of ambulatory surgery centers. But this  
6 doesn't ring my bell.

7 CO-CHAIR WALTERS: Okay. I try to  
8 learn something every time I do this, so the  
9 preliminary assessment is conditional support,  
10 and Nancy has already made a motion for do not  
11 support. So we're going to be coming back to  
12 that in just a second.

13 The lead discussants get the next  
14 comments. Janis? And you can, you can come to  
15 any recommendation you want to, but we do have a  
16 motion of do not support on the table, so just  
17 keep that in mind.

18 MEMBER ORLOWSKI: Thank you. So as I  
19 took a look at this, a couple of things. First  
20 of all, I do believe that we need to have some  
21 30-day look at individuals that are cared for in  
22 an ambulatory center. The concern that I have



1 with an ER visit is that there may or may not be  
2 a condition that is an issue or, you know, is a  
3 problem. And depending upon access and social  
4 demographic factors, some of these patients would  
5 go to the emergency room and some will call their  
6 doctor and go to the surgeon's office. And I  
7 think those are counting the same thing.

8 I think I would like to see this  
9 measure where it actually counts some morbidity  
10 associated with it. So there's an infection that  
11 needs treatment, there's pain that needs  
12 observation, there's something. And so I think  
13 that, if we are going to include all ER visits,  
14 then we really have to SDS-adjust this because  
15 there are variations in inability to access.

16 I do, I was just looking at Nancy's  
17 comment about it being mostly skin. And I have  
18 to say, honestly, I didn't pick that up before.  
19 But the question that I have then is: are we  
20 measuring -- is this a physician measurement, or  
21 are there other providers involved in that? And  
22 so I think that's another thing that we'll need

1 to take a look at.

2 So those are my two comments. But  
3 really the SDS adjustment, I would say, is my  
4 main concern.

5 CO-CHAIR WALTERS: So I need to ask  
6 you specifically: is that an additional  
7 condition, or are you in support, so to speak, of  
8 the do not support, or do you have another  
9 recommendation?

10 MEMBER ORLOWSKI: I would say that  
11 that's an additional condition that I'd  
12 recommend.

13 CO-CHAIR WALTERS: Jeff is on the  
14 line. Right? I don't think he was. Kimberly?

15 MEMBER GLASSMAN: Yes. I think that  
16 it is good to have measures for ambulatory  
17 surgery centers. I share some of the concerns  
18 mentioned. An additional concern is that there's  
19 really no exclusions here, and I think that when  
20 you're looking at certainly planned admissions,  
21 but there are other situations that may have  
22 nothing to do with problems with the surgery or

1 complications related to the surgery that might  
2 bring patients into an emergency room.

3 So I would stay with the  
4 recommendation of conditional support, but I  
5 would add an additional condition to look more at  
6 the exclusion criteria.

7 CO-CHAIR WALTERS: Thank you for being  
8 quite clear about your recommendation. Would the  
9 measure developer like to respond to that?

10 DR. DRYE: Sure, thanks. I'll just  
11 take these in sequence, if that's okay. So let  
12 me clarify it's a facility-level measure score,  
13 and so these are at ASC facility levels. It's  
14 not a facility-level measure.

15 We struggled with the name of the  
16 measure, to be honest, because there are a lot of  
17 skin procedures and many of them are done by  
18 dermatologists. But in assessing the quality of  
19 care at ASCs, we are deliberately trying to be  
20 neutral to which specialist type is performing  
21 the procedure that can be performed by more than  
22 one specialist type and also, you know, to the

1 procedure itself.

2 So the inclusion criteria are the set  
3 of procedures that are within the scope of  
4 general surgery practice. And, again, we took  
5 that approach because when we grouped them that  
6 way and when we went through those with general  
7 surgeons and with our expert panel, the kinds of  
8 quality improvement activities that lower risk  
9 with similar costs, all those procedure types and  
10 the types of really preventable admissions or ER  
11 visits are similar. It's, you know, abdominal  
12 pain, hemorrhage or bleeding, nausea, vomiting,  
13 hematoma, urinary retention. Those are things  
14 that are related to the procedure and that are  
15 lowered by better care, and there are comments  
16 submitted by four or five organizations  
17 supporting the measure for those reasons.

18 So it's a risk-adjusted measure. You  
19 know, it adds to the complexity. The expected  
20 rate is not zero of hospital visits because this  
21 is a Medicare population, so they are going to go  
22 to the ER or they are going to go to the hospital

1 for things in a seven-day window post-surgery  
2 unrelated, but their rate of use of the hospital  
3 is elevated in those first seven days, which is  
4 why we focused on that period and not the 30-day  
5 period.

6 In terms of the variation in  
7 performance or the limited number of outliers, we  
8 use and we submit the material to the MAP. A  
9 typical approach we use in other CMS risk-  
10 adjusted outcome measures of using a 95-percent  
11 interval, estimate, a very conservative approach  
12 to classified better or worse providers, and  
13 there were not very many in the better or worse  
14 category at many facilities. But there is a  
15 range of performance, as I mentioned. This  
16 measure, this score is reported as a ratio of  
17 essentially adjusted to what's expected, given  
18 the case mix and the procedure mix. And some  
19 facilities have half of the rate expected, and  
20 some have, you know, two or three the rate of  
21 expected visits.

22 So there is a real range of

1 performance that you see. Some of that is  
2 practice variation, so going to the point about,  
3 you know, some of the ED visits may not be for,  
4 they may be really for convenience, like can you  
5 give me a catheter because I can't urinate.  
6 That's part of the design of the measure.

7           There was a lot of discussion in our  
8 expert panel, and we did put the measure out in  
9 public comment also around that. And you will  
10 see surgical groups or groups in certain areas  
11 that just say, okay, go to the ED, and you'll see  
12 other types of surgeons or surgeons practicing in  
13 certain areas that have office hours and are  
14 accessible to deal with those things. So I  
15 actually like that aspect of the measure because  
16 your score is higher, which is worse if you're  
17 not trying to see your patients outside the ER  
18 setting for things that really can usually be  
19 anticipated. So that's a deliberate aspect of  
20 the measure's design and that scenario where  
21 people can bring down ER visit rates over time.

22           I'm just trying to see if there's --

1 oh, in terms of exclusions, it might help to know  
2 a little bit more about what you were thinking  
3 about. You know, the measure has been through  
4 expert review and public comment. We don't have  
5 a lot of exclusions because there's some  
6 selection to ambulatory surgery centers for  
7 patients who, you know, would be expected to be  
8 able to have the procedure and then go home same  
9 day. So we don't worry too much about, they  
10 don't have the same kind of, you know, clinical  
11 differences that we might focus on in a hospital  
12 setting.

13 MEMBER GLASSMAN: I guess I was  
14 thinking, because this is such a wide group of  
15 patients with many different procedures, and I  
16 guess I would ask for clarification about the  
17 planned aspect so the planned return would not  
18 count against someone. I'm thinking of someone  
19 who might have a breast biopsy and be lucky  
20 enough to get a quick turnaround on a path report  
21 and then be able to go and have their procedure,  
22 and maybe that would happen within this window.

1                   So because this is a seven-day  
2                   measure, I'm concerned about people saying, oh,  
3                   wait until ten days so that I don't get dinged  
4                   here. So I guess I think this may need a little  
5                   more clarity from that perspective, so that was  
6                   what was in my mind.

7                   DR. DRYE: Okay. Thanks for that  
8                   clarification. The way the measure is designed,  
9                   it does count only unplanned admissions. So we  
10                  adapted an algorithm that CMS developed earlier  
11                  called, it's a planned readmission algorithm.  
12                  It's really based on admission types, not  
13                  readmissions. It's agnostic to whether you were  
14                  recently in the hospital or never in the  
15                  hospital.

16                  And so it pulls out, for example,  
17                  admissions for cancer are not part of that. They  
18                  get automatically pulled out. So we pull out  
19                  anything where there's a procedure and a non-  
20                  acute diagnosis. So it's not an emergent thing,  
21                  but it's something that, if it happens in the  
22                  seven days and that's good care, it won't be



1 counted.

2           Sometimes we miss very, you know,  
3 like, things that are relatively rare in that  
4 adaptation, and we did in public comment hear  
5 about one of those, which was, I think, related  
6 to breast cancer diagnosis and follow-up care.  
7 So then we can just add these specific procedures  
8 into the algorithm to make sure they're planned.  
9 And if there are those kinds of specific  
10 procedures that are not on our planned procedure  
11 list as laid out in excruciating detail in the  
12 technical report, we can add those. That's what  
13 we want to do. We want to be as accurate as we  
14 can in identifying those planned procedures, so  
15 we welcome those specifics.

16           And then I just wanted to add, because  
17 I didn't address SES, and CMS can speak to this,  
18 as well, we did test three sociodemographic  
19 status variables, African-American race, dual  
20 eligibility for Medicaid, and then a composite  
21 AHRQ SES index, as individual patient-level risk  
22 adjusters, and they really did not change the

1       measure scores for the facilities at all. I  
2       mean, they're correlated to the 0.9 and are even  
3       1.0 level.

4                   And then we looked at, well, would  
5       facilities that care for more low SES patients,  
6       as defined by any of those two variables, have  
7       higher rates of return visits? Because you could  
8       hypothesize that maybe they don't have as much  
9       social support or there are other barriers to  
10      care, and there's really very little difference.  
11      It's in table seven of our technical report.  
12      There is some at the very high end. We put  
13      ambulatory surgery centers in quartiles of the  
14      proportion of their patients who were low SES,  
15      three separate analysis, you know, so for each  
16      variable the proportion that had few African-  
17      Americans versus the quartile with the most. And  
18      you do see the medians are the same for the  
19      median hospital return rates across all those  
20      quartiles, but if you look at the very highs,  
21      like 95th percentile, there are some centers, the  
22      very tip of the distribution, that had higher

1 proportions of low SES patients. That's not  
2 atypical of what we see, and there were some  
3 members who were like you would never adjust this  
4 risk, you shouldn't take patients into the  
5 ambulatory surgery center patient setting if they  
6 don't have adequate support. So we heard both  
7 arguments on both sides. We didn't risk adjust  
8 or stratify, but these are, as CMS indicated in  
9 its most recent rule for the Ambulatory Surgery  
10 Center Quality Reporting Program, this is an  
11 ongoing area of discussion and investigation, so  
12 I don't think that's the end of the story. But  
13 that's the current status of the measure.

14 CO-CHAIR WALTERS: Thank you. Okay.  
15 We'll now open it up to the rest of the workgroup  
16 for comments. And, please, again be explicit  
17 whether you are in support of the EA of  
18 conditional support, in support of the motion on  
19 the table which is do not support, or any other  
20 motion. Lee?

21 MEMBER FLEISHER: I'm in support of  
22 the initial recommendation of conditional

1 support. It's interesting. We started this work  
2 with Sean Tunis in, like, 1997, so it's good to  
3 see the measure finally developed. And the seven  
4 days was actually, Jerry Anderson and I had done  
5 work to show that does prevent some of the  
6 concerns. So it's not consistent with the 30  
7 days, but it showed out.

8 And I am, of note, the co-chair of the  
9 Surgery Standing Committee, so we will review it.  
10 And I thank you for all the comments because they  
11 will be now incorporated into how the Surgery  
12 Standing Committee looks at this measure.

13 But just the definition is truly  
14 freestanding ambulatory surgery center because  
15 that makes the biggest difference is whether or  
16 not this, how you define an ASC because some ASCs  
17 are attached to hospitals and, therefore, have a  
18 different rate of direct admission, and some are  
19 truly freestanding. And the truly freestanding,  
20 this is a critical measure. The ones in which a  
21 hospital say, well, we'll just take them through  
22 a tunnel back to the main hospital, they may look

1 at admissions differently. So that's the key  
2 question I have.

3 CO-CHAIR WALTERS: I think they heard  
4 that. Are there any other comments?

5 MEMBER SHEHADE: This is just a  
6 question actually just from the conditional  
7 support. Is it still just the NQF endorsement as  
8 a condition, or was there a motion to add another  
9 condition to --

10 CO-CHAIR WALTERS: You can add any  
11 conditions you want to your --

12 MEMBER SHEHADE: I just want to, I  
13 thought somebody added another condition to --

14 CO-CHAIR WALTERS: Yes, there was an  
15 additional condition. Would you state that,  
16 please? I think it was Janis.

17 MEMBER ORLOWSKI: So what I had asked  
18 is that there be an SDS condition that we apply  
19 to this. And there is one comment that I'd like  
20 to make is that I believe and the studies have  
21 shown -- particularly in return to the emergency  
22 room -- it is a sub-segment of the population,

1 sort of the poorest of the poor. And for us to  
2 say, well, there was only a little bit of a  
3 difference that we noticed, but it wasn't very  
4 much, so we're not going to, what does that does  
5 is, I think, adversely affect access for the  
6 poorest of the poor and that is the reason to do  
7 SDS adjustment.

8 CO-CHAIR WALTERS: Nancy?

9 MEMBER FOSTER: In light of the  
10 discussion, I'm going to withdraw my motion for  
11 do not support but ask that another condition be  
12 added, and that is -- due respect to my colleague  
13 to my left -- the research, I believe, he said  
14 was about 20 years ago. Was that correct? And I  
15 would suggest that that which we do in ambulatory  
16 surgery centers has changed enormously in that  
17 time frame, particularly over the last five  
18 years. And so I would ask the Steering  
19 Committee, that the Steering Committee be asked  
20 to really, really assess whether that's the right  
21 time frame or whether it's creating some of the  
22 unintended consequences that Kim and others have

1 discussed.

2 CO-CHAIR WALTERS: That's a nice  
3 peaceful way to do it. Is there any other  
4 comments that anyone on the Committee -- oh,  
5 Helen?

6 MEMBER HASKELL: Yes. I would just  
7 say that I support this measure, and I would be  
8 really wary of including SES. I think return to  
9 the emergency room is an indication of a serious  
10 complication, and it can be anybody. I think  
11 that that could really be muddled by including  
12 SES, which I, in general, oppose because I think  
13 it creates a dual standard of care. Anyway, I  
14 just wanted that on the record that I would  
15 oppose that condition.

16 CO-CHAIR WALTERS: So I believe you  
17 just said you were opposed to the conditional  
18 support, and you want to oppose full support?

19 MEMBER HASKELL: No, no, I said I do  
20 support it. That particular condition is not one  
21 that I would support. Conditional support is  
22 fine.

1 MR. AMIN: Maybe the condition should  
2 be an evaluation of the SDS factors by the  
3 Surgery Committee, rather than a yes or no on --

4 CO-CHAIR WALTERS: Is that acceptable  
5 to both of you?

6 MEMBER HASKELL: Yes.

7 MEMBER FLEISHER: Yes. And just the  
8 definition of the ASC to make sure it's really  
9 clear. It has to be distinct from -- do you have  
10 that --

11 MS. DUSEJA: Yes, I do have that  
12 information. It's freestanding, if that's your  
13 --

14 CO-CHAIR WALTERS: Andrea?

15 MEMBER BENIN: I guess I would just  
16 like to add another condition regarding the  
17 discussion about a biopsy that then needed  
18 immediate attention would be just to make sure  
19 that is part of the consideration. I think,  
20 Elizabeth, it sounded as though you have some  
21 sense of those things but maybe not a  
22 comprehensive listing, but that those are



1 evaluated more comprehensively as part of that,  
2 just to make sure that there aren't things that  
3 get included.

4 DR. DRYE: If I can just clarify, we  
5 think we have the comprehensive list because we  
6 put it together through research and through  
7 expert consultation and around a public comment.  
8 But occasionally we'll miss something, so we're  
9 very open to just expanding those planned  
10 procedures, as people bring them to our  
11 attention, as they may, during the Surgery  
12 Committee review and the public comment  
13 associated with that.

14 CO-CHAIR WALTERS: I appreciate  
15 everybody pointing these things out. I've  
16 learned to believe so much in the endorsement  
17 process that the Steering Committee and, of  
18 course, I think the Steering Committee's ears is  
19 listening. But certainly they will hear and  
20 support many of the things that were said or  
21 certainly discuss them.

22 Is there any other comments? Okay.

1 We're in the situation now that there is no  
2 competing motion, so, if the Committee agrees,  
3 these are all taken as additional conditions or  
4 suggestions for conditions. But the preliminary  
5 assessment of conditional support as recommended  
6 in the PA stands.

7 CO-CHAIR TRAVIS: Don't prove this a  
8 foolish decision, but I told Ron I would take the  
9 next one, even though it was technically supposed  
10 to be his. But you all have been so kind to me,  
11 I'm hoping that you will be again.

12 We're going to move on to the next  
13 measure, and it falls within the Hospital  
14 Outpatient Quality Reporting Program. And we are  
15 going to get a description of that program from  
16 staff.

17 MS. MCQUESTON: Thank you, Cristie.  
18 Again, this is a review of a slide that you have  
19 seen at least a couple of times. The Hospital  
20 Outpatient Quality Reporting Program is pay for  
21 reporting and public reporting. The incentive  
22 structure includes hospitals that do not report

1 data on required measures that they receive a  
2 two-percent reduction in annual payment update.  
3 And the program goals are to provide consumers  
4 with quality of care information to be able to  
5 make informed decisions and establish a system  
6 for collecting and providing quality data to  
7 hospitals providing these services.

8 Here's an overview of the current  
9 measures. Again, as previously, it's probably  
10 easier to see in your handout. And you received  
11 this information last year, as well.

12 So CMS's high-priority domains for  
13 hospital outpatient include making care safer,  
14 best practices of healthy living, patient and  
15 family engagement, and communication in care  
16 coordination. And to the right, you see examples  
17 of how they define those domains.

18 That's it. I'll turn it back over to  
19 you.

20 CO-CHAIR TRAVIS: Okay. Operator, can  
21 you open the lines and see if we have any public  
22 comments on this measure?

1 OPERATOR: Okay. At this time, if you  
2 would like to make a comment, please push star  
3 then the number one. And there are no public  
4 comments at this time.

5 CO-CHAIR TRAVIS: Okay. Are there any  
6 public comments in the room? Okay. Seeing none,  
7 we'll go on to looking at the particular measure  
8 that's up for consideration. It's MUC17-223,  
9 lumbar spine imaging for low back pain. The  
10 preliminary analysis and the one that's on our  
11 consent calendar is do not support for  
12 rulemaking, and the rationale behind that is that  
13 this measure lost its NQF endorsement in 2017 due  
14 to the lack of validity.

15 Given the situation and the concept  
16 around this measure, I wanted to see if the  
17 developers would like to make any comments.

18 MS. MCKERNAN: Absolutely. Thank you.  
19 So my name is Colleen McKernan. I'm a senior  
20 consultant at the Lewin Group. Lewin and the  
21 Yale Center for Outcomes Research and Evaluation  
22 are the developers on behalf of CMS.

1                   So this measure, lumbar spine imaging  
2                   for low back pain, was formerly known as MRI  
3                   lumbar spine imaging for low back pain, and that  
4                   version of the measure has been in the HOQR  
5                   program since 2011. It calculates the percentage  
6                   of CT or MRI studies of the lumbar spine with a  
7                   diagnosis of low back pain on the imaging claim  
8                   and for which the patient did not have prior  
9                   claims-based evidence of antecedent conservative  
10                  therapy. Antecedent conservative therapy can  
11                  include claims for physical therapy or  
12                  chiropractic evaluation in the 60 days preceding  
13                  the study, or claims for evaluation and  
14                  management in the 28 to 60 days preceding the  
15                  study.

16                 This measure is not age restricted  
17                 but, rather, it includes Medicare beneficiaries  
18                 who are enrolled in fee for service who are  
19                 treated as outpatients in hospital facilities  
20                 reimbursed through the OPPS.

21                 So the reason we're bringing it up to  
22                 you all today is because we believe that the

1 addition of CT lumbar spine imaging would improve  
2 the measure. We've come to this recommendation  
3 over a number of years, actually. The initial  
4 reason we wanted to add CT was to align with  
5 another measure, which is actually also last  
6 endorsement. So we've reviewed the literature.  
7 We've discussed this with our expert panel.  
8 We've done quantitative, some preliminary  
9 quantitative evaluation of the change. And,  
10 again, it would harmonize with another measure.  
11 Even though it's not NQF endorsed, it's still is  
12 in use in the public setting.

13 And when we look at descriptive data  
14 of this change, we see about a 20 percent  
15 increase in the size of the denominator and the  
16 numerator, but the scores remained relatively the  
17 same. So there's not a huge impact in either at  
18 the facility level or nationally on the rate of  
19 overuse. Thank you.

20 CO-CHAIR TRAVIS: All right. Thank  
21 you. This measure has not been pulled for  
22 discussion, but the opportunity is there if any

1 of the workgroup members would like to pull this  
2 measure.

3 MEMBER PITTMAN: I have a question.  
4 So I agree with the recommendation in terms of  
5 not supporting it, but -- so this is the new  
6 version. There's still an existing version in  
7 the program. Can we make a recommendation of  
8 removing that one, as well?

9 CO-CHAIR TRAVIS: You just made a  
10 statement on the record. That's not technically  
11 within our purview, but your comment will  
12 certainly be on the record relative to that.

13 Okay. Well, seeing that there are no  
14 workgroup members that would like to pull this  
15 measure for discussion, it does remain on the  
16 consent calendar as a do not support for  
17 rulemaking, and that is what we'll move forward  
18 as our action as a committee. Thank you.

19 Okay. We will take a five-minute  
20 break, but we're going to come back. Some people  
21 just may need a five-minute break. So we're  
22 going to take a five-minute break, and we will

1       come back, and we'll probably go on and get  
2       started. If lunch is not here by then, we'll  
3       probably go on and get started on this measure  
4       but trying to find a good place to stop or we may  
5       just work through lunch. So we'll think about  
6       all that. We will eat. Don't worry about that  
7       part. But if you'll just take a five-minute  
8       break. That puts us back here at 12:25. Thank  
9       you.

10               (Whereupon, the above-entitled matter  
11       went off the record at 12:20 p.m. and resumed at  
12       1:14 p.m.)

13               MS. O'ROURKE: Okay. If we could all  
14       come back down. So I think we want to start the  
15       afternoon by letting you know that we've heard  
16       some of the concerns about the conversation this  
17       morning and want to just clear the air with you,  
18       if you will. We don't want people to come away  
19       from these meetings feeling unheard or that  
20       something went through on some sort of a  
21       technicality. We want you to know how much we  
22       value the time you all spend with us and



1 volunteer to be here, and we want everyone to  
2 feel like -- whether you agree with the decision  
3 or not -- at least your voice was heard and your  
4 opinion was valued.

5 So I think we want to revisit the ESRD  
6 measures from this morning --- not the med rec,  
7 the two transplant ratio ones. We want to allow  
8 you to take the vote that I think people want to  
9 vote on the conditional support, perhaps  
10 attaching some additional conditions, just taking  
11 no real prerogative in the staff but some  
12 suggestions, maybe conditions around some extra  
13 review of this measure as it comes in for NQF  
14 endorsement.

15 We were suggesting, Ann Marie, you  
16 mentioned this being closer to an outcome  
17 measure. We could have this reviewed by our new  
18 scientific methods panel who can take an  
19 especially deep dive on the methods, can provide  
20 people more comfort with things like the risk  
21 adjustment model, what the C statistic was, some  
22 of those issues that Matt may have imperfect

1 information to judge and are really not  
2 necessarily what we're asking you to do today.

3 We also think this is an important  
4 issue to take to our Disparities Standing  
5 Committee. If you may not know, NQF has a  
6 special committee that looks across all of our  
7 work on issues around equity and the reduction of  
8 disparities. And from some of the points we've  
9 heard, this is a crucial issue and fascinating  
10 measure that I think is something that they  
11 should take a look at.

12 We can also bring this issue to our  
13 attribution expert panel. We heard a lot of  
14 concerns about the locus of control of this  
15 measure and what can a facility reasonably  
16 influence how is the attribution set up, and I  
17 think we do want to let you know that we have  
18 experts who can also weigh in on that issue for  
19 you.

20 So nothing has to be fully finished  
21 today. You can take a look at this measure,  
22 attach some very specific conditions, charge CMS

1 and NQF with specific areas to look at, as this  
2 measure moves forward either through endorsement  
3 or other processes. But most of all, I think we  
4 want to make sure that the MAP process works for  
5 everyone. I don't necessarily think we have time  
6 for a thorough vetting of all the concerns, but  
7 please catch me offline or you can reach out via  
8 phone or email, because we will be bringing this  
9 to the Coordinating Committee in January some of  
10 the concerns about how we have the voting  
11 process, as well as the decision categories, so  
12 that every year we do try to fix the problems and  
13 refine it and make this a better process for  
14 everyone. So in the spirit of continuous  
15 improvement, we will be taking these issues to  
16 them and I'll let you know that the problems were  
17 noted and we will adjust them.

18 So I think, Ron, Cristie, I just want  
19 to kick it off to you for any reflections.

20 CO-CHAIR WALTERS: So Erin said much  
21 of what I was going to say. And, again, this is  
22 your workgroup, and I really would like to echo

1       that one of my goals is that everybody in this  
2       room feels heard and valued. We were in a  
3       situation earlier this morning we hadn't been in  
4       previously and ended up in a place that some were  
5       not happy with. So I'm going to have Sean say a  
6       few words, and then we're going to go back to  
7       what was proposed by Nancy and see where that  
8       gets us.

9               I will reiterate we do need to give  
10       advice and feedback to CMS. That's the job of  
11       the group. And it has to be a consensus of some  
12       sort, but we'll see where that takes us. So we  
13       purposely are using kind of like the 30 minutes  
14       we thought we had extra to revisit the ESRD 241  
15       and 245.

16               So Sean?

17               MEMBER MORRISON: So, Ron, like many  
18       in this room, I have been on this panel from the  
19       beginning, and one of the things that has  
20       continuously impressed me is NQF and particularly  
21       the staff's work to make this a better process.  
22       And when this committee started, many of you know

1 we actually were into the weeds debating things  
2 that we actually had no idea or many of us had no  
3 idea what they were. And what I think I wanted  
4 to say is that we really need to trust the  
5 process, no matter how difficult we think that  
6 is, that all of these measures come to us with a  
7 recommendation not by, you know, sort of  
8 everybody around this table but by staff who are  
9 steeped in measurement, are experts in  
10 measurement, have reviewed the evidence very,  
11 very carefully, and made a recommendation. And I  
12 think that, based upon that process, and remember  
13 all of these measures that are NQF endorsed have  
14 had their scientific validity and reliability  
15 assessed again by people who are expert in the  
16 field.

17           So one of the things that I heard this  
18 morning was concern about the fact that, oh, is  
19 it 40 percent, is it 60 percent? My bias is  
20 that, given all the work that has gone into  
21 presenting the measures to this group by a  
22 relatively independent group of individuals, it

1       should take a majority, more than a majority to  
2       overrule it, that if the staff has come together  
3       with a very strong opinion, then I think 60-  
4       percent overrule? That's not unreasonable. We  
5       certainly used to see that a little bit across  
6       the way where it wasn't a 50-50 vote to overrule  
7       something.

8                   And I do think that, yes, it's not  
9       perfect and many of us are going to be unhappy.  
10      And, certainly, in the past I've been unhappy  
11      with how the decision has played out. But what  
12      Helen Burstin used to tell me was trust the  
13      process and we'll make it better. And I think  
14      that part of our role here is to trust that  
15      process.

16                   We all have opportunities to weigh in  
17      beforehand as to whether we disagreed with how  
18      the votes were going to happen or how we were  
19      going to initiate that. None of us, I don't  
20      think, did. There wasn't a lot of disagreement  
21      before we came to this meeting, and that may be  
22      because we didn't read it, but I would say that

1 we all came to this meeting agreeing that this  
2 was how it was going to be run.

3 So I am concerned about trying to go  
4 back and revisit things, re-do things, change the  
5 process in the midst of it. I think that's the  
6 goal for the next meeting. And I did feel very  
7 strongly about that this morning, given what we  
8 had been hearing.

9 CO-CHAIR WALTERS: So right now it  
10 was, again, the assessment made by staff was  
11 conditional support. There were those conditions  
12 outlined this morning, and Nancy pulled the  
13 measure -- and I'm talking about 241 now, not  
14 bundled together -- for do not support.

15 We're going to entertain any motion  
16 and any discussion about the conditional support  
17 staff assessment for 241 and feel free to make  
18 motions that then we will vote on, and we'll try  
19 to get to a consensus of whether or not we can  
20 support that. Okay? That's our job is to get to  
21 a consensus.

22 MEMBER JORDAN: This is Jack Jordan.

1 I would like to make a proposal to actually pass  
2 this as it's sent here with the recommendations  
3 that it has, and here's why. What I've heard in  
4 all the concerns from people around this and  
5 about the direct coupling of, you know, ownership  
6 of it from the center versus the transplant  
7 community I think are all things that become  
8 issues after the low-hanging fruit that this  
9 shakes out. I think, as it goes into the field,  
10 you'll see wide variation, and that will be a  
11 provocation that will really get a lot of the  
12 good low-hanging fruit fixed as far as places  
13 that aren't paying any attention at all to trying  
14 to get patients, you know, in the transplant. It  
15 will reinforce the importance of that.

16 And after that kind of shakes out,  
17 then all those concerns start to pop up that, you  
18 know, that there are other issues. And I think  
19 that's okay. I think delaying this for a couple  
20 more years because you're worried about what  
21 happens in year three or four it's in the field  
22 is really not what's in the best interest of



1 patients across the country. And I think kind of  
2 seeing this, getting that provocation, and then  
3 refining it once it's in the field, because those  
4 things can, I think, be done after this is in use  
5 and you fix some of those things is why I've been  
6 supportive of move them exactly as you have them,  
7 that they do have to kind of get their rulemaking  
8 conditional support. But I think we should move  
9 along with it just as it is.

10 CO-CHAIR WALTERS: Jack, this is Ron.  
11 I'm not clear. You support conditional support  
12 but with only one condition of endorsement or --

13 MEMBER JORDAN: Yes.

14 CO-CHAIR WALTERS: -- if there are  
15 other conditions?

16 MEMBER JORDAN: No, with just the  
17 condition of endorsement and get it into the  
18 field. I think we'll do more harm than good by  
19 delaying and worrying about secondary and  
20 tertiary issues with it.

21 CO-CHAIR WALTERS: Okay. Nancy?

22 MEMBER FOSTER: So, actually, Ron, I

1 think you just answered my question. The only  
2 condition that the current record shows we have  
3 on this is NQF endorsement. And in order for me,  
4 and I won't speak for others but I heard many  
5 other conditions voiced during the discussion  
6 that need to be really given some careful  
7 attention.

8 I'm also struggling because I'm not  
9 sure I have clarity on what the differentiation  
10 is between conditional support and revise and  
11 resubmit or refine and resubmit. To me, the  
12 difference is do I think, if I think substantive  
13 changes need to be made in the measure that I  
14 could identify now, that's a refine and resubmit.  
15 If I think it needs to go through further  
16 processing, it needs to have some things  
17 carefully looked at to see if they're unintended  
18 consequences or other things, that would be more  
19 of a conditional support. But that's my  
20 impression, not one universally held, and, you  
21 know, I appreciate the fact that staff tried to  
22 articulate what the difference is between the two

1 at the start of this conversation, but I'm not  
2 sure it really, I really understood exactly what  
3 they were trying to tell me as the distinction.

4 So if we can articulate the additional  
5 conditions that I heard around the room, I think  
6 I could leave it at conditional support at this  
7 point. If others believe my interpretation is  
8 correct and that refine and resubmit is for when  
9 we think there should be substantive changes to  
10 the measure, then I would propose that might be  
11 the better category, and I'm not sure there would  
12 be a different articulation of the reasons why or  
13 the things that need to be addressed.

14 But all of that aside, I appreciate  
15 the fact that you all have provided an additional  
16 opportunity to think about what advice we are  
17 articulating to CMS around this measure and how  
18 we capture that in the formal record of this  
19 body. And, Sean, with due respect, I think the  
20 legislative intent for creation of this body is  
21 that this group's recommendation and not staff  
22 recommendation is what's supposed to be the heavy

1 weight here. Informed by the work of the staff,  
2 which has been stellar, to really do the deep  
3 dive on some of these measures but not that alone  
4 because, otherwise, it would just be the staff  
5 recommending things and we didn't need to show up  
6 here.

7 So I think this group needs to weigh  
8 in and the plurality of this group's  
9 recommendations ought to be what we are voicing,  
10 even if it is not at the level of 60 percent is  
11 the consensus. But that's my opinion.

12 CO-CHAIR WALTERS: So do we have a  
13 formal list of all the conditions? And then  
14 we'll come back to Jack. Jack's motion was  
15 condition only on the endorsement.

16 MS. O'ROURKE: So Jack suggested  
17 endorsement only. I offered, obviously not a  
18 Committee member so this is my just unofficial  
19 advice, some things that we heard that might help  
20 were review by the NQF Disparities Standing  
21 Committee, consideration by NQF's attribution  
22 expert panel, and that this measure, as part of

1 its endorsement review, would go to NQF's  
2 scientific methods panel to take a deep dive on  
3 it since, as Ann Marie noted, it's getting close  
4 to an outcome measure, even if it is technically  
5 a process, so that they can weigh in on that.  
6 And just some extra considerations that the  
7 Committee could highlight for the NQF endorsement  
8 review.

9 MR. AMIN: Yes. Erin, I would just  
10 add, from my notes, there was significant  
11 conversation around the risk adjustment model,  
12 which will be looked at as part of validity, and  
13 then, secondarily, there's a question about  
14 attribution which can go to the attribution group  
15 but also could be evaluated as part of the  
16 validity.

17 So I think, you know, I think some of  
18 the challenges that I'm hearing, Ron, is that,  
19 you know, we want to just make sure that these  
20 conditions are clear and follow the workgroup's  
21 recommendation on conditional support. So there  
22 are five sort of major issues that have been

1 raised that we'll make sure sort of are looked at  
2 in particular by the relevant NQF standing  
3 committee.

4 CO-CHAIR TRAVIS: I have kind of a  
5 question of clarification. When we put  
6 conditions, and let's say we added a lot of those  
7 conditions to that if that's what the workgroup  
8 decides to do, I assume if some of those things  
9 weren't done then what's the implication of that?  
10 So what if it doesn't go to the attribution panel  
11 or the Disparities Standing Committee? I'm just  
12 trying to understand what would happen if those  
13 are formal conditions that we put on and, for  
14 some reason, they don't happen.

15 MS. O'ROURKE: Sure. So, obviously,  
16 for those things to happen, the measure would  
17 need to be submitted to NQF for endorsement, so  
18 that would kind of trigger these things  
19 happening. We have built out a feedback process  
20 where we take everything from MAP to the standing  
21 committees, and staff is cognizant that we do  
22 need to service that conduit and carry these

1 messages forward.

2 Obviously, as far as the formal MAP  
3 process, the conditions wouldn't necessarily  
4 negate the Secretary's authority to consider  
5 MAP's recommendation and move forward. But from  
6 an NQF perspective, we would make sure these  
7 things happen if the measure is submitted for  
8 endorsement.

9 CO-CHAIR WALTERS: Okay. I realize,  
10 I realize -- yes?

11 MEMBER YONG: Sorry. I was also going  
12 to say, as part of people understand these, we  
13 propose these, if we're going to propose a  
14 measure we put it through rulemaking. And as  
15 part of that discussion for measures, we  
16 specifically address the MAP's recommendations.  
17 And so it's conditional support. It's, in a  
18 simple case, pending NQF endorsement we do say  
19 whether it's been submitted or not or, you know,  
20 that we will submit it at the next opening.

21 Some of these conditions are not, we  
22 don't have, like, if the recommendation is, like,

1 conditional support but pending review of or  
2 involvement of the Methodology Committee, I don't  
3 know that we would address that particularly.  
4 That's part of the endorsement process.

5 MS. O'ROURKE: I think endorsement may  
6 be the main condition, and then we can put these  
7 caveats on it so that, once the endorsement  
8 process is initiated, NQF would make sure this  
9 special attention is paid and that your feedback  
10 is carried forward.

11 CO-CHAIR WALTERS: So, Jack, we have  
12 your motion on the table, and then we have some  
13 proposed amendments to it. So for those of you  
14 who like Robert's rule of orders, we'll come back  
15 to that. Lee?

16 MEMBER FLEISHER: For clarity, Pierre,  
17 my understanding is you can put something into  
18 your value-based purchasing without endorsement  
19 if you feel strongly.

20 MEMBER YONG: Right. I mean,  
21 generally, we have a preference for NQF-endorsed  
22 measures, but there's not a specific requirement



1 for an NQF-endorsed measure.

2 MEMBER FLEISHER: So as I vote, and  
3 this is what I'm struggling with, the revise  
4 versus the conditional, if I feel strongly that  
5 the NQF process is critical because I have  
6 significant concerns about some of the  
7 methodology and vetting that methodology, it's  
8 better to send a signal from my standpoint, and  
9 I'd like clarity, to say revise so that that  
10 actually gets worked out than just say  
11 conditional report because there's not a strength  
12 to the condition in my mind to say it really  
13 needs NQF vetting.

14 So I just wanted to make that clear in  
15 the way that I think about it because conditional  
16 support, well, if we get NQF review, great,  
17 because that's what we prefer. But it's not  
18 necessary. Well, in some things, I think it  
19 really is critical.

20 MEMBER YONG: Thank you, Lee. I will  
21 say our intention is to submit these for NQF  
22 endorsement.

1 CO-CHAIR WALTERS: Keith?

2 MEMBER BELLOVICH: I just have a  
3 simple question. Maybe it's my rookie-ness, but  
4 how many conditions do we need to apply before  
5 you reach that revision stage? How many  
6 amendments, how many additional committees can it  
7 visit before we say I think it's time to revise  
8 or reform and resubmit rather than -- is there a  
9 formal definition on what defines conditional  
10 versus revise and resubmit?

11 MS. O'ROURKE: Sure. So this is  
12 actually something that I think all the  
13 committees have been struggling with this year  
14 because there's perhaps some fuzziness between  
15 the refine and resubmit and the conditional  
16 support. We have no limit to how many conditions  
17 you could attach to something. The Coordinating  
18 Committee, when we brought them this back in  
19 their November meeting, suggested that you  
20 perhaps draw the line at a major change versus  
21 something that the measure, as structured may  
22 work, and you want an extra review paid attention

1 or an extra review or the Standing Committee  
2 should focus on certain areas but deferring to  
3 the scientific merits -- sorry, apologize --  
4 deferring the review of the scientific merits to  
5 the NQF endorsement process, whereas refine is  
6 you see a very large change that would require  
7 basically going back to the development process.

8 CO-CHAIR WALTERS: Brock, is that you?  
9 Or Greg? Greg?

10 MEMBER ALEXANDER: So I just have a  
11 couple of questions. One, conceptually, the  
12 conceptualization of this measure, I didn't hear  
13 anybody mention that on the conditions before,  
14 maybe I missed it, whether this is conceptually  
15 the right measure because you're talking about  
16 centers versus the dialysis facilities,  
17 transplant centers versus dialysis facilities.  
18 So I was curious which of those committees  
19 addresses that conceptual issue because I  
20 appreciate all the list of the committees you  
21 gave, but I don't know what all the functions of  
22 those committees are and I'm not a rookie. I've

1       been here, and I still am trying to figure it  
2       out. So that would help me make sure that all of  
3       the things are going to be addressed and which  
4       were going to be addressed by what committee.  
5       And then so that's my first question.

6               And then the other question I have  
7       relates to, again, the substantial issue of  
8       revise and resubmit versus conditional. If it's  
9       conditional with approval, does that mean that it  
10      doesn't come back here? Does that mean that it's  
11      just with NQF committee above us, and it doesn't  
12      come back here? And the other one, the lower  
13      one, does that mean it comes back here so that we  
14      talk about the changes again? At what point do  
15      we stop talking about it or continue talking  
16      about it?

17              MS. O'ROURKE: So let me take those  
18      process concerns. To your first of who would  
19      look at the specifications of the measure, that  
20      is what we do during the NQF endorsement process.  
21      The standing committee, say for this one the  
22      Renal Standing Committee would look at how the

1 measure is specified. This question that you  
2 raised of transplant center versus dialysis  
3 facility, I think this would actually come out as  
4 a theme throughout the review, I think, in both  
5 importance to measure, as well as the reliability  
6 and validity of the measure. So that would be  
7 thoroughly vetted by the standing committee.

8 As far as your second question, that's  
9 a little bit trickier. To be honest, for either  
10 conditional or refine and resubmit, there is no  
11 guarantee it would come back before this  
12 committee for a formal MAP vote. Obviously, we  
13 do have the feedback loop process to update you  
14 on how development continues and what's happened  
15 in the endorsement process and the rulemaking  
16 process, but neither category would negate the  
17 Secretary's ability to propose a measure.

18 CO-CHAIR WALTERS: Ann Marie?

19 MEMBER SULLIVAN: Just in thinking  
20 about what's substantial, you know, issues like  
21 disparities, risk adjustment, who's really in  
22 control, I mean, whether it's the transplant or

1 the nephrologist, I think these will come up with  
2 other measures which have gone out, as well. I  
3 don't think that they rise to the level of  
4 significance that would say that you should re-do  
5 the entire thing. I agree with -- and I'm sorry,  
6 I forgot his name -- who made the original motion  
7 that these will fall out, I think, and be looked  
8 at over time as the measure is out there and  
9 being looked at for consideration.

10 So I just don't think that those  
11 issues have come up on multiple measures that  
12 have been passed, in my experience, including the  
13 readmission measure. I keep going to that one  
14 because that was one of my favorites. But those  
15 things were there, disparities, the same kinds of  
16 issues, the readmission measure went out.

17 So I don't think necessarily it's big  
18 enough to say it has to be -- go into that other  
19 category. I think you should go in with  
20 conditions.

21 CO-CHAIR WALTERS: Maryellen, is your  
22 card up?

1                   MEMBER HATLIE: I want to say that I  
2 do trust the process. I mean, I am very unclear  
3 also about the difference between conditional  
4 support and revise and resubmit or refine and  
5 resubmit. But the discussion that was engendered  
6 here today was very rich, and I think I trust  
7 that the staff is going to capture those things.  
8 I kept looking at Helen because it might have  
9 been the first time that Helen and I have ever  
10 disagreed on a vote in this group.

11                   But you got a lot of great feedback.  
12 And in terms of the voting processes in the four  
13 years that I've been here, they've always been a  
14 little awkward. So it's like we're PDSAing it  
15 for you guys to come back and look at it again  
16 and come back with something new next year. I  
17 kind of look forward to what the next version is  
18 going to be.

19                   But I have no doubt that you're taking  
20 all of our comments. And I thought the  
21 discussion today was richer than in previous  
22 years. So there is a maturation happening here

1 while we continue to PDSA the voting process I  
2 think.

3 CO-CHAIR WALTERS: I agree. It's been  
4 learning for all of us. Janis?

5 MEMBER ORLOWSKI: With all due respect  
6 to CMS, to NQF, to the Committee, I would have to  
7 say that this is what drives the medical  
8 community absolutely wild that what we do is we  
9 come forward and we say this is what we want to  
10 do, we want to measure this, we want to make sure  
11 that there's particular requirements in it. And  
12 what happens is is that we actually are talking  
13 about why aren't we having metrics that matter,  
14 why don't we have attribution appropriately, why  
15 don't we have SDS?

16 And the medical community wants to and  
17 holds themselves to a high standard of quality of  
18 care. And for us to say, well, it's not perfect,  
19 but, you know, when people have said it's  
20 attributed to the wrong person, it's measuring  
21 the wrong thing, you know, there's not support.  
22 And, yes, we do believe that the patients have to



1 be protected in this and that there may be  
2 financial interest that will lead people astray  
3 that we have to be careful with.

4 But I have to say that it's, it has to  
5 be more precise. They have to be metrics that  
6 matter. They have to be metrics that the medical  
7 community believes are something that is valuable  
8 and that will provide value to the patients. And  
9 I would say anything less and holding ourselves  
10 in this committee to anything else and letting  
11 things wash out is not the right thing to do.

12 CO-CHAIR TRAVIS: Well, thank you for  
13 that. I think when I'm listening what I am not  
14 really struggling with because I've been on both  
15 the endorsement side and the MAP side. We are  
16 not structured in here to really do the in-depth  
17 deep dive into measures. That is what the  
18 endorsement side is all about. These measures  
19 have not yet gone through the endorsement side.

20 I think that that process is also  
21 something that I think I know I trust, and I hope  
22 others in the room do. I think with the guidance

1       that we can give that side of the equation with a  
2       rich discussion and the concerns that have been  
3       raised here, I mean, everything that Erin just  
4       pointed out, quite honestly, is what would be  
5       looked at and is part of the process of the  
6       endorsement process. I mean, the scientific  
7       methods panel is now there. There is a  
8       Disparities Standing Committee and an attribution  
9       panel, that these are things that can and I think  
10      would happen, as she indicated, because we have  
11      had this discussion.

12               We can't presuppose every decision  
13      they will make, but they have time and expertise  
14      to be able to dig deeper than we could do today.  
15      And so that's why, you know, taking my co-chair  
16      hat off and speaking kind of as a member, you  
17      know, that's why I feel comfortable with the NQF  
18      endorsement condition because this is what they  
19      would do. And I'm also comfortable if we want to  
20      call out these particular things to be sure that  
21      the endorsement process because we have had such  
22      a good conversation about it here.

1                   So we just can't, we don't have the  
2                   preparation, the background, the expertise.  
3                   That's not how we were developed to do the deep  
4                   dive that these measures do need to have. And I  
5                   respect that, you know, very much, and that's  
6                   what that process is for.

7                   CO-CHAIR WALTERS: Helen?

8                   MEMBER HASKELL: So I have a question.  
9                   If this is not, doesn't come back to us and it  
10                  hasn't yet been endorsed, who is it being  
11                  resubmitted to?

12                 MS. O'ROURKE: I think that's another  
13                 one for me. So this is what we were trying to  
14                 highlight when we introduced the categories. The  
15                 intent behind this was that, in an ideal world,  
16                 the measures would be resubmitted to MAP before  
17                 implementation. However, for the reasons Pierre  
18                 noted, that doesn't always work with time lines.  
19                 And the MAP is an advisory board, and the  
20                 Secretary can move forward with any measure after  
21                 considering your input.

22                 So the intent of the category perhaps

1 does not track with the language, the statutory  
2 language. So I think this is something we are  
3 going to bring to the Coordinating Committee and  
4 ask them to reconsider. But you raise a good  
5 point that the resubmit is a bit of a misnomer  
6 and it's perhaps a challenge between what was the  
7 intent when the Coordinating Committee created  
8 this and the practical matters of how this  
9 process works.

10 MEMBER HASKELL: Well, could I put a  
11 motion to maybe take that vote again after all  
12 this discussion and see where it ends up, if  
13 there's any --

14 CO-CHAIR WALTERS: We have a motion --  
15 after a couple more comments, we have a motion  
16 and an amended motion on the table. So we're  
17 circling back to those. Is that Brock?

18 MEMBER ALEXANDER: I apologize I have  
19 so many questions, but I'm just trying to  
20 understand. So when I read the discussion guide,  
21 it talks about this measure being fully developed  
22 and tested, but fully developed and tested

1 doesn't mean that it's gone through all of those  
2 appropriations committees or whatever those  
3 committees are, even though they do further  
4 development and test the measure, correct? I  
5 mean, I think the issues that we brought up here  
6 are issues of development and testing and we're  
7 questioning whether it has been fully developed  
8 or tested. So I wonder if our definitions are  
9 getting -- I'm confused by that, so I'm curious  
10 about what fully developed and tested means if it  
11 doesn't go through all that vetting.

12 CO-CHAIR WALTERS: So they do not  
13 develop and they do not test, okay? That's what  
14 the measure developer does. They assess that  
15 process, like we're talking about, and then  
16 either support the endorsement or don't support  
17 the endorsement. And that's what you heard  
18 everybody saying is it hasn't even started that  
19 process yet to get all the feedback that probably  
20 is going to mirror much of what you've heard, and  
21 that's what we're recommending. Nancy?

22 MEMBER ALEXANDER: When you say

1 something is fully developed and tested, that  
2 leads me down a road of making some decisions  
3 about that when really there's been a lot of  
4 questions, to me, in my mind, about the  
5 development and testing and whether it has been  
6 fully done.

7 CO-CHAIR WALTERS: So it's not a  
8 measure concept. I mean, it's a little bit past  
9 that stage. But that testing and development has  
10 not been put through the process of evaluation.

11 I don't want to imply in any way it's  
12 not a good measure, it's not a good concept, or  
13 there hasn't been measurement and testing. All  
14 of that's true. Now, is it going to get through  
15 the rigor of the process? Don't know yet.

16 MEMBER FOSTER: So, Ron, I think you  
17 just started down this path but I was going to  
18 ask for clarification on the process here. What  
19 I understood you to say is we're going to take a  
20 vote on the original motion, which was NQF  
21 endorsement only without any of the further  
22 specifications that were just re-articulated

1 here.

2 CO-CHAIR WALTERS: Actually, first, I  
3 was planning on asking Jack if he would accept  
4 the amendments to his motion because that makes  
5 it a heck of a lot simpler.

6 MEMBER FOSTER: I appreciate that.  
7 I'll wait for his answer.

8 CO-CHAIR WALTERS: So, Jack --

9 MEMBER JORDAN: Yes, I would accept  
10 the amendments.

11 CO-CHAIR WALTERS: There you go. So  
12 the new motion, the amended motion is Jack's  
13 support for conditional support with a whole host  
14 of things attached that we have a list of here  
15 and have been documented.

16 MR. AMIN: Ron, let's just, just for  
17 the sake of, just so everyone is clear on what it  
18 is that is included in that motion, just so that  
19 we're all on the same page.

20 So it's the motion for NQF endorsement  
21 to specifically look at certain elements that  
22 have been of concern to the committee, starting

1 with SDS adjustment, accountability to be looked  
2 at as part of the validity assessment of the  
3 measure, risk adjustments, those are the risk  
4 adjustments which includes a specific discussion  
5 on the C statistic that was raised several times.  
6 And, obviously, SDS was related to risk  
7 adjustment, as well, but we'll put that in the  
8 same category. Did I miss anything?

9 MS. O'ROURKE: I think a special  
10 attention to the care setting, this dialysis  
11 facility versus transplant center, and also that  
12 we'll take this to our Disparities Standing  
13 Committee to weigh on any potential issues of  
14 disparities in care.

15 MR. AMIN: Okay. So all those are  
16 specific considerations as part of the  
17 endorsement process.

18 CO-CHAIR WALTERS: In the past, again,  
19 this is a little bit of maturation, I guess, we  
20 would have just said conditional on endorsement,  
21 and all of that presumably would have happened.  
22 So there's nothing wrong with being more explicit



1 in what the expectations are. It's fine.

2 MR. AMIN: Encourage so that we could  
3 make sure that, as these go to the standing  
4 committee, that they are, you know, looked at  
5 specifically.

6 CO-CHAIR WALTERS: I really would like  
7 to get to a vote pretty soon. Any new comments?  
8 Janis?

9 MEMBER ORLOWSKI: I just want to have  
10 a clarification. So if we're talking about  
11 conditional support, isn't that the terminology  
12 that led to all the discussion over the last  
13 couple of months that conditional support did not  
14 go through these processes and were taken up by  
15 CMS? So I thought that, even though they could,  
16 this is the category that there's been quite a  
17 bit of concern raised over because they have  
18 moved forward.

19 CO-CHAIR WALTERS: So one of the first  
20 lessons I had to learn about six years ago about  
21 this whole process is that key phrase that the  
22 Secretary can choose to adopt measures, and

1       there's nothing you can do about it.

2                   MEMBER ORLOWSKI: But that's not what  
3 I'm asking. Of course. My question is is has  
4 there been concerns raised in the last couple of  
5 months regarding those measures that were  
6 conditionally supported where it was thought that  
7 it was coming back to Committee and, in fact, it  
8 did not?

9                   CO-CHAIR WALTERS: That was the revise  
10 and resubmit category that Nancy brought up, not  
11 the conditional support.

12                  MR. AMIN: Either one of them. Let's  
13 just be clear about the categories. Neither one  
14 of them require -- the feedback loop process is  
15 intended to update the Committee on the feedback  
16 that was provided, but there's no requirement of  
17 that.

18                  And, again, I'd just reiterate --  
19 let's talk about the categories for a second,  
20 just so that we're all on the same page. So a  
21 support is full support of what you're seeing in  
22 front of you. The conditional support is if

1       there are elements that you want specifically  
2       looked at for this measure concept.

3               The revise and resubmit is a  
4       problematic category. Even the Coordinating  
5       Committee that developed it recognized it as a  
6       problematic category because the intent was for  
7       it to be re-looked at. There is no process for  
8       that to occur so should be used sparingly. I  
9       just want to be clear about that.

10              And then do not support is intended to  
11       be if you do not agree with the measure concept  
12       even, if you do not agree with the measure  
13       concepts, I mean, you can't have a conditional  
14       support to change the measure. I mean, let's be  
15       clear about that. If the measure focus is  
16       completely different than what you intend, then  
17       that's where you should build in that category.

18              I just want to make sure everyone is  
19       clear about these categories. That's how they've  
20       been used in the other workgroups going forward.  
21       And, again, the revise and resubmit, given the  
22       problematic distinction between conditional

1 support and revise and resubmit, again, the  
2 Coordinating Committee's guidance going into this  
3 to the workgroups was to use that category  
4 sparingly.

5 CO-CHAIR WALTERS: So there is a  
6 motion on the table. I think we all know all the  
7 details of it now. I'm going to ask for a vote.  
8 All those in favor of the motion on the table,  
9 which is conditional support of MUC17-241, dot,  
10 dot, dot I'll just say, raise their hands.

11 MS. MCQUESTON: Actually, can we ask  
12 that everyone stand up? It's a little easier --

13 CO-CHAIR WALTERS: And the people on  
14 the phone, how do you vote? Is there anybody on  
15 the phone for?

16 MEMBER BRENNAN: Joan Brennan. I  
17 support.

18 MEMBER JORDAN: Jack Jordan. I  
19 support.

20 CO-CHAIR WALTERS: Thank you. Okay.  
21 All those opposed --

22 MS. MCQUESTON: So 25.

1 CO-CHAIR WALTERS: Twenty-five.

2 MS. MCQUESTON: Okay.

3 CO-CHAIR WALTERS: All those opposed?

4 Okay. Thank you very much for your --

5 abstentions? Okay.

6 MS. O'ROURKE: We're missing two votes

7 on the phone. Apologies. We just want to make

8 sure we get this math right, so bear with us

9 while we tally the phone votes.

10 MEMBER JORDAN: This is Jack Jordan.

11 I voted yes.

12 MS. O'ROURKE: Thank you, Jack.

13 MEMBER BRENNAN: Joan Brennan, yes.

14 CO-CHAIR WALTERS: Okay. Now, kind of

15 like I did this morning, now flip your thoughts

16 to MUC17-245, which was also conditional support.

17 Do we have a list of the conditions that were

18 suggested attached to that measure? I know the

19 first one was NQF endorsement. I know that. Or

20 let me do this -- would anybody in the room, and

21 this is the incident weightless measure, would

22 anybody in the room like to add any conditions to

1 the, well, staff assessment -- we don't have a  
2 motion yet -- of the conditions required for  
3 endorsement?

4 MEMBER FOSTER: I'd like to say ditto  
5 to the previous measure. Could we add the same,  
6 I would propose that we add the same conditions,  
7 the same calling of attention of the Steering  
8 Committee and other related committees to the  
9 same aspects of this measure.

10 CO-CHAIR WALTERS: Would you make a  
11 motion, please?

12 MEMBER FOSTER: I move that -- I'm not  
13 sure I can articulate them all, but I move that  
14 we add the same conditions that are articulated  
15 for the previous measure to this measure to call  
16 the Steering Committee's particular attention to  
17 those aspects that need to be reviewed and  
18 support conditional endorsement.

19 CO-CHAIR WALTERS: Is there any other  
20 discussion about that? Okay. Hearing none,  
21 let's call for a vote on Nancy's motion. All  
22 those in support, raise their hand or stand.

1 Stand? Okay.

2 MEMBER BRENNAN: Joan Brennan. I  
3 support.

4 MEMBER JORDAN: Jack Jordan. I  
5 support.

6 MS. MCQUESTON: Thank you. So that's  
7 21 votes yes, plus two on the phone, so for a  
8 total of 23 votes.

9 CO-CHAIR WALTERS: All those opposed,  
10 please stand. Abstentions?

11 MS. MCQUESTON: Is that three  
12 standing? Okay.

13 CO-CHAIR WALTERS: Yes, there's three.  
14 Okay. Thank you very much, and I hope --

15 MS. MCQUESTON: So we had 23 votes  
16 yes, 3 no. Were there abstentions?

17 CO-CHAIR WALTERS: Thank you again  
18 very much, and I hope everybody in the room  
19 acknowledges everything that was said was that  
20 we're trying to make sure we get the process  
21 right, and it was just an unusual event this  
22 morning.

1                   Now I turn it over to you. Payback.

2                   CO-CHAIR TRAVIS: Well, thank you.

3                   And I do want to thank Ron for helping us work  
4                   through that process. As you all can imagine,  
5                   it's not easy to kind of try to chair that, so  
6                   thank you, Ron. I really appreciate it, and I'm  
7                   glad that you were able to be the one to do that.  
8                   So thank you for that, as well.

9                   We're now going to move on to the next  
10                  program, which is our Hospital Inpatient Quality  
11                  Reporting Program. And I'm going to turn it over  
12                  to staff to brief us on the program itself.

13                  MS. MCQUESTON: Okay. Again, this is  
14                  information that you have seen before. The  
15                  IQR/EHR incentive program is a pay for reporting  
16                  and public reporting program and hopefully less  
17                  painful than the ESRD.

18                  The incentive structure includes  
19                  hospitals that do not participate or meet program  
20                  requirements, they receive a quarter reduction of  
21                  the annual payment update. And the program goals  
22                  are similar to the other programs. They are



1 progressed towards paying providers based on the  
2 quality, rather than the quantity, of care that  
3 they provide. Still working on interoperability  
4 between EHRs and CMS data collection and to  
5 provide consumers information about hospital  
6 quality so they can make informed decisions about  
7 their care.

8 We'll not go through all of the  
9 measures because there are pages and pages of  
10 measures in IQR, but you have them in front of  
11 you and you have seen them in the past. And we  
12 have categorized them based on claims-based, the  
13 ECQMs, the cost and research use measures, so you  
14 can see them that way.

15 The high priority domains identified  
16 by CMS for IQR include patient and family  
17 engagement, best practices of healthy living, and  
18 making care affordable. I turn it back to  
19 Cristie.

20 CO-CHAIR TRAVIS: Okay. Before we  
21 start looking at the particular measures, we'll  
22 go to quality and make public comment.

1 OPERATOR: At this time, if you would  
2 like to make a comment, please press star then  
3 the number one. Okay. At this time, there are  
4 no public comments from the phone line.

5 CO-CHAIR TRAVIS: Thank you. Any in  
6 the room? Okay. Well, thank you. Before we get  
7 started going through the measures themselves,  
8 I'm going to ask Pierre or his team to make some  
9 opening remarks.

10 MEMBER YONG: So can we just ask, I  
11 mean, we would want to offer context in all three  
12 of them, so I don't know which one you want to  
13 start with because there are two mortality  
14 measures that we want to discuss. That's why we  
15 have both on there.

16 CO-CHAIR TRAVIS: Yes. We were  
17 actually going to go in this order that's on the  
18 screen.

19 MEMBER YONG: So should we just  
20 address the opioid one first and then --

21 CO-CHAIR TRAVIS: Opioid is last.

22 MEMBER YONG: Oh, so you do want to do

1 the mortality measures first. Okay.

2 CO-CHAIR TRAVIS: Yes.

3 MS. DUSEJA: All right. So we just  
4 wanted, at CMS, to just make a couple of remarks  
5 on why we brought these both to the Committee  
6 this year. So as you know, there's two versions  
7 that are submitting for the MAP to look at. One  
8 is a claims-only version, and one is a hybrid  
9 version of the hospital live mortality measure.

10 And so each version actually has  
11 distinct advantages, as you can imagine. The  
12 claims-only measure is obviously immediately  
13 feasible in the sense that we can get this  
14 through existing claims that hospitals submit,  
15 and we recognize it's also, like, least  
16 burdensome in terms of being able to get that  
17 information.

18 On the other hand, we're also very  
19 cognizant that we've heard from stakeholders in  
20 particular with this measure that the face  
21 validity of it could be better if we could do  
22 better or more adequate risk adjustment and so,

1       hence, why we're bringing also the hybrid version  
2       to you. And the hybrid version allows us to  
3       actually combine elements from the electronic  
4       health record, which allows us to further refine  
5       the measure itself. So that includes core  
6       clinical data elements that have also been  
7       recently specified.

8               So we're bringing both of these  
9       versions for feedback from you, one with hope  
10      that we have an immediate need and being able to  
11      look at the claims-only version and then the  
12      longer-term strategy with the hybrid version. So  
13      we really welcome feedback on both these  
14      individual measures, as well as any comparative  
15      feedback between both of those.

16             So that's all I have for now.

17             CO-CHAIR TRAVIS: Would you like a  
18      brief description of the measures together? I  
19      think what might be best would be to have a brief  
20      description of 195, which is the claims measure.  
21      And then we know just from your opening remarks  
22      that the next measure would also include some

1 additional access to additional refinements that  
2 we could do because of it being a hybrid measure.

3 So let's try to keep it straight. I  
4 think we're going to try to vote and talk about  
5 these measures. I know we'll have some bleed  
6 over like we did earlier, but let's try to go  
7 with 195 first and we'll try to focus on that  
8 one.

9 DR. SUTER: Sounds great. Thank you.  
10 My name is Lisa Suter. I'm coming from Yale  
11 University. Can you hear me now? Okay, great.  
12 So this is a measure that evaluates hospital-  
13 level 30-day hospital-wide risk standard  
14 mortality defined as death from any cause within  
15 30 days after the index admission date for  
16 Medicare fee-for-service patients between the  
17 ages of 65 and 95. And death is defined as death  
18 from any cause.

19 It only uses administrative claims  
20 data. The cohort excludes patients for whom we  
21 believe and technical experts and patients agreed  
22 that mortality does not represent a quality

1 signal. I think that is probably the greatest  
2 concern with this measure of an unintended  
3 consequence that it would capture mortality for  
4 patients that is clinically and socially and  
5 emotionally appropriate outcome for that group of  
6 patients.

7 Patients in this category include  
8 patients for whom we cannot address survival,  
9 such as brain death patients; patients for whom  
10 mortality is not the goal of the admission, such  
11 as patients enrolled in hospice either prior to  
12 or within two days of admission to the hospital;  
13 patients with cancer who have enrollment to  
14 hospice at any time during the admission; or  
15 patients with metastatic cancer.

16 There are a few other exclusions that  
17 are detailed in the methodology report, which I'm  
18 happy to describe if there are questions about  
19 them.

20 As noted, the risk model uses risk  
21 variables drawn from administrative claims in the  
22 prior 12 months prior to the admission, including

1 the admission. Patients are divided into 13  
2 service line divisions, and each of those 13  
3 service line divisions, eight non-surgical and  
4 five surgical divisions, are risk adjusted  
5 individually. And then those standardized  
6 mortality ratios are combined using the weighted  
7 inverse variants.

8 The measure describes fairly  
9 remarkable range in mortality across the United  
10 States. The median is 7.6 percent mortality rate  
11 with a range of 5 to nearly 10 percent. I  
12 believe you have in your results that the C  
13 statistic for the service line divisions ranges  
14 from 0.75 to 0.84. The reliability for the  
15 overall measure results when performed as a  
16 random split sample, so half of the patients in  
17 the hospital are put into one group and the other  
18 half are put into another group, and those  
19 results are compared. The reliability from that  
20 comparison is 0.83, the interclass correlation  
21 coefficient.

22 These results were compared both to

1 the star ratings mortality domain, as well as to  
2 hybrid, the hybrid data. And those correlations  
3 are also high. The correlation to the hybrid  
4 data measure is 0.97, and the correlation to the  
5 star ratings mortality measure group score is  
6 0.61. I'll stop there.

7 CO-CHAIR TRAVIS: Thank you for that.  
8 As you will see for MUC17-195, the preliminary  
9 analysis was conditional support for rulemaking,  
10 primarily based on not currently being NQF  
11 endorsed. This measure has been pulled, as have  
12 the others, but this measure has been pulled for  
13 deliberation and actual vote from the consent  
14 calendar. And I believe, let me just check to be  
15 sure I got this right, that Nancy Foster was the  
16 one that pulled it. So I will turn to Nancy and  
17 have her give us her thoughts around this measure  
18 and why she pulled it.

19 MEMBER FOSTER: Thanks, Cristie. I'd  
20 be glad to, and I would encourage my colleagues  
21 on the Committee to think about pulling some of  
22 these measures in advance next year just so I'm



1 not the only one talking, unless you really love  
2 to hear my dulcet tone.

3 So this particular measure I have some  
4 significant concerns about, and I would recommend  
5 a do not support. I believe, as we have seen  
6 with some of the other mortality measures, the  
7 ability to do appropriate risk adjustment without  
8 the clinical information that is necessary to  
9 really help you understand whether the patient  
10 is, by virtue of their health, their condition  
11 that brought them into the hospital, likely to  
12 die or not is significant. And we've seen that  
13 around congestive heart failure. We've seen it  
14 around the heart attack mortality measures. It  
15 is important to really know the clinical status  
16 of the patient in order to appropriately risk  
17 adjust this, any mortality measure.

18 For that reason and because this is  
19 earlier in the development. I believe the  
20 testing data has not yet been completed, at least  
21 that was the assessment that I saw. It has not  
22 yet gone through NQF endorsement. There are a

1 host of issues around this that really need to be  
2 attended to; so, hence my recommendation for do  
3 not support.

4 CO-CHAIR TRAVIS: Thank you, Nancy.  
5 So I'm going to take that as a motion on your  
6 part; is that correct?

7 MEMBER FOSTER: Yes, thank you.

8 CO-CHAIR TRAVIS: Okay. Thank you for  
9 that. Okay. We have some lead discussants that  
10 we will turn now to. Andrea?

11 MEMBER BENIN: So, Cristie, what I  
12 would like to do is give a summary of the pros  
13 and cons of the metrics, rather than sort of my  
14 interpretation. I can get to my interpretation  
15 at the end, but I think it's helpful. That way,  
16 everybody can make their judgment based on sort  
17 of hearing. And Karen and I had a brief  
18 conversation about the potential list of pros and  
19 cons, so we can add to that.

20 So I think that, if we start with the  
21 pros of this metric, it certainly seems as though  
22 it should be informative and should address those

1 big dot items that were on the original slides  
2 that were presented by Pierre. And that seems as  
3 though the direction that we would want to be  
4 going, and so I think that ability to potentially  
5 be a broad-based type of evaluation is a pro for  
6 this metric. I think it is certainly very  
7 thoughtfully developed and has had innumerable,  
8 it seems like, stakeholder groups involved.

9 Another pro is that it is suggested  
10 for use in the hospital IQR, which, if there were  
11 to be a program for it, that's the program that  
12 is pay for reporting, not pay for performance,  
13 and so that, if anything, seems like a  
14 potentially reasonable place to try this metric.

15 I think some of, another pro is that  
16 some of the key exclusions which I was concerned  
17 about when I started reading about this, for  
18 example patients with cancer and that kind of  
19 thing, those patients do seem to be excluded from  
20 the metric. I think another potential pro is  
21 that it may have the ability to drive  
22 improvements in coding of comorbidities as people

1 are working with their own data. I think those,  
2 to me, were the pros.

3 Then in the con category, I think I  
4 can re-express Nancy's concern about not having  
5 clinical status adjustments. For me, there is a  
6 concern that the development using the ICD-10 is  
7 still underway, and that is, for me and for how I  
8 think about metrics, this makes this not the same  
9 metric as what would ultimately be used, so this  
10 isn't the metric. So for me, there's a mismatch  
11 there. That's a technical thing in how I think  
12 about metrics that is hard for me to overcome.

13 I think that some of the other cons  
14 that have been listed, and these are in the  
15 comments also, is that this is potentially  
16 duplicative with the condition-specific metrics  
17 which are, to some extent, felt to be more  
18 actionable, that if you have a population of  
19 patients with AMI or heart failure or whatever  
20 you know where to go, as opposed to getting a  
21 list of all of your patients who died and  
22 chunking through them to try to figure out what

1 your action items are.

2           There were some concerns expressed in  
3 the comments regarding the need for some testing  
4 that specifically addresses the end-of-life  
5 interventions and that having a metric that is  
6 this global and overarching around end-of-life  
7 activity may promote extra end-of-life  
8 activities. And I know that we've certainly had  
9 conversations in this room about that issue, but  
10 some of the things that people may do to try to  
11 prolong life that may not really be warranted.

12           One of the comments was also indicated  
13 a lack of support by the National Coalition for  
14 Hospice and Palliative Care, and I'm not super  
15 familiar with that organization. Karen may  
16 actually know a little bit more about it. But it  
17 did concern me that that group was expressing  
18 concerns that this could inhibit referrals to  
19 palliative care, and I don't know their  
20 background or their biases, per se. But that did  
21 seem to be a potential con that was listable.

22           I think that the, again, the con goes

1 both in the pro category and the con category is  
2 the comorbidities are coded comorbidities. And  
3 then I think the range, and Lisa could probably  
4 express this more eloquently if folks are  
5 interested, but the range of performance was  
6 between five percent and nine percent, and two  
7 percent of hospitals are outliers. So I think in  
8 the technical report you guys had listed that  
9 there was some extent to which there's not a ton  
10 of discrimination in which hospitals are  
11 outliers.

12 So to me, those were the pros and  
13 cons. I think there's things on both sides of  
14 this. I think everybody in this room has a  
15 stakeholder group that they may or may not weigh  
16 these things differently. Personally, for me,  
17 the ICD-10 thing is a real hangup, and so that is  
18 sort of the overriding consideration for me. But  
19 I think that's what this metric -- and I know  
20 Karen had some other things to add, too.

21 CO-CHAIR TRAVIS: So, I don't want to  
22 characterize it for you so I'm going to ask you

1 to say, I know you've had that concern around the  
2 ICD-10, to what level does that, which decision  
3 category would you put your thoughts in at the  
4 moment, as to where you would want to be?

5 MEMBER BENIN: I would put that as a  
6 do not support. Because to me that it's a  
7 different metric with the ICD-10. So it requires  
8 a different set.

9 But then I realize there was some  
10 inconsistency in my thinking because I didn't, as  
11 we are voting on one of the earlier ones, I  
12 forgot that that was based on claims. And I  
13 didn't realize till later that it was probably  
14 based on ICD-9's also.

15 So I think to me having used ICD-10's  
16 it's a whole, it's really, really different how  
17 you interact with that set of codes when you're  
18 coding. So it's a very different beast.

19 So to me it requires pretty extensive  
20 redevelopment. And I think Lisa could probably  
21 speak to the extent of the redevelopment that you  
22 guys are working on. I know that there's some

1       underway.

2                   Maybe that would put other people in  
3       a different category, but I do think that it's a  
4       different mapping. So I think maybe if we hear  
5       more about that we'll feel differently.

6                   CO-CHAIR TRAVIS: All right, thank you  
7       for that. And then we have Karen.

8                   MEMBER SHEHADE: Yes, the only thing  
9       I'd really like to stress, really in favor of  
10      this, speaks to two things. It is meaningful, I  
11      think, to patients and their families. And I  
12      think that's an important piece.

13                   And it does put, when you read through  
14      it, you can see that it would push hospital  
15      facilities to work more closely with their other  
16      provider groups, like SNFs, like Home Health,  
17      other community resources for patients and  
18      families. And it really pushes the envelope, I  
19      think, to get that continuity of care, front and  
20      center, for any facility.

21                   So, Andrea and I had gone through the  
22      pros and cons, but that was just one other point



1 I wanted to bring up.

2 And I think we had also talked about  
3 there would be better coding. Because people  
4 would definitely be making sure that they code  
5 better with this. So I think that was it.

6 CO-CHAIR TRAVIS: So, do you have a  
7 decision category that at the moment you would  
8 suggest?

9 MEMBER SHEHADE: Well, I would go with  
10 the recommendation of conditional.

11 CO-CHAIR TRAVIS: Okay, thank you.

12 And --

13 (Off mic comment)

14 MEMBER VALDES: Right over here.  
15 Thank you. I would echo a number of the comments  
16 that Andrea made. And I believe that this has,  
17 this particular measure has more things against  
18 it than for it.

19 A couple that I would like to  
20 specifically call out would be that we have  
21 measures for mortality under condition specifics  
22 that really, based on the comments that I read

1 and based on my experience in our own hospital  
2 system, allow us to really aim improvement  
3 activity a lot in a much more targeted way.

4 We have been in the midst of  
5 developing a palliative care program for a number  
6 of years, and we actually are collaborating with  
7 Dr. Gawande on one of his national initiatives.  
8 And we have seen how difficult the decision and  
9 the communication between the physicians and  
10 their families have to be to reach end-of-life  
11 decisions in a crisis type of thing.

12 And I would be concerned and echo some  
13 of the commentary around either pushing folks out  
14 of the hospital a little too early or making  
15 hasty decisions around palliative care and  
16 hospice care.

17 The ICD-10 worries me as well a great  
18 deal. I'm assuming the measure was tested to the  
19 extent that it has been tested on ICD-9  
20 primarily, given that we have a lot less time  
21 with ICD-10.

22 We have done a fair amount of internal

1 analysis with some of our readmission and  
2 mortality measures, comparing both sets. And I  
3 would be greatly concerned about using ICD-10 yet  
4 for this measure and our, the fact that it is a  
5 claim space measure only.

6 So, my inclination would be to not  
7 support the measure.

8 CO-CHAIR TRAVIS: Thank you very much.  
9 Just a couple of things I'd like to ask NQF Staff  
10 to help us maybe understand, because I saw some  
11 similarities in some of the issues that have been  
12 raised here.

13 What is the thinking about moving from  
14 ICD-9 to ICD-10 and how that impacts the work  
15 that NQF does both either in endorsement or in  
16 MAP? Does anybody know?

17 MS. MUNTHALI: I'll take that one.  
18 We've been thinking about this issue for the last  
19 five years and we knew when it came into  
20 existence, I mean, everyone in the whole world  
21 has been thinking about how we would convert.

22 So we've been giving developers some

1 time, especially on the endorsement side, to  
2 really get to be able to have the test beds, to  
3 do the testing in ICD-10. And so while this went  
4 into effect, I think 2016, we have extended and  
5 given a grace period of three years, to 2019.

6 So by then any measure that's  
7 submitted to NQF for endorsement must have ICD-10  
8 testing.

9 Right now, they must MAP out. Do the  
10 cross walk between ICD-9 to ICD-10. But we're  
11 going to require everything that comes through  
12 NQF for endorsement. And as an extension, MAP,  
13 in 2019. I hope that helps.

14 CO-CHAIR TRAVIS: For that particular  
15 issue.

16 MEMBER JORDAN: This is Jack Jordan.  
17 And I feel a need to chime in here because this  
18 is something that just infuriates the hospitals.

19 We get a report, and we keep getting  
20 reports, that are still ICD-9 based. And of  
21 course your leadership thing, what are you going  
22 to do about it, and I can tell you the answer,

1 nothing.

2 Get the ICD-10 stuff out fast. We do  
3 not want things put on websites that's already  
4 older than two years old and they continue with  
5 it. Those should all be abandoned. And if you  
6 can't do it in an ICD-10, you can't do it.

7 CO-CHAIR TRAVIS: Well, thank you for  
8 that clear statement.

9 (Laughter)

10 CO-CHAIR TRAVIS: I do have one other  
11 question. Things that have already been  
12 endorsed, measures that have already been  
13 endorsed, what kind of timeline are we thinking  
14 about for them to be converted to ICD-10?

15 MS. MUNTHALI: So they're next  
16 maintenance review date.

17 CO-CHAIR TRAVIS: Okay. So next  
18 maintenance --

19 MS. MUNTHALI: So it's every three  
20 years.

21 CO-CHAIR TRAVIS: Right.

22 MS. MUNTHALI: We re-look at the

1       measure, we apply it against our evaluation  
2       criteria and we're going to expect that they're  
3       updated with ICD-10.

4               CO-CHAIR TRAVIS: Does that begin now  
5       or does that begin --

6               MS. MUNTHALI: Yes.

7               CO-CHAIR TRAVIS: Okay, so that's  
8       going now.

9               MS. MUNTHALI: Yes.

10              CO-CHAIR TRAVIS: Okay. All right.  
11       That was helpful to me. And then just one other,  
12       I'm going to turn it over to the developers for a  
13       couple of comments.

14              But I do have a question, ultimately,  
15       about when would this measure, if it moved  
16       forward, when would it be put into a program  
17       potentially?

18              And I know there's lots of if's around  
19       that, but I think that would be helpful to us.  
20       Understanding kind of where we're going with the  
21       ICD-9 and the ICD-10.

22              So you can address that whenever you

1 want to, but if you all want to do. Do we have a  
2 feel for when it would go? Or maybe the earliest  
3 --

4 MS. DUSEJA: So, the earliest that we  
5 can propose would be for next year. And then  
6 obviously that it would go into effect two years  
7 after.

8 CO-CHAIR TRAVIS: Okay, so the 2020  
9 thing again --

10 MS. DUSEJA: Yes, that's right.

11 CO-CHAIR TRAVIS: -- would be the  
12 earliest --

13 MS. DUSEJA: That's right.

14 CO-CHAIR TRAVIS: -- that it could  
15 show up. Okay.

16 So those were just some clarifying  
17 questions that I had relative to some of the  
18 themes that I heard. And thank you all so much  
19 from the lead discussants for taking the time to  
20 help us understand this measure better.

21 I am going to give a very brief  
22 opportunity to the measure developers to respond

1 and to give us some information. The one thing  
2 that I do though want to remind myself of, is  
3 that this is not yet gone through NQF  
4 endorsement.

5 And so although I think that there may  
6 be some concerns about the results of the testing  
7 and the results, going back to our earlier  
8 conversation, we can't adjudicate all of that  
9 here. So, when you're giving your comments, if  
10 we can keep them a little broader.

11 And I'm going to ask if we can also do  
12 that here. Except for when, if we get to the  
13 point of putting conditions or review and revise  
14 on something, we can get more specific. But if  
15 you all want to take just a moment or two.

16 MS. BERNHEIM: Great. Hi, this is  
17 Susannah Bernheim. I'm going to let Lisa respond  
18 to a couple of the things that came up, but I  
19 just want to talk briefly about ICD-10.

20 As most of you know, we have the  
21 advantage of having a currently reported  
22 hospital-wide measure that's already in use in



1 CMS programs. The hospital-wide readmission  
2 measure.

3 So we have had to, to keep that  
4 measure in use, do a very extensive mapping of  
5 our ICD-9 codes to ICD-10 codes, using a lot of  
6 the same groupers and clinical categories and  
7 risk adjustment factors with a lot of success.  
8 Those results are available, they'll come back to  
9 the NQF.

10 But we have a lot of experience in  
11 doing that mapping, and we're in the process of  
12 doing it for this measure. This measure had to  
13 be developed in older data because we started a  
14 little while ago. It was a hard measure to build  
15 and we just didn't have the data at that point.

16 And the one thing I will say is that  
17 it will go to NQF this year, with the ICD-10  
18 specifications. So that's underway.

19 So when it comes to NQF, it will come  
20 as an ICD-10 measure. Just to reassure people  
21 about that piece of the process. I'll let Lisa  
22 respond, high level, as per request, to some of

1 the other key issues.

2 DR. SUTER: Great, thank you,  
3 Susannah. So, just touching up on a couple of  
4 the other issues.

5 So, reinforcing that this measure was  
6 developed over a two year period, with a  
7 tremendous amount of stakeholder input, including  
8 a workgroup made up entirely of patients and care  
9 givers, with whom we spoke extensively about the  
10 end-of-life issues, there is no clear consensus  
11 broadly or with our technical expert panel.

12 But all of the stakeholder groups that  
13 we engaged with felt that this, the way that we  
14 defined the specifications and the hospice  
15 exclusions that we landed on, felt comfortable to  
16 them as a way to balance the challenge of  
17 measuring mortality while still understanding the  
18 potential impact on end-of-life discussions.

19 There were, although we are awaiting  
20 for formal TEP validity, and so I can't speak to  
21 the final TEP validity vote, just to clarify  
22 that.

1                   This measure, in terms of the risk  
2                   adjustment concerns, and I will try not to get  
3                   into details, it has been compared to detailed  
4                   risk adjustment with detailed laboratory and  
5                   vital sign data available on your entrance into  
6                   the hospital or into the emergency room and found  
7                   to be highly correlated, which is reassuring to  
8                   us.

9                   In terms of the low number of  
10                  outliers, although there aren't as many outliers  
11                  as some of CMS's other claims, based mortality  
12                  measures, there are similar numbers to several of  
13                  the mortality condition and procedures, specific  
14                  mortality measures, in use. Including CABG  
15                  procedure mortality or AMI mortality.

16                  And again, just reinforcing that this  
17                  is currently under evaluation to update to ICD-  
18                  10, with a plan to bring that information back to  
19                  the TEP and then to the NQF.

20                  CO-CHAIR TRAVIS: Okay, thank you very  
21                  much for that. I see some cards that have gone  
22                  up and since I was listening and looking over

1 here I don't know the order with which they did,  
2 so I'm going to kind of start with Helen and come  
3 around this way. So, Helen.

4 MEMBER HASKELL: I got lucky because  
5 I was indeed the last one to go up. So, but I'll  
6 take my opportunity.

7 I just wanted to say that as a patient  
8 advocate I strongly support this measure. If  
9 anything, my concerns would be that there are  
10 more exclusions then I would like. I think  
11 people with metastatic cancer should be referred  
12 to palliative care and hospice and you should not  
13 necessarily be dying in a hospital, that this  
14 would be an incentive rather than a disincentive,  
15 so I'm not sure --

16 (Off mic comment)

17 MEMBER HASKELL: Thirty day post-  
18 discharge, right?

19 MEMBER BENIN: Thirty day post-  
20 admission.

21 MEMBER HASKELL: Post-admission, yes.

22 MEMBER BENIN: So, 30 day post-

1 admission date. Right, Lisa?

2 (Off mic comment)

3 MEMBER BENIN: Yes. So if you die,  
4 you die. So, I mean --

5 CO-CHAIR TRAVIS: Can you put your --  
6 yes.

7 MEMBER BENIN: Sorry, I must have  
8 dropped it. But this is, if you die any time  
9 after the day you're admitted to the hospital.

10 So if I think of friends who have died  
11 in the past couple of years they died either at  
12 home or in the hospital, but it was within 30  
13 days of being admitted. Right.

14 MEMBER HASKELL: So, my understanding  
15 of mortality data now, and maybe I am wrong, is  
16 that if people are on palliative care, if they  
17 are in hospice, they are not included in those  
18 statistics?

19 CO-CHAIR TRAVIS: Why don't we get  
20 that from the measure developers --

21 MEMBER HASKELL: Yes.

22 CO-CHAIR TRAVIS: -- so we can do the

1 same page.

2 DR. SUTER: So patients who have a  
3 principle discharge diagnosis of cancer and who  
4 are enrolled in hospice at any time prior to or  
5 during the admission or upon discharge, they are  
6 excluded. If they have any diagnosis of  
7 metastatic cancer they are excluded.

8 Patients who have, who are enrolled in  
9 hospice, either prior to, on or within two days  
10 of admission, are all excluded from measurement.

11 CO-CHAIR TRAVIS: Thank you for that.  
12 Does that help clarify it, Helen, for you?

13 MEMBER HASKELL: Well, I think that's  
14 what I was assuming. So I think that this would  
15 actually encourage that, which is what, in  
16 general, we would like to see.

17 So, I think this is -- and the other  
18 comment I would make is that the condition,  
19 specific mortality measures, are not that useful  
20 to most people unless you happen to have  
21 condition.

22 So, the hospital-wide measure is

1 really much more useful for most patients in  
2 terms of looking at hospitals.

3 CO-CHAIR TRAVIS: Thank you. Andrea.  
4 Is your card still up? Oh, that wasn't even  
5 yours, that's Ann Marie's. Sorry about that.

6 MEMBER SULLIVAN: This question is for  
7 the developer. You said you looked at laboratory  
8 data, et cetera, did you ever compare by looking  
9 at a clinical record versus the claim stage and  
10 did you find out if there are any discrepancies?

11 In other words, did you ever test it  
12 to see, by looking at the clinical record, you  
13 got better data?

14 DR. SUTER: So we have not validated  
15 this with a chart review. We validated it with  
16 electronically pulled data elements that have  
17 previously been extensively studied and validated  
18 through a chart review. And that was what it was  
19 compared to.

20 So we know that the laboratory data  
21 and the vital signs that we were looking at,  
22 those have been validated through a chart review,

1 but we have not validated the claims based risk  
2 adjustment in a chart review.

3 MEMBER SULLIVAN: Yes. Because I  
4 would just like to add that I think, I absolutely  
5 agree that, when I have talked to people,  
6 patients, friends, family, what do you look at as  
7 a measure, mortality is the theme that jumps out.  
8 That's what seems to be important to people.

9 So I think this is when you have to be  
10 very careful about therefore, in terms of how you  
11 do it. Because I think it, a lot of our other  
12 measures, I think, they don't look at all that  
13 carefully, but this one they do.

14 And I think that's why I would tend to  
15 lean towards something that had a little more  
16 clinical information maybe added to it, as Nancy  
17 said.

18 CO-CHAIR TRAVIS: Thank you, Ann  
19 Marie. Sean.

20 MEMBER MORRISON: So let me just begin  
21 by saying that I support this measure based upon  
22 conditions of NQF endorsement for a couple of



1 reasons. The first is that hospital mortality is  
2 a key issue.

3 Hospital errors, if you believe Johns  
4 Hopkins, Johns Hopkins and the BMJ account for it  
5 are the third leading cause of death in the  
6 United States. And we need to do something about  
7 that and we need to do something about it now.

8 The concerns that I had, which I think  
9 will be addressed by NQF endorsement, was, one, I  
10 heard, and I agreed was, can claims do this? The  
11 answer is probably yes. If people document  
12 correctly.

13 Nancy is looking at me. But the  
14 reality is that under the other mortality ratios,  
15 hospitals have learned to document very, very  
16 well so they're observed to expected ratio  
17 changes. That's about behavior.

18 And I think that given the problem  
19 facing us and the fact that we will never have a  
20 perfect measure, this is probably going to be  
21 pretty reasonable.

22 The issue about palliative care comes

1 up. And just for those, to be clear, I direct an  
2 organization called the National Palliative Care  
3 Research Center. It is a member of the Hospice  
4 and Palliative Care Coalition.

5 That coalition represents the National  
6 Hospice and Palliative Care Organization which  
7 represents hospice in the United States. It  
8 represents both the physicians, nursing and  
9 social work chaplains and now pharmacists'  
10 organizations focused on palliative care, the  
11 Center of Advance Palliative Care and my  
12 organization.

13 I actually disagree with the letter  
14 that came in. I think that quite honestly this  
15 is a major issue.

16 I think that could it potentially  
17 prevent early referral to hospice or palliative  
18 care, perhaps. But I think when you weigh the  
19 issues around the number of people who are dying  
20 for medical errors, versus those who might have  
21 early hospice and palliative care referral, I  
22 think the public policy issue favors looking at a

1       standardized all-cause mortality ratio.

2                   And again, I trust that when this goes  
3       through the endorsement process, that people will  
4       look very specifically at the issue around ICD-  
5       10's, which Lisa has raised. They'll look at the  
6       measures, they'll look at statistics, and that  
7       will be appropriately done.

8                   CO-CHAIR TRAVIS: Thank you, Sean.  
9       Brock. Surprise.

10                   MEMBER SLABACH: Thank you. Well, I  
11       would say that there's nothing that gets rural  
12       and small volume hospitals more excited than  
13       mortality measures.

14                   And because I think it  
15       disproportionately impacts them, and we can go  
16       into a long discussion about that, and I think  
17       that it is a poor reflection of quality in an  
18       institution that's providing healthcare.

19                   And the other main concern that we  
20       had, and was expressed to me in the conversations  
21       leading up to this, is the exclusions and how  
22       those exclusions of the 100 classification could

1 potentially reduce the population of patients  
2 being included. And then how does that impact  
3 the, so if you exclude a number of patients from  
4 your exclusions list, then how does that lead the  
5 statistic then in terms of its calibration to the  
6 rest of the population.

7 So, anyway, I'll just stop there. I  
8 am curious about the exclusions and how that  
9 impacts if there's a testing or any information  
10 on that.

11 DR. SUTER: I'm not sure I fully  
12 understand your question. I will say that this  
13 measure has been tested both with and without  
14 some of the exclusions.

15 Obviously the hospice based, most of  
16 the hospice based exclusions were made very early  
17 in development and we have not looked at putting  
18 those patients back in the measure.

19 We did exclude some groups of patients  
20 later in measure development based on challenges  
21 around risk adjustment, heterogeneity in the risk  
22 variables that led to model convergence issues.

1 We're revisiting those groups of patients during  
2 ICD-10 reevaluation with the hope that we can  
3 include them.

4 But we have tried to build the measure  
5 in a conservative way to make sure that the  
6 quality statement about the hospitals performance  
7 is a cautious one. And therefore, if we felt  
8 like we could not adequately risk adjust groups  
9 of patients, those patients were excluded.

10 The testing of the measure, in terms  
11 of the, you know, internal consistency among the  
12 service line division results and the overall  
13 results really haven't, did not change with the  
14 exclusion of those groups, which I think gets at  
15 your question. But I think this is certainly  
16 something that we could address with scientific  
17 methods committee with the NQF endorsement  
18 process.

19 MEMBER SLABACH: I want to be clear,  
20 Nancy, perhaps I was a little bit unclear. The  
21 mortality statistic is not a reflection, I don't  
22 feel, and I do not support the measure.

1                   Because it's not a metric of quality,  
2                   and I think that's what we're trying to measure  
3                   within the programs that we're trying to yield  
4                   improvement on. So I just wanted to be clear.

5                   CO-CHAIR TRAVIS: Thank you, Brock.  
6                   Lee.

7                   MEMBER FLEISHER: So I will disclose,  
8                   I was on the workgroup and I will not be voting,  
9                   as Elisa will remind me.

10                  But I did want to say, so the  
11                  development of the measure was excellent. And  
12                  the thought process, and they took all the input  
13                  around the issue, from my perspective in the  
14                  workgroup, a lot of the issues we presented.

15                  The question is, and the developer  
16                  knows this but it was requested by CMS, is  
17                  whether this measure should exist at all. And  
18                  the issue is, and I have to echo the question, we  
19                  believe that, there is another colleague and I  
20                  that service line specific measures are  
21                  excellent, cardiovascular mortality, GI  
22                  mortality, et cetera, but when you get to an all

1 hospital mortality the question is, there are  
2 great hospitals, which will be great overall,  
3 there are very poor hospitals, which will perform  
4 very poorly overall.

5 And everywhere in the middle the  
6 question is, that they're average but they may be  
7 excellent in one area and poor in another. They  
8 may be excellent at taking care of multi-  
9 morbidity or they may be excellent in taking care  
10 of the rural population.

11 So the question is, does, I recognize  
12 that patients believe they want this measure, but  
13 our question was, will this actually help  
14 patients to decide if they have a condition, like  
15 an acute MI, do they go to Hospital A or B, if in  
16 the middle it's all the same and it doesn't give  
17 you any discriminatory power.

18 So, again, if this measure is felt to  
19 be important, then I think that the measure  
20 developer took a lot of the concerns into  
21 consideration.

22 But the question is, would be better,

1 and I know they subdivided this, an all-cause  
2 hospital mortality measure may not be the most  
3 useful thing to actually drive quality, given the  
4 local issues of where best to go, for a given  
5 condition.

6 CO-CHAIR TRAVIS: So, just taking one  
7 moment out, I want to be sure that we do get  
8 through our work today, and we've got two more  
9 measures after this one. I think that there's  
10 probably, some of the things we're talking about  
11 here may be applicable, although it is a  
12 different measure setup differently that might  
13 address some of the concerns.

14 So what I'm going to ask is that as we  
15 go around, please kind of keep in mind if someone  
16 has already kind of stated what you think. Just  
17 do that with your vote.

18 And bring up, let's bring up the new  
19 things that we want to be sure, get on the table,  
20 so that they are heard. And I'm a little  
21 concerned about taking out, every time someone  
22 brings up an issue and having you all respond.



1 It would be helpful to me maybe though if you can  
2 kind of keep track of the issues and then maybe  
3 we can have, at the end, a time for you to  
4 address the significant issues.

5 And that will give us, I think, a way  
6 to still have your information but still to kind  
7 of move through the process.

8 So, we want to get everybody's  
9 comments online here. That's not the intent of  
10 this, but let's just be sure that we do it in a  
11 meaningful way.

12 So, Janis, are you next?

13 MEMBER ORLOWSKI: So, we do have  
14 extensive comments that are online, and so I  
15 won't repeat those. I just have two issues.

16 One is, I am concerned that the risk  
17 adjustment, first of all, obviously the issue of  
18 SDS adjustment, but also I think the issue of  
19 complexity.

20 I'm concerned that ICD codes, whether  
21 they're nine or they move to ten, that we have  
22 issues of frailty. And I think this is a measure

1       that would be better to have some EHR data that  
2       is associated with it.

3               The other specific question that I'd  
4       like to point out and ask and see if we can get  
5       an answer at some point, is that the description  
6       for the denominator is a little bit confusing.  
7       Or I found it a little bit confusing.

8               What it says is that the description  
9       of hospice enrollment is if the individual dies  
10      within two days of hospital admissions, are  
11      excluded from the denominator, I believe. If  
12      they're there for three or more they're included.

13              And I would say that there are  
14      conditions of rescue where it would be  
15      appropriate that you include the first 24 to 48  
16      hours. And so I'm not sure as to the reasoning  
17      for this exclusion, for the denominator. Thanks.

18              (Off mic comment)

19              CO-CHAIR TRAVIS: I'm sorry, I  
20      couldn't hear you?

21              PARTICIPANT: To your point, I don't  
22      know how much you want us to get into technical

1 pieces, but I'm happy to give you my email  
2 address instead of spending time now going over  
3 it.

4 CO-CHAIR TRAVIS: I think that we will  
5 keep going at this point because I'm sure there  
6 are lots of specifications that we could try to  
7 get to, but we do want to be sure that Janis'  
8 point is being captured.

9 And when we get to deciding what to do  
10 with this measure, let's be sure that the  
11 question relative to the denominator and  
12 exclusions are there. Okay, Lindsey.

13 MEMBER WISHAM: So, I understand we'll  
14 be voting on these separately, but I wonder if  
15 there's value in the discussion in coupling the  
16 hybrid measure with the claims based measure,  
17 because I think in reading through some of the  
18 specifications, the hybrid measure does address  
19 some of the risk adjustment through clinic data  
20 and the robustness of it.

21 I guess I would like to hear, I think  
22 that may help inform the differences between the

1 specifications and how potentially implementing  
2 both in the same program could inform or  
3 complement each other.

4 CO-CHAIR TRAVIS: So that's a good  
5 question. I guess, kind of going back though to  
6 the original, when we had your original opening  
7 comments on this, would the intent be to offer  
8 them, to have both of them in the same program at  
9 the same time, or would the intent be, as I  
10 thought I heard it, to put the claims based in  
11 probably earlier because you could, and then the  
12 hybrid measure would come in later.

13 So my question would be, do you intend  
14 to have them both in the same program, at the  
15 same time?

16 MS. DUSEJA: Thanks for that question.  
17 So, due to operational issues we would be  
18 implementing the claims measure first. It will  
19 take time, as you can imagine, to being able to  
20 get the hybrid measure in and getting the  
21 required data collected from hospitals. We see  
22 that as a longer time frame in terms of that

1       being implemented into the program.

2               The goal would be, if it does get  
3       implemented into the program, just depending on  
4       the data collection or our ability that if we get  
5       enough data collected, that we would transition  
6       to the hybrid measure.

7               CO-CHAIR TRAVIS:   So, in a perfect  
8       world, you --

9               MS. DUSEJA:   In a perfect world, yes.

10              CO-CHAIR TRAVIS:   -- probably wouldn't  
11       have both these measures in the program --

12              MS. DUSEJA:   That's right.

13              CO-CHAIR TRAVIS:   -- at the same time?

14              MS. DUSEJA:   That's right.

15              CO-CHAIR TRAVIS:   I don't know,  
16       Lindsey, if that reflects any difference for you  
17       or not?

18              MEMBER WISHAM:   Yes, I'll save my  
19       questions until we talk about the hybrid measure  
20       though.

21              CO-CHAIR TRAVIS:   Okay.

22              MEMBER WISHAM:   Just knowing that they

1 will be handled neutrally exclusively as answers  
2 my question.

3 CO-CHAIR TRAVIS: In an ideal world.

4 MEMBER WISHAM: Yes, in an ideal  
5 world.

6 CO-CHAIR TRAVIS: Okay. I don't see  
7 any more over here. Oh, sorry, Dan, I didn't see  
8 yours.

9 MEMBER POLLOCK: I don't get to vote  
10 so I'll just be very brief in the comment about  
11 the application of standardized mortality ratios,  
12 which is a tool long used in epidemiology, to  
13 quality measures in general. Because I think the  
14 group, if you're not familiar with the history of  
15 this particular tool in epidemiology and you  
16 trace it, there is increasing concern about  
17 applying a standardized mortality ratios, in  
18 epidemiology, to understand the etiology of  
19 disease.

20 These are ecological measures that  
21 have to be used as hypothesis generating tools  
22 that require further study. In the analogy, in

1 the health care quality realm, is that, yes, the  
2 mortality ratio is going to capture a lot of  
3 attention, but to use it as a guide to a patient  
4 choice or to use it only as a starting place, is  
5 really what's necessary and calls out for further  
6 analysis.

7 So if this measure is indeed to be  
8 publicly reported, it will, no doubt, capture a  
9 tremendous amount of attention. But then there  
10 is going to be the rest of the story.

11 And the rest of the story is really  
12 where the action is in terms of getting at the  
13 quality issues that can be improved. So there is  
14 something to be learned from the history of this  
15 particular tool, which has value, but not really  
16 for the quality measure purposes that are being  
17 described today.

18 CO-CHAIR TRAVIS: Thank you. Is this  
19 Rich or Keith that has their card up? Okay.

20 MEMBER KNIGHT: Yes, I just want to  
21 say that I actually agree with what you said. I  
22 think that it's a starting point, as all the

1 ratios are.

2 And in many cases, people don't really  
3 understand them. But it's a starting point that  
4 can be an indication.

5 And I think when you start looking at  
6 smaller hospitals and other instances, you have  
7 to, certainly have to take that into  
8 consideration.

9 Quite frankly, from my community, when  
10 my friend went into the hospital, good friend of  
11 mine who has a degree in medical sociology, looks  
12 at the numbers and said, your mother's not going  
13 to do very well in the hospital, period. So you  
14 need to be ever vigilant.

15 And with respect to patients, I think  
16 that that's something that one does need to be  
17 aware of. There are disparate issues and there  
18 are issues.

19 So, getting a good framework from what  
20 a facility might offer, I think is very  
21 important. And I think that this is the measure  
22 that can at least give you a feel.



1                   And then you're going to have to  
2 obviously go with more detailed information. So  
3 I certainly support the use of the measure.

4                   And besides, we're talking about,  
5 what, 2020 implementation? So the future is  
6 based on decisions that we make today.

7                   So that's pretty far down in the  
8 pipeline in terms of technology and everything,  
9 being able to help you better assess this. Just  
10 a thought.

11                  MEMBER POLLOCK: I sense, if I could,  
12 that we're in fundamental agreement. This is a  
13 starting point.

14                  But I think the question is, do we  
15 want to start with publicly reporting and use, as  
16 a basis for pay for reporting, a starting place  
17 or do we want to enable measures. And there's a  
18 tremendous call for more targeted measures to  
19 service the starting point.

20                  I think that's the fundamental  
21 decision that this measure can serve certain  
22 purposes. And perhaps hospitals that aren't

1 already looking at their mortality data should be  
2 looking at their mortality data and using a  
3 standardized approach.

4 But do we want to publicly report  
5 these statistics and have that guide, consumer  
6 choice? I think that there are some misguided  
7 pre-steps there. And think of it, just to use an  
8 approximate analogy.

9 If you're a consumer and you want to  
10 make a decision about where, what city you want  
11 to move to and you look at homicide statistics,  
12 all-cause homicide statistics, and you make a  
13 decision on that basis, that says nothing about  
14 individual neighborhoods.

15 And cities are composed of  
16 neighborhoods, hospitals are composed of  
17 services. And there are differences. And to  
18 obscure them with a single measure as though it  
19 stands for the quality of care, takes away from  
20 where the action is.

21 CO-CHAIR TRAVIS: Thank you. Thank  
22 you, Dan. I appreciate that perspective.

1                   Helen, is your card up?

2                   (Off mic comment)

3                   CO-CHAIR TRAVIS: Please use your mic.

4                   MEMBER HASKELL: So, I would, yes, I  
5 would -- I really disagree with the  
6 epidemiological perspective on this. I think  
7 that these measures were very valuable in the  
8 U.K. for sort of pinpointing problems, or many  
9 pinpointing is not the right word, but flagging  
10 problems. And I think they would be here.

11                   I think the hospital has to be  
12 responsible for all its programs. And if you've  
13 got failing programs, people need a little bit of  
14 a fire underneath them to improve those programs.  
15 And not just try to coast on their good programs.  
16 So that's one thing.

17                   I think this is a really useful  
18 measure for consumers. And it's a really useful  
19 measure for improvement.

20                   If it gets hospitals looking at every  
21 death, which I think it does when people start  
22 examining immortality data, then it's a good

1        thing.

2                    And the other thing I would just say  
3        is about the exclusions. I am concerned about  
4        those, the first 48 hours and cardiac arrest.  
5        There's some things that I think look to me and  
6        said they would easily include errors and failure  
7        to rescue that are among the exclusions.

8                    CO-CHAIR TRAVIS: Thank you. Wei.

9                    MEMBER YING: I would say this  
10       conversation, this discussion is a little bit  
11       like what we discussed a couple years ago when  
12       the all-cause readmission measure came out then  
13       their service line readmission measure, it was a  
14       heated discussion at the time.

15                   And I think the similar rationale  
16       would apply here to, that when there is a  
17       systemic issue we want to look at it globally.  
18       If there is a facility the mortality rate is  
19       truly an outlier, it doesn't matter which service  
20       line that is any more.

21                   Of course, now clinic improvement  
22       point of view, again, the clinical line either

1 readmission or mortality measure will be more  
2 actionable, but just from a system level of  
3 measurement. These type of outliers, at the  
4 global level, is still very meaningful.

5 CO-CHAIR TRAVIS: Thank you. Jack,  
6 did you have another comment or have you made  
7 your comment?

8 MEMBER JORDAN: No, I do have one. I  
9 think there's a balancing measure with this that  
10 I think is important for interpretation.

11 You know, when we saw papers coming  
12 out around readmissions of CHF are negatively  
13 correlated with mortality, COPD and all-cause all  
14 have this potential issue that if you inflate  
15 your denominator because you're really bad about  
16 keeping people out of the hospital and they cycle  
17 in and out numerous times in their last year of  
18 life, that that inflation of the denominator  
19 actually makes your mortality look better when  
20 it's not.

21 And none of these measures ever seem  
22 to talk about or have any balancing measure of

1 kind of final year of life utilization to kind of  
2 give any idea about that inflation that can, or  
3 maybe in theory, happening.

4 And I think that's kind of an  
5 important thing to be considering that as we're  
6 trying to do better and better at population  
7 management, you may rightfully see mortality go  
8 up because you're not sending someone to the  
9 hospital four or five times in their last year of  
10 life, which they survive. But better care would  
11 have been keeping them out of the hospital  
12 altogether.

13 And I do think all these comments that  
14 people have talked about, the frailty and the  
15 things in the population are truly important as  
16 well. They're very hard to really interpret kind  
17 of a global mortality.

18 That said, I'm not against being  
19 transparent with it, I think things would be  
20 learned from it. But I think for fuller  
21 understanding, you need to have some of that  
22 utilization kind of things there to help tease

1       that out.

2                   CO-CHAIR TRAVIS:   Thank you.   I see  
3       one more card up, Sean.   And then after Sean, oh,  
4       you've already done it?

5                   MEMBER MORRISON:   Yes, I have.

6                   CO-CHAIR TRAVIS:   You're not going to  
7       do it again?

8                   MEMBER MORRISON:   I'm not going to do  
9       it.

10                  CO-CHAIR TRAVIS:   Okay.

11                  (Laughter)

12                  CO-CHAIR TRAVIS:   All right.   Well, I  
13       don't see any other cards up from the workgroup,  
14       so I will turn it back to the measurer developer  
15       for some final comments, if you like.

16                  If you need to respond, because you  
17       just have to, to something that was kind of in  
18       the weeds, you may.   But I would prefer for us to  
19       kind of think about the big implications that  
20       people have brought up and focus in that area, if  
21       you can.

22                  DR. SUTER:   So, the three sort of big

1 issues I heard were scientific acceptability,  
2 which I think will predominately be dealt with by  
3 the NQF community, flagging two things that just  
4 came up. One, this measure randomly selects a  
5 single admission.

6 So while a patient may have multiple  
7 admissions in a year, only a single admission is  
8 captured because of that particular issue with  
9 mortality and that your last admission,  
10 obviously, has the highest risk of mortality and  
11 your other admissions don't. So just, I think  
12 that addresses that more recent.

13 And the issue about the  
14 epidemiological use of SMRs, this is actually,  
15 it's not a traditional epi-SMR, it's a ratio of  
16 adjusted actuals to expected use using a  
17 hierarchical modeling. So it is a slightly  
18 different approach and allows us to compare to a  
19 nationally, a national average performing  
20 hospital who had your hospital's patients.

21 In terms of sort of usability and  
22 meaningfulness, again, we heard from a number of



1 patients, and patient stakeholder groups during  
2 development, the value of this measure.

3 We also heard the value of service  
4 line information. So, this measure does use 13  
5 service lines. If we can include additional  
6 service lines during ICD-10 update we will.

7 And we have asked for public comment  
8 in the past and we will continue to ask for  
9 comments on how to present the information to be  
10 most meaningful to patients and stakeholders, in  
11 addition to an overall hospital-wide mortality  
12 rate. Thank you.

13 CO-CHAIR TRAVIS: Just one clarifying  
14 question. When hospitals get feedback on their  
15 performance on this measure, will they get  
16 feedback down at the 13 service lines as well,  
17 similarly to the readmission measure, I believe?

18 DR. SUTER: They'll get patient level  
19 hospital specific reports that include every  
20 single patient and where they sit.

21 CO-CHAIR TRAVIS: But they would be  
22 able to see, in each of those service lines where

1       their performance is?

2                   DR. SUTER:   Yes.

3                   CO-CHAIR TRAVIS:   So from a quality  
4       improvement standpoint, it could show them which  
5       of those service lines --

6                   DR. SUTER:   Yes.

7                   CO-CHAIR TRAVIS:   -- would be most  
8       important to take a deep dive into?

9                   DR. SUTER:   That's correct.

10                  CO-CHAIR TRAVIS:   Okay.   Okay, thank  
11       you for that.

12                  MEMBER JORDAN:   One question though.  
13       You talked about a randomization, this means that  
14       a hospital could not recreate this measure at a  
15       local level because they wouldn't be able to  
16       recreate your sampling?

17                  DR. SUTER:   So, none of CMS's claims  
18       based measures can necessarily be duplicated  
19       because of the centralization needed for risk  
20       adjustment.   And this measure is similar in that.

21                  However, as you just described, CMS  
22       has in the past, and I anticipate would continue

1 to do so, would supply hospitals with every  
2 single patient in the measure for quality  
3 improvement purposes.

4 MEMBER BRENNAN: This is Joan Brennan.  
5 Related to the index. So, the index case would  
6 the mortality go to that in that, to the  
7 organization of the index case?

8 DR. SUTER: Yes.

9 CO-CHAIR TRAVIS: Yes.

10 MEMBER BRENNAN: Okay.

11 CO-CHAIR TRAVIS: Okay. Well, seeing  
12 no more cards up, and we do have a motion on the  
13 floor for do not support, so we will deal with  
14 that motion at this time.

15 And are you all going to want us to  
16 stand up again, is that the easiest way?

17 (Off mic comment)

18 CO-CHAIR TRAVIS: Okay. So, if you  
19 are in favor of do not support, please stand.

20 MS. MCQUESTON: Ten.

21 CO-CHAIR TRAVIS: And anybody on the  
22 phone want to vote for do not support?

1                   MEMBER BRENNAN: Joan Brennan, do not  
2 support.

3                   MS. MCQUESTON: We have 11 for do not  
4 support.

5                   CO-CHAIR TRAVIS: Okay. So on those,  
6 all of those that oppose this motion, please  
7 stand. Anybody on the phone oppose this motion?

8                   MEMBER JORDAN: I oppose the motion.

9                   MS. MCQUESTON: Fourteen votes against  
10 the motion.

11                  CO-CHAIR TRAVIS: Okay. So --

12                  MS. MCQUESTON: So, the motion has not  
13 --

14                  CO-CHAIR TRAVIS: The motion failed.

15                  MS. MCQUESTON: Failed, yes.

16                  CO-CHAIR TRAVIS: Okay. The motion  
17 failed. I'm trying to think through the next  
18 step, because we want to take our learning's from  
19 the earlier process that we went through and not  
20 recreate the issues, so I'm going to turn it to  
21 Erin since she seems to want to say something.

22                  MS. O'ROURKE: We were going to

1 suggest, from a Staff perspective, that we not  
2 use the default part of the process and that the  
3 Chairs entertain additional motions until we can  
4 find consensus.

5 CO-CHAIR TRAVIS: Okay. All right, so  
6 do I hear another motion from the workgroup, on  
7 how to move forward with this measure?

8 PARTICIPANT: Can you repeat what the  
9 conditions are?

10 MEMBER MANNING: So right now the  
11 Staff conditions were submitted to NQF for  
12 endorsement. But you're welcome to add  
13 additional conditions.

14 MEMBER SHEHADE: I would move to  
15 support with conditional, under conditions of NQF  
16 endorsement.

17 CO-CHAIR TRAVIS: Okay, thank you.  
18 All right, so we have a motion for conditional  
19 support with the condition being NQF endorsement.  
20 Did I get that right? Okay.

21 All right, we can have discussion.  
22 Yes, you can go.

1                   MEMBER GHINASSI: You know, I've been  
2 listening to this, I've been experiencing a  
3 combination of amnesia and deja vu, which is a  
4 disconcerting sense I've forgotten all this  
5 before.

6                   And what's been difficult for me with  
7 this measure is, I came into this wanting to  
8 support this. From a default position, it's very  
9 hard not to say this is a great thing. Until you  
10 open the hood up and you start to look at what's  
11 under the hood.

12                  And I've worked in large systems my  
13 whole career, not-for-profit academic systems,  
14 and I've worked places that have very large  
15 academic centers. And they also have rural and  
16 outlying community hospitals, and I can tell you  
17 that the numbers, we looked at all the numbers,  
18 and I can tell you the numbers were always darker  
19 in the larger academic facilities. As was the  
20 selection of which patients went to which one.  
21 Not just by the organizations but the  
22 communities.

1                   And so, the concern I always have with  
2                   this is, it's so hard to get the measurement  
3                   right, and yet it's critical to get it right.  
4                   Because while the obvious concern is that people  
5                   are going to think a particular hospital is bad,  
6                   I'm more worried about the other one.

7                   Which is that a good number on this is  
8                   going to lead consumers to think that a place is  
9                   good, when in fact that may be completely  
10                  inaccurate.

11                  And I think that because I don't know  
12                  what's under the hood in this, I haven't seen the  
13                  exact algorithms that are involved in case mix  
14                  analysis and whether there is an actual belief  
15                  that the current state of the art in electronic  
16                  case mix analysis is going to, even in the hybrid  
17                  version, is going to allow us to accurately  
18                  depict not only the conditions that got the  
19                  person in the hospital and their physical  
20                  conditions, but the other thing that people  
21                  haven't brought up, although it was mentioned in  
22                  the comments, I would want that analysis to also

1 include the capacity of that community to handle  
2 those conditions. Even if they're properly  
3 handed off, once they're left.

4 And I don't see that in the algorithm.  
5 There's no way for me to evaluate that. So what  
6 I'm left with is this sort of concern that it's a  
7 wonderfully compelling measure until you actually  
8 look at it.

9 And we're going to push data out that  
10 will have people either, they will make judgments  
11 that I am grossly concerned will be inaccurate.  
12 And I don't know exactly how else to say that.

13 So what I'm asking is, I would like  
14 the motion to include, but in addition to NQF  
15 endorsement, that there be substantive,  
16 published, evidence based empirically validated  
17 information on the algorithm, a demonstration  
18 that that algorithm is tied to actual mortality  
19 issues, that it's transparent so that it can be  
20 judged and looked at. And right now, we don't  
21 have any of that.

22 So when you ask me to make a decision



1 about whether I'm in favor or not in favor of  
2 this, what I have to offer is, how would I know.

3 CO-CHAIR TRAVIS: Thank you. Thank  
4 you for that.

5 MEMBER GHINASSI: You're welcome.

6 CO-CHAIR TRAVIS: And I'm hoping  
7 somebody other than just me wrote down that  
8 condition.

9 PARTICIPANT: I got it.

10 CO-CHAIR TRAVIS: Thank you. Thank  
11 you very much. Nancy.

12 MEMBER FOSTER: Thanks, Cristie. So,  
13 just for clarity, I thought the condition that  
14 staff imposed on this was that there would be  
15 demonstrated validity at the facility level.  
16 Because this measure has not yet been tested at  
17 the facility level, I believe.

18 So, it may be good at the national  
19 level, it may not be so great at the facility  
20 level is the question that was being put forward.

21 CO-CHAIR TRAVIS: So, thank you for  
22 raising that issue. I look to Staff. I mean,

1       this has not been NQF endorsed at the facility  
2       level.

3               MEMBER FOSTER:   It has not.

4               CO-CHAIR TRAVIS:   Or any level.

5               DR. SUTER:   Sorry to interrupt.   So it  
6       has been validity tested at the facility level,  
7       so hospital level testing has been compared to  
8       hospital level, mortality group score, domain  
9       group score for the star ratings domain.   It's  
10      also been tested against electronic health record  
11      data.

12              MEMBER FOSTER:   I'm sorry, that's a  
13      validity test?

14              DR. SUTER:   It is --

15              MEMBER FOSTER:   You and I have  
16      different definitions of a validity test then --

17              DR. SUTER:   Agreed.   And I'm sure that  
18      NQF --

19              MEMBER FOSTER:   But I'm only asking  
20      for clarity around the staff recommendation.

21              DR. SUTER:   Okay.

22              CO-CHAIR TRAVIS:   Yes.

1                   MEMBER FOSTER: To know what we're  
2                   voting on. And then I have a comment.

3                   CO-CHAIR TRAVIS: And my additional  
4                   question, I would like Nancy's question answered,  
5                   but I would also like to know if this is NQF  
6                   endorsed at the level to which it is being asked  
7                   for us to be putting it into a program.

8                   (Off mic comment)

9                   CO-CHAIR TRAVIS: Right. Okay, I  
10                  mean, I didn't think so. And I guess I was  
11                  interpreting the Staff's condition that it had  
12                  not been endorsed at this level. That's how I  
13                  was interpreting it. But please, the Staff knows  
14                  what they said, so whatever you said, let's go to  
15                  you.

16                  MEMBER MANNING: So the language just  
17                  refers to, the measures, when they're specified,  
18                  they have to be tested at that specification. So  
19                  it has been tested at the facility level. It  
20                  will be submitted at the facility level.

21                  And that's the level of analysis that  
22                  it will be reviewed. It's just the language.

1 But it's not a provider level, a provider  
2 physician level measure.

3 MEMBER FOSTER: So, to be clear, the  
4 condition that exists right now, in addition to  
5 the one Frank just articulated, is that the NQF  
6 endorsed, which would include testing a validity  
7 at the facility level?

8 CO-CHAIR TRAVIS: That's correct,  
9 because you can't endorse a measure that has not  
10 been tested at the level to which it's being  
11 proposed for.

12 MEMBER FOSTER: And I would propose  
13 additional conditions that ask the steering  
14 committee to be very explicit around assessing  
15 what my colleagues here, Lee and Dan were  
16 articulating, around the importance of this  
17 measure, the worthiness of it, and the potential  
18 unattended consequences of sending the wrong  
19 signal, based on this measure of hospital-wide  
20 mortality.

21 MEMBER MANNING: And I can assure you  
22 all of those issues are part of our criteria and

1 part of our evaluation.

2 MEMBER FOSTER: Yes, I know they are,  
3 but I'm saying --

4 CO-CHAIR TRAVIS: It's okay, we're  
5 going to put them on the list.

6 MEMBER FOSTER: -- this measure has  
7 particular relevance.

8 CO-CHAIR TRAVIS: We will put them on  
9 the list, assuming that the original motion can  
10 be amended to include these additional  
11 conditions, which I'm pretty sure it will be.  
12 So, Marty.

13 MEMBER HATLIE: There is no perfect  
14 measure. The potential of unintended  
15 consequences I think is often used as a way to  
16 delay progress. I think this is a really  
17 meaningful piece of information for consumers to  
18 use.

19 I don't, frankly I respectfully don't  
20 think that people are going to make a decision  
21 based only on this measure. I think they'll  
22 factor it in.

1 Richard has spoken to that, Helen's  
2 spoken to that. So I'm going to support this  
3 motion just because I want to, I don't want to  
4 slow this process down.

5 I think this is years and years of  
6 work that will really move the discussion a field  
7 forward. Is it a perfect measure, no, but it's,  
8 it represents, again, a transformative approach  
9 to looking at something that consumers want, and  
10 that is an overall picture of a safe hospital.

11 CO-CHAIR TRAVIS: Dan.

12 MEMBER POLLOCK: I think it's also  
13 years of work going backwards. Because the call  
14 from the clinical communities of practice in the  
15 healthcare, which certainly are part of what we  
16 should be incorporating in healthcare quality  
17 measurement, are for more targeted measures. Not  
18 more broad measures, more targeted measures.

19 This moves us in the other direction.  
20 This moves us in the direction where the targets  
21 are submerged under a very difficult to  
22 interpret, for consumer purposes or healthcare

1 quality purposes, summary statistic.

2 So I think it will confuse a lot of  
3 people. Particularly when it's publicly reported  
4 and described as an indicator of overall hospital  
5 quality.

6 Yes, five years ago we had this same  
7 conversation, why are we having it again today.  
8 Yes, we have to look under the hood. But I would  
9 say we also need to kick the tires before we take  
10 the car off the lot.

11 CO-CHAIR TRAVIS: Let me go to  
12 Maryellen and then to you. Maryellen.

13 MEMBER GUINAN: Hi, thanks. I know,  
14 understanding that we're doing conditions that  
15 are getting pretty specific today that isn't  
16 usually the case, but also understanding that we  
17 did that for ESRD so I would like to add another  
18 condition.

19 That it certainly go to the  
20 disparities committee, specifically, and to look  
21 specifically at SDS and those factors that come  
22 into play. And just addressing, in terms of the

1 consumer role here and the confusion, I know that  
2 we've seen a lot of that with the star ratings  
3 itself that have come out with overall ratings.

4 Likewise, just as a quality  
5 improvement on the provider level, we do have  
6 condition specific measures that I think are  
7 valuable at the provider level in terms of  
8 designing quality improvement initiatives at a  
9 facility that drive then patient improvement or  
10 quality improvement.

11 So, at the provider level the  
12 condition specifics are working and are probably  
13 what facilities look to first in terms of driving  
14 their quality improvement. And likewise,  
15 consumers, when they have a condition and are  
16 going into a facility, they're looking at  
17 condition specific.

18 And if they're looking at a hospital-  
19 wide, then there really needs to be additional  
20 education at the consumer level that I don't  
21 think is very robust right now to clarify what  
22 that measure actually means.



1                   And to Nancy's point, that is where  
2                   the unintended consequences come from in terms of  
3                   the measure not being understood or not being a  
4                   valid indication of quality. That it's more  
5                   factors that are beyond the control of the  
6                   hospital in many cases. And so that needs to be  
7                   made clear.

8                   CO-CHAIR TRAVIS: Thank you,  
9                   Maryellen. Sean.

10                  MEMBER MORRISON: Yes, and I'm going  
11                  to respectfully disagree with you. Because I  
12                  think there are two audiences for this measure.

13                  There is certainly patients, but the  
14                  other major audience is hospitals. And hospitals  
15                  look at this and they make changes very quickly.

16                  Now, I think we can argue and we can  
17                  go back and forth about whether the most  
18                  appropriate manner is to make individual disease  
19                  specific, condition specific adaptations or  
20                  whether quality and the culture of quality really  
21                  is an institutional-wide issue.

22                  And I would argue actually it's the

1       latter not the former. And that we can narrowly  
2       focus on narrow conditions, but that ignores the  
3       entire system.

4               And is this measure perfect, no. And  
5       as you pointed out, I mean, is there a risk of  
6       using observed to expected ratios, yes. But is  
7       it, does it actually measure something different  
8       across different hospitals, I would argue that it  
9       does.

10              And if I'm a hospital looking at my  
11       rankings and looking at my score, I'm damn sure  
12       going to be focusing on trying to figure out how  
13       I'm going to improve it, even if it's a global  
14       measure. And so I don't think that it's just  
15       consumers that this targets. And so that is why  
16       I would, again, vote for the conditional.

17              And with NQF endorsement who will look  
18       at all of the conditions that have been raised  
19       already. That's all part of the NQF endorsement  
20       process.

21              CO-CHAIR TRAVIS: Okay. I don't see  
22       any other cards here. Anybody on the phone

1 raising their hands? Okay.

2 Okay, one question. Turn your mic on  
3 though.

4 MEMBER HASKELL: So, my question is,  
5 why it could not be made possible to drill down  
6 on a measure like this as part of the measure?

7 So, if we already have the condition  
8 specific measures that they could not somehow be  
9 correlated so that you could do both.

10 And then I'm going to slip in another  
11 question, which is, if this is fee for service,  
12 what about Medicare advantage data? I'm  
13 concerned that we're losing a lot of the  
14 population.

15 CO-CHAIR TRAVIS: Okay. Well, we will  
16 make a note of that last question. It is my  
17 understanding, and I'm just going to ask for one  
18 final clarification, that the hospitals are given  
19 this information at the 13 service lines, and  
20 they are also given the individual patients that  
21 are going into the numbers.

22 So the ability for the hospital, at

1       least by service line to be able to kind of dig a  
2       little deeper, would be there. Is that correct?

3               DR. SUTER: That's correct.

4               CO-CHAIR TRAVIS: And this also is not  
5       replacing the condition specific measures in the  
6       program either, correct?

7               DR. SUTER: That's correct.

8               CO-CHAIR TRAVIS: Okay. And this is  
9       taking off my Chair hat, I mean, I think we need  
10      the blend of both, because unfortunately we can't  
11      have condition specific measures for every single  
12      possible reason that somebody would go in.

13              And I also tend to agree with Sean  
14      relative to the cross cutting and the global  
15      nature of the culture and the approach within the  
16      hospitals. So, just a couple of other added  
17      thoughts.

18              So I think it's time for us to vote.  
19      The motion on the floor is conditional support  
20      for rural making.

21              The conditions that I was able to  
22      write down were for NQF endorsement, the steering

1 committee to be explicit at the worthiness and  
2 the unintended consequence, which are both part  
3 of the endorsement process but we will call that  
4 out.

5 There was a condition around the  
6 published evidence and empirically validated  
7 nature of the algorithm and to be transparent.  
8 And then the involvement or the, whatever is the  
9 appropriate way to engage the disparities  
10 committee.

11 So, those are the conditions. Do you  
12 accept those as amended to your motion? Okay,  
13 thank you, I appreciate that. Nancy?

14 MEMBER FOSTER: We had discussion  
15 around the ICD-9, ICD-10 issue, I don't know if  
16 that was to result in a condition, as in --

17 CO-CHAIR TRAVIS: It's going to come  
18 in as an ICD-10 measure, correct?

19 MEMBER FOSTER: Okay, so we don't need  
20 a condition.

21 CO-CHAIR TRAVIS: That's what I was  
22 thinking. But thank you for bringing that back

1 up.

2 Okay, so all those in favor of the  
3 conditional support with the conditions that have  
4 been outlined, please stand. Anybody on the  
5 phone?

6 MEMBER BRENNAN: Joan Brennan and I  
7 support it. Sorry.

8 CO-CHAIR TRAVIS: Okay, Joan supports.

9 MEMBER JORDAN: Jack supports.

10 CO-CHAIR TRAVIS: Jack supports. So  
11 two on the phone support. Okay, all those that -  
12 -

13 MS. MCQUESTON: Sixteen votes  
14 supporting the motion.

15 CO-CHAIR TRAVIS: Okay. Sixteen. I  
16 talked over, 16 support. All those who oppose  
17 the motion please stand.

18 MS. MCQUESTON: Nine votes against the  
19 motion.

20 CO-CHAIR TRAVIS: And I don't have a  
21 way to calculate and I can't do it in my head, so  
22 at what percentage are we?

1 (Off mic comments)

2 CO-CHAIR TRAVIS: It's higher than 60?

3 (Off mic comment)

4 CO-CHAIR TRAVIS: Okay, thank you.

5 I'm glad you're so good, I don't know how you do  
6 that in your head.

7 PARTICIPANT: Twenty-five people times

8 --

9 CO-CHAIR TRAVIS: Well, that doesn't  
10 mean anything to me.

11 (Laughter)

12 CO-CHAIR TRAVIS: Okay. So it appears  
13 this measure has, this motion has passed.  
14 Because we're above 60, so we've reached a  
15 consensus. So, congratulations to everybody in  
16 the room.

17 MS. QUINNONEZ: Just to make a quick  
18 announcement so everyone is not wondering who  
19 else is on the phone, we had two phone  
20 participants to drop off, so we're only looking  
21 for two votes on the phone.

22 CO-CHAIR TRAVIS: Okay, thank you for

1       that.  Okay, well, let's move to the second one.  
2       It's MUC17-196, which is the hybrid hospital-wide  
3       all-cause risk standardized mortality measure.  
4       The preliminary analysis result is conditional  
5       support for rulemaking.

6               This measure has also been pulled by  
7       Nancy, and so I'm turning it over to you, Nancy.

8               (Off mic comment)

9               (Laughter)

10       MEMBER FOSTER:  Okay.

11       CO-CHAIR TRAVIS:  Well, let me ask you  
12       this, does anybody else want to pull this measure  
13       and relieve Nancy of her --

14               (Off mic comment)

15       CO-CHAIR TRAVIS:  We're not looking  
16       askance, we just appreciate that you --

17       MEMBER FOSTER:  No, no, no, I didn't  
18       feel the anger yet.

19               (Laughter)

20       CO-CHAIR TRAVIS:  No.  We appreciate  
21       your preparation for this meeting.

22       MEMBER FOSTER:  Right.  Right.  My



1 recommendation here is for conditional support.  
2 But the issue I want to raise in addition to the  
3 one that the Staff has already outlined, has to  
4 do with the fact that we have, in existence, a  
5 variety of electronic EHR measures.

6 Our ability to generate accurate valid  
7 data from those EHRs has been less than  
8 acceptable. In part because of the way the  
9 measures were designed, in part because of the  
10 way the EHRs are designed, and the marriage has  
11 not been perfect. By any stretch of the  
12 imagination.

13 And therefore I am concerned that we  
14 pay particular attention to the ability to  
15 accurately and consistently collect that data  
16 that is necessary to do risk adjustment, across  
17 various EHR platforms and hospitals. Before this  
18 measure is put into action.

19 CO-CHAIR TRAVIS: Okay, thank you for  
20 that. So two conditions, the NQF endorsement  
21 plus the concern that you just raised.

22 I am going to ask the measure

1 developer, before we even get into the lead  
2 discussant, to give us a very brief, a very brief  
3 description of this measure, so that we can all  
4 be on the same page about it.

5 DR. SUTER: Absolutely. So, the brief  
6 description is, you take the claim spaced measure  
7 and you add an additional set of clinical  
8 variables into the risk adjustment model. That  
9 is the difference of the specifications.

10 Those clinical variables are, they're  
11 all in a voluntary reporting for the current  
12 hybrid hospital-wide readmission measure.  
13 They've all been clinically adjudicated through  
14 formal testing in multiple EHR systems for their  
15 feasibility and reliability of extraction.

16 The other difference for this measure  
17 is because it uses electronic health record data,  
18 it was developed not on a national sample but on  
19 a limited number of hospitals. Twenty-two  
20 hospitals.

21 And the testing data essentially show  
22 a very similar result to the claim space measure,

1 similar reliability. We have not done validity  
2 testing at the facility level at this point, and  
3 it has not been submitted to NQF. I think those  
4 are the salient differences.

5 CO-CHAIR TRAVIS: Okay, thank you for  
6 that. So, we have some lead discussants who we  
7 will go to first, and then we will open it up for  
8 the rest of the workgroup. So, Frank.

9 MEMBER GHINASSI: So, I'm not going to  
10 repeat any of the issues that were raised last  
11 time, everybody has heard them already. Just a  
12 couple of additional thoughts about this.

13 This one comports to be a more  
14 informed measure. That's the presentation. And  
15 so, just some issues to have the group at least  
16 consider.

17 There is, at best, a patchwork of  
18 electronic medical record systems across the  
19 country. They are driven by a totally separate  
20 industry. They are not yet speaking a single  
21 voice.

22 And it's concerning, I think, at least

1 at this juncture, that we're predicating the  
2 current data on an n of 22.

3 So I would recommend that there be a  
4 systematic stratified look at a reasonable set of  
5 electronic medical record systems, across the  
6 country, that look at different systems, systems  
7 that are integrated with FIN, systems that aren't  
8 integrated with FINs, ones that are in standalone  
9 facilities versus ones that are in large systems.  
10 That we really look at the industry.

11 And you can't do that with an n of 22.  
12 And that's got to be systematic. And I think  
13 that's got to be very transparent.

14 And then I, I lied, I'm going to  
15 reiterate one thing. I really think that the  
16 devil is in the detail on this.

17 And my hats off to people that are  
18 going to try to tackle the algorithm that's going  
19 to look at acuity. And I'm saying that because  
20 we have not done a good job at that in this  
21 country. We say we have but we haven't.

22 And this measure is predicated on

1       doing a good job at that. It will be among the  
2       first to do that, if it pulls this off, in a  
3       broad system.

4               So I think the weight of  
5       responsibility sits on us who are saying we are  
6       going to do that.

7               And then the final piece on this was  
8       lost in the previous recommendations. This is  
9       taking one segment of an issue, which is  
10      mortality.

11              Which is an issue that spans an arc of  
12      an illness and multiple institutions that happen  
13      before the hospital, during the hospital and  
14      after the hospital. And it's predicating the  
15      measurement on one link in that arc.

16              Which makes me question the 30 day  
17      mark. I noticed in one of the other measures  
18      seven was chosen. I'm guessing because of the  
19      proximity to the surgical procedure.

20              So it strikes me that having chose 30  
21      is taking into account a bigger swath of the arc,  
22      which then, I believe, loads responsibility back

1 on the measure development. That it includes  
2 risk adjustment, that includes those other  
3 segments.

4 Including the ability of the community  
5 to provide post-hospital services, the ability of  
6 that community to use appropriate methods. And  
7 just the plain availability of that in an urban  
8 area versus a frontier state.

9 And all of that has to be transparent  
10 if this is going to have any validity. I'll stop  
11 with that.

12 CO-CHAIR TRAVIS: Thank you, Frank.  
13 Marsha Manning.

14 MEMBER MANNING: Well, like Frank, I  
15 don't want to repeat some of the comments that  
16 were made on the prior measure. But related to a  
17 couple of issues that Frank brought up.

18 I recognize that some of the EHR  
19 fragmentation issues are real. I think that the  
20 hospital purchasers of those systems need to  
21 drive alignment across those EHR systems in order  
22 for the entire system to be able to support these

1 types of measures.

2 So, that's something that the hospital  
3 community needs to call for from their vendors,  
4 to drive that alignment.

5 And in the same way, that sort of arc  
6 of care issue that you mentioned. You know, I  
7 think that that is a reality.

8 And like many other measures that are  
9 part of these programs, this should drive the  
10 hospitals that are being measured for mortality,  
11 to work more closely with the other members of  
12 that arc of care, to improve care across the  
13 continuum.

14 CO-CHAIR TRAVIS: Thank you, Marsha.  
15 Nancy, you're also one of our lead discussants,  
16 did you have anything else you wanted to add?

17 MEMBER FOSTER: So, in addition to the  
18 condition I added at the beginning in my motion,  
19 include the conditions that were added to the  
20 last measure. Around relevance of the measure,  
21 importance of looking at unintended consequences  
22 and so forth.

1 CO-CHAIR TRAVIS: Okay. Okay, thank  
2 you for that. Okay, Lindsey.

3 MEMBER WISHAM: So first I think, I'd  
4 like to say, I don't think we should be scared  
5 away because it's an eCQM. I will acknowledge  
6 that there have been a lot of challenges.

7 I think most of us in this room would  
8 probably have a personal anecdote about one or  
9 two measures out there. But I think that what  
10 I'm hearing, the way that the eCQM is modeled in  
11 this measure, is that it's just for the risk  
12 adjustment variables.

13 Which is an interesting concept that's  
14 not a complete end-to-end eCQM. There's no  
15 definition of the populations or any of the logic  
16 criteria. It's just identification of variables  
17 only, correct?

18 Which, if it gives anyone a little bit  
19 more of a sigh of relief is that that adjustment  
20 is happening. Just using the, basically the data  
21 coming out of those variables and not the actual  
22 calculation at the hospital level.



1           Even though we know a lot of hospital  
2           measures happen. You know, the calc here, all  
3           the patient data is provided for submission.

4           I do think though, and I don't know if  
5           this is another condition to add, but with the  
6           recent transition to the clinical quality  
7           language, I do think that, just as a measure  
8           developer, it will be good to look at how CQL  
9           does support some enhanced risk adjustment  
10          functionality and the potential for maybe adding  
11          clarity within the measure and the specification.

12           CO-CHAIR TRAVIS: Thank you, Lindsey.  
13          Aisha.

14           MEMBER PITTMAN: I just wanted to go  
15          a step further in Nancy's recommendations. So  
16          not only looking at that you can feasibly collect  
17          the data, but recommending that if it's in the  
18          program that there's a period of voluntary  
19          reporting, noting that there's so many challenges  
20          with pulling EHR data.

21           And currently in the program there's  
22          about 15 eCQMs and you're only required to report

1 four, so there's already a history of  
2 volunteering reporting, so I think we should  
3 suggest that as a condition. That there is an  
4 initial volunteering reporting period, so that  
5 those leading systems can help test it out and  
6 workout all of those kinks before it's mandatory.

7 CO-CHAIR TRAVIS: Wei.

8 MEMBER YING: A question for the  
9 developer actually. When you said that when you  
10 looked at, compared to this EHR related measure  
11 and to the claim based measure, you see  
12 consistent result, I just want to make sure, what  
13 do you mean by consistent?

14 That, when you look at these 22  
15 hospitals the story doesn't change, do you mean  
16 that?

17 Basically, the relative position, I  
18 mean, the absolute number of course would change,  
19 but in terms of relative performance among these  
20 22 hospitals, the good performers do good  
21 performer, bad performers do bad performer.

22 DR. SUTER: So, my meaning was both

1       that the qualitative results of testing were  
2       similar. So a high reliability seen across both  
3       measures.

4               And also that the quantitative  
5       information of hospital rank, hospital-wide  
6       mortality rate, when you calculate it with just  
7       claims data or with enhanced risk variable data,  
8       you're seeing almost identical results.

9               CO-CHAIR TRAVIS: And, just as a  
10      follow-up, if I may, Karen, before I turn it to  
11      you, and I apologize because they may just be a  
12      really naive question. But then, why are we  
13      looking at a different measure if the results are  
14      the same?

15              I mean, why don't we just use the  
16      claims measure, why are we going to go to the  
17      hybrid measure if doesn't change the results?

18              MEMBER YONG: We pursued both  
19      versions. I mean, there was a lot of discussion  
20      earlier around sort of the feeling that the  
21      clinical factors really were important to  
22      include, as part of the measure.

1                   So that's why when we looked at the  
2                   options available to us we had claims only.  
3                   Which doesn't have the clinical factors. But  
4                   then we also saw this option to have the hybrid  
5                   version as well. So that's why you see two  
6                   versions.

7                   CO-CHAIR TRAVIS: So the preferred  
8                   version, from your standpoint, is probably the  
9                   hybrid? Because we're able to look at the  
10                  clinical, more clinical measures in the risk  
11                  adjustment?

12                 DR. SUTER: Yes. You do see slightly  
13                 better risk model performance. It's marginal,  
14                 but it is improved.

15                 And when we asked the stakeholders,  
16                 the technical expert panel, the patients, the  
17                 clinical technical workgroup, they all preferred  
18                 the face validity that was gained by including  
19                 clinical data in the model.

20                 CO-CHAIR TRAVIS: Lee.

21                 MEMBER FLEISHER: Just to back up. In  
22                 the ability to get frailty measures, we discussed

1 albumin on the call, would make the clinical  
2 people and the technical expert panel feel much  
3 more comfortable.

4 CO-CHAIR TRAVIS: Okay.

5 MEMBER FLEISHER: We just weren't  
6 there yet.

7 CO-CHAIR TRAVIS: Okay. And I'm  
8 sorry, Karen, I skipped over you, Karen.

9 MEMBER SHEHADE: Yes. Just to clarify  
10 the timing for this measure, I know that the  
11 claims based, earliest it could go out would be  
12 in 2020, but I thought I heard at the beginning  
13 that this hybrid measure would be further out.  
14 Could someone just clarify? You may have said it  
15 and I'm sorry if I missed it.

16 MS. DUSEJA: So to answer your  
17 question for the hybrid, it could be voluntarily  
18 reported in 2020 as well.

19 CO-CHAIR TRAVIS: And with the term,  
20 could be voluntary reported, does that mean that  
21 you are open to the discussion that we had a  
22 moment ago about voluntary reporting?

1 MS. DUSEJA: Yes.

2 CO-CHAIR TRAVIS: Okay. Okay,  
3 Lindsey.

4 MEMBER WISHAM: Based off of the  
5 discussion on the previous measure and the  
6 ability to provide hospitals with kind of that  
7 drilled down stratified data, I'm interested to  
8 know if you think that this will provide any  
9 additional stratified data because it includes  
10 clinical, additional clinical concepts having  
11 been reported? Or would that not change?

12 DR. SUTER: So, the clinical data  
13 would be included in the information going back  
14 to the hospitals, although the hospitals would be  
15 the one who had supplied it. But we would be  
16 presenting it to them in a more useable format.  
17 Does that address your question?

18 MEMBER WISHAM: Correct. So would it,  
19 again, would the clinical information, having  
20 been included in the risk adjustment, didn't  
21 affect the results that go back in the stratified  
22 results to the hospital?

1 DR. SUTER: Yes.

2 MEMBER WISHAM: Yes?

3 DR. SUTER: Yes.

4 CO-CHAIR TRAVIS: Frank.

5 MEMBER GHINASSI: Just a point of  
6 clarification, I may have misheard. Did you say  
7 that the clinical data that's currently part of  
8 the model, the risk adjustment model, was  
9 included in the 22 hospitals that you already  
10 did? That you've already included?

11 DR. SUTER: Yes.

12 MEMBER GHINASSI: It was? And did you  
13 also say that it was of minimal impact?

14 DR. SUTER: So, if you look at  
15 hospital performance in correlation as well as  
16 the C-statistics for models that use only claims  
17 data versus using claims data plus clinical  
18 variables, and we looked at a number of different  
19 combinations, for example, we looked at not using  
20 12 months of data prior to the hospitalization  
21 for additional comorbidity risk adjustment, all  
22 of those models perform very similarly.

1 I think there are, we are able to  
2 capture enough of a risk signal that we see a lot  
3 of consistency when we pull in and out, sort of  
4 components of the risk adjustment model.

5 And I think, to Lee's point, we would  
6 be eager to include additional risk variables  
7 that were clinical, electronic health risk, risk  
8 variables. But we are also trying to create a  
9 measure that's feasible.

10 And right now, EHR data has a limited  
11 amount of feasibility for extracting reliably  
12 extracted data. And so as EHR is advanced, I  
13 think this measure could advance as well.

14 MEMBER GHINASSI: But it's currently  
15 minimal impact? Or added information.

16 DR. SUTER: It has, .1 or .08 changes  
17 to C-statistics.

18 MEMBER GHINASSI: I would just want it  
19 on the record that that is of grave concern to  
20 me. Because if the point of the measure is to  
21 have a hybrid that allows for enlightenment and a  
22 better understanding of the variables that could



1 impact this and the current model in the 22  
2 facilities has produced minimal input, I would  
3 have grave concerns about moving that forward.  
4 It's just a comment.

5 CO-CHAIR TRAVIS: No, I appreciate  
6 that. And that was really the reason that I  
7 asked my prior question.

8 I guess what I took away from the  
9 answer from my prior question was that in the  
10 face validity, when this question was put out,  
11 there was a greater acceptance, now this is my  
12 language, not yours, but there appear to be a  
13 greater acceptance of the results because the  
14 clinical measures had been added.

15 From a statistical standpoint, it  
16 doesn't seem to have really made a difference.  
17 But there seem to be more acceptance. And they  
18 favored, or liked better, the measure with the  
19 clinical information.

20 So I don't know if I'm paraphrasing  
21 that correctly. People are nodding their heads  
22 that I am.

1                   So, I think there is something, at  
2                   least this is just my thinking, and I'm taking  
3                   off my Chair hat, is that there is something to,  
4                   there's some value, I would think, to better  
5                   acceptance, if clinical measures, additional  
6                   clinical information has been added. Because  
7                   then maybe there will be more action that would  
8                   be taken from that.

9                   But, that's just my personal opinion.  
10                  So I appreciate you, your points on that Frank.  
11                  Nancy.

12                  MEMBER FOSTER: So, just one point of  
13                  clarification. If I understand the information  
14                  that was presented correctly.

15                  This was tested in Kaiser Permanente  
16                  in Northern California, and I would say that that  
17                  system has spent a lot of time trying to drive a  
18                  standardization into their processes across their  
19                  hospitals, in which case I would have expected to  
20                  see very little variation in and of itself.

21                  I don't know that that would be true  
22                  as we look across all of the hospitals of the

1 United States.

2 (Off mic comment)

3 MEMBER FOSTER: You're right, I do  
4 know. They will not be true.

5 CO-CHAIR TRAVIS: Okay, thank you for  
6 that, Nancy. Any comments from anybody on the  
7 phone?

8 MEMBER BRENNAN: No, I'm fine. Thank  
9 you.

10 MEMBER JORDAN: No, I'm fine as well.

11 CO-CHAIR TRAVIS: Thank you. Okay.  
12 Well, I think that we are ready to move to vote.

13 The motion on the floor is for  
14 conditional support for rulemaking. The  
15 conditions include NQF endorsement.

16 The other issues include the same.  
17 Conditions that we put on the prior measure. And  
18 I want to try to re-look at my notes on Nancy's  
19 initial condition that she added here.

20 That given the variability and data  
21 and EHR systems, pay special attention to the  
22 accurate collection and risk adjustment across

1 different types of EHR systems, as well as  
2 hospitals. Does that capture it okay, Nancy?  
3 Okay.

4 So that's the motion that is on the  
5 floor. All those in favor of the motion please  
6 stand.

7 MS. MCQUESTON: We had a condition  
8 about voluntary --

9 CO-CHAIR TRAVIS: Sorry.

10 MS. MCQUESTON: -- period.

11 CO-CHAIR TRAVIS: I didn't know if  
12 that was a formal condition, but Aisha's point  
13 about the voluntary reporting. And it appears  
14 that that will be fine anyway, so let's add that,  
15 the voluntary reporting, first.

16 Okay, now, those in favor please  
17 stand.

18 (Off mic comment)

19 CO-CHAIR TRAVIS: Yes, conditionally  
20 support. I'm sorry, with all those conditions.  
21 On the phone?

22 MEMBER BRENNAN: I support, Joan

1 Brennan.

2 MEMBER JORDAN: I support, Jack

3 Jordan.

4 CO-CHAIR TRAVIS: Thank you both.

5 MS. MCQUESTON: There were 23 votes in  
6 favor of the motion.

7 CO-CHAIR TRAVIS: Okay. All those  
8 opposed to the motion please stand. I'm, oh.

9 (Laughter)

10 CO-CHAIR TRAVIS: Well actually, just  
11 stand if you all don't mind for more than just a  
12 passing. I don't want to call it, but if you'll  
13 stand. Both of you all just stand.

14 MS. MCQUESTON: Two.

15 CO-CHAIR TRAVIS: Okay, thank you.  
16 Not everybody up here was looking. All right, so  
17 that motion carries.

18 Okay, we have one last motion.

19 (Off mic comment)

20 CO-CHAIR TRAVIS: Yes?

21 MS. MCQUESTON: The final votes were  
22 23 votes for the motion and two against.

1 CO-CHAIR TRAVIS: Thank you. Okay, we  
2 have one last measure that we're going to be  
3 looking at. It is MUC17-210, hospital harm  
4 performance measure opioid related adverse  
5 respiratory events.

6 This measure has also been pulled by  
7 our favorite puller, Nancy Foster.

8 (Laughter)

9 CO-CHAIR TRAVIS: Maybe our only  
10 puller. But, Nancy, you're doing it on behalf of  
11 a lot of people, I can tell already today. So,  
12 Nancy, any comments as to why you pulled it, and  
13 then we'll go to the measure developer?

14 MEMBER FOSTER: Sure. This measure  
15 has not yet been submitted for NQF endorsement so  
16 it's hard to make some judgments about its  
17 properties.

18 It was proposed as part of the EHR  
19 incentive program, as I understand it. And is in  
20 field testing right now.

21 It's unclear to us that there is true  
22 variation across hospitals and would like some

1 better clarity around whether there is enough  
2 variation to really expect that this could drive  
3 improvement.

4 I know the appeal of this measure is  
5 going to be because it has the word opioid in  
6 there and that opioids are an incredibly  
7 important issue right now, but I'd like us to  
8 make sure that we focus on making sure this is  
9 the right thing to be measuring about opioids as  
10 opposed to just reacting to that word.

11 And I say that as someone who's done  
12 a lot of reacting and spent a lot of time working  
13 on things related to improving the opioid  
14 addiction crisis in this country.

15 Because it's a measure that looks at  
16 the administration of naloxone, we worry that it  
17 might inhibit people's willingness to administer  
18 naloxone and in favor of taking other measures to  
19 try and address the respiratory problems, like  
20 intubating a patient. And that may not be the  
21 right strategy, that may not be in the patients  
22 best interest.

1                   And there was an issue raised during  
2                   the comment period around not having a risk  
3                   adjustment or exclusion around opioid  
4                   sensitivity, so I'd like to hear more about why  
5                   that was not dealt with.

6                   And let me just leave it at that. And  
7                   my recommendation would be revise and resubmit.  
8                   As judicious as I want to be around MAP.

9                   CO-CHAIR TRAVIS: And I failed to  
10                  comment at that beginning that that is the  
11                  recommendation from the preliminary analysis. So  
12                  I think Nancy has done a good job for us in  
13                  outlining, from her perspective, why that is the  
14                  appropriate category for this.

15                  Before we move into lead discussants  
16                  and workgroup, I'd like to give the developers a  
17                  brief moment to give us a description of this  
18                  measure so that we're all working from the same  
19                  platform.

20                  MS. DUSEJA: Okay. So I'd just like  
21                  to make a couple of comments from CMS's  
22                  perspective and then I'll hand it over to



1       Susanna, the measure development team.

2               So, in terms of this measure concept  
3       that we're developing and presenting to the MAP  
4       today with the measure that we've specified to  
5       this point, we see this measure really meeting  
6       one of the meaningful measurement areas that we  
7       talked about earlier in the beginning of the day,  
8       under preventable healthcare harm.

9               You know, opioids are a frequent  
10       medication that are given and associated with  
11       adverse drug events. We know, as most of you  
12       probably know, that respiratory depression comes  
13       from these opioids that lead to brain damage and  
14       death.

15              And we also have seen that there is  
16       demonstrated variation among hospitals in terms  
17       of this issue. And patients with opioid related  
18       adverse drug events have been noted to have 55  
19       percent longer lengths of stay, 47 percent higher  
20       costs, 36 percent higher risk of 30 re-admissions  
21       and almost three and a half times higher payments  
22       than patients without them.

1                   So I wanted to give you some context  
2 behind the reason behind moving forward in this  
3 direction of this measure development.

4                   We also understand that we got from  
5 preliminary analysis, a refine and resubmit to  
6 two issues. One, that it did not receive NQG  
7 endorsement.

8                   I just wanted to let you know that we  
9 do have plans to submit it for endorsement for  
10 next year. And then there was some issues around  
11 testing that I'm going to defer to Susanna to  
12 talk about.

13                  MS. BERNHEIM: And, Cristie, I hear  
14 you loud and clear, I'll be quick. I'll just say  
15 two words.

16                  So our aim is fully in eCQM, right,  
17 it's just a electronically specified data  
18 elements and our aim was to really focus on  
19 feasibility so we kept the specifications as much  
20 as possible, have structured fields.

21                  What this measure looks at is naloxone  
22 administration as a sign of an adverse event

1 related to opioids. It does not assess that  
2 during a time when a patient is in the operating  
3 room.

4 And to avoid counting it as a harm  
5 when the opioid use was community acquired, if  
6 you use naloxone in the first 24 hours of a  
7 hospital stay, we require evidence that there was  
8 also a prior hospital administration of opioids.

9 The thing I think is most important to  
10 clarify is the state of testing. And a note  
11 about the MUC list.

12 So the original version that was on  
13 the MUC list was earlier specifications. And a  
14 lot of the comments from the public.

15 Luckily we had come to the same  
16 conclusion and we changed some of the  
17 specifications that people were concerned around  
18 the two hour window around a procedure. So those  
19 specifications are not a part of what was tested.

20 I'm just going to say how the testing  
21 was done because it was presented as alpha  
22 testing, but it was substantially more robust

1       than the typical alpha testing. So this measure  
2       has been tested in five hospitals with multiple  
3       different EHRs.

4               We used an entire year of patients, a  
5       full sample of patients. The denominator is  
6       hospital admissions for patients over 18.

7               And for each instance we had clinical  
8       adjudication and showed a positive predicted  
9       value of 95 percent that the, using our  
10      specifications that the administration of  
11      naloxone was given for a probable over  
12      administration or adverse event related to  
13      hospital administered opioids.

14              So it does not meet full beta testing  
15      because we did not use a measure authoring tool.  
16      And that's the testing that's going on now.

17              But I just want the committee to be  
18      aware of how robust that first phase of testing  
19      was. And as you said, it will go to NQF this  
20      summer.

21              There was one other issue that came up  
22      from folks that I wanted to respond to that Nancy

1 had said and I'm, oh, I'll wait. That's the  
2 measure overview, I'll let you guys tell, tell me  
3 what else do you want to hear about.

4 CO-CHAIR TRAVIS: Okay, I'm sure we  
5 will. Thank you for that.

6 I would like, before we go to the lead  
7 discussants, I would like to ask staff if they  
8 could talk with us a moment as to why the  
9 specifics, why you put this in revised and  
10 resubmit. I think it would help us think about  
11 our comments.

12 MEMBER MANNING: Sure. So the  
13 difference is because of the level of testing.  
14 Because the beta testing has not been completed.

15 So the other measures that are not NQF  
16 endorsed are fully tested. That was the  
17 difference for us.

18 CO-CHAIR TRAVIS: Okay, thank you.  
19 That's extremely helpful. Okay, well, let's go  
20 to our lead discussants. And, Brock, I have you  
21 up first.

22 MEMBER SLABACH: Yes, and thank you.

1 I think this is, as everybody indicated, a huge  
2 problem. The opioid addiction and the use of  
3 this in the hospital setting is certainly  
4 something that's concerning because of the opioid  
5 related adverse respiratory events.

6 We, I mean, obviously I agreed with  
7 the recommendation of staff on this new category  
8 of revise and resubmit. And I now understand  
9 more about what that means, and for the staff to  
10 put that down as a recommendation since we're  
11 supposed to be sparing in its use. I thought  
12 that was very significant in the conversation  
13 this morning.

14 I am concerned, I mean, I think that  
15 the question that I have, and I'm not sure yet,  
16 is if this measure actually measures the problem  
17 that we're trying to solve, and I guess the  
18 testing and the validity will do that as we go  
19 forward, so I'll have to have, as Sean said,  
20 confidence in the process to see that that is  
21 going to in fact be the case as we go through  
22 this study. So I agree with revise and resubmit.

1 CO-CHAIR TRAVIS: Thank you. Jack, on  
2 the phone.

3 MEMBER JORDAN: Yes. This is,  
4 luckily, something I have a ton of experience  
5 with. I built four different versions of this in  
6 a five hospital system.

7 And also, there is a lot of exposure  
8 of the hospital engagement networks. You know,  
9 they struggle getting this from hospitals, but  
10 with this.

11 As of conceptually, I think this is a  
12 wonderful idea. I think in the writeup, them  
13 pointing out that there's real struggles with  
14 finding respiratory depression and it's much  
15 easier and then it works well to use the Narcan  
16 administration.

17 In order to improve this in the  
18 hospital, helping differentiate between the  
19 differences in how medicine and surgery and  
20 cancer and palliative all think fundamentally  
21 differently about pain treatment and helping get  
22 them on the same page. Also, clearly documenting

1 patients that are opioid-naïve and making sure  
2 you're aware of that.

3 There are things people can do to make  
4 this better that we don't uniformly have across  
5 the country. I think this is an important  
6 measure to do. I agree with them, you know,  
7 getting it cleaned up a bit and getting it out  
8 there.

9 And then just a general eCQM thing I'd  
10 love to try to plant in people's head a different  
11 way of thinking about this. That, yes, I agree  
12 with having a value based purchasing website and  
13 putting it up there after it's been looked at  
14 cleaned up for months.

15 But data like this should be shared  
16 within 24 hours of when it's submitted. Even if  
17 it's dirty and has mistakes in it, with your  
18 contractors, the QINs, the HENs, they need this  
19 kind of stuff and they would love to be able to  
20 have this on a shorter cycle.

21 And by the same token, hospitals  
22 should submit this stuff weekly, not quarterly.



1       So that, again, you can have rapid cycle work  
2       with the money you're spending on contractors to  
3       work on this stuff.

4               So, I am a huge advocate of this exact  
5       measure because I've, like I said, I've looked at  
6       it four different ways in a system, and I do  
7       think it does take a little, a few iterations to  
8       clean up. But I think this is a great way to go.

9               CO-CHAIR TRAVIS: And just for  
10      clarification, Jack, is it still your feeling  
11      that it sits in the revised and resubmit with  
12      some of the specifics that we've been talking  
13      about?

14              MEMBER JORDAN: Yes. From what I read  
15      in the PDF that was sent out, it does look like  
16      they do need a little bit of firming this up.

17              I do think, one of other thing, just  
18      as a experience of working with these kinds of  
19      measures too, how you define them makes a huge  
20      difference on how easy they are to build.

21              So an example would be, I can write  
22      code in one minute to get a drug contained

1 component opioid. If I have to manage a list of  
2 the 6,600 codes for drugs in America that contain  
3 them and update it every year, it's an enormous  
4 amount of work.

5                   Going through the work to define  
6 things properly so they're easy to pull is  
7 important, I think, as well in just conceptually  
8 how we build these kind of measures.

9                   CO-CHAIR TRAVIS: Thank you for that.  
10 Okay, Lee.

11                   MEMBER FLEISHER: So, for full  
12 disclosure, I don't think I have to recuse  
13 myself, but I did speak to Yale as an unpaid  
14 consultant. So, correct, I do not? Yes, no?

15                   MS. MUNTHALI: So, this was just  
16 advice you gave them?

17                   MEMBER FLEISHER: Just advice.

18                   MS. MUNTHALI: Was it, sorry we're  
19 having this discussion with everyone here, was it  
20 on an ongoing basis or was it just --

21                   MEMBER FLEISHER: A one time.

22                   MS. MUNTHALI: One time. And was it

1 on the testing and specifications or anything  
2 like that?

3 MEMBER FLEISHER: No, it was just  
4 content expert.

5 MS. MUNTHALI: Okay, thanks. You're  
6 fine.

7 MEMBER FLEISHER: Okay, so there's the  
8 disclosure. So I am an anesthesiologist. So as  
9 an anesthesiologist who oversees both the chronic  
10 pain clinic and impatient pain service, I was  
11 queried.

12 So I agree with a lot of the comments,  
13 the issues of the changing drugs and the  
14 opportunity. I think those could be updated.

15 My biggest concern are two. One is  
16 what Nancy mentioned. And this is one of those  
17 measures, the unintended consequences of what  
18 people will do in response to the information  
19 that having the information out is fantastic.  
20 Putting it into value based purchasing quickly  
21 could have serious unintended consequences.

22 So, similar to your colonoscopy

1       measure in which you actually put it out for a  
2       year, I actually think this may take several  
3       years of reporting. Because I think it is a  
4       great measure for quality improvement as opposed  
5       to a great measure for value based purchasing  
6       initially.

7               So that, I don't know, that's advice  
8       to CMS, which they can take, as opposed to advice  
9       on the measure itself.

10              The second one is the issue, both as  
11       -- Janice pointed out the issue of obstructive  
12       sleep apnea, which will be difficult, versus any  
13       chronic opiate user, you should adjust things.

14              But for storytelling, I had a patient  
15       who called me, who was furious at my pain clinic  
16       for adjusting her medications down so that she  
17       would safely go through the perioperative period,  
18       because she wanted to be, as she said, slobbering  
19       at the bedside with no pain at all. So the  
20       chronic opiate user and ED physician should know  
21       this.

22              The chronic opiate user, again,

1 another reason of unintended consequences. I'm  
2 not sure it's risk adjusted as opposed to  
3 stratified by percentages or some other  
4 qualification would help make this a more useful,  
5 you know, places that have similar, whether or  
6 not they use Suboxone and have a history. I  
7 don't know, I didn't see whether that's built  
8 into, it's not built into the measure. Huge  
9 issue.

10 And our rural hospitals, in  
11 particular, have a real problem with Suboxone.  
12 So I think those are some of the things that  
13 could be added to the measure.

14 So I'm supportive of having a measure,  
15 not for value based purchasing, but a high  
16 quality reported even publicly, but importantly I  
17 think it needs some additional refinement.

18 CO-CHAIR TRAVIS: Okay, thank you,  
19 Lee, appreciate that. Now we'll go to the  
20 workgroup members for your comments. And I'll  
21 start with Anna.

22 MEMBER DOPP: Thank you. We agree

1 that it's a very important measure concept. We  
2 agree that it's a topic that needs to be  
3 addressed sooner rather than later.

4 Even hearing years down the road to  
5 have it moved forward as you take with it a grain  
6 of salt knowing that these need to be addressed  
7 now.

8 And also we hear from the HENs that  
9 this is the exact concept, is a part of their  
10 structure to try to reduce ADEs by 20 percent.  
11 This is one of the three areas they're trying to  
12 do that. And that there is difficulty in  
13 reporting that, as is right now. So, the concept  
14 is very important.

15 The feasibility is where we have  
16 questions. As a pharmacist, and in a former life  
17 conducting medication use evaluations in a health  
18 system, it's not as clean of a pull as you might  
19 expect. It's not exactly a binary yes or no this  
20 happened.

21 There could be other considerations  
22 from prominent use of benzodiazepines.

1 Additional indication of use of naloxone that  
2 could just muddy the waters a little bit.

3 So, agree with the idea to revise and  
4 resubmit to try to really refine, refine the  
5 measure. But agree that it is indeed important.

6 CO-CHAIR TRAVIS: Thank you.  
7 Maryellen.

8 MEMBER GUINAN: I just wanted to let  
9 the workgroup know that I served on, previously  
10 and currently I'm still on the technical advisory  
11 group for this measure so I will abstain, but  
12 look forward to continued work on the measure.

13 Specifically, I know risk adjustment  
14 came up and wasn't strongly supported in the  
15 technical group and so hoping that further  
16 iteration and work will kind of delve into that a  
17 little further. Thank you.

18 CO-CHAIR TRAVIS: Thank you,  
19 Maryellen. Karen.

20 MEMBER SHEHADE: Medtronic already  
21 submitted some public comments with some evidence  
22 on the measure. I just actually had some

1 questions about the timing of this. What is the  
2 difference between the timing with revise and  
3 resubmit versus something submitted with the  
4 condition of NQF endorsement?

5 Because it's going to go in 2018, so  
6 I was just curious if there is a difference at  
7 all and maybe there's no difference in the  
8 timing.

9 MS. MUNTHALI: So, you probably heard  
10 Pierre talk about the availability of a committee  
11 to review this, this and other measures that  
12 they'd like to go through the process. So we do  
13 have two opportunities a year now with our  
14 redesign consensus development process. That's a  
15 process by which we endorse measures.

16 So this will probably be slotted into  
17 our patient safety portfolio. And so they could  
18 look at this either in April of next year. Would  
19 that be ready by then?

20 MS. BERNHEIM: We plan to submit in  
21 the August cycle --

22 MS. MUNTHALI: Okay.



1 MS. BERNHEIM: -- so that we have the  
2 full Phase 2 testing.

3 MS. MUNTHALI: Exactly. And the  
4 committee would convene in October, although the  
5 testing would need to be submitted by August.  
6 Part of the intent to submit, which is a new  
7 process.

8 So, the committee would start  
9 reviewing, the scientific methods panel would  
10 look at this in October of next year.

11 MEMBER SHEHADE: So there's no real  
12 difference then whether it's conditional being  
13 submitted with NQF endorsement, because they're  
14 waiting till 2018 anyhow, right?

15 Until August of 2018 anyway, so  
16 whether it's a revise and resubmit or a, on the  
17 condition of a NQF endorsement, the submission  
18 date remains the same as August of 2018. And we  
19 know that by that time the testing will be  
20 completed. I just want to make sure that I'm  
21 understanding it.

22 MEMBER MANNING: Yes. And I want to

1 remind you, with the feedback loop, that's what  
2 CMS has brought back, was measures that were  
3 revised and resubmit.

4 MEMBER SHEHADE: Yes.

5 MEMBER MANNING: And so you would be  
6 able to hear input on the measure, how it's  
7 developed and tested.

8 MEMBER SHEHADE: Yes. Okay.

9 CO-CHAIR TRAVIS: I would think that  
10 there are some other layers that might have it  
11 being, being in this category versus in the  
12 conditional though. Since it's still in testing,  
13 what kinds of refinements would come out of  
14 testing that would change some of the  
15 specifications, plus some of the other issues  
16 that have been brought forth here.

17 So I think, at least from my  
18 perspective, thinking about this category a  
19 little bit different than conditional, it may not  
20 be so much a timing issue as the measure may  
21 change. In fact, we're hearing that there's some  
22 suggestions that it really should change.

1                   And so we can't really make a  
2                   conditional, wait. Well, one argument would be  
3                   that we could not make a conditional decision  
4                   because we don't have what the measure may really  
5                   end up looking like in front of us today. That's  
6                   just a proposed way of looking at the difference  
7                   between the two categories.

8                   MEMBER SHEHADE: No, I understand  
9                   that. So --

10                  CO-CHAIR TRAVIS: Okay, good.

11                  MEMBER SHEHADE: -- it goes in, when  
12                  would the earliest be that it goes into the  
13                  program, as discussed, would it be 2019 to then  
14                  2021? So it would come -- would the earliest be  
15                  2021?

16                  MS. DUSEJA: If we do propose this  
17                  next year then it could go into 2020. So --

18                  MEMBER SHEHADE: 2020, okay.

19                  MS. DUSEJA: It just depends when we  
20                  propose it.

21                  MEMBER SHEHADE: Okay, thank you.

22                  CO-CHAIR TRAVIS: Thank you, Karen.

1 MEMBER SHEHADE: Yes.

2 CO-CHAIR TRAVIS: We have a motion on  
3 the floor for a revise and resubmit. There have  
4 been a number of comments and I'm going to look  
5 for some guidance from the Staff as to how best  
6 to characterize the revise and resubmit.

7 Because we've had such a rich  
8 discussion here, I'm not really sure how to get  
9 specific about what we need to tell the  
10 coordinating committee, and CMS, about  
11 specifically what needs to be revised and  
12 resubmitted.

13 So I'm going to see if Staff can help  
14 me kind of walk that tight rope. And be sure  
15 that we do what we're supposed to do for you all.

16 MEMBER MANNING: So, I would suggest  
17 adding that, and we have it in here, that the  
18 reliability and validity testing does demonstrate  
19 reliability and validity in an acute care  
20 setting. Because all of those issues will come  
21 up as they continue the testing. And will be  
22 evaluated through the standing committee and the

1 methods panel.

2 CO-CHAIR TRAVIS: And do we need to  
3 say anything about NQF endorsement in all of that  
4 too?

5 MEMBER MANNING: I think that's part  
6 of our condition. It is --

7 CO-CHAIR TRAVIS: It's a revise and  
8 resubmit --

9 MEMBER MANNING: -- part of our  
10 condition.

11 CO-CHAIR TRAVIS: Okay.

12 MEMBER MANNING: And then we can add  
13 additional comments about the other medication.

14 MEMBER FLEISHER: I'm sure that the  
15 measure has the ability to change as people  
16 develop alternate drugs to treat this. And the  
17 unintended consequences it may need to be  
18 reviewed more frequently than every three years.

19 MEMBER MANNING: So there are annual  
20 updates that tend to be just small changes, but  
21 depending on the large change could trigger an ad  
22 hoc review, and then it would go through the

1 process again.

2 MEMBER HASKELL: I don't know if  
3 anyone has mentioned it, but in the underlying  
4 comments there were a number of organizations  
5 commenting that they disagreed with the two hour  
6 window after a procedure. They thought that  
7 should be eliminated. I would support that also.

8 MS. BERNHEIM: Yes. Sorry, I tried to  
9 clarify that in my earlier remarks. That was  
10 eliminated before testing, so that was a very  
11 early version of the measure and is included in  
12 the measure that was tested.

13 CO-CHAIR TRAVIS: Okay. Well, this  
14 was the recommendation in the preliminary  
15 analysis, but with our new approach to being sure  
16 that we take official votes on everything, Nancy  
17 essentially was making a motion that this be the  
18 category, revise and resubmit.

19 We have captured the specifics  
20 relative to what the revision should include. So  
21 I think we're ready to go to a vote.

22 Would all those in favor of revise and

1 resubmit with the information to be provided  
2 please stand. And on the phone? Is anybody  
3 left?

4 MEMBER JORDAN: Yes, this is Jack, I'm  
5 here. I support --

6 CO-CHAIR TRAVIS: Okay, thank you.

7 MEMBER JORDAN: -- revise and --

8 CO-CHAIR TRAVIS: Joan, are you on?  
9 Okay.

10 MS. MCQUESTON: All right, so it's 24  
11 votes in support of the motion.

12 CO-CHAIR TRAVIS: All right. Well,  
13 thank you all very much for that. Yes?

14 MEMBER BRENNAN: Guys, did you get me?  
15 I had it on mute, but I do support it.

16 CO-CHAIR TRAVIS: Oh good, thank you.

17 MS. MCQUESTON: That's 25 votes for  
18 the motion.

19 CO-CHAIR TRAVIS: Okay, Andrea.

20 MEMBER BENIN: Can we just make sure,  
21 in the testing and this revise and resubmit that  
22 it gets noted, Nancy's original comment around

1 the concern that people will not use the Narcan?

2 Maybe they would just try to bag the  
3 patient up or, I mean, because I do know how  
4 these things play out in real life and you start  
5 saying we're not supposed to be using Narcan, and  
6 it doesn't get into the, like, the way it gets  
7 out in real life is they'll be like, oh. Because  
8 it's a bunch of residents in the room, oh, we're  
9 not supposed to use Narcan, we're going to bag  
10 him up.

11 Like, there's just the way these  
12 things play out it gets weird. So I would  
13 appreciate if we could just note that in the  
14 testing, as part of the revise and resubmit, that  
15 some of that, looking for those kinds of things,  
16 would be valuable piece of the further  
17 consideration. Thanks.

18 CO-CHAIR TRAVIS: Thank you for that.

19 MEMBER HASKELL: That's what you want  
20 an all-cause mortality measure.

21 (Laughter)

22 MEMBER FLEISHER: And actually, the



1 balancing measure should be the HCAHPS pain  
2 measurement, Pierre. You know, we really need a  
3 balancing measure.

4 (Off mic comment)

5 MEMBER FLEISHER: Yes, this should be  
6 one that when you look at it, you're not seeing  
7 those unintended consequences.

8 CO-CHAIR TRAVIS: I think we're fine.  
9 Don't you all think we're fine, we voted?

10 MS. O'ROURKE: Does anyone have an  
11 objection to, we'll add some language in the  
12 report around consideration for balancing  
13 measures and to monitor for any potential  
14 unintended consequences around the reduced use of  
15 Narcan?

16 CO-CHAIR TRAVIS: I don't think  
17 anybody has any objections. Which is also the  
18 reason we should probably move on so that we  
19 don't have to ask everybody.

20 MS. MCQUESTON: We haven't done an  
21 official vote for this that object against the  
22 motion.

1 CO-CHAIR TRAVIS: Oh, I'm sorry.  
2 She's telling me I never went to the second half.  
3 Does anybody not -- does anybody oppose the  
4 motion?

5 MS. MCQUESTON: No. Great.

6 CO-CHAIR TRAVIS: Thank you. I guess  
7 when I got to the 25 I figured nobody was  
8 opposing, but thank you for that. I now  
9 understand what you were trying to tell me.

10 Okay. Well, one, I think everybody in  
11 this room deserves a round of applause for  
12 getting through our measures.

13 (Applause)

14 CO-CHAIR TRAVIS: We do have a couple  
15 of other items that we are trying to get done.  
16 We do need to get out of here by 5 o'clock.

17 And so we do have on the agenda,  
18 overview of the HAC reduction program and  
19 discussion of future measures. Pierre, is that -  
20 -

21 MS. MCQUESTON: So, if I can make a  
22 suggestion, given that we're quite a bit behind

1 on the agenda, what we had discussed is  
2 potentially skipping this agenda item and moving  
3 on to the input on measure removal criteria, as  
4 that's something that the coordinating committee  
5 is going to be looking across --

6 CO-CHAIR TRAVIS: Great.

7 MS. MCQUESTON: -- all of the work  
8 groups. And then moving to the HAC discussion if  
9 we have time and rural health. And if not,  
10 moving those into a conference call.

11 MEMBER YONG: Yes, that's fine.

12 CO-CHAIR TRAVIS: Sounds good. Thank  
13 you.

14 MEMBER YONG: We're fine with that.

15 CO-CHAIR TRAVIS: Okay.

16 MEMBER YONG: Should I start? Okay,  
17 great.

18 So, thank you everybody. So we, as  
19 Kate mentioned, having this particular discussion  
20 across the workgroups and then we'll be bringing  
21 that feedback from each individual workgroup up  
22 to the coordinating committee.

1                   But sort of to close the circle from  
2                   the discussion this morning, had been thinking  
3                   internally as we look at our measure sets across  
4                   our programs, the criteria we should be using to  
5                   make those decisions. And again, any decisions  
6                   we make would be made through notice and comment  
7                   rulemaking.

8                   But the broader question that we had,  
9                   and we want to take advantage of the fact that we  
10                  had all of you experts in the room was, what  
11                  criteria should we be considering. And so we had  
12                  drafted some initial criteria, but we would, this  
13                  again is a starter set so it is really to spark  
14                  conversation, and so welcome any feedback about  
15                  them.

16                  So if you move to the next slide  
17                  please. And the criteria, I will say, echoed a  
18                  lot of what I, we mentioned earlier, that you saw  
19                  on the slide.

20                  So, one, that the measures themselves  
21                  are meaningful to patients and providers. That,  
22                  also of note, sometimes there are particular

1 statutory requirements for particular measures in  
2 programs, so we do want to keep and meet our  
3 specific statutory requirements. That there are  
4 maybe particular reasons to keep measures for  
5 those reasons.

6 Measure types, again, we mentioned  
7 preference for outcome measures. Again, it's a  
8 preference. We understand there's often not  
9 outcome measures available that, and it's not to  
10 say that the certain process measures aren't  
11 valuable, it's just that there's a preference for  
12 outcome measures.

13 Variation for performance, again, as  
14 we are looking at how the measure performs and  
15 looking at the range of performance, that's come  
16 up several times today, I think you understand  
17 why we think that's important.

18 Performance trends, we haven't talked  
19 much about, but certainly some of the measures  
20 have been in the programs for several years. And  
21 so we've been looking at the overall trend and  
22 performance.

1                   Some measures are improving or the  
2 rates are declining or, depending on the measure,  
3 whether it's an inverse measure or not. Other  
4 measures have been static and other measures the  
5 performance are getting worse.

6                   And the question becomes why. And if  
7 there is other reasons that we need to think  
8 about, perhaps it's not a good measure, it's not  
9 really driving quality improvement.

10                  Perhaps it means that there needs to  
11 be additional attention focused on quality and  
12 improvement efforts, so there may be a variety of  
13 sort of additional actions that may stem from  
14 that.

15                  If you move to the next slide. Burden  
16 is something we've talked about.

17                  Unintended consequences, again, I  
18 think it was Maryellen who sort of mentioned this  
19 the morning, but is certainly a particular aspect  
20 of the measures use that we want to consider.

21                  Operational issues hasn't come up as  
22 much in this workgroup but came up in some of the

1 other workgroups, in the PAC workgroup for  
2 example, about the measure that was on the MUC  
3 list for that workgroup. But there are specific  
4 operational issues that may impact measure that  
5 we need to consider, that may impact whether or  
6 not to keep the measure.

7 And then alignment which is, again,  
8 something I think we raised earlier and want to  
9 consider in terms of whether or not to keep a  
10 measure or not.

11 So these are just initial sort of set  
12 of elements. I certainly welcome any feedback or  
13 reactions to it. Thank you.

14 MEMBER BRENNAN: This is Joan. I  
15 think it's a good starting set.

16 MEMBER YONG: Thank you. This was not  
17 the reaction I expected, but I'll take it. I'll  
18 take it.

19 MEMBER FOSTER: I don't know if we're  
20 waiting to be called on or what.

21 MEMBER YONG: Defer to the Chair.

22 CO-CHAIR WALTERS: Nancy.

1                   MEMBER FOSTER: Thanks. I missed my  
2 place as the first commenter. So, Pierre, thank  
3 you.

4                   I do think this is a good starting  
5 point. I would put an emphasis on a couple of  
6 things.

7                   One is, to your first one. It has to  
8 be important to both providers and to the public,  
9 in some sense. And to that end I would suggest  
10 to you that maybe some of the things that are  
11 keenly important to providers are those where you  
12 not only have a way to measure an aspect of care,  
13 but you've also coupled it with some new  
14 knowledge, or even some known knowledge but not  
15 fully implemented knowledge, around how to  
16 actually improve care.

17                  So, having a measure that holds people  
18 accountable to something they don't feel like  
19 they can actually change, is really not going to  
20 drive quality forward.

21                  I would add to that this notion that  
22 I think it's critically important for you to take



1 a look at those trends. There are some measures,  
2 the last time I looked, the mortality measures  
3 among them, where performance has not varied  
4 enormously, and that ought to be something that  
5 you look at and maybe take a step back, rethink  
6 whether that's the right measure to include.

7 Maybe take a couple of years off and  
8 rethink whether that's the right way to go. And  
9 why it hasn't worked, to really kind of study it  
10 before you impose that.

11 And the third thing I think may be  
12 important is to really hone in on some of these  
13 unintended consequences and know what's happening  
14 in the field. We've seen some in some measures,  
15 but --

16 And I point, for instance, at the JAMA  
17 article, the recent JAMA article around the rise  
18 in mortality rates associated with a decrease in  
19 readmission rates. That's concerning to me.  
20 It's worth further look at least.

21 And there are others where we know,  
22 there's enough history there we should know

1       whether there's something of concern going  
2       forward. So thanks for really looking at this,  
3       and I think you're heading in the right  
4       direction. I would emphasize those three.

5               CO-CHAIR WALTERS: Wei.

6               MEMBER YING: I agree this is a great  
7       starting point. At least we have the framework  
8       online at this moment.

9               But one thing I do want to point out  
10      is, we joked earlier that when CMS acted not all  
11      the time, local market or the private payers will  
12      follow, but I think everyone agrees, when CMS  
13      acts, everyone take notice.

14              So, even though we're talking about  
15      the measure selection criteria, if CMS is truly  
16      going to sort of formalize it in some way, then I  
17      hope CMS will realize that each of these  
18      sometimes is a double-edged sword. Not all the  
19      time is always absolute.

20              Let's use sort of alignment as an  
21      example. We talked about it earlier a little  
22      bit. It's great that if everyone aligns, but if

1       it becomes amended, then everything has to be  
2       aligned and then there is no innovation left.

3               We all agree that today the current  
4       stage is not perfect. If we first ever want to  
5       say that's aligned on perfect stage, then just  
6       deal with it, then I don't think that's where  
7       your intention is.

8               And just for example, the outcome  
9       preferred rate, we already heard from the field  
10      that, okay, because the focus is outcome, so  
11      don't even talk about process measure. But  
12      sometimes process is the starting point.

13              We can't get to outcomes if we don't  
14      even start to measure something. So each one of  
15      these I totally agree, they all have, they're all  
16      great. It's just when, if you try to formalize  
17      it just be careful that sometimes it's not, it  
18      has its own unintended consequence.

19              CO-CHAIR WALTERS: Marty.

20              MEMBER HATLIE: I wanted to also speak  
21      to the alignment issue. I think if, the biggest,  
22      I think, problem for consumers is the confusion

1 that's caused by lack of alignment. Not by  
2 giving limited information that they can't get  
3 now.

4 I'm willing to take the risk of  
5 unintended consequences because we have a history  
6 of not giving patients enough information to make  
7 decisions, but the alignment piece I think is  
8 confusing. And we've heard from Janice and  
9 others that it's also just, it drives industry  
10 crazy. So, I'm glad to see it here and I want to  
11 speak in support of it.

12 CO-CHAIR WALTERS: Helen.

13 MEMBER HASKELL: So, I'm also very  
14 concerned about unintended consequences and  
15 balancing measures. I think we often put  
16 measures in place that promote things whose risk  
17 we don't necessary understand or there's risk a  
18 few people may understand very well but it's not  
19 getting out.

20 So I think the balancing measures are  
21 really critical. Not to keep one thing in place  
22 and not have another.

1                   And the other concern I have is all  
2                   the process measures around preventative  
3                   medicine. I think that's the huge burden in  
4                   primary care, both for doctors and patients.

5                   And a lot of those things seem to me  
6                   are either obvious or, again, there are risks  
7                   that haven't been taken into account. So that's  
8                   the place that I would look.

9                   CO-CHAIR WALTERS: Maryellen.

10                  MEMBER GUINAN: Hi, thanks. Speaking  
11                  to the burden. And also, I think it touches upon  
12                  operational.

13                  I would, I'm assuming that this is a  
14                  line of thinking that you've gone down in terms  
15                  of burden and technology and the future, both  
16                  being a facilitator of kind of reducing burden by  
17                  moving towards EHRs and moving towards technology  
18                  based innovation. But that can also be a burden  
19                  for providers that are not as quick to adopt or  
20                  are being faced with interoperability issues that  
21                  are still pervasive right now.

22                  So, I think that goes to both burden

1 and the operational issues that are of concern.  
2 And then I would just tag on, I would be remised  
3 having essential hospitals as our members and  
4 vulnerable populations to look at the fact that  
5 we're moving to a lot of outcome measures.

6 And outcome measures are, or should be  
7 properly risk adjusted for those social risk  
8 factors that are not currently in any of the  
9 programs. And so moving beyond just dual  
10 eligibility that we're seeing in the  
11 readmission's program.

12 But looking at those factors that are  
13 outside the control of the hospital and often  
14 influence outcomes. Thank you.

15 CO-CHAIR WALTERS: Andrea.

16 MEMBER BENIN: Pierre, I find this to  
17 be a good list and that it seems to me as though,  
18 in general, you guys have done a good job of  
19 removing metrics as they need to be removed.

20 But what I have found repeatedly kind  
21 of missing from this conversation over the  
22 handful of years is a real sort of surveillance

1 framework for each of these things.

2 So I think for me the thing that would  
3 take this to the next level would be a really  
4 concrete framework that says, and here's how  
5 we're going to know what each of these are and  
6 this is how we're defining it and this is what we  
7 want to, how we might think it could look. So  
8 that we might sit here and say, unintended  
9 consequences are important, but I don't know how  
10 you are doing surveillance for unintended  
11 consequences short of coming here or going to the  
12 different committees.

13 Those things may well be part of a  
14 valuable framework, but there may be some other  
15 things that would be relevant that you might  
16 commission work around unintended consequences.  
17 Or you know, I don't know, I'm sure you could  
18 think of a whole spectrum of activities around  
19 any of these things.

20 And so, to me the next step would be  
21 fleshing out a little bit more what the work is  
22 that really gets you to be able to use a criteria

1 in a way that is beyond the hit or miss. Maybe  
2 that exists and I am just not familiar with it,  
3 but that's what I would suggest.

4 CO-CHAIR TRAVIS: I was going to make  
5 some similar comments to Andrea. I think one of  
6 the advantages to having this framework is to  
7 begin to measure and have perhaps some answers to  
8 some of the questions that are available when  
9 measures are endorsed or when measures are put on  
10 the MUC list or recommended by the MAP and then  
11 put into programs.

12 So I do think that it would be helpful  
13 to have kind of a measurement strategy so that we  
14 would know.

15 The other thing, and I apologize, I  
16 missed the very beginning and so if this is not  
17 pertinent let me know later, but I think that  
18 it's the combination of some of these things.  
19 Measures don't necessarily just fall into  
20 categories very clearly.

21 So for instance, it may be meaningful  
22 to patients and providers but be burdensome. So,



1       how are you going to reconcile, and I don't know  
2       that, I'm not saying you should have all these  
3       answers in the front end, but it may be a very  
4       meaningful measure but burdensome, and then how  
5       will you address that?

6               Thinking through, is that something  
7       worth removing if it comes back to the fact that  
8       then we have a gap of something that is very  
9       meaningful.

10              And I could see that happening among  
11       a number of these measures. And so I think  
12       you're going to have to think through a process  
13       of understanding.

14              It's almost even a matrix for the ones  
15       you're thinking about removing as to which of  
16       these characteristics does it fit in. And then  
17       at the end of the day, which ones may be more  
18       important than others about a particular measure.

19              Not necessarily always that way, but  
20       about a particular measure. That means you leave  
21       it in or take it out.

22              CO-CHAIR WALTERS: That's kind of

1       where I was headed too is, I was envisioning a  
2       weighted average type score. Which would  
3       probably have to go through this Committee  
4       actually.

5                       (Laughter)

6                       CO-CHAIR WALTERS: But it would be  
7       very interesting to see if you conducted a survey  
8       of any group like this and said, which of these  
9       are more important to you. Then probably the  
10      first question you'd get asked is, yes, but  
11      what's the situation, what's the measure, what's  
12      the conditions on.

13                      And so, yes, I think there has to be  
14      some sort of formalization that is adaptive to a  
15      particular program situation, whatever, and  
16      you're willing to make tradeoffs. Much of the  
17      kind Cristie alluded to. It may be high burden  
18      but it's really, really important.

19                      And I don't know how to do that  
20      conceptually right now, I was kind thinking it  
21      through and it could get very complicated, but  
22      some at least start towards getting to that point

1 would probably be helpful.

2 MEMBER PITTMAN: So I have a question  
3 back, because I know there are already criteria  
4 for removing measures in most of the programs, so  
5 how is this different and what is your vision for  
6 how these criteria are going to be, have a  
7 different process than what you've already used  
8 for removing measures?

9 MEMBER YONG: Yes, thanks, Aisha,  
10 that's a very good question. As you noted, we do  
11 have, in our programs, existing measure MUC  
12 criteria.

13 I think we are trying to think,  
14 particularly in the context of meaningful  
15 measures, whether those are the right criteria.  
16 So this is sort of, we are looking at that at the  
17 same time we are looking at the measures within  
18 the sets, see whether or not those are the right  
19 criteria that are existing in the rules for each  
20 program or whether we need to adjust those.

21 MEMBER PITTMAN: And then sort of in  
22 process, so I learned earlier that it's not our

1 charge to weigh in on measures for removal, but  
2 with weighing in on the criteria, is it the  
3 thought that you'll eventually start bringing  
4 measures for removal to the MAP?

5 MEMBER YONG: So, I'm not sure that  
6 there has been decisions made about that, so  
7 that's an open question.

8 MEMBER FOSTER: So, Pierre, to be  
9 totally out of the box about this, if you scrap  
10 virtually every measure you have right now it  
11 wouldn't bother me if you replace them.

12 (Laughter)

13 MEMBER FOSTER: No, I mean, honestly,  
14 I think some of them are tired, some of them have  
15 been around, their ability to drive, change in  
16 performance, not great anymore. Some of them are  
17 process measures, not really great in terms of  
18 driving outcomes that are meaningful for patients  
19 or providers there.

20 But it's what you're going to replace  
21 them with. It's, can you get to ten really good  
22 patient reported outcomes.

1 I'd give up everything you have right  
2 now to get to ten really great patient reported  
3 outcomes. And they would drive change.

4 So, that's not on your criteria of  
5 what, how could we balance the burden of  
6 collecting or reporting all of this with the  
7 outcomes. But that, to me, is sort of where I'd  
8 go. If I got rid of all of these, who would miss  
9 any of them and why. And what --

10 CO-CHAIR TRAVIS: I would.

11 MEMBER FOSTER: -- do we really need  
12 instead.

13 (Laughter)

14 MEMBER FOSTER: But wouldn't you give  
15 it, if you could give up the 80 measures now and  
16 get to ten really great patient reported  
17 outcomes, wouldn't you feel good about it,  
18 Cristie?

19 CO-CHAIR TRAVIS: You know, since I'm  
20 in charge of trying to get us out of here by 5  
21 o'clock I won't go into detail on that. However,  
22 I think that patient reported outcomes are a

1 critical need, but there are other measures that  
2 are also, need to be part of the equation in my  
3 mind.

4 So, I wouldn't give up all 80 for ten  
5 patient reported outcomes. I'd like to have ten,  
6 or some number of really good patient reported  
7 outcomes. So, no, I probably wouldn't do that.  
8 But we can have a discussion after 5 o'clock on  
9 that.

10 CO-CHAIR WALTERS: Rich.

11 MEMBER KNIGHT: I was thinking about  
12 the conversation earlier, so I'll be very brief.  
13 You mentioned to me about 5 o'clock talking.

14 But I certainly agree with you. And  
15 I do think, I think it's a question of how you  
16 view, and I'll say redefined value.

17 And for patients who tend to be  
18 baffled and just will be polite and not say  
19 anything, process measures drive them crazy when  
20 they really don't serve any meaningful patient  
21 related outcome. But we're used to doing them so  
22 we do them.

1                   And so I think because you look at the  
2                   tradeoff, the burden that's aligned with  
3                   something, sometimes when you look at burden you  
4                   have to look a little bit further than the cost  
5                   of it right then.

6                   Infections, hospital, re-admissions.  
7                   A lot of people don't want to deal with  
8                   bloodstream infections. It's not valid, but when  
9                   you have them and you don't report them, the  
10                  patients are going to be in the hospital, it's  
11                  going to cost you money.

12                  So I think when you look at, and as  
13                  one person said to me, Rich, it's not that  
14                  simple. I said, it's real simple for me because  
15                  I look at it from the prism of patients.

16                  Even patients and a reimbursement, if  
17                  it makes sense, if that's the objective of what  
18                  we're dealing with. If we're dealing with  
19                  something else then I understand that because the  
20                  institutions are institutions.

21                  But I just think that, that the  
22                  observation you made, and we all have to rethink

1       how we view value into what end of some of the  
2       things that we do. Particularly in light of  
3       changing technologies. And there are a lot of  
4       people who are really struggling with that.

5               CO-CHAIR WALTERS: Okay, Pierre, did  
6       you get your feedback?

7               MEMBER PITTMAN: We did, thank you.

8               CO-CHAIR WALTERS: Okay. Reena, you  
9       want to try to --

10              MEMBER JORDAN: This is Jack. I did  
11       have a comment as well.

12              CO-CHAIR TRAVIS: Yes.

13              MEMBER JORDAN: One, I think on  
14       alignment, and this is actually slightly asking  
15       for a new measure, but having a common method for  
16       social determinants that you could use across,  
17       even if it was just ten bad variables that was  
18       way better but then you could apply it  
19       everywhere, would be a dream come true. Because  
20       I think there is so many measures that that's a  
21       challenge.

22              I also think one thing that triggers



1 some of these off of, you have the topping out  
2 and then inadequate spread where if you look at  
3 the same measure three, four years in a row, it  
4 just looks like it's kind of random chance  
5 turning, that should be a criteria there.

6 And I think there should be a bias  
7 toward these measures being something that can be  
8 recreated locally. It does really concern me  
9 when it's going to be a, well, we're going to  
10 randomly select from you and you can't say to  
11 your board, here is exactly what's coming in  
12 three months because we've built the exact same  
13 thing here.

14 That I think is a problem that health  
15 systems really would like to be able to say, we  
16 know exactly what we're sending to you and we can  
17 show ourselves exactly what it is and it isn't a  
18 surprise six months later. So I think trying to  
19 retire measures so they can be replaced with ones  
20 that can be recreated locally is important to  
21 health systems.

22 CO-CHAIR WALTERS: That's very

1       important, thank you. Reena, why don't you tell  
2       us what's going on with HAC?

3               MEMBER YONG: So, we're just looking  
4       at the schedules. I mean, because there is still  
5       remaining the HAC and then the rural health  
6       discussions, so would ask which one, I guess, you  
7       or the Committee prefer to discuss. The HAC one  
8       are okay delaying, but it's up to you guys.

9               CO-CHAIR WALTERS: If you leave that  
10       the decision then we'll probably be doing both on  
11       the phone and we'll take off.

12              MS. O'ROURKE: I think, yes, we can do  
13       HACs now or perhaps if people are amenable,  
14       reconvene for a phone call in January to hear  
15       about HACs and rural health, is that okay?

16              CO-CHAIR WALTERS: I don't care.

17              MEMBER BRENNAN: I think that's  
18       wonderful.

19              (Laughter)

20              MS. O'ROURKE: Do you conditionally  
21       support that?

22              (Laughter)

1 MS. O'ROURKE: So, we'll look for time  
2 to get everyone back together in January and  
3 cover the presentations.

4 MS. MCQUESTON: So, I think Desi has  
5 some next steps for everyone. There is still a  
6 lot to happen in a short amount of time.

7 MS. QUINNONEZ: Yes, thank you. So as  
8 you just heard, we will be reaching out to you to  
9 discover a good time for everyone to schedule a  
10 follow-up phone call and web meeting.

11 We also have, we'll be posting our  
12 draft report by December the 21st. And so that  
13 public commenting period will be from December  
14 the 21st through January the 11th.

15 And also, we have our upcoming  
16 coordinating committee meeting, and that will be  
17 January the 25th and January the 26th.

18 MS. MCQUESTON: So, that's it. We  
19 just want to thank you all, and especially our  
20 Chairs, for all the great work and feedback  
21 today. It's been really, really interesting and  
22 helpful.

1 CO-CHAIR TRAVIS: And thank you all  
2 for your patience as we work through, once again,  
3 a different voting mechanism.

4 (Laughter)

5 CO-CHAIR TRAVIS: And if we're here  
6 next year, we'll probably have a different one.

7 CO-CHAIR WALTERS: They'll be another  
8 one.

9 CO-CHAIR TRAVIS: But thank you for  
10 your patience.

11 MS. MCQUESTON: So --

12 MEMBER PITTMAN: So -- oh.

13 MS. MCQUESTON: Oh, I was going to  
14 say, I feel obligated to thank Ron and Cristie  
15 for their patience with some of the process flaws  
16 and all of you as well. Please let the  
17 coordinating committee team or your Chairs know  
18 what worked, what didn't.

19 We're going to be bringing all of this  
20 to the coordinating committee to continue to  
21 refine the process. So please, I would welcome  
22 any input, feedback.

1                   We want to work through these road  
2                   bumps and continually make this a valuable  
3                   process for all of you. And thank you very much  
4                   for all the time you dedicated to today and your  
5                   flexibility and doing this difficult work to come  
6                   to consensus on these challenging topics.

7                   MEMBER YONG: And just on behalf of  
8                   CMS, I want to thank you again. It was, as I had  
9                   anticipated, one of the most excited MAP meetings  
10                  ever.

11                  (Laughter)

12                  MEMBER YONG: We crammed it all in one  
13                  day this year, so thank you very much. I do want  
14                  to, in particular, recognize Cristie and Ron for  
15                  their excellent efforts as co-Chairs. Thank you  
16                  very much.

17                  (Applause)

18                  MEMBER YONG: And of course want to  
19                  recognize all the NQF Staff without whom this  
20                  would not have been possible, with including,  
21                  Erin, Elisa, Kate, Desi and Marisa. Thank you  
22                  very much.

1                   And then I know that, and if you'll  
2                   have to just bear with me for a second, but there  
3                   is a whole host of people, as staff, at CMS who  
4                   put in many, many hours working through what you  
5                   saw today. Including reevaluating all the  
6                   measures that did not make it down to the MUC  
7                   list, that I just want to recognize.

8                   Many of whom were in the room or on  
9                   the phone but did want to recognize all of them,  
10                  so just bear with me, but Reena, Cindy, Robert,  
11                  Joan, Benethea (phonetic), Jesse, Joel, Jo,  
12                  Leanne, Jim, Timara (phonetic), Grace, Delia,  
13                  Katlin, Anita, Lauren, Jeff, Elizabeth, Maria,  
14                  Michelle, Helen, Brenden, Sophia and Nitty  
15                  (phonetic).

16                  But all of those people touched  
17                  different pieces of this process, so just wanted  
18                  to say thank you to all of them.

19                  CO-CHAIR TRAVIS: Thank you.

20                  (Whereupon, the above-entitled matter  
21                  went off the record at 4:36 p.m.)  
22

A			
<b>a.m</b> 1:10 6:2 128:6,7	27:17 121:9 122:5	<b>addiction</b> 327:14 334:2	227:22 233:7 249:7
<b>AAMC</b> 93:3	216:1	<b>adding</b> 19:22 83:8	251:2,4 256:2 260:21
<b>abandoned</b> 245:5	<b>accountable</b> 100:21	313:10 348:17	265:17,18 267:19
<b>abdominal</b> 164:11	116:6 120:11 360:18	<b>addition</b> 58:6 152:5	282:20 305:16 306:8
<b>ability</b> 115:22 205:17	<b>accurate</b> 45:18 169:13	182:1 281:11 288:14	310:2 312:12,19
233:7 235:4,21 269:4	305:6 323:22	292:4 305:2 311:17	313:9 316:11 318:20
299:22 305:6,14	<b>accurately</b> 287:17	<b>additional</b> 37:9 59:6	319:8,21 320:4
310:4,5 316:22 318:6	305:15	76:22 107:19 126:4	323:22 328:3 343:13
349:15 372:15	<b>achieve</b> 113:12 142:9	138:2 162:6,11,18	<b>adjustments</b> 96:13
<b>able</b> 20:18 22:2 23:6	<b>achieves</b> 77:22 109:22	163:5 173:15 178:3	123:9 216:3,4 236:5
108:16 112:2 167:8	<b>acknowledge</b> 312:5	185:10 195:4,15	<b>administer</b> 327:17
167:21 179:4 210:14	<b>acknowledges</b> 223:19	202:6 229:1,1 281:5	<b>administered</b> 332:13
224:7 227:16 228:10	<b>acquired</b> 18:21 331:5	285:3,13 291:3	<b>administration</b> 327:16
244:2 268:19 273:9	<b>Act</b> 16:9	292:13 293:10 296:19	330:22 331:8 332:10
281:22 282:15 300:1	<b>acted</b> 362:10	306:7 307:12 318:9	332:12 335:16
300:21 310:22 316:9	<b>acting</b> 3:1 7:3 16:14	318:10 319:21 320:6	<b>administrative</b> 229:19
320:1 336:19 346:6	<b>action</b> 20:18 22:11 25:6	322:5 341:17 343:1	230:21
367:22 377:15	29:1 39:20 54:8	349:13 358:11,13	<b>Administrator</b> 23:20
<b>above-entitled</b> 128:5	183:18 237:1 271:12	<b>address</b> 15:18 26:4	39:17
184:10 382:20	274:20 305:18 322:7	30:17 32:8,11,22	<b>admission</b> 168:12
<b>abrupt</b> 138:21	<b>actionable</b> 236:18	33:18 56:19 85:15	172:18 229:15 230:10
<b>absence</b> 68:14 103:14	277:2	90:9 97:22 145:10	230:12,14,22 231:1
134:22	<b>actions</b> 358:13	169:17 199:16 200:3	252:20 253:1 254:5
<b>absolute</b> 56:12 314:18	<b>active</b> 40:13 92:8 130:5	226:20 230:8 234:22	254:10 280:5,7,9
362:19	130:6	246:22 261:16 264:13	<b>admissions</b> 155:15
<b>absolutely</b> 49:15 53:10	<b>actively</b> 42:10	265:4 267:2,18	157:8,9 162:20
94:18 108:7 180:18	<b>activities</b> 12:10 164:8	318:17 327:19 369:5	164:10 168:9,17
208:8 256:4 306:5	237:8 367:18	<b>addressed</b> 40:21 58:18	173:1 266:10 280:7
<b>abstain</b> 71:18 78:2	<b>activity</b> 98:21 99:13	62:5 195:13 204:3,4	280:11 332:6
343:11	237:7 242:3	257:9 342:3,6	<b>admit</b> 128:10
<b>abstained</b> 150:2,5	<b>acts</b> 153:18 362:13	<b>addresses</b> 57:6 84:15	<b>admitted</b> 253:9,13
<b>abstentions</b> 221:5	<b>actual</b> 232:13 287:14	203:19 237:4 280:12	<b>adopt</b> 217:22 365:19
223:10,16	288:18 312:21	<b>addressing</b> 26:22 48:1	<b>Adult</b> 21:21
<b>academic</b> 286:13,15,19	<b>actuals</b> 280:16	160:2 295:22	<b>adults</b> 14:17
<b>Academy</b> 25:5	<b>acuity</b> 308:19	<b>adds</b> 164:19	<b>advance</b> 74:5 232:22
<b>accept</b> 76:22 110:7	<b>acute</b> 48:19 168:20	<b>adequate</b> 53:5 171:6	258:11 320:13
144:15,17 215:3,9	263:15 348:19	227:22	<b>advanced</b> 320:12
301:12	<b>ad</b> 349:21	<b>adequately</b> 20:17 261:8	<b>advantage</b> 248:21
<b>acceptability</b> 280:1	<b>adaptation</b> 169:4	<b>ADEs</b> 342:10	299:12 356:9
<b>acceptable</b> 176:4 305:8	<b>adaptations</b> 297:19	<b>Adjourned</b> 5:21	<b>advantageous</b> 99:11
<b>acceptance</b> 125:13	<b>adapted</b> 168:10	<b>adjudicate</b> 248:8	<b>advantages</b> 99:2
321:11,13,17 322:5	<b>adaptive</b> 370:14	<b>adjudicated</b> 306:13	227:11 368:6
<b>accepted</b> 72:2 75:10	<b>add</b> 18:5 47:20 55:6	<b>adjudication</b> 332:8	<b>adverse</b> 5:18 326:4
<b>accepting</b> 75:5	68:22 115:5 123:1	<b>adjust</b> 122:13 171:3,7	329:11,18 330:22
<b>accepts</b> 109:17	146:11 150:20 163:5	187:17 233:17 261:8	332:12 334:5
<b>access</b> 5:9 79:19 81:6	169:7,12,16 173:8,10	340:13 371:20	<b>adversely</b> 174:5
101:5 113:20,20	176:16 182:4 197:10	<b>adjusted</b> 96:15 159:13	<b>advice</b> 66:14 188:10
124:3 155:7 161:3,15	221:22 222:5,6,14	159:17 165:10,17	195:16 196:19 338:16
174:5 229:1	234:19 238:20 256:4	231:4 280:16 341:2	338:17 340:7,8
<b>access-related</b> 80:18	285:12 295:17 306:7	366:7	<b>advisory</b> 211:19 343:10
<b>accessible</b> 166:14	311:16 313:5 324:14	<b>adjusters</b> 169:22	<b>advocate</b> 252:8 337:4
<b>accident</b> 39:19	349:12 353:11 360:21	<b>adjusting</b> 340:16	<b>affect</b> 174:5 318:21
<b>accompanied</b> 55:13	<b>added</b> 123:3 154:10,20	<b>adjustment</b> 53:4 92:1	<b>affiliation</b> 7:16
<b>accomplish</b> 25:17	155:9 173:13 174:12	96:7 110:5,6 122:16	<b>affordable</b> 33:8 152:4
<b>account</b> 257:4 309:21	198:6 256:16 300:16	122:21 123:4,10	225:18
365:7	311:18,19 320:15	157:15 158:5 162:3	<b>African-</b> 170:16
<b>accountability</b> 22:18	321:14 322:6 323:19	174:7 185:21 197:11	<b>African-American</b>
	341:13	205:21 216:1,7	169:19

<b>afternoon</b> 184:15	<b>all-cause</b> 5:12,15,16 14:3 259:1 264:1 274:12 276:12 277:13 304:3 352:20	<b>Anderson</b> 16:4 172:4	<b>applause</b> 354:11,13 381:17
<b>age</b> 101:22 102:1 134:15 181:16	<b>Alliance</b> 1:15,17 2:2 8:9 11:1,12	<b>Andrea</b> 1:14 9:19 49:20 82:22 176:14 234:10 240:21 241:16 255:3 351:19 366:15 368:5	<b>applicable</b> 264:11
<b>Agency</b> 2:12 13:16 17:4	<b>allow</b> 85:9 185:7 242:2 287:17	<b>anecdote</b> 312:8	<b>application</b> 270:11
<b>agenda</b> 18:12,18 73:16 354:17 355:1,2	<b>allowing</b> 71:16 154:1	<b>anesthesiologist</b> 339:8 339:9	<b>APPLICATIONS</b> 1:3
<b>ages</b> 229:17	<b>allows</b> 27:22 228:2,4 280:18 320:21	<b>anesthesiologists</b> 156:22	<b>applied</b> 34:5 64:6 104:1 104:2,3 135:1
<b>aggregated</b> 43:21	<b>alluded</b> 370:17	<b>anger</b> 304:18	<b>applies</b> 34:10 35:3 36:4
<b>aggressively</b> 102:16,17	<b>alluding</b> 50:6	<b>angle</b> 31:8	<b>apply</b> 30:14 34:18 35:21 100:17 101:9 101:17 102:7 173:18 202:4 246:1 276:16 376:18
<b>agnostic</b> 168:13	<b>alpha</b> 331:21 332:1	<b>Anita</b> 382:13	<b>applying</b> 270:17
<b>ago</b> 14:2 61:3 174:14 217:20 249:14 276:11 295:6 317:22	<b>alternate</b> 349:16	<b>Ann</b> 2:9 13:8 108:1 185:15 197:3 205:18 255:5 256:18	<b>appreciate</b> 40:17 44:15 65:5 84:14,21 100:12 177:14 194:21 195:14 203:20 215:6 224:6 274:22 301:13 304:16 304:20 321:5 322:10 341:19 352:13
<b>agree</b> 49:15 71:17 95:14 111:7 117:18 120:19 183:4 185:2 206:5 208:3 219:11 219:12 256:5 271:21 300:13 334:22 336:6 336:11 339:12 341:22 342:2 343:3,5 362:6 363:3,15 374:14	<b>alternative</b> 28:4 95:1 137:10 141:19	<b>Anna</b> 1:15 11:9 40:14 82:22 84:5 86:6 341:21	<b>appreciative</b> 100:13
<b>agreed</b> 39:14 139:20 229:21 257:10 290:17 334:6	<b>alternatives</b> 144:19	<b>announce</b> 75:19	<b>approach</b> 4:6 17:18 32:18,21 51:15 54:14 54:17 158:5 164:5 165:9,11 274:3 280:18 294:8 300:15 350:15
<b>agreeing</b> 191:1	<b>altogether</b> 278:12	<b>announced</b> 39:18	<b>appropriate</b> 53:5 65:13 107:6,14,20 109:20 140:15 141:10,13 155:3 230:5 233:7 266:15 297:18 301:9 310:6 328:14
<b>agreement</b> 76:5 139:6 273:12	<b>ambulatory</b> 4:20 5:2 13:22 48:19 153:14 154:5 156:12,20 160:3,5,22 162:16 167:6 170:13 171:5,9 172:14 174:15	<b>announcement</b> 303:18	<b>appropriately</b> 109:15 109:17 110:4 208:14 233:16 259:7
<b>agrees</b> 178:2 362:12	<b>amenable</b> 378:13	<b>announces</b> 136:3	<b>appropriateness</b> 109:12,13
<b>ahead</b> 6:4 47:2 78:15	<b>amended</b> 212:16 215:12 293:10 301:12 363:1	<b>annual</b> 153:18 179:2 224:21 349:19	<b>appropriations</b> 213:2
<b>AHRQ</b> 17:1 169:21	<b>amendments</b> 200:13 202:6 215:4,10	<b>answer</b> 146:14 215:7 244:22 257:11 266:5 317:16 321:9	<b>approval</b> 125:17 204:9
<b>aim</b> 242:2 330:16,18	<b>America</b> 338:2	<b>answered</b> 194:1 291:4	<b>approved</b> 56:1 73:4 157:12
<b>aims</b> 56:19	<b>America's</b> 1:18 10:8	<b>answers</b> 270:1 368:7 369:3	<b>approximate</b> 274:8
<b>air</b> 184:17	<b>American</b> 1:16,20 2:1 8:12 9:12 10:11,18 11:10 103:3	<b>antecedent</b> 181:9,10	<b>April</b> 344:18
<b>Aisha</b> 2:2 10:22 313:13 371:9	<b>Americans</b> 49:2 170:17	<b>anticipate</b> 282:22	<b>arc</b> 309:11,15,21 311:5 311:12
<b>Aisha's</b> 324:12	<b>AMI</b> 236:19 251:15	<b>anticipated</b> 166:19 381:9	<b>area</b> 30:18 40:13 42:13 80:15,20 90:18 171:11 263:7 279:20 310:8
<b>albumin</b> 317:1	<b>Amin</b> 3:4 21:11,12 140:17 176:1 197:9 215:16 216:15 217:2 218:12	<b>Antonelli</b> 2:19 21:21	<b>areas</b> 26:12,13 29:17 29:22 30:15 31:20 32:3 33:11 36:13,19 39:13 41:3,5,20,22 42:20 47:20 48:4 51:21 70:12 85:19 86:8 107:16 157:3
<b>Alexander</b> 2:7 13:12,12 90:5 103:1 132:10,14 132:19,22 133:3,7,14 133:18,21 134:14 135:9 203:10 212:18 213:22	<b>amnesia</b> 286:3	<b>anybody</b> 81:20 83:1 88:11 150:7,13 153:5 175:10 203:13 220:14 221:20,22 243:16 283:21 284:7 298:22 302:4 304:12 323:6 351:2 353:17 354:3,3 372:16	
<b>algorithm</b> 55:20 73:4 75:1 141:1 168:10,11 169:8 288:4,17,18 301:7 308:18	<b>amount</b> 90:7 99:5 242:22 250:7 271:9 320:11 338:4 379:6	<b>anyone's</b> 116:21	
<b>algorithms</b> 287:13	<b>analogy</b> 270:22 274:8	<b>anyway</b> 61:10 146:15 175:13 260:7 324:14 345:15	
<b>align</b> 28:7 39:14 182:4	<b>analysis</b> 55:18 56:3 69:1 73:3,6,12 74:10 74:21 75:6 78:1 136:13 141:1 144:13 144:16 152:22 170:15 180:10 232:9 243:1 271:6 287:14,16,22 291:21 304:4 328:11 330:5 350:15	<b>apnea</b> 340:12	
<b>aligned</b> 31:5 153:17 363:2,5 375:2	<b>analyst</b> 3:5 21:6	<b>Apologies</b> 221:7	
<b>alignment</b> 28:14 38:2 38:17 39:7 40:8 56:20 57:7 310:21 311:4 359:7 362:20 363:21 364:1,7 376:14	<b>analyzed</b> 68:17	<b>apologize</b> 23:17 31:7,9 33:14 90:2 203:3 212:18 315:11 368:15	
<b>aligns</b> 362:22		<b>apparently</b> 9:16	
		<b>appeal</b> 327:4	
		<b>appear</b> 143:13 321:12	
		<b>appears</b> 303:12 324:13	



166:10,13 187:1  
 203:2 329:6 342:11  
**argue** 297:16,22 298:8  
**argument** 127:14,15,16  
 145:7 347:2  
**arguments** 89:6 126:13  
 127:14 171:7  
**arrangements** 12:14  
**arrest** 276:4  
**art** 287:15  
**article** 361:17,17  
**articulate** 66:4,5 194:22  
 195:4 222:13  
**articulated** 88:21  
 222:14 292:5  
**articulating** 195:17  
 292:16  
**articulation** 195:12  
**ASC** 163:13 172:16  
 176:8  
**ASCQR** 4:21 5:1 153:12  
**ASCs** 157:17 163:19  
 172:16  
**aside** 17:20 195:14  
**askance** 304:16  
**asked** 7:13,15,18 12:10  
 76:1,17 82:17 95:7  
 100:9 141:6 158:20  
 173:17 174:19 281:7  
 291:6 316:15 321:7  
 370:10  
**asking** 37:19 48:5  
 186:2 215:3 218:3  
 288:13 290:19 376:14  
**asks** 55:20  
**aspect** 43:19 166:15,19  
 167:17 358:19 360:12  
**aspects** 93:20 222:9,17  
**assess** 13:21 32:17  
 97:4 174:20 213:14  
 273:9 331:1  
**assessed** 159:15  
 189:15  
**assessing** 163:18  
 292:14  
**assessment** 28:1 32:19  
 100:15 130:2 137:12  
 139:16,17 144:18  
 146:4 147:4 158:19  
 160:9 178:5 191:10  
 191:17 216:2 222:1  
 233:21  
**assigned** 75:21  
**assist** 56:8  
**assistance** 20:22 101:3  
**associated** 30:22 56:10  
 75:9 161:10 177:13  
 266:2 329:10 361:18

**Association** 1:14,16,16  
 1:20 2:1,3 8:13,22  
 9:12,22 10:6,12,17  
 103:3  
**assume** 198:8  
**assuming** 242:18  
 254:14 293:9 365:13  
**assumption** 91:17,22  
 141:14  
**assure** 43:17 292:21  
**astray** 209:2  
**Atlanta** 10:15  
**atomistic** 29:9  
**attach** 50:7 186:22  
 202:17  
**attached** 172:17 215:14  
 221:18  
**attaching** 61:13 185:10  
**attack** 233:14  
**attempting** 114:17  
**attend** 88:15  
**attended** 92:9 234:2  
**attending** 82:9  
**attention** 62:12 70:13  
 97:3 176:18 177:11  
 192:13 194:7 200:9  
 202:22 216:10 222:7  
 222:16 271:3,9  
 305:14 323:21 358:11  
**attributed** 208:20  
**attributing** 116:18  
**attribution** 186:13,16  
 196:21 197:14,14  
 198:10 208:14 210:8  
**atypical** 171:2  
**auction** 82:21  
**audience** 297:14  
**audiences** 297:12  
**audio** 131:3,4  
**August** 344:21 345:5  
 345:15,18  
**authoring** 332:15  
**authority** 58:4 60:14  
 199:4  
**automatically** 168:18  
**availability** 70:5 310:7  
 344:10  
**available** 22:1 41:3  
 74:22 249:8 251:5  
 316:2 357:9 368:8  
**average** 263:6 280:19  
 370:2  
**avoid** 128:14 331:4  
**awaiting** 250:19  
**aware** 125:10 272:17  
 332:18 336:2  
**awkward** 49:5 207:14

---

**B**


---

**B** 263:15  
**back** 5:7 6:10,11,18  
 12:4 21:14 22:5 23:11  
 47:10 58:2 60:11  
 61:10,17 62:14 63:14  
 71:6 90:13 112:7,17  
 118:12 126:17 128:9  
 128:21 141:16 150:6  
 160:11 172:22 179:18  
 180:9 181:2,3,7  
 183:20 184:1,8,14  
 188:6 191:4 196:14  
 200:14 202:18 203:7  
 204:10,12,13 205:11  
 207:15,16 211:9  
 212:17 218:7 225:18  
 248:7 249:8 251:18  
 260:18 268:5 279:14  
 297:17 301:22 309:22  
 316:21 318:13,21  
 346:2 361:5 369:7  
 371:3 379:2  
**background** 46:12  
 211:2 237:20  
**backwards** 294:13  
**bad** 42:21 277:15 287:5  
 314:21,21 376:17  
**baffled** 374:18  
**bag** 352:2,9  
**balance** 64:5 250:16  
 373:5  
**balancing** 277:9,22  
 353:1,3,12 364:15,20  
**ballot** 143:22  
**barriers** 170:9  
**based** 14:1 27:19 32:20  
 66:21 73:3 77:1 84:1  
 102:8,17 168:12  
 189:12 225:1,12  
 232:10 234:16 239:12  
 239:14 241:22 242:1  
 244:20 251:11 256:1  
 256:21 260:15,16,20  
 267:16 268:10 273:6  
 282:18 288:16 292:19  
 293:21 314:11 317:11  
 318:4 336:12 339:20  
 340:5 341:15 365:18  
**baseline** 85:10  
**basic** 158:5  
**basically** 100:14 141:21  
 203:7 312:20 314:17  
**basis** 273:16 274:13  
 338:20  
**Baylor** 2:4 8:6  
**bear** 143:12 221:8  
 382:2,10

**beast** 239:18  
**becoming** 48:6  
**beds** 244:2  
**bedside** 340:19  
**beginning** 59:14 100:5  
 140:20 159:22 188:19  
 311:18 317:12 328:10  
 329:7 368:16  
**behalf** 180:22 326:10  
 381:7  
**behavior** 117:14 155:7  
 257:17  
**belief** 287:14  
**believe** 60:6 69:4 94:1  
 96:14 98:20 106:4  
 107:3,5,11 118:15  
 121:8 137:8 160:20  
 173:20 174:13 175:16  
 177:16 181:22 195:7  
 208:22 229:21 231:12  
 232:14 233:5,19  
 241:16 257:3 262:19  
 263:12 266:11 281:17  
 289:17 309:22  
**believes** 209:7  
**bell** 160:6  
**Bellovich** 1:14 9:14,14  
 91:4 100:11 120:2  
 202:2  
**benchmark** 71:16  
**benchmarks** 122:10  
**beneficiaries** 79:13  
 153:22 181:17  
**benefit** 50:8 99:17  
 121:16,18 124:2,2  
**Benefits** 11:7  
**Benethea** 382:11  
**Benin** 1:14 9:19,19  
 49:21 51:19 83:2,12  
 176:15 234:11 239:5  
 252:19,22 253:3,7  
 351:20 366:16  
**benzodiazepine** 67:20  
**benzodiazepines**  
 342:22  
**Bernheim** 3:6 248:16  
 248:17 330:13 344:20  
 345:1 350:8  
**best** 32:1 117:16  
 129:13 132:2 151:17  
 155:2,11 179:14  
 192:22 225:17 228:19  
 264:4 307:17 327:22  
 348:5  
**beta** 332:14 333:14  
**Beth** 8:11 112:20  
**better** 79:12 84:17 94:9  
 111:17 118:7 158:16

164:15 165:12,13  
 187:13 188:21 190:13  
 195:11 201:8 227:21  
 227:22 241:3,5  
 247:20 255:13 263:22  
 266:1 273:9 277:19  
 278:6,6,10 316:13  
 320:22 321:18 322:4  
 327:1 336:4 376:18  
**beyond** 297:5 366:9  
 368:1  
**bias** 189:19 377:6  
**biases** 237:20  
**big** 24:14 29:15 66:12  
 115:9 118:22 206:17  
 235:1 279:19,22  
**big-deal** 65:11  
**bigger** 309:21  
**biggest** 172:15 339:15  
 363:21  
**binary** 130:11 135:20  
 141:6,18 342:19  
**biopsy** 167:19 176:17  
**biostatisticians** 126:2  
**bit** 32:12 50:9 55:2  
 58:10 59:8 64:8 79:16  
 91:18 108:11 109:6  
 115:1 125:8 129:14  
 138:21 140:9 167:2  
 174:2 190:5 205:9  
 212:5 214:8 216:19  
 217:17 237:16 261:20  
 266:6,7 275:13  
 276:10 312:18 336:7  
 337:16 343:2 346:19  
 354:22 362:22 367:21  
 375:4  
**bleed** 229:5  
**bleeding** 164:12  
**blend** 300:10  
**bloodstream** 30:22  
 80:18 375:8  
**blue** 2:4,4 8:19,19 29:8  
**blunt** 116:16  
**BMJ** 257:4  
**board** 16:4,6,16 61:18  
 128:16 211:19 377:11  
**body** 118:9 195:19,20  
**bones** 65:19 67:12  
**bother** 372:11  
**bothers** 159:11  
**bottom** 29:7  
**bouncing** 142:16  
**box** 372:9  
**Boy** 153:4  
**brain** 230:9 329:13  
**break** 126:20 127:12  
 128:4 137:9 183:20

183:21,22 184:8  
**breast** 167:19 169:6  
**Brenden** 382:14  
**Brennan** 1:15 9:6,6  
 11:19,19 131:10,10  
 135:7,7 147:15,19  
 149:15,15 220:16,16  
 221:13,13 223:2,2  
 283:4,4,10 284:1,1  
 302:6,6 323:8 324:22  
 325:1 351:14 359:14  
 378:17  
**brief** 54:19 224:12  
 228:18,19 234:17  
 247:21 270:10 306:2  
 306:2,5 328:17  
 374:12  
**briefly** 248:19  
**bring** 38:14 62:11 64:20  
 66:17,20 112:21  
 163:2 166:21 177:10  
 186:12 212:3 241:1  
 251:18 264:18,18  
**bringing** 63:13 65:18  
 181:21 187:8 228:1,8  
 301:22 355:20 372:3  
 380:19  
**brings** 264:22  
**broad** 51:10 120:4  
 156:11 157:13 158:2  
 294:18 309:3  
**broad-based** 235:5  
**broader** 32:12 33:3  
 157:5 248:10 356:8  
**broadly** 250:11  
**Brock** 2:3 10:5 203:8  
 212:17 259:9 262:5  
 333:20  
**broke** 140:8  
**brought** 39:18 58:2  
 59:11 60:21 66:22  
 105:1 114:6 121:4  
 124:10 202:18 213:5  
 218:10 227:5 233:11  
 279:20 287:21 310:17  
 346:2,16  
**brush** 120:4  
**build** 219:17 249:14  
 261:4 337:20 338:8  
**built** 198:19 335:5  
 341:7,8 377:12  
**bumps** 381:2  
**bunch** 352:8  
**bundled** 191:14  
**burden** 24:8 25:21 26:9  
 27:12 28:15 34:7 92:7  
 94:16 143:12 358:15  
 365:3,11,15,16,18,22

370:17 373:5 375:2,3  
**burdensome** 36:11  
 227:16 368:22 369:4  
**Burstin** 190:12  
**business** 15:11 110:20  
 117:8,10 119:15  
**businesses** 117:13  
**busy** 22:22

## C

**C** 4:1 122:19 185:21  
 216:5 231:12  
**C-stat** 96:19 124:10  
**C-statistic** 125:5,7,14  
 125:18 126:5  
**C-statistics** 104:4  
 126:5 319:16 320:17  
**CABG** 251:14  
**CAHs** 5:9  
**calc** 313:2  
**calculate** 302:21 315:6  
**calculates** 181:5  
**calculation** 312:22  
**calendar** 55:16 72:2  
 73:11,15,20 74:1,5  
 75:4,7,9 82:20 88:8  
 95:4,11 129:9 153:8  
 154:10,12,13,15  
 180:11 183:16 232:14  
**calendars** 72:19  
**calibration** 260:5  
**California** 322:16  
**call** 45:21 53:11 161:5  
 210:20 222:15,21  
 241:20 273:18 294:13  
 301:3 311:3 317:1  
 325:12 355:10 378:14  
 379:10  
**called** 23:21 24:17 29:8  
 59:15 168:11 258:2  
 340:15 359:20  
**calling** 222:7  
**calls** 271:5  
**canceling** 147:11  
**cancer** 4:16,19 16:5  
 151:1,3,5,10,10  
 168:17 169:6 230:13  
 230:15 235:18 252:11  
 254:3,7 335:20  
**candidate** 124:8  
**candidates** 105:15  
**capacity** 288:1  
**capture** 135:18 138:17  
 195:18 207:7 230:3  
 271:2,8 320:2 324:2  
**captured** 267:8 280:8  
 350:19  
**car** 295:10

**card** 206:22 255:4  
 271:19 275:1 279:3  
**cardiac** 276:4  
**cardiovascular** 262:21  
**cards** 251:21 279:13  
 283:12 298:22  
**cared** 160:21  
**career** 286:13  
**careful** 97:2 194:6  
 209:3 256:10 363:17  
**carefully** 93:17 189:11  
 194:17 256:13  
**caregiver-centered**  
 80:21  
**caregivers** 154:22  
**carried** 200:10  
**carries** 325:17  
**carry** 45:1 198:22  
**case** 65:13 118:15  
 165:18 199:18 283:5  
 283:7 287:13,16  
 295:16 322:19 334:21  
**cases** 272:2 297:6  
**catch** 187:7  
**catchers** 110:1  
**categories** 51:11 55:11  
 56:21 58:11 59:15  
 61:1 64:6,15,21 71:4  
 73:7 187:11 211:14  
 218:13,19 219:19  
 249:6 347:7 368:20  
**categorized** 225:12  
**category** 47:7 57:15,18  
 57:19,22 58:21 60:19  
 61:8 64:11 70:20 72:5  
 158:3 165:14 195:11  
 205:16 206:19 211:22  
 216:8 217:16 218:10  
 219:4,6,17 220:3  
 230:7 236:3 238:1,1  
 239:3 240:3 241:7  
 328:14 334:7 346:11  
 346:18 350:18  
**catheter** 166:5  
**cause** 94:16 123:16  
 229:14,18 257:5  
**caused** 364:1  
**caution** 52:15  
**cautious** 261:7  
**caveats** 200:7  
**CDC** 43:7 44:16  
**center** 3:8 4:20 5:2 9:20  
 30:2,3 96:5 101:8  
 102:10 108:5,9  
 109:16 110:7 111:5  
 115:7 122:1,13,15,20  
 123:1 125:3 153:14  
 154:5 156:8 160:22

171:5,10 172:14 180:21 192:6 205:2 216:11 240:20 258:3 258:11 <b>center's</b> 102:18 <b>centers</b> 2:13,14 3:7,9 3:10 10:14 13:15 85:20 100:22 101:5 101:16 103:17,18 108:7 109:1 110:3,19 116:6 121:14 122:7 149:6,6 156:12,21 159:14 160:3,5 162:17 167:6 170:13 170:21 174:16 203:16 203:17 286:15 <b>central</b> 30:21 56:17 <b>centralization</b> 282:19 <b>certain</b> 63:15 86:12 117:14 166:10,13 203:2 215:21 273:21 357:10 <b>certainly</b> 32:10 35:16 43:15 44:15 51:5 52:13 63:5 64:16 65:17 69:9 70:8 96:21 112:5 126:6 128:13 145:4 148:10,18 150:17 162:20 177:19 177:21 183:12 190:5 190:10 234:21 235:6 237:8 261:15 272:7 273:3 294:15 295:19 297:13 334:3 357:19 358:19 359:12 374:14 <b>cetera</b> 70:6 85:20 113:4 255:8 262:22 <b>chair</b> 14:15 16:15 72:14 76:22 77:4 126:9 224:5 300:9 322:3 359:21 <b>chair's</b> 126:18 <b>Chairs</b> 285:3 379:20 380:17 <b>challenge</b> 212:6 250:16 376:21 <b>challenges</b> 197:18 260:20 312:6 313:19 <b>challenging</b> 59:22 381:6 <b>chance</b> 38:20 63:5 119:5 156:6 377:4 <b>change</b> 59:2 61:1,9 65:11 74:22 88:10 104:21 169:22 182:9 182:14 191:4 202:20 203:6 219:14 261:13 314:15,18 315:17	318:11 346:14,21,22 349:15,21 360:19 372:15 373:3 <b>changed</b> 59:17 118:11 174:16 331:16 <b>changes</b> 59:20 61:18 151:20 194:13 195:9 204:14 257:17 297:15 320:16 349:20 <b>changing</b> 339:13 376:3 <b>chaplains</b> 258:9 <b>characteristics</b> 56:9 369:16 <b>characterize</b> 238:22 348:6 <b>charge</b> 47:12 186:22 372:1 373:20 <b>chart</b> 255:15,18,22 256:2 <b>chat</b> 131:3 <b>check</b> 232:14 <b>checkbox</b> 86:11 106:22 107:4 <b>checklist</b> 89:14 <b>CHF</b> 277:12 <b>chief</b> 93:2 <b>Child</b> 21:22 <b>Children's</b> 1:14 9:20,22 <b>chime</b> 244:17 <b>chiropractic</b> 181:12 <b>choice</b> 27:10 101:18 271:4 274:6 <b>choices</b> 63:11 <b>choose</b> 217:22 <b>chose</b> 309:20 <b>chosen</b> 309:18 <b>chronic</b> 31:18 103:14 103:15 121:18 135:1 155:6 339:9 340:13 340:20,22 <b>chunking</b> 236:22 <b>Cindy</b> 382:10 <b>circle</b> 29:8 112:17 356:1 <b>circles</b> 29:8 30:13 <b>circling</b> 212:17 <b>circumstance</b> 127:9 150:11 <b>cities</b> 274:15 <b>city</b> 274:10 <b>CLABSI</b> 30:21 <b>claim</b> 181:7 243:5 255:9 306:6,22 314:11 <b>claims</b> 181:11,13 227:14 228:20 229:19 230:21 239:12 251:11 256:1 257:10 267:16 268:10,18 282:17	315:7,16 316:2 317:11 319:16,17 <b>claims-based</b> 181:9 225:12 <b>claims-only</b> 227:8,12 228:11 <b>clarification</b> 83:3 104:14 125:11 142:6 142:6 167:16 168:8 198:5 214:18 217:10 299:18 319:6 322:13 337:10 <b>clarify</b> 59:4 70:7 74:8 75:19 104:22 142:13 163:12 177:4 250:21 254:12 296:21 317:9 317:14 331:10 350:9 <b>clarifying</b> 247:16 281:13 <b>clarity</b> 65:5 74:13 168:5 194:9 200:16 201:9 289:13 290:20 313:11 327:1 <b>classification</b> 127:1 259:22 <b>classified</b> 165:12 <b>clean</b> 337:8 342:18 <b>cleaned</b> 336:7,14 <b>clear</b> 41:5 59:19 62:20 103:11 115:12,17 163:8 176:9 184:17 193:11 197:20 201:14 215:17 218:13 219:9 219:15,19 245:8 250:10 258:1 261:19 262:4 292:3 297:7 330:14 <b>clearer</b> 37:1 <b>clearly</b> 53:12 335:22 368:20 <b>client</b> 108:8 <b>clients</b> 109:2 <b>CLIFT</b> 3:7 <b>clinic</b> 90:12 113:13 267:19 276:21 339:10 340:15 <b>clinical</b> 14:11 24:6 39:13 96:14 167:10 228:6 233:8,15 236:5 249:6 255:9,12 256:16 276:22 294:14 306:7,10 313:6 315:21 316:3,10,10 316:17,19 317:1 318:10,10,12,19 319:7,17 320:7 321:14,19 322:5,6 332:7	<b>clinically</b> 230:4 306:13 <b>clinician</b> 28:2 61:22 64:4,13 70:22 92:9 136:14 <b>clinicians</b> 24:9 28:10 151:15 <b>clinics</b> 92:11 <b>close</b> 110:18 160:1 197:3 356:1 <b>closely</b> 35:4 240:15 311:11 <b>closer</b> 109:20 185:16 <b>CMS</b> 4:5 6:17 15:4,21 16:21,22 22:8,16 24:14 28:8 32:10 33:21 37:18 38:2,17 39:13 42:6,8 43:8 46:3 47:13 48:11,15 54:3 62:22 65:17 66:15 79:21 87:21 91:16,19 92:15 94:1,7 98:6 115:11 127:2 128:19 129:10 135:21 139:2 152:1 156:10 157:18 165:9 168:10 169:17 171:8 180:22 186:22 188:10 195:17 208:6 217:15 225:4 225:16 227:4 249:1 262:16 282:21 340:8 346:2 348:10 362:10 362:12,15,17 381:8 382:3 <b>CMS's</b> 154:17 179:12 251:11 282:17 328:21 <b>co-chairs</b> 1:11 15:9 17:19 73:17 76:7 77:13,15 381:15 <b>coalition</b> 237:13 258:4 258:5 <b>coast</b> 275:15 <b>code</b> 241:4 337:22 <b>coded</b> 238:2 <b>codes</b> 239:17 249:5,5 265:20 338:2 <b>coding</b> 235:22 239:18 241:3 <b>coefficient</b> 231:21 <b>cognition</b> 81:2 <b>cognizant</b> 198:21 227:19 <b>cohort</b> 123:21 229:20 <b>collaborating</b> 242:6 <b>collaborative</b> 39:10 44:16 <b>collaboratively</b> 38:9 <b>collapsed</b> 60:4 <b>colleague</b> 21:14 88:14
---	---	--	--

174:12 262:19  
**colleagues** 6:17,18  
 17:11 48:10 232:20  
 292:15  
**collect** 305:15 313:16  
**collected** 86:17 268:21  
 269:5  
**collecting** 45:17 179:6  
 373:6  
**collection** 225:4 269:4  
 323:22  
**Colleen** 3:12 180:19  
**Colleges** 2:1 10:18  
**colonoscopy** 157:19  
 339:22  
**column** 42:11  
**combination** 286:3  
 368:18  
**combinations** 319:19  
**combine** 7:5 228:3  
**combined** 231:6  
**come** 20:1 33:17 42:19  
 60:11 61:10,21 62:13  
 70:17 71:6 90:13  
 96:16,21 113:16  
 128:9 140:15 159:18  
 160:14 182:2 183:20  
 184:1,14,18 189:6  
 190:2 196:14 200:14  
 204:10,12 205:3,11  
 206:1,11 207:15,16  
 208:9 211:9 249:8,19  
 252:2 268:12 295:21  
 296:3 297:2 301:17  
 331:15 346:13 347:14  
 348:20 357:15 358:21  
 376:19 381:5  
**comes** 91:19 127:7  
 185:13 204:13 244:11  
 249:19 257:22 329:12  
 369:7  
**comfort** 136:15 185:20  
**comfortable** 210:17,19  
 250:15 317:3  
**coming** 35:19 49:3  
 113:7 145:13 160:11  
 218:7 229:10 277:11  
 312:21 367:11 377:11  
**commend** 48:11  
**comment** 4:8,17,21 5:4  
 5:10 22:2 35:18 37:12  
 50:9 53:9 69:7 78:10  
 82:1,3,9 85:16 94:6  
 112:13 121:1 124:10  
 124:13,17 127:19,19  
 148:22 152:11,13  
 155:17,19,21 156:3  
 157:17 161:17 166:9

167:4 169:4 173:19  
 177:7,12 180:2  
 183:11 225:22 226:2  
 241:13 252:16 253:2  
 254:18 266:18 270:10  
 275:2 277:6,7 281:7  
 283:17 291:2,8 303:3  
 304:8,14 321:4 323:2  
 324:18 325:19 328:2  
 328:10 351:22 353:4  
 356:6 376:11  
**commentary** 242:13  
**commenter** 360:2  
**commenters** 134:2  
**commenting** 47:16  
 350:5 379:13  
**comments** 23:10 37:3  
 38:8 41:19 49:16 59:6  
 64:20 81:13 82:7  
 93:11 95:19 97:18  
 100:12 103:5 120:20  
 126:4,15 127:21,22  
 129:4 136:21 152:15  
 152:17 156:1,4  
 158:14,14 159:21  
 160:14 162:2 164:15  
 171:16 172:10 173:4  
 175:4 177:22 179:22  
 180:4,6,17 207:20  
 212:15 217:7 226:4  
 236:15 237:3,12  
 241:15,22 246:13  
 248:9 265:9,14 268:7  
 278:13 279:15 281:9  
 287:22 303:1 310:15  
 323:6 326:12 328:21  
 331:14 333:11 339:12  
 341:20 343:21 348:4  
 349:13 350:4 368:5  
**commercial** 85:4 91:16  
**commission** 367:16  
**Commissioner** 13:10  
**commitment** 18:10  
**committee** 21:13 40:5  
 56:2 57:21 58:11,13  
 58:19,22 59:12,17  
 60:21 61:20 64:21  
 70:12 72:6,8 73:4  
 78:11 92:22 96:21  
 97:1,22 111:12  
 125:17,20 126:10,12  
 137:18 138:3 140:22  
 143:3 145:3,5 158:10  
 159:20 172:9,12  
 174:19,19 175:4  
 176:3 177:12,17  
 178:2 183:18 186:5,6  
 187:9 188:22 196:18

196:21 197:7 198:3  
 198:11 200:2 202:18  
 203:1 204:4,11,21,22  
 205:7,12 208:6  
 209:10 210:8 212:3,7  
 215:22 216:13 217:4  
 218:7,15 219:5 222:8  
 227:5 232:21 261:17  
 292:14 295:20 301:1  
 301:10 332:17 344:10  
 345:4,8 348:10,22  
 355:4,22 370:3 378:7  
 379:16 380:17,20  
**Committee's** 177:18  
 220:2 222:16  
**committees** 62:2,8  
 158:9 198:21 202:6  
 202:13 203:18,20,22  
 213:2,3 222:8 367:12  
**common** 34:4 36:2  
 122:4 376:15  
**communicating** 50:5  
**communication** 31:11  
 152:3 155:10 179:15  
 242:9  
**communities** 32:1  
 286:22 294:14  
**community** 32:3 87:21  
 91:5,8 192:7 208:8,16  
 209:7 240:17 272:9  
 280:3 286:16 288:1  
 310:4,6 311:3 331:5  
**comorbid** 84:18  
**comorbidities** 123:10  
 123:12,16 235:22  
 238:2,2  
**comorbidity** 123:8  
 319:21  
**companies** 110:21,21  
**comparative** 228:14  
**compare** 151:8 154:1  
 255:8 280:18  
**compared** 122:9 157:11  
 231:19,22 251:3  
 255:19 290:7 314:10  
**comparing** 243:2  
**comparison** 143:14  
 231:20  
**compelling** 288:7  
**competing** 178:2  
**complement** 56:14  
 268:3  
**complete** 69:20 312:14  
**completed** 233:20  
 333:14 345:20  
**completely** 103:10  
 127:17 219:16 287:9  
**complex** 48:18

**complexity** 164:19  
 265:19  
**complicated** 93:15  
 370:21  
**complication** 175:10  
**complications** 163:1  
**component** 338:1  
**components** 26:11  
 44:1 320:4  
**comports** 307:13  
**composed** 274:15,16  
**composite** 169:20  
**comprehensive** 16:5  
 41:8 176:22 177:5  
**comprehensively**  
 177:1  
**compromised** 80:17  
**con** 236:3 237:21,22  
 238:1  
**concept** 45:13 58:16  
 60:9 65:22 66:10,16  
 119:19 121:8 180:15  
 214:8,12 219:2,11  
 312:13 329:2 342:1,9  
 342:13  
**concepts** 219:13  
 318:10  
**conceptual** 203:19  
**conceptualization**  
 203:12  
**conceptually** 29:5  
 203:11,14 335:11  
 338:7 370:20  
**concern** 49:1 65:7  
 112:22 122:12 160:22  
 162:4,18 189:18  
 215:22 217:17 230:2  
 236:4,6 237:17 239:1  
 259:19 270:16 287:1  
 287:4 288:6 305:21  
 320:19 339:15 352:1  
 362:1 365:1 366:1  
 377:8  
**concerned** 108:21  
 116:16 168:2 191:3  
 235:16 242:12 243:3  
 264:21 265:16,20  
 276:3 288:11 299:13  
 305:13 331:17 334:14  
 364:14  
**concerning** 307:22  
 334:4 361:19  
**concerns** 57:18 59:10  
 60:5,18 87:3 121:6  
 135:22 136:2 138:16  
 162:17 172:6 184:16  
 186:14 187:6,10  
 192:4,17 201:6

204:18 210:2 218:4  
 233:4 237:2,18 248:6  
 251:2 252:9 257:8  
 263:20 264:13 321:3  
**concise** 36:11  
**conclude** 17:22  
**conclusion** 73:8 331:16  
**conclusions** 103:4  
**concrete** 367:4  
**condition** 18:21 57:11  
 70:8 95:14 96:22  
 137:5 161:2 162:7,11  
 163:5 173:8,9,13,15  
 173:18 174:11 175:15  
 175:20 176:1,16  
 193:12,17 194:2  
 196:15 200:6 201:12  
 210:18 233:10 241:21  
 251:13 254:18,21  
 263:14 264:5 285:19  
 289:8,13 291:11  
 292:4 295:18 296:6  
 296:12,15,17 297:19  
 299:7 300:5,11 301:5  
 301:16,20 311:18  
 313:5 314:3 323:19  
 324:7,12 344:4  
 345:17 349:6,10  
**condition-specific**  
 236:16  
**conditional** 57:1 59:18  
 61:14 64:9 70:9 75:17  
 76:19,21 95:8,8 105:4  
 105:18 109:7 110:13  
 112:4 116:12 127:15  
 130:3 131:19 132:5,7  
 133:9 134:5,6,6  
 136:13,21 137:2,5,12  
 138:10 139:16,22  
 142:8 143:7 146:5  
 147:4,11 148:11  
 150:6 158:19 160:9  
 163:4 171:18,22  
 173:6 175:17,21  
 178:5 185:9 191:11  
 191:16 193:8,11  
 194:10,19 195:6  
 197:21 199:17 200:1  
 201:4,11,15 202:9,15  
 204:8,9 205:10 207:3  
 215:13 216:20 217:11  
 217:13 218:11,22  
 219:13,22 220:9  
 221:16 222:18 232:9  
 241:10 285:15,18  
 298:16 300:19 302:3  
 304:4 305:1 323:14  
 345:12 346:12,19

347:2,3  
**conditionally** 57:8  
 143:15 218:6 324:19  
 378:20  
**conditions** 61:13 62:1  
 64:10 75:20 76:22  
 77:3 84:18 96:14  
 103:15,15 135:1  
 137:13 138:3,6,10,13  
 146:5 155:6 173:11  
 178:3,4 185:10,12  
 186:22 191:11 193:15  
 194:5 195:5 196:13  
 197:20 198:6,7,13  
 199:3,21 202:4,16  
 203:13 206:20 221:17  
 221:22 222:2,6,14  
 248:13 256:22 266:14  
 285:9,11,13,15  
 287:18,20 288:2  
 292:13 293:11 295:14  
 298:2,18 300:21  
 301:11 302:3 305:20  
 311:19 323:15,17  
 324:20 370:12  
**conducted** 55:17 86:15  
 370:7  
**conducting** 342:17  
**conduit** 198:22  
**conference** 1:10 355:10  
**confidence** 334:20  
**conflict** 13:1 17:17  
 91:15  
**confuse** 295:2  
**confused** 136:20 213:9  
**confusing** 89:1 266:6,7  
 364:8  
**confusion** 296:1 363:22  
**congestive** 233:13  
**congratulations** 303:15  
**Connecticut** 9:20  
**cons** 234:13,19 236:13  
 238:13 240:22  
**consensus** 71:15 139:8  
 139:14,14,22 142:16  
 142:22 144:8 188:11  
 191:19,21 196:11  
 250:10 285:4 303:15  
 344:14 381:6  
**consent** 55:16 72:2,19  
 73:11,15,20 74:1,4  
 75:4,7,9 82:20 88:8  
 95:3,10 129:9 152:8  
 153:7 180:11 183:16  
 232:13  
**consequence** 230:3  
 301:2 363:18  
**consequences** 52:16

53:18 68:16 80:13  
 174:22 194:18 292:18  
 293:15 297:2 311:21  
 339:17,21 341:1  
 349:17 353:7,14  
 358:17 361:13 364:5  
 364:14 367:9,11,16  
**conservative** 165:11  
 181:9,10 261:5  
**consider** 54:5 60:15  
 61:13 62:19 81:4  
 114:1 199:4 307:16  
 358:20 359:5,9  
**consideration** 4:9,17  
 4:22 5:5,10 27:13  
 55:6,9,19,21 58:1  
 71:21 73:2,14,19,22  
 80:1 125:16 137:19  
 152:2 154:19 176:19  
 180:8 196:21 206:9  
 238:18 263:21 272:8  
 352:17 353:12  
**considerations** 26:17  
 70:4 128:3 137:10  
 197:6 216:16 342:21  
**considered** 106:10  
 134:17 138:2 150:17  
**considering** 58:5 63:14  
 63:17 211:21 278:5  
 356:11  
**consistency** 55:12  
 85:12 261:11 320:3  
**consistent** 48:14 85:10  
 172:6 314:12,13  
**consistently** 104:1,3  
 305:15  
**consolidation** 85:17  
**consult** 15:3  
**consultant** 21:12  
 180:20 338:14  
**consultants** 14:8  
**consultation** 156:21  
 177:7  
**consulting** 12:13  
**consumer** 40:1 274:5,9  
 294:22 296:1,20  
**consumers** 154:1 179:3  
 225:5 275:18 287:8  
 293:17 294:9 296:15  
 298:15 363:22  
**contain** 338:2  
**contained** 337:22  
**content** 72:18 339:4  
**context** 28:6 72:14  
 89:10 125:21 226:11  
 330:1 371:14  
**continually** 381:2  
**continue** 8:16 70:2 72:9

204:15 208:1 245:4  
 281:8 282:22 348:21  
 380:20  
**continued** 343:12  
**continues** 205:14  
**continuing** 40:5 111:9  
**continuity** 240:19  
**continuous** 187:14  
**continuously** 188:20  
**continuum** 119:11  
 311:13  
**contracted** 46:19  
**contractor** 3:4 46:11  
 124:11  
**contractors** 336:18  
 337:2  
**contracts** 15:4  
**contradictory** 77:4  
**contributing** 54:6  
**control** 2:13 10:14  
 108:11,15 117:12  
 149:1 186:14 205:22  
 297:5 366:13  
**controlled** 110:20  
**convene** 345:4  
**convenience** 166:4  
**convergence** 260:22  
**conversation** 20:2  
 53:16,17 71:7 184:16  
 195:1 197:11 210:22  
 234:18 248:8 276:10  
 295:7 334:12 356:14  
 366:21 374:12  
**conversations** 6:6 25:2  
 25:4 40:12 237:9  
 259:20  
**convert** 243:21  
**converted** 245:14  
**Conway** 88:14  
**coordinated** 122:1  
**coordinating** 21:13  
 56:2 57:21 58:10,13  
 58:22 59:12,17 60:21  
 64:20 72:6,8 73:4  
 78:11 140:22 143:3  
 187:9 202:17 212:3,7  
 219:4 220:2 348:10  
 355:4,22 379:16  
 380:17,20  
**coordination** 31:12  
 80:2,12 99:6 121:12  
 152:4 155:11 179:16  
**COPD** 277:13  
**copious** 63:10  
**core** 14:6 39:10,11  
 228:5  
**Corporation** 9:17  
**correct** 100:11 142:21

143:7 174:14 195:8  
 213:4 234:6 282:9  
 292:8 300:2,3,6,7  
 301:18 312:17 318:18  
 338:14  
**correctly** 257:12 321:21  
 322:14  
**correlated** 170:2 251:7  
 277:13 299:9  
**correlation** 231:20  
 232:3,4 319:15  
**correlations** 232:2  
**cost** 125:3 225:13  
 375:4,11  
**costs** 164:9 329:20  
**counseling** 117:21  
**count** 38:12 51:21  
 71:19 78:3 128:9  
 136:5 148:1 167:18  
 168:9  
**counted** 123:18 169:1  
**counterintuitive** 46:17  
**counting** 161:7 331:4  
**country** 24:7 36:15  
 102:14 193:1 307:19  
 308:6,21 327:14  
 336:5  
**counts** 161:9  
**couple** 7:21 8:1 12:20  
 18:15 23:1 25:1,12  
 28:18 33:16 41:13  
 45:10 93:19 98:17  
 129:15 160:19 178:19  
 192:19 203:11 212:15  
 217:13 218:4 227:4  
 241:19 243:9 246:13  
 248:18 250:3 253:11  
 256:22 276:11 300:16  
 307:12 310:17 328:21  
 354:14 360:5 361:7  
**coupled** 360:13  
**coupling** 192:5 267:15  
**course** 15:21 44:15  
 74:14 85:9 113:3  
 177:18 218:3 244:21  
 276:21 314:18 381:18  
**courses** 117:8  
**cover** 120:21 379:3  
**covers** 156:11 158:1  
**CQL** 313:8  
**crammed** 381:12  
**crazy** 364:10 374:19  
**create** 320:8  
**created** 49:9 57:22 60:5  
 144:20 212:7  
**creates** 175:13  
**creating** 174:21  
**creation** 195:20

**credible** 43:16  
**crisis** 242:11 327:14  
**Cristie** 1:11,13 15:10  
 22:13 37:6 64:18  
 136:16 150:19 178:17  
 187:18 225:19 232:19  
 234:11 289:12 330:13  
 370:17 373:18 380:14  
 381:14  
**criteria** 5:19 19:15  
 35:11 53:15,15 56:1,8  
 57:10 62:4 69:5 73:13  
 86:13,14 100:19  
 101:8 104:1 109:13  
 135:1 163:6 164:2  
 246:2 292:22 312:16  
 355:3 356:4,11,12,17  
 362:15 367:22 371:3  
 371:6,12,15,19 372:2  
 373:4 377:5  
**criterion** 125:13  
**critical** 5:9 27:12,16  
 34:17 36:13 56:19  
 67:14 80:7 88:16  
 90:18 172:20 201:5  
 201:19 287:3 364:21  
 374:1  
**critically** 24:1 360:22  
**cross** 2:4 8:19 157:3  
 244:10 300:14  
**crosscutting** 30:4 54:5  
**crucial** 100:5 186:9  
**CT** 181:6 182:1,4  
**culture** 297:20 300:15  
**curious** 203:18 213:9  
 260:8 344:6  
**current** 54:20 79:18  
 131:20 132:4 171:13  
 179:8 194:2 287:15  
 306:11 308:2 321:1  
 363:3  
**currently** 14:2 55:3  
 78:7 79:14 88:8 118:1  
 132:1,3 232:10  
 248:21 251:17 313:21  
 319:7 320:14 343:10  
 366:8  
**customarily** 104:7  
**cut** 126:9  
**cutting** 300:14  
**cycle** 277:16 336:20  
 337:1 344:21

---

**D**

---

**D.C** 1:10  
**damage** 329:13  
**damn** 298:11  
**Dan** 2:13 10:13 43:5

44:14 46:21 270:7  
 274:22 292:15 294:11  
**darker** 286:18  
**data** 26:2 43:13,13,18  
 44:3,5,21 45:17 111:2  
 111:15,18 112:11  
 151:7 179:1,6 182:13  
 225:4 228:6 229:20  
 232:2,4 233:20 236:1  
 249:13,15 251:5  
 253:15 255:8,13,16  
 255:20 266:1 267:19  
 268:21 269:4,5 274:1  
 274:2 275:22 288:9  
 290:11 299:12 305:7  
 305:15 306:17,21  
 308:2 312:20 313:3  
 313:17,20 315:7,7  
 316:19 318:7,9,12  
 319:7,17,17,20  
 320:10,12 323:20  
 330:17 336:15  
**date** 229:15 245:16  
 253:1 345:18  
**DaVita** 9:17  
**day** 6:5 19:6,8,12 20:7  
 35:10 63:8 105:18  
 167:9 252:17,19,22  
 253:9 309:16 329:7  
 369:17 381:13  
**days** 22:19 64:4 129:16  
 157:7 165:3 168:3,22  
 172:4,7 181:12,14  
 229:15 230:12 253:13  
 254:9 266:10  
**deal** 53:2 117:22 119:10  
 166:14 242:18 283:13  
 363:6 375:7  
**dealing** 375:18,18  
**dealt** 88:4 280:2 328:5  
**death** 229:14,17,17  
 230:9 257:5 275:21  
 329:14  
**debate** 125:16  
**debating** 189:1  
**decade** 14:2  
**December** 1:7 379:12  
 379:13  
**decide** 27:18 57:15  
 72:9 263:14  
**decided** 71:15 123:9  
**decides** 72:6 198:8  
**deciding** 267:9  
**decipher** 25:15  
**decision** 55:10,11,12  
 55:14 56:21 58:11,16  
 59:1 65:10 71:21 72:4  
 73:3,7 77:11 78:1

101:20 111:21 116:19  
 136:13 141:4,18  
 142:14,14 143:5  
 178:8 185:2 187:11  
 190:11 210:12 239:2  
 241:7 242:8 273:21  
 274:10,13 288:22  
 293:20 347:3 378:10  
**decision-making**  
 100:22 102:4  
**decisions** 35:16 43:10  
 56:14 64:2 72:5 78:10  
 103:21 106:11 154:3  
 179:5 214:2 225:6  
 242:11,15 273:6  
 356:5,5 364:7 372:6  
**declare** 144:7  
**declaring** 139:21  
**decline** 123:2  
**declining** 358:2  
**decrease** 361:18  
**decreases** 122:22  
**dedicated** 381:4  
**deemed** 72:10  
**deep** 185:19 196:2  
 197:2 209:17 211:3  
 282:8  
**deeper** 210:14 300:2  
**default** 141:16 285:2  
 286:8  
**defaults** 137:11  
**defer** 158:15 330:11  
 359:21  
**deferring** 203:2,4  
**defies** 140:2  
**define** 172:16 179:17  
 337:19 338:5  
**defined** 85:12 170:6  
 229:14,17 250:14  
**defines** 202:9  
**defining** 367:6  
**definitely** 241:4  
**definition** 68:7 172:13  
 176:8 202:9 312:15  
**definitions** 213:8  
 290:16  
**degree** 272:11  
**deja** 286:3  
**delay** 293:16  
**delayed** 154:10  
**delaying** 192:19 193:19  
 378:8  
**Delia** 382:12  
**deliberate** 166:19  
**deliberately** 163:19  
**deliberation** 232:13  
**delighted** 37:8  
**delivered** 24:11

<b>delve</b> 343:16	211:3 212:21,22	<b>differences</b> 86:16 94:13	11:5,8,13,21 12:10,22
<b>demographic</b> 161:4	213:7,10 214:1 219:5	167:11 267:22 274:17	15:1,5,17 262:7
<b>demonstrate</b> 30:13	235:7 249:13 250:6	307:4 335:19	<b>disclosure</b> 11:18 15:13
111:2 348:18	306:18 346:7	<b>different</b> 38:21 39:12	338:12 339:8
<b>demonstrated</b> 289:15	<b>developer</b> 6:18 121:2	48:4 64:6 68:20 85:7	<b>disclosures</b> 4:3 6:22
329:16	156:4 163:9 213:14	85:18,19 86:8,13	7:6 9:4,7 12:12 13:11
<b>demonstrates</b> 112:11	255:7 262:15 263:20	88:22 90:15 91:1	13:14 14:12 15:13
<b>demonstrating</b> 29:4	279:14 306:1 313:8	93:15,20 98:21 99:13	17:11 18:1
<b>demonstration</b> 288:17	314:9 326:13	103:17,17 111:21	<b>disconcerting</b> 286:4
<b>denominator</b> 71:19	<b>developers</b> 180:17,22	119:15 132:7 154:8,8	<b>discordance</b> 60:18
78:4 182:15 266:6,11	243:22 246:12 247:22	157:13 167:15 172:18	<b>discouraged</b> 78:3
266:17 267:11 277:15	253:20 328:16	195:12 219:16 239:7	139:11 150:13
277:18 332:5	<b>developing</b> 13:20 36:7	239:8,16,18 240:3,4	<b>discover</b> 379:9
<b>Department</b> 7:4	87:22 242:5 329:3	264:12 280:18 290:16	<b>discrepancies</b> 255:10
<b>depend</b> 80:4	<b>development</b> 36:5 60:1	298:7,8 308:6 315:13	<b>discrepancy</b> 149:5
<b>dependent</b> 101:7	67:11 69:14 156:9	319:18 324:1 332:3	<b>discretion</b> 63:17
103:21	203:7 205:14 213:4,6	335:5 336:10 337:6	<b>discrimination</b> 238:10
<b>depending</b> 101:6	214:5,9 233:19 236:6	346:19 367:12 371:5	<b>discriminatory</b> 263:17
106:16 121:17 122:17	260:17,20 262:11	371:7 380:3,6 382:17	<b>discuss</b> 57:13 58:9
142:17 161:3 269:3	281:2 310:1 329:1	<b>differential</b> 115:9	63:5 75:3 177:21
349:21 358:2	330:3 344:14	<b>differentiate</b> 335:18	226:14 378:7
<b>depends</b> 347:19	<b>devil</b> 308:16	<b>differentiates</b> 129:2	<b>discussant</b> 87:1 100:9
<b>depict</b> 41:7 287:18	<b>diabetic</b> 124:4,6	145:21	114:20 132:20 146:10
<b>depicting</b> 40:18	<b>diagnosis</b> 168:20 169:6	<b>differentiation</b> 194:9	306:2
<b>depression</b> 329:12	181:7 254:3,6	<b>differently</b> 64:8 139:18	<b>discussants</b> 75:22 76:3
335:14	<b>dialysis</b> 4:11,15 79:6,12	173:1 238:16 240:5	81:19 86:4 97:15
<b>dermatologists</b> 163:18	80:9,11 81:8 85:20	264:12 335:21	160:13 234:9 247:19
<b>describe</b> 75:13 230:18	89:13 90:11,12,20	<b>differs</b> 75:14	307:6 311:15 328:15
<b>described</b> 271:17	91:6 92:11 93:18 96:1	<b>difficult</b> 93:16 114:21	333:7,20
282:21 295:4	96:5 98:11 99:5,22	189:5 242:8 286:6	<b>discussed</b> 58:14
<b>describes</b> 231:8	100:20 102:8,15	294:21 340:12 381:5	125:20 128:12 143:19
<b>description</b> 178:15	103:18 106:8,9,10,16	<b>difficulty</b> 342:12	175:1 182:7 276:11
228:18,20 266:5,8	106:21 107:7,15	<b>dig</b> 210:14 300:1	316:22 347:13 355:1
306:3,6 328:17	108:7 109:1 110:19	<b>dilemma</b> 144:20	<b>discussing</b> 98:1 140:19
<b>descriptive</b> 182:13	111:9,14,18 113:13	<b>dinged</b> 168:3	<b>discussion</b> 17:8 22:18
<b>deserve</b> 99:16 124:1,2	114:7 115:7,14 116:6	<b>direct</b> 172:18 192:5	23:9,19 30:7 35:8,10
<b>deserves</b> 354:11	117:20 118:6,8,14	258:1	35:12,12 36:2 47:7
<b>Desi</b> 379:4 381:21	119:12 120:11 121:7	<b>direction</b> 32:16 59:16	51:22 72:9,17,20 73:5
<b>design</b> 166:6,20	121:13,15,19,21	120:7 235:3 294:19	74:2,7,9,12,14,15,18
<b>designation</b> 58:2	122:2 145:22 149:6	294:20 330:3 362:4	75:10,11,13,15 76:2,8
<b>designed</b> 79:5 168:8	203:16,17 205:2	<b>director</b> 3:2,3,3 6:8	76:9,14 77:2,14,15,19
305:9,10	216:10	9:16 21:10 22:14	81:18 82:17 84:7
<b>designing</b> 296:8	<b>dialysis-related</b> 80:12	<b>directors</b> 16:16	85:22 87:14 94:22
<b>Desmirra</b> 3:5 21:5	<b>dialyzed</b> 101:7	<b>dirty</b> 336:17	96:20 103:13 125:19
<b>despite</b> 128:20	<b>dice</b> 45:11	<b>disadvantage</b> 123:7	129:2 134:3,18
<b>detail</b> 28:17 66:11	<b>die</b> 118:5 233:12 253:3	<b>disagree</b> 74:10 258:13	135:22 137:20 140:14
169:11 308:16 373:21	253:4,8	275:5 297:11	141:8 142:3 144:14
<b>detailed</b> 230:17 251:3,4	<b>died</b> 236:21 253:10,11	<b>disagreed</b> 190:17	145:7,20 146:1
273:2	<b>dies</b> 266:9	207:10 350:5	150:10,14 158:21,22
<b>details</b> 83:6 220:7	<b>dietary</b> 107:18	<b>disagreement</b> 74:20	166:7 171:11 174:10
251:3	<b>difference</b> 66:12 68:17	190:20	176:17 182:22 183:15
<b>deteriorates</b> 118:9	92:13 113:14 118:22	<b>disappointed</b> 16:8	191:16 194:5 199:15
<b>determinants</b> 376:16	146:2 170:10 172:15	<b>discharge</b> 252:18 254:3	207:5,21 210:2,11
<b>determines</b> 74:15	174:3 194:12,22	254:5	212:12,20 216:4
<b>develop</b> 14:8 77:14	207:3 269:16 306:9	<b>discharges</b> 67:19	217:12 222:20 259:16
94:4 213:13 349:16	306:16 321:16 333:13	<b>disclose</b> 7:19 8:4,7,10	267:15 276:10,14
<b>developed</b> 55:22 67:2	333:17 337:20 344:2	8:14,20 9:1,13 10:3,6	285:21 294:6 301:14
94:7,8 168:10 172:3	344:6,7 345:12 347:6	10:9,12,15,18,21 11:2	315:19 317:21 318:5

338:19 348:8 354:19  
 355:8,19 356:2 374:8  
**discussions** 27:3 38:7  
 56:6 64:17 250:18  
 378:6  
**disease** 2:13 4:7 10:14  
 78:22 270:19 297:18  
**disincentive** 252:14  
**disparate** 272:17  
**disparities** 110:12  
 186:4,8 196:20  
 198:11 205:21 206:15  
 210:8 216:12,14  
 295:20 301:9  
**disproportionately**  
 259:15  
**distinct** 176:9 227:11  
**distinction** 195:3  
 219:22  
**distribution** 28:1  
 170:22  
**ditto** 222:4  
**dive** 185:19 196:3 197:2  
 209:17 211:4 282:8  
**divergent** 76:6  
**divided** 231:1  
**division** 261:12  
**divisions** 231:2,3,4,13  
**doctor** 116:20 161:6  
**doctors** 365:4  
**document** 257:11,15  
**documented** 103:16  
 140:13 215:15  
**documenting** 335:22  
**doing** 8:16 34:17 43:3  
 47:17,19 87:7 106:4  
 108:7 117:3 118:21  
 135:9,11 141:18  
 143:14 249:11,12  
 295:14 309:1 326:10  
 367:10 374:21 378:10  
 381:5  
**domain** 30:8 33:9,10  
 51:13,14 61:19 101:9  
 159:9,10 232:1 290:8  
 290:9  
**domains** 30:1 41:6 50:1  
 50:2 51:3,9 79:22  
 84:12 152:1 154:17  
 154:18 179:12,17  
 225:15  
**donor** 118:16  
**Dopp** 1:15 11:9,10  
 40:15 84:6 341:22  
**dose** 89:5  
**dot** 50:12,19,20 51:2,17  
 220:9,10,10 235:1  
**dots** 29:10,15 50:10

52:7  
**double-edged** 362:18  
**doubt** 207:19 271:8  
**dozen** 37:12  
**dozens** 37:19  
**Dr** 87:17 98:5 121:3  
 124:18 125:1,2 126:3  
 156:5 163:10 168:7  
 177:4 229:9 242:7  
 250:2 254:2 255:14  
 260:11 279:22 281:18  
 282:2,6,9,17 283:8  
 290:5,14,17,21 300:3  
 300:7 306:5 314:22  
 316:12 318:12 319:1  
 319:3,11,14 320:16  
**draft** 53:15 379:12  
**drafted** 356:12  
**draw** 202:20  
**drawing** 61:17  
**drawn** 24:22 28:18  
 230:21  
**draws** 28:17  
**dream** 376:19  
**drill** 299:5  
**drilled** 318:7  
**drive** 26:14 36:14 64:2  
 235:21 264:3 296:9  
 310:21 311:4,9  
 322:17 327:2 360:20  
 372:15 373:3 374:19  
**driven** 307:19  
**drives** 208:7 364:9  
**driving** 39:21 120:14  
 296:13 358:9 372:18  
**drop** 303:20  
**dropped** 253:8  
**drug** 329:11,18 337:22  
**drugs** 338:2 339:13  
 349:16  
**Drye** 3:8 156:5,7 163:10  
 168:7 177:4  
**dual** 32:20 169:19  
 175:13 366:9  
**due** 174:12 180:13  
 195:19 208:5 268:17  
**dulcet** 233:2  
**duplicated** 282:18  
**duplicative** 236:16  
**Duseja** 3:9 16:22,22  
 83:17 127:18,22  
 176:11 227:3 247:4  
 247:10,13 268:16  
 269:9,12,14 317:16  
 318:1 328:20 347:16  
 347:19  
**dwelt** 159:20  
**dying** 252:13 258:19

## E

**E** 4:1  
**EA** 171:17  
**eager** 320:6  
**earlier** 35:9 38:16 48:7  
 81:15 85:22 116:15  
 117:18 120:10 136:22  
 138:21 146:2 168:10  
 188:3 229:6 233:19  
 239:11 248:7 268:11  
 284:19 315:20 329:7  
 331:13 350:9 356:18  
 359:8 362:10,21  
 371:22 374:12  
**earliest** 83:17 247:2,4  
 247:12 317:11 347:12  
 347:14  
**early** 60:1 65:20 112:13  
 119:12 242:14 258:17  
 258:21 260:16 350:11  
**ears** 177:18  
**ease** 28:15  
**easier** 55:2 79:16  
 179:10 220:12 335:15  
**easiest** 283:16  
**easily** 276:6  
**easy** 130:10 224:5  
 337:20 338:6  
**eat** 184:6  
**echo** 59:19 60:9 93:12  
 187:22 241:15 242:12  
 262:18  
**echoed** 356:17  
**echoing** 116:15  
**ecological** 270:20  
**eCQM** 312:5,10,14  
 330:16 336:9  
**ECQMs** 225:13 313:22  
**ED** 157:9,10 166:3,11  
 340:20  
**educate** 105:7  
**educated** 106:13 118:2  
 119:4  
**educating** 118:22  
**education** 81:9 95:13  
 99:7 101:3 105:15  
 107:10,17,19,20  
 117:21 118:20 120:20  
 296:20  
**educations** 107:2  
**effect** 84:2 244:4 247:6  
**effective** 31:11,17  
 44:10 155:8,13  
**efficient** 153:21  
**effort** 23:2 37:9 122:5  
**efforts** 28:20 33:21  
 157:2 358:12 381:15  
**EHR** 5:9 266:1 305:5,17

306:14 310:18,21  
 313:20 314:10 320:10  
 320:12 323:21 324:1  
 326:18  
**EHRs** 225:4 305:7,10  
 332:3 365:17  
**eight** 39:11 231:3  
**either** 16:12 47:14 50:5  
 52:5,17 71:22 96:22  
 101:15 102:20 112:6  
 131:2 143:15 145:10  
 145:14 182:17 187:2  
 205:9 213:16 218:12  
 230:11 242:13 243:15  
 253:11 254:9 276:22  
 288:10 300:6 344:18  
 365:6  
**electronic** 14:11 228:3  
 287:15 290:10 305:5  
 306:17 307:18 308:5  
 320:7  
**electronically** 255:16  
 330:17  
**elements** 215:21 219:1  
 228:3,6 255:16  
 330:18 359:12  
**elevated** 165:3  
**eligibility** 101:14  
 169:20 366:10  
**eligible** 101:21 102:4  
**eligibles** 32:20  
**eliminated** 350:7,10  
**Elisa** 3:1 6:22 7:2 15:12  
 21:1 262:9 381:21  
**Elizabeth** 2:7 3:8  
 146:10 156:7 159:7  
 176:20 382:13  
**eloquently** 238:4  
**email** 187:8 267:1  
**embarking** 37:8  
**embedded** 155:11  
**emergency** 117:20  
 155:14 161:5 163:2  
 173:21 175:9 251:6  
**emergent** 168:20  
**emotionally** 230:5  
**emphasis** 89:21 360:5  
**emphasize** 89:3 362:4  
**emphasizing** 120:20  
**empirically** 288:16  
 301:6  
**employed** 15:2  
**Employees** 1:21 11:4  
**employer** 7:17 12:18  
**enable** 155:12 273:17  
**encompasses** 33:4  
**encountered** 51:6  
**encourage** 29:12,13



120:19 151:14 217:2  
232:20 254:15  
**end-of-life** 31:5 237:4,6  
237:7 242:10 250:10  
250:18  
**End-Stage** 4:7 78:21  
**end-to-end** 312:14  
**ended** 59:9 188:4  
**endorse** 292:9 344:15  
**endorsed** 85:2 95:16  
109:19 182:11 189:13  
211:10 232:11 245:12  
245:13 290:1 291:6  
291:12 292:6 333:16  
368:9  
**endorsement** 27:4  
57:10 61:19 62:9  
68:14,19 69:5,9,10,15  
70:1,5,8,11,13 91:9  
95:9 97:2 100:19  
105:5 112:5 120:16  
137:1,15 146:7 158:8  
158:20 173:7 177:16  
180:13 182:6 185:14  
187:2 193:12,17  
194:3 196:15,17  
197:1,7 198:17 199:8  
199:18 200:4,5,7,18  
201:22 203:5 204:20  
205:15 209:15,18,19  
210:6,18,21 213:16  
213:17 214:21 215:20  
216:17,20 221:19  
222:3,18 233:22  
243:15 244:1,7,12  
248:4 256:22 257:9  
259:3 261:17 285:12  
285:16,19 288:15  
298:17,19 300:22  
301:3 305:20 323:15  
326:15 330:7,9 344:4  
345:13,17 349:3  
**ends** 212:12  
**enemy** 110:17  
**enforce** 87:6  
**engage** 301:9  
**engaged** 48:21 250:13  
**engagement** 31:3 32:3  
48:12 152:5 154:21  
179:15 225:17 335:8  
**engagements** 12:14  
**engaging** 158:12  
**engendered** 207:5  
**enhanced** 313:9 315:7  
**enlightenment** 320:21  
**enormous** 338:3  
**enormously** 174:16  
361:4

**enrolled** 181:18 230:11  
254:4,8  
**enrollment** 230:13  
266:9  
**enter** 117:20  
**entering** 157:18  
**entertain** 191:15 285:3  
**entire** 37:7 91:7 206:5  
298:3 310:22 332:4  
**entirely** 250:8  
**entrance** 251:5  
**entry** 159:21  
**envelope** 240:18  
**envisioning** 370:1  
**epi-SMR** 280:15  
**epidemiological** 275:6  
280:14  
**epidemiology** 125:3  
270:12,15,18  
**equal** 136:9  
**equally** 26:18 102:8  
**equation** 210:1 374:2  
**equity** 32:4,5,6,8,11,22  
186:7  
**ER** 161:1,13 164:10,22  
166:17,21  
**Erin** 3:3 21:14,15 23:11  
23:12 59:5 63:2,16  
64:7 69:6 187:20  
197:9 210:3 284:21  
381:21  
**erroneous** 144:9  
**error** 1:19 10:2 78:18  
**errors** 257:3 258:20  
276:6  
**especially** 67:4 99:10  
185:19 244:1 379:19  
**ESRD** 4:8,9 80:3,14,16  
82:1 125:19 185:5  
188:14 224:17 295:17  
**essential** 1:18 10:9  
24:3 366:3  
**essentially** 72:18 141:2  
165:17 306:21 350:17  
**establish** 179:5  
**established** 145:3,8  
**establishment** 85:10  
**estimate** 165:11  
**et** 70:6 85:20 113:3  
255:8 262:22  
**etiology** 270:18  
**evaluate** 156:20 288:5  
**evaluated** 122:9 177:1  
197:15 348:22  
**evaluates** 55:8 125:17  
229:12  
**evaluation** 3:9 125:21  
156:9 176:2 180:21

181:12,13 182:9  
214:10 235:5 246:1  
251:17 293:1  
**evaluations** 342:17  
**Evans** 2:7 8:11,11 92:8  
112:21 146:13  
**event** 223:21 330:22  
332:12  
**events** 5:18 78:6 326:5  
329:11,18 334:5  
**eventually** 27:5 28:3  
372:3  
**everybody** 18:5 22:13  
86:20 127:13 128:2  
129:3,7 131:8 148:13  
150:10 177:15 188:1  
189:8 213:18 223:18  
234:16 238:14 303:15  
307:11 325:16 334:1  
353:19 354:10 355:18  
**everybody's** 265:8  
**evidence** 103:14  
115:13,21 181:9  
189:10 288:16 301:6  
331:7 343:21  
**evolution** 47:8  
**exact** 287:13 337:4  
342:9 377:12  
**exactly** 39:3 57:14  
116:4 140:11 150:16  
193:6 195:2 288:12  
342:19 345:3 377:11  
377:16,17  
**examining** 275:22  
**example** 30:20 32:13  
34:11 39:16 44:20  
51:8 57:5 63:21 67:18  
74:14 168:16 235:18  
319:19 337:21 359:2  
362:21 363:8  
**examples** 41:7 50:17  
51:1,17 179:16  
**exceed** 79:7  
**excellent** 262:11,21  
263:7,8,9 381:15  
**excess** 7:19  
**excited** 37:14 158:13  
259:12 381:9  
**exciting** 6:14  
**exclude** 260:3,19  
**excluded** 124:8 235:19  
254:6,7,10 261:9  
266:11  
**excludes** 229:20  
**excluding** 113:1  
**exclusion** 113:6 163:6  
261:14 266:17 328:3  
**exclusions** 162:19

167:1,5 230:16  
235:16 250:15 252:10  
259:21,22 260:4,8,14  
260:16 267:12 276:3  
276:7  
**exclusive** 43:2  
**exclusively** 44:12 270:1  
**excruciating** 169:11  
**excuse** 31:19  
**exempt** 4:16 151:11  
**exist** 262:17  
**existence** 243:20 305:4  
**existing** 28:19 30:16  
35:3 40:7 41:18 183:6  
227:14 371:11,19  
**exists** 91:9 118:1 292:4  
368:2  
**exogenous** 108:21  
134:18,19  
**expanding** 177:9  
**expect** 23:9 66:5 246:2  
327:2 342:19  
**expectations** 85:8  
217:1  
**expected** 72:3 104:7  
164:19 165:17,19,21  
167:7 257:16 280:16  
298:6 322:19 359:17  
**experience** 37:17 80:17  
80:21 85:6 93:5  
154:22 157:4 206:12  
242:1 249:10 335:4  
337:18  
**experiences** 66:22  
151:17  
**experiencing** 286:2  
**expert** 8:12 13:9,13,19  
14:10 15:15 16:3  
103:2 110:11 164:7  
166:8 167:4 177:7  
182:7 186:13 189:15  
196:22 250:11 316:16  
317:2 339:4  
**expertise** 23:1 210:13  
211:2  
**experts** 2:6 7:10 8:18  
12:7,8 13:5 156:22  
186:18 189:9 229:21  
356:10  
**explain** 132:2  
**explained** 104:2 106:12  
**explaining** 40:18  
**explains** 55:14  
**explanation** 65:5  
**explicit** 64:9 134:12  
171:16 216:22 292:14  
301:1  
**explicitly** 45:5,21

**exposure** 335:7  
**express** 238:4  
**expressed** 237:2  
 259:20  
**expressing** 237:17  
**extend** 44:8  
**extended** 81:8 244:4  
**extension** 244:12  
**extensive** 239:19 249:4  
 265:14  
**extensively** 250:9  
 255:17  
**extent** 50:9,12 236:17  
 238:9 239:21 242:19  
**external** 155:19  
**extra** 130:14 185:12  
 188:14 197:6 202:22  
 203:1 237:7  
**extracted** 320:12  
**extracting** 320:11  
**extraction** 306:15  
**extremely** 333:19  
**eye** 89:18  
**eyes** 79:16 89:18

# F

**face** 227:20 316:18  
 321:10  
**faced** 365:20  
**faces** 23:3,4  
**facilitate** 55:16  
**facilitator** 365:16  
**facilities** 4:11 24:9 79:6  
 79:7 80:10 90:8,10,11  
 101:11 102:9 121:13  
 121:14 123:5,7 135:2  
 149:2 165:14,19  
 170:1,5 181:19  
 203:16,17 240:15  
 286:19 296:13 308:9  
 321:2  
**facility** 28:2 89:13  
 102:8 122:2,3,8  
 134:21 149:6 163:13  
 182:18 186:15 205:3  
 216:11 240:20 272:20  
 276:18 289:15,17,19  
 290:1,6 291:19,20  
 292:7 296:9,16 307:2  
**facility-level** 163:12,14  
**facing** 257:19  
**fact** 44:5 74:21 83:19  
 92:15 100:8 101:22  
 102:15 112:9 115:8  
 117:19 125:13 128:20  
 130:10 189:18 194:21  
 195:15 218:7 243:4  
 257:19 287:9 305:4

334:21 346:21 356:9  
 366:4 369:7  
**factor** 101:13 293:22  
**factors** 96:7,10,16,16  
 102:3 108:21 159:18  
 161:4 176:2 249:7  
 295:21 297:5 315:21  
 316:3 366:8,12  
**factual** 16:1  
**faculty** 15:20  
**fail** 153:19  
**failed** 284:14,15,17  
 328:9  
**failing** 275:13  
**fails** 136:10  
**failure** 233:13 236:19  
 276:6  
**fair** 90:7 242:22  
**fairly** 23:17 34:14 64:11  
 159:21 231:8  
**fall** 57:19 60:17 62:15  
 84:9 206:7 368:19  
**falls** 178:13  
**familiar** 23:3 29:1 32:14  
 237:15 270:14 368:2  
**families** 48:20 155:1  
 240:11,18 242:10  
**family** 31:3 152:5  
 154:21 179:15 225:16  
 256:6  
**fantastic** 23:9 34:8  
 339:19  
**far** 41:6 81:15 91:14  
 116:11 153:2 192:12  
 199:2 205:8 273:7  
**fascinating** 186:9  
**fashion** 69:21 89:14  
**fast** 245:2  
**favor** 94:18 120:18  
 130:15 134:12 135:6  
 147:12 148:5 149:13  
 149:18 220:8 240:9  
 283:19 289:1,1 302:2  
 324:5,16 325:6  
 327:18 350:22  
**favorable** 321:18  
**favorite** 326:7  
**favorites** 206:14  
**favours** 258:22  
**feasibility** 306:15  
 320:11 330:19 342:15  
**feasible** 81:5 227:13  
 320:9  
**feasibly** 313:16  
**February** 78:14  
**federal** 2:11 15:8 16:18  
 17:8 18:14  
**fee** 181:18 299:11

**fee-for-service** 229:16  
**feedback** 24:22 35:14  
 36:20 37:1 41:21  
 47:15 48:5 51:16 58:6  
 59:21 62:15 67:2 84:1  
 135:19 138:19 150:12  
 150:12,15 188:10  
 198:19 200:9 205:13  
 207:11 213:19 218:14  
 218:15 228:9,13,15  
 281:14,16 346:1  
 355:21 356:14 359:12  
 376:6 379:20 380:22  
**feel** 34:7 71:5 114:4,15  
 117:1 123:11 124:6  
 132:2 133:5 144:15  
 144:22 150:13 185:2  
 191:6,17 200:19  
 201:4 210:17 240:5  
 244:17 247:2 261:22  
 272:22 304:18 317:2  
 360:18 373:17 380:14  
**feeling** 184:19 315:20  
 337:10  
**feels** 188:2  
**felt** 85:15 236:17  
 250:13,15 261:7  
 263:18  
**field** 29:13 42:11 92:1,9  
 189:16 192:9,21  
 193:3,18 294:6  
 326:20 361:14 363:9  
**field-tested** 67:21  
**field-testing** 68:6  
**fields** 330:20  
**figure** 204:1 236:22  
 298:12  
**figured** 354:7  
**figures** 43:13 44:4  
**fill** 36:6 56:19  
**filled** 39:1  
**filling** 90:2  
**fills** 157:16  
**filtered** 134:20  
**FIN** 308:7  
**final** 77:7 250:21 278:1  
 279:15 299:18 309:7  
 325:21  
**finalize** 78:12  
**finalized** 78:13 157:20  
**finally** 81:6 97:6 107:13  
 172:3  
**financial** 111:6,8 209:2  
 285:4 366:16  
**finding** 335:14  
**fine** 17:21 175:22 217:1  
 323:8,10 324:14

339:6 353:8,9 355:11  
 355:14  
**finished** 186:20  
**FINs** 308:8  
**fire** 275:14  
**firming** 337:16  
**first** 4:14 13:6,22 26:21  
 30:8 54:18 60:7 71:13  
 73:9 78:20 80:1 81:12  
 81:18 82:19 84:4  
 92:21 95:11,14 98:2  
 98:11,15,18 99:3,10  
 99:16,21 100:20  
 105:22 112:22 113:18  
 114:20 117:5 120:8  
 123:13,17 129:1,17  
 129:19 132:13,15  
 135:10 140:7 143:20  
 158:8,10 160:19  
 165:3 204:5,18 207:9  
 215:2 217:19 221:19  
 226:20 227:1 229:7  
 257:1 265:17 266:15  
 268:18 276:4 296:13  
 307:7 309:2 312:3  
 324:15 331:6 332:18  
 333:21 360:2,7 363:4  
 370:10  
**fit** 54:3 67:20 369:16  
**fitting** 54:3  
**five** 69:19 78:17 164:16  
 174:17 197:22 231:4  
 238:6 243:19 278:9  
 295:6 332:2 335:6  
**five-minute** 126:20  
 128:4 183:19,21,22  
 184:7  
**five-year** 69:16  
**fix** 46:10 142:3 187:12  
 193:5  
**fixed** 192:12  
**flag** 62:9 69:8  
**flagging** 275:9 280:3  
**flaws** 380:15  
**Fleisher** 2:8 13:18,18  
 68:13 109:11 171:21  
 176:7 200:16 201:2  
 262:7 316:21 317:5  
 338:11,17,21 339:3,7  
 349:14 352:22 353:5  
**fleshing** 367:21  
**flexibility** 381:5  
**flip** 221:15  
**floor** 1:10 283:13  
 300:19 323:13 324:5  
 348:3  
**focus** 19:9 24:10 25:18  
 26:12 29:19 56:17

74:13 167:11 203:2  
 219:15 229:7 279:20  
 298:2 327:8 330:18  
 363:10  
**focused** 39:12 165:4  
 258:10 358:11  
**focusing** 28:20 298:12  
**folks** 22:13 69:12 107:9  
 238:4 242:13 332:22  
**follow** 133:4 197:20  
 362:12  
**follow-up** 46:22 81:10  
 169:6 315:10 379:10  
**following** 5:1 34:13  
 57:13 68:13 111:17  
 115:22 116:1 145:18  
 152:7  
**foolish** 178:8  
**for-** 92:10 110:19 111:3  
**for-profit** 115:6,7  
**force** 120:14  
**Ford** 15:3 38:20 53:1  
**foremost** 100:20  
**forget** 20:11 90:17  
**forgot** 90:2 131:1 206:6  
 239:12  
**forgotten** 286:4  
**form** 12:8  
**formal** 159:1,3 195:18  
 196:13 198:13 199:2  
 202:9 205:12 250:20  
 306:14 324:12  
**formalization** 370:14  
**formalize** 362:16  
 363:16  
**format** 318:16  
**former** 298:1 342:16  
**formerly** 181:2  
**forth** 126:17 128:21  
 147:10 297:17 311:22  
 346:16  
**Fortunately** 118:11  
**Forum** 1:1,10 95:16  
 125:8  
**forward** 19:3 29:18  
 34:22 35:17,19 48:16  
 60:16 65:1,18 67:4,7  
 74:17 77:8,20,21  
 95:13,17 97:1 139:7  
 141:7 153:6 183:17  
 187:2 199:1,5 200:10  
 207:17 208:9 211:20  
 217:18 219:20 246:16  
 285:7 289:20 294:7  
 321:3 330:2 334:19  
 342:5 343:12 360:20  
 362:2  
**Foster** 1:16 10:10,11

37:6 65:4 95:12 130:6  
 138:1,7,12 139:3,12  
 139:19 140:2 142:5  
 143:11 144:1,5 147:1  
 147:6 159:2 174:9  
 193:22 214:16 215:6  
 222:4,12 232:15,19  
 234:7 289:12 290:3  
 290:12,15,19 291:1  
 292:3,12 293:2,6  
 301:14,19 304:10,17  
 304:22 311:17 322:12  
 323:3 326:7,14  
 359:19 360:1 372:8  
 372:13 373:11,14  
**found** 64:5 71:2,3  
 118:16 122:16 251:6  
 266:7 366:20  
**four** 56:21 73:6 85:3  
 127:1,6 128:11,15  
 143:22 164:16 192:21  
 207:12 278:9 314:1  
 335:5 337:6 377:3  
**Fourteen** 136:6 284:9  
**fragmentation** 310:19  
**frailty** 265:22 278:14  
 316:22  
**frame** 69:17 174:17,21  
 268:22  
**framework** 4:5 22:10  
 24:17,21 26:10 29:16  
 33:4,19 34:18 35:22  
 43:1 49:22 54:7 67:5  
 272:19 362:7 367:1,4  
 367:14 368:6  
**frameworks** 51:7  
**framing** 23:10  
**Frank** 1:16 8:21 292:5  
 307:8 310:12,14,17  
 319:4 322:10  
**frankly** 272:9 293:19  
**free** 71:5 132:2 133:5  
 191:17  
**freestanding** 172:14,19  
 172:19 176:12  
**frequent** 80:5 329:9  
**frequently** 80:16  
 349:18  
**friend** 272:10,10  
**friends** 253:10 256:6  
**front** 12:11 33:18 62:19  
 218:22 225:10 240:19  
 347:5 369:3  
**frontier** 310:8  
**fruit** 192:8,12  
**full** 60:11 62:18 69:6  
 91:8 175:18 218:21  
 332:5,14 338:11

345:2  
**fuller** 119:11 278:20  
**fully** 97:7 186:20  
 212:21,22 213:7,10  
 214:1,6 260:11  
 330:16 333:16 360:15  
**function** 81:1 130:11  
 131:3 143:4  
**functional** 113:20  
**functionality** 313:10  
**functions** 203:21  
**fundamental** 273:12,20  
**fundamentally** 335:20  
**fundling** 13:14,16,20  
**furious** 340:15  
**further** 81:15 84:16  
 87:22 194:15 213:3  
 214:21 228:4 270:22  
 271:5 313:15 317:13  
 343:15,17 352:16  
 361:20 375:4  
**Furthermore** 99:18  
**future** 42:5,17 79:22  
 85:11 152:2 154:18  
 273:5 354:19 365:15  
**fuzziness** 202:14

## G

**gained** 316:18  
**gains** 108:16  
**gap** 57:6 84:15 157:16  
 369:8  
**gaps** 36:1,6 56:20  
 152:7  
**Gawande** 242:7  
**Geisinger** 1:15 9:7  
 11:20  
**general** 5:1 40:4 56:13  
 91:22 126:4 156:12  
 156:14,15,18 158:3  
 159:8,10 160:2 164:4  
 164:6 175:12 254:16  
 270:13 336:9 366:18  
**generally** 45:7 119:10  
 123:22 200:21  
**generate** 305:6  
**generating** 270:21  
**gentleman** 117:11  
**Geriatrics** 14:16  
**getting** 52:8 88:4 92:4  
 98:20 99:2,9 101:3  
 102:12 142:3 143:2,9  
 193:2 197:3 213:9  
 236:20 244:19 268:20  
 271:12 272:19 295:15  
 335:9 336:7,7 354:12  
 358:5 364:19 370:22  
**Ghinassi** 1:16 8:21,21

286:1 289:5 307:9  
 319:5,12 320:14,18  
**GI** 262:21  
**give** 11:17 22:8 23:13  
 40:16 41:12 47:12  
 59:8 71:3 72:14 88:11  
 99:7 101:10 128:18  
 151:2 166:5 188:9  
 210:1 232:17 234:12  
 247:21 248:1 263:16  
 265:5 267:1 272:22  
 278:2 306:2 328:16  
 328:17 330:1 373:1  
 373:14,15 374:4  
**given** 88:3 89:4,5 154:2  
 159:21 165:17 180:15  
 189:20 191:7 194:6  
 219:21 242:20 244:5  
 257:18 264:3,4  
 299:18,20 323:20  
 329:10 332:11 354:22  
**givers** 250:9  
**gives** 150:11 312:18  
**giving** 19:7 66:13  
 243:22 248:9 364:2,6  
**glad** 63:4 105:11 159:4  
 224:7 232:20 303:5  
 364:10  
**gladly** 23:14  
**Glassman** 1:17 8:8,8  
 162:15 167:13  
**glitches** 129:15 130:10  
**global** 152:7 237:6  
 277:4 278:17 298:13  
 300:14  
**globally** 276:17  
**goal** 23:22 36:10 52:19  
 108:5,18 114:16  
 151:13 191:6 230:10  
 269:2  
**goals** 31:5 40:9 79:11  
 80:22 81:4 151:8  
 153:20 179:3 188:1  
 224:21  
**gotten** 41:21  
**GOVERNMENT** 2:11  
**grace** 244:5 382:12  
**graduate/undergrad...**  
 117:8  
**grain** 342:5  
**graphic** 29:3  
**grateful** 43:7,11  
**grave** 320:19 321:3  
**greater** 77:9,12,17,22  
 136:8,9 140:5 321:11  
 321:13  
**greatest** 230:1  
**greatly** 243:3

**green** 154:8  
**Greg** 13:12 90:4 102:22  
 132:9 203:9,9  
**GREGORY** 2:7  
**grossly** 288:11  
**ground** 48:19  
**group** 2:3 3:12 6:9,14  
 9:3,16 15:11 16:15  
 18:9 19:8,9 22:16  
 46:9 48:2,3 50:20  
 57:8 73:10 75:22  
 81:12 84:15 85:14  
 90:6 107:15 112:6  
 152:6 156:19 157:5  
 167:14 180:20 188:11  
 189:21,22 196:7  
 197:14 207:10 230:5  
 231:17,18 232:5  
 237:17 238:15 270:14  
 290:8,9 307:15  
 343:11,15 370:8  
**group's** 195:21 196:8  
**grouped** 30:1 72:20  
 164:5  
**groupers** 249:6  
**groups** 40:1,2 50:13,21  
 71:17 85:4 140:10  
 158:1 166:10,10  
 235:8 240:16 250:12  
 260:19 261:1,8,14  
 281:1 355:8  
**guarantee** 62:13 205:11  
**guaranteed** 144:3  
**guess** 16:3 50:8 92:3  
 97:6 144:6 148:22  
 167:13,16 168:4  
 176:15 216:19 267:21  
 268:5 291:10 321:8  
 334:17 354:6 378:6  
**guessing** 309:18  
**guidance** 56:13 61:4  
 71:3 78:13 101:3  
 209:22 220:2 348:5  
**guide** 72:17 73:5 75:16  
 212:20 271:3 274:5  
**guiding** 40:5  
**Guinan** 1:18 10:7,8  
 52:12 146:17 148:16  
 148:21 295:13 343:8  
 365:10

## H

**HAC** 44:8,20 354:18  
 355:8 378:2,5,7  
**HACs** 378:13,15  
**half** 165:19 231:16,18  
 329:21 354:2  
**hand** 105:11 130:17

135:6 147:13 148:2  
 149:14 153:5 222:22  
 227:18 328:22  
**handed** 288:3  
**handful** 366:22  
**handle** 127:3,10 288:1  
**handled** 51:12 122:18  
 270:1  
**handout** 54:22 179:10  
**handouts** 55:2 79:15  
 151:19  
**hands** 130:13,19 131:9  
 220:10 299:1  
**hang** 158:2  
**hangup** 238:17  
**happen** 107:2 117:22  
 126:1 167:22 190:18  
 198:12,14,16 199:7  
 210:10 254:20 309:12  
 313:2 379:6  
**happened** 25:4 128:13  
 205:14 216:21 342:20  
**happening** 24:6 33:2  
 35:8 198:19 207:22  
 278:3 312:20 361:13  
 369:10  
**happens** 42:22 168:21  
 192:21 208:12  
**happy** 37:9 145:14  
 188:5 230:18 267:1  
**hard** 63:11 236:12  
 249:14 278:16 286:9  
 287:2 326:16  
**harder** 25:14,15  
**harm** 5:18 30:10 89:7  
 152:7 193:18 326:3  
 329:8 331:4  
**harmonization** 47:10  
 85:17 94:7,13  
**harmonize** 47:19 94:9  
 94:20 182:10  
**harmonized** 86:9 158:4  
**Haskell** 1:19 10:1,1  
 86:5 87:2 116:14  
 175:6,19 176:6 211:8  
 212:10 252:4,17,21  
 253:14,21 254:13  
 275:4 299:4 350:2  
 352:19 364:13  
**hasty** 242:15  
**hat** 110:12 117:7  
 210:16 300:9 322:3  
**Hattie** 1:19 10:19,19  
 48:10 91:14 92:3  
 105:3 136:20 137:6  
 207:1 293:13 363:20  
**hats** 308:17  
**HCAHPS** 353:1

**head** 51:2 139:1 302:21  
 303:6 336:10  
**head-to-head** 143:14  
**headcount** 131:15  
**headed** 138:19 370:1  
**heading** 362:3  
**heads** 321:21  
**health** 1:15,17 2:3,4 8:6  
 9:1 10:5 13:9,11  
 14:11 15:3,11,19 19:6  
 19:10 29:2 31:15 68:2  
 89:16 99:14 101:13  
 155:7 228:4 233:10  
 240:16 271:1 290:10  
 306:17 320:7 342:17  
 355:9 377:14,21  
 378:5,15  
**Health-System** 11:11  
**healthcare** 2:2,12 11:1  
 13:17 17:4 30:10  
 48:18 93:2 153:21  
 155:13 259:18 294:15  
 294:16,22 329:8  
**healthcare-associated**  
 30:9,19  
**healthier** 123:22  
**healthy** 32:2 99:14  
 155:2 179:14 225:17  
**hear** 19:2,16 23:7 36:20  
 41:17 51:16 69:9  
 87:13 103:10 144:21  
 145:13 158:13 159:5  
 169:4 177:19 203:12  
 229:11 233:2 240:4  
 266:20 267:21 285:6  
 328:4 330:13 333:3  
 342:8 346:6 378:14  
**heard** 17:10 23:12,15  
 23:19 28:9 40:16,22  
 41:13 43:2 48:7 59:10  
 60:17 88:9 94:22  
 116:11 127:13 128:3  
 134:22 146:1 171:6  
 173:3 184:15 185:3  
 186:9,13 188:2  
 189:17 192:3 194:4  
 195:5 196:19 213:17  
 213:20 227:19 247:18  
 257:10 264:20 268:10  
 280:1,22 281:3  
 307:11 317:12 344:9  
 363:9 364:8 379:8  
**hearing** 18:19 19:5  
 145:1,6 156:3 191:8  
 197:18 222:20 234:17  
 312:10 342:4 346:21  
**heart** 89:15,17,18  
 233:13,14 236:19

**heated** 276:14  
**heavily** 90:9  
**heavy** 143:11 195:22  
**heck** 215:5  
**held** 120:11 194:20  
**Helen** 1:19 10:1 86:4,22  
 116:13 175:5 190:12  
 207:8,9 211:7 252:2,3  
 254:12 275:1 364:12  
 382:14  
**Helen's** 294:1  
**hello** 92:21  
**help** 20:6 24:3 28:15  
 38:4 57:7 84:20 96:3  
 118:19 167:1 196:19  
 204:2 233:9 243:10  
 247:20 254:12 263:13  
 267:22 273:9 278:22  
 314:5 333:10 341:4  
 348:13  
**helped** 14:8 107:16  
**helpful** 33:17 70:21  
 97:19,21 234:15  
 246:11,19 265:1  
 333:19 368:12 371:1  
 379:22  
**helping** 20:17 29:19  
 107:4 224:3 335:18  
 335:21  
**helps** 244:13  
**hematoma** 164:13  
**hemorrhage** 164:12  
**Henry** 15:2 38:19 53:1  
**HENs** 46:6 336:18  
 342:8  
**heterogeneity** 260:21  
**HHS** 58:3 60:14  
**hi** 6:7 8:5 14:22 16:21  
 21:7,9,11,15 156:5  
 248:16 295:13 365:10  
**hierarchical** 280:17  
**high** 80:17 152:2  
 170:12 208:17 225:15  
 232:3 249:22 315:2  
 341:15 370:17  
**high-** 79:21  
**high-priority** 154:18  
 179:12  
**high-quality** 56:18  
 84:12 94:19  
**higher** 113:19 119:9  
 153:21 166:16 170:7  
 170:22 303:2 329:19  
 329:20,21  
**highest** 26:14 280:10  
**highlight** 197:7 211:14  
**highly** 91:10 251:7  
**highs** 170:20

**HIQR** 5:8,12,14  
**history** 59:9 270:14  
 271:14 314:1 341:6  
 361:22 364:5  
**hit** 39:2 140:11 368:1  
**hoc** 349:22  
**Hold** 133:19  
**holding** 100:20 209:9  
**holds** 116:6 208:17  
 360:17  
**home** 39:2 106:9  
 145:17 167:8 240:16  
 253:12  
**homicide** 274:11,12  
**hone** 361:12  
**honest** 163:16 205:9  
**honestly** 161:18 210:4  
 258:14 372:13  
**hood** 286:10,11 287:12  
 295:8  
**hope** 23:6 60:10 66:21  
 209:21 223:14,18  
 228:9 244:13 261:2  
 362:17  
**hopefully** 19:12 36:9  
 67:3,9 85:17 93:1  
 224:16  
**hoping** 38:1 178:11  
 289:6 343:15  
**Hopkins** 257:4,4  
**HOQR** 5:4,6 181:4  
**hospice** 230:11,14  
 237:14 242:16 250:14  
 252:12 253:17 254:4  
 254:9 258:3,6,7,17,21  
 260:15,16 266:9  
**hospital** 1:5,14,16 4:16  
 5:1,3,8,18 6:8,14 9:22  
 10:11 32:15 78:13  
 89:5 90:6 103:3  
 108:15 151:5,8 152:6  
 155:14 157:6,7  
 164:20,22 165:2  
 167:11 168:14,15  
 170:19 172:21,22  
 178:13,19 179:13  
 181:19 224:10 225:5  
 227:9 230:12 231:17  
 233:11 235:10 240:14  
 242:1,14 251:6  
 252:13 253:9,12  
 257:1,3 263:1,15  
 264:2 266:10 272:10  
 272:13 275:11 277:16  
 278:9,11 280:20  
 281:19 282:14 287:5  
 287:19 290:7,8  
 294:10 295:4 297:6

298:10 299:22 309:13  
 309:13,14 310:20  
 311:2 312:22 313:1  
 315:5 318:22 319:15  
 326:3 331:7,8 332:6  
 332:13 334:3 335:6,8  
 335:18 366:13 375:6  
 375:10  
**hospital's** 280:20  
**hospital-** 18:20 229:12  
 296:18  
**hospital-wide** 5:12,15  
 5:16 229:13 248:22  
 249:1 254:22 281:11  
 292:19 304:2 306:12  
 315:5  
**hospitalization** 319:20  
**hospitals** 1:18 5:9,9  
 10:9 32:17,19,19  
 37:19 46:12,15  
 151:10,11,14 157:12  
 172:17 178:22 179:7  
 224:19 227:14 238:7  
 238:10 244:18 255:2  
 257:15 259:12 261:6  
 263:2,3 268:21 272:6  
 273:22 274:16 275:20  
 281:14 283:1 286:16  
 297:14,14 298:8  
 299:18 300:16 305:17  
 306:19,20 311:10  
 314:15,20 318:6,14  
 318:14 319:9 322:19  
 322:22 324:2 326:22  
 329:16 332:2 335:9  
 336:21 341:10 366:3  
**host** 215:13 234:1  
 382:3  
**hour** 331:18 350:5  
**hours** 166:13 266:16  
 276:4 331:6 336:16  
 382:4  
**housekeeping** 8:2  
**huddle** 129:13 137:9  
**huge** 182:17 334:1  
 337:4,19 341:8 365:3  
**huh** 146:14 159:3  
**hybrid** 5:16 227:8 228:1  
 228:2,12 229:2 232:2  
 232:2,3 267:16,18  
 268:12,20 269:6,19  
 287:16 304:2 306:12  
 315:17 316:4,9  
 317:13,17 320:21  
**hypothesis** 270:21  
**hypothesize** 170:8

---

**I**


---

**ICD** 265:20  
**ICD-** 251:17 259:4  
**ICD-10** 236:6 238:17  
 239:2,7 242:17,21  
 243:3,14 244:3,7,10  
 245:2,6,14 246:3,21  
 248:19 249:5,17,20  
 261:2 281:6 301:15  
 301:18  
**ICD-10's** 239:15  
**ICD-9** 242:19 243:14  
 244:10,20 246:21  
 249:5 301:15  
**ICD-9's** 239:14  
**idea** 50:19 94:3 114:13  
 189:2,3 278:2 335:12  
 343:3  
**ideal** 52:19 56:10  
 211:15 270:3,4  
**ideas** 51:16  
**identical** 315:8  
**identification** 84:17  
 119:13 312:16  
**identified** 29:21 44:22  
 51:13 57:6 79:21  
 84:15 152:1,6 225:15  
**identify** 73:18 75:12  
 194:14  
**identifying** 56:9 169:14  
**if's** 246:18  
**ignores** 298:2  
**illness** 14:18 106:18  
 309:12  
**illnesses** 31:18  
**illustrates** 28:18  
**imagination** 305:12  
**imagine** 70:16 224:4  
 227:11 268:19  
**imaging** 5:6 180:9  
 181:1,3,7 182:1  
**immediate** 176:18  
 228:10  
**immediately** 227:12  
**immortality** 275:22  
**immune-** 80:16  
**impact** 34:6 88:18,19  
 89:17 115:17 182:17  
 250:18 260:2 319:13  
 320:15 321:1 359:4,5  
**impacting** 102:14  
**impacts** 243:14 259:15  
 260:9  
**IMPAQ** 15:3  
**impatient** 339:10  
**imperfect** 185:22  
**implementation** 58:3  
 58:18 60:12 211:17  
 273:5

**implemented** 39:15  
 58:7 269:1,3 360:15  
**implementing** 268:1,18  
**implication** 198:9  
**implications** 44:11  
 279:19  
**imply** 214:11  
**importance** 26:14 52:9  
 70:2 192:15 205:5  
 292:16 311:21  
**important** 12:21 19:11  
 19:20 24:10 26:18  
 43:20 45:9 49:13  
 52:22 69:19 72:11  
 85:16 87:10 88:6 89:8  
 89:22 90:18 92:5,14  
 92:18 93:21 101:2  
 104:16 105:9,14  
 106:5 107:18 113:21  
 114:5,11,18 116:8,9  
 118:21 119:4 121:19  
 133:4 134:21 150:12  
 186:3 233:15 240:12  
 256:8 263:19 272:21  
 277:10 278:5,15  
 282:8 315:21 327:7  
 331:9 336:5 338:7  
 342:1,14 343:5  
 357:17 360:8,11,22  
 361:12 367:9 369:18  
 370:9,18 377:20  
 378:1  
**importantly** 341:16  
**impose** 34:1,2 361:10  
**imposed** 289:14  
**imposes** 26:8  
**impressed** 188:20  
**impression** 142:20  
 194:20  
**impressive** 96:19  
**improve** 26:15 79:11  
 151:15 155:6 157:2  
 182:1 275:14 298:13  
 311:12 335:17 360:16  
**improved** 154:21 157:4  
 271:13 316:14  
**improvement** 25:19  
 26:15 27:15 33:6,21  
 36:14 49:11 122:21  
 157:2 159:17 164:8  
 187:15 242:2 262:4  
 275:19 276:21 282:4  
 283:3 296:5,8,9,10,14  
 327:3 340:4 358:9,12  
**improvements** 235:22  
**improving** 155:4  
 327:13 358:1  
**in-** 72:16

<b>in-depth</b> 209:16	229:15 283:5,5,7	<b>initiate</b> 190:19	<b>interesting</b> 129:20
<b>in-meeting</b> 72:16	<b>indicate</b> 130:21	<b>initiated</b> 92:11 106:16	131:17 172:1 312:13
<b>in-patient</b> 78:19	<b>indicated</b> 84:9 171:8	200:8	370:7 379:21
<b>in-person</b> 78:7,9	210:10 237:12 334:1	<b>initiative</b> 19:6 23:21	<b>interests</b> 40:7
<b>inability</b> 161:15	<b>indication</b> 175:9 272:4	39:18 52:14,16	<b>internal</b> 35:8 242:22
<b>inaccurate</b> 287:10	297:4 343:1	<b>initiatives</b> 33:1 38:22	261:11
288:11	<b>indicator</b> 295:4	54:5 242:7 296:8	<b>internally</b> 26:5 42:15
<b>inadequate</b> 377:2	<b>indirectly</b> 115:14	<b>innovation</b> 363:2	356:3
<b>incentive</b> 4:8 5:9 78:22	<b>individual</b> 7:14 12:17	365:18	<b>International</b> 1:21 11:4
99:19,20 100:4 105:7	29:10 41:1,6 74:2	<b>innumerable</b> 235:7	15:4
105:9 118:3 153:16	76:1 111:19,20	<b>inpatient</b> 5:8 44:6 85:19	<b>interoperability</b> 225:3
178:21 224:15,18	169:21 228:14 266:9	151:11,12 224:10	365:20
252:14 326:19	274:14 297:18 299:20	<b>input</b> 4:9,18 5:1,6,12,14	<b>interpret</b> 278:16 294:22
<b>incentives</b> 22:16 100:2	355:21	5:19 19:14 23:8 43:9	<b>interpretation</b> 195:7
111:7,8 119:16	<b>individually</b> 71:22	47:12 60:15,22 63:7	234:14,14 277:10
<b>incentivization</b> 105:14	231:5	63:15 64:22 78:12,16	<b>interpreting</b> 291:11,13
<b>incident</b> 4:14 98:9,19	<b>individuals</b> 160:21	81:20 86:22 88:11	<b>interrelationship</b> 101:1
99:19 123:11 128:22	189:22	138:10 211:21 250:7	<b>interrupt</b> 290:5
145:22 221:21	<b>industry</b> 117:9 307:20	262:12 321:2 346:6	<b>interval</b> 165:11
<b>inclination</b> 243:6	308:10 364:9	355:3 380:22	<b>intervening</b> 68:1
<b>include</b> 87:19 123:10	<b>infection</b> 30:22 154:20	<b>insight</b> 68:3	<b>interventions</b> 237:5
152:3 153:20 161:13	161:10	<b>insights</b> 19:4	<b>intricacy</b> 130:14
179:13 181:11 225:16	<b>infections</b> 30:9,20	<b>instance</b> 124:4 332:7	<b>introduce</b> 20:14 21:2
228:22 230:7 261:3	80:18,19 375:6,8	361:16 368:21	156:6
266:15 276:6 281:5	<b>inflate</b> 277:14	<b>instances</b> 272:6	<b>introduced</b> 20:9 140:20
281:19 288:1,14	<b>inflation</b> 277:18 278:2	<b>institution</b> 34:3 259:18	211:14
292:6 293:10 311:19	<b>influence</b> 96:10 97:9	<b>institutional-wide</b>	<b>introduction</b> 15:8
315:22 320:6 323:15	108:22 186:16 366:14	297:21	<b>introductions</b> 4:3 6:13
323:16 350:20 361:6	<b>inform</b> 154:2 267:22	<b>institutionalized</b> 113:3	7:6
<b>included</b> 79:15,19	268:2	<b>institutions</b> 28:10	<b>introductory</b> 19:17
151:18,19 177:3	<b>informally</b> 48:1	309:12 375:20,20	72:13
215:18 253:17 260:2	<b>informatics</b> 13:13	<b>instructions</b> 71:11	<b>intubating</b> 327:20
266:12 318:13,20	14:11	<b>instrument</b> 116:17	<b>Invasive</b> 2:2 9:3
319:9,10 350:11	<b>information</b> 31:15	<b>insufficient</b> 134:16	<b>Inventory</b> 42:7
<b>includes</b> 33:5 81:1	37:12 54:16,21 55:1,3	<b>insurance</b> 101:16	<b>inverse</b> 231:7 358:3
178:22 181:17 216:4	62:19 65:15 67:13	<b>insurers</b> 94:2	<b>investigation</b> 171:11
224:18 228:5 310:1,2	74:22 79:1 93:1 151:9	<b>integrated</b> 110:4 308:7	<b>involved</b> 39:9 110:3
318:9	151:16 176:12 179:4	308:8	161:21 235:8 287:13
<b>including</b> 25:5 28:20	179:11 186:1 224:14	<b>integrating</b> 48:12	<b>involvement</b> 200:2
32:3 42:11 64:9 74:20	225:5 227:17 233:8	<b>intend</b> 219:16 268:13	301:8
81:9 151:21 175:8,11	248:1 251:18 256:16	<b>intended</b> 56:4,8 218:15	<b>IOM</b> 50:1,2
206:12 230:22 250:7	260:9 265:6 273:2	219:10	<b>IQR</b> 44:10,20,22 225:10
251:14 310:4 316:18	281:4,9 288:17	<b>intending</b> 97:5	225:16 235:10
381:20 382:5	293:17 299:19 315:5	<b>intensive</b> 15:20	<b>IQR/EHR</b> 224:15
<b>inclusion</b> 15:22 164:2	318:13,19 320:15	<b>intent</b> 58:15 60:19 61:6	<b>issue</b> 42:18 58:17 61:16
<b>inconsistency</b> 239:10	321:19 322:6,13	140:21 195:20 211:15	63:3 80:8 88:16 92:5
<b>incorporated</b> 172:11	339:18,19 351:1	211:22 212:7 219:6	92:7 96:6 97:5 134:9
<b>incorporating</b> 294:16	364:2,6	265:9 268:7,9 345:6	161:2 186:4,9,12,18
<b>increase</b> 25:12,20	<b>informative</b> 234:22	<b>intention</b> 201:21 363:7	203:19 204:7 237:9
56:20 155:3 182:15	<b>informed</b> 152:7 179:5	<b>intentional</b> 117:15	243:18 244:15 257:2
<b>increases</b> 119:5	196:1 225:6 307:14	<b>interact</b> 239:17	257:22 258:15,22
<b>increasing</b> 25:10,21	<b>infuriates</b> 244:18	<b>interacting</b> 19:9	259:4 262:13,18
27:6,17 270:16	<b>inhibit</b> 237:18 327:17	<b>interclass</b> 231:20	264:22 265:17,18
<b>incredibly</b> 327:6	<b>initial</b> 29:21 36:18 42:1	<b>interest</b> 4:3 6:22 7:6	276:17 277:14 280:8
<b>independence</b> 81:2	53:16 171:22 182:3	40:9 47:2 76:13	280:13 289:22 297:21
<b>independent</b> 119:2	314:4 323:19 356:12	117:16 192:22 209:2	301:15 305:2 309:9
189:22	359:11	327:22	309:11 311:6 327:7
<b>index</b> 122:19 169:21	<b>initially</b> 340:6	<b>interested</b> 238:5 318:7	328:1 329:17 332:21

340:10,11 341:9  
 346:20 363:21  
**issues** 15:18 20:7 25:13  
 44:4,22 48:7 53:22  
 61:12 68:15 81:1 87:4  
 88:3 104:22 113:18  
 113:22 134:4,15  
 137:17 140:15 149:7  
 150:16 158:7 159:19  
 185:22 186:7 187:15  
 192:8,18 193:20  
 197:22 205:20 206:11  
 206:16 213:5,6  
 216:13 234:1 243:11  
 250:1,4,10 258:19  
 260:22 262:14 264:4  
 265:2,4,15,22 268:17  
 271:13 272:17,18  
 280:1 284:20 288:19  
 292:22 307:10,15  
 310:17,19 323:16  
 330:6,10 339:13  
 346:15 348:20 358:21  
 359:4 365:20 366:1  
**item** 96:20 355:2  
**items** 73:16 235:1  
 237:1 354:15  
**iteration** 343:16  
**iterations** 337:7  
**IUR** 122:22

---

**J**


---

**Jack** 2:8 14:20,22 46:1  
 46:20 67:16 126:3  
 131:12 135:14 149:21  
 191:22 193:10 196:14  
 196:16 200:11 215:3  
 215:8 220:18 221:10  
 221:12 223:4 244:16  
 277:5 302:9,10 325:2  
 335:1 337:10 351:4  
 376:10  
**Jack's** 196:14 215:12  
**Jacobs** 12:1  
**JAMA** 361:16,17  
**Janice** 340:11 364:8  
**Janis** 2:1 10:17 92:19  
 106:1 109:11 117:18  
 160:14 173:16 208:4  
 217:8 265:12  
**Janis'** 267:7  
**January** 58:12 64:22  
 78:11 187:9 378:14  
 379:2,14,17,17  
**Jeff** 12:1 162:13 382:13  
**Jennifer** 124:18,20  
**Jerry** 172:4  
**Jesse** 3:10 98:3,5

382:11  
**Jim** 382:12  
**Jo** 382:11  
**Joan** 1:15 9:6 11:17,19  
 131:10 135:7 147:16  
 149:15 220:16 221:13  
 223:2 283:4 284:1  
 302:6,8 324:22 351:8  
 359:14 382:11  
**job** 40:18 49:4 106:6  
 188:10 191:20 308:20  
 309:1 328:12 366:18  
**Joel** 382:11  
**Johns** 257:3,4  
**Johnson** 3:2 15:21,21  
 22:4  
**join** 12:5  
**joined** 14:20  
**joint** 9:17  
**joked** 362:10  
**Jordan** 2:8 14:20,21  
 15:2 45:22 46:1 67:16  
 67:17 131:12,12  
 135:13,14 147:21  
 148:3 149:21,21  
 191:22,22 193:13,16  
 215:9 220:18,18  
 221:10,10 223:4,4  
 244:16,16 277:8  
 282:12 284:8 302:9  
 323:10 325:2,3 335:3  
 337:14 351:4,7  
 376:10,13  
**Joseph** 3:7,11 125:2  
**judge** 186:1  
**judged** 288:20  
**judgment** 65:9 234:16  
**judgments** 288:10  
 326:16  
**judicious** 328:8  
**judiciously** 58:21  
**jump** 132:3 136:11  
**jumped** 16:11  
**jumps** 256:7  
**juncture** 308:1

---

**K**


---

**Kaiser** 322:15  
**Kalbfleisch** 126:3  
**Karen** 2:2 3:2 9:2 19:7  
 22:4,4 234:17 237:15  
 238:20 240:7 315:10  
 317:8,8 343:19  
 347:22  
**Kate** 3:4 21:8 54:10  
 59:7 60:10 61:7 71:8  
 136:3,8,12 355:19  
 381:21

**Katlin** 382:13  
**KCP** 103:3  
**keenly** 360:11  
**keep** 35:6 99:14,15  
 108:22 142:19 160:17  
 206:13 229:3 244:19  
 248:10 249:3 264:15  
 265:2 267:5 357:2,4  
 359:6,9 364:21  
**keeping** 22:11 277:16  
 278:11  
**Keith** 1:14 9:14 91:3  
 100:7 120:1 202:1  
 271:19  
**kept** 207:8 330:19  
**key** 71:12 173:1 217:21  
 235:16 250:1 257:2  
**kick** 187:19 295:9  
**kicked** 143:2  
**kidney** 1:14,20 4:14  
 9:12,15 80:11 89:7  
 91:5,7 100:15 102:19  
 125:3  
**kidneys** 89:17  
**Kim** 8:8 13:3,3 174:22  
**Kimberly** 1:17 162:14  
**kinds** 38:7 127:5 157:1  
 157:3 164:7 169:9  
 206:15 337:18 346:13  
 352:15  
**kinks** 314:6  
**knew** 62:17 138:19  
 142:2 145:13 243:19  
**Knight** 1:20 9:11,11  
 88:13,14 117:5  
 271:20 374:11  
**knowing** 269:22 342:6  
**knowledge** 360:14,14  
 360:15  
**known** 76:10 181:2  
 360:14  
**knows** 86:20 262:16  
 291:13

---

**L**


---

**laboratory** 251:4 255:7  
 255:20  
**lack** 120:16 180:14  
 237:13 364:1  
**laid** 115:11 135:22  
 169:11  
**LAN** 25:6 39:19  
**land** 142:10  
**landed** 142:1 250:15  
**language** 66:13 212:1,2  
 291:16,22 313:7  
 321:12 353:11  
**large** 18:9 46:9 53:2

80:4 91:5,6 203:6  
 286:12,14 308:9  
 349:21  
**larger** 29:15 286:19  
**largest** 92:10  
**Laughter** 21:16 41:15  
 83:16 93:8 105:21  
 245:9 279:11 303:11  
 304:9,19 325:9 326:8  
 352:21 370:5 372:12  
 373:13 378:19,22  
 380:4 381:11  
**launched** 23:20 24:17  
 39:18 42:6  
**Lauren** 382:13  
**layering** 50:5  
**layers** 346:10  
**lead** 15:21 36:10 75:21  
 76:3 81:19 86:3 87:1  
 97:15 100:8 128:17  
 132:20 143:9 146:10  
 160:13 209:2 234:9  
 247:19 260:4 287:8  
 306:1 307:6 311:15  
 328:15 329:13 333:6  
 333:20  
**leadership** 20:21 49:3  
 244:21  
**leading** 126:2 257:5  
 259:21 314:5  
**leads** 214:2  
**lean** 256:15  
**Leanne** 382:12  
**Leapfrog** 16:15  
**learn** 151:16 160:8  
 217:20  
**learned** 177:16 257:15  
 271:14 278:20 371:22  
**learning** 25:6 29:1  
 39:20 208:4  
**learning's** 284:18  
**leave** 195:6 328:6  
 369:20 378:9  
**leaves** 108:15  
**led** 156:9 217:12 260:22  
**Lee** 2:8 13:18 17:16  
 68:12 69:3 109:10  
 171:20 200:15 201:20  
 262:6 292:15 316:20  
 338:10 341:19  
**Lee's** 320:5  
**left** 52:20 53:4 125:19  
 129:8 150:8 174:13  
 288:3,6 351:3 363:2  
**legislative** 66:12  
 195:20  
**Legreid** 11:10  
**lending** 22:22

**lengths** 329:19  
**lessons** 217:20  
**let's** 84:3 94:4 95:5  
 153:11 198:6 215:16  
 218:12,19 219:14  
 222:21 229:3,6  
 264:18 265:10 267:10  
 291:14 304:1 324:14  
 333:19 362:20  
**letter** 258:13  
**letters** 37:13  
**letting** 184:15 209:10  
**level** 29:9,14,14 49:12  
 66:3 122:8 134:6  
 170:3 182:18 196:10  
 206:3 229:13 239:2  
 249:22 277:2,4  
 281:18 282:15 289:15  
 289:17,19,20 290:2,4  
 290:6,7,8 291:6,12,19  
 291:20,21 292:1,2,7  
 292:10 296:5,7,11,20  
 307:2 312:22 333:13  
 367:3  
**levels** 86:14 163:13  
**leverage** 40:7  
**levers** 32:10  
**Lewin** 3:12 180:20,20  
**liaison** 21:22  
**liaisons** 2:18 15:8  
 16:19 17:8 21:19  
**lied** 308:14  
**life** 81:3,4 88:19 237:11  
 277:18 278:1,10  
 342:16 352:4,7  
**light** 174:9 376:2  
**liked** 60:8 145:5 321:18  
**likewise** 296:4,14  
**limit** 202:16  
**limited** 113:8,17 129:14  
 165:7 306:19 320:10  
 364:2  
**limits** 60:19  
**Lindsey** 2:10 14:9  
 267:12 269:16 312:2  
 313:12 318:3  
**line** 66:9 70:3 78:6  
 124:11,15,19,21  
 132:17 162:14 202:20  
 226:4 231:2,3,13  
 261:12 262:20 276:13  
 276:20,22 281:4  
 300:1 365:14  
**line-** 30:21  
**linear** 69:20  
**lines** 69:13 82:7 91:4  
 152:10 155:19 179:21  
 211:18 281:5,6,16,22

282:5 299:19  
**link** 41:5 309:15  
**linking** 42:12  
**Lisa** 3:12 229:10 238:3  
 239:20 248:17 249:21  
 253:1 259:5  
**list** 34:11,14,22 47:12  
 61:10 67:1,8,10 96:2  
 96:11 97:8 98:12  
 99:15 107:9 113:11  
 116:2 118:15 122:7  
 169:11 177:5 196:13  
 203:20 215:14 221:17  
 234:18 236:21 260:4  
 293:5,9 331:11,13  
 338:1 359:3 366:17  
 368:10 382:7  
**listable** 237:21  
**listed** 18:18 26:19  
 118:13 121:22 122:4  
 123:14 124:6 236:14  
 238:8  
**listen** 19:13 114:9  
**listening** 6:19 177:19  
 209:13 251:22 286:2  
**listing** 176:22  
**lists** 58:8 137:16  
**literature** 182:6  
**little** 29:7,10 30:12 50:9  
 50:10,12,19,20 51:2  
 55:1 58:10,14 59:8,18  
 64:8 79:16 91:18  
 101:1 108:11 109:6  
 115:1 129:12,14  
 138:20 140:9 156:6  
 167:2 168:4 170:10  
 174:2 190:5 205:9  
 207:14 214:8 216:19  
 220:12 237:16 242:14  
 248:10 249:14 256:15  
 261:20 264:20 266:6  
 266:7 275:13 276:10  
 300:2 312:18 322:20  
 337:7,16 343:2,17  
 346:19 362:21 367:21  
 375:4  
**live** 90:11,19 111:16,17  
 227:9  
**lively** 94:22  
**living** 32:2 42:4 155:3  
 179:14 225:17  
**loads** 309:22  
**local** 264:4 282:15  
 362:11  
**locally** 377:8,20  
**located** 101:6  
**location** 86:1 102:9  
**locus** 148:22 186:14

**logic** 140:2 312:15  
**long** 6:5 53:7 94:12  
 111:14 118:10 145:5  
 259:16 270:12  
**long-term** 90:7,10,17  
**longer** 12:9 111:16  
 268:22 329:19  
**longer-term** 228:12  
**longstanding** 111:18  
**look** 17:14 24:1 25:15  
 29:6,7 30:11 35:19,21  
 38:10 41:4 45:16  
 47:10 52:10 62:2  
 88:17 106:7 119:17  
 119:18,20 141:9  
 144:6 160:19,21  
 162:1 163:5 170:20  
 172:22 182:13 186:11  
 186:21 187:1 204:19  
 204:22 207:15,17  
 215:21 227:7 228:11  
 256:6,12 259:4,5,6  
 274:11 276:5,17  
 277:19 286:10 288:8  
 289:22 295:8,20  
 296:13 297:15 298:17  
 308:4,6,10,19 313:8  
 314:14 316:9 319:14  
 322:22 337:15 343:12  
 344:18 345:10 348:4  
 353:6 356:3 361:1,5  
 361:20 365:8 366:4  
 367:7 375:1,3,4,12,15  
 377:2 379:1  
**looked** 52:17 53:3  
 96:18 118:16 122:14  
 123:3 159:13 170:4  
 194:17 197:12 198:1  
 206:7,9 210:5 216:1  
 217:4 219:2 255:7  
 260:17 286:17 288:20  
 314:10 316:1 319:18  
 319:19 336:13 337:5  
 361:2  
**looking** 35:4 38:9 41:13  
 50:16 74:3 78:17,21  
 85:5 95:13 103:5  
 111:21 122:15 152:19  
 161:16 162:20 180:7  
 207:8 225:21 251:22  
 255:2,8,12,21 257:13  
 258:22 272:5 274:1,2  
 275:20 294:9 296:16  
 296:18 298:10,11  
 303:20 304:15 311:21  
 313:16 315:13 325:16  
 326:3 347:5,6 352:15  
 355:5 357:14,15,21

362:2 366:12 371:16  
 371:17 378:3  
**looks** 78:18 117:9  
 172:12 186:6 272:11  
 327:15 330:21 377:4  
**loop** 58:6 62:15 205:13  
 218:14 346:1  
**lose** 115:19  
**losing** 299:13  
**lost** 180:13 309:8  
**lot** 12:9 23:3 24:22 25:3  
 39:22 40:13 46:18  
 48:4 54:22 68:3 90:10  
 101:15 102:2 121:4  
 129:11 134:3 138:15  
 140:14 144:13,17,22  
 150:11 159:16 163:16  
 166:7 167:5 186:13  
 190:20 192:11 198:6  
 207:11 214:3 215:5  
 242:3,20 249:5,7,10  
 256:11 262:14 263:20  
 271:2 295:2,10 296:2  
 299:13 312:6 313:1  
 315:19 320:2 322:17  
 326:11 327:12,12  
 331:14 335:7 339:12  
 356:18 365:5 366:5  
 375:7 376:3 379:6  
**lots** 97:11 246:18 267:6  
**loud** 43:7 330:14  
**love** 36:19 105:12 160:4  
 233:1 336:10,19  
**lovely** 49:22  
**low** 5:6 157:11 170:5,14  
 171:1 180:9 181:2,3,7  
 251:9  
**low-hanging** 192:8,12  
**lower** 164:8 204:12  
**lowered** 164:15  
**luckily** 331:15 335:4  
**lucky** 167:19 252:4  
**lumbar** 5:6 180:9 181:1  
 181:3,6 182:1  
**lump** 47:7  
**lunch** 184:2,5  
**luxury** 142:15

---

**M**

---

**ma'am** 124:16 152:12  
**machine** 122:18  
**machines** 130:11  
**madness** 112:15  
**main** 80:22 100:18  
 120:10 151:13 162:4  
 172:22 200:6 259:19  
**maintaining** 98:21  
 99:12 101:14



<b>maintenance</b> 99:14 245:16,18	21:20 381:21	65:6,21 68:19 69:8,22	<b>meat</b> 65:19 67:12
<b>major</b> 61:16 134:8 135:3 197:22 202:20 258:15 297:14	<b>MARISSA</b> 2:20	72:5 91:21 105:10	<b>mechanism</b> 380:3
<b>majority</b> 123:6 141:12 159:7 190:1,1	<b>mark</b> 309:17	108:10 115:8 134:5	<b>med</b> 82:13 85:1,8,12 86:1,2,7,14,20,20,21 87:4 90:9,14 92:5 129:8 185:6
<b>making</b> 30:8 33:8 39:3 59:22 65:17 75:19 94:5 110:16 132:6 152:4 154:19 179:13 214:2 225:18 241:4 242:14 300:20 327:8 336:1 350:17	<b>market</b> 91:20 117:12 362:11	138:14 139:11 141:10 143:13,17 145:14 148:13 150:10 154:8 170:2 200:20 204:9 204:10,13 205:22 207:2 210:3,6 213:1,5 214:8 219:13,14 226:11 243:20 253:4 289:22 291:10 298:5 300:9 303:10 314:13 314:15,18 315:15,19 317:20 334:6,14 352:3 372:13 378:4	<b>median</b> 170:19 231:10 <b>medians</b> 170:18 <b>Medicaid</b> 2:15,18 3:7,9 3:11 5:9 13:15 21:18 169:20 <b>Medicaid-related</b> 22:2 <b>medical</b> 1:19 2:1 9:16 9:20 10:2,18 89:9 208:7,16 209:6 258:20 272:11 307:18 308:5 <b>Medicare</b> 2:14 3:7,9,10 5:8 13:15 22:17 28:11 153:22 164:21 181:17 229:16 299:12 <b>medication</b> 4:10 31:13 80:5,7 84:11,19 87:18 88:1,5 89:6 93:15 329:10 342:17 349:13 <b>medications</b> 88:21 93:14 340:16 <b>medicine</b> 3:7 14:16 25:5 335:19 365:3 <b>medium-sized</b> 91:6 <b>Medtronic</b> 343:20 <b>Medtronic's</b> 9:3 <b>Medtronic-Minimally</b> 2:2 <b>meet</b> 78:12 79:7 100:19 153:19 224:19 332:14 357:2 <b>meeting</b> 4:4 6:19 20:19 47:15 58:12,14 72:17 74:6 78:7,9 79:2 92:10 95:20 145:9 158:10 190:21 191:1 191:6 202:19 304:21 329:5 379:10,16 <b>meetings</b> 57:20 58:20 61:2 63:10 184:19 381:9 <b>meets</b> 84:12 86:12,13 <b>Melissa</b> 3:3 6:7 21:9 <b>members</b> 1:12 2:11 7:8 17:9 49:17 53:1 56:4 58:7 73:18 74:8,19 75:12,21 76:9 171:3 183:1,14 311:11 341:20 366:3 <b>memorized</b> 33:15 <b>Memphis</b> 15:11 <b>mental</b> 13:9,10
<b>man's</b> 142:10	<b>MARTIN</b> 1:19	<b>meaning</b> 314:22	
<b>manage</b> 155:11 338:1	<b>Marty</b> 10:19 48:9 49:14 91:13 105:2 293:12 363:19	<b>meaningful</b> 4:5 5:9 22:10 24:17 26:7,12 27:2 28:1 29:17,22 30:15,17 31:20 32:2 33:11,18 36:19 41:2 41:19 42:13,20 43:9 43:14,16 45:8,13 46:14 54:7 67:5 160:1 240:10 265:11 277:4 281:10 293:17 329:6 356:21 368:21 369:4 369:9 371:14 372:18 374:20 <b>meaningfulness</b> 280:22 <b>means</b> 148:11 213:10 282:13 296:22 334:9 358:10 369:20 <b>meant</b> 56:13 62:17 <b>measure's</b> 166:20 <b>measure-removal</b> 35:11 53:14 <b>measure-selection</b> 56:14 <b>measured</b> 85:13 122:8 149:5 311:10 <b>measurement</b> 7:4 22:15 25:18 29:17,22 31:20 32:3 33:3,5,11 34:19 41:2 56:20 83:4 83:5,9 85:18 107:13 153:22 161:20 189:9 189:10 214:13 254:10 277:3 287:2 294:17 309:15 329:6 353:2 368:13 <b>measurer</b> 279:14 <b>measuring</b> 91:2 97:9 107:12 116:17 149:2 157:19 161:20 208:20 250:17 327:9	
<b>management</b> 31:14,14 84:17 87:19 88:1 181:14 278:7	<b>Marsha</b> 1:21 11:6 310:13 311:14		
<b>manager</b> 3:4 21:8	<b>Mass</b> 8:20		
<b>mandatory</b> 314:6	<b>Massachusetts</b> 2:5		
<b>manifest</b> 34:6	<b>match</b> 51:18		
<b>manner</b> 297:18	<b>material</b> 165:8		
<b>Manning</b> 1:21 11:6,6 285:10 291:16 292:21 310:13,14 333:12 345:22 346:5 348:16 349:5,9,12,19	<b>math</b> 221:8		
<b>map</b> 2:18 6:8,13 19:6 21:13,21,22 22:19 27:4 35:13 47:8 51:2 55:22 56:2,4,6,9 57:4 57:7,15 58:2,7 59:13 60:11,20 61:11 62:4 63:14 66:2,6 68:5 69:15 73:12 78:11 90:6 128:18 135:18 165:8 187:4 198:20 199:2 205:12 209:15 211:16,19 227:7 243:16 244:9,12 328:8 329:3 368:10 372:4 381:9	<b>matrix</b> 369:14		
<b>MAP's</b> 47:12 58:5 60:15 63:7,14,18 78:12 127:2 199:5,16	<b>Matt</b> 185:22		
<b>mapping</b> 50:1,5,14 51:8 240:4 249:4,11	<b>matter</b> 2:6 7:10 8:12,18 12:7,8 13:5,9,13,19 14:10 15:15 16:3 38:5 51:20 72:11 103:2 110:11 128:5 184:10 189:5 208:13 209:6 276:19 382:20		
<b>maps</b> 52:6 59:19	<b>matters</b> 52:2 134:21 212:8		
<b>margin</b> 110:22	<b>maturation</b> 47:8 207:22 216:19		
<b>marginal</b> 316:13	<b>maturity</b> 66:3		
<b>Maria</b> 382:13	<b>maximum</b> 79:10		
<b>Marie</b> 2:9 108:1 185:15 197:3 205:18 256:19	<b>McKernan</b> 3:12 180:18 180:19		
<b>Marie's</b> 255:5	<b>McQueston</b> 3:4 21:7,8 54:13 71:10 86:10 130:20 131:2,6 132:1 137:14 146:6 148:4 149:17 150:1 151:4 178:17 220:11,22 221:2 223:6,11,15 224:13 283:20 284:3 284:9,12,15 302:13 302:18 324:7,10 325:5,14,21 351:10 351:17 353:20 354:5 354:21 355:7 379:4 379:18 380:11,13		
<b>Marinelarena</b> 3:3 6:3,7 21:9,10	<b>MD</b> 2:14,19 3:6,8,10,11 3:12 16:3		
<b>Marisa</b> 2:4 7:22 8:1,5	<b>mean</b> 12:22 27:8 39:8 45:4 47:14 50:14,22 51:5 52:6 63:13 64:12		

**mention** 88:8 203:13  
**mentioned** 27:13 45:5  
 48:7 52:6 103:10  
 145:22 146:12 159:6  
 162:18 165:15 185:16  
 287:21 311:6 339:16  
 350:3 355:19 356:18  
 357:6 358:18 374:13  
**mentions** 131:21  
**merely** 102:12  
**merits** 203:3,4  
**messages** 199:1  
**Messana** 3:11 125:1,2  
**met** 1:9 21:1 145:3  
**metastatic** 230:15  
 252:11 254:7  
**method** 13:19 112:15  
 376:15  
**methodology** 13:19,21  
 104:21 200:2 201:7,7  
 230:17  
**methods** 185:18,19  
 197:2 210:7 261:17  
 310:6 345:9 349:1  
**metric** 234:21 235:6,14  
 235:20 236:9,10  
 237:5 238:19 239:7  
 262:1  
**metrics** 37:20 50:10  
 83:8 91:15,16 208:13  
 209:5,6 234:13 236:8  
 236:12,16 366:19  
**MHS** 3:6  
**MI** 263:15  
**mic** 126:15 241:13  
 252:16 253:2 266:18  
 275:2,3 283:17 291:8  
 299:2 303:1,3 304:8  
 304:14 323:2 324:18  
 325:19 353:4  
**Michelle** 382:14  
**Michigan** 1:21 3:11  
 11:7 125:3  
**middle** 59:15 263:5,16  
**midst** 191:5 242:4  
**mind** 22:11 50:4,13  
 114:22 160:17 168:6  
 201:12 214:4 264:15  
 325:11 374:3  
**mine** 272:11  
**minimal** 68:8 319:13  
 320:15 321:2  
**Minimally** 9:3  
**minimize** 24:7  
**minimum** 68:6  
**minor** 61:18 134:7  
**minuses** 52:4  
**minute** 337:22

**minutes** 188:13  
**MIPS** 39:15  
**mirror** 213:20  
**misguided** 274:6  
**misheard** 319:6  
**mismatch** 236:10  
**misnomer** 212:5  
**misperception** 144:9  
**missed** 100:8 203:14  
 317:15 360:1 368:16  
**missing** 36:22 41:22  
 221:6 366:21  
**mission** 33:10  
**mistakes** 336:17  
**mix** 165:18,18 287:13  
 287:16  
**mixed** 139:5  
**model** 117:10 119:16  
 122:19 123:2 185:21  
 197:11 230:20 260:22  
 306:8 316:13,19  
 319:8,8 320:4 321:1  
**modeled** 312:10  
**modeling** 280:17  
**models** 28:5 54:4  
 319:16,22  
**modifying** 109:8  
**moment** 70:18 239:4  
 241:7 248:15 264:7  
 317:22 328:17 333:8  
 362:8  
**money** 337:2 375:11  
**monitor** 353:13  
**monitored** 93:16  
**month** 58:15 92:10  
**monthly** 94:15  
**months** 23:2 35:20  
 217:13 218:5 230:22  
 319:20 336:14 377:12  
 377:18  
**morbidity** 99:2 161:9  
 263:9  
**morning** 6:3 7:1 10:4,7  
 10:10,16 11:9,12 14:9  
 21:4 22:13 59:8 92:20  
 125:1,4 184:17 185:6  
 188:3 189:18 191:7  
 191:12 221:15 223:22  
 334:13 356:2 358:19  
**Morrison** 2:9 14:15,15  
 110:10 131:19 144:21  
 188:17 256:20 279:5  
 279:8 297:10  
**mortality** 5:13,15,17  
 14:3 80:19 99:2  
 121:18 123:16 226:13  
 227:1,9 229:14,22  
 230:3,10 231:6,9,10

232:1,5 233:6,14,17  
 241:21 243:2 250:17  
 251:11,13,14,15,15  
 253:15 254:19 256:7  
 257:1,14 259:1,13  
 261:21 262:21,22  
 263:1 264:2 270:11  
 270:17 271:2 274:1,2  
 276:18 277:1,13,19  
 278:7,17 280:9,10  
 281:11 283:6 288:18  
 290:8 292:20 304:3  
 309:10 311:10 315:6  
 352:20 361:2,18  
**mother's** 272:12  
**Mothers** 1:19 10:2  
**motions** 88:9 132:6  
 150:7 191:18 285:3  
**motive** 105:9  
**Mount** 14:16  
**move** 21:17 24:20,21  
 27:5 28:3,16,22 29:13  
 29:20 30:5 31:2,10,16  
 31:22 33:7,12 49:7  
 60:15 67:4 94:2 95:5  
 108:8 127:11 130:1  
 140:19 150:21 152:18  
 153:6,11 178:12  
 183:17 193:6,8 199:5  
 211:20 222:12,13  
 224:9 265:7,21  
 274:11 285:7,14  
 294:6 304:1 323:12  
 328:15 353:18 356:16  
 358:15  
**moved** 95:17 217:18  
 246:15 342:5  
**movement** 43:14  
**moves** 91:20 187:2  
 294:19,20  
**moving** 19:3 58:20  
 121:1 128:2 243:13  
 321:3 330:2 355:2,8  
 355:10 365:17,17  
 366:5,9  
**MPH** 2:14 3:1  
**MRI** 181:2,6  
**MUC** 34:10,14,22 47:12  
 58:8 61:10 67:1,8,10  
 130:16 150:21 331:11  
 331:13 359:2 368:10  
 371:11 382:6  
**MUC17-176** 4:10 82:13  
**MUC17-178** 4:19  
 150:22 152:22  
**MUC17-195** 5:12,15  
 232:8  
**MUC17-196** 5:16 304:2

**MUC17-210** 5:18 326:3  
**MUC17-223** 5:6 180:8  
**MUC17-233** 5:1  
**MUC17-241** 4:12 82:14  
 95:5 220:9  
**MUC17-245** 4:14 82:14  
 146:1 148:4 221:16  
**MUCs** 75:7  
**muddled** 175:11  
**muddy** 343:2  
**multi-** 50:10 263:8  
**multi-year** 36:8  
**multiple** 26:11 42:20  
 50:13,18,20 51:3,11  
 51:21 52:7,8 63:9  
 67:19 71:16 80:6  
 155:5 206:11 280:6  
 306:14 309:12 332:2  
**multiples** 51:18  
**multitude** 120:15  
**Munthali** 3:1 6:22 7:1,2  
 8:15 9:5,8 11:14,22  
 12:3 14:13,19,22 15:6  
 15:14 16:17 17:1,6,14  
 18:3 243:17 245:15  
 245:19,22 246:6,9  
 338:15,18,22 339:5  
 344:9,22 345:3  
**mute** 351:15  
**mutually** 43:1

---

**N**

---

**n** 4:1,1 308:2,11  
**N.W** 1:10  
**naive** 315:12  
**naloxone** 327:16,18  
 330:21 331:6 332:11  
 343:1  
**name** 6:7 7:2 8:3 21:4  
 88:13 98:5 163:15  
 180:19 206:6 229:10  
**Nancy** 1:16 10:11 37:5  
 38:11 65:3 66:19  
 82:18 95:11,11 130:4  
 132:14 140:20 144:22  
 145:12 146:21 147:9  
 158:20,21 160:10  
 174:8 188:7 191:12  
 193:21 213:21 218:10  
 232:15,16 234:4  
 256:16 257:13 261:20  
 289:11 301:13 304:7  
 304:7,13 311:15  
 322:11 323:6 324:2  
 326:7,10,12 328:12  
 332:22 339:16 350:16  
 359:22  
**Nancy's** 67:17 97:18

100:12 129:18 130:15  
 161:16 222:21 236:4  
 291:4 297:1 313:15  
 323:18 351:22  
**Narcen** 335:15 352:1,5  
 352:9 353:15  
**narrow** 52:18 298:2  
**narrowly** 298:1  
**national** 1:1,9,16 2:3  
 8:22 10:5 16:5 25:5  
 51:8 95:16 125:8  
 237:13 242:7 258:2,5  
 280:19 289:18 306:18  
**nationally** 182:18  
 280:19  
**nature** 69:1 89:19  
 300:15 301:7  
**nausea** 164:12  
**navigation** 155:13  
**NCCN** 16:4  
**near** 102:10  
**nearly** 231:11  
**necessarily** 42:21 44:7  
 60:13 85:15 101:10  
 104:17 108:19 117:15  
 119:18 186:2 187:5  
 199:3 206:17 252:13  
 282:18 368:19 369:19  
**necessary** 112:18  
 201:18 233:8 271:5  
 305:16 364:17  
**need** 38:4 41:17 57:10  
 59:3 67:14 71:21  
 74:16 75:12 84:21  
 89:20,21 96:15  
 104:14 106:12 110:2  
 112:1 113:18,19  
 114:17 116:5 118:2  
 121:1 134:20 136:10  
 142:14 145:19,20  
 160:20 161:22 162:5  
 168:4 183:21 188:9  
 189:4 194:6,13  
 195:13 196:5 198:17  
 198:22 202:4 211:4  
 222:17 228:10 234:1  
 237:3 244:17 257:6,7  
 272:14,16 275:13  
 278:21 279:16 295:9  
 300:9 301:19 310:20  
 336:18 337:16 342:6  
 345:5 348:9 349:2,17  
 353:2 354:16 358:7  
 359:5 366:19 371:20  
 373:11 374:1,2  
**needed** 84:16 104:11  
 176:17 282:19  
**needing** 113:21

**needle** 114:11  
**needs** 38:2 57:9 59:2  
 65:12 68:22 89:10  
 95:15 97:4 104:1,19  
 106:13 116:19 118:3  
 134:17 161:11,11  
 194:15,16 196:7  
 201:13 296:19 297:6  
 311:3 341:17 342:2  
 348:11 358:10  
**negate** 199:4 205:16  
**negatively** 277:12  
**neighborhoods** 274:14  
 274:16  
**neither** 16:6 205:16  
 218:13  
**nephrologist** 93:3 98:6  
 106:6,14 107:14  
 109:15 111:20 113:9  
 120:4 206:1  
**nephrology** 8:13  
 106:20 113:17  
**Network** 16:5 25:7 29:2  
 39:20  
**networks** 335:8  
**neutral** 163:20  
**neutrally** 270:1  
**never** 168:14 171:3  
 257:19 354:2  
**new** 6:12 13:10 18:8  
 19:8,22 23:4 33:22  
 34:1,2 74:11,16,17,21  
 79:17 92:21,22,22  
 151:22 158:8 183:5  
 185:17 207:16 215:12  
 217:7 264:18 334:7  
 345:6 350:15 360:13  
 376:15  
**NIA** 13:20  
**nice** 23:3,4 64:5 65:21  
 66:16 175:2  
**NIH** 13:20  
**nine** 18:13 238:6  
 265:21 302:18  
**Nitty** 382:14  
**nodding** 321:21  
**Nolan** 1:21 11:3,3 115:4  
 146:20  
**nominated** 12:19  
**non-** 168:19  
**non-for-profit** 111:4  
**non-for-profits** 119:9  
**non-surgical** 231:3  
**NON-VOTING** 2:11  
**nonsupport** 120:17  
**nontrivial** 80:14  
**nonvoting** 17:9  
**Northern** 322:16

**not-** 115:6  
**not-for-profit** 286:13  
**note** 44:18 57:18 58:3  
 71:18 73:5 76:4 80:3  
 80:6,9 81:3 119:8  
 172:8 299:16 331:10  
 352:13 356:22  
**noted** 25:9 34:12 35:9  
 59:3 63:16 80:15  
 104:4 187:17 197:3  
 211:18 230:20 329:18  
 351:22 371:10  
**notes** 63:10 152:19  
 197:10 323:18  
**notice** 35:18 154:4,7,9  
 154:20 155:9 356:6  
 362:13  
**noticed** 174:3 309:17  
**noting** 81:7 313:19  
**notion** 118:20 360:21  
**novel** 13:21  
**November** 202:19  
**NQF** 3:1,4 17:19 21:8  
 21:12 28:21 55:17  
 57:9 61:19 62:7 68:14  
 68:19 69:5,9 70:1,8  
 85:2 91:9 92:22 97:2  
 100:19 110:13 112:5  
 122:11 125:10,12,19  
 137:1,15 146:7  
 157:12 158:7 159:5  
 173:7 180:13 182:11  
 185:13 186:5 187:1  
 188:20 189:13 194:3  
 196:20 197:7 198:2  
 198:17 199:6,18  
 200:8 201:5,13,16,21  
 203:5 204:11,20  
 208:6 210:17 214:20  
 215:20 221:19 232:10  
 233:22 243:9,15  
 244:7,12 248:3 249:9  
 249:17,19 251:19  
 256:22 257:9 261:17  
 280:3 285:11,15,19  
 288:14 290:1,18  
 291:5 292:5 298:17  
 298:19 300:22 305:20  
 307:3 323:15 326:15  
 332:19 333:15 344:4  
 345:13,17 349:3  
 381:19  
**NQF's** 19:9 56:19  
 196:21 197:1  
**NQF-endorsed** 200:21  
 201:1  
**NQG** 330:6  
**number** 18:7 20:16

23:16 25:10,20 27:6  
 31:20 33:14 48:15  
 57:5 67:11 82:4 88:21  
 89:3 93:14 96:9 98:10  
 109:20 118:8 146:3  
 152:14 155:22 165:7  
 180:3 182:3 226:3  
 241:15 242:5 251:9  
 258:19 260:3 280:22  
 287:7 306:19 314:18  
 319:18 348:4 350:4  
 369:11 374:6  
**numbers** 118:17,17  
 119:21 251:12 272:12  
 286:17,17,18 299:21  
**numerator** 182:16  
**numerous** 277:17  
**nursing** 1:17 8:9,13  
 13:13 258:8

---

**O**


---

**O** 4:1  
**o'clock** 354:16 373:21  
 374:8,13  
**O'Rourke** 3:3 21:14  
 59:7 64:18 70:7  
 124:14,19,22 135:17  
 136:7 140:7 184:13  
 196:16 198:15 200:5  
 202:11 204:17 211:12  
 216:9 221:6,12  
 284:22 353:10 378:12  
 378:20 379:1  
**object** 353:21  
**objection** 75:5 353:11  
**objections** 353:17  
**objective** 375:17  
**objectives** 4:4 73:13  
**obligated** 380:14  
**obscure** 43:22 274:18  
**observation** 157:9  
 161:12 375:22  
**observed** 257:16 298:6  
**obstructive** 340:11  
**obtaining** 81:7  
**obvious** 287:4 365:6  
**obviously** 14:17 36:8  
 196:17 198:15 199:2  
 205:12 216:6 227:12  
 247:6 260:15 265:17  
 273:2 280:10 334:6  
**occasionally** 177:8  
**occur** 94:13 118:7  
 219:8  
**occurred** 107:10,11,21  
 129:15 139:5 144:14  
 150:15,15  
**occurs** 118:7

**October** 345:4,10  
**odds** 128:16,17  
**offense** 120:3  
**offer** 23:10 66:9,11,17  
 126:6 138:13 226:11  
 268:7 272:20 289:2  
**offered** 138:3,7 196:17  
**office** 11:8 13:10 161:6  
 166:13  
**officer** 93:2  
**official** 350:16 353:21  
**officially** 48:13  
**offline** 187:7  
**oftentimes** 27:10 51:9  
**old** 245:4  
**older** 14:17 245:4  
 249:13  
**oligopoly** 117:13  
**once** 71:1 75:2 82:22  
 95:2 159:13 193:3  
 200:7 288:3 380:2  
**one-to-one** 50:14  
**ones** 50:17,18 81:16  
 113:7 116:15 135:3  
 172:20 185:7 239:11  
 308:8,9 369:14,17  
 377:19  
**ongoing** 40:11 171:11  
 338:20  
**online** 265:9,14 362:8  
**open** 30:7 36:17 40:4  
 76:7 124:12,14,19,21  
 125:16 128:11 149:12  
 152:10 155:19 171:15  
 177:9 179:21 286:10  
 307:7 317:21 372:7  
**opening** 4:5 22:9  
 199:20 226:9 228:21  
 268:6  
**operate** 91:17  
**operating** 331:2  
**operational** 268:17  
 358:21 359:4 365:12  
 366:1  
**operationalize** 61:4  
**operationalized** 60:7  
**operationalizes** 62:22  
**operator** 82:2,6 124:14  
 124:16,21 152:9,12  
 152:16 155:18,20  
 179:20 180:1 226:1  
**opiate** 340:13,20,22  
**opine** 66:6  
**opinion** 66:10,11,18  
 76:6 185:4 190:3  
 196:11 322:9  
**opinions** 76:10  
**opioid** 5:18 226:20,21

326:4 327:5,13 328:3  
 329:17 331:5 334:2,4  
 338:1  
**opioid-naive** 336:1  
**opioids** 67:19,19 327:6  
 327:9 329:9,13 331:1  
 331:8 332:13  
**opportunities** 19:19  
 39:6 40:6 49:9 190:16  
 344:13  
**opportunity** 4:8,17,21  
 5:4,10 19:2 23:7  
 27:15,21 33:13 36:4  
 40:13 43:8 101:10  
 126:7 182:22 195:16  
 247:22 252:6 339:14  
**oppose** 131:11,12  
 135:13 175:12,15,18  
 284:6,7,8 302:16  
 354:3  
**opposed** 63:13 130:17  
 135:12 149:20,22  
 175:17 220:21 221:3  
 223:9 236:20 325:8  
 327:10 340:4,8 341:2  
**opposing** 354:8  
**opposition** 131:16  
**OPPS** 181:20  
**optimally** 56:18  
**option** 76:15 143:1  
 316:4  
**options** 99:8 128:11,16  
 141:20,22 316:2  
**order** 23:18 66:6 67:14  
 194:3 226:17 233:16  
 252:1 310:21 335:17  
**orders** 200:14  
**organization** 119:3  
 237:15 258:2,6,12  
 283:7  
**organizational** 7:9,12  
 7:21 8:16 9:21 11:16  
**organizations** 13:7  
 37:19 38:3,10 164:16  
 258:10 286:21 350:4  
**organize** 50:3  
**organized** 72:18,22  
**original** 77:5 127:14  
 206:6 214:20 235:1  
 268:6,6 293:9 331:12  
 351:22  
**originally** 16:9 57:21  
**Orlowski** 2:1 10:16,17  
 92:20 93:9 106:2  
 160:18 162:10 173:17  
 208:5 217:9 218:2  
 265:13  
**orthopedic** 157:22

**other's** 151:17  
**ought** 138:16 144:9  
 196:9 361:4  
**outcome** 27:6,11 109:6  
 155:9 157:6,20 158:4  
 165:10 185:16 197:4  
 230:5 357:7,9,12  
 363:8,10 366:5,6  
 374:21  
**outcomes** 3:8 48:22  
 79:12 114:13 119:19  
 120:12 124:5 156:8  
 157:2,3 180:21  
 363:13 366:14 372:18  
 372:22 373:3,7,17,22  
 374:5,7  
**outlier** 276:19  
**outliers** 159:15 165:7  
 238:7,11 251:10,10  
 277:3  
**outlined** 81:15 84:13  
 92:16 191:12 302:4  
 305:3  
**outlining** 328:13  
**outlying** 286:16  
**outpatient** 5:3 178:14  
 178:20 179:13  
**outpatients** 181:19  
**outside** 62:4 166:17  
 366:13  
**overall** 25:16 54:17  
 84:10 86:18 89:11  
 109:9 118:18 125:21  
 231:15 261:12 263:2  
 263:4 281:11 294:10  
 295:4 296:3 357:21  
**overarching** 33:19  
 43:21 237:6  
**overcome** 236:12  
**overriding** 238:18  
**overrule** 190:2,4,6  
**oversees** 339:9  
**overturn** 132:4 139:16  
 141:7  
**overturned** 141:15  
**overturns** 141:5  
**overuse** 182:19  
**overview** 4:6,7,16,20  
 5:3,8 53:20 54:11,14  
 54:19,19 56:7 70:16  
 151:2 179:8 333:2  
 354:18  
**Owens** 2:12 17:3,4  
**ownership** 192:5

---

**P**


---

**P-R-O-C-E-E-D-I-N-G-S**  
 6:1

**p.m** 184:11,12 382:21  
**PA** 141:8,11,16 143:8  
 178:6  
**PAC** 359:1  
**PAC/LTC** 61:21  
**page** 215:19 218:20  
 254:1 306:4 335:22  
**pages** 39:1 225:9,9  
**paid** 12:15 200:9  
 202:22  
**pain** 5:7 161:11 164:12  
 180:9 181:2,3,7  
 335:21 339:10,10  
 340:15,19 353:1  
**painful** 224:17  
**painting** 120:4  
**pair** 143:20  
**paired** 97:20  
**palliative** 14:16 237:14  
 237:19 242:5,15  
 252:12 253:16 257:22  
 258:2,4,6,10,11,17,21  
 335:20  
**Pam** 2:12 17:1,3  
**panel** 164:7 166:8  
 182:7 185:18 186:13  
 188:18 196:22 197:2  
 198:10 210:7,9  
 250:11 316:16 317:2  
 345:9 349:1  
**paper** 29:2  
**papers** 277:11  
**Paperwork** 23:21  
**paraphrasing** 321:20  
**parsimonious** 26:7  
**parsimony** 47:9  
**part** 24:12,14,16 26:4  
 44:6 45:19 49:8 51:22  
 69:5 71:22 72:2 101:2  
 113:14 135:18 153:7  
 166:6 168:17 176:19  
 177:1 184:7 190:14  
 196:22 197:12,15  
 199:12,15 200:4  
 210:5 216:2,16 234:6  
 285:2 292:22 293:1  
 294:15 298:19 299:6  
 301:2 305:8,9 311:9  
 315:22 319:7 326:18  
 331:19 342:9 345:6  
 349:5,9 352:14  
 367:13 374:2  
**PARTICIPANT** 266:21  
 285:8 289:9 303:7  
**participants** 18:8 46:15  
 71:14 303:20  
**participate** 7:15 76:9  
 153:18 224:19

**participation** 39:22  
**particular** 23:2 29:3  
 30:17 32:8 34:3 42:18  
 42:19 51:10 63:19  
 69:17 81:5 93:4  
 125:15 139:7 175:20  
 180:7 198:2 208:11  
 210:20 222:16 225:21  
 227:20 233:3 241:17  
 244:14 270:15 271:15  
 280:8 287:5 293:7  
 305:14 341:11 355:19  
 356:22 357:1,4  
 358:19 369:18,20  
 370:15 381:14  
**particularly** 27:16  
 32:12 53:1 72:10  
 144:22 173:21 174:17  
 188:20 200:3 295:3  
 371:14 376:2  
**partly** 49:4  
**partner** 9:18  
**Partners** 1:14 9:15  
 102:20  
**Partners'** 100:15  
**PARTNERSHIP** 1:3  
**parts** 7:7  
**pass** 59:5 77:10,18  
 129:22 150:6 192:1  
**passed** 129:9 137:11  
 206:12 303:13  
**passes** 135:16  
**passing** 325:12  
**patchwork** 307:17  
**path** 114:3 167:20  
 214:17  
**pathway** 60:3  
**patience** 380:2,10,15  
**patient** 1:19 10:20  
 24:11 26:16 30:2 31:6  
 40:1 80:20 81:5 84:8  
 84:18 85:7 88:15,19  
 88:20 89:16 96:11  
 98:14 99:1,7 100:2  
 101:18 106:13,17  
 107:17 110:6 111:20  
 114:4,8,14 116:20,21  
 117:3,6 119:2,18  
 121:22 122:4,7 124:4  
 124:6 145:22 155:1  
 171:5 179:14 181:8  
 225:16 233:9,16  
 252:7 271:3 280:6  
 281:1,18,20 283:2  
 296:9 313:3 327:20  
 331:2 340:14 344:17  
 352:3 372:22 373:2  
 373:16,22 374:5,6,20

**patient's** 31:5 105:13  
 113:15 123:12  
**patient-level** 169:21  
**Paul** 88:14 90:3  
**pause** 32:4 62:16 64:19  
 70:18 82:5,11 92:2  
**pay** 70:12 80:10 97:2  
 115:1 153:15 178:20  
 224:15 235:12,12  
 273:16 305:14 323:21  
**pay-for-performance**  
 79:3  
**Payback** 224:1  
**payer** 28:12  
**payers** 28:9,13,13  
 38:18 39:14,22 85:4  
 91:16 115:10 362:11  
**paying** 16:7 192:13  
 225:1  
**payment** 4:16 15:21  
 28:4,4 39:21 54:4  
 56:11 79:9 83:5,9  
 151:12 153:18 179:2  
 224:21  
**payment-side** 32:21  
**payments** 27:18 79:6  
 329:21  
**PCHQR** 4:16  
**PDF** 337:15  
**PDSA** 208:1  
**PDSAing** 207:14  
**peaceful** 175:3  
**pending** 105:5 158:20  
 199:18 200:1  
**people** 25:9 49:8,17  
 50:2,6 60:8 64:19  
 82:9 84:4 87:10 90:19  
 91:14 94:19 97:8  
 111:16 112:15 113:3  
 113:7,10 115:17,18  
 116:7,19 130:12  
 134:3 136:1,1,2,15  
 143:21 144:17,22  
 149:2 150:4 166:21  
 168:2 177:10 183:20  
 184:18 185:8,20  
 189:15 192:4 199:12  
 208:19 209:2 220:13  
 235:22 237:10 240:2  
 241:3 249:20 252:11  
 253:16 254:20 256:5  
 256:8 257:11 258:19  
 259:3 272:2 275:13  
 275:21 277:16 278:14  
 279:20 287:4,20  
 288:10 293:20 295:3  
 303:7 308:17 317:2  
 321:21 326:11 331:17

336:3 339:18 349:15  
 352:1 360:17 364:18  
 375:7 376:4 378:13  
 382:3,16  
**people's** 327:17 336:10  
**percent** 71:14 77:9,13  
 77:18,22 79:10  
 108:20 110:19,20,22  
 117:12,19 121:18  
 122:18 123:5 127:8  
 136:9 137:11 139:20  
 139:22 140:8 141:15  
 141:21 142:9,18,20  
 144:6,7,8 148:10  
 153:17 157:14 182:14  
 189:19,19 190:4  
 196:10 231:10,11  
 238:6,6,7 329:19,19  
 329:20 332:9 342:10  
**percentage** 4:12 32:20  
 95:6 97:7 181:5  
 302:22  
**percentages** 341:3  
**percentile** 170:21  
**perfect** 110:17 112:3  
 190:9 208:18 257:20  
 269:7,9 293:13 294:7  
 298:4 305:11 363:4,5  
**perform** 263:3 319:22  
**performance** 5:18  
 27:19,22 28:2 29:9  
 79:8 100:21 165:7,15  
 166:1 235:12 238:5  
 261:6 281:15 282:1  
 314:19 316:13 319:15  
 326:4 357:13,15,18  
 357:22 358:5 361:3  
 372:16  
**performed** 163:21  
 231:15  
**performer** 314:21,21  
**performers** 314:20,21  
**performing** 163:20  
 280:19  
**performs** 357:14  
**period** 74:18 165:4,5  
 244:5 250:6 272:13  
 313:18 314:4 324:10  
 328:2 340:17 379:13  
**perioperative** 340:17  
**peritoneal** 106:10  
**Permanente** 322:15  
**person** 31:3 63:9 72:17  
 132:16 152:5 154:20  
 208:20 287:19 375:13  
**person-family** 48:12  
**personal** 93:4 312:8  
 322:9

**personalized** 31:4  
**Personally** 238:16  
**persons** 149:2  
**perspective** 37:17  
 47:11,21 52:4 63:6  
 75:13 88:16 111:22  
 168:5 199:6 262:13  
 274:22 275:6 285:1  
 328:13,22 346:18  
**pertinent** 85:21 368:17  
**pervasive** 365:21  
**pharmacist** 342:16  
**Pharmacists** 11:11  
**pharmacists'** 258:9  
**Pharmacy** 1:15 11:12  
**phase** 332:18 345:2  
**phases** 65:20  
**phone** 6:19 7:20 9:9  
 11:15 12:5 16:20 17:2  
 21:19 22:1 49:18 63:9  
 82:7 130:21 135:8  
 147:13 187:8 220:14  
 220:15 221:7,9 223:7  
 226:4 283:22 284:7  
 298:22 302:5,11  
 303:19,19,21 323:7  
 324:21 335:2 351:2  
 378:11,14 379:10  
 382:9  
**phonetic** 382:11,12,15  
**phrase** 138:15 217:21  
**physical** 81:1 181:11  
 287:19  
**physician** 161:20 292:2  
 340:20  
**physicians** 242:9 258:8  
**pick** 161:18  
**picture** 294:10  
**piece** 19:11,14 240:12  
 249:21 293:17 309:7  
 352:16 364:7  
**pieces** 267:1 382:17  
**Pierre** 2:14 16:21 19:16  
 22:8,14 37:2,7 40:15  
 43:6 45:22 48:10  
 53:20 62:21 93:6  
 97:15 159:22 200:16  
 211:17 226:8 235:2  
 344:10 353:2 354:19  
 360:2 366:16 372:8  
 376:5  
**pills** 89:4  
**pinpointing** 275:8,9  
**pipeline** 273:8  
**pitchers** 109:22  
**Pittman** 2:2 10:22,22  
 183:3 313:14 371:2  
 371:21 376:7 380:12

**place** 117:14 119:17  
184:4 188:4 235:14  
271:4 273:16 287:8  
360:2 364:16,21  
365:8  
**placed** 25:22 113:19  
115:17 116:2 119:4  
**places** 192:12 286:14  
341:5  
**placing** 114:10  
**plain** 47:16 310:7  
**plan** 113:14,15 122:11  
251:18 344:20  
**planned** 157:9 162:20  
167:17,17 168:11  
169:8,10,14 177:9  
**planning** 215:3  
**plans** 128:22 330:9  
**plant** 336:10  
**plastic** 156:17  
**platform** 328:19  
**platforms** 305:17  
**play** 96:17 107:3,18  
115:8 159:18 295:22  
352:4,12  
**played** 190:11  
**plays** 20:17  
**please** 17:17 82:3  
124:17 128:9 130:13  
130:21 131:6 147:12  
147:13 152:10,13  
155:21 171:16 173:16  
180:2 187:7 222:11  
223:10 226:2 264:15  
275:3 283:19 284:6  
291:13 302:4,17  
324:5,16 325:8 351:2  
356:17 380:16,21  
**please-look-here** 62:9  
**plugged** 99:9  
**plurality** 196:8  
**plus** 223:7 305:21  
319:17 346:15  
**pluses** 52:4  
**point** 20:1 26:21 33:15  
38:14 39:2 45:5,20  
49:10 53:22 56:6 66:3  
68:10 76:4 85:1 101:4  
104:18 105:3,12  
114:6 121:5,5 126:10  
128:18 131:5 132:6  
144:12 145:19 148:10  
148:22 152:19 156:16  
166:2 195:7 204:14  
212:5 240:22 248:13  
249:15 266:4,5,21  
267:5,8 271:22 272:3  
273:13,19 276:22

297:1 307:2 319:5  
320:5,20 322:12  
324:12 329:5 360:5  
361:16 362:7,9  
363:12 370:22  
**pointed** 136:8 210:4  
298:5 340:11  
**pointing** 177:15 335:13  
**points** 38:16 66:20  
76:12 186:8 322:10  
**policy** 15:20 49:10  
63:22 72:11 111:22  
117:9 258:22  
**polite** 374:18  
**Pollock** 2:13 10:13,13  
43:6 47:1 270:9  
273:11 294:12  
**poor** 174:1,6 259:17  
263:3,7  
**poorest** 174:1,6  
**poorly** 102:12 263:4  
**pop** 192:17  
**pops** 17:15  
**popular** 159:3  
**population** 29:2 80:4  
80:14 84:18 112:1  
164:21 173:22 236:18  
260:1,6 263:10 278:6  
278:15 299:14  
**populations** 53:3 146:3  
312:15 366:4  
**portfolio** 344:17  
**position** 120:3,6 153:11  
286:8 314:17  
**positions** 16:7  
**positive** 93:1 332:8  
**possible** 26:9 27:11  
106:16 108:8,19  
299:5 300:12 330:20  
381:20  
**post-** 252:17,19,22  
**Post-admission** 252:21  
**post-hospital** 310:5  
**post-surgery** 165:1  
**posting** 379:11  
**potential** 35:10 91:15  
105:15 216:13 234:18  
235:20 237:21 250:18  
277:14 292:17 293:14  
313:10 353:13  
**potentially** 35:6 41:22  
50:21 52:10 58:17  
124:7 235:4,14  
236:15 246:17 258:16  
260:1 268:1 355:2  
**power** 263:17  
**PPPW** 4:13 98:14  
**PPS-Exempt** 151:5

**PPS-PCHQR** 4:18  
**PPS)-** 4:16  
**practical** 70:18 155:12  
212:8  
**practice** 94:12 164:4  
166:2 294:14  
**practices** 32:1 151:17  
155:2,11 179:14  
225:17  
**practicing** 121:21  
166:12  
**pre-emptive** 119:14  
**pre-ESRD** 113:8  
**pre-rulemaking** 4:6,9  
4:18 5:1,6,12,14  
78:16  
**pre-steps** 274:7  
**preceding** 181:12,14  
**precise** 209:5  
**predicated** 308:22  
**predicating** 308:1  
309:14  
**predicted** 332:8  
**predominately** 280:2  
**prefer** 27:10 201:17  
279:18 378:7  
**preference** 200:21  
357:7,8,11  
**preferred** 316:7,17  
363:9  
**preliminary** 55:18 56:3  
73:3,6,12 74:10,21  
75:6,15 76:5 77:22  
130:2 136:13 137:12  
139:17 141:1,2  
144:13,16,18 146:4  
147:4 152:22 158:19  
160:9 178:4 180:10  
182:8 232:8 304:4  
328:11 330:5 350:14  
**Premier** 2:2 11:1  
**premium** 115:19,19  
**prep** 20:5  
**preparation** 211:2  
304:21  
**prepare** 20:17  
**preparing** 80:10  
**prerogative** 126:19  
185:11  
**presence** 103:15  
**present** 1:12 3:6,14  
31:1 73:10 137:18  
281:9  
**presentation** 16:1 19:7  
23:12,16 33:13 40:3  
40:16 41:12 307:14  
**presentations** 18:16  
72:13 140:21 379:3

**presented** 76:12 136:12  
235:2 262:14 322:14  
331:21  
**presenting** 189:21  
318:16 329:3  
**presents** 106:17  
**preserve** 60:8  
**President** 3:2 7:3  
**presiding** 1:11  
**press** 8:2 82:3 124:17  
152:14 155:21 226:2  
**pressing** 63:22 64:1  
**pressure** 116:21  
**presumably** 216:21  
**presuppose** 210:12  
**pretty** 89:6 90:9 113:6  
217:7 239:19 257:21  
273:7 293:11 295:15  
**prevalent** 4:12 65:16  
95:6 98:14 100:1  
114:4 123:19 128:21  
129:1,5,6  
**prevent** 172:5 258:17  
**preventable** 30:10  
164:10 329:8  
**preventative** 365:2  
**prevention** 2:13 10:14  
31:17 155:4,8  
**preview** 26:2  
**previous** 207:21 222:5  
222:15 309:8 318:5  
**previously** 57:6 179:9  
188:4 255:17 343:9  
**primarily** 232:10 242:20  
**primary** 51:13 365:4  
**principal** 107:22  
**principle** 254:3  
**principles** 30:4 71:12  
**prior** 57:2 58:8 60:12  
61:2 66:21 92:12  
95:20 149:8 181:8  
230:11,22,22 254:4,9  
310:16 319:20 321:7  
321:9 323:17 331:8  
**priorities** 19:18 63:22  
**priority** 79:22 113:19  
152:2 154:17 225:15  
**prism** 375:15  
**private** 28:13 115:10  
362:11  
**pro** 235:5,9,15,20 238:1  
**probable** 332:11  
**probably** 23:15,19  
37:12 46:4 53:6,11  
68:4 131:4 158:16  
179:9 184:1,3 213:19  
230:1 238:3 239:13  
239:20 257:11,20

264:10 268:11 269:10  
 296:12 312:8 316:8  
 329:12 344:9,16  
 353:18 370:3,9 371:1  
 374:7 378:10 380:6  
**problem** 51:6 133:8,10  
 134:5 141:17 161:3  
 257:18 334:2,16  
 341:11 363:22 377:14  
**problematic** 219:4,6,22  
**problems** 103:8 162:22  
 187:12,16 275:8,10  
 327:19  
**procedure** 163:21  
 164:1,9,14 165:18  
 167:8,21 168:19  
 169:10 251:15 309:19  
 331:18 350:6  
**procedures** 5:2 90:22  
 156:17,17,19 157:5  
 158:2,3 159:7,8  
 163:17 164:3 167:15  
 169:7,10,14 177:10  
 251:13  
**proceed** 63:18 69:14  
 81:20 88:10  
**proceedings** 70:6  
**processes** 69:11 85:8  
 145:6 187:3 207:12  
 217:14 322:18  
**processing** 194:16  
**produce** 79:12  
**produced** 321:2  
**professional** 93:4  
**professionals** 92:16  
**profile** 56:5  
**profit** 92:11 105:8  
 110:20,22 111:4  
 120:5,14  
**profits** 115:6  
**program** 4:8,8,17,21  
 5:4,8,9 18:21 19:3  
 32:15,17 34:1 39:16  
 43:9 44:6,7,9 54:19  
 55:4,7 57:7 63:20  
 72:11,14 78:20,22  
 79:4,5,11,15,20 80:22  
 83:3,7 95:17 151:6,6  
 151:8,13,14,19,20  
 153:15,19,20 171:10  
 178:14,15,20 179:3  
 181:5 183:7 224:10  
 224:11,12,15,16,19  
 224:21 235:11,11  
 242:5 246:16 268:2,8  
 268:14 269:1,3,11  
 291:7 300:6 313:18  
 313:21 326:19 347:13

354:18 366:11 370:15  
 371:20  
**program-specific** 56:15  
**programmatic** 73:13  
**programs** 15:22 18:15  
 22:18 24:14,15 25:8  
 25:11 26:8 27:18  
 30:15,16 31:1 34:21  
 35:5 42:9 44:13 45:1  
 47:18 54:20 56:11  
 73:1 78:13,17 224:22  
 249:1 262:3 275:12  
 275:13,14,15 311:9  
 356:4 357:2,20 366:9  
 368:11 371:4,11  
**progress** 37:22 42:16  
 293:16  
**progressed** 225:1  
**progression** 119:14  
**project** 1:19 3:4,5 10:20  
 21:5,8 84:9 151:3  
**prolong** 237:11  
**prominent** 342:22  
**promote** 32:1 39:6 57:7  
 237:7 364:16  
**promoting** 40:8 153:20  
 155:1  
**promotion** 31:11,17  
**properly** 288:2 338:6  
 366:7  
**properties** 45:15 97:4  
 326:17  
**proponents** 101:20  
**proportion** 170:14,16  
**proportions** 171:1  
**proposal** 47:13 95:1  
 192:1  
**proposals** 100:18  
**propose** 58:4 83:18,21  
 83:22 138:5 195:10  
 199:13,13 205:17  
 222:6 247:5 292:12  
 347:16,20  
**proposed** 47:16 100:13  
 141:4 147:5 148:12  
 154:11,13 188:7  
 200:13 292:11 326:18  
 347:6  
**proposing** 63:19 95:22  
**pros** 234:12,18,21  
 236:2 238:12 240:22  
**Prospective** 4:16  
 151:12  
**prospectively** 52:17  
**protect** 111:13 112:2,9  
**protected** 209:1  
**protocol** 133:4  
**prove** 178:7

**proven** 91:11  
**provide** 37:9 43:9 54:18  
 56:4,13 58:7 59:5  
 73:7 79:5 93:1 138:9  
 151:9 179:3 185:19  
 209:8 225:3,5 310:5  
 318:6,8  
**provided** 79:2 195:15  
 218:16 313:3 351:1  
**provider** 34:3,7 37:16  
 38:18 40:1 49:12  
 114:11 240:16 292:1  
 292:1 296:5,7,11  
**providers** 24:9 25:22  
 27:2 38:4 80:6 88:22  
 91:7 93:19 115:15  
 161:21 165:12 225:1  
 356:21 360:8,11  
 365:19 368:22 372:19  
**provides** 81:20  
**providing** 107:19 179:6  
 179:7 259:18  
**provocation** 192:11  
 193:2  
**provoking** 38:13  
**proximity** 309:19  
**psych** 78:19  
**Psychiatric** 1:17 9:1  
**psychometric** 45:14  
**public** 4:8,17,21 5:4,10  
 38:3,7 42:7 56:11  
 78:10 79:3 81:13 82:1  
 82:6,9 115:10 152:10  
 152:15,17 153:15  
 155:17,19,22 156:2  
 157:18 166:9 167:4  
 169:4 177:7,12  
 178:21 179:21 180:3  
 180:6 182:12 224:16  
 225:22 226:4 258:22  
 281:7 331:14 343:21  
 360:8 379:13  
**publicly** 26:3 271:8  
 273:15 274:4 295:3  
 341:16  
**published** 151:7 288:16  
 301:6  
**pull** 17:20 73:19 74:20  
 100:9 144:12 153:3,5  
 157:8 168:18 183:1  
 183:14 304:12 320:3  
 338:6 342:18  
**pulled** 53:15 73:15,22  
 74:4,12 81:16,17  
 82:17,19 84:4 95:10  
 146:22 147:6 152:20  
 153:1 156:19 158:21  
 158:22 168:18 182:21

191:12 232:11,12,16  
 232:18 255:16 304:6  
 326:6,12  
**puller** 81:18 326:7,10  
**pulling** 74:9 232:21  
 313:20  
**pulls** 168:16 309:2  
**purchasers** 310:20  
**purchasing** 44:9  
 200:18 336:12 339:20  
 340:5 341:15  
**purposely** 188:13  
**purposes** 72:20 101:16  
 271:16 273:22 283:3  
 294:22 295:1  
**pursue** 114:14  
**pursued** 315:18  
**purview** 183:11  
**push** 108:18 180:2  
 240:14 288:9  
**pushes** 240:18  
**pushing** 48:15 109:1  
 242:13  
**put** 34:22 35:17 47:17  
 62:6 67:1,7 70:9  
 74:17 77:8,20,21  
 82:21 89:21 95:15  
 96:22 97:12 98:12  
 103:20 105:10 114:15  
 117:7 127:6 140:22  
 141:7 143:20,22  
 147:9 166:8 170:12  
 177:6 198:5,13  
 199:14 200:6,17  
 212:10 214:10 216:7  
 231:17,18 239:3,5  
 240:2,13 245:3  
 246:16 253:5 268:10  
 289:20 293:5,8  
 305:18 321:10 323:17  
 333:9 334:10 340:1  
 360:5 364:15 368:9  
 368:11 382:4  
**puts** 184:8  
**putting** 116:21 248:13  
 260:17 291:7 336:13  
 339:20  
**puzzled** 159:6  
**puzzling** 159:21

---

**Q**


---

**QIN-QIO** 16:6  
**QINs** 46:6 336:18  
**QIP** 4:8,9  
**qualification** 341:4  
**qualify** 118:10  
**qualitative** 315:1  
**quality** 1:1,9,15,17 2:12

4:7,16,21 5:4,8 7:4  
 8:9 11:12 13:17,21  
 14:12 15:22 17:5  
 22:15,17 24:4,13,14  
 25:18,19 26:14,15,16  
 33:5,21,22 36:13,14  
 36:14 37:20 39:10  
 44:6 48:13 49:11 51:9  
 56:20 78:22 79:11  
 81:3 88:19 93:21  
 95:16 125:8 151:5,6,9  
 151:13,15 153:14,21  
 154:2 155:5,7 157:1  
 163:18 164:8 171:10  
 178:14,20 179:4,6  
 208:17 224:10 225:2  
 225:6,22 229:22  
 259:17 261:6 262:1  
 264:3 270:13 271:1  
 271:13,16 274:19  
 282:3 283:2 294:16  
 295:1,5 296:4,8,10,14  
 297:4,20,20 313:6  
 340:4 341:16 358:9  
 358:11 360:20  
**quality-improvement**  
 33:2  
**quantitative** 182:8,9  
 315:4  
**quantity** 80:5 225:2  
**quarter** 35:1 224:20  
**quarterly** 94:15 336:22  
**quartile** 170:17  
**quartiles** 170:13,20  
**queried** 339:11  
**question** 7:13 34:4  
 40:20 41:11 43:12  
 45:3 86:6 108:4  
 118:21 125:5 126:11  
 132:10 138:1 139:3  
 140:18 161:19 173:2  
 173:6 183:3 194:1  
 197:13 198:5 202:3  
 204:5,6 205:1,8 211:8  
 218:3 245:11 246:14  
 255:6 260:12 261:15  
 262:15,18 263:1,6,11  
 263:13,22 266:3  
 267:11 268:5,13,16  
 270:2 273:14 281:14  
 282:12 289:20 291:4  
 291:4 299:2,4,11,16  
 309:16 314:8 315:12  
 317:17 318:17 321:7  
 321:9,10 334:15  
 356:8 358:6 370:10  
 371:2,10 372:7  
 374:15

**questioning** 213:7  
**questions** 17:12 18:1  
 23:18 33:16 34:8  
 36:18 38:13 55:21  
 64:17,19 74:13 95:21  
 97:11 141:6 203:11  
 212:19 214:4 230:18  
 247:17 269:19 342:16  
 344:1 368:8  
**quick** 71:11 157:17  
 167:20 303:17 330:14  
 365:19  
**quicker** 102:13  
**quickly** 23:18 26:20  
 30:6 49:21 129:6  
 297:15 339:20  
**Quinnonez** 3:5 21:4,5  
 21:15,17 153:13  
 303:17 379:7  
**quite** 42:1 43:4 163:8  
 210:4 217:16 258:14  
 272:9 354:22  
**quote-unquote** 142:15

## R

**R** 2:9  
**race** 169:19  
**raise** 96:7 130:12,16,18  
 131:9 134:9 135:6  
 147:12 148:2 149:14  
 212:4 220:10 222:22  
 305:2  
**raised** 57:19 91:15  
 95:21 125:6 126:11  
 134:15 198:1 205:2  
 210:3 216:5 217:17  
 218:4 243:12 259:5  
 298:18 305:21 307:10  
 328:1 359:8  
**raising** 105:11 153:5  
 289:22 299:1  
**random** 231:16 377:4  
**randomization** 282:13  
**randomly** 280:4 377:10  
**range** 104:6 156:11  
 165:15,22 231:9,11  
 238:3,5 357:15  
**ranges** 231:13  
**rank** 315:5  
**rankings** 298:11  
**rapid** 158:9 337:1  
**rare** 169:3  
**rate** 108:17 119:10  
 122:13,15,20,20  
 123:1 159:13 164:20  
 165:2,19,20 172:18  
 182:18 231:10 276:18  
 281:12 315:6 363:9

**rates** 80:17 154:20  
 157:11 166:21 170:7  
 170:19 358:2 361:18  
 361:19  
**ratings** 232:1,5 290:9  
 296:2,3  
**ratio** 4:14 82:15 113:2  
 165:16 185:7 257:16  
 259:1 271:2 280:15  
**rationale** 55:14 73:7  
 98:7 100:14 180:12  
 276:15  
**ratios** 231:6 257:14  
 270:11,17 272:1  
 298:6  
**re-admissions** 329:20  
 375:6  
**re-articulated** 214:22  
**re-do** 191:4 206:4  
**re-express** 236:4  
**re-figure** 144:10  
**re-look** 245:22 323:18  
**re-looked** 219:7  
**reach** 71:14,17 72:3  
 187:7 202:5 242:10  
**reached** 55:15 73:8  
 139:8,15 142:17  
 143:1 303:14  
**reaches** 141:12  
**reaching** 55:9 379:8  
**reacting** 327:10,12  
**reaction** 359:17  
**reactions** 359:13  
**read** 31:19 33:9 37:13  
 190:22 212:20 240:13  
 241:22 337:14  
**reading** 235:17 267:17  
**readjustment** 123:4  
**readmission** 108:12,17  
 121:11 150:22 168:11  
 206:13,16 243:1  
 249:1 276:12,13  
 277:1 281:17 306:12  
 361:19  
**readmission's** 366:11  
**readmissions** 4:19  
 32:15 108:12 168:13  
 277:12  
**ready** 20:1,5 57:9 68:5  
 76:10 151:2 323:12  
 344:19 350:21  
**real** 119:13 165:22  
 185:11 238:17 310:19  
 335:13 341:11 345:11  
 352:4,7 366:22  
 375:14  
**reality** 118:5 143:13  
 257:14 311:7

**realize** 32:15 63:3 85:14  
 114:19 122:6 199:9  
 199:10 239:9,13  
 362:17  
**realm** 271:1  
**realtime** 17:18  
**reason** 95:18 98:18  
 106:4 110:15 120:10  
 126:22 133:11,22  
 174:6 181:21 182:4  
 198:14 233:18 300:12  
 321:6 330:2 341:1  
 353:18  
**reasonable** 235:14  
 257:21 308:4  
**reasonably** 186:15  
**reasoning** 266:16  
**reasons** 57:5 70:18  
 74:19 98:17 102:19  
 103:12,19 107:5  
 120:9 146:3 158:22  
 164:17 195:12 211:17  
 257:1 357:4,5 358:7  
**reassure** 249:20  
**reassuring** 251:7  
**rebuttals** 112:17  
**rec** 82:14 85:1,8,12  
 86:1,2,7,14,20,21,21  
 87:4 92:5 129:8 185:6  
**receive** 59:21 70:10  
 71:21 77:11,12  
 137:15 179:1 224:20  
 330:6  
**received** 25:1 179:10  
**receives** 77:9,17  
**receiving** 4:11 58:1  
 115:18 116:7  
**reclassified** 123:5  
**recognition** 157:1  
**recognize** 20:13 21:18  
 58:17 65:17 84:11,19  
 130:13 227:15 263:11  
 310:18 381:14,19  
 382:7,9  
**recognized** 154:17  
 219:5  
**recommend** 106:3  
 125:18 162:12 233:4  
 308:3  
**recommendation** 75:6  
 75:15 76:5 97:12  
 105:4,16 127:2  
 128:19 129:10 130:18  
 132:4 139:1,7,9 141:3  
 141:11,16 159:1,1,4  
 160:15 162:9 163:4,8  
 171:22 182:2 183:4,7  
 189:7,11 195:21,22



197:21 199:5,22  
 234:2 241:10 290:20  
 305:1 328:7,11 334:7  
 334:10 350:14  
**recommendations** 23:8  
 58:5 63:18 67:15 75:9  
 127:1 133:9 134:1  
 147:14 192:2 196:9  
 199:16 309:8 313:15  
**recommended** 58:22  
 61:7,12 104:5 178:5  
 368:10  
**recommending** 116:10  
 196:5 213:21 313:17  
**recommends** 70:11  
**reconcile** 129:22 369:1  
**reconciled** 129:21  
**reconciliation** 4:10  
 80:7 84:12,20 87:18  
 88:2,5 89:9 90:9,14  
 90:21 92:17  
**reconsider** 212:4  
**reconvene** 378:14  
**record** 128:6 175:14  
 183:10,12 184:11  
 194:2 195:18 228:4  
 255:9,12 290:10  
 306:17 307:18 308:5  
 320:19 382:21  
**recreate** 282:14,16  
 284:20  
**recreated** 377:8,20  
**recuse** 338:12  
**redefined** 374:16  
**redesign** 344:14  
**redevelopment** 239:20  
 239:21  
**reduce** 155:14 260:1  
 342:10  
**reduced** 79:6 353:14  
**reducing** 365:16  
**reduction** 18:21 32:15  
 44:8 153:17 179:2  
 186:7 224:20 354:18  
**reductions** 79:9  
**redundancy** 104:10,12  
 104:13,15  
**Reena** 3:9 16:22 376:8  
 378:1 382:10  
**reevaluating** 382:5  
**reevaluation** 261:2  
**reference** 72:17 122:12  
**referenced** 115:15  
**referral** 81:9 106:5  
 107:11 109:3 119:10  
 258:17,21  
**referrals** 107:21 237:18  
**referred** 111:3 112:12

117:11,18 252:11  
**referring** 111:10  
**refers** 109:15 291:17  
**refine** 57:1,12,17 60:6  
 62:13 64:10,14 65:6  
 65:13,21 75:18 76:20  
 76:21 104:20 105:19  
 116:12 127:15 136:8  
 148:17,20 149:12,13  
 149:20,22 187:13  
 194:11,14 195:8  
 202:15 203:5 205:10  
 207:4 228:4 330:5  
 343:4,4 380:21  
**refinement** 59:4 77:1  
 341:17  
**refinements** 75:20 77:3  
 136:2 229:1 346:13  
**refining** 193:3  
**reflect** 19:18  
**reflected** 67:9 102:11  
 150:17  
**reflecting** 73:11 102:15  
**reflection** 31:7,9 34:16  
 259:17 261:21  
**reflections** 187:19  
**reflects** 269:16  
**reform** 39:21 202:8  
**refrain** 76:11  
**refresher** 54:15  
**regard** 43:10  
**regarding** 44:1 176:16  
 218:5 237:3  
**regardless** 42:22  
**regular** 35:17 73:16  
**regulations** 24:1  
**regulatory** 56:15 94:16  
**reimbursed** 15:20  
 181:20  
**reimbursement** 115:9  
 375:16  
**reinforce** 192:15  
**reinforcing** 250:5  
 251:16  
**reinvestigated** 149:3  
**reiterate** 110:13 120:3  
 188:9 218:18 308:15  
**reiterated** 58:15  
**reject** 112:6  
**related** 5:18 12:13  
 16:13 18:14 84:7  
 101:13 152:3 163:1  
 164:14 169:5 216:6  
 222:8 283:5 310:16  
 314:10 326:4 327:13  
 329:17 331:1 332:12  
 334:5 374:21  
**relates** 43:12 44:5

204:7  
**relationship** 44:16 96:4  
 114:7  
**relative** 183:12 247:17  
 267:11 300:14 314:17  
 314:19 350:20  
**relatively** 157:11 169:3  
 182:16 189:22  
**release** 42:17  
**released** 39:11 115:12  
**relevance** 293:7 311:20  
**relevant** 12:11 48:6  
 75:22 198:2 367:15  
**reliability** 123:2 189:14  
 205:5 231:14,19  
 306:15 307:1 315:2  
 348:18,19  
**reliable** 45:16 91:10  
**reliably** 102:11 320:11  
**relief** 312:19  
**relieve** 304:13  
**remain** 183:15  
**remained** 182:16  
**remaining** 75:7 158:1  
 378:5  
**remains** 82:20 95:3  
 345:18  
**remarkable** 231:9  
**remarks** 4:5 19:17 22:9  
 226:9 227:4 228:21  
 350:9  
**remember** 7:13 12:21  
 17:15 38:15 59:14  
 128:11 130:2 189:12  
**remind** 17:7 129:7  
 137:16 248:2 262:9  
 346:1  
**reminded** 139:4  
**reminder** 12:16  
**reminders** 12:20  
**reminds** 108:11  
**remised** 366:2  
**removal** 5:19 19:15  
 355:3 372:1,4  
**remove** 35:6 74:6  
**removed** 74:1 75:3,8  
 151:22 154:14 366:19  
**removing** 183:8 366:19  
 369:7,15 371:4,8  
**renal** 4:7 78:21 106:6,7  
 106:15 119:7,11  
 204:22  
**repeat** 136:4 265:15  
 285:8 307:10 310:15  
**repeatedly** 366:20  
**repeating** 76:12  
**replace** 372:11,20  
**replaced** 377:19

**replacement** 106:7  
 119:7  
**replacing** 79:18 300:5  
**report** 94:15 135:21  
 167:20 169:12 170:11  
 178:22 201:11 230:17  
 238:8 244:19 274:4  
 313:22 353:12 375:9  
 379:12  
**reported** 26:3 110:21  
 165:16 248:21 271:8  
 295:3 317:18,20  
 318:11 341:16 372:22  
 373:2,16,22 374:5,6  
**reporting** 4:16,21 5:4,8  
 22:17 24:15 25:22  
 28:11,12,13,15 34:1  
 38:22 44:6 56:11 79:3  
 94:14 151:5,6,13  
 153:14,15,16 157:19  
 171:10 178:14,20,21  
 178:21 224:11,15,16  
 235:12 273:15,16  
 306:11 313:19 314:2  
 314:4 317:22 324:13  
 324:15 340:3 342:13  
 373:6  
**reports** 26:2 135:19  
 244:20 281:19  
**represent** 229:22  
**representative** 7:15  
 9:21  
**representatives** 7:9,12  
 11:16 48:3  
**representing** 8:9,22  
 9:15 10:2 11:7,11,20  
 12:18 21:20,22 91:5  
**represents** 258:5,7,8  
 294:8  
**reps** 7:21 8:17  
**request** 49:5 125:11  
 249:22  
**requested** 76:2 262:16  
**requests** 43:3  
**require** 61:10 136:12  
 203:6 218:14 244:11  
 270:22 331:7  
**required** 79:8 179:1  
 222:2 268:21 313:22  
**requirement** 68:9 94:20  
 125:11 200:22 218:16  
**requirements** 24:2 34:2  
 38:18,22 56:16  
 153:19 208:11 224:20  
 357:1,3  
**requires** 239:7,19  
**rescue** 266:14 276:7  
**research** 2:12 3:9 13:14

13:16,17 14:1 17:4  
 156:8 174:13 177:6  
 180:21 225:13 258:3  
**residences** 90:19  
**residents** 352:8  
**resolving** 74:13  
**resource** 41:3  
**resources** 28:19 101:12  
 240:17  
**respect** 174:12 195:19  
 208:5 211:5 272:15  
**respectfully** 293:19  
 297:11  
**respective** 42:12  
**respiratory** 5:18 326:5  
 327:19 329:12 334:5  
 335:14  
**respond** 76:1 97:16,18  
 163:9 247:22 248:17  
 249:22 264:22 279:16  
 332:22  
**response** 12:2 17:13  
 18:2 49:19 121:6  
 339:18  
**responsibilities** 20:19  
**responsibility** 95:22  
 96:4 106:14 107:22  
 108:4 121:7 309:5,22  
**responsible** 275:12  
**rest** 171:15 260:6  
 271:10,11 307:8  
**restricted** 181:16  
**resubmit** 57:2,12,17  
 60:6 64:11,14 65:6,13  
 65:21 68:18 75:18  
 76:20,21 105:19  
 109:19 116:12 127:16  
 133:22 134:11,13  
 135:5,14 139:21  
 143:16 148:17,17,20  
 149:13,14,20,22  
 194:11,11,14 195:8  
 202:8,10,15 204:8  
 205:10 207:4,5 212:5  
 218:10 219:3,21  
 220:1 328:7 330:5  
 333:10 334:8,22  
 337:11 343:4 344:3  
 345:16 346:3 348:3,6  
 349:8 350:18 351:1  
 351:21 352:14  
**resubmits** 104:20  
**resubmitted** 211:11,16  
 348:12  
**result** 58:19 73:5,11  
 74:11 143:21 150:14  
 152:22 301:16 304:4  
 306:22 314:12

**results** 75:1 141:9  
 231:12,15,19,22  
 248:6,7 249:8 261:12  
 261:13 315:1,8,13,17  
 318:21,22 321:13  
**resume** 77:14,19  
**resumed** 128:6 184:11  
**retention** 164:13  
**rethink** 361:5,8 375:22  
**retire** 377:19  
**return** 127:12 167:17  
 170:7,19 173:21  
 175:8  
**review** 4:4,5 6:5 22:9  
 26:19 28:17 30:6  
 35:15 52:18 58:11  
 60:20 64:21 70:14  
 71:11 79:1 146:7  
 158:9,12,14 159:5  
 167:4 172:9 177:12  
 178:18 185:13 196:20  
 197:1,8 200:1 201:16  
 202:22 203:1,4 205:4  
 245:16 248:13 255:15  
 255:18,22 256:2  
 344:11 349:22  
**reviewed** 70:10 95:15  
 111:12 182:6 185:17  
 189:10 222:17 291:22  
 349:18  
**reviewing** 26:1,2,2 55:5  
 60:2 81:15 345:9  
**revise** 68:18 109:19  
 112:7 133:21 134:11  
 134:13 135:5,13  
 143:16 148:17 194:10  
 201:3,9 202:7,10  
 204:8 207:4 218:9  
 219:3,21 220:1  
 248:13 328:7 334:8  
 334:22 343:3 344:2  
 345:16 348:3,6 349:7  
 350:18,22 351:7,21  
 352:14  
**revised** 139:20 333:9  
 337:11 346:3 348:11  
**revision** 112:7 202:5  
 350:20  
**revisions** 134:7,7  
**revisit** 185:5 188:14  
 191:4  
**revisiting** 261:1  
**rich** 88:12 117:4 207:6  
 210:2 271:19 348:7  
 374:10 375:13  
**Richard** 1:20 2:19 9:11  
 21:21 88:14 105:10  
 294:1

**richer** 46:14 207:21  
**rid** 143:1 373:8  
**rightfully** 278:7  
**rigor** 214:15  
**rigorous** 69:1  
**ring** 160:6  
**rise** 206:3 361:17  
**risk** 5:12,15,16 53:4  
 96:7,12,15,16 110:5,6  
 146:9 157:15 158:5  
 159:17 164:8 169:21  
 171:4,7 185:20  
 197:11 205:21 216:3  
 216:3,6 227:22  
 229:13 230:20,20  
 231:4 233:7,16 249:7  
 251:1,4 256:1 260:21  
 260:21 261:8 265:16  
 267:19 280:10 282:19  
 298:5 304:3 305:16  
 306:8 310:2 312:11  
 313:9 315:7 316:10  
 316:13 318:20 319:8  
 319:21 320:2,4,6,7,7  
 323:22 328:2 329:20  
 341:2 343:13 364:4  
 364:16,17 366:7,7  
**risk** 165:9  
**risk-adjusted** 164:18  
**risks** 365:6  
**Roach** 3:10 87:17 98:5  
 98:5 121:3 124:18  
**road** 214:2 342:4 381:1  
**Robert** 382:10  
**Robert's** 200:14  
**robust** 46:13 96:12  
 110:5 296:21 331:22  
 332:18  
**robustness** 267:20  
**role** 20:16 27:9 80:10  
 107:4,18 115:12  
 190:14 296:1  
**roll** 41:2,9  
**rolling** 41:4  
**Ron** 16:2 20:12 47:5  
 48:8 64:18 66:3 95:12  
 126:8 127:18 136:16  
 140:17 147:6 153:9  
 178:8 187:18 188:17  
 193:10,22 197:18  
 214:16 215:16 224:3  
 224:6 380:14 381:14  
**Ron's** 18:5 53:22  
**Ronald** 1:11,13 155:16  
**rookie** 9:15 203:22  
**rookie-ness** 202:3  
**room** 1:10 7:20 9:10  
 13:2,4 14:14 16:19,20

25:2 48:3 82:10 91:19  
 92:4 117:20 126:3  
 134:3 152:17 156:3  
 159:16 161:5 163:2  
 173:22 175:9 180:6  
 188:2,18 195:5  
 209:22 221:20,22  
 223:18 226:6 237:9  
 238:14 251:6 303:16  
 312:7 331:3 352:8  
 354:11 356:10 382:8  
**rope** 348:14  
**round** 158:9 354:11  
**rounds** 93:13  
**row** 377:3  
**RPh** 2:20  
**rule** 83:22 171:9 200:14  
**rulemaking** 35:18 56:22  
 57:1,2,3,5,9,16 69:16  
 132:5,8 153:1,7  
 157:20 180:12 183:17  
 193:7 199:14 205:15  
 232:9 304:5 323:14  
 356:7  
**rules** 47:16 56:12  
 118:12 141:13 371:19  
**run** 53:7 191:2  
**rural** 2:3 19:6,10 259:11  
 263:10 286:15 300:20  
 341:10 355:9 378:5  
 378:15  
**Rutgers** 8:22

---

**S**

---

**S** 4:1  
**safe** 294:10  
**safeguard** 24:4  
**safely** 340:17  
**safer** 30:8 154:19  
 179:13  
**safety** 24:4 80:16 84:8  
 88:3 344:17  
**sake** 215:17  
**salient** 307:4  
**salt** 342:6  
**sample** 231:16 306:18  
 332:5  
**sampling** 282:16  
**Sarah** 1:21 11:3 115:3  
 146:19  
**Sarah's** 120:19  
**Sardone** 124:18,20  
**sat** 34:13 64:3  
**save** 23:18 269:18  
**saw** 159:14 233:21  
 243:10 277:11 316:4  
 356:18 382:5  
**saying** 17:16 59:19

60:10 61:7 64:7 113:6  
 141:19,21 142:16  
 168:2 213:18 256:21  
 293:3 308:19 309:5  
 352:5 369:2  
**says** 21:15 94:1 107:1  
 110:7 266:8 274:13  
 367:4  
**scale** 46:5,7 79:10  
**scared** 312:4  
**scenario** 166:20  
**schedule** 93:15 379:9  
**scheduled** 126:20  
**schedules** 22:22 378:4  
**Schlaifer** 2:20 21:20  
**School** 3:6  
**scientific** 97:4 185:18  
 189:14 197:2 203:3,4  
 210:6 261:16 280:1  
 345:9  
**scope** 156:13 164:3  
**score** 79:8 163:12  
 165:16 166:16 232:5  
 290:8,9 298:11 370:2  
**scores** 170:1 182:16  
**Scott** 2:4 8:6  
**scrap** 372:9  
**screen** 127:6 226:18  
**screening** 155:4  
**SDS** 162:3 173:18  
 174:7 176:2 208:15  
 216:1,6 265:18  
 295:21  
**SDS-adjust** 161:14  
**se** 33:5 52:2 237:20  
**seamless** 31:15  
**Sean** 2:9 14:15,19  
 110:9 115:4 131:18  
 172:2 188:5,16  
 195:19 256:19 259:8  
 279:3,3 297:9 300:13  
 334:19  
**second** 32:5 81:19 96:6  
 132:15,16 148:1  
 160:12 205:8 218:19  
 304:1 340:10 354:2  
 382:2  
**secondarily** 197:13  
**secondary** 51:14  
 193:19  
**Secondly** 107:8  
**Secretary** 58:4 60:15  
 63:16 211:20 217:22  
**Secretary's** 199:4  
 205:17  
**seeing** 152:17 153:3,5  
 180:6 183:13 193:2  
 218:21 283:11 315:8

353:6 366:10  
**seeking** 159:22  
**Seema** 23:20  
**seen** 54:16 78:5 102:6  
 178:19 224:14 225:11  
 233:5,12,13 242:8  
 287:12 296:2 315:2  
 329:15 361:14  
**segment** 309:9  
**segments** 310:3  
**select** 50:11 377:10  
**selected** 113:5  
**selection** 56:1,8,18  
 73:12 167:6 286:20  
 362:15  
**selective** 67:7  
**selects** 37:18 280:4  
**self-management**  
 155:1  
**send** 61:17 62:7 112:7  
 201:8  
**sending** 278:8 292:18  
 377:16  
**senior** 3:1,2,3,3 6:8 7:3  
 21:10 126:2 180:19  
**sense** 35:6 83:20 103:6  
 176:21 227:13 273:11  
 286:4 360:9 375:17  
**sensitive** 92:7  
**sensitivity** 328:4  
**sent** 37:11 192:2  
 337:15  
**sentence** 114:22  
**separate** 60:2 77:5  
 170:15 307:19  
**separately** 267:14  
**sequence** 163:11  
**sequencing** 70:5  
**sequentially** 69:14  
**series** 55:20 72:19  
**serious** 14:18 89:6  
 175:9 339:21  
**serve** 14:10 15:19  
 16:15 56:5 143:4,4  
 273:21 374:20  
**served** 18:6 343:9  
**service** 1:21 11:3  
 181:18 198:22 231:2  
 231:3,13 261:12  
 262:20 273:19 276:13  
 276:19 281:3,5,6,16  
 281:22 282:5 299:11  
 299:19 300:1 339:10  
**services** 2:15 3:8,10,11  
 80:12 107:17 155:4  
 179:7 274:17 310:5  
**SES** 169:17,21 170:5,14  
 171:1 175:8,12

**session** 40:4  
**set** 19:21 25:16,16  
 36:19 42:2 52:18  
 62:18 82:1 91:20  
 96:12 100:5 117:11  
 130:10 141:14 145:12  
 154:5 164:2 186:16  
 239:8,17 306:7 308:4  
 356:13 359:11,15  
**sets** 19:18 25:12 35:4  
 35:22 36:12 39:11  
 55:7 56:10 243:2  
 356:3 371:18  
**setting** 87:9 166:18  
 167:12 171:5 182:12  
 216:10 334:3 348:20  
**settings** 87:11 90:15  
 155:12  
**setup** 264:12  
**seven** 157:7 165:3  
 168:22 170:11 172:3  
 309:18  
**seven-day** 165:1 168:1  
**several-fold** 110:16  
**shakes** 192:9,16  
**share** 19:4 61:5 62:22  
 71:1 151:16 162:17  
**shared** 121:8 122:5  
 336:15  
**Shenade** 2:2 9:2,2  
 173:5,12 240:8 241:9  
 285:14 317:9 343:20  
 345:11 346:4,8 347:8  
 347:11,18,21 348:1  
**Shield** 2:4 8:20  
**shifted** 32:16  
**short** 367:11 379:6  
**shorter** 336:20  
**shortly** 87:16  
**show** 172:5 196:5  
 247:15 282:4 306:21  
 377:17  
**showed** 38:21 172:7  
 332:8  
**shown** 74:16 173:21  
**shows** 194:2  
**sick** 123:15  
**side** 27:4,4 29:6 30:11  
 33:2 209:15,15,18,19  
 210:1 244:1  
**sides** 171:7 238:13  
**sigh** 312:19  
**sign** 251:5 330:22  
**signal** 201:8 230:1  
 292:19 320:2  
**significance** 52:21  
 206:4  
**significant** 27:20 58:17

61:9 99:5 121:17  
 122:17 159:15 197:10  
 201:6 233:4,12 265:4  
 334:12  
**signifies** 52:9  
**signs** 255:21  
**similar** 28:20 157:5,11  
 157:21 164:9,11  
 224:22 251:12 276:15  
 282:20 306:22 307:1  
 315:2 339:22 341:5  
 368:5  
**similarities** 243:11  
**similarly** 157:21 281:17  
 319:22  
**simple** 7:13 199:18  
 202:3 375:14,14  
**simpler** 215:5  
**Sinai** 14:17  
**single** 51:17 112:11  
 274:18 280:5,7  
 281:20 283:2 300:11  
 307:20  
**sir** 155:20  
**sit** 12:17 281:20 367:8  
**sits** 309:5 337:11  
**sitting** 23:11 109:5  
 153:4  
**situation** 118:1 178:1  
 180:15 188:3 370:11  
 370:15  
**situations** 162:21  
**six** 30:1 50:2 128:10  
 217:20 377:18  
**Sixteen** 302:13,15  
**size** 102:8,18 134:21,22  
 182:15  
**skin** 156:17 159:8  
 161:17 163:17  
**skipped** 317:8  
**skipping** 355:2  
**Slabach** 2:3 10:4,5  
 259:10 261:19 333:22  
**slate** 71:22  
**sleep** 340:12  
**slice** 45:10  
**slide** 24:20,21 26:19  
 28:16,22 29:20 30:5  
 30:12 31:2,10,16,22  
 33:7,12 36:17 38:21  
 55:1 57:13 78:6  
 151:20 152:21 154:4  
 154:16 178:18 356:16  
 356:19 358:15  
**slides** 38:16 39:1 40:19  
 41:7 53:12 69:4 235:1  
**sliding** 79:9  
**slightly** 280:17 316:12

376:14  
**slip** 299:10  
**slobbering** 340:18  
**slotted** 344:16  
**slow** 119:13 294:4  
**small** 56:4 91:6 122:18  
 123:2 259:12 349:20  
**smaller** 102:9 272:6  
**SMEs** 12:17  
**SMRs** 280:14  
**SNFs** 240:16  
**social** 96:16 107:17  
 159:17 161:3 170:9  
 258:9 366:7 376:16  
**socially** 230:4  
**Society** 11:10  
**sociodemographic**  
 102:3 120:16 169:18  
**socioeconomic** 123:9  
**sociology** 272:11  
**soliciting** 38:8  
**solve** 334:17  
**somebody** 103:19  
 111:9 141:5 173:13  
 289:7 300:12  
**soon** 217:7  
**sooner** 118:6 342:3  
**Sophia** 382:14  
**sorry** 8:1 11:18 15:9  
 16:18 31:6,7,7,14  
 40:22 78:17 122:3  
 130:22 145:19 146:9  
 152:21 156:5 199:11  
 203:3 206:5 253:7  
 255:5 266:19 270:7  
 290:5,12 302:7 317:8  
 317:15 324:9,20  
 338:18 350:8 354:1  
**sort** 24:5 25:7,11,13,15  
 26:1,7,13,22 27:5  
 28:3,20 29:4,15 32:21  
 32:22 33:8 34:17  
 36:18 38:21 39:2,6,11  
 39:21 40:6,7,7 42:1,4  
 45:4,7,8,10,12,15  
 51:13 52:9 53:16  
 63:11,22 64:8 67:6  
 69:16,20 70:3 91:22  
 104:7 106:21,22  
 107:19 116:3,5,15,17  
 117:2 133:8 138:17  
 139:13 174:1 184:20  
 188:12 189:7 197:22  
 198:1 234:13,16  
 238:18 275:8 279:22  
 280:21 288:6 311:5  
 315:20 320:3 356:1  
 358:13,18 359:11

362:16,20 366:22  
 370:14 371:16,21  
 373:7  
**sorted** 50:15  
**sound** 12:3  
**sounded** 176:20  
**Sounds** 229:9 355:12  
**space** 243:5 306:22  
**spaced** 306:6  
**spans** 309:11  
**sparing** 334:11  
**sparingly** 64:11 219:8  
 220:4  
**spark** 356:13  
**speak** 8:2 17:17 110:11  
 112:16,18 131:6  
 158:15 162:7 169:17  
 194:4 239:21 250:20  
 338:13 363:20 364:11  
**speaking** 12:13 210:16  
 307:20 365:10  
**speaks** 105:7 240:10  
**special** 18:15 186:6  
 200:9 216:9 323:21  
**specialist** 163:20,22  
**specific** 15:18 16:14  
 18:14 33:10,10 62:6  
 70:13 169:7,9 186:22  
 187:1 200:22 216:4  
 216:16 248:14 251:13  
 254:19 262:20 266:3  
 281:19 295:15 296:6  
 296:17 297:19,19  
 299:8 300:5,11 348:9  
 357:3 359:3  
**specifically** 151:10  
 157:7 158:15 162:6  
 199:16 215:21 217:5  
 219:1 237:4 241:20  
 259:4 295:20,21  
 343:13 348:11  
**specification** 291:18  
 313:11  
**specifications** 60:12  
 65:9 204:19 214:22  
 249:18 250:14 267:6  
 267:18 268:1 306:9  
 330:19 331:13,17,19  
 332:10 339:1 346:15  
**specifics** 169:15  
 241:21 296:12 333:9  
 337:12 350:19  
**specified** 61:17 205:1  
 228:7 291:17 329:4  
 330:17  
**specify** 62:1  
**specs** 62:3  
**spectrum** 119:11

367:18  
**spend** 184:22  
**spending** 267:2 337:2  
**spent** 322:17 327:12  
**spine** 5:6 180:9 181:1,3  
 181:6 182:1  
**spirit** 187:14  
**split** 72:5 144:18  
 231:16  
**spoke** 104:9 250:9  
**spoken** 112:16 294:1,2  
**spot** 67:17 105:11  
**spread** 377:2  
**stability** 101:14  
**staff** 3:1 20:9,14,17  
 55:17 63:9 72:13  
 73:10 114:7 125:12  
 138:8 141:2 142:13  
 142:21 146:4 151:1  
 178:16 185:11 189:8  
 190:2 191:10,17  
 194:21 195:21 196:1  
 196:4 198:21 207:7  
 222:1 224:12 243:9  
 285:1,11 289:14,22  
 290:20 291:13 305:3  
 333:7 334:7,9 348:5  
 348:13 381:19 382:3  
**staff's** 110:13 188:21  
 291:11  
**stage** 67:11 78:8 202:5  
 214:9 255:9 363:4,5  
**stakeholder** 71:17  
 235:8 238:15 250:7  
 250:12 281:1  
**stakeholders** 227:19  
 281:10 316:15  
**stand** 78:1 220:12  
 222:22 223:1,10  
 283:16,19 284:7  
 302:4,17 324:6,17  
 325:8,11,13,13 351:2  
**standalone** 308:8  
**standard** 125:6 175:13  
 208:17 229:13  
**standardization** 322:18  
**standardized** 4:14 5:13  
 5:15,17 55:12 231:5  
 259:1 270:11,17  
 274:3 304:3  
**standing** 62:2,7 70:12  
 125:16,19 126:10  
 137:18 172:9,12  
 186:4 196:20 198:2  
 198:11,20 203:1  
 204:21,22 205:7  
 210:8 216:12 217:3  
 223:12 348:22

**standpoint** 201:8 282:4  
 316:8 321:15  
**stands** 154:6 178:6  
 274:19  
**star** 82:4 124:17 152:14  
 155:21 180:2 226:2  
 232:1,5 290:9 296:2  
**stars** 154:8,8  
**start** 7:11,22 12:6 13:3  
 16:20 21:2 47:16 54:6  
 77:15 81:14 88:5  
 119:12 184:14 192:17  
 195:1 225:21 226:13  
 234:20 252:2 272:5  
 273:15 275:21 286:10  
 341:21 345:8 352:4  
 355:16 363:14 370:22  
 372:3  
**started** 6:5 22:7 30:14  
 34:9 53:21 54:11 60:2  
 105:18 113:11 142:4  
 158:11,17 172:1  
 184:2,3 188:22  
 213:18 214:17 226:7  
 235:17 249:13  
**starter** 356:13  
**starting** 35:2 36:1 47:14  
 56:5 215:22 271:4,22  
 272:3 273:13,16,19  
 359:15 360:4 362:7  
 363:12  
**state** 13:10 76:3 116:10  
 130:4 148:19 173:15  
 287:15 310:8 331:10  
**stated** 107:5 120:9  
 264:16  
**statement** 55:13 183:10  
 245:8 261:6  
**states** 28:14 231:10  
 257:6 258:7 323:1  
**static** 358:4  
**statistic** 185:21 216:5  
 231:13 260:5 261:21  
 295:1  
**statistical** 126:13,13  
 149:7 321:15  
**statistically** 122:16  
**statistics** 253:18 259:6  
 274:5,11,12  
**status** 111:7 115:7  
 123:9 169:19 171:13  
 233:15 236:5  
**statutory** 56:15 58:4  
 60:14 212:1 357:1,3  
**stay** 163:3 329:19 331:7  
**staying** 121:18  
**stays** 157:10  
**steeped** 189:9

**steering** 96:20 97:1  
115:13 159:20 174:18  
174:19 177:17,18  
222:7,16 292:13  
300:22  
**stellar** 196:2  
**stem** 358:13  
**step** 29:18 73:9 77:7  
284:18 313:15 361:5  
367:20  
**steps** 379:5  
**stimulate** 53:17  
**stock** 9:4  
**stop** 35:1 36:16 64:16  
155:17 184:4 204:15  
232:6 260:7 310:10  
**stopgap** 107:20  
**stops** 106:22  
**story** 171:12 271:10,11  
314:15  
**storytelling** 340:14  
**straight** 229:3  
**strategic** 20:7  
**strategy** 48:13 51:9  
72:11 228:12 327:21  
368:13  
**stratification** 32:18  
**stratified** 32:19 308:4  
318:7,9,21 341:3  
**stratify** 171:8  
**Street** 1:10  
**strength** 201:11  
**strengthening** 31:3  
**stress** 240:9  
**stretch** 305:11  
**stretches** 90:15  
**strikes** 309:20  
**striving** 37:22  
**stroke** 89:19  
**strong** 91:12 114:8  
190:3  
**strongly** 93:7 101:19  
120:18 125:18 144:14  
145:1 191:7 200:19  
201:4 252:8 343:14  
**structure** 117:9 153:16  
178:22 224:18 342:10  
**structured** 157:21  
202:21 209:16 330:20  
**struggle** 335:9  
**struggled** 163:15  
**struggles** 335:13  
**struggling** 140:3 194:8  
201:3 202:13 209:14  
376:4  
**STS** 12:1  
**studied** 255:17  
**studies** 173:20 181:6

**study** 112:11 121:17  
181:13,15 270:22  
334:22 361:9  
**stuff** 50:3 245:2 336:19  
336:22 337:3  
**sub-segment** 173:22  
**sub-specialists** 156:18  
**subdivided** 264:1  
**subject** 2:6 7:10 8:12  
8:18 12:7,8 13:5,9,13  
13:19 14:10 15:14  
16:3 73:2 77:6 103:1  
110:11  
**subjective** 101:8  
**submerged** 294:21  
**submission** 313:3  
345:17  
**submit** 66:2 69:10,22  
70:3 148:16 165:8  
199:20 201:21 227:14  
330:9 336:22 344:20  
345:6  
**submitted** 14:6 34:21  
125:7,15 137:15  
146:6 158:7 159:5  
164:16 198:17 199:7  
199:19 244:7 285:11  
291:20 307:3 326:15  
336:16 343:21 344:3  
345:5,13  
**submitting** 122:11  
227:7  
**Suboxone** 341:6,11  
**substantial** 80:10  
104:21 111:15 133:11  
134:4,8 204:7 205:20  
**substantially** 331:22  
**substantive** 59:2  
194:12 195:9 288:15  
**substantively** 158:11  
**success** 249:7  
**succinct** 34:15  
**sufficient** 67:13  
**suggest** 66:8 95:18  
174:15 241:8 285:1  
314:3 348:16 360:9  
368:3  
**suggested** 58:20 59:4  
75:18,20 77:1 136:1  
196:16 202:19 221:18  
235:9  
**suggesting** 122:21  
123:2 125:6 185:15  
**suggestion** 354:22  
**suggestions** 178:4  
185:12 346:22  
**suggests** 66:13  
**suite** 27:17

**Sullivan** 2:9 13:8,8  
108:3 205:19 255:6  
256:3  
**summary** 234:12 295:1  
**summer** 332:20  
**Sunshine** 16:9  
**super** 237:14  
**supplied** 318:15  
**supply** 283:1  
**supported** 60:1 115:14  
218:6 343:14  
**supporting** 21:13 44:17  
61:14 104:18 105:16  
106:3 164:17 183:5  
302:14  
**supportive** 193:6  
341:14  
**supports** 302:8,9,10  
**supposed** 178:9 195:22  
334:11 348:15 352:5  
352:9  
**surgeon's** 161:6  
**surgeons** 96:2 109:16  
156:15,19,22 158:3  
164:7 166:12,12  
**surgeons'** 156:14  
**surgeries** 156:11,13  
157:14  
**surgery** 4:20 5:2 14:1  
156:12,20 158:9  
159:9,10,14 160:2,3,5  
162:17,22 163:1  
164:4 167:6 170:13  
171:5,9 172:9,11,14  
174:16 176:3 177:11  
335:19  
**surgical** 5:2 153:14  
154:5 155:9 166:10  
231:4 309:19  
**surprise** 125:8 259:9  
377:18  
**surprised** 93:7  
**surrounded** 30:2,3  
**surveillance** 366:22  
367:10  
**survey** 370:7  
**survival** 99:1 123:13  
230:8  
**survive** 278:10  
**survived** 123:20 124:1  
**Susanna** 329:1 330:11  
**Susannah** 3:6 248:17  
250:3  
**Suter** 3:12 229:9,10  
250:2 254:2 255:14  
260:11 279:22 281:18  
282:2,6,9,17 283:8  
290:5,14,17,21 300:3

300:7 306:5 314:22  
316:12 318:12 319:1  
319:3,11,14 320:16  
**swath** 309:21  
**sway** 114:14  
**sword** 362:18  
**SWR** 4:15 98:8,19  
112:22 114:2 123:11  
**system** 1:15 4:16 15:3  
93:20 112:10 151:12  
155:13 179:5 242:2  
277:2 298:3 309:3  
310:22 322:17 335:6  
337:6 342:18  
**systematic** 308:4,12  
**systemic** 276:17  
**systems** 1:17 9:1 68:2  
91:1 286:12,13  
306:14 307:18 308:5  
308:6,6,7,9 310:20,21  
314:5 323:21 324:1  
377:15,21

---

**T**


---

**T** 4:1,1  
**table** 23:4 34:14 97:12  
128:9 134:11 135:5  
140:6 160:16 170:11  
171:19 189:8 200:12  
212:16 220:6,8  
264:19  
**tack** 46:1  
**tackle** 129:19 308:18  
**tag** 366:2  
**tails** 43:22  
**taken** 47:22 178:3  
217:14 322:8 365:7  
**takes** 117:14 188:12  
274:19  
**talk** 24:18 40:6 41:1  
49:6 53:14,14 81:19  
104:5 114:21 117:21  
124:9 127:3,10  
204:14 218:19 229:4  
248:19 269:19 277:22  
330:12 333:8 344:10  
363:11  
**talked** 110:1 115:2  
150:16 241:2 256:5  
278:14 282:13 302:16  
329:7 357:18 358:16  
362:21  
**talking** 15:19 20:11  
42:10,14 89:17,18  
133:20 142:19 191:13  
203:15 204:15,15  
208:12 213:15 217:10  
233:1 264:10 273:4

337:12 362:14 374:13  
**talks** 81:18 212:21  
**tally** 221:9  
**tallying** 77:7  
**target** 36:12  
**targeted** 242:3 273:18  
 294:17,18  
**targets** 149:4 294:20  
 298:15  
**Taroon** 3:4 21:12  
**Taroon's** 142:6  
**tax** 111:7  
**TCPI** 46:6  
**teach** 117:7  
**team** 17:19 22:16 37:7  
 40:17 106:7,15 226:8  
 329:1 380:17  
**tease** 278:22  
**tech** 114:10  
**technical** 130:9 158:6  
 169:12 170:11 229:21  
 236:11 238:8 250:11  
 266:22 316:16,17  
 317:2 343:10,15  
**technicality** 184:21  
**technically** 178:9  
 183:10 197:4  
**technologies** 376:3  
**technology** 129:15  
 273:8 365:15,17  
**teleconference** 3:14  
**tell** 8:3 14:5,7 46:7 71:9  
 94:11 147:13 152:12  
 190:12 195:3 244:22  
 286:16,18 326:11  
 333:2,2 348:9 354:9  
 378:1  
**telling** 354:2  
**ten** 136:6 168:3 265:21  
 283:20 372:21 373:2  
 373:16 374:4,5  
 376:17  
**tend** 256:14 300:13  
 349:20 374:17  
**tended** 62:6  
**TEP** 122:10 250:20,21  
 251:19  
**term** 317:19  
**terminology** 217:11  
**terms** 25:18 28:8 32:17  
 35:18 47:9 52:15,18  
 54:7 57:17 64:5 67:10  
 84:2 86:11 109:1  
 117:2 149:3,8 165:6  
 167:1 183:4 207:12  
 227:16 251:1,9 255:2  
 256:10 260:5 261:10  
 268:22 271:12 273:8

280:21 295:22 296:7  
 296:13 297:2 314:19  
 329:2,16 359:9  
 365:14 372:17  
**territory** 140:9  
**tertiary** 193:20  
**test** 91:18 169:18 213:4  
 213:13 244:2 255:11  
 290:13,16 314:5  
**tested** 68:3 212:22,22  
 213:8,10 214:1  
 242:18,19 260:13  
 289:16 290:6,10  
 291:18,19 292:10  
 322:15 331:19 332:2  
 333:16 346:7 350:12  
**testing** 122:14 213:6  
 214:5,9,13 233:20  
 237:3 244:3,8 248:6  
 260:9 261:10 290:7  
 292:6 306:14,21  
 307:2 315:1 326:20  
 330:11 331:10,20,22  
 332:1,14,16,18  
 333:13,14 334:18  
 339:1 345:2,5,19  
 346:12,14 348:18,21  
 350:10 351:21 352:14  
**thanks** 14:19 22:12  
 38:11 43:6 44:14  
 46:20 49:14 52:12  
 53:8 63:2 65:4 66:19  
 69:3 163:10 168:7  
 232:19 266:17 268:16  
 289:12 295:13 339:5  
 352:17 360:1 362:2  
 365:10 371:9  
**theme** 205:4 256:7  
**themes** 247:18  
**theory** 278:3  
**therapy** 2:3 9:3 119:7  
 181:10,10,11  
**they'd** 344:12  
**things** 8:2 35:14 51:20  
 53:13 60:20 62:7  
 67:17 71:8 89:2,12,13  
 89:19 103:9 106:18  
 107:1 121:4 143:2  
 154:9 159:11 160:19  
 164:13 165:1 166:14  
 166:18 169:3 176:21  
 177:2,15,20 185:20  
 188:19 189:1,17  
 191:4,4 192:7 193:4,5  
 194:16,18 195:13  
 196:5,19 198:8,16,18  
 199:7 201:18 204:3  
 206:15 207:7 209:11

210:9,20 215:14  
 237:10 238:13,16,20  
 240:10 241:17 243:9  
 245:3,11 248:18  
 264:10,19 276:5  
 278:15,19,22 280:3  
 327:13 336:3 338:6  
 340:13 341:12 352:4  
 352:12,15 360:6,10  
 364:16 365:5 367:1  
 367:13,15,19 368:18  
 376:2  
**thinks** 57:9  
**third** 40:16 133:15  
 257:5 361:11  
**Thirty** 252:17,19  
**thorough** 187:6  
**thoroughly** 205:7  
**thought** 23:14 29:3,18  
 33:17 46:19 57:22  
 61:15 86:8 88:4 97:19  
 97:21 119:13 123:21  
 129:13 153:10 173:13  
 188:14 207:20 217:15  
 218:6 262:12 268:10  
 273:10 289:13 317:12  
 334:11 350:6 372:3  
**thought-** 38:12  
**thoughtful** 40:18  
**thoughtfully** 235:7  
**thoughts** 19:3 37:3,10  
 37:16 44:3 47:17  
 221:15 232:17 239:3  
 300:17 307:12  
**three** 22:19 39:9 40:21  
 46:12 56:19 59:14  
 64:15 68:2 82:13 85:1  
 86:13 95:3 141:20,22  
 152:1 154:14 165:20  
 169:18 170:15 192:21  
 223:11,13 226:11  
 244:5 245:19 266:12  
 279:22 329:21 342:11  
 349:18 362:4 377:3  
 377:12  
**three-step** 54:17  
**threshold** 71:13,15,18  
**THURSDAY** 1:7  
**tied** 288:18  
**tight** 348:14  
**till** 239:13 345:14  
**Timara** 382:12  
**timeline** 245:13  
**times** 23:13,16 33:14  
 40:21 41:13 63:15  
 95:3 102:13 103:12  
 119:17 178:19 216:5  
 277:17 278:9 303:7

329:21 357:16  
**timing** 94:14 317:10  
 344:1,2,8 346:20  
**tip** 170:22  
**tired** 372:14  
**tires** 295:9  
**TMF** 16:6  
**today** 6:20 15:19 16:14  
 17:22 18:12 19:1 20:3  
 20:5 22:11 23:6,10,14  
 24:19 47:14 54:12  
 56:6,22 58:10 66:4  
 71:20 74:4 78:17,21  
 88:15 136:22 153:4  
 154:6 159:3 181:22  
 186:2,21 207:6,21  
 210:14 264:8 271:17  
 273:6 295:7,15  
 326:11 329:4 347:5  
 357:16 363:3 379:21  
 381:4 382:5  
**token** 336:21  
**told** 178:8  
**tomorrow** 145:16  
**ton** 238:9 335:4  
**tone** 233:2  
**tonight** 145:17  
**tool** 42:7,7 270:12,15  
 271:15 332:15  
**tools** 270:21  
**top** 51:2  
**topic** 39:13 342:2  
**topical** 26:13  
**topics** 381:6  
**topped** 159:13 160:2  
**topping** 377:1  
**total** 79:7 85:3 154:6  
 223:8  
**totality** 154:6  
**totally** 307:19 363:15  
 372:9  
**touch** 93:19  
**touched** 382:16  
**touches** 365:11  
**touching** 250:3  
**trace** 270:16  
**track** 42:20 60:14 212:1  
 265:2  
**tradeoff** 375:2  
**tradeoffs** 370:16  
**traditional** 280:15  
**training** 156:14  
**transfer** 31:15  
**transformative** 48:16  
 49:7 294:8  
**transient** 80:13  
**transition** 90:12 269:5  
 313:6

**transitions** 155:12  
**transparent** 278:19  
 288:19 301:7 308:13  
 310:9  
**transplant** 4:14 81:8  
 96:2 97:21 99:3,8,15  
 100:6,22 101:5,15,16  
 102:10,17 103:17  
 106:11 107:9,15  
 108:5,9 109:16 110:3  
 111:3,4,10,17 112:12  
 113:11,21 114:12,14  
 114:16 115:20,22  
 116:1,2 119:6,14  
 121:12,14 122:1,3,6  
 122:13,15,20 123:1,4  
 124:7 185:7 192:6,14  
 203:17 205:2,22  
 216:11  
**transplantation** 81:7,10  
 102:5 106:5 120:18  
 120:19  
**transplanted** 102:13  
**transplanting** 102:16  
**transplants** 80:11  
 116:7 121:16 124:3  
**traversing** 87:11  
**treat** 349:16  
**treated** 181:19  
**treatment** 31:18 155:8  
 161:11 335:21  
**tremendous** 94:16  
 250:7 271:9 273:18  
**trend** 357:21  
**trends** 357:18 361:1  
**trickier** 205:9  
**tried** 67:6 194:21 261:4  
 350:8  
**trigger** 198:18 349:21  
**triggers** 376:22  
**trouble** 148:9  
**true** 119:9 214:14  
 322:21 323:4 326:21  
 376:19  
**truly** 101:21 114:3  
 172:13,19,19 276:19  
 278:15 362:15  
**trust** 189:4 190:12,14  
 207:2,6 209:21 259:2  
**truth** 48:20  
**try** 24:5,8 27:18 46:16  
 109:19 121:5 129:5  
 132:2 160:7 187:12  
 191:18 224:5 229:3,4  
 229:6,7 235:14  
 236:22 237:10 251:2  
 267:6 275:15 308:18  
 323:18 327:19 336:10

342:10 343:4 352:2  
 363:16 376:9  
**trying** 24:7 25:17,17  
 29:5 36:10 39:4 40:9  
 41:19 50:16 51:4  
 66:17 71:1 83:6 97:9  
 109:14 126:17 140:18  
 158:4 163:19 166:17  
 166:22 184:4 191:3  
 192:13 195:3 198:12  
 204:1 211:13 212:19  
 223:20 262:2,3 278:6  
 284:17 298:12 320:8  
 322:17 334:17 342:11  
 354:9,15 371:13  
 373:20 377:18  
**Tunis** 172:2  
**tunnel** 172:22  
**turn** 6:21 15:7 22:7 46:5  
 46:8 54:10 98:3  
 115:16 150:19 179:18  
 224:1,11 225:18  
 232:16 234:10 246:12  
 279:14 284:20 299:2  
 315:10  
**turnaround** 167:20  
**turned** 139:18  
**turning** 102:10 304:7  
 377:5  
**turns** 117:15  
**tweak** 42:3  
**tweaks** 42:5 116:5  
**Twenty-five** 221:1  
 303:7  
**Twenty-two** 306:19  
**twice** 95:2  
**two** 7:7,8 25:20 32:2  
 35:13 37:12 39:1  
 44:13 61:3 64:4,13  
 79:10,17,18 82:16,16  
 83:19 86:3 91:14  
 93:11 97:19,20 98:1,7  
 98:18 110:20 115:14  
 117:13 129:4 143:20  
 146:3 150:4 154:12  
 157:14,21 162:2  
 165:20 170:6 185:7  
 194:22 221:6 223:7  
 226:13 227:6 230:12  
 238:6 240:10 245:4  
 247:6 248:15 250:6  
 254:9 264:8 265:15  
 266:10 280:3 297:12  
 302:11 303:19,21  
 305:20 312:9 316:5  
 325:14,22 330:6,15  
 331:18 339:15 344:13  
 347:7 350:5

**two-percent** 179:2  
**two-thirds** 157:10  
**type** 62:9 114:16  
 163:20,22 235:5  
 242:11 277:3 370:2  
**types** 7:8 157:13 164:9  
 164:10 166:12 168:12  
 311:1 324:1 357:6  
**typical** 159:10 165:9  
 332:1  
**typically** 159:8

## U

**U.K** 275:8  
**ultimate** 108:4 119:7  
**ultimately** 36:9 55:15  
 60:4 138:22 236:9  
 246:14  
**unattended** 292:18  
**unchartered** 140:9  
**unclear** 207:2 261:20  
 326:21  
**underlying** 26:16 350:3  
**underneath** 275:14  
**understand** 38:5 39:5  
 69:12 70:1,19 83:6  
 89:22 96:3,12 97:7  
 104:12 108:3 118:17  
 140:3,4 144:1,20  
 198:12 199:12 212:20  
 233:9 243:10 247:20  
 260:12 267:13 270:18  
 272:3 322:13 326:19  
 330:4 334:8 347:8  
 354:9 357:8,16  
 364:17,18 375:19  
**understanding** 200:17  
 246:20 250:17 253:14  
 278:21 295:14,16  
 299:17 320:22 345:21  
 369:13  
**understood** 195:2  
 214:19 297:3  
**underway** 43:10 236:7  
 240:1 249:18  
**unexpected** 155:14  
**unfortunately** 16:7  
 101:4 144:16 300:10  
**unhappy** 190:9,10  
**unheard** 184:19  
**uniformly** 336:4  
**unintended** 52:16  
 53:18 68:16 174:22  
 194:17 230:2 293:14  
 297:2 301:2 311:21  
 339:17,21 341:1  
 349:17 353:7,14  
 358:17 361:13 363:18

364:5,14 367:8,10,16  
**Union** 1:22 11:4  
**unit** 96:1 102:15 106:8  
 106:22 107:7,15  
 120:11 121:8,15  
**United** 231:9 257:6  
 258:7 323:1  
**units** 100:21 121:13  
**universally** 194:20  
**University** 1:21 3:11,12  
 11:7 125:2 126:3  
 229:11  
**unnatural** 46:3  
**unnecessarily** 111:13  
**unnecessary** 117:2  
**unofficial** 196:18  
**unpaid** 338:13  
**unplanned** 4:19 150:22  
 157:7,8 168:9  
**unreasonable** 190:4  
**unrelated** 165:2  
**unstable** 122:17  
**unusual** 128:13 223:21  
**up-front** 156:16  
**upcoming** 379:15  
**update** 62:14 179:2  
 205:13 218:15 224:21  
 251:17 281:6 338:3  
**updated** 246:3 339:14  
**updates** 58:8 349:20  
**Upshaw** 1:11,13  
**urban** 310:7  
**urge** 96:22 112:6  
**urinary** 164:13  
**urinate** 166:5  
**urology** 157:22  
**usability** 280:21  
**use** 5:9 27:14 43:19  
 44:20 46:6,10 59:1  
 61:15 70:19 72:16  
 75:14 109:3 155:3  
 165:2,8,9 182:12  
 193:4 220:3 225:13  
 235:10 248:22 249:4  
 251:14 271:3,4 273:3  
 273:15 274:7 275:3  
 280:14,16 281:4  
 285:2 293:18 310:6  
 315:15 319:16 331:5  
 331:6 332:15 334:2  
 334:11 335:15 341:6  
 342:17,22 343:1  
 352:1,9 353:14  
 358:20 362:20 367:22  
 376:16  
**useable** 318:16  
**useful** 29:4 46:4 254:19  
 255:1 264:3 275:17

275:18 341:4  
**user** 340:13,20,22  
**users** 43:17  
**uses** 229:19 230:20  
 306:17  
**usually** 166:18 295:16  
**utilization** 80:6 278:1  
 278:22

## V

**Valdes** 2:4 8:5,5 241:14  
**valid** 45:16 66:20 91:22  
 297:4 305:6 375:8  
**validated** 255:14,15,17  
 255:22 256:1 288:16  
 301:6  
**validation** 43:13,13  
 44:3,5,11 45:4 46:3  
 46:14  
**validity** 43:18 126:14  
 180:14 189:14 197:12  
 197:16 205:6 216:2  
 227:21 250:20,21  
 289:15 290:6,13,16  
 292:6 307:1 310:10  
 316:18 321:10 334:18  
 348:18,19  
**valuable** 46:4 209:7  
 275:7 296:7 352:16  
 357:11 367:14 381:2  
**value** 23:7 43:3 47:20  
 50:1,4 53:7 63:7 69:9  
 109:9 184:22 209:8  
 267:15 271:15 281:2  
 281:3 322:4 332:9  
 336:12 339:20 340:5  
 341:15 374:16 376:1  
**value-based** 22:15 44:8  
 200:18  
**valued** 185:4 188:2  
**values** 122:18  
**variability** 323:20  
**variable** 134:16 170:16  
 315:7  
**variables** 120:12,15  
 134:17,18,19 169:19  
 170:6 230:21 260:22  
 306:8,10 312:12,16  
 312:21 319:18 320:6  
 320:8,22 376:17  
**variants** 231:7  
**variation** 27:21 86:7,10  
 104:8 157:15 165:6  
 166:2 192:10 322:20  
 326:22 327:2 329:16  
 357:13  
**variations** 161:15  
**varied** 361:3

**variety** 32:7 39:12 80:5  
 101:5 305:5 358:12  
**various** 305:17  
**vary** 102:14 103:12  
**vascular** 79:19 80:18  
**vast** 159:7  
**vendors** 311:3  
**venture** 9:18  
**venues** 49:10  
**Verma** 23:20  
**version** 181:4 183:6,6  
 207:17 227:8,9,10  
 228:1,2,11,12 287:17  
 316:5,8 331:12  
 350:11  
**versions** 227:6 228:9  
 315:19 316:6 335:5  
**versus** 102:13 136:21  
 149:6 170:17 192:6  
 201:4 202:10,20  
 203:16,17 204:8  
 205:2 216:11 255:9  
 258:20 308:9 310:8  
 319:17 340:12 344:3  
 346:11  
**vetted** 90:22 135:2  
 205:7  
**vetting** 187:6 201:7,13  
 213:11  
**Vice** 3:1 7:3  
**view** 76:4 105:12  
 276:22 374:16 376:1  
**viewpoint** 119:3  
**vigilant** 272:14  
**virtually** 372:10  
**virtue** 233:10  
**vision** 371:5  
**visit** 38:20 161:1 166:21  
 202:7  
**visits** 5:1 155:14 157:6  
 157:8,9,10 161:13  
 164:11,20 165:21  
 166:3 170:7  
**vital** 20:16 251:5 255:21  
**voice** 105:13 185:3  
 307:21  
**voiced** 138:15 194:5  
**voicing** 196:9  
**volume** 259:12  
**voluntarily** 317:17  
**voluntary** 151:7 306:11  
 313:18 317:20,22  
 324:8,13,15  
**volunteer** 185:1  
**volunteering** 314:2,4  
**volunteers** 41:14  
**vomiting** 164:12  
**vote** 62:13 65:10 74:11

74:16 76:17 77:6  
 81:21 112:19 114:2  
 120:17,17,22 128:9  
 128:22 129:6 131:2,7  
 136:12,17 137:1,2  
 139:5 141:11 142:7,8  
 143:8,15 144:6  
 145:19 147:9 149:10  
 149:12 185:8,9 190:6  
 191:18 201:2 205:12  
 207:10 212:11 214:20  
 217:7 220:7,14  
 222:21 229:4 232:13  
 250:21 264:17 270:9  
 283:22 298:16 300:18  
 323:12 350:21 353:21  
**voted** 72:1 150:14  
 221:11 353:9  
**votes** 62:17 77:8,10,13  
 77:18 135:20 148:5,6  
 149:17 150:1 190:18  
 221:6,9 223:7,8,15  
 284:9 302:13,18  
 303:21 325:5,21,22  
 350:16 351:11,17  
**voting** 2:6 54:15 55:17  
 71:11,19 72:12,14,21  
 73:9 75:11 127:4,11  
 127:11 130:11 132:3  
 136:14 139:15,18  
 140:18,19 148:13  
 150:3,11 187:10  
 207:12 208:1 239:11  
 262:8 267:14 291:2  
 380:3  
**vu** 286:3  
**vulnerable** 53:2 80:4  
 112:10 366:4

## W

**wait** 69:19 118:10  
 133:13,13,13 168:3  
 215:7 333:1 347:2  
**waiting** 96:2,11 97:8  
 98:12 345:14 359:20  
**waitlist** 4:14 82:14,15  
 98:8,16,20,22 99:12  
 102:13 103:7,8,12,20  
 108:8,20 109:2,5  
 114:5 116:5 119:5  
**waitlisted** 4:13 95:7  
 113:2 118:4  
**waitlisting** 81:10  
**waitlists** 115:18  
**walk** 244:10 348:14  
**wanted** 14:20 17:7  
 22:20 23:10 57:18  
 61:3,5 62:7,11,16,22

86:5 110:13 128:14  
 169:16 175:14 180:16  
 182:4 189:3 201:14  
 227:4 241:1 252:7  
 262:4 311:16 313:14  
 330:1,8 332:22  
 340:18 343:8 363:20  
 382:17  
**wanting** 91:18 149:4  
 286:7  
**wants** 88:11 208:16  
**warranted** 237:11  
**wary** 175:8  
**wash** 209:11  
**Washington** 1:10  
**wasn't** 17:16 39:19  
 103:10 104:10 118:14  
 121:22 122:16 174:3  
 190:6,20 255:4  
 343:14  
**waters** 343:2  
**way** 24:8 26:4 33:19  
 38:8 44:3 46:17 50:3  
 52:5,10 53:21 67:22  
 68:5,16 72:12 87:5  
 103:11 108:6 110:5  
 112:15,17 129:13  
 130:1 134:22 164:6  
 168:8 175:3 190:6  
 201:15 214:11 225:14  
 234:15 242:3 250:13  
 250:16 252:3 261:5  
 265:5,11 283:16  
 288:5 293:15 301:9  
 302:21 305:8,10  
 311:5 312:10 336:11  
 337:8 347:6 352:6,11  
 360:12 361:8 362:16  
 368:1 369:19 376:18  
**ways** 32:7,9 36:22 38:9  
 39:5 43:15 45:10 46:2  
 52:9 71:2,3 129:11  
 337:6  
**web** 57:19 79:2 379:10  
**website** 336:12  
**websites** 245:3  
**weeds** 189:1 279:18  
**week** 22:19 158:11  
**weekly** 336:22  
**weeks** 61:3  
**Wei** 2:4 8:19 276:8  
 314:7 362:5  
**weigh** 38:4 140:17  
 186:18 190:16 196:7  
 197:5 216:13 238:15  
 258:18 372:1  
**weighing** 372:2  
**weight** 53:6 196:1



309:4  
**weighted** 231:6 370:2  
**weightless** 221:21  
**weird** 352:12  
**welcome** 4:2 6:10,13,17  
 6:20 7:2 18:5,8 37:1  
 64:17,22 169:15  
 228:13 285:12 289:5  
 356:14 359:12 380:21  
**went** 84:8 118:15 128:6  
 128:20 139:7 164:6  
 184:11,20 206:16  
 244:3 272:10 284:19  
 286:20 354:2 382:21  
**weren't** 198:9 317:5  
**white** 2:4 8:6 29:2  
**wide** 167:14 192:10  
 296:19  
**wild** 208:8  
**willing** 364:4 370:16  
**willingness** 327:17  
**window** 165:1 167:22  
 331:18 350:6  
**wisdom** 91:19 92:4  
**wish** 87:16 100:12  
**Wisham** 2:10 14:9,10  
 267:13 269:18,22  
 270:4 312:3 318:4,18  
 319:2  
**withdraw** 76:16 174:10  
**wonder** 213:8 267:14  
**wonderful** 335:12  
 378:18  
**wonderfully** 288:7  
**wondering** 124:12  
 303:18  
**word** 38:6 49:8 109:12  
 148:9 275:9 327:5,10  
**words** 188:6 255:11  
 330:15  
**work** 11:10 12:11 14:3  
 16:3 22:16 24:5 28:8  
 29:19 33:6 34:19 36:5  
 38:9 39:5 40:10 43:8  
 46:18 47:19 48:11  
 49:11 50:15 52:13  
 54:12 71:5 88:1 90:7  
 99:20 100:3,4 120:13  
 172:1,5 184:5 186:7  
 188:21 189:20 196:1  
 202:22 211:18 224:3  
 240:15 243:14 258:9  
 264:8 294:6,13  
 311:11 337:1,3 338:4  
 338:5 343:12,16  
 355:7 367:16,21  
 379:20 380:2 381:1,5  
**work-up** 113:11

**worked** 122:3 201:10  
 286:12,14 361:9  
 380:18  
**workgroup** 1:5,9,11 7:9  
 7:16 18:7 20:15,19  
 21:6,21,22 25:3 37:4  
 49:17 55:8 59:10 62:1  
 64:5,13 65:1 66:5  
 70:11,22 73:17,21  
 74:8,15,16 75:2,12,21  
 76:8,8,14,17 77:9,21  
 136:14 141:3,5 153:2  
 171:15 183:1,14  
 187:22 198:7 250:8  
 262:8,14 279:13  
 285:6 307:8 316:17  
 328:16 341:20 343:9  
 355:21 358:22 359:1  
 359:3  
**workgroup's** 77:1  
 197:20  
**workgroups** 35:13 36:3  
 59:1,3 63:4 72:3  
 219:20 220:3 355:20  
 359:1  
**working** 31:22 46:9  
 87:22 90:6 137:22  
 225:3 236:1 239:22  
 296:12 327:12 328:18  
 337:18 382:4  
**workout** 314:6  
**works** 83:3 98:6 187:4  
 212:9 335:15  
**world** 10:5 106:21  
 211:15 243:20 269:8  
 269:9 270:3,5  
**worried** 192:20 287:6  
**worries** 242:17  
**worry** 105:8 167:9  
 184:6 327:16  
**worrying** 193:19  
**worse** 124:5 165:12,13  
 166:16 358:5  
**worth** 87:7 140:14  
 361:20 369:7  
**worthiness** 292:17  
 301:1  
**wouldn't** 99:19,20  
 100:2,3 199:3 269:10  
 282:15 372:11 373:14  
 373:17 374:4,7  
**wound** 156:17  
**write** 300:22 337:21  
**writeup** 335:12  
**wrong** 46:7,10 89:5  
 107:12 116:18,18  
 120:6,6,6 142:21  
 208:20,21 216:22

253:15 292:18  
**wrote** 289:7  


---

**X**  


---

**X** 154:2  


---

**Y**  


---

**Yale** 3:6,8,12 14:3,6  
 156:8 180:21 229:10  
 338:13  
**year** 6:12 16:11 32:16  
 34:15,20 60:6,7 67:18  
 68:2 79:10 83:5,5,9,9  
 83:18 84:16 98:11,15  
 99:3,10,16,21 113:12  
 113:18 115:12 123:13  
 123:17,20 124:1  
 143:2 148:9 152:6  
 154:11,12,13,15  
 179:11 187:12 192:21  
 202:13 207:16 227:6  
 232:22 247:5 249:17  
 250:6 277:17 278:1,9  
 280:7 330:10 332:4  
 338:3 340:2 344:13  
 344:18 345:10 347:17  
 380:6 381:13  
**year's** 67:10 112:8  
**year-** 52:17  
**years** 18:7 20:16 25:1,9  
 39:9 48:15 69:19  
 83:19 99:22 102:1  
 111:16 118:8 128:10  
 174:14,18 182:3  
 192:20 207:13,22  
 217:20 242:6 243:19  
 244:5 245:4,20 247:6  
 253:11 276:11 294:5  
 294:5,13 295:6 340:3  
 342:4 349:18 357:20  
 361:7 366:22 377:3  
**yesterday** 93:14  
**yield** 262:3  
**YING** 2:4 8:19 276:9  
 314:8 362:6  
**Yong** 2:14 16:21,21  
 22:8,12,14 38:11  
 41:10,16 44:14 46:20  
 49:14 50:22 52:3 53:8  
 63:2 66:19 69:3 97:17  
 136:4 199:11 200:20  
 201:20 226:10,19,22  
 315:18 355:11,14,16  
 359:16,21 371:9  
 372:5 378:3 381:7,12  
 381:18  
**York** 13:10  
**yous** 150:21

---

**Z**  


---

**zero** 164:20  


---

**0**  


---

**0.61** 232:6  
**0.67** 104:6  
**0.72** 104:6 122:21  
**0.75** 231:14  
**0.79** 122:22  
**0.8** 104:5 125:7  
**0.82** 122:22  
**0.83** 231:20  
**0.84** 231:14  
**0.9** 170:2  
**0.97** 232:4  
**08** 320:16  


---

**1**  


---

**1.0** 170:3  
**1:14** 184:12  
**10** 231:11 251:18  
**10's** 259:5  
**10,000** 7:19  
**10:55** 126:21  
**100** 108:20 259:22  
**1030** 1:10  
**104** 4:15  
**11** 16:9,10 149:17  
 151:10 284:3  
**11:05** 128:6  
**11:15** 128:7  
**110** 16:11  
**11th** 379:14  
**12** 148:5 230:22 319:20  
**12:20** 184:11  
**12:25** 184:8  
**13** 130:19 148:6 150:1  
 231:1,2 281:4,16  
 299:19  
**14** 1:7  
**15** 131:15 313:22  
**151** 4:17,19  
**153** 4:22  
**157** 5:2  
**15th** 1:10 78:14  
**16** 302:16  
**17** 4:4  
**17-241** 130:16  
**17-245** 146:1  
**176** 129:8  
**178** 5:5  
**18** 29:21 30:6 36:18,21  
 83:14 154:6 332:6  
**180** 5:7  
**19** 83:9  
**195** 228:20 229:7  
**1997** 172:2

<b>2</b>	141:21 144:6,8 189:19 <b>47</b> 329:19 <b>48</b> 266:15 276:4		
<b>2</b> 29:14 153:17 345:2 <b>20</b> 83:12 174:14 182:14 342:10 <b>20-21</b> 83:11 <b>200</b> 34:20 <b>2011</b> 181:5 <b>2016</b> 244:4 <b>2017</b> 1:7 180:13 <b>2018</b> 83:21 344:5 345:14,15,18 <b>2019</b> 154:15 244:5,13 347:13 <b>2020</b> 84:1 154:11 247:8 273:5 317:12,18 347:17,18 <b>2021</b> 79:17 154:12 347:14,15 <b>2022</b> 151:22 154:14 <b>21</b> 83:9 223:7 <b>21st</b> 379:12,14 <b>22</b> 4:5 83:12 308:2,11 314:14,20 319:9 321:1 <b>224</b> 5:10 <b>228</b> 5:13,15 <b>23</b> 223:8,15 325:5,22 <b>24</b> 266:15 331:6 336:16 351:10 <b>241</b> 102:21 104:10 133:20 135:10,12 188:14 191:13,17 <b>245</b> 102:21 104:10 188:15 <b>25</b> 220:22 351:17 354:7 <b>25th</b> 379:17 <b>26</b> 150:5 <b>26th</b> 379:17 <b>28</b> 181:14	<b>5</b> <b>5</b> 231:11 354:16 373:20 374:8,13 <b>50-50</b> 190:6 <b>54</b> 4:6 <b>55</b> 329:18		
<b>3</b>	<b>6</b> 4:2,3 <b>6,600</b> 338:2 <b>60</b> 71:14 77:9,13,17,22 127:8 136:8,9 137:11 139:19 140:8,11 141:15 142:9,18,19 144:7 148:10 181:12 181:14 189:19 196:10 303:2,14 <b>60-</b> 190:3 <b>60-percent</b> 139:6 140:5 141:12 <b>61</b> 136:10 <b>62</b> 117:19 <b>65</b> 229:17 <b>650</b> 159:14	<b>6</b>	
<b>4</b>	<b>7</b> <b>7.6</b> 231:10 <b>70</b> 110:20 117:12 <b>75</b> 101:22 <b>78</b> 4:9	<b>7</b>	
<b>4:36</b> 382:21 <b>40</b> 121:17 139:22	<b>8</b> <b>80</b> 110:18 121:17 373:15 374:4 <b>82</b> 4:11	<b>8</b>	
	<b>9</b> <b>9</b> 130:19 131:15 <b>9:00</b> 1:10 <b>9:01</b> 6:2 <b>95</b> 4:13 229:17 332:9 <b>95-percent</b> 165:10 <b>95th</b> 170:21 <b>9th</b> 1:10	<b>9</b>	

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Hospital Work Group  
Measure Application Partnership

Before: NQF

Date: 12-14-17

Place: Washington, DC

was duly recorded and accurately transcribed under  
my direction; further, that said transcript is a  
true and accurate record of the proceedings.

  
-----  
Court Reporter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)