

NATIONAL QUALITY FORUM
+ + + + +
PATIENT REPORTED OUTCOMES
WORKSHOP #1

+ + + + +
MONDAY, JULY 30, 2012

The Steering Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 9:00 a.m., Patricia Brennan and Joyce Dubow, Co-Chairs, presiding.

DR.S PRESENT:

- PATRICIA BRENNAN, PhD, University of Wisconsin-Madison
JOYCE DUBOW, AARP, MUP, Co-Chair
RICHARD BANKOWITZ, MD, MBA, FACP, Premier Healthcare Alliance
ETHAN BASCH, MD, MSc, Memorial Sloan-Kettering Cancer Center
JIM BELLOWS, PhD, Kaiser Permanente
- DAVID CELLA, PhD, Northwestern University Feinberg School of Medicine
ANNE DEUTSCH, PhD, RN, CRRN, Brookings Institution
STEPHAN FIHN, MD, MPH, Veterans Health Administration
LORI FRANK, PhD, Patient-Centered Outcomes Research Institute
- BARBARA GAGE, PhD, MPA, Brookings Institution
TED GANIATS, MD, University of San Diego Health System
KATE GOODRICH, MD, MHS, Centers for Medicare & Medicaid Services
JENNIFER EAMES HUFF, MPH, Pacific Business Group on Health
- DENNIS KALDENBERG, PhD, Press Ganey Associates
IRENE KATZAN, MD, MS, Cleveland Clinic

LEWIS KAZIS, ScD, Boston University School of
Health
UMA KOTAGAL, MD, Cincinnati Children's
Hospital Medical Center
KEVIN LARSEN, MD, Office of the National
Coordinator for HIT
KATHY LOHR, PhD, RTI
ELIZABETH MORT, MD, Massachusetts General
Hospital
CHARLES MOSELEY, Ed.D, National Association of
State Directors of Developmental
Disability Services
GENE NELSON, DSc, MPH, The Dartmouth Institute
KENNETH OTTENBACHER, PhD, OTR, The University
of Texas Medical Branch at Galveston
GREG PAWLSON, MD, MPH, FACP, BlueCross
BlueShield Association
ELEANOR PERFETTO, PhD, Pfizer
COLLETTE PITZEN, RN, BSN, Minnesota Community
Measurement
CHERYL POWELL, Centers for Medicare & Medicaid
Services (via telephone)
DAVID RADLEY, PhD, MPH, Institute for
Healthcare Improvement
TED ROONEY, RN, MPH, Maine Quality Counts
DEBRA SALIBA, MD, MPH, UCLA Borun
Center/VA/RAND

MARCEL SALIVE, MD, MPH, National Institutes of
Health
BARBARA SUMMERS, PhD, RN, University of Texas-
MD Anderson Cancer Center
KALAHN TALYOR-CLARK, PhD, MPH, National
Partnership for Women & Families
MARY TINETTI, MD, Yale New Haven Health System

PHYLLIS TORDA, MA, National Committee for
Quality Assurance
JOHN WASSON, MD, Dartmouth Medical School
ROB WEECH-MALDONADO, PhD, MBA, University of
Alabama-Birmingham
LINDA WILKINSON, MBA, Dartmouth Hitchcock
Medical Center

ALBERT WU, MD, MPH, Johns Hopkins Health
System

NQF STAFF:

KAREN ADAMS, PhD, MT

HEIDI BOSSLEY, MSN, MBA

HELEN BURSTIN, MD, MPH

SHANA CAMPBELL

SHEILA CRAWFORD

EUGENE CUNNINGHAM, MS

LAURALEI DORIAN

LAURA MILLER

ASHLEY MORSELL

ELISA MUNTHALI

KAREN PACE

LINDSEY TIGHE

TOM VALUCK, MD, JD

JESSICA WEBER

C-O-N-T-E-N-T-S

WELCOME & SETTING THE STAGE7

Patricia Brennan, University of
Wisconsin-Madison
Joyce Dubow, AARP, Co-Chairs
Helen Burstin, Senior Vice President,
Performance Measures, National Quality
Forum

ACKNOWLEDGING THE PATIENT AS AN
AUTHORITATIVE DATA SOURCE 38

Moderator: Joyce Dubow
David Cella, Northwestern University
Feinberg School of Medicine
Reactor Panel:
Stephan Fihn, Veterans Health
Administration
Jennifer-Eames Huff, Pacific Business
Group on Health
Charles Moseley, National Association of
State Directors of Developmental
Disability Services

BREAK

PROMISE OF PROs IN IMPROVING PATIENT OUTCOMES;

LESSONS FROM THE FIELD.110

Moderator: Greg Pawlson, BlueCross BlueShield
Association
Partners Healthcare, Elizabeth Mort,
Massachusetts General Hospital
Dartmouth Spine Center, Eugene Nelson,
Dartmouth-Hitchcock Medical Center

METHODOLOGICAL ISSUES: METHOD OF
ADMINISTRATION/COLLECTION & RESPONSE.157

Moderator: Ethan Basch, Memorial Sloan-
Kettering Cancer Center
David Cella

Reactor Panel:

Lewis Kazis, Boston University School of
Public Health

Richard Bankowitz, Premier Healthcare
Alliance
Lori Frank, Patient Centered Outcomes
Research Institute

LUNCH BREAK

METHODOLOGICAL ISSUES: SELECTION

PATIENT-LEVEL PROs.241

Moderator: Albert Wu, Johns Hopkins Health
System
David Cella

Reactor Panel:

Jim Bellows, Kaiser Permanente
Eugene Nelson, Dartmouth-Hitchcock
Medical Center
Kalahn Taylor-Clark, National
Partnership for Women & Families
Kenneth Ottenbacher, The University of
Texas Medical Branch at Galveston

BREAK

KEY CONSIDERATIONS FOR INCORPORATING. . . . 316
PROs INTO ELECTRONIC HEALTH RECORDS

Moderator: Patricia Brennan
David Cella

Reactor Panel:

Kevin Larsen, Office of the National
Coordinator for Health Information
Technology
Ted Rooney, Maine Quality Counts
Uma Kotagal, Cincinnati Children's
Hospital Medical Center

CLOSING COMMENTS.401
Patricia Brennan & Joyce Dubow, Co-Chairs

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:04 a.m.)

3 DR. BURSTIN: All right, everybody,
4 we're going to go ahead and get started. Good
5 morning. I'm Helen Burstin, the Senior Vice
6 President for Performance Measures at NQF.
7 Thank you so much for joining us today and
8 tomorrow. We're very excited about this
9 meeting that has been long in planning, and I
10 think will really offer us a great opportunity
11 to think about this next stage of measurement
12 that we all want to enter.

13 I'll do the introduction to the
14 group, and then I'll turn it over to our
15 incredibly capable Co-Chairs, Patti Brennan
16 and Joyce Dubow, who will serve as your
17 hostesses and keep the train moving through
18 the next couple of days.

19 I just want to personally thank
20 the two Karens who many of you have interacted
21 with, Karen Adams and Karen Pace, and all of
22 our staff, and Gene Cunningham in particular

1 who have just done a phenomenal job of pulling
2 this together.

3 So, I get to set the stage, and
4 part of the reason for setting the stage is
5 that we really want to try to, as best as
6 possible, try to make a distinction between
7 tools and measures. So, I think that's an
8 important issue for us. So, next slide,
9 Jessica.

10 So, briefly, a little bit about
11 the project scope and the activities before I
12 turn it over to Patti.

13 OPERATOR: Excuse me. This is the
14 conference Operator. You're not in the main
15 conference.

16 DR. BURSTIN: Oh, could you please
17 put us in the main conference, Farah. That
18 would be lovely.

19 OPERATOR: Okay, one moment.

20 DR. BURSTIN: All right. Great.
21 Good morning, everybody joining us on the web.
22 We prematurely started without you for a

1 couple of moments but welcome.

2 This is Helen Burstin. I'm just
3 going to give a little bit of the project
4 background before I turn it over to the Chairs
5 for this workshop. So, briefly, a little bit
6 about the project scope.

7 The first is that this meeting, in
8 particular -- many of you who have spent time
9 at the NQF tables know we tend to talk about
10 endorsement of measures. Well, today we're
11 talking about the methodologic issues, almost
12 really a prequel, we think, to what will
13 ultimately, we hope, be some further work on
14 endorsement in the coming years.

15 So, essentially, our project scope
16 is under the guidance of the expert panel,
17 essentially, all of you in the room, we're
18 going to have these two workshops to help
19 bring together the stakeholders we think are
20 necessary to really facilitate the critical
21 path, the groundwork we need to get to the
22 development, testing, endorsement, and

1 implementation of PRO-based performance
2 measures, a pretty heavy lift from I think
3 where we are now. But I think we felt that
4 since there were so many methodologic issues
5 that needed to be resolved, it was difficult
6 to take a big leap and just call for these
7 measures without really putting everybody on
8 the same place, and really beginning to
9 understand what the next steps would be.

10 You'll have the benefit of two
11 Commission papers, the first of which you have
12 today, thank you to David Cella and his team,
13 on the first one to think through next steps
14 about the selection of patient-level PROs for
15 use in performance measures. And the second
16 one which we'll get to in the fall will be the
17 path to developing reliable and valid PRO-
18 based performance measures that would, in
19 fact, be eligible for NQF endorsement, and
20 could be used for both accountability and
21 quality improvement.

22 Thanks to HHS for funding this

1 work. We think it is really important, and
2 we're really glad to be here. Jessica, next
3 slide.

4 So, just briefly, the time line to
5 give you a sense of where we're going, I've
6 given you a little bit about that today. So,
7 the workshop is today in July. We're going to
8 be working, the paper writers are here with
9 us. They're going to actually work through
10 some revisions of the paper based on the input
11 that you'll be providing over the next couple
12 of days.

13 We'll have the chance to prepare
14 that draft report, get a chance to hold that
15 second workshop. Here are the dates, September
16 11th and 12th, at which point we'll have a
17 second paper from Barb Gage and Anne Deutsch
18 of RTI. That one will be much more grounded in
19 how to move into performance measures. We'll
20 have a public comment period from October to
21 November. We'll get the expert panel, all of
22 you again to review those comments, finalize

1 the papers and then bring them through our
2 approval process before the end of the year.

3 Next slide.

4 So, a little bit about putting
5 this in context. We often talk about the
6 Quality Measurement Enterprise. And one
7 important thing I want to mention is the top
8 gold bar there is measure development. It's
9 outside the realm of the others, and that's
10 because very important distinction for NQF is
11 we are not measure developers. We do not
12 develop measures. It's a really important
13 firewall for us, because we are the neutral
14 evaluators of measures. But this does give you
15 a broad sense of how this work fits into the
16 broader landscape of the measurement
17 enterprise.

18 The National Quality strategy has
19 clearly indicated a goal for having more
20 patient-reported outcomes. There is a
21 standardized measurement process that we'll
22 talk a great deal about in the coming months

1 that leads to NQF endorsement. But we also
2 recognize it would be very difficult to do
3 much of this without the emerging electronic
4 data platform, also part of the work that
5 we've been doing, trying to then think about
6 the alignment of the various environmental
7 drivers, how do people select measures, how do
8 people find the right measures they want to
9 use. And then, ultimately, evaluating and
10 seeing if these are driving both improvement,
11 as well as improved accountability. Next,
12 please.

13 So, just a high-level view, I
14 think, of where we're sitting in terms of what
15 we've been viewing in terms of performance
16 measurement.

17 The first is, there's definitely
18 been a drive towards higher performance. I
19 think as our criteria have gotten more and
20 more rigorous, we are beginning to see a good
21 number of the very basic process measures
22 falling to the wayside in favor of some of the

1 more difficult intermediate outcomes and
2 outcome measures which we think is very
3 positive.

4 I think there is a hope that with
5 more of a data platform we'll be able to
6 increasing measure disparities in all we do,
7 as opposed to the afterthought it often tends
8 to be now. If we have the data to always
9 stratify, then we insure that we do that in a
10 rigorous way.

11 We're seeing more of a shift
12 towards composite measures rather than single
13 process measures or single outcome measures,
14 trying to get a more complete picture.
15 Consumers and purchasers, in particular, find
16 these measures very, very valuable, and
17 clinicians and others find them useful when
18 they can be packaged, but still get the
19 broader view, and lots of different models of
20 this.

21 A major part of our work over the
22 last couple of years has really been about

1 harmonizing measures. There is a lot of
2 cacophony currently in the measurement space
3 of measures that are just slightly different
4 across different settings of care, slightly
5 different for different payers, public and
6 private. So, a great deal of our effort
7 currently has been around trying to make sense
8 of some of this, bringing them together,
9 having a more parsimonious set.

10 And then, finally, there's been a
11 great deal of interest in moving towards a
12 more longitudinal view of what we can do in
13 measurement rather than everything being very
14 siloed of what I do in clinic on Mondays
15 versus what others do in the hospital, versus
16 the patient's experience at home care, really
17 making it a more longitudinal view. And if you
18 do that more patient-focused episode it does
19 naturally lead you towards outcome measures.
20 That's what patients care most deeply about,
21 and especially I think patient-reported
22 outcomes, in particular. Am I actually going

1 to feel better as a result of this procedure,
2 or measures along those lines.

3 If they are process measures,
4 increasingly there is a move to make sure
5 they're process measures that have a direct
6 impact on the desired outcomes. It also moves
7 us towards more measures of appropriateness,
8 and in this day and age hard to imagine that
9 we could look at quality in isolation without
10 increasingly now bringing in measures of cost
11 of resource use to couple them with quality
12 measures including a view of overuse.

13 So, we had some work done a couple
14 of years back now that Karen Pace led for us
15 with David Shahian as chair of an evidence
16 task force. And this was one of the, I think,
17 really important pieces of work that emerged,
18 was a very clear hierarchical preference for
19 NQF for outcomes, as much as possible, linked
20 to evidence-based processes, outcomes of
21 substantial importance with a plausible link
22 to processes of ways to improvement, although

1 not necessarily. And then if they are going to
2 still continue to have process measures or
3 structural measures, they need to be closely
4 linked to outcomes. They can't be things so
5 distal from the outcome that just continually
6 measuring and improving those won't ultimately
7 improve what we care most about. Next, please.

8 So, this is just a visual view. We
9 have two visual views of it for those of you
10 who think differently, see things differently.
11 So, this is what we did last year of analysis
12 of our portfolio, breaking it down by process
13 and outcome measures.

14 And I realize this might be a bit
15 difficult to read, but just -- as you could
16 see from the lefthand side there are more of
17 the classic areas that have been in
18 measurement for years; prevention,
19 cardiovascular disease, safety, surgery,
20 musculoskeletal are the ones at the top of
21 that pinnacle there.

22 And as you start going down, you

1 get to the very small ones towards the bottom
2 there which, unfortunately, include patient
3 experience and engagement and functional
4 status. That's two of the lowest at the end
5 there.

6 We also broke it down into process
7 and outcomes, so we're actually pleased to see
8 more of that movement towards outcomes.

9 Actually, about a third of our portfolio now
10 is outcomes as opposed to process measures and
11 structural measures.

12 And the blue here are outcomes.
13 And you can see that in some of the areas
14 there are certainly more process measures than
15 outcome measures. I think work we're going to
16 continue to work on, but in some areas, for
17 example, like surgery, way ahead in terms of
18 thinking about outcomes as opposed to more
19 process fields linked medicine which is my
20 next.

21 And this is a different view of
22 it, very similar, but this is the way we break

1 down our portfolio into what's crosscutting
2 and what's condition-based here. The white are
3 process measures, the blue are outcome
4 measures.

5 And just very briefly in this
6 lower box here, functional status, patient
7 engagement. So, two of the very, very
8 smallest boxes on that overall chart of our
9 over 700 measures now relate to those two
10 topic areas that we think in particular are so
11 important here. And those are, of course,
12 outcomes, and we want to try to get more of
13 those across the portfolio. Next.

14 So, before I hand it over to Patti
15 just one quick, I think, distinction that is
16 always difficult to transmit. We're going to
17 probably need to do this a couple of times
18 during the course of the meeting. So, the
19 first is that people often talk about
20 individual-level PROs, and then they talk
21 about performance measures. And they are, in
22 fact, different, and we need to make that

1 distinction.

2 So, the first is, NQF doesn't
3 endorse individual-level instruments or
4 scales. We wouldn't endorse, for example, the
5 SF-12, or a tool like that in isolation. It
6 would need to be as part of a performance
7 measure. So, although they may be very
8 reliable, highly valid in clinical practice or
9 research, those individual patient scores
10 alone are not sufficient to really determine
11 performance of a given entity, or make
12 conclusions about the quality that's provided.
13 But we recognize those individual-level scores
14 are the data that are going to drive the
15 performance measures. What we do endorse,
16 though, are those performance measurements
17 that result in a score for the accountable
18 entity, a hospital, a practice, an ACO,
19 whatever the case may be and use data from all
20 those eligible patients.

21 So, at the same time, an endorsed
22 performance measure needs to be standardized,

1 precisely specified so that the specific
2 instruments and scales and scoring must be
3 identified. So, these are highly linked, but
4 at the same time, identifying just the PROs
5 won't get us to where I think we need to go in
6 terms of performance measures. Next.

7 And just to put this in perhaps a
8 bit more concrete terms, here's two examples
9 of two measures we've endorsed in the last
10 year. The first was for Minnesota Community
11 Measurement. I know Collette is here with us
12 today, which is about use of the PHQ-9, which
13 is a tool to gauge depression. And we've
14 endorsed three measures, the first of which is
15 actually utilization of the question there in
16 the first place in clinical practice paired
17 with one of these two measures, depression
18 remission at six months, or depression
19 remission at 12 months. So, we have not
20 endorsed the PHQ-9. We've endorsed the
21 performance measure that uses the PHQ-9.

22 Similarly, a very recently

1 endorsed measure from American Academy of
2 Ophthalmology looks at visual function, and it
3 was the improvement in patient's visual
4 function within 90 days following cataract
5 surgery defined using the VF-14.

6 Again, we have not endorsed the
7 VF-14. That is a very well validated NIH tool,
8 but we have endorsed the measure that looks at
9 the degree of improvement. So, just to kind of
10 give you that sense of distinction.

11 It is something that we often find
12 ourselves flipping back and forth. NQF has
13 endorsed CAHPS. Well, NQF has endorsed the
14 tool -- has endorsed the measure that uses
15 CAHPS but we don't endorse the actual tool
16 itself.

17 So, I think with that, hopefully,
18 I haven't confused you completely. I'm going
19 to turn it over to Patti. So, next slide,
20 Jessica.

21 CO-CHAIR BRENNAN: Thank you very
22 much, Helen. I want to thank Helen, Karen Pace

1 and Karen Adams for the work they did to get
2 us to this point, and Eugene Cunningham
3 wherever you are for the work you've been
4 doing.

5 I am delighted -- yes, can you
6 hear? Can't hear. Okay. That's never been said
7 of me before, thank you. I'll try to speak
8 louder. I do have a Philadelphia slur. I
9 apologize.

10 I want to thank Helen, Karen Adams
11 and Karen Pace, and Eugene Cunningham for
12 their work that they've done to bring us here.
13 And I want to thank all of you here and on the
14 web for your work on the NQF, and particular
15 in patient-reported outcomes. It's a critical
16 part of the outcome assessment, and now we're
17 bringing the patient into the loop.

18 The purpose of our workshop is to
19 remind and to expand the idea that patients
20 are a valid and valuable source of outcomes.

21 Now, today we're going to be
22 focusing on identifying some of the

1 methodological issues related to patient-
2 reported outcomes.

3 Remember, as Helen first
4 introduced, that there are individual level
5 patient-reported outcomes. How are you feeling
6 today? How much are you able to walk? Can you
7 carry your groceries? Can you play with your
8 grandchildren? These are outcomes the patients
9 may know about and care about, but to
10 translate them into performance measures that
11 tell us about the ability of an institution to
12 provide care requires that we attend to the
13 methodological issues.

14 Individual-level patient-reported
15 outcomes are valuable to the patient and to
16 the clinician, the individual clinician. They
17 inform the care process, they provide patient
18 feedback and a guide for self-monitoring. And,
19 importantly, they can be contributory towards
20 shared decision making.

21 We're focusing on the second half
22 of this slide today, the aggregate level, the

1 performance measure where we're identifying
2 performance measures that can be used for two
3 different purposes in health care; first of
4 all, for quality improvement, to help an
5 organization, a practice, a group of
6 clinicians know how to improve. And, secondly,
7 for accountability, public reporting,
8 transparency, payment determinations.

9 Now, we're going to be having a
10 lot of opportunity for your participation and
11 feedback today so get ready throughout the
12 agenda. You see opportunities for audience
13 engagement, and one will be coming your way in
14 just a few minutes.

15 I'm going to turn the podium over,
16 though, to Joyce Dubow who is our Co-Chair.
17 I've been delighted to work with Joyce. I
18 haven't worked with her before and she brings
19 a perspective from the AARP, and a citizen's
20 perspective on patient-reported outcomes. It's
21 extremely helpful to us. Thank you, Joyce.

22 CO-CHAIR DUBOW: Thanks, Patti. And

1 I want to add my welcome to everybody. And
2 it's a pleasure to have such a knowledgeable
3 Co-Chair. But, again, thanks to the staff,
4 they've been really terrific.

5 So, what you see here is the
6 famous bubble diagram. Can't hear? Okay,
7 sorry. Is that better? Okay. I've never had
8 that problem either. Gee, I have to tell my
9 kids.

10 So, this is the famous NQF bubble
11 diagram that describes the person-centered
12 episode of care. And it is a person-focused
13 model that demonstrates the episode from
14 looking at the population at risk through
15 follow-up care, and the various trajectories
16 that would depend on each individual.

17 Helen talked about the need to
18 look at patient-reported outcomes with a
19 longitudinal perspective, and this diagram
20 essentially helps us conceptualize and see
21 that framework graphically. And it takes in
22 health behaviors, health quality of life,

1 functional status behaviors, et cetera.

2 The next slide, please, helps us
3 identify very clearly what our objectives for
4 today's and tomorrow's -- this is today's
5 objectives, and tomorrow's. This is the --
6 these are the objectives of the workshop, and
7 they are very clearly presented here.

8 We want to be able to identify
9 best practices and lessons learned from
10 initiatives that are already underway looking
11 at individual-level PROs in performance
12 measurement.

13 We want to discuss the major
14 methodological issues related to the
15 selection, administration, and use of the
16 individual-level PROs in performance measures.

17 We want to discuss key
18 considerations for inclusion of PROs in EHRs,
19 so we need to focus on how this stuff gets
20 integrated into electronic records.

21 We want to identify the
22 characteristics of individual-level PROs

1 suitable for potential use in performance
2 measures, and we want to identify the
3 additional set that would be most suitable for
4 further development.

5 The key here is going to be the
6 interaction and the discussion that this group
7 that we get from everybody -- thank you,
8 there's a good person back there who is --
9 thank you.

10 It's very important for us to have
11 an interactive conversation today. Everybody
12 here brings something very important to the
13 conversation, so we want to encourage you to
14 participate and to share your knowledge and
15 your views so that we can move this effort
16 forward.

17 And with that, we have an
18 opportunity to hear from you. Are there any
19 questions or any observations about anything
20 you've heard so far before we get started with
21 out first panel? Karen?

22 KAREN: No, I was going to say

1 let's go ahead and take a few questions.

2 CO-CHAIR DUBOW: Is there anybody--

3 (Off microphone comment.)

4 CO-CHAIR DUBOW: Can you identify
5 yourself, please? And we can't hear you.

6 MS. KELLER: San Keller, American
7 Institutes for Research, and there's an
8 inherent tension between the longitudinal view
9 of having the measure standardized over --
10 patient-centered but standardized over
11 different applications, and the definition of
12 the measure at the unit level. So, the units
13 are going to differ as the patient moves
14 through the system.

15 CO-CHAIR BRENNAN: I'm sorry that
16 engineering degrees doesn't work inside the
17 Beltway.

18 If I'm understanding the comment
19 directly, your remark is that a longitudinal
20 view of measures is following a patient
21 through a number of different episodes of care
22 at different points of care. So,

1 methodologically you're asking us to consider
2 how different contributors to the care process
3 can be appraised or evaluated by a single
4 point measure -- a single set of measures
5 across a number of points. And I think -- did
6 I get your comment correctly? Thank you.

7 (Off microphone comment.)

8 CO-CHAIR BRENNAN: Right. And when
9 you refer to units you mean sites of care as
10 opposed to units of measure. So, I think
11 that's a very important consideration, and
12 that's something we will need to be returning
13 to over the next two days.

14 CO-CHAIR DUBOW: Anybody else?

15 MS. LENTZ: Hi, Lisa Lentz, Centers
16 for Medicare and Medicaid Services. And I work
17 mainly on accountable care organizations and
18 physician and group-level outcome measure
19 development. And one thing that we've been
20 thinking a lot about is provider attribution,
21 particularly when we're talking about patient
22 reported outcomes that would be holding

1 individual physicians and groups accountable
2 because, of course, the providers are only
3 seeing patients in the office in a limited
4 time, and then the patient goes off, and they
5 adhere or they don't. So, I guess, what I'm
6 hoping that we can talk about in the next two
7 days, too, is about attribution, and how we
8 actually tie those outcomes back to the care
9 that patients are receiving.

10 CO-CHAIR BRENNAN: Thank you. If we
11 could have people go back to this corner area
12 where there's a microphone, I think that --

13 DR. GANIATS: This one works.

14 CO-CHAIR BRENNAN: Good, thank you.

15 DR. GANIATS: And no one has ever
16 said that to me before that they could hear my
17 voice. This is Ted Ganiats, University of
18 California-San Diego.

19 I think that one of the issues
20 that's interesting is that usually we have a
21 clinical measure that is valid at a clinical
22 level, and we try to aggregate them into a

1 performance measure. And sometimes there is a
2 problem because of issues related to are the
3 patients the same from one institution to
4 another, et cetera.

5 Here we're dealing with measures
6 that may or may not be applicable at an
7 individual level. Many PROs have scoring
8 mechanisms that are designed based on
9 populations of patients and they are not
10 relevant at the individual level, some of them
11 are. So we have that fundamental difference
12 between PROs and most of what makes up
13 performance measures. Plus, we have the other
14 issues that make -- relate to the
15 generalizability, so it will be interesting to
16 see how we can capture that additional element
17 of complexity.

18 DR. LOHR: Can you hear me with
19 this mic? Okay. I'm Kath Lohr from RTI. And I
20 wanted to go back to a couple of your meeting
21 objectives which are really the last two
22 points, which I think are more for tomorrow.

1 I was curious to know whether when
2 you say you want to identify characteristics
3 of individual-level PROs, whether you're after
4 sort of criteria for choosing them, whether
5 you're meaning to have a family of attributes
6 for such measures and factors that would sort
7 of be pro or against selecting them. I just
8 want to clarify that that's kind of what you
9 mean when you say "characteristics."

10 The last bullet is to say pick an
11 initial set, but then I thought I heard Joyce
12 say maybe to pick an additional set, so
13 somewhere along the line today I'm wondering
14 if you could clarify a bit more what you're
15 after, because clearly you have plenty of
16 outcome measures from your earlier slide. And
17 I wasn't sure whether you're meaning to say
18 well, let's find some other ones, or we're
19 starting from scratch.

20 MS. PACE: I'm Karen Pace. And just
21 -- you're right on your first question that
22 we're looking -- when we say

1 "characteristics," we're talking about
2 criteria or attributes. We did not use the
3 term "criteria" because we have criteria for
4 our performance measures, and we wanted to
5 make a distinction, but it's -- essentially,
6 you're right. What are the things that would
7 make an individual PRO something we should
8 consider for inclusion in a performance
9 measure?

10 And it is an initial set. As Helen
11 mentioned a couple of examples, we only have
12 a few patient-reported outcome measures as
13 performance measures. We have many more
14 outcome measures that are more clinical in
15 nature, but we are looking now to identify
16 those from patient-reported outcomes.

17 DR. LOHR: Thank you.

18 MR. YANG: Hi, Mr. DerShung Yang
19 with BrightOutcome. And I just want to get
20 clarification on the use of the term "PRO."
21 Are you we referring to specifically only
22 those tools that were assessed by patients

1 themselves, or are we also including those
2 that are assessed by providers or care givers
3 or some other proxies?

4 CO-CHAIR BRENNAN: At the present
5 moment, the focus is on tool or observations
6 that the patient individually makes, not an
7 interpretation by a professional or anyone
8 else. Thank you.

9 CO-CHAIR DUBOW: Thank you for that
10 clarification. It's important. Linda?

11 MS. WILKINSON: Yes, Linda
12 Wilkinson from Dartmouth-Hitchcock. I'll be
13 very interested as the conversation unfolds to
14 see how we acknowledge the different cultures
15 and climates in which these measurements are
16 taken, and such symptoms of cultural behavior
17 as things like what sort of support is given
18 the patient to enable them, or to encourage
19 them to report, et cetera. I mean, I'm sure
20 these things will come up but that's of great
21 interest. Thank you.

22 CO-CHAIR DUBOW: Thank you.

1 DR. GOODRICH: This is Kate
2 Goodrich from CMS, and this builds off of that
3 last comment, and also a little bit off of
4 what my colleague, Lisa Lentz said.

5 One thing I'd be interested in
6 hearing about, although I think it may be a
7 little outside the scope of what we're talking
8 about today is the issue of provider buy-in to
9 these types of measures. So, to the extent
10 that people who have -- that we're going to
11 hear about lessons learned. I think it would
12 be very helpful for us at CMS, and also just
13 us within the room to understand how
14 providers, whether it be physicians, or group
15 practices, or facilities, how they -- if they
16 did, and if so, how they developed buy-in into
17 these types of measures. I think that's a
18 major barrier, a major hurdle to the use of
19 these types of measures.

20 You know, eventually even if CMS
21 requires over time the use of these types of
22 measures we still would like to be thinking

1 about how we can do that, and how within the
2 construction of the measure, the
3 identification of the measure topic, that can
4 lead to better clinician buy-in.

5 CO-CHAIR DUBOW: An important
6 topic. I think we may get to that during the
7 first panel. We need to wrap-up. I want to
8 take one more. Who's got the mic? Oh, Albert.

9 DR. WU: Albert Wu from Johns
10 Hopkins. Just to clarify sort of the previous
11 question. So, PROs are obviously from the
12 individuals themselves, and not the clinician.
13 But are we excluding, for example, parents,
14 reported measures for children, since -- and
15 then, therefore, are we excluding all child
16 measures that are not directly from the child?

17 CO-CHAIR DUBOW: Proxies count.

18 DR. WU: Proxies count.

19 CO-CHAIR DUBOW: It's one of the
20 challenges, but we need to study that, and the
21 first paper addresses some of the issues
22 around proxies, but that's within scope.

1 CO-CHAIR BRENNAN: Yes. I'd like to
2 make sure that we recognize the origin is an
3 individual who received care. Yes? Speak up
4 more. The focus is the individual who receives
5 the care service, and it may be through a
6 proxy. We'll have to consider issues about
7 family care givers for individuals unable to
8 respond for themselves. And we will be hitting
9 up against the boundaries that might be a
10 little fuzzy. If it's observed by a parent as
11 opposed to observed by a clinician, for
12 example. We'll have plenty of time to talk
13 about that in the next panel, though. Thank
14 you.

15 MS. PACE: Okay. We're going to
16 move to transition to our first panel, and
17 Joyce Dubow is the moderator.

18 Just one note from Patti, that we
19 didn't really introduce or give you logistics.
20 Those of you who need restrooms, they're out
21 across the hall through the other doors on the
22 other hallway, and there is coffee in the

1 back. And we'll also have a break in a little
2 while, but we'll go ahead and get situated.

3 CO-CHAIR DUBOW: This is our first
4 panel, Acknowledging the Patient as an
5 Authoritative Source. I want to point out that
6 the Planning Group -- is that better? Can
7 everybody hear me? The Planning Group felt
8 that it was very important to start out with
9 this topic for the workshop just to reinforce
10 the importance and the authoritativeness of
11 patient-reported outcomes as a source of
12 important data in health care.

13 So, not only is the patient a
14 source of information about her own
15 preferences, for example, but also about --
16 sometimes a unique source, also about
17 functional status, quality of life, pain, et
18 cetera. Sometimes patients are the only source
19 of information, but we wanted to reinforce
20 that by starting out with this topic.

21 We think that generally this is a
22 view that's shared by everybody here, but just

1 for the record, that's just what we had in
2 mind.

3 We've already addressed the fact
4 that patient-reported outcomes have multiple
5 uses in addition to an expression of
6 preferences, for example. Patient-reported
7 outcomes can be used in quality improvement,
8 public reporting, payment programs, so they
9 have important functions and play an important
10 role in the measurement process.

11 We've just heard that there are
12 challenges to taking some of these validated
13 clinical instruments and making them into
14 valid performance measures. And that's what we
15 need to tackle with. Today we have a panel
16 that represents important perspectives in
17 addition to the author, who's going to give us
18 an overview, the author of the first paper who
19 will give us an overview of the range of
20 topics that the paper discusses. The three
21 perspectives coming from the disability
22 community, a provider perspective, and a

1 purchaser perspective are very important.

2 What we want to do is to tackle
3 these challenges, address them so that we can
4 move forward. If there is agreement that this
5 -- that patient reported outcomes really
6 represent an authoritative and valid source of
7 data, we have to figure out how to move to the
8 next steps. And that's what we hope to
9 achieve.

10 So, we have, like I say, we have a
11 very, very talented panel. Their bios are in
12 the materials that you've received. We're
13 going to start out with an overview from the
14 author of the paper, David Cella, one of the
15 authors. And we're going to go from there to
16 Charles Moseley of the National Association of
17 State Directors of Developmental Disability
18 Services, Steve Fihn from the VA, and
19 Jennifer-Eames Huff from the Consumer
20 Purchaser Disclosure Group and PBGH.

21 So, David, will you begin, please.

22 DR. CELLA: Good morning. Thank

1 you, Joyce. Do I ask you to advance or can I
2 advance from here? Okay, there's the first
3 one. Thank you.

4 So, you've all been sent a paper,
5 a draft of a paper, and I think I had a list
6 of contributors to the paper before this
7 slide. Is it not on this set? Okay. There we
8 go. I really want to show this first.

9 To those of you who said
10 congratulations on a nice draft, these people
11 deserve as much or more credit as I do. I get
12 to be the one standing here, but Beth Hahn,
13 Sally Jensen, and Zeeshan Butt, Cindy
14 Nowinski, and Nan Rothrock all contributed a
15 lot to this paper, so I want to acknowledge
16 them. To those of you who think the paper is
17 terrible, I'll take the full blame for that.
18 Next slide, please, or two slides.

19 So, I think the first question --
20 and I know whenever I work, which I don't
21 often do, but whenever I work with people in
22 the performance measurement field, the first

1 question I get either explicitly or implicitly
2 is why can't we just ask the clinicians? It
3 would be a lot easier, a lot cheaper. We know
4 our patients, why can't we do that?

5 Apart from the issue of possible
6 bias and conflict of interest in asking
7 doctors how their patients are doing when
8 they're going to be paid based upon their
9 answers, independent of that in the
10 literature, research literature there's a vast
11 amount of support that demonstrates that
12 clinical providers unfortunately don't
13 accurately capture outcomes that are only
14 logically obtained by direct patient query.
15 Certain symptoms, certain functional areas,
16 I'm afraid to say you have to ask the patient.
17 Next slide, please.

18 This is actually some work from
19 Ethan Basch, who's one of the moderators later
20 this morning just showing -- it's looking at
21 various symptoms associated with cancer
22 treatment. In the middle is agreement, that's

1 the light bars. On the left is where the
2 patient says it's worse than the doctor. On
3 the right is where the doctor says it's worse
4 than the patient. You get the impression here
5 pretty consistently that the error is in favor
6 of the patient acknowledging more problems
7 than the doctor or clinician seems to realize
8 or report on adverse events.

9 Now, these are people being asked
10 to rate the same thing, fatigue, pain, et
11 cetera. So, there is bias, and the bias tips
12 toward patients identifying more problems and
13 more issues than providers either are aware of
14 or report. Next slide.

15 And also, there's also work in
16 cancer done by Deb Bruner. When you look at
17 the correlation of adverse events, which is
18 what Ethan's study looked at with quality of
19 life, that is the broader sense of well being
20 and functioning, there's very little
21 correlation between the symptoms that patients
22 have associated with treatment or their

1 adverse events and their overall general
2 functioning and well being. So, for several
3 reasons it's really important to get this
4 information from the patient. And I realize
5 this may be the choir, but it's an important
6 choir. And if I can help with this refrain
7 please let me know. Next slide.

8 So, what's the potential for PRO
9 use in clinical care? Well, there are many
10 which I'll run through. You can assist
11 providers in care management, you can enhance
12 efficiency as opposed to the myth that you
13 actually interfere with efficiency, you can
14 improve communication. This is usually at the
15 top of the list, identify patient needs in a
16 more timely manner, sometimes being able to
17 intervene more quickly and prevent problems,
18 and facilitate an atmosphere of patient-
19 centered care.

20 Despite all these possible
21 opportunities or advantages, routine care
22 assessment is still not common in clinical

1 practice. Next slide.

2 One area that's gotten pretty
3 common is the patient experience of care,
4 largely I think because of the endorsement and
5 paying processes around getting information on
6 the patient experience of care through CAHPS
7 and other measures. So, broadening from
8 patient satisfaction where there are questions
9 like did your doctor seem to understand what
10 was important to you? Were you satisfied with
11 your visit? There is an extension in CAHPS to
12 things like reports of actual experience. For
13 example, in the last 12 months when you phoned
14 the provider's office during regular hours how
15 often do you get an answer to your questions
16 that same day? So, a very and much more focus
17 on the experience of care in a very drilled
18 down way, which I notice the all blue -- I
19 think it was the only all blue bar in that
20 figure that Dr. Burstin showed. Next slide.

21 Clearly, this is an area where
22 we're getting the information from patients

1 now. So, this concept of patient-centered
2 care, originally Epstein's concept, I think
3 has caught on in the minds of many, and as
4 implemented some places better than others,
5 involves a partnership between the informed
6 and activated participatory patient and family
7 member with an accessible and organized
8 responsive health care system that produces
9 better, more patient-centered and oriented
10 communication, that then logically would
11 improve health outcomes. So, this is the model
12 for why we would think we would want to do
13 this. Next slide.

14 Now, there are barriers. They've
15 been alluded to, and we're going to start to
16 talk about them throughout the day. Some of
17 the current practices or best practices to
18 minimize self-report barriers including
19 selecting an appropriate method and mode of
20 administration for your context, doesn't mean
21 that there's one-size-fits-all. In fact,
22 that's not the case that one-size-fits-all.

1 It's important to consider things like the age
2 of the patient, the functional status of the
3 patient going into an episode of care,
4 especially when you consider longitudinal
5 evaluation over time, and the cognitive
6 capability of the patient, whether because of
7 age or because of disease or disability as
8 those relate to your likely need for use of
9 proxies and assistive devices in helping the
10 patients provide information on their own
11 behalf.

12 In designing instruments, people
13 that use universal design principles that are
14 published and available tend to produce better
15 instruments. There are accepted and approved
16 methods for translating and culturally
17 adapting questionnaires so that they are more
18 likely to produce valid results across
19 different groups whether by language or by
20 culture, or by reading level. And you can
21 produce equivalent versions across these very
22 important sociodemographic and cultural

1 differences that our patients manifest.

2 There needs to be flexibility in
3 the location from which you get the
4 assessment, and sometimes there are
5 differences based upon the location, white
6 coat hypertension, for example, versus
7 influences at home, maybe someone is cheating
8 and having a family member help them at home.
9 These are all issues that come up when you do
10 things -- the assessments in the clinic, at
11 the home, or at some facility, say a nursing
12 home or hospital.

13 It requires access to the
14 technology. If you're choosing to use an
15 electronic technology, then people -- if you
16 choose to do it at home people have to have
17 internet access, or some ability to get to
18 that technology. Or if it's telephone
19 technology, they have to have a phone.

20 And in every setting, particularly
21 the at-home settings health information
22 privacy and security have to be considered and

1 protected.

2 It's also important within that to
3 address functional literacy, and health
4 literacy. They're somewhat distinct, and
5 they're critical really to delivering person-
6 centered health care. The next slide has an
7 illustration, a diagram of that.

8 You can divide literacy and
9 technology skills, and consider patients not
10 just in terms of their literacy by doing a
11 rapid literacy test, but whether they have
12 oral literacy; that is, being able to listen
13 and hear what someone is saying, process oral
14 information and speak back in conversation,
15 written literacy, and reading literacy. And
16 even reading literacy is divided into PROs,
17 that is being able to read some text and
18 understand what it's saying. Document
19 literacy, can you sort out figures and graphs.
20 You know what percentage means? Do you know
21 what probabilities are? And quantitative
22 literacy, as well, which gets into this

1 numeracy issue.

2 And then on the technology side,
3 if one moves as I would personally advocate to
4 an electronic environment whenever possible,
5 we need to make sure that people have
6 appropriate computer skills or other media
7 skills that might help give them assistance in
8 completing questionnaires, perhaps by reading
9 questions out loud to them if their oral
10 literacy is better than their reading
11 literacy. Next slide.

12 So, continuing on with practices
13 to minimize barriers to self-report, there are
14 some circumstances where it might be
15 difficult, or even impossible to directly
16 obtain the assessment by self-report.

17 We suggest in the paper that proxy
18 reporting, though it does have problems, can
19 be useful. It's really important if you want
20 to be able to be inclusive to include people
21 with cognitive or communication deficits, or
22 severe disease burden who can't speak for

1 themselves or respond as to how they're doing,
2 not to exclude them from the picture. And for
3 people who may be able to respond for
4 themselves but they may be in early stages of
5 dementia or malcognitive impairment who might
6 not recognize their impairment as it's
7 evolving, and yet a proxy, a family member
8 would be a good source of that information.

9 And, finally, for young children
10 who are not yet sufficiently reliable to
11 report the kinds of health status things that
12 we want to capture in performance measurement.
13 Next slide.

14 So, that's my introduction. And I
15 think I'm supposed to sit down now and have
16 the experts react.

17 CO-CHAIR DUBOW: Are there any
18 specific questions about anything? We don't
19 want to have the conversation now, but if
20 anybody has any specific questions about the
21 presentation? Okay, Charles.

22 DR. MOSELEY: Thank you very much.

1 It's a pleasure to be here. Can you hear me
2 okay in the back? No? How about now? Yes?
3 Okay.

4 It is, as I mentioned, a pleasure
5 to be here. And I was really interested to see
6 the focus of the discussion on the slides
7 highlighting the real need to address
8 indicators that will improve quality, and
9 provide accountability.

10 I'd like to add one more to the
11 list, and that is to produce indicators and
12 information that's actionable, that can be
13 used by policy makers, by service providers,
14 by family members and others to really make a
15 difference in the lives of the people who are
16 receiving the support.

17 As I begin today, it's
18 interesting, I feel a little bit like a duck
19 out of the water. We don't use the term
20 intellectual -- in the field of intellectual
21 and developmental disabilities, patient to
22 refer to the folks that we support. We

1 typically use people receiving support or just
2 people. And I think that's a very important
3 difference because it does not distance the
4 individual from the services and supports that
5 they are receiving, like the word "patient"
6 does.

7 What I tried to do is organize my
8 comments today around the three particular
9 areas that you asked me to address; how do we
10 best build a value proposition for clinicians
11 and policy makers that individual input is
12 credible?

13 I think it's important to
14 recognize that the nature of the services
15 provided -- excuse me, important to recognize
16 the nature of the services provided in the
17 populations who are receiving them. Acute care
18 services, for example, typically are time-
19 limited and measured narrowly focusing on a
20 treatment regimen or course leading to some
21 type of cure or amelioration of a condition.

22 For people who are aging, long-

1 term care typically refers to support through
2 a nursing facility which lasts on average
3 about two and a quarter years per person
4 according to the CDC.

5 Many people with disabilities by
6 contrast receive supports throughout their
7 life span. The majority of the over one
8 million people with intellectual disabilities
9 and developmental disabilities currently
10 receiving publicly funded supports, for
11 example, enter the system following school and
12 continue to receive support throughout their
13 life times. Many never utilize a nursing home
14 at all.

15 Indeed, a disproportionate share
16 of the support is provided by family members.
17 Of all people currently receiving publicly
18 financed services right now, 57 percent
19 receive them within the home of a family
20 member.

21 Finally, it's important to
22 recognize that the various demographic and

1 need profiles of Medicaid beneficiaries with
2 disabilities are incredibly diverse. As noted
3 by the National Council on Disability and
4 Managed Care Principles, the type of services
5 and supports required by an 85-year old widow
6 with advanced Alzheimer's disease are entirely
7 different than those needed by a teenager with
8 significant behavioral or communication
9 challenges caused by autism or other serious
10 neurological disorder, or an adult with
11 intellectual disabilities who has co-occurring
12 mental illnesses.

13 Each may require specialized
14 medical and prescription -- medical services
15 and prescription medications in combination
16 with ongoing personal assistance, but the
17 composition and competencies of the team
18 assembled to deliver those services will be
19 radically different in each case, as will the
20 types of medical, psychological,
21 pharmacological, and social intervention
22 services that are deemed to be appropriate.

1 What is it that people need? And
2 how do we know that the services will result
3 in the outcomes that are desired? People with
4 disabilities, just like all of us, need direct
5 support to access the community, work,
6 families, and friends. They need training and
7 assistance to enable them to learn the skills
8 they need to function as independently as
9 possible, and to direct their own services.

10 They need assistance in accessing
11 appropriate health care, therapies, through
12 service coordination and case management, and
13 they need ancillary services, transportation,
14 interpreter services, and a whole wide range
15 of other supports to enable them to fully
16 participate as members of society.

17 Long-term supports are as personal
18 as taking a shower, eating meals and getting
19 dressed. They're also a matter of public
20 policy. So, how do we develop value
21 proposition for clinicians and policy makers
22 that takes input from people receiving

1 supports?

2 It's important that we develop, I
3 believe, population-specific indicators that
4 are meaningful in the sense that they add
5 value to people's lives, and to state policy,
6 and individual practice; that they're
7 credible, that they address areas of
8 importance to service delivery and the
9 achievement of individual outcomes, that
10 they're valid, measuring what they're intended
11 to measure, reliable, that they produce
12 consistent results across interviewers,
13 raters, and over time, and representative,
14 they're based on a representative sample. And,
15 finally, they include questions that are risk-
16 adjusted so that you can compare -- identify
17 trends and compare state-to-state data, and as
18 I mentioned, national trends, and by
19 addressing broader outcome and performance
20 variables that are relevant to individuals
21 receiving support, state policy and funding
22 decisions.

1 And we're talking about indicators
2 that measure access to appropriate health care
3 at a point in time, and over time, identifying
4 the number and percentages of people who are
5 receiving -- of people receiving support who
6 are working and are accessing employment, and
7 stay on the job, documenting the percentages
8 of individuals who choose where and with whom
9 they live, and who they spend time with during
10 the day, identify individual and service-
11 related choices that a person can exert over
12 the course of the day, and by tracking the
13 extent to which measures produce data that are
14 used by policy makers, actually used by policy
15 makers, practitioners, individuals receiving
16 support, and researchers.

17 Now, the National Association in
18 collaboration with the Human Services Research
19 Institute has developed and implemented the
20 National Core Indicators Program which gathers
21 individual-level data, systems data, across 35
22 states, roughly pulling in information,

1 individually reported data on people's
2 perspectives on the places where they live and
3 work, the amount of choice that they're able
4 to use, the activities they engage in during
5 the day, the nature of the experiences that
6 they have with the supports they receive, and
7 their individual characteristics.

8 Currently, we gather information
9 on about 20,000 individuals each year. We're
10 working with a grant from the Administration
11 on Intellectual and Developmental Disabilities
12 to expand the core indicators to all 51 states
13 over the next four years, 50 states plus the
14 District of Columbia. Here I need to really
15 say 51 states, I think.

16 How do we insure that PRO data is
17 useful to patients as well as other users?
18 This is a really important issue, and I think
19 it's very important to identify and utilize
20 measures that reflect and assess what is
21 important to the person, and what is important
22 for the person.

1 There are two separate
2 perspectives there that are both valid,
3 they're both important, but for too long we
4 have identified only what's important for the
5 person as determined by someone else, whether
6 it be a clinician, a family member, a
7 guardian, or others. And it's really critical
8 to gather the information from the person
9 receiving supports.

10 And we certainly have found over
11 the past 14 years in gathering our data that
12 individuals with intellectual disabilities can
13 very easily, the majority of them, report on
14 information about the nature of the supports
15 that they receive, their choices, their
16 outcomes, their goals, and their life
17 expectations.

18 Provide regular -- the data set
19 really needs to provide regular user-friendly
20 reports summarizing key data trends and
21 issues. And as I mentioned, the core
22 indicators that are now being used by 35

1 states are being used in a number of different
2 ways.

3 I recently surveyed the directors
4 of the state agencies supporting these
5 individuals across the country. They said that
6 they used the data to meet CMS and HCBS waiver
7 quality assurance requirements with respect to
8 the plan of care, family involvement, and
9 health and welfare.

10 Now, the core indicators are
11 important to mention that these are system
12 measures and cannot be used by themselves to
13 really assess this kind of information, but
14 are best used in combination with other
15 information that is gathered by providers and
16 others.

17 Formulate key policy positions
18 with respect to the kinds of services that
19 should be delivered, compare performance
20 measures and outcomes by diagnostic groups or
21 across key service areas, residential size,
22 for example, employment and health access,

1 bench marking system performance in key areas
2 against that of other states, and providing
3 information on key systems variables such as
4 the impact of facility size on quality of
5 life, loneliness, and community access, the
6 extent to which people are able to control and
7 direct the services that they receive, access
8 to employment, improved choice and access to
9 regular health care.

10 Finally, what are best practices
11 to minimize barriers to individuals being able
12 to self-report? We found that to a great
13 extent you have to go back to the survey
14 basics. Surveys need to be well-constructed,
15 that can utilize alternative methods of data
16 gathering, not only reading a survey
17 questionnaire but having questions explained
18 to them. We found, for example, that iPads are
19 terrific for people who have communication
20 problems because they can zoom right through
21 them and are pretty much in control of the
22 information. Trained and well-supervised

1 interviewers, the availability of people
2 receiving support to participate in the
3 process.

4 We talked earlier about proxy
5 respondents. They're very good; the responses
6 that they give are, however, different, and
7 it's important to note those differences even
8 though you may include them in part of the
9 data set.

10 The development of sound and
11 practical interview and survey administration
12 protocols, data analysis methodologies, and
13 data entry processes, targeting the right
14 people who have access to the data needed,
15 providing consistent and appropriate
16 methodological approaches for analyzing and
17 reporting the data, and providing processes
18 for releasing the data to researchers,
19 demographers, and others to document usage and
20 trends.

21 I want to loop back around to just
22 mention that it's really important that the

1 data be used. We're very good, and I think
2 across the health care field at gathering a
3 whole lot of information, producing a lot of
4 beautiful reports and leaving it on people's
5 desks. We need to figure out ways to really
6 drill into the information and use it to
7 change practice. Thank you very much.

8 CO-CHAIR DUBOW: Thanks, Charles. I
9 think that your point what we call these
10 things is well taken. We are talking about
11 persons, individuals, but for the sake of our
12 discussion today, forgive us if we slip, but
13 I think everybody recognizes what you're
14 saying, and we appreciate that. Steve.

15 DR. FIHN: Good morning, and I'd
16 like to thank all of you for inviting me here
17 as a representative of the Department of
18 Veterans Affairs.

19 As many of you may know, the VA
20 has been undergoing yet another major
21 transformation over the past couple of years
22 under the direction of Secretary Shinseki, and

1 the sort of three tenets of that
2 transformation have been that we are Veteran-
3 centric, that's our word for "patient,"
4 results-driven and forward thinking. So, the
5 notion of patient-centricity I think is
6 central to our system at this point, so very
7 appropriate to have this discussion.

8 And along these lines, for
9 example, VA is investing about \$1 billion, for
10 example, in developing patient-centered
11 medical homes at the thousand sites that we
12 provide primary care.

13 And we do collect information from
14 patients along the lines of PROs. For many
15 years, for example, we've conducted a survey
16 we call the Survey of Health Experiences of
17 Patients of the Shop, which includes patient
18 experience, the SF-12V, that's collected on
19 about 600,000 people a year in a very highly
20 scientific survey methodology.

21 We've recently started the U Speak
22 for our rehab patients. We have detailed

1 patient-driven recovery plans for severely
2 injured veterans from Iraq and Afghanistan. We
3 collect PIMS on all our primary care patients.
4 We collect Audit C for alcohol use and the PSQ
5 for depression.

6 That said, actually, from my view
7 point that's a relatively limited amount of
8 information to get from patients. And we do
9 have plans which we're working on actively now
10 to expand that repertoire, so for example
11 we're developing mobile health platforms with
12 actually a VA App Store, and some of the early
13 apps will be one for pain measurement, another
14 for PTSD symptoms.

15 We are going to release a health
16 risk assessment connected with our patient
17 portal, which is called "My Healthy Vet." And
18 we're actually experimenting with some
19 condition-specific measures particularly
20 related to ischemic heart disease to measure
21 the outcomes following elective percutaneous
22 coronary interventions.

1 So, I think from the VA's
2 perspective, and I'm speaking largely for
3 myself here, I think this is a good direction
4 and applaud these efforts. But I think also
5 from the perspective of a system that has over
6 6 million patients, we've also been acutely
7 cognizant of some of the limitations and
8 difficulties which I hope will be considered
9 in this process.

10 The paper, David's paper I think
11 eloquently outlines many of the concerns that
12 are fundamental, technical issues, bias,
13 problems with performance, respondent burden,
14 interpretability of measures, privacy; and in
15 our system that's multiplied by 6 million
16 people, so these are not, I think, problems
17 necessarily to be minimized.

18 And I'm going to reflect for a
19 second on my own personal experience. I
20 reconnected with one of my close colleagues,
21 John Wasson, and recounting a study we
22 collaborated on actually back in the early and

1 mid-'90s in which we actually randomized
2 30,000 patients in the VA to feedback of PROs,
3 both generic, as well as condition-specific to
4 primary care providers over a two-year period,
5 and failed to show, actually, any clinical
6 benefits in doing that.

7 More recently, we actually
8 conducted another multi-center randomized
9 controlled trial which we linked the use of a
10 condition-specific PRO to well-defined efforts
11 to intensify therapy for patients with
12 ischemic heart disease.

13 We've also done similar things in
14 heart failure, and in COPD. And none of those
15 cases actually have we demonstrated a clinical
16 benefit. And, in fact, in one of those cases,
17 actually, there was, as reported in the New
18 England Journal of Medicine, clinical harm.
19 And can talk about why that might be.

20 So, I think I would say that as an
21 organization, and as an individual, I think
22 I'm quite committed to this notion of patient-

1 driven care. And I think Don Berwick has,
2 basically, eloquently and very succinctly put
3 that, is that the patient should drive the
4 care. And the patient can't do that unless we
5 know exactly what the patient wants. So,
6 that's clear.

7 I think jumping from that precept,
8 though, to the sort of notion of performance
9 measures and mandated instruments is a big
10 jump and one that needs to be taken with care
11 and thoughtfulness. And I'm delighted to be
12 invited to be part of this group. I think this
13 is the right group of people to start
14 addressing and confronting those issues, and
15 will be keen to participate.

16 CO-CHAIR DUBOW: Thanks, Steve. I
17 think your insights about why some of these
18 efforts didn't work would be very helpful. And
19 I hope we can get to that maybe during the
20 question and answer. Jennifer.

21 MS. HUFF: Good morning. Can you
22 hear me back there? Great. I'm also, along

1 with everybody else, really delighted to be
2 here today. It's really I think for me
3 personally very exciting to be having this
4 conversation. Early in my career, I had the
5 benefit of working at the Picker Institute, so
6 this is an area that's near and dear to my
7 heart. And the mission there, which I think is
8 very apropos to our discussion was just to see
9 care through the patient's eyes. And I think
10 that's what we're trying to do with the
11 information we're doing here.

12 It could also be akin to walking
13 in the shoes of the patient, you know. And it
14 brings for some of us that aren't as close to
15 a clinical encounter a real humanness to the
16 work that we're trying to do in terms of
17 improving care.

18 David Cella had talked about the
19 benefits of pros in clinical care, and I'm
20 just going to focus on one aspect which we've
21 actually heard underscored by the other
22 panelists, which is really getting to patient-

1 centered care and the growing recognition that
2 we really want to be truly about the patient.
3 And to do that, patients need to be a part of
4 the process.

5 Asking them about how they report
6 on outcomes and experiences, as well as
7 engaging with them on the results and the
8 interventions is an important piece to
9 creating this patient-centered environment.

10 And I think we all recognize that
11 PROs also offer a valuable perspective that
12 can't be obtained for other -- from other data
13 sources. In fact, we could even argue that the
14 patient is an expert on some of those areas,
15 like the level of pain they're feeling, their
16 functional status, how their care is being
17 coordinated. And it's not meant to replace
18 other data sources, but it's a good complement
19 to the other information we're gathering as a
20 part of performance measurement.

21 And I think we'd also say it's
22 important to say that PROs have been

1 increasingly shown to be linked to improved
2 clinical outcomes. Patients that have more
3 positive experience tend to get better
4 outcomes we've seen in some studies, which is
5 a compelling argument, I think, for all
6 stakeholders in terms of using this
7 information.

8 And I think we all agree with
9 these particular statements that I've just
10 said, and it creates value, but it's not,
11 necessarily, enough to move the system in
12 terms of using patient-reported outcomes. And
13 for that, I think we have to get really
14 practical on the information that's useful in
15 terms of the value proposition. And that's
16 really creating an evidence base that shows
17 how the use of PRO measurement programs can
18 save money, improve care, engage patients in
19 the care, and then incorporating that
20 information as we gather the evidence into a
21 variety of uses that were talked about,
22 payment programs, public reporting, say,

1 maintenance of certification or accreditation.

2 I'd also add clinical registries. There are
3 other places where this information could be
4 really helpful in terms of improving care.

5 A good place to focus initially
6 would be looking at places, and I think this
7 creates part of the value proposition of where
8 there's a lot of evidence of inappropriate
9 care. For example, back pain is a good example
10 of that, or with patients that have multiple
11 chronic conditions.

12 I'll give an example of a program
13 that the Pacific Business Group has been
14 involved in, and it will show, I think, not
15 just from a purchaser perspective but a
16 variety of perspectives the value proposition
17 I was talking about in terms of the different
18 elements.

19 PBGH members are using PROs in a
20 program that is called the Intensive
21 Outpatient Care Program. Some of you may know
22 this, as Boeing Corporation did a pilot on

1 high-risk, high-cost patients. It's
2 essentially what I'd call an ACO for a really
3 chronic condition high-risk population in the
4 primary care setting. It's a care redesign
5 model that includes a dedicated staff person
6 for the intensive primary care management.
7 They do a case rate per member per month to
8 cover non-traditional services, and as also a
9 shared savings component.

10 What we found in the Boeing pilot
11 is compared to non-participating -- a matched
12 population, there was a 20 percent spending
13 reduction in the program and, as well as,
14 which is where this is really important, they
15 used the SF-12, and the PHQ-9, and they found
16 both improved physical and mental functioning
17 from baseline, and patients reported access to
18 care improved since the baseline, and they
19 also saw a decrease in absenteeism, again as
20 reported by the patients.

21 PBGH is spreading this model both
22 in Northern and Southern California, and it's

1 been much easier to spread this model with
2 this compelling evidence. I think this
3 evidence really brings the value proposition
4 to the purchasers that we're working with.
5 We've been working closely with Humboldt
6 County. Some of you may be familiar with it.
7 It's an Aligning Forces for Quality site. And
8 they've also added using the PIM as a part of
9 the -- in addition to the SF-12 and the PHQ-9.
10 And they incorporate it into the patient's
11 action plan, so they have this information.
12 They use it in terms of how the care will be
13 delivered to the patients, and they are
14 regularly assessing over time how these things
15 change.

16 And I think this model has
17 something for everyone. It's improving care.
18 It's being more affordable. Patients are more
19 satisfied with the care they're getting. It
20 has patient engagement, and the clinicians are
21 also really engaged in using this information.

22 In terms of the other area we're

1 asked to talk about, the usefulness of
2 patients, I would agree with Charles that it's
3 really important to use information that's
4 important to the person, and for the person.
5 And I also would say I think it's really
6 important for us to consider how the PRO data
7 will be used: will patients be using it in
8 shared decision making; will they be using it
9 in their treatment decisions or selecting a
10 provider; because those actions have different
11 data needs. So, really tying it to what the
12 use is is important when we're beginning this
13 path.

14 And I'd do another plug for
15 patient-centered engagement. This is making it
16 useful to patients. This is a place to engage
17 patients or their representatives as a part of
18 that process.

19 The other thing I'd add in terms
20 of useful to patients, which we've seen with
21 other performance information is the timing of
22 when this information is given of really

1 having those opportunities when the patients
2 need the information, or are making the
3 decision.

4 For best practices in collecting
5 PROs, I think integration of the PRO systems
6 into clinical care, making it a part of the
7 clinical process, using patient-reported
8 modules and patient portals that are
9 convenient, and I'd also add if there are ways
10 to use cell phone technology in terms of
11 making this really accessible.

12 And also, I think, with the
13 technology, I think David talked about some of
14 the challenges with using that, so also
15 recognizing what are some of the barriers that
16 would come of that, but it would be a great
17 place in terms of making the information much
18 more available.

19 And then I'd like to conclude with
20 an experience I had when I was working with a
21 doctor who was an oncologist and primarily
22 treated breast cancer patients. And he decided

1 that it was really important for him to be
2 tracking the SF-12 looking at the physical and
3 mental functioning of his patients, so he
4 bought a machine that he put in his clinic,
5 and when patients came in for their visit,
6 they filled out the SF-12 questionnaire. It
7 was much like educational testing where you
8 fill in the dots. It has all these dots, and
9 there is a machine where you could put the
10 card right in there, and it spit out the
11 report in terms of the results. It would
12 calculate it.

13 And there is also a way that you
14 could download the information on a disc, and
15 do different analyses, but it was real time.
16 And then that was given -- the nurse took it
17 and put it in the chart, and it was something
18 that the doctor could use as a part of his
19 visit right then and there. And I'd just like
20 to add that was 17 years ago.

21 So, I think one of the things
22 you'll hear purchasers saying, as we're always

1 saying there's an urgency to move things
2 forward and not let some of the real nitty
3 details keep us from making progress. And I
4 think that's a great example of, "We've been
5 doing this for a while." I'm really excited to
6 be here and start talking about ways to really
7 move this forward.

8 CO-CHAIR DUBOW: Thanks, Jennifer.

9 So, maybe we can conclude that this is an idea
10 whose time has come. And, you know, Jennifer,
11 I hope that during the conversation, and we
12 have about a half hour. Is that right, Karen?
13 That you'll have a chance to give us some
14 insight on how we can get other purchasers to
15 be thinking about asking for PROs to be
16 incorporated into their measurement
17 strategies, as well. PBGH is a fairly
18 enlightened and sophisticated purchaser on
19 behalf of other companies, but it would be
20 really useful to think about how to spread the
21 word to those who aren't quite.

22 With that, we have plenty of time

1 for questions and answers. I think that the
2 panel has given us lots to think about, and I
3 urge you to just come forth with your
4 questions.

5 MS. PACE: And I think what we'd
6 like to do during these discussion sessions,
7 if we could ask the expert panel first to make
8 their comments and questions, and then we'll
9 go to the audience that's here in person and
10 on the phone, and then we'll have to ask the
11 operator to open up the phone lines when we're
12 ready for that.

13 CO-CHAIR DUBOW: Does the panel
14 want to --

15 (Off microphone comment.)

16 CO-CHAIR DUBOW: Right.

17 MR. CUNNINGHAM: And just a
18 reminder, at each roundtable is an individual
19 microphone that you can all use, as well.

20 (Off microphone comment.)

21 MR. CUNNINGHAM: Oh. At each
22 roundtable we have --

1 CO-CHAIR DUBOW: I just wanted to
2 be able to say that.

3 MR. CUNNINGHAM: At each roundtable
4 there is a microphone to use, as well. Just
5 push it on until it's green.

6 CO-CHAIR DUBOW: Is that Al there?
7 I can't see. Al Wu.

8 MS. PITZEN: Okay. This is Collette
9 from Minnesota Community Measurement, and I'm
10 sure we'll get to this later, but I just
11 wanted to make sure that we address the use of
12 the validated tools and potential copyright
13 issues with those developer holders.

14 For example, we're working on a
15 couple of orthopedic measurement sets with
16 definitive charge to access functional status.
17 We obtained permission from Oxford University
18 and the EQ-5D, but in a very narrow scope. So,
19 we can only use those tools for that
20 measurement. And as hospitals and clinics are
21 starting to implement these functional status
22 tools, they would like to use them a little

1 bit broader than just spine fusion patients.
2 So, we are continually running into those
3 complications in terms of copyright. Thanks.

4 CO-CHAIR DUBOW: That's a good
5 point. Do you want to talk about the
6 proprietary issues around that measurement,
7 Helen?

8 DR. BURSTIN: Sure, I could try.
9 Oh, that works. It's a great question, and
10 it's something that's come up. Actually,
11 numerous tools have been proposed to us, and
12 people have sort of backed away because of the
13 issue around cost. So, NQF does have a carder
14 that allows proprietary measures to come
15 forward, where part of what is revealed, the
16 measure has to be fully transparent, the
17 Committees have to be able to review it fully,
18 but there is an opportunity to include the
19 charges as one of the considerations under
20 feasibility.

21 We have not had very many measures
22 make it through that way. Actually, recently

1 a couple of ICU measures did because it truly
2 was the only tool around to look at pediatric
3 ICU care.

4 But it is a real issue, and it is
5 something that does limit what's available.
6 And I think if there are opportunities, and
7 I'd be curious to hear, you know, David
8 Cella's perspective from NIH as some of those
9 sort of those sub-elements of those tools may
10 get looked at if there are ways to take some
11 of those building blocks and build them into
12 measures, perhaps, rather than the whole tool.

13 CO-CHAIR DUBOW: Al.

14 DR. WU: To save time hereafter,
15 I'm going to go as Al Wu. I think that we all
16 agree that the patient is the authoritative
17 source. And it struck me as we are thinking
18 about -- as we are discussing this, one
19 question which we probably need to ask, which
20 is a little bit of a measurement question, is
21 which the patient, becomes sometimes patients
22 are too sick to answer for themselves. Someone

1 else responds for them. They then at a later
2 point in time respond. Those two measures are
3 supposed to represent the same person, but we
4 now to figure out how to combine them. Some
5 patients may report things multiply over time.
6 How are going to use that information? Are we
7 most interested in the state of the patient at
8 one point in time, or are we measured in a
9 changed measure, or are we interested in some
10 area under the curve?

11 So, I think there are a number of
12 issues that we need to think about which sort
13 of relates to which patient, at least at the
14 individual level.

15 CO-CHAIR DUBOW: Greg.

16 DR. PAWLSON: I'll agree. I'm not
17 color blind. I actually tell red from green.
18 I had the privilege last night of, I guess,
19 that you'd call it that of spending about six
20 or seven hours on an airplane, and I had a
21 chance to really re-read the paper in some
22 depth. And when I got home and my 5-year old

1 granddaughter who lives with us engaged me in
2 doing a puzzle with her. And those two
3 experiences together sort of really helped
4 shape some thinking around acknowledging the
5 patient as an authoritative source.

6 I think one of the things that
7 would really help in this work is to -- and
8 specifically the paper, is to really start
9 with a little bit broader context.

10 We normally think about it as
11 helpers of a person who needs help, and I
12 think we need a new phrase, because persons is
13 a little bit too broad. But there are people
14 who need assistance of some kind or another,
15 input, and those people are the ultimate
16 source of information about what is going on
17 with them, what they are experiencing, what
18 they think they need, and their outcomes.

19 And I think that really
20 reinforcing that spectrum of where the patient
21 or where this person who is needing the help
22 is not only the authoritative source, but is

1 the critical and only source, and then how
2 that plays out in the interaction with the
3 persons trying -- the care givers, the people
4 that are trying to assist that individual, I
5 think it would really be helpful.

6 It gets back to -- because I think
7 it puts into context for clinicians of how we
8 gather information, and when we gather it, and
9 when it's really important. And that patient-
10 reported outcomes are one piece of the puzzle
11 that is absolutely critical, and has been
12 lacking, I think, a good deal, but is really
13 in this whole context of how the person we're
14 trying to help has to be the ultimate source
15 of a lot of the key, and then how that gets
16 played out in this new electronic age. Because
17 I was also watching my 1-1/2 year old
18 granddaughter use my iPad, which was very
19 impressive.

20 CO-CHAIR DUBOW: Wow.

21 DR. TINETTI: Mary Tinetti, Yale. I
22 just want to make one comment about

1 differentiating patient important outcomes
2 from patient-reported outcomes. And several
3 people have alluded to it, but I think I would
4 like it to be explicitly on the table that a
5 lot of the measures are what researchers think
6 are important. I just want to clarify that.

7 The second point I want to make is
8 I think I don't want to dismiss too quickly
9 the clinician reported. I think we need to
10 differentiate clinician ascertainment of
11 patient-reported versus clinician reported,
12 because ultimately we want to do with quality
13 improvement is to improve care. And if we have
14 separated what clinicians do versus what we
15 ascertain from patients we're not going to
16 accomplish our goal.

17 So, I really want to make sure,
18 because I -- both in sort of alluding in the
19 discussion today and an excellent background
20 paper, I think it almost too much dismisses
21 the clinicians that still need to be at the
22 center of the relationship between the patient

1 and the outcomes, so I really want to make
2 sure we differentiate those two points.

3 CO-CHAIR DUBOW: That's very
4 important. Patti Brennan keeps making that
5 point over and over again, and I think we
6 really need to keep it in mind.

7 DR. BASCH: Hi, there. Ethan Basch
8 from Memorial Sloan Kettering Cancer Center.
9 I just wanted to bring up the issue of context
10 of use of measures, because many of the
11 measures that have been discussed are actually
12 generic measures, but as we start to think
13 about really getting very granular about
14 evaluating performance, some of the approaches
15 will really have to take into consideration
16 the context in which patients live, and what
17 they're experiencing.

18 And if we look at the regulatory
19 context in which many of these measures have
20 been used for many years, context of use or
21 fitness for purpose is really central to the
22 development of a measure. Demonstrating that

1 the measure being used is appropriate to the
2 patient population is meaningful to that
3 patient population. And generally speaking,
4 this is based upon up front qualitative
5 research demonstrating that what is being
6 assessed is meaningful in that particular
7 population. So, I hope that as we move forward
8 we will keep sight that as we develop patient-
9 reported performance measures, that it's a
10 whole package, and it's not just presenting a
11 measure, but demonstrating that in the context
12 of use for the population of interest that
13 measure is actually meaningful and can measure
14 something the patients care about.

15 DR. GANIATS: I love you all. I
16 hope you still love me. I'm Ted Ganiats,
17 again, from San Diego, University of
18 California-San Diego. And I will play a bit of
19 a role of a curmudgeon, I guess, because I'm
20 going to challenge the statement that patients
21 are an authoritative source. I don't doubt
22 that they can be, but are they?

1 And I say that because as a
2 clinician I'm able to sit there and listen to
3 the patient, and the one who comes in with a
4 positive review of systems, who has a positive
5 serum porcelain level for whatever reason, I
6 can then dismiss or partially dismiss, but
7 when put into a performance measure we lose
8 that ability. And are patients of that ilk
9 equally spread among all practices? Then I
10 don't have to worry as a performance measure,
11 but if not, there's a problem. And we know
12 that they're not equally spread.

13 Are men and women equally likely
14 to respond to a given problem? We know that
15 there are gender differences. If there are
16 gender differences, we have a problem using it
17 as a performance measure. Does mood affect the
18 report of a patient-reported outcome? I
19 believe it does. I think people around the
20 table do.

21 I am not -- I mean, I'm a family
22 physician. I actually use this stuff, I

1 believe in it. I'm a strong proponent, but we
2 have to remember the limitations of the
3 patient-reported outcomes, and not assume that
4 just because it's from the patient it's
5 automatically authoritative. I say that with
6 love and respect.

7 CO-CHAIR DUBOW: It's always
8 necessary to have a curmudgeon. And we
9 appreciate the push-back because, clearly,
10 there are challenges to implementation, and we
11 need to be able to address those. But I don't
12 think you actually challenged whether the
13 patient is an authoritative source, as you
14 simply identified some barriers that we need
15 to address when we get to the measurement part
16 of it. So, you're only a kind of quasi-
17 curmudgeon, and you have to work harder.

18 (Laughter.)

19 (Off microphone comment.)

20 CO-CHAIR DUBOW: Okay.

21 DR. MOSELEY: I have a quick
22 comment. I think you're raising an important

1 issue, and it gets back to the notion of
2 context that you've talked about earlier,
3 because we have certainly found as we gather
4 individual responses on people's perspectives
5 on their quality of life, that that changes.
6 It changes with respect to several variables,
7 not only the level of the person's disability
8 or the particular life situation, but also
9 changes with respect to people who are working
10 versus people who are not working.

11 People who are working clearly are
12 demonstrating more choice over the services
13 that they receive, over the people who come
14 into their lives, over the structure and
15 functioning of their individual support plan.

16 So, I think it's important to kind
17 of look underneath, just as you suggest, the
18 data to see which group is being
19 representative, and what are the various other
20 variables that could come into play.

21 CO-CHAIR DUBOW: Steve.

22 DR. FIHN: Yes, I was going to

1 respond also. We've actually looked at the
2 geographic distribution of health-related
3 perceptions in our system, and there are huge
4 geographic differences. A good example would
5 be in the Southeast, health status is much
6 worse than it is in other parts of the
7 country. And it, obviously, closely correlates
8 with socioeconomic status and other health-
9 related conditions.

10 So, again, if we were to sort of
11 use this as a performance measure without some
12 sort of adjustment, we would arrive at some
13 probably erroneous conclusions.

14 DR. CELLA: This is Dave Cella
15 again. So, I see this particular discussion
16 as, for me, at least, the most important thing
17 for me to take away from the meeting, and it
18 comes back to Dr. Fihn's initial comment about
19 the jump from patient-driven care to
20 performance measurement mandate. And making
21 that jump, I see this group as the group that
22 can help that jump happen.

1 I guess I -- what I was saying was
2 that I see this group of experts and panel
3 reactors, reactor panels, I said in a
4 conference call that reactor panels sounded
5 like I was getting in front of a power plant.

6 (Laughter.)

7 DR. CELLA: And it is sort of a
8 power plant, I guess. But I think this is the
9 -- to me, this is the rub, this is the core of
10 what our challenge is to do here. And on one
11 level you can very simplistic and say well,
12 I'm not sure why patient-reported outcomes are
13 different than any other outcome that has to
14 be risk-adjusted. Maybe you could argue there
15 are more things to adjust for because of
16 culture, and language, and things that go into
17 patient-reported outcomes, and that might be
18 true. But I don't think, in my mind, at least,
19 I can -- I'm here to be educated, that it
20 necessarily is fundamentally or qualitatively
21 different to consider how we adjust patient-
22 reported outcome scores to do fair

1 comparisons, just as you adjust any other
2 outcome across providers. But I'd like to hear
3 what you think about that.

4 CO-CHAIR DUBOW: We want to open
5 this opportunity for questions and answers to
6 the audience, as well, as well as to the
7 people on the phone. So, can we ask the
8 operator to open up the -- are there people p-

9 OPERATOR: At this time, ladies and
10 gentlemen, if you would like to ask an audio
11 question please press *1 on your telephone key
12 pad. We'll pause for just a moment to compile
13 the Q&A roster.

14 MS. MASTANDUNO: Good morning. I'm
15 Melanie Mastanduno from the Dartmouth
16 Institute. And I'm going to echo something one
17 of our experts, and that is going back to
18 survey basics. And thinking about the response
19 rate among the patients who are eligible to
20 report these measures, whatever instrument we
21 are using.

22 And I've had the pleasure of

1 visiting six different sites that are doing
2 some form of patient-reported measures on the
3 ground, and looking at their work flows, and
4 finding out their challenges, as well as
5 what's working well.

6 And two things that haven't been
7 mentioned this morning; one is the positive
8 attitude among providers when a patient does
9 provide their perspective, and wanted to be
10 acknowledged, thanked, and somehow integrated
11 into that provider-patient conversation as an
12 essential key ingredient.

13 And the second is the level of
14 trust some patients have for computers, for
15 example, or using a technology when their
16 whole social and socioeconomic circumstance
17 has not permitted them to be really power
18 surfers. And this is a key way we'll collect
19 data. And before we even get to Smart Phones,
20 this is a real cultural barrier from the
21 perspective of accessibility. Thank you.

22 DR. ROSS: Hi, I'm Clarke Ross. I'm

1 a new member of the MAP workgroup on persons
2 duly eligible for Medicare and Medicaid. And
3 I represent the Consortium for Citizens With
4 Disabilities, which is a policy consortium,
5 volunteer consortium of 113 disability
6 organizations.

7 I wanted to throw out a couple of
8 paradigm challenges, things that are happening
9 with Medicaid dollars, both Medicaid managed
10 care dollars and Medicaid home and community-
11 based service dollars, and just keep the
12 thought in your mind as we work through the
13 two days. And this is the direct empowerment
14 of people with disabilities.

15 The payment of dollars not through
16 an agency, and not through an organization,
17 and not through a provider, and not through a
18 professional, the payment of dollars directly
19 to people with disabilities to make their own
20 purchase decisions.

21 We have in several states what's
22 called Cash Counseling programs. These are

1 people who employ their own personal
2 attendants, for example, and make those
3 choices. And then in the area of mental
4 illness, we have four states who have financed
5 independent third-party consumer and family-
6 operated community-based organizations that
7 monitor services and engage individuals
8 directly, service recipients directly. And
9 these are all people who are in recovery
10 themselves from mental illness.

11 They are people with a history of
12 mental illness who have been trained to engage
13 their peers. So when we just talk about the
14 complexity of what we're talking about, these
15 are models that are actually operated,
16 financed, and have a lot of momentum behind
17 the consumer family movement to increase their
18 use. And I just wanted to remind you all of
19 those developments where the dollar empowers
20 directly the consumer and family member, and
21 doesn't go through all of the kinds of
22 organizations that we're talking about. Thank

1 you.

2 CO-CHAIR DUBOW: Operator, is there
3 anybody on the line who has a question? Thank
4 you for that observation, by the way.

5 Operator?

6 OPERATOR: At this time, if you'd
7 like to ask a question please press *1 on your
8 telephone key pads.

9 CO-CHAIR DUBOW: Okay, then let's
10 continue with the audience.

11 DR. GIOVANNETTI: Hi, my name is
12 Erin Giovannetti from National Committee for
13 Quality Assurance.

14 One thing that I have not heard in
15 this discussion --and maybe this going to come
16 up later-- is, when you're using patient-
17 reported outcomes as performance measures, is
18 accountability, and specifically talking about
19 quality of life and function.

20 If we really think that the
21 evidence is there that we can hold providers,
22 entities, health plans, whatever accountable

1 for quality of life and function outcomes when
2 we know that there are a lot of outside
3 factors, social support, housing, income, et
4 cetera that are impacting these. And just kind
5 of thinking through are these -- is the SF-12
6 actually controllable by an individual
7 provider? Is that something that they can
8 change by their annual wellness visit?

9 So, I just kind of wanted to get
10 some -- hear maybe from the panel in terms of
11 what you think about function and quality of
12 life and its controllability.

13 CO-CHAIR DUBOW: That's an
14 interesting question, and I can't help but
15 observe that there is a functional status
16 measure that NCQA has which is in the HOS.

17 DR. FIHN: I'd like to respond. And
18 I'll just expose my own bias here. You know,
19 I think the -- and in David's paper, you know,
20 he actually addresses some of the areas in
21 which these have been used; one, in
22 particular, hip arthroplasties. In our case I

1 mentioned we're looking at PCI as a very
2 directed one.

3 I find these attractive not only
4 because in a sense we think about them as
5 measures of technically how good people are,
6 did they do a good job with the hip
7 replacement, or did they put a stent in well.
8 But I think in terms of measuring a health
9 system, I like them because they also would
10 depend upon how well your patient selection
11 is, are you selecting great people? In the
12 case of hips, are you doing the appropriate
13 post op rehab. In the case of coronary
14 revascularization, you know, are -- one of the
15 big issues are you actually revascularizing a
16 lesion that is causing the symptoms? If you're
17 doing a lot of revascularization for lesions
18 that aren't a problem, patient symptoms are
19 not apt to get better.

20 So, my plea would actually be
21 let's start with some of these focused areas
22 where we do have validated measures, where

1 they've probably are better, if you will,
2 value proposition than the larger sort of much
3 more generic, and I would agree, difficult to
4 effect. There may be in intensive primary
5 care, some groups of patients in which we can
6 alter sort of the global health, but that's a
7 hard thing to move and control. So, that
8 would be my own personal bias, if we're going
9 to get started, to start is some very focused
10 areas where we've got some good evidence
11 already.

12 MS. HUFF: I would also show my
13 bias and agree with what Steven has said, as
14 well, in terms of really being careful to
15 select what population we're going to be using
16 in terms of looking at these measures.

17 But to the point around using some
18 of the general status, like the SF-12, I will
19 say what we have found is really -- it does
20 show sensitivity when you look at a chronic p-
21 - a population that has chronic conditions.
22 So, if you're really careful in terms of

1 selecting the population for which it has
2 sensitivity, then it is useful. And we found
3 that not only in the project that I talked
4 about in my introductory remarks, but also in
5 some other research that we've done.

6 DR. MOSELEY: I just want to
7 underscore the differences between performance
8 measures for acute and long-term supports. As
9 I listen to the discussion of remediation of
10 conditions and various surgical interventions,
11 those are really good. And I think it's very
12 important to have that as a part of the
13 person's overall treatment plan. But people go
14 back to life, and they go back to jobs, and
15 they go back to family members, and they go
16 back to living in situations, particularly
17 those who receive live-long supports living in
18 situations that are paid for and controlled by
19 others.

20 One of the biggest factors,
21 variables, in individual outcomes that we've
22 documented through the National Core

1 Indicators Program is state. The state is the
2 biggest variable. And why is that? It's
3 because state Medicaid programs, structure and
4 functioning of their Medicaid programs,
5 although they're all based on the same
6 statutory framework, they vary sometimes
7 significantly from one area to another. The
8 amount of supports that may be available to a
9 family to enable them to keep their son or
10 daughter with disabilities in the family home
11 for a period of time may vary vastly. And,
12 actually, since 2007 when the economy has kind
13 of gone off the deep end, the level of
14 supports that are available to individuals has
15 declined significantly in many areas.

16 These have a very powerful impact
17 on the quality of life that a person has,
18 their ability to control their own services
19 and supports, and their ability to really
20 continue to interact with their families and
21 their communities.

22 MR. ROONEY: Hi, this is Ted Rooney

1 from Maine. We're Force for Quality Community,
2 and I want -- this is one of those both -and
3 comments. I absolutely agree we need to focus
4 on those patient-reported outcomes that are
5 controllable by the health care system. And,
6 at the same time, many of you are familiar
7 with the work Robert Wood Johnson has done
8 with Project Match, which is looking into
9 social determinants come out of the University
10 of Wisconsin and others. And if we read that
11 right, it suggests that 80 percent of the
12 health of the population is not due to medical
13 care. We're hoping that the promise of the
14 accountable care organizations does begin to
15 look beyond those things that are directly
16 controllable by the clinician and look at the
17 community.

18 And at least in Maine, we're
19 actively talking about it. Granted we're a
20 smaller state, we're not going to have 14 ACOs
21 in one environment, but we have account -- you
22 now, ACO-type organizations that are actively

1 talking about the social determinants in the
2 community because they recognize the limits of
3 health care.

4 So, yes, I think we need to focus
5 on those things that are controlled by the
6 health care system, but at the same time I'm
7 hoping we absolutely look at the communities,
8 then, to determine 80 percent of the health
9 population, what can we do to support those
10 things in the community that are way outside
11 the control of the physician, but need to be
12 impacted.

13 DR. PERFETTO: I think I pressed
14 the button too many times. Eleanor Perfetto,
15 I'm with Pfizer.

16 I want to go back to something
17 that I think it was Ethan brought up a little
18 bit earlier. In the pharmaceutical industry,
19 our most vast experience using PRO data, and
20 I know David knows this well, is in the
21 clinical trial process, and incorporating
22 these tools in clinical trials. And it's a

1 very difficult thing to do to be able to get
2 something into a clinical trial and be able to
3 differentiate whether or not you're finding
4 some differences because of a treatment that's
5 been provided. So, we've got some experience
6 in looking at whether or not a PRO can change
7 in a given clinical trial environment because
8 of treatment versus placebo, or several
9 treatments against one another.

10 And I think it gets back to
11 something Ethan brought up before, was this
12 idea of purpose of fit. And we have -- our
13 arbiter in the pharmaceutical industry about
14 whether or not the tool can do what we would
15 like for it to do in this differentiation
16 process is the FDA. And the FDA looks at the
17 data that we provide on the tool that we want
18 to use and gives us the yea or nay about
19 whether or not they think it's rigorous enough
20 to be able to do what we want it to do.

21 And I guess one of the challenges
22 that I see in this process is: If a tool is

1 going to be selected, or if a set of tools are
2 going to be selected, to be able to be used as
3 performance measures, who is going to be the
4 arbiter to say that that tool is good enough
5 to be able to do what we want it to do, and
6 that it's capable of doing those things; and
7 then from there, be able to have that tool be
8 translated into a quality performance
9 measurement process?

10 DR. KAZIS: So, think this has been
11 a very exceptional discussion. I'm Lewis
12 Kazis. I'm at Boston University. Our group, in
13 fact, developed the Veterans Rand 12-item
14 Health Survey which has now been adopted by
15 the Veterans Administration, as Steve
16 indicated, and also by CMS as part -- as the
17 principal endpoint in the health outcome
18 survey to evaluate the Medicare Advantage
19 Program.

20 My view, I think, is that one
21 needs to be as flexible as possible in terms
22 of the implementation of these assessment

1 tools. And that if one moves to very focused
2 disease-specific assessments, where one might
3 see an effect to the exclusion of a generic
4 measure, I think one might lose out and not
5 get all of the information, in fact, where a
6 lot of the information that might be conveyed
7 in terms of the kind of clinical care that's
8 being rendered.

9 So, I think it becomes important
10 to consider not only the disease-specific
11 assessments, but also the generic assessments
12 in terms of evaluating, and maybe to consider
13 in the larger health care systems whether one
14 can focus on the low-lying fruit to begin with
15 in terms of those particular populations where
16 one might get the biggest bang for the buck.

17 CO-CHAIR DUBOW: Okay. Thank you
18 very much. I think Erin really highlighted a
19 really important area, and that is that we are
20 talking about quality improvement, as well as
21 accountability, and we need to take that
22 challenge into account as we go forward.

1 I hope you'll join me in thanking
2 the panel for an excellent job for getting us
3 started.

4 (Applause.)

5 CO-CHAIR DUBOW: I think we have a
6 break for 15 minutes. Is that right? And we'll
7 be back here at 11:00 for the next panel.

8 (Whereupon, the above-entitled
9 matter went off the record at 10:47 a.m. and
10 resumed at 11:02 a.m.)

11 MS. PACE: We are going to
12 reconvene. Greg Pawlson, Elizabeth Mort and
13 Gene Nelson, come to the table.

14 DR. PAWLSON: Okay, we are going
15 to get started now. I'm very sure that there
16 is important stuff being talked about. There
17 are probably about five new grants that are
18 being discussed. All we need are a few more
19 funders in the room, and then we'd never get
20 back to going.

21 It's always, I think, wonderful in
22 a gathering like this, the conversations that

1 are sort of offline are just as exciting and
2 interesting, and sometimes even more creative,
3 in some senses, than what actually gets
4 presented. So these meetings do have, I
5 think, a very important focus, and especially
6 this one.

7 This is an area that I suspect
8 almost everybody in this room has been
9 thinking about, kicking around sort of in the
10 background for a very long time. And in
11 different pieces of it, whether you're talking
12 about functional status or patient-reported
13 experience of care, or other aspects of POM,
14 it's been around for quite a while. But I
15 don't think it's been put together in this
16 coherent fashion.

17 And I do think this is an
18 incredibly interesting and opportunistic time,
19 because I think one of the things we have now,
20 that we didn't have even five years ago, is --
21 first of all, we have the developing
22 electronic capability, which I think is

1 incredibly transformative.

2 And I wasn't kidding. My one and
3 a half year-old granddaughter was actually
4 going online and finding stuff that she liked
5 using icons, which is very different. It's a
6 new language, in many ways.

7 So we have that. And then, on the
8 other side, I think, we have this emerging
9 concept of the patient-centered medical home
10 and accountable care organization, which, if
11 it's done right, can truly be a point of
12 accountability and take into account, and
13 factor in, for example, the use and
14 development of community resources as part of
15 their overall mission. So I think we have
16 both a receptor and an effector on site that
17 we didn't have before.

18 So having gone through
19 acknowledging, in the first panel, the patient
20 as an authoritative data source, what we're
21 going to focus on here is that it can be done.
22 I don't think anyone would say it's being done

1 perfectly, or as well as we would like to have
2 it done, but it is being done.

3 And we're going to have two
4 examples of that, which are sort of domestic,
5 U.S. examples. But I would also point out in
6 the paper that it was a very nice little
7 vignette about what's been going on in Sweden,
8 and I am told in our next meeting we are going
9 to actually have representatives from an even
10 larger, very extensive use of patient-reported
11 outcomes that the U.K. has embarked on, and
12 which they've now had about two or three years
13 of experience with. And this was implemented
14 across the entire National Health System, so
15 equivalent to sort of some of the things that
16 Steve talked about that the VA's trying to do.

17 I think what we're going to try to
18 convey is, this can be done. There are still
19 lots of issues. There are still
20 methodological barriers that keep popping up.
21 But we are making real progress. And here to
22 share their experiences with us are Elizabeth

1 Mort from Massachusetts General Hospital and
2 Gene Nelson from Dartmouth Hitchcock. And
3 we're going to start with Elizabeth's
4 presentation, since they're a little bit more
5 in the formative stage. Gene's been at this
6 a while, and can show us his scars a little
7 more.

8 But I think it's a nice balance,
9 because we're sort of looking at one that's
10 getting up and started, and has overcome a lot
11 of the inertia and initial issues, and another
12 that's been in operation for a while. So,
13 Elizabeth?

14 DR. MORT: Thank you very much,
15 Greg, for that introduction. And thank you
16 very much, Helen and others at the NQF for
17 inviting me to come down and share this
18 exciting story, Lessons From the Field: Early
19 Experience with PROs at Partners Health Care.

20 We are just beginning, but we're
21 very enthusiastic, and we're in this to stay,
22 I hope. I think how this session today and

1 tomorrow, and the next part of this workgroup,
2 goes will determine if we're in it for the
3 long run. But at least, we are very, very
4 excited to start.

5 We are only in the beginning of
6 our data collection phase. We only started
7 data collection in March, and I'll get to that
8 in a minute. I wanted to spend a little bit
9 more time up front telling you about the time
10 and energy that we intentionally spent in
11 setting this up, hopefully for success in the
12 organization.

13 Partners Health Care is an
14 integrated delivery network in Boston. It was
15 founded in 1995. The founding hospitals of
16 the Brigham and Women's and Mass General
17 kicked it off at that time. We've had several
18 CEOs, and the most recent CEO set off a new
19 strategic plan that was launched in 2010.
20 When we launched that, we were looking broadly
21 at care redesign in two areas. We were
22 looking at primary care and population health.

1 And we were also looking at condition-specific
2 care redesign, and we selected CABG, stroke,
3 colectomy for colon cancer, AMI, and diabetes
4 as our focused conditions.

5 When we set this up, we organized
6 this around a key principle, which is that if
7 we were going to redesign care, we were going
8 to redesign care with the goal of improving
9 value. And we talked about this concept for
10 a long time, because our providers, when we
11 asked them to come to the table to work on
12 these projects in 2010, knew we were about to
13 undergo a large change in the way we were
14 paid.

15 They asked us "Well, how are we
16 going to be paid? How shall we do care
17 redesign?" And of course, in 2010, all we
18 knew is that it's likely to be different, but
19 we don't exactly know how. It's probably
20 going to be something in the order beyond
21 unfettered fee for service. So what we'd like
22 you to do is think about organizing care with

1 the goal of improving value.

2 So these overlapping Venn
3 diagrams, we must have shown thousands of
4 times, pointing out that, of course, our goal
5 here is to improve care while keeping it more
6 affordable. So we want to improve outcomes by
7 reducing costs, and obviously the inner
8 section is the value.

9 So, when we brought people
10 together, we'd had a decade or so of teams
11 working on quality improvement, working on
12 measurements, working on process indicators,
13 working on outcomes indicators.

14 What really captured people's
15 imagination, and what really has stimulated
16 this work from the get-go, though, was
17 inviting our care teams to think about
18 outcomes that really matter to patients. We
19 want to start collecting patient-reported
20 outcomes. That's what patients, after all,
21 really care about, all the kinds of
22 conversations that we've had this morning.

1 So I tell you this because we got
2 everybody really fired up about this quest,
3 sort of two years before we even got into the
4 implementation tasks. So we selected five
5 conditions, four of which are acute, based
6 upon an episode starting in a hospitalization,
7 one of which is chronic, diabetes, looking at
8 chronic care over the course of a 365 day
9 period. But we were organizing this work
10 around episodic care population management,
11 and we decided to start with CABG, and we
12 actually added AVR, aortic valve replacement,
13 as well -- coronary artery bypass graft, I
14 should say, and aortic valve replacement --
15 for the purposes of getting adequate volume to
16 study these PROs. And then we selected
17 diabetes.

18 We spent about two years. We had
19 a very engaged physician from Israel, Eyal
20 Zimlichman. He may have interviewed some of
21 you in the room. He really led this project,
22 and did all the sneaker work, sneaker power,

1 going around talking to people, and really
2 doing a very, very thorough job of change
3 management, managing us, by interviewing
4 people. Interviewing researchers,
5 interviewing folks who went up to Dartmouth,
6 learned from Gene and his colleagues, to learn
7 about how this works.

8 So it was never one of those
9 interventions where we said "Okay, we're going
10 to do this. Here are the measures. It's
11 going to start next Friday." It was all about
12 getting people engaged, getting iterative
13 conversations with high-level people, people
14 very invested in this work from the get-go.

15 And the kind of goals that we
16 thought about from the beginning, I put up
17 here on the slide. We said "You know, this is
18 likely -- when NQF gets its arms around this,
19 this is likely going to become the way we do
20 business in the future. At least, we all hope
21 that it does. So we want to organize this so
22 that we're positioned to be ready to catch the

1 wave when it comes."

2 So we wanted to make it
3 electronic. We said "Well, we can start it
4 with paper forms." No, no, no. We want to
5 make it electronic. Let's do it with
6 futuristic goals in mind. And then we didn't
7 want to spend time developing new instruments.
8 We thought possibly taking measures, or pieces
9 of instruments, and putting them together
10 would be okay, but we didn't want to start
11 from scratch, so we decided we would use
12 validated instruments.

13 We wanted to reduce respondent
14 burden, so we decided we would make the
15 instruments short. We may have gone a little
16 bit too short. And we wanted to align this
17 with our overall care redesign strategy, and
18 also some of our paper performance strategy.
19 So again, we spent a lot of time setting the
20 table for this important work.

21 So a summary of our tool for CABG
22 and AVR is on the left and diabetes is on the

1 right. The total number of questions for CABG
2 pre-procedure is 17. Ten questions on
3 functional status using the PROMIS-10, some
4 symptom-level questions from the medical
5 outcomes survey, receive health benefits again
6 -- that's a post-op question, obviously, in
7 retrospect -- and health utility from the
8 EuroQol.

9 So we had 17 measures pre-op, then
10 21 measures post-op. We engaged our cardiac
11 surgery clinical team. Hours and hours of
12 meetings, and tweaking, and discussions and
13 vetting. It's kind of the way we like to do
14 business there. It takes a long time to get
15 things done.

16 But on the diabetes side, we had a
17 very robust diabetes team. We decided to use
18 the same functional status measures. We
19 decided to use PROMIS-10. Actually, David
20 Cella was very instrumental. He came to
21 Partners and gave us some lectures, and again
22 we were very intentional and deliberate in

1 making sure we had run the bases on this.

2 We added an anxiety measure from
3 PROMIS, a burden of diabetes measure from one
4 of the American Diabetes quality of life
5 indicators. I'm blanking on the exact name of
6 the tool, I apologize. But this was a really,
7 really important measure.

8 We held focus groups with patients
9 who weren't familiar with this kind of
10 measure. We explained to them what we were
11 trying to do. And one of the patients said to
12 me "You know what I want to hold you
13 accountable for, Dr. Mort? I want to hold you
14 accountable for keeping me as normal as
15 possible. Just making things normal, so that
16 I don't have to think and worry about managing
17 my diabetes, or the symptoms or complications
18 associated with it."

19 I thought that was very, very
20 instrumental to me, to think about how we want
21 to organize this work. But we found a measure
22 that measured that pretty well, and then the

1 health utility measure as well.

2 So the way we wanted to roll this
3 out is, we have this working in a CABG and two
4 cardiac surgery clinics, doing a pre-op
5 assessment and then a post-op at 3, 6, and 12
6 months. Our plan for diabetes is a baseline
7 measurement and then every 6 months. Both of
8 these instruments, both of these data
9 collections, start in the office.

10 Data collection. Again, we wanted
11 to kind of channel the future here, so we
12 didn't want to spend a lot of time with our IT
13 folks developing internal data collection
14 systems. So we actually talked to a lot of
15 vendors, and we're partnering with a vendor to
16 do the data collection.

17 And on the slide you can see, we
18 start with tablets. And all the things that
19 have been said about one year-olds and iPads,
20 and so on and so forth, are very much
21 applicable to the patients that we have tried
22 this with in these waiting rooms. The tablets

1 work very, very well.

2 When the patient is given the
3 tablet by the medical assistant or the
4 secretary -- they are given a list of patients
5 who are coming in. They hand the tablet. The
6 patient goes and sits. Workflow-wise, that
7 works pretty well, because they're sitting,
8 and in most clinics you have at least a few
9 minutes to do something while you're waiting
10 to get checked in, and we only have 17
11 measures. So it has not been a burden or a
12 workflow issue, once you get the group
13 engaged.

14 The patient is then asked how they
15 want to have their follow-up done, and they
16 can choose between using our patient portal
17 that we call Patient Gateway, again
18 anticipating that we want to move in an
19 electronically forward-thinking way, or IVR
20 with phone operators.

21 So we really are early in this.
22 We've only been in the field since March of

1 2012. We've only collected data on 264
2 questionnaires. 56 percent of the patients
3 who we've enrolled have chosen a method of
4 follow-up selecting the patient portal, the
5 internet option, so not the IVR. So I do
6 think that's going to be an increasingly
7 popular way to collect information in an
8 asynchronous way.

9 Our IT folks are working on
10 developing reports, both for patients and
11 providers. These are still being developed
12 and piloted and iterated, but the idea is that
13 these reports would be pushed out to the
14 patients through our patient portal. We're
15 getting good traction with that tool, and
16 increasingly getting more and more of our
17 patients across the entire system enrolled in
18 our patient portal.

19 And we have the electronic medical
20 record. We are undergoing a massive change.
21 We are actually installing an entirely new
22 clinical and business system across our entire

1 network. But in the interim, we do have an
2 LMR, and the goal is for these indicators to
3 be tracked right along with -- this is hard to
4 read, but it's a vital sign. It's a flowsheet
5 for vital signs. And we have these kinds of
6 things for clinical indicators, like
7 hemoglobin Alc, blood pressure and the like,
8 and we'd like to do the same with the
9 functional status/quality of life measures.

10 So the feedback -- again, this is
11 early. The patients -- we have spent a lot of
12 time with research assistants at the
13 practices, working with the front office,
14 working with the staff, working with the
15 medical assistants, working with the doctors,
16 in large part to make sure it happens, but
17 also to learn from them and to improve things,
18 and to make some iterations as we go, early
19 on.

20 Patients say their doctor should
21 be asking these questions. They like it. The
22 tablet's fun. And they say they're willing to

1 answer these questions at home.

2 The staff experience, the practice
3 administrators, once we make the case for this
4 and they understand it's important, they've
5 been quite flexible in helping us to get this
6 embedded in the workflow. The medical
7 assistants and nurses are very, very eager to
8 get involved in this kind of information, and
9 are great adjuncts to the nurse practitioners
10 and physicians who are actually seeing the
11 patients.

12 The physician experience is a
13 little mixed, not surprisingly. You know,
14 we've spent 15 years at Partners educating our
15 clinical colleagues about measurements, and we
16 don't have all the answers to "Well, is it
17 valid?" and "What's the tool?" and "What about
18 the scale?" and "How can you know if there's
19 a difference?"

20 We don't have the answers to all
21 those things that we've been telling people
22 for 15 years are so important, and I said

1 "But wait. People are working on this. The
2 NQF has a workshop, and over the next couple
3 of years these things will evolve. And in the
4 meantime, let's get ahead of the curve." That
5 usually gets you somewhere.

6 (Laughter.)

7 DR. MORT: It's honest. It's
8 honest. But everyone loves the face validity
9 of these things. The workflow is really an
10 issue, though, because doctors obviously --
11 this has been alluded to this morning already
12 -- doctors aren't used to getting this
13 information. How does it fit in?

14 You know, we have our script. We
15 ask the patients a good, open-ended question.
16 "How are you?" Your annual exam, "What are
17 your concerns?" But we have to figure out how
18 to get that piece of data involved in that
19 conversation, so that we can embed it in the
20 workflow of seeing the patients, as opposed to
21 saying at the end "Oh my God, here is this
22 quality of life sheet, let's talk," and it

1 doesn't work. So people are worried about
2 those things, but people honestly are working
3 hard at trying to make this work for us.

4 Concerned about "What do I do with
5 the results?" So if I get a critical result,
6 like a potassium of 5.6, doctors know what to
7 do with that. "But what if I get an indicator
8 from one of these scales that suggests the
9 patient's in trouble? Give me the tools to do
10 something with that information. Tell me what
11 to do in terms of referrals, but also make it
12 easier for me to know that someone else is
13 watching for those critical events and
14 flagging me, just like you do for the
15 potassium."

16 So, just some lessons learned.
17 Most of these I have already alluded to, but
18 we thought it was very important to spend a
19 couple of years doing the change management,
20 doing the research, educating ourselves and
21 bringing experts to the system, integrating it
22 with our data collection on our strategic

1 plan.

2 We have incredible support from
3 our senior executives, my colleagues at
4 Partners as well as the hospitals, all the way
5 up to the CEO of the entire system, who really
6 believes in this, understands that we have to
7 be cautious about going forward, we don't have
8 all the answers, but it seems to be
9 tremendously promising.

10 I think I've covered most of this.
11 So I am hoping that over the next couple of
12 days and the next few months, and subsequent
13 couple of years, we'll have the answers to
14 some of the questions that are being raised.
15 But I do hope and believe that this is work
16 that is here to stay.

17 So again, thank you very much for
18 asking us to come and share our preliminary
19 findings. Hopefully down the road we'll have
20 some more substantive results.

21 DR. PAWLSON: Thank you very much.
22 Just as Gene is coming up to start his

1 presentation, I was really struck with how
2 careful a process you've gone through in terms
3 of change management.

4 And also sort of something to
5 think about, perhaps for a later question, and
6 that is one of the real hallmarks of Partners
7 has been, for a number of years, it has been
8 doing incentive-based contracting, so that a
9 substantial, or at least a significant
10 proportion of reimbursement has been wrapped
11 around achieving some level of performance in
12 different areas. And I think that kind of
13 integration of payment with professionalism
14 and wanting to do right for the patient is a
15 very, very powerful sort of mover and shaker
16 in this area. So we'll perhaps take up on
17 that.

18 Any questions for clarification,
19 something that just you didn't understand? I
20 think it was a very clear presentation. Thank
21 you.

22 DR. BASCH: Just a quick question

1 of clarification. In the development of the
2 selection of the measures, was there patient
3 input, or was it mostly the expert teams of
4 clinicians that were consulted?

5 DR. MORT: Ethan, we had focus
6 groups up front to inform the domains that
7 patients were interested in. I believe,
8 though, in all honesty, once we identified the
9 specific measures -- no, I stand corrected
10 here. I'm arguing with myself.

11 We did go back to our focus
12 groups. Because we had groups of patients who
13 were advising this care redesign process, and
14 they were a group that was interested in
15 parsimony, and they also felt one of the
16 concerns was "Don't make the questions have
17 lots of different ways to answer it." You
18 know, they wanted the response patterns to be
19 similar.

20 DR. PAWLSON: Another important
21 lesson.

22 DR. NELSON: I think you're going

1 to cue up some slides, and thank you for
2 inviting me. It's great to be with all of
3 you.

4 I've been asked to speak about the
5 Spine Center and its experience, and we're
6 going to start with a riddle, and it comes
7 from Amory Lovins. How is a kilowatt-hour of
8 electricity like a day in the hospital?
9 Nobody wants either. We want cold beer and
10 hot showers, better outcomes, better care,
11 lower costs, and use least costs. Value for
12 money.

13 So what Amory is saying is, he's
14 an energy expert. But when we're thinking
15 about value, it really does focus on the end
16 user. So that means our patients, our
17 clients, the families that the patients reside
18 in. And we have a sense that this is where
19 the great one, Gretsky, talks about the secret
20 of skating to where the puck is going to be,
21 and we think that focusing on person-centered
22 value, and incorporating patient-reported

1 outcomes in that, will be really essential.

2 This is going to be a brief
3 excerpt from about a 50 page technical paper
4 that's available to you. It's available on
5 the internet or in hard copy, and it actually
6 has three case studies: one from Karolinska
7 and rheumatoid arthritis patients, one from
8 Group Health in the Pacific Northwest and
9 primary care patients. And the third case
10 study is the Dartmouth Spine Center, and
11 that's the one I'm going to focus on now. But
12 there's a lot more that you can glean from
13 some systems that have been using patient-
14 reported outcomes for about a decade.

15 So this idea of value, that we
16 start with an individual living at home or in
17 the community, and then they interact with the
18 health care system -- processes of entry and
19 assessment, and a care plan, what's going to
20 help me become better, and then follow-up over
21 time to see what the outcomes are, what's the
22 new functional status, the new risk status,

1 the new disease status, if the person has a
2 disease or a condition, and what cost. And
3 what's my experience on the ride through the
4 health care system? How has that treated me?
5 So an image of value that's very person-
6 centered.

7 And we, like Mass General, have
8 really been focusing on the redesign of care
9 that becomes person-centered and that tries to
10 deliver on value. So you'll see this use of
11 patient-reported outcomes embedded in an
12 effort to redesign care for spine patients.
13 And more than 10 years ago, Dartmouth had
14 spine patients running all over the place.
15 They could have been seen in internal
16 medicine, or the pain clinic, or orthopedics.
17 It was a mess, like much care.

18 And so the idea was to redesign
19 the care program so that it's one-stop
20 shopping, and that it's very person-centered:
21 back to work, back to play, one back at a
22 time. This is Jim's initial idea. And to use

1 the patient-reported outcomes to create a new
2 information environment, and a better
3 relationship with the patient to achieve the
4 outcomes that they would wish to receive.

5 What you'll see in just a moment,
6 then, is a new information environment that
7 Dr. Weinstein would say he can't be a good
8 physician for his patients absent this kind of
9 information. "It's essential to understand
10 where the patient's coming from and how
11 they're doing to be a good clinician," in
12 Jim's words.

13 So inn a schematic form, the
14 information environment was changed so that
15 when a referral is made or the patient
16 requests a visit to the spine center, that
17 they are actually requested to complete
18 information as they're oriented to the spine
19 center, what does it have to offer, and then
20 patient-reported information. And that moves
21 to the initial work-up and plan of care.

22 And that information can be

1 completed at home, over a portal, or when the
2 person shows up with a touchpad. And that
3 touchpad or that portal information is
4 uploaded to the electronic health record. And
5 then that is the grist for trying to create a
6 plan of care that meets that person's actual
7 needs, in a way that you'll see amplified in
8 just a bit. And then, depending on their
9 need, they'll go into an acute care program or
10 a chronic care program, or a functional
11 restoration program, or, some people, end-of-
12 life.

13 And then that data on the
14 patients' outcomes is being fed forward with
15 that patient over time as follow-up occurs,
16 and it's fed back to create a registry. It's
17 fed back for clinical program improvement.
18 It's fed back to become part of a national
19 trial.

20 So, feed forward/feedback of
21 patient information. This is very dense, but
22 this is what Jim was talking about, Dr.

1 Weinstein, that this is all based on patient-
2 reported data, and it's meant to be same-page
3 care, if you will, to put the clinician and
4 the patient on the same page about "So, what
5 are my risk factors? What's my history and my
6 symptoms? What are any red flags that I might
7 have? What's my functional status right now,
8 and how is that changing over time?"

9 On the right hand side, you can
10 see a trend line for physical function and
11 mental health, based on the SF-36 in this
12 case. You can also see pain portrayed in
13 terms of the body, and the patient's
14 experience of my own outcomes. So, "Can I
15 sleep better? Am I able to get back to
16 work?," et cetera. So this is used to create
17 the next step care plan, and it's all based on
18 patient-generated data.

19 So the patient-generated data can
20 also be used to actually go from the concept
21 of value -- easy to say, perhaps hard to
22 measure -- to measuring value. And this is a

1 bit complicated, but the same feed
2 forward/feedback patient-reported outcomes
3 system that was started at the Spine Center
4 became the data collection device for a
5 randomized controlled trial that NIH funded,
6 also an observational one as well, and in 12
7 other centers, including Dartmouth.

8 And then what it became was a
9 comparative effectiveness research study, to
10 see how people in blue, who had surgery,
11 versus people in yellow, who were treated non-
12 surgically, did at 6 months, 12 months, 2
13 years, 4 years. And the patients are still
14 being followed.

15 And this is two-year results, and
16 it's one of three patients populations. It's
17 people with herniated disc. So the average
18 person with herniated disc is portrayed here,
19 on the east side. The west side is a disease-
20 specific measure called the Oswestry Index,
21 and higher scores here mean greater
22 improvement in disability. So blue, -38 on

1 the Oswestry, versus -24 in yellow, non-
2 surgically treated patients at two years. So,
3 favoring surgery.

4 North is the SF-36 physical
5 component score, and both groups had huge
6 gains, 44 points and 34 points respectively on
7 a 0 to 100 scale. So these are giant gains,
8 surgery a little bit more gain after two
9 years.

10 My perceived health benefit. "How
11 much was I helped by the treatment that I
12 got?" is on the right hand side, so perceived
13 health benefit, both strong but once again
14 favoring surgery.

15 But you see at the bottom these
16 better average results did cost more, so this
17 is an estimate of total direct and indirect
18 costs incurred by the patient or on behalf of
19 the patient, so about 25,000 dollars versus
20 10,000 dollars direct and indirect costs after
21 two years. And in the very middle is the
22 incremental cost per quality-adjusted life

1 year, and that means about 74,000 dollars more
2 per quality-adjusted life year for surgical
3 care over non-surgical care, which many would
4 consider in the United States a reasonable
5 expenditure.

6 So these results are then
7 providing good information for research under
8 these conditions. They're also used, on the
9 lower right hand side -- if you go to our
10 website, you'll see different kinds of
11 outcomes and experiences publicly reported for
12 over five years. And so this is transparent,
13 these kinds of results, for people with
14 herniated disc, for degenerative spine, and
15 for stenosis, publicly reported.

16 This is a prototype, now, and
17 after 10 years and a collection of a lot of
18 data I can show up at the Spine Center and I
19 could see not just results for the average
20 patient, like I just showed -- after two
21 years, what might my results look like if I
22 got surgery or not -- but this is a risk

1 calculator.

2 So I would enter in my age and my
3 gender and answer, in this case, four
4 questions about pain on this screen, and I
5 would then get a personalized display on the
6 right hand side of what my estimated results
7 would be for people like me with respect to
8 pain relief. And that's, after two years, the
9 moderate versus the mild levels of pain.
10 Yellow is non-surgery, blue is surgery. So,
11 likelihood of better results.

12 And then the lower right hand
13 boxes have the face plots, and it shows the
14 proportion of people like me that would be
15 likely to benefit, or not, for personalized
16 risk assessment or benefit assessment, leading
17 to the possibility of very good shared
18 decision making, very good informed decision
19 making, very good patient engagement with
20 better data about what my choices might look
21 like and what they might get.

22 So wrapping up, we've gone into a

1 new electronic health record, and so a lot of
2 the functionality that took nine years to
3 build was lost in about a nanosecond on April
4 2nd. And so we've got this incredible group
5 of people that are recovering the lost
6 functionality, and putting it into the new EHR
7 environment.

8 There are now 18 different
9 clinical programs that are using the patient-
10 reported outcomes data at Dartmouth Hitchcock,
11 the oldest being the Spine Center, but many
12 others that have been used for more than five
13 years. And we think that it has real benefits
14 for patient care and for research, and for
15 where the health system is going.

16 We're shifting over to value-based
17 contracts. We're a pioneer ACO. Our basic
18 strategy is better value, better outcomes,
19 better experience, lower costs. Redesign of
20 care programs for people over time to
21 accomplish that. And so we think that this
22 information environment is really essential to

1 make that happen. The redesign of care is
2 essential, and the patient-reported outcomes,
3 as part of the information environment, is
4 critical to that.

5 So, lessons learned and a few
6 recommendations. A small comparative study
7 was done at the Spine Center and the
8 Rheumatoid Arthritis Registry in Sweden, and
9 the results are published in a paper that was
10 published a couple years back. And in this
11 small series of patients, as we thought, in
12 Mass General Hospital, patients tended to be
13 positive about giving their information. In
14 this case, 84 percent were positive about the
15 use of the patient-reported outcomes. A
16 statement visit became very helpful, thorough,
17 and informative.

18 Providers' reactions are mixed,
19 and in general when the provider is actively
20 using the information, it allows the patient
21 to become more involved in their care.

22 "Patients get more involved in their care" is

1 a quote. It changes how health care is
2 delivered, and there can be a real shift in
3 the relationship when you're using the same-
4 page care approach. We're now together
5 looking in on my health outcomes and what we
6 might do best next. And so it can change how
7 care is delivered, and that can usually often
8 be appreciated by clinicians, and sometimes
9 not, because it is different.

10 Sustainable and replicable. We've
11 been going for a decade at Dartmouth in a lot
12 of different clinical programs. Some
13 recommendations are on the right, and this is
14 to make these kinds of systems work in busy
15 places.

16 Here's five suggestions. Fit the
17 PROs into the workflow, to make it easier for
18 patients and providers to do the right thing.
19 Co-design the system with stakeholder input
20 for best end user utility. It's got to be
21 useful for the patients and their families.
22 It has to be useful for the clinicians and the

1 clinical teams. You can't just throw it over
2 the transom.

3 Educate the patients and the
4 providers on how to use the PROs. And the
5 providers have to pay attention to the data,
6 because if I've taken the time to report and
7 it's ignored, as a patient, you're
8 disrespected, and what was the purpose of
9 this? And so the clinicians using the
10 information is critical. Capture data from
11 other sources to improve the utility of the
12 information and then make it better over time.
13 So, thank you.

14 DR. PAWLSON: Thanks very much.
15 It's interesting, again careful planning and
16 dissemination, and also use in terms of
17 payment enhancement, potentially, again, in
18 the clinical care of the patient and in rapid
19 learning feedback research. And I think
20 having all three of those things as power in
21 this is to me, at least, more than sufficient
22 reason to be doing this. So hopefully these

1 two, I think, very well-honed presentations
2 have raised a number of issues and questions.
3 And we'll start with the expert panel.

4 Yes?

5 DR. BASCH: Thanks for those great
6 presentations. Ethan Basch again, Sloan
7 Kettering. Something interesting that's
8 alluded to in these presentations is that the
9 collection of patient-reported outcomes in
10 practice itself, that very act, can be
11 considered as a quality measure or a
12 performance measure, right?

13 So it's a different way to think
14 about it. One way we could look at your
15 examples is to say "Okay, you've demonstrated
16 the feasibility of measuring various
17 outcomes," but another way is to think of the
18 integration of PROs into clinical care as a
19 structural process measure, which is another
20 interesting way to think about it, if we
21 believe that integrating these into practice
22 does enhance the delivery of care and the

1 ability of practitioners to self-understand
2 and benchmark themselves against other
3 practitioners, and thus continuously improve
4 their performance.

5 DR. PAWLSON: Sort of just like
6 ordering a statin, or a lipoprotein, or
7 something.

8 Next?

9 DR. KAZIS: I'll keep the mic a
10 little further away this time.

11 I thought the presentations were
12 great. Having worked with clinicians for many
13 years who were on the front lines and are
14 dealing with the complexities of care, the
15 demands that are on them, the issue is
16 information overload.

17 And I've talked to a number of
18 clinicians given the electronic medical record
19 that are really frustrated, and find that the
20 information is often redundant. It's dated.
21 It doesn't convey what they really need. They
22 really don't have easy access to different

1 parts of the electronic record. It becomes a
2 real challenge.

3 With new information that we're
4 talking about in terms of patient-reported
5 outcomes, how can we compel the doctors to
6 better understand the importance of this
7 information, so that in fact they're going to
8 use it, rather than tossing it in the
9 wastebasket?

10 DR. MORT: I think that's a really
11 great question, one that I hope will be
12 remedied by the change in the way we deliver
13 care, and will be delivering it more so under
14 ACOs and payment systems that are captitated,
15 global payment, that sort of thing.

16 Patients come to me. One patient
17 came to me last Wednesday. She said "Dr.
18 Mort, how come we still have 20 minute annual
19 exams? Aren't you a pioneer ACO, and aren't
20 you doing all these --" because she reads, she
21 knows how we're doing at Blue Cross and
22 everything.

1 I said "Well, not yet. We're
2 working towards that." But she's absolutely
3 right. She's a health care consultant. She's
4 absolutely right that we need to change the
5 way we actually deliver care to make more
6 access to group visits, patient portal, using
7 non-physician providers, non face-to-face
8 visits.

9 So we can't do it in the current
10 20 minute, or 15 minute, or even half an hour,
11 an hour, face-to-face visit. That's just not
12 adequate to deliver all the care, and absorb
13 and react to all the data. So we're a little
14 bit out ahead of it, I think, but I think the
15 answers will be forthcoming as care redesign
16 ensues and more and more practices figure out
17 how to do it.

18 DR. PAWLSON: And I noticed you
19 were using graphs and stuff, and that's
20 another way. The whole way we display data --
21 you know, I was also on the plane, and I was
22 looking at the difference between an old 757

1 and a brand new 737-800, and the display
2 panels are just totally different. And I
3 would guess that pilots get a heck of a lot
4 more information the right way in the new
5 cockpit. So that's a nice, I think, thing to
6 think about, is how we deliver information.

7 I think we want one more question
8 from the audience, and then can you unmute the
9 phones to see if we have any phone questions?
10 So first, I saw somebody back -- one more
11 expert, and then somebody in the audience. I
12 saw a hand.

13 DR. GAGE: Barbara Gage,
14 Brookings. I found the presentations very
15 interesting. I did have a question for Gene,
16 and one of the outcomes was a very important
17 outcome. It was a two-year out outcome. How
18 did you collect that from the patient, and in
19 your comments about sustainability, is that
20 something that you have on an ongoing basis?
21 And if so, how are you funding it, or doing
22 it?

1 DR. NELSON: Those particular
2 results were part of this larger NIH-sponsored
3 trial, so people were followed up in that case
4 as in a research study. We had a meeting last
5 week with the ortho group, and the issue was
6 "Let's make sure that we attain 90 percent
7 baseline PRO data, and 80 percent follow-up
8 PRO data for at least two years." That we
9 have to do this, it's important for our
10 ability to, again, provide care and measure
11 the results.

12 And so that becomes the design
13 challenge, to get the work processes and the
14 patients and clinical teams engaged enough,
15 and the design good enough, that we get 90
16 percent intake and 80 percent follow-up over
17 two years.

18 DR. PAWLSON: Isn't that close to
19 the British experience?

20 DR. NELSON: And to get at that,
21 it's a mixed-methods approach whereby portal
22 at home, possibly IVR has been mentioned,

1 iPads in the office for people that can't
2 report that information, advance scheduling
3 those people 30 minutes early to complete the
4 essential information, so that everything
5 works well.

6 DR. PAWLSON: And two years may be
7 a bit of a stretch, but the British actually
8 base payment on getting responses from
9 patients in the three to six months post-
10 surgical, so there are some levers out there.

11 I think we had one question back
12 in the audience? Go ahead.

13 MS. MASTANDUNO: Melanie
14 Mastanduno from the Dartmouth Institute. Just
15 one point to add to Gene Nelson. Those
16 orthopedic providers were very keen on having
17 some of their clinical team staff participate
18 in the review of the responses, so that
19 screening for positive results that land on
20 the doc's desk are the ones that are part of
21 the workflow, as opposed to noting the results
22 and integrating them into the record.

1 So screen for positives, and that
2 will reduce burden on the physician.

3 DR. PAWLSON: Thank you all very
4 much, and thank you to the panel. That was
5 very well done.

6 MR. CUNNINGHAM: Real quick, just
7 want to check with the operator. Do we have
8 anyone else on the queue for questions or
9 comments?

10 (No response.)

11 MR. CUNNINGHAM: Operator?

12 OPERATOR: Once again, if you
13 would like to ask a question, please press
14 star-one on your telephone keypad.

15 There are no audio questions at
16 this time.

17 MR. CUNNINGHAM: We do have one
18 quick question from the back.

19 MS. LENTZ: Thank you. Lisa
20 Lentz, CMS. I did have two questions, one for
21 Elizabeth and one for Gene. For Elizabeth, I
22 wondered if you could just elaborate a bit

1 more on the process for involving patients in
2 the selection of the domains and the measures.
3 And for Gene, I wondered if you could
4 elaborate more on how you've translated
5 economic data, such as QUAL-Es, into something
6 easily understandable to consumers on the
7 website.

8 DR. MORT: Involving the
9 consumers, patients, was really interesting,
10 because the first couple of focus groups we
11 held, we even had difficulty as focus group
12 facilitators -- it wasn't me, it was people
13 who were trained focus group facilitators --
14 describing what we were trying to get at, in
15 terms of quality of life and outcomes. And
16 what was informative was that patients weren't
17 even thinking about it that way, because no
18 one had ever broached it with them and asked
19 for their thoughts.

20 But once they got it, we just had
21 -- you know, we did it through focus groups
22 and trained facilitators, to get people to

1 vocalize on what they thought was important.
2 And that's pretty much the methodology: focus
3 groups a couple of times for each one of these
4 projects.

5 Your question raises a question
6 for me, as to how are we going to do that on
7 an ongoing basis. So thanks for asking the
8 question.

9 MS. PACE: Okay. Could we have
10 our next panel come on up? We'll have to,
11 maybe during the lunch break, get a chance to
12 ask more questions.

13 DR. NELSON: Well, I'd like to
14 just answer this other question.

15 MS. PACE: Okay. Go ahead.

16 DR. NELSON: The question about
17 QUAL-ES on the website. We did not put the
18 QUAL-E, just as the cost data, on the website,
19 at this point. It's pretty complicated. But
20 what is on the website is an estimator of, if
21 you have a procedure or not, what your
22 expected out-of-pocket and insurance expenses

1 are going to be. So there is that kind of
2 proactive cost information. Satisfaction is
3 there, yes.

4 DR. BASCH: Hi again, I'm Ethan
5 Basch, Memorial Sloan Kettering Cancer Center.
6 I'm an oncologist and an outcomes researcher.
7 I run a research program focused on
8 informatics and patient-reported outcomes. I
9 am delighted to be here. Thank you very much
10 to the organizers for this invitation, and
11 many of us here are quite excited to see this
12 topic being discussed with such methodological
13 rigor.

14 I also stand between you all and
15 lunch. I often find myself in this position
16 at meetings, probably because I'm a New Yorker
17 and I talk fast. So you know, I can just
18 speed us along. We have a little over an
19 hour, and so I'm just going to set up our
20 session here very briefly, and then hand it
21 over to our panelists.

22 So, understanding the patient

1 perspective, or their experience with care,
2 involves more than just developing a
3 questionnaire. It's really a whole package,
4 right? It's the questionnaire, but also the
5 way that it's administered, the way that it's
6 interpreted, and then how it's acted upon.

7 Our panel now is going to focus on
8 the second piece of this: how the
9 questionnaire is actually administered once
10 it's been developed. And this is vitally
11 important for a couple of reasons. The first
12 is that how instruments or questionnaires are
13 administered can actually affect the
14 information that you get back, right? How you
15 ask the question affects the answer that you
16 get, and we really need to be very careful
17 that we don't alter the meaning of what we're
18 getting back.

19 But the second reason, which I
20 think is actually the most important, is
21 around missing data, missingness. There is a
22 real risk in real-world populations of having

1 systematic missing data that's not at random
2 from particular populations: populations at
3 risk, populations who are traditionally
4 underrepresented or hard to reach, those who
5 are the sickest. Oftentimes, the patients
6 whose perspectives we may care about
7 particularly. And if we're not careful in the
8 way that we administer our questionnaires, we
9 can exclude those patients and lose their
10 perspective.

11 There's another issue, which is
12 that practices that are particularly good at
13 eliciting responses from their sickest
14 patients may actually look worse than those
15 practices that actually don't get as many
16 responses back from their sick patients. So
17 there are all sorts of biases that can be
18 introduced by the way the questionnaires are
19 administered.

20 So our panel is going to focus,
21 really, on three broad conceptual areas around
22 administration of questionnaires. The first

1 are methodological issues. Methodological
2 issues are things like the mode of
3 administration. We've heard a little bit
4 about IVRS automated telephone administration,
5 there's web administration, good old fashioned
6 pencil and paper.

7 There are true scientific or
8 methodological issues that are related to
9 mixing these up within a population, to
10 developing a questionnaire in one mode and
11 then converting it, or looking at equivalence
12 in another mode, and so on.

13 There's an area of increasing
14 interest called CAT, or Computerized Adaptive
15 Testing. David Cella's about to give us a
16 demonstration of this in action using an
17 electronic questionnaire, so I'll leave it to
18 that, and it will also be discussed by one of
19 our panelists.

20 And then the second issue is
21 feasibility. So particularly with a very
22 large implementation, in a large population,

1 substantial infrastructure has to be
2 developed. Personnel need to be trained and
3 put in place. This can be cumbersome. It can
4 be complicated. And it needs to be
5 sustainable. And there are real barriers and
6 lessons to be learned from other contexts, so
7 we'll be highlighting those.

8 And then the third issue is around
9 community or population engagement, patient or
10 person engagement. And this really has to do
11 with enlisting populations as our partners.
12 As we've heard already, there are focus group
13 approaches, but there are also community
14 outreach approaches. With some of these very
15 large engagements, there's a real need to
16 engage people or patients as our active
17 partners. So, methodology, feasibility, and
18 patient engagement.

19 We have three learned panelists
20 today. I won't belabor their introductions.
21 Their full bios are in the distributed
22 materials. We have Lewis Kazis from Boston

1 University. He's a professor of health policy
2 and management who directs the Center for
3 Assessment of Pharmaceutical Practices. We
4 have Richard Bankowitz from Premier Health
5 Care, who is the chief medical officer, an
6 internist and an informaticist. And then from
7 the Patient-Centered Outcomes Research
8 Institute, Lori Frank, who's the director of
9 patient engagement research.

10 I'll stop there, and once again
11 introduce Dave Cella, my old friend, who's
12 going to give us a demonstration of CAT in
13 action for about 20 minutes.

14 DR. CELLA: It's me again. Thank
15 you, Ethan. This is going to be the densest,
16 most technical part of the day, so bear with
17 me.

18 Before I get to CAT, I thought I
19 would come back to the paper and review some
20 of the key points made in the paper for those
21 of you who might be seeing or hearing some of
22 these things for the first time. Reminding

1 you this is a session for methodological
2 issues, so forgive me in advance for getting
3 into what might seem like some technical
4 details.

5 So I'm going to talk about method
6 and mode of administration, and also the
7 source of data. And it's important to get
8 into this to some degree, because decisions
9 have to be made about the method by which you
10 get these data, and there are costs and errors
11 associated with surveys, however you go about
12 doing them. It's important to select the most
13 appropriate method for a particular question,
14 and to try to stick within that method when
15 possible. We can come back to that.

16 And most of all, know the impact
17 of a particular methodology that you're using
18 on errors and costs. Methods and modes differ
19 along various dimensions, which the paper
20 covers; that is, the degree of interviewer
21 involvement from none to complete, sometimes
22 something in between, the level of interaction

1 with the respondent, or the person providing
2 the information, the channel of communication
3 that gets used, and also the degree of
4 technology.

5 One way to look at that is to
6 start from the source, so the source is either
7 going to be the person himself or herself, or
8 a proxy or observer on behalf of that person,
9 usually selected as a second choice, but
10 sometimes an essential one. And then mode, in
11 this context -- in the paper, at least, we
12 referred to mode as the recorder of the
13 information.

14 So if the person is providing her
15 information directly on a piece of paper or on
16 a computer screen, that's self-administration.
17 If the information is collected by talking to
18 another person, that's interview-administered.
19 We'll use that distinction for mode for this
20 context, at least. And the method, then,
21 would relate to whether you get that on paper,
22 whether you get it on a computer, or over the

1 telephone.

2 So, proxy reporting. What are the
3 pros and cons? It's useful, particularly when
4 it's difficult, or not even possible, to
5 obtain PROs directly from the patient. It
6 allows, therefore, broader inclusion and a
7 more representative range of patients. It
8 minimizes the missing data problem that Ethan
9 alluded to, increases the feasibility of
10 longitudinal assessment, because you may be
11 able to start with patient self-report, but
12 then need to move to proxy, which is better
13 than moving to nothing.

14 And so in that regard, proxies can
15 substitute, or they can complement, patient
16 assessment. There are situations where you
17 might want both to be done concurrently. That
18 may be a luxury in some settings, but it's
19 something that a strong case could be made for
20 that. You can involve proxies to assess
21 patients as they think the patient would
22 respond; that is, the proxy responding for

1 what they would believe, if the patient were
2 asked the questions, what the answer would be,
3 versus the proxy giving his or her own
4 perspective on the patient, which can be
5 different than what they think the patient
6 would say. "I think my husband would say he's
7 just fine cognitively, but I've noticed
8 slippage" would be an example.

9 Evaluating agreement between
10 patients and proxies is something that can and
11 should be done. Usually in the literature
12 there is better agreement -- it's never great,
13 but there is better agreement when the rating
14 is of something observable, like physical
15 function or activities of daily living, being
16 able to do things or function in the world,
17 and less agreement when it's about something
18 mental or in the social realm, such as pain or
19 cognitive status and emotional status.

20 The magnitude of the disagreement
21 can be minimized with careful attention, but
22 keeping in mind that disagreement between them

1 sometimes is actually useful, as I alluded to
2 earlier with the example of someone with early
3 cognitive impairment.

4 So, how about mode, that is, the
5 recorder? So, let's just consider the
6 objectives of the assessment, and then the
7 resources that you have available. So, there
8 are advantages to self-administration. One is
9 cost. You don't have to pay an interviewer.
10 You often get better disclosure, or more
11 disclosure of issues and problems on a non-
12 interview self-report, and people can proceed
13 at their own pace.

14 Disadvantages are that there's
15 more potential for missing data, and it really
16 does require that you have up-front careful
17 attention to survey design, using best
18 practices in survey design, because it's very
19 easy to do bad surveys, and not so easy to do
20 good ones.

21 Interview administration has
22 advantages of allowing you to not worry so

1 much about survey design, because the
2 interviewer can make up for the problems in
3 the design, and it's useful for patients that
4 have reading problems or writing problems or
5 vision problems. The disadvantage is, of
6 course, the cost and the potential for bias,
7 because the interview is a social exchange,
8 and in that social exchange that can influence
9 the way people report how they're doing. And
10 they tend to under-report in an interview,
11 compared to self-administration.

12 Concerns about the effects of mode
13 on data quality. So, the reliability is
14 actually high for both. That's good news.
15 Response effects tend to favor self-
16 administration, but they're inconsistent. We
17 weren't asked to make a recommendation, but if
18 I were I would recommend, when possible, self-
19 administration over interview.

20 Just to kind of run through this
21 fairly quickly, the paper and pencil versus
22 electronic, if you consider electronic to be

1 either by computer or by telephone -- and of
2 course, there's a range of electronic
3 administration options.

4 Paper and pencil has low start-up
5 costs but more downstream cost issues related
6 to data entry errors, scoring challenges, and
7 getting it incorporated into electronic health
8 records. So you may save money up front but
9 lose it later.

10 Electronic has advantages of being
11 interactive, very practical, more integrated,
12 easy to incorporate into the electronic health
13 record, but there's the up-front cost that's
14 incurred by setting up electronic data
15 capture.

16 There is a potential for
17 differences between paper and pencil versus
18 electronic based upon things like the
19 impersonality of the method, the cognitive
20 burden on the patient, who may find it easier
21 to use the tablet -- I find it interesting
22 that we use the word "tablet" in the medical

1 setting for small computers -- Control over
2 the questionnaire, which can be more easily
3 managed in some settings when it's paper, and
4 communication style.

5 The increasing evidence of
6 evidence equivalence is encouraging. That is,
7 there aren't a lot of differences between
8 different methods of administration. As new
9 methods are developed, it's critical to
10 compare them to existing methods.

11 Probably the most vulnerable is
12 telephone interview administration. First of
13 all, for example, getting an in-clinic
14 assessment and then having people call up on
15 the phone and get information later is the
16 most vulnerable to having a systematic bias,
17 where you look like you're improving because
18 you're having that social exchange. But apart
19 from that, if you're careful about the
20 longitudinal picture, you can minimize if not
21 reduce this bias. And across these methods,
22 patient privacy is always a concern.

1 So we looked at this, and PROMIS -
2 - now, PROMIS, to those of you who don't know,
3 is the Patient-Reported Outcomes Measurement
4 Information System. It's a large, nearly 100
5 million dollar investment over an almost ten
6 year period from the NIH to develop generic
7 but responsive patient-reported outcome tools
8 in various domains that cut across multiple
9 chronic conditions.

10 And within that project -- I'll
11 say more about it later with the CAT demo --
12 we looked at a mode of administration, or
13 method of administration by this paper's
14 terminology, study, comparing paper and pencil
15 to computer to IVR, Interactive Voice
16 Response, and to PDA, to handheld device or
17 smartphone.

18 And we found, happily, that there
19 were no meaningful differences found between
20 modes of administration. Now, interestingly,
21 remember what I said earlier about interview
22 tending to boost up scores. The IVR was done

1 by a computer. And this is my belief; it's
2 not something we can prove. But I believe
3 that the reason the IVR was equivalent to
4 paper and pencil and computer, which is great
5 news for PROMIS, is because the respondent
6 knows it's not a person they're talking to.
7 They know that they're interacting with a
8 machine, and therefore they're treating it, I
9 believe, more like an impersonal exchange, and
10 they're providing information. So it was not
11 a live interviewer.

12 This less than one and a half
13 points on a hundred point scale just
14 illustrates that -- the vertical dotted lines
15 represent what would be, even in the most
16 conservative sense, an important difference of
17 two points. That's two tenths of a standard
18 deviation either side of the average. The
19 estimates, whether you're comparing PC to
20 paper and pencil or to IVR, or to PDA, for
21 fatigue, physical function and depression were
22 always virtually identical to the PC

1 administration.

2 And I think this came up earlier,
3 as well, and somebody alluded to it. We did
4 ask what they preferred, and people preferred
5 the computer administration. They like it,
6 and I agree that our experience with tablets
7 is quite positive. They're really easy to use
8 for people that you might otherwise have
9 literacy concerns about.

10 So how about the setting? Get it
11 in the clinic, get it at home. One of the
12 bigger problems is mixing the two. Although
13 it can be done, it should be done with
14 caution. The strengths of getting it in the
15 clinic are you're getting the real-time
16 assessment, it's easy to implement electronic
17 administration because you can feed it right
18 into the electronic records.

19 The limitations were, as we heard
20 earlier, impact on clinic flow, interruptions
21 in the assessment based upon clinic flow. We
22 once had a study that we couldn't get done in

1 the waiting room because they were so
2 efficient hitting one of their other
3 performance measures, and not having long
4 waiting times, that the waiting time we
5 anticipated to fill out the questionnaires
6 wasn't there, so we got missing data because
7 of the group hitting on the waiting time
8 performance. And patient distraction, anxiety
9 can be a problem in clinic, as well as staff
10 burden.

11 In the home setting, the strengths
12 are that it minimizes impact on clinic flow,
13 minimizes staff burden -- so, sort of the
14 opposite strengths on the home side. But
15 there are limitations to accessibility and
16 privacy and security and patient safety of an
17 anxious patient, or if an alert comes up and
18 the patient's at home, you have to engineer a
19 system to take care of that.

20 Last couple of things. Ethan
21 mentioned missing data and how to manage that.
22 There was a fair amount in the paper about

1 that. I'll just say that there can be bias
2 introduced by missing data. There often is
3 bias introduced by missing data. I won't go
4 through the methods to do that, to help enable
5 some time for discussion.

6 And there can also be this
7 influence of, over longitudinal assessment,
8 patient adaptation or even response shift,
9 where the patient's own sense of what a number
10 means on a scale changes over time.

11 And you may then think that you've
12 measured change, when in fact you're actually
13 measuring the patient's internal barometer for
14 what an 8 means on pain, because they never
15 knew what a 10 could be until they had a 10,
16 and so now their former 10 is now an 8, as an
17 example.

18 So this leads into the CAT demo.
19 Most things that you've used in the past, and
20 we've all used in the past, have been built on
21 classical test theory, which estimates a
22 person's level based upon a summing up of all

1 the questions they answer, like the way the
2 SF-36 is scored. And that produces a test-
3 dependent measure. You have to ask all the
4 questions, and you really are dependent on
5 administering that entire test.

6 Item response theory is test-free.
7 You can create different tests from pools of
8 questions and estimate the underlying thing
9 that you're measuring, whether that's pain, or
10 depression, or fatigue, physical function, et
11 cetera. It enables you to do a customized
12 assessment that includes Computerized Adaptive
13 Testing, in which you can tailor the questions
14 to the individual that you're measuring.

15 So you can have shorter
16 questionnaires that maintain good precision or
17 accuracy, even at the individual level, and
18 you don't have to have those long tests to do
19 it. And patients don't have to complete the
20 same sets of questions along the way. They
21 can have different questions administered at
22 different times, if you prefer to have that,

1 or if the CAT selects that.

2 So the demo, this is just to give
3 you the framework for PROMIS, this divide into
4 physical, mental and social health, and then
5 within each of those areas there are as many
6 as 40 different banks across pediatrics and
7 adult, on the physical side measuring symptoms
8 and physical function, on the mental health
9 side measuring various affects, principally
10 negative affect but evolving positive affects,
11 behaviors and cognitions, and on the social
12 health side measuring social relationships and
13 social function.

14 So I mentioned this pool of
15 questions. They're calibrated. If you have
16 a calibrated set of questions, meaning that
17 every item is a measure of that underlying
18 thing, that underlying trait, that's called an
19 item bank. And when you have an item bank of
20 calibrated questions, any subset, including
21 one item from that bank, can be used to
22 provide a score for that domain. I think that

1 has a lot of possibility in this kind of
2 setting, where you might want to have
3 provider-based measures of something like
4 depression or fatigue or physical function
5 that don't require long assessments.

6 The metric for PROMIS is a T-score
7 metric with a mean of 50, standard deviation
8 of 10. It's referenced to the U.S. general
9 population, 2000 census demographics.

10 So the tools from PROMIS are
11 derived from item banks. They involve
12 Computerized Adaptive Testing, which is a
13 dynamic testing -- I'll show you an example of
14 that -- using fixed-length forms, or you can
15 do health profiles of 29, 43, and 50 item
16 length. And then there's this global health
17 index that Dr. Mort mentioned earlier, which
18 is 10 items measuring physical and mental
19 summary scores.

20 Okay. So, here's how the CAT
21 works. We assume from the beginning --
22 remember, it's referenced to the general

1 population, mean of 50, standard deviation of
2 10, so that's what's represented in the lower
3 panels, here. That's a distribution of around
4 50, a normal distribution of depression we
5 assume in the general population. So we're
6 just going to assume that any given person at
7 the start of a test has a score of 50, and
8 there's a large confidence interval around
9 that score.

10 The best item in the depression
11 bank to start the CAT is, lo and behold, the
12 question over in the top right, "I felt
13 depressed." And then the answers are never,
14 rarely, sometimes, often, always in the past
15 week.

16 The curves you see in the top
17 right show you the probability of responding
18 never, that's the black, rarely, that's the
19 red, sometimes, that's the green, often,
20 that's the blue, and then always, that's the
21 light blue. So the probability of responding
22 each of those answers increases as you get

1 more depressed, so the more depressed you are,
2 the more likely you are to say you're
3 frequently depressed.

4 And the lower plot shows you how
5 much information that item has on that same
6 metric. So it asks that question, the person
7 gives an answer. And now we'll just run
8 through the first question, the first answer.
9 The person says "rarely." That statement has
10 a T-Score of 52, the standard error's a 4, and
11 finds the next question that's going to be
12 most informative, in this case "I felt like a
13 failure." You see the response characteristic
14 curves and the information curves that the
15 computer knows. That's why it picked that
16 question.

17 The person says rarely, it
18 estimates the score of 53, a little more
19 depressed. Standard error goes down to 3.
20 Then it picks the next question, "I felt
21 worthless," says rarely, and then estimates
22 55, standard of 2.

1 Now, we can go on and on, and if
2 you keep going -- that's three questions asked
3 so far. If you keep going on, it goes on to
4 eight questions, but you'll see the estimate
5 didn't change really much at all, vastly,
6 between 54 and 55. So we asked eight
7 questions, but really only needed to ask three
8 in this case, and got the same estimate. So
9 with three questions, got a very precise
10 estimate of this person's depression. That
11 takes about 15 to 20 seconds.

12 Now, the last couple of things
13 that I want to show you because I think it's
14 pretty germane to this discussion. We have a
15 fatigue item bank as well in PROMIS, and you
16 can imagine these different programs in
17 cancer, arthritis, heart failure, joint
18 replacement, pain management, using different
19 methods, different items, CAT's different
20 short forms. You're going to get the same
21 metric and the same meaning.

22 The PROMIS investigators did this

1 in a research setting in COPD, heart failure,
2 low back pain, depression and cancer, and in
3 each case measured fatigue, so I'll show you
4 the fatigue example. And this is an
5 animation, so you've got to kind of go quickly
6 through it.

7 So it starts, remember, with mean
8 of 50, standard deviation of 10, to remind you
9 of that. COPD patients starting over at the
10 lower case, stable are around 56, exacerbators
11 are around 63, and patients that go from
12 exacerbation to stable actually, lo and
13 behold, go from 63 down to 56, which you'll
14 see down there under the dotted line. Heart
15 failure transplant patients start at around
16 58, and drop down to around 47.

17 Depression patients start up over
18 60, more than a standard deviation, and get
19 better with regard to their fatigue when their
20 depression is treated, after one month and
21 then after three months. And now back pain,
22 the same thing with back pain. So in all

1 these cases, you have different clinical
2 areas, but the same metric on fatigue, being
3 able to compare changes.

4 You see, they're all starting more
5 fatigued than the general population, and all
6 moving in the right direction after treating
7 the clinical problem. Same thing with cancer,
8 but we'll move ahead.

9 This is the last concept I want to
10 put forward, because I think it's also pretty
11 relevant to what we're looking for in this
12 context, and that's a PRO Rosetta Stone, or
13 PROsetta Stone, which is a project that we
14 have to link many of these different measures
15 -- PH29, CESD -- with the PROMIS depression
16 measure, as an example. We've done that. We
17 haven't published it yet.

18 And therefore, you can express --
19 you can administer the PH29, you don't have to
20 give that up. But you can express it as a
21 metric. We heard earlier from Dr. Burstin
22 that NQF does not endorse the instruments;

1 they endorse performance measures. This is a
2 way one could think of it, that this metric of
3 the mean of 50, standard deviation of 10,
4 referenced to the general population, is a
5 potentially endorsable metric that you can get
6 by asking PROMIS questions, or PH29 questions,
7 or CESD questions, but you're putting them on
8 that common metric I showed you with fatigue.

9 This is a sample from work that
10 we've done, comparing a fatigue questionnaire
11 that we developed earlier in the cancer
12 setting to the PROMIS fatigue T-Score. You
13 can look them up and use these in your
14 reporting.

15 I think that's the last slide.

16 Thank you.

17 DR. BASCH: Great. Thank you. In
18 the interests of time, we're going to move on
19 to our first speaker. Lewis Kazis, why don't
20 you come up to the podium to speak, so folks
21 can see you?

22 And while you're coming up, I'm

1 reminded to mention that, in addition to
2 PROMIS, which is an NIH initiative, there is
3 also a second government initiative under the
4 NCI called PRO-CTCAE that some of us in the
5 room have been involved with, that uses some
6 advanced methods to develop questions to allow
7 patients to report on issues related to
8 adverse events, or safety and risk, for anyone
9 who's interested.

10 DR. KAZIS: Thanks, Ethan. I just
11 want to mention that it's a delight to be here
12 today, in a conference that's really dear to
13 my heart. I've been involved in the use of
14 patient-reported outcomes -- in their
15 development, in the methods, and in their
16 implementation in the context of performance -
17 - for more than 25 years. And in fact, BU
18 just gave me a clock for being at BU for 25
19 years, so that sort of reminded me how long
20 I've been at it.

21 What I wanted to mention before
22 going into what I was charged with talking

1 about today was that the VR-36 and the VR-12
2 were developed in the VA under the support of
3 the Health Service Research and Development
4 Service. And the VA, I think, has been at the
5 forefront of patient-reported outcomes and
6 performance measures for many years, going
7 back at least 20 to 25 years, when in fact
8 performance measures in the VA took on real
9 import in terms of VISNs and their
10 organization and resource allocation, and so
11 forth. And I think the whole move in that
12 direction began with Ken Kaiser, who was in
13 fact the founder of this organization.

14 So the VR-36 and the VR-12 have
15 been adopted by the VA in terms of some of the
16 assessments that they're currently doing and
17 have done historically in the area of
18 performance, and have been adopted by CMS and
19 the Medicare Advantage program specifically in
20 terms of principal endpoints in their
21 evaluation. The VR-12 is now used in
22 highlighting those particular plans in a

1 particular two-year cycle that are either
2 negative or positive outliers.

3 We've also recently developed and
4 published a utility metric that's generated
5 from the VR-12 that allows one to begin to
6 look at cost-effectiveness in the context of
7 plans, so that information is out there. And
8 also, it's in the public domain, and free of
9 charge, in terms of algorithms, imputation,
10 and contextual fixes, as it has been supported
11 by the federal government over many years.

12 So my charge today was to talk
13 about the issue of bridging measurement tools,
14 and the very first slide is what I consider to
15 be a binding framework for the use of legacy
16 measures. Those are the historic measures
17 that David Cella talked about, and relate
18 specifically to a set of items in a particular
19 questionnaire, like the VR-12.

20 Just to mention that the IRT
21 approaches have informed legacy measures, and
22 have been, I think, an important methodologic

1 advance in terms of allowing us to perfect and
2 come up with even more precise legacy tools.
3 And clearly, I think the legacy measures have
4 informed the development of item banks, as
5 they have been used in the context of CAT, the
6 Computer Adaptive Test. And clearly, I think
7 this becomes a very important aspect.

8 So there is clearly synergies
9 between legacy measures, IRT, and the item
10 banks that they have helped to inform. I
11 think as we move forward, the more bridges
12 that are established between legacy measures
13 and item banks, the better.

14 As I see it, there's no real
15 silver bullet in terms of any single
16 assessments, or even bank of assessments, that
17 will work totally in terms of the complexity
18 of our health care system, and I think one
19 needs to move in the direction of bridges
20 that, in fact, tend to combine the use of
21 assessments that, in fact, have been used for
22 many years, the legacy measures, and the newer

1 CAT models that are out there.

2 So I'll just mention a couple of
3 points in terms of what I consider to be the
4 advantages and disadvantages of the legacy
5 measures, and then talk very briefly about the
6 IRT CAT measures.

7 The legacy measures have been
8 extensively tested for reliability and
9 validity across many settings, over many years
10 and populations. A good example is the VR-12,
11 which has now been administered to well over
12 5 million individuals in the VA, outside the
13 VA, in terms of CMS and the Medicare Advantage
14 program, and in other systems of care. In an
15 average week, I receive about six requests for
16 the VR-12 with their scoring algorithms.

17 Fewer resources now are needed to
18 implement the legacy measures compared to CAT,
19 and that clearly is an advantage, because the
20 CAT measures do require resources in terms of
21 their development implementation in clinical
22 settings. The expertise to implement them has

1 matured, and they can be integrated with new
2 technologies, and have been in the context of
3 the internet.

4 Disadvantages, of course, include
5 the time to complete the instrument that David
6 mentioned. It's usually longer than the CAT.
7 Instruments are less flexible to update and
8 calibrate compared to the CAT, and they
9 require larger samples to avoid spurious
10 results.

11 If one looks at IRT and CAT, the
12 advantages clearly are, they estimate personal
13 level traits within subsets of items, they
14 usually require smaller sample sizes, and
15 they're less vulnerable to floor and ceiling
16 effects.

17 The disadvantages, I think,
18 clearly have been controversial, and some of
19 them involve the differential item
20 functioning. That calculation may be
21 problematic for multidimensionality
22 assessments, and that's where the probability

1 of responding in a different category varies
2 across different subgroups, given equivalent
3 levels of the underlying attribute. And an
4 example of that would be age, for example, or
5 gender, where in fact the DIF calculation may
6 not be what we would want it to be.

7 So clearly, there's no silver
8 bullet here. The IRT CAT is an important
9 methodology to be implemented, but there are
10 limitations in its use. They do require
11 front-end technology to implement the
12 instruments, and additional assistance is
13 usually necessary to facilitate successful
14 patient-technology interaction. So there is
15 a resource-intensive aspect to its use.

16 Clearly, I think, real advantages
17 are, it's useful in assessing change, there's
18 greater precision of measurement when compared
19 to the historic measures. There may be high
20 start-up costs, and the software and hardware
21 is commonly proprietary and expensive. So
22 clearly, there are advantages and some

1 disadvantages.

2 The other issue is the computer
3 literacy of the population that one is dealing
4 with, and whether the CAT method would require
5 a population that's computer literate. So one
6 has to consider populations where, in fact, it
7 may not be as advantageous.

8 So I do have more slides here,
9 which will be in your packet. When you
10 testify before the Congress, you usually say
11 "It's going to go into the Congressional
12 Record."

13 So, let me summarize here. The
14 issue here is that I think there's no silver
15 bullet in terms of one assessment tool, or
16 even a simple set of assessment tools, that
17 will work in the context of a very complex
18 health care system. I think hybrid approaches
19 become really necessary, that bridge legacy
20 and IRT CAT approaches for purposes of
21 application to systems for measurement
22 performance, so that's really important. If

1 you take away anything from this talk, I think
2 the issue of flexibility and hybrid-ness
3 becomes really important in terms of what's
4 adopted and what's going to be used in a very
5 complex clinical setting.

6 Mixed mode approaches are
7 necessary, so that flexibility in the
8 protocols is possible in real world settings.
9 And David Cella, I think, did an excellent job
10 talking about the different modes of
11 administration. And clearly, I think, for
12 those non-methodologists, there needs to be
13 fixes in terms of the empiric data that, in
14 fact, might be biased by whether, in fact, the
15 administration is done at home, whether it's
16 in the clinic, or whether, in fact, it's face
17 to face, whether it's through computer, and so
18 forth.

19 Those things can impact on your
20 results, and one needs to adjust for those.
21 There are contextual fixes. We've spent many
22 years developing contextual fixes in the

1 development of the VR-12, so that if there is
2 a user out there, we have an algorithm, and
3 those fixes can be made, so that when one
4 generates a score from the VR-12, that metric
5 can be considered to be reliable.

6 So, mixed mode approaches are
7 necessary, and there need to be fixes for
8 these things. And then the issue of missing
9 data, which is a fact of life in real world
10 settings, adjustments for missingness is
11 required to adjust for bias in results. We've
12 developed an algorithm called the Modified
13 Regression Estimate which, in fact, controls
14 for missingness.

15 So if you were to have as few, for
16 example, as three items present in the
17 administration, when you've administered the
18 VR-12, one can generate some scores on the
19 basis of that. So, one needs to consider
20 that. One needs to consider the biases that,
21 in fact, would be generated as part of that
22 missingness.

1 And that's all I have to say.

2 DR. BASCH: Great. Thank you very
3 much. So, Richard, you're up next.

4 DR. BANKOWITZ: Thank you. I'd
5 like to speak about the experience that some
6 of our premier members are obtaining in
7 implementing some of these instruments. We
8 are an alliance of 2,600 hospitals across the
9 U.S., and we try to accomplish a lot in a
10 collaborative methodology. So we now have an
11 ACO implementation collaborative consisting of
12 30 health care systems, and in that context of
13 that laboratory we are trying to gain
14 experience with some of these instruments.

15 So we're looking at a variety of
16 things. We have two of our members, Fairview
17 and Geisinger, who are looking at the
18 Dartmouth Institute Primary Care patient-
19 reported measures pilot. We have two of our
20 members who are looking at the Southeast
21 Minnesota Beacon Community and Mayo Clinic
22 patient-reported outcomes. And then we've got

1 three -- South Coast, Bay State, and St.
2 Francis -- that are trying to implement the
3 Dartmouth Institute "How's Your Health?"
4 We've also been approached by the Gallup-
5 Healthways, to see if we'd like to take up
6 their well-being index, but so far that's in
7 the very early stages.

8 So I'd like to report on some of
9 the very early information we're getting in
10 the use of the Dartmouth "How's Your Health,"
11 and I think that might help inform some of the
12 methodological questions.

13 So this is very early, and we've
14 got a very small sample size, but I think
15 we're getting some very interesting
16 information from it. First of all, the data
17 comes in in a variety of methods, so some are
18 filled out in the doctor's office, with paper
19 and pencil. Some are done via the internet,
20 before the patient appears. And none of these
21 three systems has anything like the
22 sophistication that Gene Nelson showed you or

1 that Liz Mort showed you with the data
2 infrastructure.

3 So one big problem was, what do
4 you do with the data? If it's filled out over
5 the internet, how do you even get it to the
6 physician? There's a concern about simply
7 using email that's not secure, so you need to
8 have a secure email server. One of the
9 institutions is trying to put it on their
10 portal, but then the question was, how do you
11 get it to the right physician? It's fine that
12 it's in our portal, but how do we make sure it
13 goes to the right physician at the right time,
14 so that it gets incorporated into the record?

15 None of these systems can
16 integrate with the EHR at the moment, so the
17 best they can do is scan a document and that
18 goes into the EHR as basically a photograph.
19 I mean, you can't search it or do any kind of
20 structured analysis with it. So that's a big
21 problem, just in terms of implementation.

22 But we're getting some very

1 interesting feedback from both the physicians
2 and the patients. Some of the feedback we've
3 had from the providers -- and we only have
4 three, but they've said things like "The use
5 of this instrument really establishes rapport
6 with the patient." "It gives me a jumping-off
7 point for a discussion." It helped me
8 identify patients who had inadequate knowledge
9 of their condition." "It helped me identify
10 problems at home that I was unaware of,
11 problems with their feelings and social
12 phobias," so quite a lot of information. It
13 provided risk stratification for future
14 hospitalization," so maybe identifying
15 patients of particular risk. "It enhanced
16 patient empowerment." "The patients feel more
17 included." "I can identify confident
18 patients, and vice versa."

19 And then one physician said "The
20 most value came from identifying patients who
21 felt unable to manage their help problems.
22 This was really a good use of the tool, but it

1 took a lot of office time." So it's not
2 necessarily the case that just having this
3 information in front of you is going to make
4 the physical faster. It often makes it go
5 longer, because you've got more issues to deal
6 with.

7 The patients had a variety of
8 responses. First of all, most of them said
9 they would recommend it to others. 85 percent
10 said they would definitely recommend the How's
11 Your Health survey to other patients. One
12 patient said "I took the survey, and I'm
13 healthier than I thought I was." And that's
14 interesting, and I think it also has
15 implications for response shift. If the
16 instrument itself is making people have a
17 different expectation of their health, that's
18 an interesting finding.

19 The patients say "I'm glad to know
20 my physician was interested in what I'm
21 thinking." "It's good to have the information
22 available before I see the doctor." "I learned

1 new things." "It gives me time to think about
2 the answers to the questions." "I think it
3 helps patients who don't ask questions while
4 they're at the office." "I liked the reading
5 materials." And then some said they wished
6 they had more ability to put explanations in.

7 So as I think about these
8 responses -- and they are limited -- I think
9 there are a couple of lessons, maybe, to be
10 learned. One, as we discuss these
11 methodological issues, I think it's important
12 that we not let the perfect become the enemy
13 of the good. And I think it really depends.
14 The first question you have to ask is "What
15 are we going to use the information for?"
16 Because that may dictate how precise we need
17 to be, and it may dictate the operating
18 characteristics of the test that are required.

19 So as I think about this concept
20 of useability, I think it's going to be the
21 most important concept as we go through the
22 measures endorsement process. So it may be

1 not precise enough to say this is a
2 performance measure. Is it a performance
3 measure for internal performance improvement?
4 Is it a performance measure for transparency?
5 Is it a performance measure to compare two
6 institutions? I think that's an important
7 question.

8 And so, as I thought about these
9 responses from the physicians and the
10 patients, they tend to fall into two classes.
11 Class one is actually dependent on the answer,
12 so we found some patients that are at high
13 risk, or we found some patients that don't
14 know a lot about their health, and we can act
15 on that. But then there's a second class
16 which is really not dependent on the answer:
17 establishing the rapport, being more included,
18 having the patient feel the physicians are
19 more engaged. That takes place just by the
20 process of the instrument alone.

21 So, how would we incorporate those
22 two things into performance measures? It came

1 up earlier as a structure measure. I think of
2 it almost as a surgical checklist. It's
3 something that should be done. I'm not sure
4 we would take each item on the checklist to
5 compare institutions. Institutions might want
6 their own running tallies, so they know if
7 they're getting better. But the use, I think,
8 is really going to be key.

9 So that's one challenge, and I
10 think the biggest challenge. The second
11 challenge, as I think about these measures --
12 and this has also come up today. We're moving
13 from measures of sickness and illness to
14 measures of health and well-being, which I
15 think is a very good progression. But I think
16 when we do that, we have a dilemma. Because
17 as we move to those measures of health and
18 wellness, we then begin to have shared
19 accountability, right?

20 So yes, the ACO, the health home,
21 is responsible for some things. But then we
22 have the school, we have the community, we

1 have access to fresh food and playgrounds. We
2 have a lot of items that impact health and
3 wellness. So how do we apportion that
4 accountability? It's going to be a very
5 important question. Not one of the
6 traditional methodological questions, but I
7 think a key one going forward.

8 So, I'll stop there.

9 DR. BASCH: That's great. Thanks
10 very much. So we're going to finish up with
11 Lori, and then we'll take some questions from
12 the audience.

13 DR. FRANK: Great. Thank you,
14 Ethan. So now I'm between you and lunch, and
15 also between you and the audience engagement
16 piece, so I'll move quickly through this.

17 I do want to discuss what patient
18 engagement means, how it is and is not
19 currently being implemented in the course of
20 PRO development and evaluation, with the hope
21 that reframing patient involvement in PRO
22 research can improve measure development and

1 testing and enhance the value of PROs for use
2 in clinical settings and for performance
3 measurement. And I use the term involvement
4 very mindfully, a point I'll return to in just
5 a moment.

6 PROs offer one way to capture
7 outcomes meaningful to patients. They are not
8 the only way. They don't always succeed, and
9 they're not the only way to do so, which I
10 think is a point we all need to keep in mind.

11 When I was in kindergarten, I
12 remember we were promised that by the time I
13 was in sixth grade we would have the ability
14 to make phone calls to people and actually see
15 the faces of the people on the other end of
16 the phone. And I'm glad the technology is
17 finally here, but I still carry with me the
18 disappointment of all that delay, and the lost
19 opportunity, with the length of time it took
20 to bring that to be. And that's how I feel
21 about use of PROs generally, but method of
22 administration for PROs specifically.

1 In the last century, I was part of
2 a panel on the promise of ePRO, I think with
3 some people here. And again, it's been a bit
4 disappointing, the rate of adoption. I think
5 that patient engagement might be a way
6 forward, and I'm particularly interested in
7 how that can apply to the tremendous
8 contribution that the PROMIS initiative stands
9 to make, if only we can get the uptake there,
10 like we need it.

11 I also want to say that I think
12 that -- I appreciated David Cella and his co-
13 authors' paper, with its thoughtful
14 consideration of all the methodologic issues
15 that we need to think through before going
16 full bore towards PROs in performance
17 measurement, but before we continue down this
18 track, or in keeping with the innovative
19 technology theme, the high-speed MagLev, I
20 think there are a couple of other trains that
21 need to be connected, and those would be
22 patient-centeredness and patient engagement.

1 In his 2009 Health Affairs piece,
2 Donald Berwick defined patient-centered care
3 as "the experience of transparency,
4 individualization, recognition, respect,
5 dignity, and choice in all matters related to
6 one's person, circumstances, and relationships
7 in health care." I think that there's a lot
8 in that definition, and a lot actually in that
9 piece, that's valuable as we consider the role
10 of engagement in improving measurement.

11 We do need to make that
12 distinction between patient-centered clinical
13 care, as he's talking about it in that piece,
14 and patient-centered research. For research,
15 we need to further differentiate between
16 clinical research and methods research, and
17 right now, as you know, PCORI is funding
18 clinical research, but we did just release the
19 funds for the methods research. And out of
20 the 50 funded projects, 11 of those dealt
21 with PROs. Nine of the 50 deal with Computer
22 Adaptive Testing or other technologies. So we

1 are moving forward, but I think that there's
2 a role for funding agencies here in this
3 dissemination and uptake.

4 I would add to clinical care, and
5 to research, when we're thinking from a
6 patient-centered standpoint, performance
7 measurement, obviously. What is the value to
8 adding engagement to a performance measurement
9 view?

10 In their discussion about the
11 paper, about the potential for PRO use in
12 clinical care, David and the co-authors
13 mentioned patient-provider communication and
14 identifying patient needs in a timely manner.

15 I think it helps to view those
16 sorts of statements from a patient-centered
17 perspective. Improving communication is a
18 form of patient engagement, which enhances
19 patient-centeredness. Identification of
20 patient needs ensures patient-centeredness.
21 So together, the engagement and patient-
22 centered orientation, from the clinician and

1 from the health system, can improve health
2 outcomes.

3 And I think that was well
4 catalogued, some of the empirical evidence for
5 that, in the paper. And certainly there's
6 evidence of the value for patient involvement
7 in improving content and construct validity of
8 our measures. But I suspect that, without
9 going further, we will miss out on some
10 opportunity for some more meaningful
11 information that we could get to improve our
12 measurements. So it's an exciting
13 opportunity, and I'll say more about that in
14 a moment.

15 I just wanted to review, then,
16 principles of engagement. You heard some in
17 the quote from Donald Berwick. Trust and
18 transparency, leading to respect. Partnership
19 and collaboration, including co-learning and
20 communication. There's an inescapable
21 interactive element to patient engagement, a
22 relationship element, which is why shared

1 decisionmaking can be considered a form of
2 patient engagement.

3 So I have this virtuous cycle here
4 of engagement, as just a way to show us that
5 there's engagement in research. Right now, a
6 lot of what's happening in PRO methods
7 research is involvement, where patients are
8 subjects, but this is a giant step back and
9 showing an enterprise view of truly engaging
10 patients at all phases of the research
11 process, and not just as subjects. And the
12 idea is that there's some measurement value
13 that we can recognize with this view.

14 The next slide shows the same
15 schematic, but using performance measurement,
16 then, as the organizing principle. And there,
17 too, I think few have so far contemplated --
18 we've heard from some this morning, thankfully
19 -- what taking this engagement view can really
20 do to improve the methods behind performance
21 management. It can really anchor us.

22 So this morning, Lisa Lentz

1 mentioned patient attribution. I think this
2 framework can handle that. Linda Wilkinson
3 mentioned culture. Who's defining culture?
4 I think this engagement framework can help
5 with that. On the first panel, we talked
6 about walking in the patients' shoes.
7 Certainly, this is a framework that helps us
8 to achieve that.

9 How much collaboration do patients
10 really want? Nobody knows the answer to that.
11 We don't have good data. But the idea is that
12 there's an ethical argument to including
13 patients, engaging patients this way. We're
14 at the upward ends of our ability to use
15 empiricism here. I heard a lot of good,
16 empirical questions. Is clinician performance
17 improved by use of PROs, for example, one of
18 the questions raised this morning. But
19 there's the idea that we need to accept the
20 principle of engagement before we can move
21 forward for some of the methods improvement.

22 In Table 3 in the paper, it's

1 important characteristics and best practices
2 to evaluate and select PROs as performance
3 measures. I would suggest that we add patient
4 engagement in development and testing as one
5 of those important characteristics. We still
6 need to develop metrics for patient
7 engagement, so there's a lot of work to be
8 done, but I think that that would help us to
9 reframe in a positive way.

10 Under content validity, perhaps
11 that evaluation, whether the outcomes are
12 patient-centered or not. For performance
13 measurement, they need not always be, but
14 asking the question might help to improve our
15 output.

16 In the discussion specifically of
17 method of administration, the authors
18 reference patient burden. How do we know
19 what's burdensome to a patient? We're
20 inferring, and certainly as researchers we're
21 also patients, so we can figure this out. But
22 our special knowledge as researchers, we have

1 to remember, might actually disadvantage us to
2 understanding the patient view. So
3 incorporating patients into the research team,
4 then, is a way around this conundrum.

5 So I'd say consider a continuum
6 from low patient input to high, proximity to
7 patient voice to distance to patient voice,
8 and think about what we're doing. A
9 psychometric evaluation study, where is it on
10 that continuum? The patients are certainly
11 providing input, but through channels that
12 have been engineered by the researchers.
13 Cognitive interviewing is bidirectional.
14 We're talking to people. It's qualitative.
15 But here, again, patients go off-topic all the
16 time, and it's actually our job to keep them
17 in the channels that we, as researchers, have
18 engineered.

19 Focus groups, another great
20 opportunity to collect patient input, and
21 there's a lot of value to be derived from it.
22 But there, too, we're missing an opportunity,

1 by limiting ourselves to these methods, to
2 really sit down and get the full benefit of
3 researcher wisdom plus patient wisdom
4 together. So on that continuum, then, putting
5 the patient at the center would be patient-
6 centered outcomes research.

7 A lot of barriers noted in the
8 paper for use of PROs, I think many of those
9 might be system-centric and not patient-
10 centric. Just a point to note.

11 Of interest, the authors say "For
12 those developing or modifying measures
13 according to principles of universal design,
14 they're encouraged to consult with relevant
15 experts." Well, who are those experts? Will
16 it include persons with disabilities? Is it
17 going to be about us without us, as people
18 say? But it's another excellent opportunity
19 to improve measurement through engagement.

20 One idea, then, is to create a
21 task force on measurement error and invite
22 patients to participate. Are there some

1 things that we could learn about improving our
2 methods from the patients, that we just
3 haven't thought to ask? I think that method
4 of administration is a great entree into this
5 particular type of thinking.

6 A quick point. There were some
7 questions specifically about proxies. The
8 FDA, as you know, has a taxonomy of PROs,
9 ClinROs, observational measures. I think in
10 the case of proxies, we could come out with a
11 better taxonomy. There are true proxies,
12 people who really can accurately report for
13 the individual. Some parents can really tell
14 when their child is fatigued, for example.
15 There's quasi-proxies, people who can report
16 but with non-ignorable error. Then there's
17 just poor proxies, people who don't do it
18 well.

19 And to Mary's point earlier, I
20 think we need to always be mindful, are we
21 treating the reporter as a proxy or as an
22 informant? Someone who can have some insight

1 into the patient, but not complete patient
2 reporting in their stead. And Ethan reminded
3 me, too, about always going to the proxy in
4 the case of kids, or in my area, for those
5 with cognitive impairment and dementia, when
6 actually there's the possibility to obtain
7 accurate reporting.

8 And the question here is about
9 truth. How do we know that we've gotten to
10 the truth, to the accuracy? To a certain
11 extent, I think we've been a little lazy in
12 the field, and more phenomenological research,
13 which is a form of patient engagement, can
14 help really get us to that truth of what's
15 accurate. When do you need the proxy, and when
16 is the patient truly the accurate reporter?

17 Missingness was also raised, and
18 my only point here is that missingness might
19 be a form of revealed preference, and there,
20 too, engaging patients as part of the research
21 team could help lead us to a wider
22 understanding of causes of missingness.

1 Some view patient engagement as a
2 shift in the power relationship. If you think
3 about it, the patient completing the survey in
4 the parking lot holds a lot of power. They're
5 going to ruin our study. They're going to ruin
6 our performance measurement. So let's
7 acknowledge the power that each party has
8 here.

9 It's a scary notion, to think
10 about giving away this power, but I think it's
11 one that's worthwhile and that can help us
12 with improving our PRO measures, and improving
13 our measurement overall.

14 DR. BASCH: That was terrific.
15 Thanks so much, Lori.

16 You know, I should mention Lori
17 and Mary Tinetti, who's here, and I, have done
18 a fair amount of work within PCORI around
19 patient engagement, including issuing a couple
20 of contracts to do a landscape overview and a
21 systematic literature review around methods
22 for patient engagement. And our conclusion at

1 the end is that we really do need systematic
2 research on approaches to engaging populations
3 that will be informative to the scientific
4 enterprise. I really do see a lot of synergies
5 between PCORI's interest here and NQF's
6 interest here, PCORI on the research side.

7 So we have about 15 minutes for
8 Q&A. Just to set this up before we start, we
9 really do want to focus on administration
10 methods. We recognize that many of the themes
11 cross over to other areas, but that really is
12 our focus. So we have Lewis, who talked about
13 scientific challenges, Richard, who talked
14 about implementation issues, and then finally
15 Lori talking about the special challenges in
16 developing PRO measures for performance
17 evaluation, because we need to actually engage
18 with those from whom we're gaining
19 information. We'll start in the front.

20 DR. FIHN: So, this isn't
21 specifically about administration, but one of
22 the themes here, at least that I've heard, is

1 heterogeneity of multiple approaches. Is that
2 in conflict, ultimately, with sort of
3 organizational imperatives right now, in terms
4 of accountability measures? What we see a lot,
5 where we try to convey the complexity of
6 measurement, at the end of the day what often
7 trumps is comparables in a very competitive
8 marketplace, and the question of sort of how
9 those trade-offs will work out when we develop
10 a very complex and rich system of measurement.
11 And at the end of the day, for accountability
12 reasons, not for improvement reasons, but we
13 didn't name that as one of the goals here,
14 there's going to have to be some
15 reconciliation for comparability across
16 systems and organizations, or even within
17 systems.

18 So, how does this all play out?

19 DR. BASCH: From the panel?

20 DR. FRANK: I think the PROsetta
21 Stone is a great example of the way to begin
22 to really cross communicate.

1 DR. BASCH: Could you be a little
2 more specific about what you mean by
3 heterogeneity? Do you mean heterogeneity
4 across patients or across contexts, or do you
5 mean the measures themselves?

6 DR. FIHN: Yes.

7 DR. BASCH: You mean the measures
8 themselves. So, go ahead, Lewis.

9 DR. KAZIS: I think it's an
10 excellent question. We were involved in a
11 study done a few years ago that was published
12 in Health Services Research comparing the
13 Veterans Administration to the Medicare
14 Advantage plan. And we looked at mortality,
15 and then we looked at measures of outcome
16 using the VR-12. In that context, the VA
17 actually did better in the adjusted analyses.
18 In terms of the differences, they were quite
19 dramatic. And for those that would be
20 interested in discussing that further, I'd be
21 glad to talk about what, in fact, we
22 hypothesized as why there differences, but the

1 VA did a lot better.

2 Now, in that context, we had the
3 luxury of similar assessment tools across the
4 two systems. Going forward, I think that it's
5 going to be a real hodge podge in terms of the
6 assessments that are used nationally,
7 depending on the organization and what's
8 adopted, and so forth.

9 I think what is absolutely
10 necessary is that there are adjustments that
11 are developed to deal with those differences,
12 differences in assessment tools that are used.
13 I think David Cella can speak to the IRT and
14 CAT, which I think will allow for item banks
15 that, in fact, might permit comparisons across
16 different systems of care.

17 DR. BASCH: I think Albert had a
18 question.

19 DR. WU: On the topic of missing
20 data and biases that might come with missing
21 data, there's another thing to consider, and
22 that is that there may be biases in present

1 data. I don't actually have any data from our
2 system on this, but I'll give you an example.
3 I was teaching a course, and we wanted to get
4 student evaluations, essentially. Student
5 satisfaction data. And we wanted to get our
6 response rate up. We always got pretty good
7 response rates. We then made it mandatory.
8 We actually gave people a point on their final
9 grade if they would turn in their evaluation.

10 And what happened to our
11 evaluations? We got 100 percent response
12 rate, and our evaluation went down, because
13 the bias is that people who are more satisfied
14 are more likely to respond. So I think the
15 idea about looking at response rates is
16 something that we do need to consider, and
17 it's just another topic.

18 DR. BASCH: That's a great point,
19 actually. I think at the next meeting we'll
20 have another presenter from the NHS PROMS
21 initiative across England, and this was
22 actually a phenomenon that they observed

1 across the U.K., which is there were generally
2 lower scores for provider systems that had
3 higher response rates, and they've now had to
4 adjust for response bias.

5 Do we have a question over here?
6 Go ahead.

7 DR. GANIATS: I came here -- this
8 is Ted Ganiats. I came here today open-minded
9 but concerned that we would not be able to
10 come up with accountability measures, and I'm
11 just really happy to say that I was right.

12 (Laughter.)

13 DR. GANIATS: And everything
14 that's been said today is just absolutely
15 fascinating and absolutely wonderful, and it
16 promotes clinical use, it promotes patient
17 engagement, and it promotes quality
18 improvement. But I've yet to see anything
19 that gets to my methodologic concern, and
20 nothing that gets to my practical concern
21 regarding accountability, as was mentioned
22 earlier.

1 And the reason this is important
2 now is because, if we're able to limit
3 ourselves to quality improvement, that helps
4 address the methods approach that you asked
5 that we discuss during this question and
6 answer period.

7 The methodologic concern that I'll
8 just throw out is that, in general,
9 performance measures come from guidelines, and
10 guidelines come from evidence. And we are
11 bypassing the evidence, and we're bypassing
12 the guideline, and creating a performance
13 measure without the structure of a guideline.
14 So we're going to be inputting into practice
15 requirements prior to the guideline having
16 been created.

17 And if we want to do that for
18 quality improvement, that's fine. But I don't
19 think that's good for us -- the NQF won't, but
20 who's going to create the quality measure,
21 sans guideline, which the NQF is then going to
22 evaluate without the evidence and without the

1 guideline to support it?

2 It can happen. I hope it happens,
3 and I think it's years down the way. We have
4 the phone without the vision right now. But
5 for quality improvement, I think we have a lot
6 of good evidence that we should be moving in
7 that direction, and that will help us, then,
8 in selecting the methods for the questions,
9 which is what you wanted to address this time.

10 DR. BASCH: Yes. That is a long,
11 complicated question. One could argue that
12 guidelines generally describe the phenomena to
13 be measured, as opposed to the measures of
14 those phenomena themselves, which is what
15 we're talking about here. But it is a blurry
16 line. I think we probably would have to leave
17 that for another -- let that linger with us,
18 a very important --

19 DR. BANKOWITZ: Can I speak to
20 that momentarily?

21 DR. BASCH: Go ahead.

22 DR. BANKOWITZ: I think it's a

1 great question, and it also relates to the
2 question that was asked at the front of the
3 room here. And I think one way to look at it
4 is, we might want to think about leading
5 measures and lagging measure.

6 So a lagging measure is a big dot
7 measure. It tells you how well you have done.
8 It's too late to change it, but it tells you
9 how well you have done. So mortality, 30 day
10 mortality might be a lagging measure. And
11 there's no guideline that says "Don't kill
12 people," but it is a measurement that is
13 valid. So if we can come up with a big dot
14 measure, then we need to ask these questions
15 about how to we adjust it.

16 But we might have leading measures
17 where there might be more heterogeneity, which
18 might vary from place to place, which might
19 not be so well documented. And I think that
20 might be one way to address it.

21 DR. BASCH: Did you want to follow
22 up quickly?

1 DR. GANIATS: Yes. I mean, just
2 real quick, I've been on guidelines. I've
3 been on performance measures. The 30 day
4 readmission for heart failure, I co-chaired
5 the committee that created that. Why 30 day?
6 Why not 15 day? Why not 45 day? Where's the
7 evidence that we're going to draw the line?
8 And there was no evidence. Personally, even
9 as chair, I didn't like the measure because it
10 is arbitrary, and I think that there are huge
11 problems.

12 Most of NQF-endorsed measures are
13 process measures or structure measures for a
14 reason. There are a few outcome measures, and
15 I think that we have a problem when we are
16 creating outcome measures without the
17 guideline, and we are pushing practice through
18 a performance measure accountability instead
19 of through a guideline process.

20 Believe me, I'm in favor of us
21 being better. I'm just worried about the
22 process. But I'll let others talk now.

1 DR. BASCH: In the middle.

2 MS. TORDA: Yes, I am Phyllis
3 Torda, from the National Committee for Quality
4 Assurance, and I'd actually like to pick up
5 this thread and the suggestions about
6 structural measures.

7 And I think, as Helen noted in the
8 very beginning, there is a maturity
9 progression from structure to process to
10 outcome, and that one of the things that it
11 might be really helpful for this group to do
12 is to lay out what should guide thinking about
13 structure, process, and outcome measures for
14 patient-reported outcomes.

15 And it does sound to me like we
16 might be getting to the point, based on the
17 presentations, where there is a good evidence
18 base for a structural measure. Structural
19 measures are good at signaling the need for
20 implementation, encouraging implementation,
21 without stifling innovation, because you're
22 not being overly prescriptive. And then, as

1 you learn more, you move from structure to
2 process to outcome.

3 So I think there's a progression
4 there. I probably agree that there's a lot of
5 methodological reasons why jumping to outcomes
6 might be premature at this time, but I think
7 that thinking about that progression would be
8 very useful.

9 DR. BASCH: That's a very good
10 point. We're going to go over here, and then
11 we had a comment here.

12 Go ahead.

13 MS. HUFF: This is Jennifer Eames
14 with PBGH, and I want to thank the panel for
15 having a discussion on methodology that
16 incorporates the component of useability,
17 because I think we can't really separate the
18 two without knowing how we're going to be
19 using the measure that really influences the
20 methods.

21 And I think there's a general
22 belief of quality improvement, you don't have

1 to be as rigorous, public reporting, some more
2 rigor, payment, the stakes are higher. And
3 I'd like to challenge that belief in terms of
4 who's using the information for public
5 reporting and payment. You look at the users,
6 and I'll say that purchasers have a much
7 higher tolerance for error in this
8 measurement, and would rather have information
9 now as opposed to waiting for years for it.

10 Also, for consumers, there was a
11 study done by Judy Hibbard and Arnie Milstein
12 who looked at the tolerance for
13 misclassification when comparing providers,
14 and it's much higher amongst consumers, I
15 think, than what public reporting programs
16 usually do. So again, I think this is a
17 really important area, of tying the methods to
18 the useability, and looking at who's really
19 using the information.

20 And per the conversation around
21 using structural measures or checklist
22 measures, I just want to add a word of caution

1 to that discussion. Because I think those
2 measures are good. They're good at
3 encouraging implementation, but they don't
4 necessarily get at the quality of the
5 implementation, and I think that's where the
6 outcomes really come in, and why people are
7 interested in outcomes is it gives a sense of
8 how well the implementation is really
9 occurring.

10 DR. BASCH: We've been given
11 authority to go about five minutes over, so
12 we're going to go to the back here, and then
13 we're going to go to the audience and the
14 phone.

15 DR. PAWLSON: Greg Pawlson, from
16 Blue Cross Blue Shield Association. I have to
17 weigh in on this, the need for guidelines for
18 outcome measures. NQF, and I don't remember,
19 Helen, the exact group, but I remember it was
20 a task force. Superb discussion, and it was
21 written up and published by NQF, about where
22 guidelines really come in.

1 And there was, I think, a fair
2 consensus that, for most outcomes, you don't
3 need a guideline. Pain, patient-reported
4 experience of care, we've never had guidelines
5 that said "Patients should be treated with
6 respect and trust and so on." And I would say
7 it's the same for many of the patient-reported
8 outcomes that we're talking about.

9 Now, as those get into areas that
10 are more process-oriented and need a guideline
11 like, to bring up one, Ted, hemoglobin Alc
12 levels, then clearly there is a need for
13 guidelines. I think it's a different kind of
14 evidence base that doesn't come out of
15 guidelines, but there is some evidence base in
16 most of the measures that we've been talking
17 about today, that some clinical intervention
18 does have some impact, especially at an
19 aggregate level.

20 You can't hold an individual
21 physician responsible for some of these
22 things. You can for patient experience, but

1 you can't for some of these others. But
2 clearly an accountable care organization, if
3 they don't have any effect on that, on
4 mortality or on readmissions or on a number of
5 other -- and I should stick to patient-
6 reported outcomes --then I don't know what
7 we're doing in health care, frankly.

8 So I wouldn't be so pessimistic
9 about our ability to have evidence-based --
10 not guideline-connected, but evidence-based
11 outcomes with very high face validity, that
12 can now be measured and can show change,
13 especially over time, at an aggregate level.

14 DR. BASCH: I think this speaks,
15 really, to the need for research, rationale,
16 consensus. And to Ted's comment, if you look
17 at a measure -- for example, in oncology, we
18 look at post-chemotherapy nausea, there are
19 actually guidelines that recommend improving
20 nausea after chemotherapy, but patient-
21 reported outcomes are generally not used to
22 measure that. So in some cases, there are

1 guidelines. In other cases, it would be quite
2 easy to develop consensus around those domains
3 that merit measurement. But it does, again,
4 speak to Lori's comment for the need for
5 empiric research in this area.

6 One could argue that, in fact, the
7 rationale for developing these measures is, in
8 fact, patient engagement, that engaging with
9 populations to determine what is important to
10 patients as outcomes would then logically lead
11 to the development of measures of those
12 outcomes that are important to patients, and
13 then we could do research to demonstrate that
14 the measures being developed actually yield
15 meaningful differences in measurement between
16 practices, and that's probably the continuum.

17 To the audience?

18 (No response.)

19 MR. CUNNINGHAM: The phone?

20 Operator, can you tell us if we have anyone in
21 the queue for questions or comments?

22 OPERATOR: Yes, sir. As a

1 reminder, you may press star-one to ask a
2 question.

3 There are no questions at this
4 time.

5 MR. CUNNINGHAM: Thank you.

6 MR. BLUM: Hi, Steve Blum with
7 Forest. I just wanted to make a brief comment
8 relative to proxy. There's been some
9 discussion lately about potential utility for
10 proxy or observer-assisted reporting, where
11 there may be an opportunity to either assist
12 with recall or frame the question in a way
13 that the subject would understand, or provide
14 some context for their response, which may
15 address some of the shortcomings with both
16 proxy or patient-reported, maybe get closer to
17 the truth by putting the question within a
18 context that the subject is able to respond in
19 a way that they wouldn't otherwise, if they
20 did it by themselves.

21 DR. FRANK: Yes, and I would just
22 comment that, in the United States, we have a

1 political and a legal system that cherishes
2 the autonomy of the individual, but that's a
3 great example of when shared decisionmaking
4 with the family, being those whom you're
5 sharing it with, comes into play. And that
6 crosses over into measurement, then, as well.
7 Absolutely, it's patient, dyad, or family
8 grouping as the measurement unit.

9 MR. CUNNINGHAM: Are there any
10 other questions from the audience before we go
11 back to Barbara Gage?

12 OPERATOR: There are no questions.

13 MR. CUNNINGHAM: Thank you. Barb?

14 DR. BASCH: Go ahead.

15 DR. GAGE: Thank you. The
16 discussion is changing a little bit. There
17 were comments about, really, the insurer's
18 perspective, although we didn't call it that,
19 when we were talking about accountability and
20 value. And typically the accountability is a
21 measure of reduced readmissions which, while
22 we all talk about it as a measure of quality,

1 much of the force that's behind it is a
2 reduction in the cost.

3 But some of this discussion has
4 just broadened to identify patients'
5 preferences as a value metric, even though an
6 insurer may -- I mean, that's not a common
7 metric from an insurer's perspective. They're
8 typically more concerned about costs.

9 DR. BASCH: Panel?

10 DR. BANKOWITZ: I think one could
11 also look at the purchaser's point of view.
12 I think large companies who are employing and
13 insuring their populations do want to have
14 access to quality care. They are concerned
15 with the quality of decisionmaking. So maybe
16 if you take one step back in the chain, and
17 look at the purchaser, that might be helpful.

18 DR. FRANK: And I would just add
19 that preference-concordant care may actually
20 improve efficiency.

21 DR. BASCH: Richard, to follow up
22 and to draw that out, would you say that, on

1 the payer side, there's actually a preference
2 around improved symptoms, because of its
3 relationship to utilization?

4 DR. BANKOWITZ: To answer the
5 question, I do think that a useful metric from
6 the purchaser's point of view is probably
7 healthy days at work, speed with which one
8 returns to work, this kind of metric which
9 would be useful. I don't know how it plays
10 into patient-reported outcomes, but I think
11 clearly employers are concerned, increasingly,
12 with the physical, mental and emotional well-
13 being of the workforce. So it is becoming a
14 broader, I think, discussion.

15 DR. BASCH: I think we have time
16 for one more, and then we'll finish up.

17 DR. KOTAGAL: Hi, Uma Kotagal from
18 Cincinnati Children's. I wonder if I might
19 pull this together a little bit in a broader
20 context. So if we take children with asthma
21 and they are readmitted, it is not only a cost
22 issue, but it's a missed school day issue, and

1 an absenteeism or presenteeism issue for the
2 parents, and therefore for employers. And the
3 evidence for that is pretty strong.

4 Now, if we want to reduce
5 readmissions for asthma, and we publish this
6 data, it turns out that in parent self-
7 confidence and understanding, recognizing
8 early symptoms of asthma is important. And
9 when we first began this measurement in about
10 45 practices in Cincinnati, with about 15,000
11 children with asthma, half the time the
12 parents reported that they did not feel
13 confident about managing their child's
14 illness.

15 When this got filled in in the
16 waiting room, and the form was given -- this
17 was a paper questionnaire -- to the physician,
18 they were shocked at this response. And they
19 said things like "Really? You don't know how
20 to manage it? We've been talking about this
21 for so long."

22 Now, of course, when we recognized

1 that half the parents of these 15,000 children
2 didn't understand early signs of respiratory
3 illness for asthma, or how to prevent a
4 hospitalization, and began to work on it, we
5 not only could reduce our hospitalizations
6 significantly, but we improved functional
7 outcomes for the children, in days missed and
8 so on.

9 So I think it's difficult to think
10 about this conversation in a unidimensional
11 way without recognizing that there's both a
12 developmental sequence to it -- i.e., we don't
13 quite know how to incorporate all of this --
14 there's a research significance to it, how are
15 we going to measure the right thing as what's
16 important. But there's a larger context of
17 connecting the individual, the family, the
18 society and the context. And when we
19 dissociate these pieces, we end up with lots
20 of interesting conversations that don't
21 necessarily result in better health.

22 So I just want to use asthma as an

1 example, to bring together the utilization
2 question, the child question, the parent
3 engagement question, and the employer
4 perspective.

5 DR. BASCH: It's a very nice
6 comment to finish it off. I want to thank our
7 terrific panel and everyone in the audience,
8 and hand it back to Karin.

9 MS. PACE: Just one quick
10 announcement. We have lunch for the expert
11 panel and authors. For the audience, I think
12 you were given as you came in some quick
13 places to go that are within a block of here,
14 to go get some lunch. We'll reconvene in 45
15 minutes, so 1:55. Anyway, thank you again for
16 your participation, and enjoy your lunch. And
17 we'll reconvene in about 45 minutes. Thank
18 you.

19 (Whereupon, the above-entitled
20 matter went off the record at 1:11 p.m. and
21 resumed at 1:55 p.m.)
22

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:55 p.m.)

3 MS. PACE: We are going to go
4 ahead and get started. So I will have the
5 panel take their seats. And Al will start us
6 off.

7 DR. WU: Thanks. You almost said,
8 "Take your sleep." And I realized that we are
9 post-lunch. So we will try to keep this
10 lively.

11 So this is the session on
12 selecting patient-level PROs. I have to check
13 my notes. I am Albert Wu. My institution
14 thinks I'm from the Bloomberg School of
15 Health, but I am listed here as being -- I
16 think our corporate member is the Johns
17 Hopkins Health System.

18 So we have been -- we, like quite
19 a lot of other big academic medical centers,
20 have recently decided to go with a large
21 vendor-provided electronic health record. And
22 so we are now scrapping our legacy system that

1 has actually been working reasonably well
2 finally after 15 or 20 years.

3 And we are faced with a number of
4 choices. And one of the choices that we are
5 faced with is -- well, it's an opportunity,
6 really, to incorporate patient-reported
7 outcomes into the electronic health record.
8 And part of the reason is that our product
9 comes with a built-in patient portal that has
10 a couple of PROs built in, the PHQ-9 and the
11 RAND-36, a close cousin of the VF-36, I think.

12 But those are the only things that
13 are built in. And we now have the opportunity
14 to customize our system to include other PROs.
15 So the question is, which ones?

16 For those of you who are not among
17 the cognoscenti of this field, there are
18 thousands of PROs, dozens of generic measures,
19 quite a few utility-based measures, and then
20 hundreds and hundreds of specific disease or
21 treatment-specific PROs. And so which of
22 these are we going to include in our

1 electronic health records?

2 These conceivably will be used for
3 research in the future, which we're certainly
4 very concerned about. They could also be used
5 for quality measurement, internal quality
6 improvement, and ultimately for
7 accountability. So we've sort of got our eye
8 on all of those things.

9 There are a number of issues we
10 are confronting, one of which is that there
11 are many proprietary measures that are among
12 the best tested, not a surprise, really.
13 Should we be using these? Are we going to
14 have to pay for them? Can we get a site
15 license for our five hospitals and God knows
16 how much in total dollars going through or are
17 we going to pay on a per-use, per patient-use
18 basis?

19 How do we know whether a tool is
20 good enough for research? And, perhaps a
21 little more problematic, how do we know which
22 are good enough for clinical use? And, not

1 identical to that, which are most suitable for
2 use for making comparisons across
3 organizations? Are there tools that have
4 known properties so we know how they are
5 related to other variables, particularly
6 things that are complicated, like patient
7 personality? Do we know anything about how
8 personality is related to the scores that
9 people give?

10 We have suspicions. And Ted
11 Ganiats was sort of voicing a little bit about
12 that. Some of my patients have profiles of
13 patients who have sort of a very similar
14 personality profile, maybe because they are
15 nicer than I am. And so how do we take that
16 into account or can we?

17 We understand that tools need to
18 be blended for research use and clinical use
19 and quality use. Which are the most
20 acceptable to both patients and providers?
21 Because, if nothing else, very selfishly, that
22 is going to affect whether people give good

1 answers, whether they give valid answers,
2 whether they give reliable answers, whether
3 they take it all seriously. This is another
4 way of saying that it has got to fit into the
5 work flow, but patients and providers are a
6 part of the work flow. So selection is
7 important.

8 We would like things to be
9 interpretable, both by patients and by
10 providers and ultimately by others we may be
11 accountable for. We would like them to be
12 actionable, if possible. So I hope we talk
13 about some of these things. I am sure we
14 will.

15 You have slightly detailed files
16 in the back of your materials, but we have got
17 a terrific panel of reactors, each of whom is
18 going to speak for seven minutes. And I will
19 try to be mindful of time.

20 Jim Bellows from Kaiser
21 Permanente; Gene Nelson, whom you have already
22 heard from but you are going to get a little

1 bit more from, from Dartmouth; Kalahn
2 Taylor-Clark from the National Partnership for
3 Women and Families; Ken Ottenbacher from the
4 University of Texas at Galveston. And, even
5 before that, I think David is going to tee
6 things off for the rest of our discussion.

7 MEMBER CELLA: Sorry. It's me
8 again.

9 (Laughter.)

10 MEMBER CELLA: Okay. Well, I
11 think we're getting into some things that many
12 of us have been waiting to talk about: how to
13 select PROs for performance measurement.

14 I think it is fair to say we
15 probably could all agree that we want
16 instruments that measure person or
17 patient-reported outcomes in a standardized
18 way and that we can know something about the
19 properties of the questionnaires.

20 There are a lot of guidance
21 documents available to address attributes of
22 patient-reported outcomes used in research,

1 but there is little guidance regarding
2 attributes for patient-reported outcomes to be
3 used as performance measures.

4 So we drew from one of the more
5 frequently, perhaps the most frequently, cited
6 guidance on user PROs in research. And that
7 is the medical outcomes trust.

8 So you will see in table 3 of the
9 paper and text accompanying it an outline or
10 structure that draws heavily from the medical
11 outcomes system original criteria.

12 So next slide. So there are some
13 differences, but before I get into maybe a
14 couple of the key differences in selecting
15 PROs as performance measures versus research,
16 there are I think more similarities than
17 differences in terms of wanting something that
18 is reliable and valid and interpretable, et
19 cetera. And we'll come to that.

20 But one thing that's pretty
21 different about performance measures, unlike
22 research, is that it is really important to

1 have short length. And the second thing that
2 is pretty obvious is that the stakes are
3 different and the stakes are higher in use of
4 PROs as performance measures than in most
5 research studies.

6 Established PROs have a lot more
7 evidence behind them in terms of their
8 usefulness as performance measures than the
9 newer PROs, the ones we have talked about,
10 like using IRT, but the newer ones tend to
11 have better measurement properties. So you
12 have this always-present tension between going
13 with something that has been around a long
14 time many have used, familiar with, lots of
15 literature, like the SF and VR tools. They
16 are limited in being static measures that are
17 scored using classical scoring methods that do
18 require you to administer the entire thing and
19 not have flexibility around that.

20 Future direction, which I talked
21 about this morning, in IRT-based measures,
22 like PROMIS, is a contrasting way to look at

1 it.

2 Next slide. So what we're going
3 to do now just to sort of get the discussion
4 going is to go through the criteria in table
5 3 and review recommended characteristics for
6 PROs as they could be useful in performance
7 measures. And we're going to pick on the
8 Western Ontario and McMaster Universities'
9 osteoarthritis index, or WOMAC.

10 Many of you are I'm sure familiar
11 with that. It's one of the instruments that's
12 been around for a while. It's been used with
13 knee and hip osteoarthritis. It's got 24
14 questions covering the range of the last one
15 to 14 days, Likert-type with 5 discrete
16 choices and a 100-millimeter visual analogue
17 format is available as well.

18 There are three sub-scales: one
19 on pain, one on disability, and one on joint
20 stiffness.

21 Next slide. So we start with the
22 characteristics of a good instrument having a

1 conceptual and measurement model. In other
2 words, the documentation of an instrument that
3 we ought to be looking for should include a
4 description of the concepts that are being
5 measured and the intended populations for
6 which they would be used and how those
7 concepts organize into a measurement model.

8 The target of what you are
9 measuring, the PRO you are measuring, should
10 be a high priority for the system or it's
11 probably not going to be sustainable.

12 And so if you look at WOMAC as an
13 example, actually, it does not do so well
14 there. It is more one of these long-term,
15 has-existed-for-a-long-time instruments where
16 the factorial validity has not held up, has
17 not been deemed to be adequate from a
18 conceptual and measurement model standpoint.

19 But its grades get better as we
20 move on. So let's go to the next slide.
21 Reliability. The internal consistency should
22 be at least .7 or above for group-level

1 comparisons or use and .9 or above for
2 individual purposes. And also that is the
3 internal consistency and also the other aspect
4 of reliability. The more commonly referred to
5 one is stability or reproducibility.

6 It often depends upon the time
7 window, shorter, of course, being better
8 because people don't change. You want to be
9 testing people at two points in time where
10 they don't change to show that something is
11 reliable as a rule or applied to the same line
12 twice.

13 So the Cronbach's alpha for the
14 sub-scales are quite good on the WOMAC, from
15 .86 to .98. So that's great. And the
16 stability has been adequate for pain and
17 physical function but less so for the
18 stiffness sub-scale if you look at the
19 literature.

20 Next slide. Next is validity,
21 three main types: content, construct, and
22 criterion validity. A limited number of

1 instruments have been validated and with the
2 validity of these sorts in the context
3 performance measurement.

4 The WOMAC development involved
5 expert clinician input and survey input from
6 patients as well as a review of existing
7 measures. So it's got good content validity.
8 And the scores correlate well with
9 satisfaction with arthroplasty and other
10 clinical outcomes. So the validity looks
11 pretty good on the WOMAC.

12 Next slide. Responsiveness. So
13 it's an aspect of validity. You want to be
14 sure that what you are using is going to pick
15 up important change or be responsive to it.
16 It is important in performance measurement,
17 obviously, because there is an expectation
18 that you will detect change or hopefully
19 improvement or there may be consequences
20 associated with it.

21 And if you are going to expect to
22 tie action to scores, then you do want to be

1 able to be sure that the scores will change as
2 a result of action. So if you're going to
3 have actionable assessment, then you want to
4 be able to make sure the assessment actually
5 is responsive.

6 And the WOMAC has adequate
7 responsiveness and ability to take change in
8 response to focused clinical interventions.

9 Okay. Next slide.

10 Interpretability, which really comes out of
11 experience with the measure and applying it in
12 context. You need to know what a low and a
13 high score represent; what is the average; and
14 what is the standard deviation; what is the
15 referenced population that you are pulling the
16 average and standard deviation from; and what
17 is a meaningful difference; and sometimes, you
18 know, if it's know, what's the lowest likely
19 meaningful difference, or a so-called
20 minimally important difference; and how do you
21 know when a change over time is meaningful in
22 a person; how do you know when a change over

1 time is meaningful in a group, a group of
2 people.

3 We talked about this earlier. For
4 performance measures, if different PROs are
5 used for the same concept, it's going to be
6 important to have a link or a crosswalk that
7 allows you to be able to interpret scores on
8 one instrument in relation to what they would
9 be on another or how they might relate to that
10 same underlying trait or thing that is being
11 measured, like depression or physical function
12 and to apply the criteria that you learn and
13 use for determining what is clinically
14 meaningful in this setting.

15 Now, the WOMAC does have
16 population-based age and gender norms. They
17 do have a published minimally clinically
18 important improvement that I use. And you can
19 use the WOMAC to translate into utilities for
20 economic evaluation. So because it has been
21 around a long time and been used a lot, it
22 does have good interpretability behind it.

1 Burden is the next item. And that
2 relates to the time and the effort and other
3 demands on the respondent as well as on the
4 administrator, on the clinical staff.

5 Performance measures, PRO
6 assessments then probably in this context do
7 need to be as brief as possible. And
8 reporting should be done in real time.

9 There is a short form available
10 for the WOMAC. And the average time to
11 complete it is a little under five minutes.
12 So it seems to do well in that criterion as
13 well.

14 Next slide. Alternatives modes
15 and methods of administration. We walked
16 earlier. Several people have commented that
17 it is important to be able to be as flexible
18 as possible without being blind to the issues
19 that one needs to consider.

20 There are validated mobile phone
21 and touch-screen platforms for WOMAC. So it
22 does seem to have a good means of alternative

1 modes available.

2 Next slide. And cultural and
3 language adaptations, again, hopefully done in
4 a way that is responsive to getting truly, not
5 just literally equivalent translations but
6 semantically equivalent translations on
7 culturally fair, if not equivalent,
8 translations to be able to use across people
9 of different cultures and languages.

10 The WOMAC is available in dozens
11 of languages. So that's also a good class, a
12 passing grade.

13 Next slide. This is the last one,
14 which is in the context of electronic health
15 records, critical features of performance.
16 Measures will be the interoperability of the
17 measure, having automated real-time
18 measurement and reporting capabilities. You
19 can get that instant report and not be in the
20 way of the clinic flow; instead, perhaps even
21 facilitate it and preferably sophisticated or
22 at least stay to our analytic capabilities

1 with the information that is brought into the
2 EHR.

3 Electronic data capture of WOMAC,
4 which is available, should allow for fairly
5 easy integration within the health record. So
6 I'll pass on that one as well.

7 Go to the next slide. That may be
8 the last one. I thought it would be useful
9 just to kind of run through. That's the
10 table, table 3. Maybe we should have a tenth
11 criterion of actionable from the discussion
12 and maybe have some discussion about that.

13 And my thanks to the developers
14 and validators of WOMAC for allowing me to use
15 them as a guinea pig for this introduction.
16 Thanks.

17 DR. WU: Thanks, David. And
18 thanks for keeping us on time.

19 So who -- Jim, are you -- it's
20 time. So Jim is going to talk to us about a
21 couple of things, characteristics that
22 identify PROs as suitable for use as

1 performance measures, relevance for use of
2 PROs that are developed for controlled
3 research to be used in clinical practice. And
4 who knows? Maybe more.

5 MEMBER BELLOWS: Thank you.

6 So the space of curmudgeonly
7 having been taken, I'm going to venture into
8 slightly provocative and talk a little bit
9 about what I see as the real importance of
10 useability, including actionability, which you
11 just mentioned, and meaningfulness in this
12 space. And perhaps they're even greater
13 importance than some of the other technical
14 aspects we talked about.

15 So when I look forward from where
16 we are now, I see two visions of how the whole
17 PRO enterprise might develop. And, on the one
18 hand, I see a vision much like what they've
19 done at Dartmouth, which to me is a thing of
20 such incredible beauty that I almost wish I
21 could get back pain and go to New Hampshire
22 and experience the Dartmouth model with all

1 the integration of the clinical care that we
2 have seen.

3 I know that in Kaiser Permanente,
4 we have a couple of uses that are working out
5 terrifically, the use of PHQ-9 to drive a
6 treatment model for depression, which is
7 really based on treatment to remission,
8 instead of counting how many medications we
9 are doing or how many follow-up visits we are
10 having, really has the potential and is
11 transforming our depression care.

12 We're working with an instrument
13 we use after total joint replacement that is
14 being terrific in helping us identify the
15 people who need the most follow-up. So that's
16 the part of the vision I really love.

17 I see another part of the PRO
18 transformation off into the future that could
19 look not nearly so nice and that could look
20 more like patients feeling spammed basically
21 by their clinical systems.

22 Here are some of the evidence

1 points I have that bring that vision to bear.
2 One is that I know that in all of the surveys
3 that we do in our system, our customer, our
4 patient experience and care experience
5 surveys, our responsive rates are trending
6 notably downward over the last few years on
7 CAHPS and HCAHPS and those satisfaction
8 surveys.

9 I know that our responses to
10 clinical reminders, whether it's they'll get
11 your colonoscopy or breast mammogram or
12 whatever, our responses to those are going
13 down over the years. And we're going more
14 quickly through each new technology.

15 When we first knew how to use our
16 computers to send out letters on paper, it was
17 like a great thing. And people responded.
18 And then they stopped. And then we started
19 using IVR. Oh, that's so cool. People
20 respond to IVR. And then they stopped. And
21 then it was email. And then it was text
22 messaging. And with each one, there's a

1 shorter and shorter message.

2 (Laughter.)

3 MEMBER BELLOWS: And so my fear is
4 that if we're not judicious about how and
5 where we use patient-reported outcomes, the
6 patients will start to feel spammed by us
7 asking all of these questions in much the same
8 way they do in other things.

9 The business of patient-reported
10 outcomes is so important and so precious to us
11 I think in a clinical context that we need to
12 be careful and judicious about how we proceed
13 so that we don't push patients over their
14 limits and get them to the point where they
15 stop responding.

16 I know for myself, I am at the
17 point where when online surveys pop up in the
18 middle of an internet experience, I just
19 dismiss, dismiss without even thinking about
20 it and similarly. And I don't want to get
21 there with patient-reported outcomes.

22 So how do we avoid that? To me

1 one way to avoid that is that I think the
2 traditional formula in a room of moving toward
3 performance measure would be to consider the
4 reliability and validity of measures first and
5 only secondarily their usability and
6 feasibility.

7 And I would propose that for the
8 patient-reported outcome space, that we make
9 the useability of measures; in particular,
10 their meaningfulness and their actionability
11 by clinicians and patients, of paramount
12 importance and not even consider a use for
13 performance measure until it has been
14 demonstrated that it can be used productively
15 in a clinical system, that it can be
16 appreciated and acted upon appropriately at
17 scale and in real time and only then move on
18 and that if we get ahead of ourselves and
19 start pushing out a plethora of measures that
20 are coming not from the position of
21 improvement but of accountability and payment,
22 that we will end up in a space that is not

1 ever what we intended.

2 So I think that is the basis of my
3 idea. So I guess there were a couple of
4 specific questions. From the research
5 context, most of the measurements we have that
6 have the greatest validity have the most
7 number of items on the scale, duh. They're
8 most valid if they are really big patient
9 populations. But it's those measures that
10 have a lot of items on a scale and go to a
11 really big population that are going to most
12 saturate our patients' willingness to respond.

13 So to me moving judiciously means
14 going to narrowly targeted patient populations
15 that have a specific episode of care where the
16 care is very salient to their needs. That's
17 like the people who have had a total joint
18 replacement or CABG or whatever and are very
19 interested in what is going on with that care
20 and then, departing from the practice that has
21 been so common in research but going with
22 extremely short instruments that everybody can

1 understand.

2 So it's a little bit different
3 than the typical criteria, but that's what I
4 think will serve us best in the long run as we
5 develop and build.

6 Thank you.

7 DR. WU: Thanks. That was very
8 timely. I remember a time when I used to
9 answer my telephone at home.

10 (Laughter.)

11 DR. WU: But those days are long
12 gone. And so I think that this patients
13 feeling spammed notion is one that is going to
14 stick with me.

15 Next we've got Gene Nelson again.
16 Gene, are you ready to go?

17 MEMBER NELSON: Ready to go,
18 right. I am not as good at being
19 curmudgeonly. So I won't try.

20 The first question was, when can
21 general health status measures be utilized?
22 And when should condition-specific measures be

1 utilized?

2 And in general, I think at this
3 point in time, it's wise to use both general
4 and condition-specific measures when you are
5 focusing on a particular clinical population
6 so that we can start to learn what are the
7 benefits and drawbacks of both. So conditions
8 such as spine problems and heart failure and
9 total joint replacement, depression would be
10 good candidates for both general and
11 condition-specific.

12 I think it's very important to use
13 general health status measures under some
14 conditions. So, for example, at Dartmouth
15 Hitchcock 76 percent of heart failure patients
16 have two or more co-morbid conditions. The
17 median is four. So to look at the whole
18 patient, it makes sense to use a broader
19 general health status measure that captures
20 physical health and mental health and
21 function.

22 Also, when screening for problems

1 that may be important but can easily go
2 undetected, go to use a general measure, so,
3 for example, people with open heart surgery or
4 AMI recovering or postpartum, it's easy to
5 miss mental health issues or in the new annual
6 wellness visit that pays for prevention that
7 Medicare is offering for the first time,
8 they're screening for both functional problems
9 and health risk problems. And so using
10 general and specific measures is helpful,
11 specifically there a depression measure as
12 well as a general functioning measure as well
13 as health risk indicators based on health
14 behaviors.

15 The second question is, are there
16 any setting-specific issues for selection of
17 PROs? Short answer, yes, many. First, what
18 are the clinical populations that are being
19 cared for there that matters to them? What
20 are their needs? So, really, knowing your
21 patients and then tuning the use of PRO
22 measurement to the common likely issues for

1 the patients and then tuning the measures to
2 the work flow, as mentioned earlier, to try to
3 create a richer and more useful information
4 environment for both the patients and the
5 clinical team. So it's easy to say but design
6 in and design it well so you get the
7 useability is not like falling off a log.

8 There are also issues of patients'
9 acuity and cognitive abilities as well, of
10 course, that does vary pretty dramatically
11 from setting to setting or point of time
12 within settings.

13 The third question was, what
14 conditions would be most sensitive to
15 measuring changes in patient health status and
16 outcomes? So here chronic conditions, such as
17 mentioned earlier, heart failure, depression,
18 ischemic heart disease, Parkinson's disease,
19 low back pain, osteoarthritis, rheumatoid
20 arthritis. All of these tend to have an
21 impact that rolls through the person's
22 well-being, physical, mental, and roll

1 impairments, all fairly likely.

2 So certain chronic conditions
3 would potentially be good starting points and,
4 as mentioned earlier, by any other surgical
5 conditions. Total joint arthroplasty,
6 bariatric surgery might be a really good
7 place, open heart surgery, spine surgery.

8 The third one is a little bit
9 different in terms of most sensitive to
10 measuring changes in outcomes. And that's
11 people that are not necessarily in serious
12 trouble yet, but they're at high risk of
13 avoidable death or of the onset of disease or
14 the accumulation of new diseases.

15 So health risk status measurement,
16 including health behaviors, and biometrics for
17 people, such as cardiometabolic syndrome, or
18 minor hypertension but at risk for other
19 conditions or high health risk behaviors.

20 So screening for avoidable risk of
21 death or onset of disability would be an area
22 where risk measures could be sensitive. We

1 estimate that about 5 percent of the
2 population aged 30 to 49 account for about 25
3 percent of the risk of avoidable death. So
4 there's a lot potentially there.

5 We haven't talked very much about
6 health behavior measures and health risk
7 today, but I think if we wish to go upstream
8 to promote health and to prevent disease and
9 disability, very fruitful area.

10 Last question was, what is the
11 variation in patient-level scores related to
12 clinical interventions? I put together rather
13 eclectically one slide here that shows for
14 some general health status measures, -- this
15 is from the sport trial -- the differences in
16 people having surgery or not having surgery on
17 a zero to 100 scale of physical function.

18 And so a 44 versus 30, 23 versus
19 32, for example, herniated disc and stenosis,
20 depression, the PHQ-9, as many people
21 recognize, that a certain degree of elevation
22 is indicated.

1 And then getting to remission
2 means less than five, a score of less than
3 five. And some health systems and some
4 providers are much more successful than others
5 doing that. Within our Dartmouth Hitchcock
6 system, we have tremendous variation at the
7 clinical pod level on this measure. Carpal
8 tunnel syndrome using a disease-specific
9 measure, 3.1 down to 1.8, by people that are
10 looking at patients with the problem suggest
11 very substantial improvements, et cetera.

12 So a lot of the work that has been
13 done on clinical populations using either
14 generic or condition-specific measures and
15 associated with different interventions and
16 different kinds of health care can show very
17 substantial differences that would make a
18 large difference in how a person is doing and
19 feeling physically, mentally, and in their
20 role.

21 DR. WU: That was great. Thanks.
22 I like this last slide.

1 I do wonder, for screening
2 purposes, a lot of the conditions that people
3 have might sort of result in some general
4 depression, some haloed depression of
5 everything, but you would only suspect that
6 something is going on but not know what it is.

7 And I wonder if sort of something
8 which is generic but very comprehensive might
9 be a better screening test, I mean, something
10 like the old SCL-90, which went through a
11 whole laundry list or review of systems of
12 systems. I wonder if that is something that
13 would be better for that purpose.

14 But shall we go on to Kalahn?

15 DR. TAYLOR-CLARK: Sure. Thank
16 you.

17 I have to say that much of this
18 conversation has brought me back to nearly 15
19 years ago. I have a Ph.D. in health policy
20 and health economics. And the first day of
21 the program, we walked in. And the professor
22 said, "So, you know, we're going to calculate

1 these qualities."

2 And I said, "Well, what if a
3 quality falls below zero?"

4 And the professor looked at me
5 really strangely and said, "What do you mean?"

6 I said, "Well, what if somebody is
7 living below a zero and actually living worse
8 than death?" because zero was death. And that
9 was when I realized I needed to be part of the
10 patient community and the consumer community
11 to talk through these issues a little bit
12 better because I felt that the economics
13 weren't exactly measuring what I was hoping to
14 measure through the patient perspective.

15 So that brings me here today. And
16 I appreciate the opportunity to speak on the
17 consumer side.

18 I was asked to actually address
19 only one specific question, which was what
20 measures are important to patients. You know,
21 I kind of went through this a lot, especially
22 as we were sitting there and having the

1 discussion at our table about what would
2 really be important to patients but what is
3 also useable and feasible for the system to do
4 so. I'm always thinking about that balanced
5 perspective in providing this perspective to
6 you.

7 The first thing I will say is that
8 obviously -- and this has been brought up many
9 times today -- that the measures that have
10 been put forth so far through CAHPS and others
11 have been really useful for research purposes
12 but not necessarily as meaningful to patients.
13 That is something that has been brought up,
14 but that needs to be reiterated as we think
15 through what we are going to use for measures
16 of both quality improvement as well as
17 accountability in public reporting.

18 That is going to be
19 extraordinarily important when we think about
20 how patients actually read what this measure
21 means and then are able to use that for
22 decisions on terms of their preferences or in

1 terms of their decisions about the providers
2 and the treatments that they receive.

3 So I want to put that forward
4 because a lot of the measures that we think
5 about might not even necessarily exist in many
6 of these validated instruments. I hate to say
7 that because I hate to take two steps
8 backward. But I do think that there are ways
9 that we can think through this.

10 So the measures that we have
11 really been thinking through are some of the
12 ones that actually were mentioned. So
13 expensive surgical procedures certainly are of
14 import.

15 Shared decision-making,
16 particularly in maternity care, these are
17 measures that are both of import, obviously,
18 to purchasers but also very much to consumers.
19 And really thinking through some of those
20 measures will be important measures of
21 depression, as Gene mentioned.

22 But I wanted to also say that the

1 measures of patient experience shouldn't
2 completely be excluded. I think we have been
3 mostly talking about patient-reported outcomes
4 today as levels of functional status and some
5 of these other types of measures. But I want
6 to reiterate that patient experience is
7 extraordinarily important to patients.

8 I will give you an example. In my
9 former life, I was a research director at the
10 Brookings Institution, where we were looking
11 at a pilot study where we worked with a major
12 health system that was now going to become an
13 accountable care organization.

14 One of the points that they made,
15 they said, you know, we really need better
16 measures of patient experience because what we
17 are finding is that some of the experiences
18 our patients are having are actually having an
19 effect on our retention.

20 Let me just take you just through
21 a moment there. They said specifically care
22 coordination measures from the patients'

1 perspective as well as organizational access,
2 which is usually defined by CAHPS as their
3 ability to get after-hours care and things
4 like that, those two particular measures were
5 the most defining in actually predicting the
6 patients' retention, the retention of their
7 patients in the system, that they really
8 wanted to actually define care coordination a
9 little bit better than what CAHPS had been
10 doing previously but to really actually
11 include that in all of their measures, both
12 for quality improvement as well as for
13 accountability in public reporting. Those are
14 just two examples of experience where I
15 recognize that they have tended to be process
16 measures but can lead specifically to outcomes
17 of import to patients.

18 The other piece that I would say
19 is around the confidence piece. And certainly
20 patients' confidence in their ability to make
21 decisions in terms of self-management and
22 care, either from the patient perspective or

1 the proxy perspective, are going to be
2 extraordinarily important.

3 And then the final piece that I
4 would say around the measures specifically is
5 around this idea of access to social and
6 community resources. I want to take a step
7 back on that one. Ted Rooney suggested for
8 Maine -- got up and actually made a real
9 interesting point. He said, "Well, we can't
10 always put the onus on the provider." And I
11 think others have talked a lot about this,
12 that, you know, we're defining these measures
13 and then we're suggesting that the health care
14 system is the only fix-it.

15 The bottom line is that that is
16 obviously not true. Social determinants of
17 health, your ability to get transportation,
18 your ability to actually access the system, et
19 cetera, et cetera, are going to play an
20 extraordinary role in your ability to get
21 better.

22 And so one of the points that we

1 were actually trying to make again when I was
2 working at Brookings was to think about what
3 a measure could look like in the health care
4 system that would allow us to get as well as
5 we could on what the system could do to
6 actually provide access to those other
7 resources.

8 And we actually came up with a
9 potential measure that said, how well does
10 your provider or did your clinician -- sorry.
11 I think the specific measure was, did the
12 provider link you to helpful and useful social
13 and community resources that helped you to
14 either self-manage or take care of your
15 diabetes or your asthma? And this gets to one
16 of our points about who we are actually
17 looking at.

18 These were very narrow
19 disease-focused, chronic disease-focused,
20 surveys. And I think I would echo the point
21 that Jim makes that we do want to have sort of
22 general questions around general satisfaction

1 and experience and outcomes, but we also might
2 want to look specifically at conditions that
3 are of import to these particular groups.

4 Obviously care coordination is
5 going to be important for certain groups and
6 not necessarily for others, the same with the
7 social and community resources. This is going
8 to be extremely important to patients that
9 have the need to get to these social and
10 community resources.

11 So as we think through what the
12 measures should look like, I don't want to
13 take patient experience off the table. I also
14 want to try to think a little bit outside of
15 the box about how we can actually link other
16 measures that are going to be of import to the
17 use, to patients' use, of the data.

18 And I will end there and take
19 questions later. Thank you.

20 DR. WU: Well, that was terrific.
21 Thanks very much. And in sort of the
22 out-of-the-box spirit, these could possibly

1 fall into sort of the health behavior bucket,
2 but they really are things like confidence,
3 self-efficacy, outcome expectancy, which, in
4 fact, health systems should be in many ways
5 accountable for improving in patients.

6 I know the Society for Behavioral
7 Medicine has been thinking about this a little
8 bit. And maybe it is worth revisiting a
9 little bit more.

10 Finally, Ken, can you clean up?

11 DR. OTTENBACHER: Thank you, Al.

12 I am going to go ahead and use the podium, I
13 think. It might make it a little bit easier
14 for you all to hear me.

15 I would like to begin by thanking
16 NQF and the conference organizers for the
17 opportunity to participate. Okay. Didn't
18 work, huh?

19 (Laughter.)

20 DR. OTTENBACHER: Okay. Let me
21 try it again or I could sit down. Again, I
22 would like to thank the NQF and the conference

1 organizers for the opportunity to participate
2 in the workshop.

3 My task today is to comment on
4 issues associated with selecting patient-level
5 PROs. Specifically I have been asked to
6 respond to the impact of patient
7 characteristics and baseline values on change
8 in PROs and on conditions or circumstances
9 under which stabilization or no change may be
10 a desired outcome.

11 Measuring change requires a
12 context. Targets for assessing clinical
13 change include the individual or patient, a
14 group or facility, and the population.

15 Defining the context is an
16 important first step in assessing change.
17 Approaches, methods, and even conceptual
18 frameworks may differ from one context to
19 another.

20 Assessing change has a long and
21 controversial history. A common approach has
22 been to calculate the absolute difference

1 between change from baseline to follow-up.
2 This is referred to as the change, gain, or
3 different score, a variation of this approach
4 is to compute the percent of change.

5 The limitations of these
6 approaches are widely known. Ward and others
7 demonstrated in the 1960s -- and I quote here
8 -- that differences between scores tend to be
9 much more unreliable than the scores
10 themselves. This quote is from a widely
11 referenced text, "Problems in Measuring
12 Change," published in 1963.

13 Percent change continues to be a
14 widely used measure, despite its limitations.
15 Percent of change is sensitive to variations
16 in baseline distribution. If the range of
17 baseline values is large, the variance
18 associated with the percent of change
19 increases disproportionately and the
20 sensitivity of this approach is reduced
21 dramatically.

22 An extension of change or gain

1 scores is to adjust for baseline using
2 analysis of covariants or regression models.
3 Sophisticated statistical approaches have been
4 proposed to adjust for baseline scores and
5 other covariants using general estimating
6 equations or hierarchical opinion modeling.

7 These procedures examine
8 interactions and relationships among baseline
9 score, time, other covariants, and intended
10 outcome. While they represent powerful
11 methods, they are not without controversy.

12 An article in the American Journal
13 of Epidemiology in 2005 by Glymour and
14 colleagues provides caveats regarding
15 adjusting for baseline values using
16 sophisticated statistical models.

17 A variety of indices have been
18 proposed to address some of the limitations of
19 traditional approaches. These include
20 reliability change index, the residual change
21 score, and various applications of effect-size
22 measures. Each of these approaches has

1 strengths and limitations that have been
2 described in the literature and are referred
3 to in the draft NQF methodology paper.

4 The approaches described above can
5 be classified as distribution-based, meaning
6 that change or gain scores are expressed in
7 terms of an underlying distribution and rely
8 on sample variation, standard error, and
9 measurement precision.

10 An alternative is anchor-based
11 approaches. Anchor-based approaches require
12 an external or independent standard, or
13 anchor, to determine the clinical importance
14 of change. The anchor-based approach provides
15 unique opportunities for stakeholder
16 involvement in determining the focus and
17 magnitude of change. It introduced other
18 measurement challenges.

19 Another alternative is referred to
20 as adaptive measurement of individual change.
21 Adaptive measurement combines the methods of
22 item response theory and computerized adaptive

1 testing to provide a different way of thinking
2 about a scale's ability to detect change. The
3 adaptive change approach has a number of
4 advantages, including the ability to
5 demonstrate when a measure includes better
6 precision across the range of a trait.

7 Combining IRT and computer-adapted
8 testing was described previously and is being
9 examined in several applications of
10 patient-reported outcomes.

11 There are instances where
12 stability or the ability to maintain a level
13 of performance is the desired outcome; for
14 example, in degenerative conditions, such as
15 Parkinson's disease. This is also true for
16 conditions that involve cycling diseases,
17 disease processes, such as multiple sclerosis.

18 For some populations, the best
19 outcome may be to maintain a desirable level
20 of function; for example, maintaining a level
21 of mobility or self-care that allows
22 independent function in older adults.

1 Providing evidence of stability
2 may be analytically less complex than
3 assessing change that requires adequate data
4 points, which can be a logistic or resource
5 challenge.

6 I will conclude with a couple of
7 observations regarding other challenges
8 relevant to assessing change or no change in
9 PROs. One important challenge is how to
10 risk-adjust. Quantitative methods for risk
11 adjustment in managing various forms of bias
12 have advanced dramatically in recent years.

13 The challenges of risk adjustment
14 will be particularly important as
15 patient-reported outcomes at the individual
16 level are translated to performance measures
17 and quality indicators at the facility and
18 population level.

19 A second challenge is how to
20 facilitate the evolution of patient-reported
21 outcomes to include patient-centered outcomes.
22 The Affordable Care Act and the creation of

1 the Patient-Centered Outcome Research
2 Institute have placed increased emphasis on
3 the role of stakeholders and consumers, not
4 just in the assessment of outcomes but as
5 partners in the decision-making process
6 regarding the content of what should be
7 measured.

8 A final challenge is how to
9 monitor, identify, and reduce unintended
10 consequences. We are all aware of examples of
11 unintended consequences resulting from changes
12 made in health care that were implemented with
13 the best intentions.

14 We don't want to create a
15 patient-reported outcome or quality indicator
16 that becomes the kudzu of health care.

17 (Laughter.)

18 DR. OTTENBACHER: My major advisor
19 will respond to telling his Ph.D. students
20 that doing outcomes research is a lot like
21 raising children. You always think you're
22 going to do a better job next time.

1 (Laughter.)

2 DR. OTTENBACHER: The careful and
3 thoughtful assessment of patient-reported
4 outcomes, as reflected in this workshop, and
5 the high-quality scholarship evident in the
6 draft of the PRO methodology paper indicate
7 that we really will be able to do a better job
8 next time in creating quality indicators that
9 reflect patient-reported outcomes.

10 Thank you.

11 DR. WU: Thank you. I will try to
12 unravel myself from the kudzu to say that,
13 interestingly, some of the problems that
14 plague individual-level change, measurement of
15 individual change, are less of a problem for
16 measuring group change.

17 So many of you with an
18 epidemiologic bent may have more insight into
19 this than I, but for some of the tasks for
20 quality measurement and accountability, we
21 actually may still be able to look at change
22 scores in one form or other.

1 Anyway, great discussions. And
2 every kept time sufficiently so that we have
3 I think -- now, do we have 20 minutes or do we
4 have a little bit more? Did we start a little
5 late? Great.

6 So first comments from the panel?
7 Oh. Yes, please?

8 MEMBER CELLA: I don't know if I
9 could just say something about people who are
10 gathering their thoughts. My experience of
11 Dr. Ottenbacher's brief presentation was that
12 it was really a marvelous overview of a lot of
13 work that has been done and that we have
14 learned about measuring change. So
15 congratulations. Very well done in this short
16 period of time.

17 It got me thinking about something
18 that I think could work very well in this
19 process that could bring also in this sort of
20 patient engagement side as well in a group
21 like this.

22 To do a technique that really

1 draws from educational testing and looking at
2 achieving standards. And that's bringing
3 together experts. In this case it could be
4 experts like people here in this room along
5 with patients that represent the patient
6 perspective in the community, showing them
7 data.

8 They are blind to scores. They
9 don't see numbers. They just see profiles.
10 They see people. Here's a person who's got
11 this much pain. Here's how they're
12 functioning.

13 And then you go through -- I won't
14 go into details of the methodology, but there
15 are various methods that allow groups to form
16 a consensus opinion about what kind of
17 difference would matter. And you can also put
18 in extra methodology to get a value for how
19 much would that be worth.

20 The essential thing would be to
21 bring experts, people like you and patients in
22 the community, together to come to consensus

1 on units of change that would matter. And you
2 also articulate why they would matter. And
3 then these could become standards that get set
4 for achieving either maintenance or
5 improvement.

6 DR. WU: Ethan?

7 MEMBER BASCH: I can comment on
8 some of our research within the oncology world
9 around symptom-specific or demand-specific or
10 population-specific measurement versus more
11 generic. And in general, adherence rates over
12 time are much higher with very
13 content-specific measures, measures that are
14 more common when there are fewer zero values.
15 It is actually a very strong relationship
16 between how specific we are to the world of
17 the patient and the patient interest and
18 willingness to report.

19 Similarly, interestingly, there is
20 also improved adherence when the provider is
21 involved in the process of collecting the data
22 when, for example, a letter is sent to the

1 patient saying, you know, "Dr." so and so or
2 "Nurse" so and so "is very interested in your
3 participation in this information." In
4 general getting buy-in from providers to do
5 that is much greater, again, with
6 context-specific measures.

7 So it seems the closer we get to
8 the context of use or the fitness for purpose,
9 the more everybody kind of perks up and feels
10 that this is relevant. And they become more
11 enthusiastic about participating.

12 DR. WU: More comments from the
13 panel? John?

14 MEMBER WASSON: Yes?

15 (Laughter.)

16 MEMBER WASSON: Hello, Mother. I
17 saw a moment of silence there. I didn't want
18 to let it lie fallow.

19 In particular, in regard to Jim
20 Bellows' points, I just wanted to go on the
21 record as saying he is right on but not far
22 enough. By that, I mean we are all operating

1 in a current paradigm mindset that is good
2 Twentieth Century thought process. But the
3 median is becoming the measure.

4 And we have to recognize all of us
5 use cell phones, for example, and we're still
6 talking about portals for EMRs. And we're
7 wondering why patients don't respond to new
8 methodologies. And the answer is they're not
9 in control. You don't want to answer surveys
10 that you don't control nowadays. You want to
11 control. We have to deal with that reality.

12 Just to echo the point that was
13 just behind me, in our experience, we have
14 been doing this a long time in primary care
15 and other practices. When the clinician
16 should say, "This is part of care. This is
17 part of the service to you," the response
18 rates one time around, even on the internet,
19 are well over 80 percent, not this 30 percent
20 cap stuff that goes out. That makes life so
21 much easier for analytics, et cetera.

22 While you are serving the patient

1 needs, you can put in a few measures that
2 matter. And each patient needn't have the
3 response burden of the entire instrument
4 because you can aggregate it over many
5 patients to get at the accountable care
6 organization.

7 So, in other words, all I am
8 saying is just what Jim said, that the
9 useability and the service to the patient, put
10 that first. And then we can fold in measures
11 for accountability quite easily. And we'll
12 have high response rates and get away from a
13 lot of the issues that Ken just articulated.
14 You know, how do you deal with risk
15 adjustment, et cetera, et cetera?

16 So I just think there are
17 practical approaches. I know there are. And
18 I think Jim's right on the money. And I worry
19 that if we stay too locked into the old style
20 paradigm that is still in the back of our mind
21 of mail-out surveys, you have to complete all
22 or most of the items yourself, we're in

1 trouble.

2 But if we look at it from a
3 population point of view, where individuals in
4 my practice get three items and another
5 individual randomly gets three items, you can
6 still aggregate it up to my practice.

7 And, then, finally, you can
8 crosswalk the PRO measures across practices
9 very easily. There are just so many technical
10 solutions to a lot of the issues we're talking
11 about.

12 A Cronbach's alpha stands in our
13 way. All right? A Cronbach's alpha stands in
14 our way because it doesn't allow that thought
15 process.

16 DR. WU: So the ghost of Alvan
17 Feinstein is smiling, I think.

18 MEMBER WASSON: Absolutely. He
19 would call this quantiphrenia.

20 DR. WU: Yes. On the other hand,
21 I think that, even though some young people
22 are thinking nostalgically about remember when

1 we used to have PCs.

2 I think that patient portals don't
3 necessarily have to be the enemy. They can be
4 friendly. They can also be ported,
5 incidentally, to your cell phone. But in many
6 ways, if they designed properly, they can
7 provide the patient with a lot of value. They
8 can help them schedule appointments; cancel
9 appointments; look at lab tests; communicate
10 directly with the physician in a confidential
11 way; and, incidentally, fill out PROs. So I
12 am not sure that that is entirely dead.

13 MEMBER WASSON: Knowing they are
14 all intended.

15 DR. WU: Not yet. There. How's
16 that?

17 MEMBER LARSEN: I am Kevin Larsen.
18 I am from ONC. A little perspective from
19 where I came from before, which is Minnesota.
20 And we have been doing essentially
21 patient-reported outcomes for about five years
22 as part of structured programs called

1 Minnesota Community Measurement.

2 You know, in my own clinical
3 practice as a primary care doctor, we were
4 doing asthma control tests and a PHQ-9 test
5 for five years as a statewide measurement
6 program.

7 My practice was in a country
8 hospital, which 20 years ago had thrown away
9 all patient forms because the patients never
10 filled them out. Our health fiduciary rates
11 were low. We didn't get any kind of response
12 to anything on paper because of reading levels
13 and language levels.

14 So we had a system-wide strategy
15 where we actually administered these verbally
16 to patients across our home care organization.
17 And it turns out that actually happened quite
18 a bit within the State of Minnesota.

19 In my own practice, I actually was
20 an early adopter because I was a medical
21 director. And so I had to make sure that I
22 was doing things that had to happen.

1 So I actually administered these
2 myself for three years, asked all my
3 depression patients their own PHQ-9 scores.

4 What I found is I got 100 percent
5 response rate. And mostly it was because we
6 used this as a tool to jointly decide about
7 patient care. So it wasn't just for the
8 patient, and it wasn't just for the doctor.

9 It was used right in the point of
10 care. I would show them a graph on the
11 computer, "Here's how you were last time.
12 Here's how you are now. I think your
13 depression is worse. Let's think about
14 escalating your therapy."

15 And, all of a sudden, this tool
16 that we think of as an accountability tool
17 became the visibility tool for the patient and
18 the doctor to really think through what should
19 they do about care right now.

20 And to my mind, that's the most
21 exciting news of patient-reported outcomes
22 because it really moves health forward. It

1 doesn't just beat people up for not doing
2 something that we think they should do.

3 DR. WU: That is really what we're
4 talking about, about getting things into the
5 work flow for both patients and providers.

6 More comments? Yes? I see a
7 hand. It's attached to Ted perhaps.

8 MEMBER GANIATS: It's Dr. Ted. I
9 am sorry. I was being so good. Then Dr.
10 Wasson had to speak up.

11 Everything that is being said is
12 very good, though it's contradictory. And Dr.
13 Taylor-Clark brought up some excellent points.
14 And Dr. Wasson brings up some excellent
15 points. And this guy -- I can't read his name
16 tag -- brings up some excellent points. The
17 problem is that they all contradict each other
18 a little bit.

19 If what we want -- what do we want
20 the patient-reported outcomes to be? Do we
21 want them to be clinically actionable,
22 important, real time? Then that is going to

1 decrease the usability of an institution to be
2 able to increase retention rates.

3 Do we want it to be part of a pay
4 for performance to try to improve quality over
5 time? That may or may not be as actionable at
6 the patient bedside? Do we want to help
7 employers choose a health plan that might
8 require something different?

9 So I think all of the points are
10 excellent. I just think that we have to keep
11 reminding ourselves that sometimes we're
12 talking about different types of PROs. And
13 not all of them are going to be able to be
14 used for all of the purposes.

15 Gosh, as a clinician, I would love
16 to have everything be useful all the time, but
17 I just think we have to be really clear about
18 what is going to be used for quality
19 improvement, what is going to be used in
20 clinical practice, and what is going to be
21 used for the other purposes.

22 DR. TAYLOR-CLARK: I actually

1 don't think that what you are saying is that
2 we were contradictory. I actually think that
3 you made something much more clear in what we
4 said, which is that as we're thinking about
5 criteria -- I know we're not using the word
6 "criteria," but as we're thinking about how
7 we're going to choose these measures and what
8 we're going to actually end up using them for,
9 we have to make the distinction of whether
10 we're going to be able to use them for
11 purposes of accountability or useability or
12 whether we're going to be able to use them in
13 the clinical improvement process or for
14 self-management.

15 I loved the example that you just
16 gave about using the outcomes for shared
17 decision-making, which is ultimately what you
18 did. And so there are different ways that
19 these measures are obviously going to be used,
20 but I think that that has to be a part and
21 parcel of our thinking around how we're going
22 to develop and design the criteria for these

1 things.

2 DR. WU: Great. Shall we open
3 this to the back of the room? And also,
4 Operator, can you please open the phone lines?
5 We're moving on to the back of the room.

6 MR. CUNNINGHAM: We will pause for
7 the phone lines. Operator, if there is anyone
8 in the queue?

9 DR. WU: Operator?

10 MR. CUNNINGHAM: Are you still
11 with us? Farrah?

12 DR. WU: Hello?

13 THE OPERATOR: Yes. If you would
14 like to ask a question, please press *1 on
15 your telephone keypad.

16 MR. CUNNINGHAM: Thank you.

17 DR. WU: If anyone in the back of
18 the room wants to use their phone to ask their
19 question, that would be fine.

20 (Laughter.)

21 MR. CUNNINGHAM: I am not hearing
22 anything on the phone.

1 DR. WU: One size does not fit
2 all. Any questions in the back? Comments?
3 Lew? Lew, could you please move to the back
4 to ask your question?

5 MEMBER KAZIS: Hi. I had two
6 questions. One was for Dr. Taylor-Clark. I
7 really liked your comments, especially the
8 ones related to thinking out of the box.

9 RWJ has had a real investment in
10 looking at community health and the issues
11 around assessment tools that, in fact, are
12 supposedly gauging the physician when, in
13 fact, there are much broader issues as the
14 community. There are issues of access,
15 something as basic as, does the ambulance, in
16 fact, come within ten minutes of an MI?

17 The question I have is, where do
18 you see those types of metrics moving, in what
19 direction; and how, in fact, can one begin to
20 think in terms of operationalizing them in the
21 context of our health care system?

22 DR. TAYLOR-CLARK: I think that is

1 a really tough question. And certainly those
2 that have been part of this what we call the
3 social determinants of the health world have
4 had this as a problem, you know.

5 And so the way that I would
6 actually see the measures as not necessarily
7 at this point, as Jim described, not
8 necessarily being used for purposes of
9 accountability or payment for the provider
10 but, one, as a monitor for understanding how
11 especially new delivery systems, integrated
12 systems, are actually working to improve the
13 health of their patients, not just the health
14 care processes of their patients and certainly
15 outcomes are getting us to that.

16 So one would be for monitoring,
17 but the second is, as we think about
18 accountability in terms of public reporting --
19 and Al and I had this question. I said,
20 "Well, what is accountability?" You know, I
21 have to go back to the basics on everything.

22 And he said, "Well, I think it's

1 actually, really, about public reporting."
2 Well, that's going to be an extraordinarily
3 important place for these types of measures to
4 be for patients to be able to make decisions
5 about, if they can, make decisions about,
6 where they get treated and how they get
7 treated.

8 But it will also be a check on the
9 system, not necessarily on the individual
10 providers but on the system, to be able to
11 say, "We need to be able to provide
12 resources."

13 And my example of linking to
14 social and community resources is one of
15 potentially many that we could consider and I
16 think that we should consider. But I think it
17 is going to put the onus on the system to
18 really think through how they engage with
19 other systems.

20 And I think that is where we want
21 to go. That is where I would like to see us
22 go. I don't want to stick just with health

1 care. And I don't think that this is a
2 revolutionary concept, but I think we spend a
3 lot of time talking about health care systems
4 and structures and we put the onus on
5 providers. And ultimately this is about
6 improving health broadly.

7 And so in order to do that, we're
8 going to have to start developing the linkages
9 and the relationships with other types of
10 systems so that we can improve health.

11 And I think that in order to do
12 that, we have to start the monitoring
13 somewhere.

14 DR. WU: Great. We've got
15 something back. I see a waving microphone.

16 MS. BARANOWSKI: Thanks. My name
17 is Rebecca Baranowski. I'm with the American
18 Board of Internal Medicine.

19 I have to say, first of all, as
20 someone who has a background in test
21 development, it was really exciting to hear
22 the discussion of CAT and DIF and IRT. It

1 took me back to a previous lifetime.

2 There was some discussion about
3 the need to keep patient surveys short. And
4 I have often heard that if patients consider
5 the questions relevant, that length is not so
6 much of an issue.

7 Can anyone comment on that?

8 DR. WU: Well, I will say from our
9 own experience that that is true. If someone
10 feels like you are -- we are doing a lot of
11 service with oncology patients. And if we are
12 asking them questions about their own health
13 needs, the things that maybe gaps in their
14 goals or achievements, they are happy to talk
15 about those things all day long on paper or
16 elsewhere.

17 On the other hand, they can be
18 very annoyed by very short surveys that they
19 don't think are worth their time.

20 Other comments?

21 MEMBER KALDENBERG: I think that's
22 true. I think the respondent's interest in

1 completing the questionnaire or an interest in
2 the topic of the questionnaire will lead them
3 to answer more questions.

4 So if a patient or any respondent
5 looks at a questionnaire and says, "I don't
6 understand its purpose. I am not particularly
7 interested in it," they're not going to
8 respond; whereas, if, as Albert said, it is
9 something that either has been demonstrated as
10 important to you, it's a part of your
11 treatment plan, it is important to you because
12 "I'm interested in my health. You can ask
13 about as many questions as you want." And I
14 think there's a lot of literature that says
15 that's true.

16 DR. WU: David?

17 MEMBER CELLA: Yes. You know, I
18 guess I would like to give an answer that's in
19 between what lies under your question and
20 things that Drs. Bellows and Wasson were
21 saying. So yes, patients like to be asked
22 questions about how they're doing almost

1 universally and providers that aren't used to
2 that and you see them in research studies.

3 You know, you will hear patients
4 say, "I think these questions should have been
5 asked all along." And they're very happy
6 about it for the most part.

7 But, coming back to what Dr.
8 Bellows and Dr. Wasson were saying, there's a
9 point at which they reach a limit. And
10 sometimes that point comes by being asked the
11 same questions over and over again, you know,
12 every three months or for some period of time.

13 I've had the same experiences with
14 seeing technology be exciting for a while.
15 And then you lose it unless it has become
16 really ingrained in the clinical setting.

17 So I completely agree and from
18 experience as well, not as much as Dr. Wasson,
19 but we really do have to make these relevant.

20 But I don't think, unless I
21 misunderstood what you said, Dr. Wasson --
22 well, you know, if I'm doing IRT, I can agree

1 that Cronbach's alpha is standing in our way,
2 but I'm not sure if that's what you meant
3 because Cronbach's alpha is in classical
4 theory and you don't need it in IRT. In fact,
5 it's irrelevant. And you can get very short,
6 very relevant assessment.

7 So I think there is a solution
8 here. And just the other part of my response
9 to that is that it's not just the patient, of
10 course. It's the provider's side. They have
11 to continue to be enthusiastic about it
12 because they're the ones paying for it to be
13 done. So it's really a partnership in that
14 respect.

15 DR. WU: I will add to that. You
16 know, whenever you ask anyone to do things
17 repeatedly, their interest gradually
18 extinguishes. I think it's a physiologic
19 response that we can't do anything about.

20 On the other hand, if you, we,
21 respond to someone's complaint about their
22 satisfaction with an element of their care and

1 tell them what you're doing about it or,
2 alternatively, if you respond to some bit of
3 feedback that they give you about the amount
4 of pain they're having and tell them what
5 they're doing about it, the next time they're
6 much more likely to continue to respond.

7 So I think that, again, what we do and
8 how we handle all of this is very important.

9 MEMBER NELSON: One of the cases I
10 referred to but didn't explain very much was
11 the rheumatoid arthritis registry in Sweden.
12 And there the survey that patients are
13 completing is actually fairly long. It takes
14 them about 20 minutes.

15 These people have a serious
16 disease: RA. And it used to be that this
17 information was collected for research only.
18 It was a registry. And now it's actually
19 collected so that the nurse or the doctor
20 looks onto the patient's current and past
21 results against the treatment plan so that the
22 next steps in the care plan can be responsive

1 to their disease activity and how they are
2 doing.

3 And, by report, when this
4 scientific registry was redesigned to actually
5 help the patient, patients like their care
6 much better. It absolutely changes the
7 fundamental clinician-patient relationship.
8 It makes it much better.

9 They've now added a care designer
10 to help the 60 RA centers in Sweden do this
11 well, embedded in the care design with a
12 person with RA. It helps the new centers take
13 it on.

14 And the trends over time show that
15 people are starting RA sicker, but they end up
16 at 12 months having much better disease
17 scores. And they're doing better.

18 So I think it is possible under a
19 focused context to actually have this
20 information be extremely relevant to me --
21 it's all about me as a patient -- to the
22 relationship and what that relationship is

1 doing for my care plan and actually seeing if
2 my outcomes are getting better.

3 And under those conditions, how
4 long it takes me to complete the survey, 10
5 minutes or 22 minutes, isn't relevant because
6 now we've got an instrument panel that guides
7 me and our care and how we're doing to monitor
8 our care.

9 And that's becoming a model that
10 the rest of Sweden wishes to adopt for all
11 relevant registries. And Michael Porter is
12 popularizing that in Sweden and now back in
13 the U.S.

14 So I think there are new ways of
15 doing this that can be rather -- what would
16 the term be? -- disruptive.

17 DR. WU: I think that rings very
18 true. We're coming to the end of our time.
19 I'm going to ask one more time if there's
20 anyone on the phone: Operator or anyone else.

21 THE OPERATOR: As a reminder --

22 DR. WU: Yes?

1 THE OPERATOR: As a reminder, if
2 you would like to ask a question, please press
3 *1 on your telephone keypad.

4 DR. WU: Wait. I think I'm
5 getting a call from Kathy Lohr.

6 MEMBER LOHR: This is just one
7 minor observation or question. In talking
8 about shorter forms versus longer forms, at
9 least for some ways of answering
10 questionnaires, have people been trying to
11 build in ways that you can stop and then go
12 back?

13 Because I get this kind of
14 questionnaire in various ways and various
15 purposes, not so much for health care. And
16 beyond about 10 or 15 minutes, you're either
17 tired or you get interrupted. And if you
18 can't save what you've done and then go back
19 to it when you're either not so distracted or
20 whatever, it's extremely annoying. And you
21 might not the next time ever start knowing
22 that you are likely to be interrupted and

1 can't save it and go back.

2 So I'm really just asking whether
3 for people who are implementing a lot of these
4 things, through portals or almost any of these
5 mechanisms, do you build in ways for people to
6 say where they have gotten and go back?

7 MEMBER BELLOWS: We don't have it
8 yet, but our her vendor is delivering it in
9 its next release very shortly.

10 You know, to me, this is partly
11 coming back to the submerging theme that has
12 come out so great, which is that it's all
13 about context.

14 It's not just about the measure.
15 It's about the measure used, how often, with
16 how many patients, with what follow-up that's
17 really sensitive to people's care and with
18 what degree of control. And it's just built
19 out so beautifully.

20 DR. WU: Great discussion. I
21 think we are going to wrap up. I would like
22 to thank the panel members and members of the

1 audience and, of course, people on the phone.

2 MS. PACE: So we are going to have
3 a short break. And we'll ask you to try to
4 reconvene at 3:20. And Kathy is clapping. So
5 thank you, panel.

6 (Applause.)

7 MS. PACE: So we will try to
8 reconvene at 3:20 if possible.

9 (Whereupon, the above-entitled
10 matter went off the record at 3:10 p.m. and
11 resumed at 3:27 p.m.)

12 CO-CHAIR BRENNAN: Good afternoon,
13 ladies and gentlemen. I am Patty Brennan. I
14 am co-chair of the panel today. I am not sure
15 everyone is as excited about this session as
16 I am, but I am very excited about this
17 session. And I think we will get some more
18 people excited about it shortly.

19 When we speak about
20 patient-reported outcomes, we often look to
21 efficient ways to both understand the process
22 of care that a person has been engaged with as

1 well as a place to store the assessment,
2 perhaps that assessment of their outcome
3 having dual use for their individual care
4 processes as well as the accountability and
5 quality improvement goals that the NQF has.

6 The electronic health record as we
7 know it today is probably not the best place
8 for it, but it's a starting point to think
9 about the electronic infrastructure necessary
10 to acquire patient-reported outcomes, organize
11 them in a way that helps us make meaningful
12 interpretations for the patients' progress as
13 well as the care and also have a way to begin
14 to aggregate for the institutions' awareness.

15 This afternoon we have a report
16 from the Commission paper. I'm happy today
17 David Cella is back again to speak with us.
18 And we'll have a reactor panel of three
19 individuals.

20 I am going to be introducing
21 everyone now to coordinate the time best.
22 It's just about 3:30. We are going to go

1 until about 20 until 5:00. So we'll have
2 plenty of time for comments on this session.
3 And then we'll do a wrap-up between about 20,
4 a quarter of 5:00 and 5:00 o'clock.

5 But today in this next session,
6 we're going to be talking about the key
7 considerations for incorporating PROs into
8 electronic health records. And let's think
9 about those with small EHR, not large EHRs,
10 because the electronic health record you know
11 today is going to look very different within
12 two or three months, in fact, if not two or
13 three years.

14 David Cella will be giving us a
15 report from the Commission paper. And then we
16 will have three reactors' groups set up. Uma
17 will be speaking with us about the experience
18 at Cincinnati Children's Hospital. Kevin
19 Larsen from the ONC will be discussing
20 meaningful use and some of the indicators
21 linking quality and measurement of outcomes.
22 And then Ted Rooney from Maine will be

1 discussing the experiences in their outreach
2 group.

3 David?

4 MEMBER CELLA: Hello again. I am
5 happy to say I don't know if I am happy or you
6 will be happier to hear that this will be my
7 shortest tee-off. It's a par three session,
8 at least for the tee-off.

9 Okay. So let's try to broaden the
10 conversation and talk about the health or
11 health-related internet applications that
12 deliver a range of content, connectivity, and
13 clinical care, keeping in mind that most
14 e-health is driven by the electronic health
15 record that the provider offers to the
16 patient, although certainly not the only.

17 So what does that include? It
18 includes health information, individual and
19 group; online formularies, prescription
20 refills; -- I use it -- many of you probably
21 use it -- appointment scheduling and test
22 results; -- I've used it -- advanced care

1 planning and health care proxy designation,
2 not used that yet, but it's available in some
3 systems. And these applications tend to focus
4 because they were built for them on the needs
5 of the health care providers and the health
6 care organizations.

7 And this has I think been a theme
8 today that I didn't necessarily anticipate,
9 but it is in the paper that there is also
10 little evidence regarding whether these
11 services offered are those that patients
12 actually desire.

13 Next slide. So how about the side
14 of integrating PROs into electronic health
15 records and personal health records? PROs
16 will likely constitute an important aspect of
17 future stages of meaningful use.

18 Now, you could tell me I'm wrong
19 and maybe I am wrong, but three years ago I
20 went to a hospital administrator who will go
21 nameless and said, "Hey, I think you really
22 need to pay attention to PROs because you're

1 going to need to for meaningful use."

2 And he's a very nice guy. He
3 said, "You know, I think we can kind of comply
4 with meaningful use without worrying about
5 PHO. So no thank you."

6 I don't think that's true anymore,
7 but you tell me. And if it's in the future of
8 meaningful use, then we ought to try to make
9 it meaningful.

10 So critical features involve
11 interoperability, as you know, widespread
12 health information exchange, automated
13 real-time quality and cost measurement, which
14 the PROs can fit into, and sophisticated
15 analytic capabilities that we certainly could
16 engineer into the PRO site.

17 Next slide. So some important
18 issues. First is the patients' perspective.
19 Patients do want to be involved as partners in
20 the flow of information, in health information
21 exchange. They're certainly in their own
22 personal health record.

1 You know, as we talked about in
2 the last session, the clinical buy-in is
3 really essential for good equality data over
4 time especially. In order to get that buy-in,
5 one important component that has to be
6 compatible with clinical flow, I mentioned
7 meaningful use.

8 And I suggested earlier eventually
9 an issue of patient privacy, the actual
10 physical transfer of patient-based PRO
11 measures from the patient/the provider, there
12 is a privacy, a possible, concern there. And
13 then the electronic transfer of data or
14 unauthorized access to patient-quoted data can
15 cause privacy concerns or alerts.

16 So some key design principles in
17 integrating PROs are to fit the measures into
18 the flow of care, as I mentioned. The design,
19 the system with stakeholder engagement -- it
20 has got to be relevant and meaningful -- to
21 merge the PRO data with other types of data so
22 it's not a set-aside, stand-alone system,

1 although it is easier up front to build the
2 stand-alone system, as we and others have, and
3 to engage in continuous improvement of those
4 systems based on user experience and
5 technology, so sort of basic principles of
6 getting it integrated; relevant; and,
7 therefore, getting the buy-in and long-term
8 sustainability.

9 Next slide. One of the things we
10 developed with PROMIS, which I haven't
11 mentioned yet today, is an assessment center,
12 which is a web-based portal. I just mention
13 that we and others have done these sort of
14 set-aside systems.

15 So there is a web-based assessment
16 system that anyone can use. You can go to
17 NIHPROMIS.org. And you can take some CATs
18 yourselves this evening when you have got
19 nothing better to do other than watch the
20 Olympics and see how your depression and
21 fatigue levels are after this meeting.

22 So NIHPROMIS.org. And you can go

1 test yourself on a demo. But it's a
2 disconnected system. We don't ask you who you
3 are. You don't tell us who you are. You can
4 just try this out.

5 But if you wanted to use an
6 electronic health record environment, you
7 would need to link it to the electronic health
8 record. So, to that end, we've got SNOMED and
9 LOINC codes and have been working with
10 different her vendors to integrate assessment
11 center or the data capture and scoring
12 algorithms into the electronic health record.
13 So that is work that is ongoing and should
14 help maintain a truly sustainable integrated
15 system.

16 So I think that's the last slide.
17 Those are my introductory tee-off comments.
18 Thanks.

19 CO-CHAIR BRENNAN: Thanks very
20 much, David. Are there questions before David
21 sits down for clarification and more
22 information? We don't want him to go just

1 yet. Comments or questions specifically for
2 David's section?

3 (No response.)

4 CO-CHAIR BRENNAN: Okay. Thank
5 you very much, David. I appreciate it.

6 I am going to ask Uma if you are
7 ready to begin your remarks. Please?

8 DR. KOTAGAL: Good afternoon.
9 Thank you very much for inviting me. Before
10 I make my comments about the PROs in the EHR,
11 which is the topic we were asked to focus on,
12 I would just want to give you some context.

13 So this will be in the context of
14 a large academic health center. About 50
15 percent of our patients come from outside our
16 region. A lot of our work is very specialized
17 care. And all of it is pediatrics. So our
18 patient-reported outcome conversation is in
19 the context of that.

20 Secondly, put the focus in terms
21 of our bias towards action and the fact that
22 we are really interested about

1 patient-reported outcomes in the context of
2 improvement, as opposed to thinking about it
3 in the context of performance measures, so
4 that our believe is that we will need to do a
5 lot of work on practical applications of
6 patient-reported outcomes and improvement
7 before we could really look and say how this
8 is going to fit into performance measures. So
9 I just wanted to talk about that a little bit.

10 We have been engaged with patients
11 and families for a long time, starting in 2002
12 with cystic fibrosis, families that taught us
13 how to think about patient engagement. And
14 all of our chronic disease teams have patients
15 sitting at the table to help us think about
16 what is important to them. And we accompany
17 that with a fair amount of internal clinician
18 engagement and training that enable us to look
19 at patient-reported outcomes in the context of
20 improvement.

21 Our work on patient-reported
22 outcomes began with a five-year plan that asks

1 us to think about transforming care for
2 children in chronic and complex disease for 60
3 conditions in the next 5 years. So we are
4 looking at patient-reported outcomes in the
5 context of clinical outcomes, patient-reported
6 outcomes, and evidence-based processes, all of
7 which we are looking to embed into our her
8 with a combined reporting in the form of small
9 multiples that allow us to look both at
10 clinical outcomes and patient-reported
11 outcomes at the same time.

12 A major interest, of course, is in
13 scale. And so we are beginning to build our
14 registries at this point primarily in Epic, in
15 the content of Epic, and then using our
16 reporting systems to be able to derive
17 population-based data so that the individual
18 patient data is within the system, the
19 population-based data is sort of ad hoc or on
20 top of it.

21 Our expectation is to build all
22 components of Ed Wagner's chronic care model

1 into our Epic registry, which, of course,
2 relates back to my earlier comments about the
3 role of self-management and self-confidence
4 and how the use of the patient-reported
5 outcomes can adjust that balance between the
6 provider and the patient, which, of course,
7 remains a gradient at this point in time.

8 Our early lessons have told us
9 that when we incorporate patient-reported
10 outcomes into the registry and into the
11 patient encounter, that provider behavior does
12 change. But it starts with engaged
13 clinicians. So our process does require us to
14 have engaged clinicians.

15 For the 60 diseases, we currently
16 have patient-reported outcomes in about 10.
17 We'll have another five at the end of about
18 ten months from now. And then we'll be
19 building our way up to the next 40.

20 So the clinicians with patients
21 choose the outcomes. And that's a really
22 important consideration. They choose both the

1 clinical outcomes of interest and the
2 patient-reported outcomes of interest. And we
3 are not at this point requiring every chronic
4 disease team to have patient-reported
5 outcomes, but we are offering the invitation.
6 If they are interested, we would work with
7 them to do that.

8 So then our process really allows
9 us to engage with the clinicians, consult with
10 them, with the patients, decide what the
11 outcome of interest should be, go back to the
12 methodologists, and ask them the right
13 questions to figure out what is the right
14 measure, what is the evidence, what measure is
15 out there, what needs to be modified so we
16 come up with the right reported measure.

17 And all of this is at the
18 disease-based level. In addition to the
19 organization level, we are piloting with
20 measures of care coordination; measures of
21 patient experience that are not embedded or
22 related to the ER; and in some cases PHQL as

1 a broader generic measure of quality of life,
2 as opposed to a disease-specific measure; and,
3 finally, patient self-confidence in the
4 context of self-management.

5 So that's the context in which we
6 work. The process -- and I want to just go to
7 my first slide here. I just used an example.
8 This is data from some time ago. These are
9 children with obsessive compulsive disorders.
10 And I picked this one, as opposed to
11 depression or functional outcomes or PROMIS
12 scores, just because, even in the area of OCD
13 in young children, we find that
14 patient-reported outcomes are and can change
15 the way it works.

16 This graph shows you data on four
17 segments of patients that the clinicians
18 self-classified. So we begin with a measure
19 called the Symbyax, which is a gold standard
20 of measure for patients, for OCD, but it's
21 very lengthy, back to our comments about how
22 dense the measurement can be.

1 We have modified it, proved that
2 it is scientifically valid. And we began with
3 paper and pencil, then with a self-standing
4 kind of electronic system. And now it is
5 incorporated into the electronic health
6 record.

7 So the child comes in and fills a
8 question, answering the question, "Did it get
9 you or did you get it?" answering it in the
10 context of burden of disease. This is then
11 printed off.

12 Actually, the patient is tagged as
13 sort of requiring a PRO measure at the clinic
14 so that when they check in, the registration
15 person gives them a confidential password as
16 well as the information. We're currently
17 doing it both using kiosks and tablets.

18 The patient fills in the data.
19 The data is printed off. The patient has the
20 data along with the family and the provider
21 has the data.

22 So the conversation begins similar

1 to some of our discussions at the last break
2 that say what is going on since the last time
3 you see and what is happening.

4 And then this is obviously
5 measured at each visit. The central concern
6 here is how do we improve outcomes for
7 patients. And when we do that, we find that
8 the encounter gets to be very interesting. So
9 it is for the individual patient that it
10 matters, which we then roll up into a system
11 or into a whole population level there.

12 Therapists find that they can stay
13 focused on treatment goals. The patients and
14 families find that they have a clear endpoint
15 in mind so that they may say that when your
16 symptom score gets to this level below 13,
17 that's the end of our treatment. The care is
18 more efficient because all of this information
19 is available and the conversation can be more
20 focused.

21 And, actually, what we have found
22 is that by putting these evidence-based

1 practices into place, giving providers and
2 clinicians a conversation to have with the her
3 and the patient that we are able to detect
4 these patterns.

5 So on the top right-hand side, you
6 will see the response rates are pretty fast in
7 some kids, high being not so good, low being
8 better. On the left-hand side, you see a
9 slightly different response rate. In the
10 third graph on the bottom left, you'll see no
11 changes in response. And the fourth is the
12 exact pattern.

13 This was really done by looking at
14 the data and segmenting it, sitting down with
15 clinicians to do that. When the clinicians
16 get together using this data to figure out
17 what is going on, they concluded that their
18 primary factor was actually a dose effect.

19 In other words, with children with
20 OCD, the primary treatment is exposure to the
21 compulsive disorder. And in the different
22 groups, they were exposing them to different

1 dose effects, which they then through
2 discussion were able to modify. And then on
3 the next slide, you'll see I think the percent
4 of children that have at least a 40 percent
5 reduction in symptom scores. In this case, I
6 think the number -- they have a target number
7 that they use but find that they can make a
8 big difference.

9 So we are using this idea of a
10 kiosk or a tablet. We are not using portals
11 at the present time for entering data, teeing
12 it up to the clinician, a conversation by
13 clinicians agreeing both on the measure and
14 the target, and then a collaborative group
15 that together decides how they are doing, why
16 some people are doing better, why other people
17 are not with a goal, then, for improvement in
18 the functional measure.

19 So I'll stop with that and take
20 any questions at the end.

21 CO-CHAIR BRENNAN: Could you just
22 speak a little bit about how this gets into

1 your electronic record now? Is there a
2 special section or does it go as a narrative
3 note?

4 DR. KOTAGAL: So currently it goes
5 into the electronic record as a pulled-up
6 document that shows the graph. The graph that
7 we get at Epic looks more like the one you see
8 on the last one, not like the previous one,
9 which is a little bit more interesting. But
10 it does get that way.

11 And the population-level data also
12 gets pulled out and is reported that the team
13 looks at on a regular basis.

14 CO-CHAIR BRENNAN: Thank you.

15 Are there clarification questions
16 you would like to ask Uma before we go on to
17 our next comments?

18 (No response.)

19 CO-CHAIR BRENNAN: Okay. Thank
20 you very much. Our next speaker will be Kevin
21 Larsen. Kevin joins us from the ONC.

22 DR. LARSEN: Hi. I am the Medical

1 Director of Meaningful Use for the ONC, a
2 general internist by training and was doing
3 that up until March, when I moved here and
4 will hopefully be doing it again soon here in
5 D.C.

6 I would start with a brief story.
7 I grew up the brother of a type I diabetic.
8 And so he was diagnosed with type I diabetes
9 when we were both young children. And I lived
10 through the change, the paradigm shift of
11 doctor-directed care to patient-owned care
12 through the eyes of my type I diabetic
13 brother.

14 And it was really about 20 years
15 ago that we stopped calling the doctor for
16 every insulin injection. For the first 15
17 years of his diabetes, every single time we
18 were going to change insulin, it was a phone
19 call to his doctor, sometimes in the middle of
20 the night. And that shift to self-management
21 was a radical, wonderful shift that my brother
22 undertook.

1 He is a software engineer and very
2 bright and able to do this stuff. He recently
3 got a continuous blood sugar monitor. And he
4 now is in the best control he has ever been
5 because he has the best real-time instant
6 feedback.

7 That virtuous cycle of feedback to
8 patients and tools to help them self-manage is
9 really where I think we are headed. And that
10 is really where I want to think about, how do
11 we support that infrastructure much more
12 broadly than just my brother and type I
13 diabetes.

14 For meaningful use, for those of
15 you who don't know, it's an incentive program,
16 a CMS incentive program for hospitals and
17 eligible providers, largely doctors but
18 dentists and nurse practitioners as well, to
19 implement in electronic health records. And
20 they don't just get paid for plugging a
21 computer into their office.

22 They actually have to demonstrate

1 that they're using it in a way that improves
2 patient care. And that way is measured as
3 clinical quality measures. And those clinical
4 quality measures that have a secondary goal is
5 that we start to make electronic and automate
6 the capture of quality measurement that has
7 historically been done as either claims or
8 chart abstraction.

9 So many of us are living the life
10 of innovating around how to take one type of
11 measurement and now put that into a new world,
12 a new way, the clinical quality measure. And
13 I see many of my e-clinical equality measure
14 friends here.

15 The program has three stages. The
16 second stage is just about to -- the policy is
17 about to be launched, but it won't actually
18 happen until 2014. And we're in the process
19 of building requirements ideas for the third
20 stage.

21 This is really the infrastructure.
22 This is the chance to really influence the

1 infrastructure of electronic health records
2 across the country because this is a giant
3 cash infusion with quite a bit of incentives
4 from CMS.

5 We are thinking about how can this
6 infrastructure contain the building blocks
7 that we want for the future of patients, the
8 future of health, the future of health
9 quality. And so this is our opportunity to
10 say, "Here are the things. Here is the focus,
11 few things, that we should invest the
12 country's money in so that we can have the
13 infrastructure that we want to assure that we
14 are able to let people live healthier lives."

15 In the process of this, we have to
16 think of things in a new way. And one of
17 those ways is measures now become software.
18 Instead of a measure being a piece of paper
19 that lives in a document for someone to read
20 through, the group of us that is working on
21 these e-measures think of it as software.

22 So if a measure contains 15

1 different possible survey instruments,
2 somebody has to encode 15 different survey
3 instruments into whatever computer system
4 there is, keep those up to date, make sure
5 they all work, make sure that they all provide
6 the kind of results that they provide.

7 That is completely possible. The
8 question is the cost. Is that the right way
9 to spend the money?

10 And also, just like you can have a
11 proliferation of Apple and Microsoft and
12 everybody else with their own kind of
13 platform, the more that the electronic health
14 record measurement world has its own way that
15 each group wants to do things, the more it
16 costs everybody to keep up, maintain, and use.

17 So we have really been working
18 towards this building blocks idea. How can we
19 take tools that we can use as we build
20 infrastructure and use them over and over and
21 over again for multiple different purposes, as
22 opposed to building software that's only

1 specific for one little, tiny purpose and
2 can't be leveraged for other things.

3 An idea around that is something
4 like an app store. So you could imagine, any
5 of you that use an iPhone, Apple built the app
6 store. And then lots of people can put an app
7 in there as long as it conforms to all of the
8 rules to fit into that app store.

9 And so we are starting to think
10 about what we call a flexible platform, which
11 might be something like PROMIS has stood up.
12 It might be any number of things. But the
13 ideal world, at least to my perspective, is a
14 world that the infrastructure exists that can
15 very easily accommodate new instruments, new
16 tools, new ways without us having to stand up
17 something specific for each new thing we want
18 to use.

19 And we had a lot of talk about
20 work flow. Work flow is actually very
21 important in the implementation of these
22 measures, as anyone who has done it will tell

1 you. And I would say you have to think about
2 not just the work flow of the provider. You
3 have to think about the work flow of the
4 patient because now the patient is engaging
5 with the system and the patient has a work
6 flow. And their work flow should be paramount
7 as we think about patient-reported outcomes.

8 And articulating that and having
9 an ability to accommodate lots of different
10 styles of patients in lots of different ways
11 they might want to engage in the system is
12 going to be very important. So we need to be
13 really flexible.

14 I'll leave with another example
15 from where I just came from. So in Minnesota,
16 the Patient-Centered Medical Home Program has
17 a very specific set of requirements. And one
18 of those requirements was actually that we
19 have patient-defined care goals and that we
20 track the patients' response to their own
21 defined goals. And they didn't have to be
22 care. They could be anything the patient

1 wanted.

2 So we had to build an electronic
3 system that could track response to any goal
4 that a patient could articulate. And we did.
5 We built a system that could take a free text
6 thing. And it built a five-point scale. And
7 the patient got to determine what that thing
8 was "I want to be able to dance more."

9 "How well can you dance now?"
10 would be the item on there and they would get
11 to score them one to five. And then each time
12 they came back, we would rescore and say, "Are
13 you dancing better this time than you did last
14 time?"

15 This was a home run hit with the
16 patients. They got to frame the whole
17 discussion, not around our scientifically
18 validated survey instrument. They got to
19 frame the discussion about "This is what is
20 important to me right now. This is what I
21 want us to talk about." And then it helped
22 the provider also frame that visit around this

1 is where the patient wants to go.

2 So I think that it is really
3 important that we have goals that we can use
4 across different organizations to compare one
5 provider type to another provider type in
6 different scientific frameworks.

7 It is also really important that
8 we have things that patients say, "This is of
9 value to me." And thinking about how we can
10 do that might lead us down a very different
11 path than thinking about what makes the most
12 sense in the context of a specific disease
13 state or specific category.

14 Ideally, they are complementary, I
15 think, rather than exclusive.

16 CO-CHAIR BRENNAN: Thank you very
17 much, Kevin.

18 Any comments or questions for
19 Kevin before we go on? Yes, Steve? Steve,
20 you have to pick up the microphone. Thank
21 you. And make sure it's red. It's red.
22 Green, green, green. These are red. Those

1 are green.

2 MEMBER FIHN: Well, not to be
3 outdone by my curmudgeonly colleagues, I want
4 to sort of pursue I think a potential line of
5 discussion that emanates from this. And bear
6 with me for one second because I think it is
7 important when we think about the EHR.

8 So I really appreciate the
9 comments, particularly of Kevin. So the VA
10 has been engaged in a very tortuous dance with
11 one of our other federal partners for the last
12 couple of years to build a joint medical
13 record.

14 And the upshot of that is that in
15 order to do that, actually, we have had to
16 take an approach which Kevin is suggesting of
17 reusable code. Actually, I'm told next week
18 we'll have an agreement with Apple for an app
19 store.

20 But if you take that and you think
21 about PROs, so we talk about apps where people
22 put stuff into the record. These apps

1 ultimately patients are going to want to get
2 stuff out of the record, too.

3 Once you have established that
4 paradigm of patients being able to right the
5 record, get back from the record, we no longer
6 own the records anymore. In fact, one could
7 then go down the road and think about we
8 actually don't have an her anymore. The
9 patient has an EHR. If you want to talk about
10 patient empowerment, actually, that's where I
11 think we're going, that we will cling
12 selfishly for a lot of economic reasons for
13 the record, but it's going to be an uphill
14 battle.

15 So if you then sort of say -- you
16 know, I mean, I think one of the points I
17 wanted to make is we are putting -- this
18 really may be the foot in the door or the
19 camel's nose under the tent that actually
20 leads to medical centers having spent tens of
21 millions, hundreds of millions of dollars on
22 records which no longer actually may not be as

1 relevant to them anymore. We could talk about
2 that, in which these patients will actually
3 own their records and have full access to
4 them, which I think if you start thinking
5 about patient recording, I think it is really
6 important.

7 I think Kevin's other point about
8 patient-identified goals, actually I kind of
9 mentioned that before you came in. We have a
10 program with the severely wounded OEF/OAF
11 veterans who come in. Actually, they have
12 something called a federal recovery plan for
13 a person, you know, work for the government.

14 You know, they're really cool.
15 And, actually, they ask the patient, you know,
16 "What is your goal?"

17 It's "I want to walk in five
18 years." And that becomes a plan. And all the
19 care gets built around that, those sets of
20 goals. And that's incredibly powerful from my
21 perspective.

22 But, you know, I think, actually,

1 you want to throw some bombs here. One of the
2 bombs may be that, you know, we don't have a
3 medical record anymore.

4 CO-CHAIR BRENNAN: I appreciate
5 that as a starting point. We are going to
6 hear from Ted Rooney in just a moment, but I
7 want to see if Kevin has a quick comment he
8 might want to make on that.

9 DR. LARSEN: So the advisory
10 committees that really inform our work for
11 meaningful use have been thinking about that
12 exact same thing for quite a while. And what
13 I'll say from being an organization that did
14 invest a lot of money and had a great big EHR,
15 I think it becomes like do you own the stuff
16 on your computer anymore.

17 And you start to know which stuff
18 you do own and which stuff you don't own. And
19 you kind of know where the interface is. And
20 so there's some stuff you really do own and
21 you know you own it. And there's other stuff
22 that you know that you always go back to the

1 same website. And that might change, but you
2 don't really care. And there's some stuff
3 that's really somebody else's.

4 So I think that the more you live
5 it, that that becomes less of an anxiety
6 provoker for you. But I think when you start,
7 when you have only ever had a computer not
8 connected to the internet, the internet is
9 scary.

10 But when you are actually there,
11 you start to figure out, "Well, the internet
12 isn't that scary. I still can control my
13 stuff. And I can get other things and share."

14 CO-CHAIR BRENNAN: We are going to
15 have a very lively discussion I'm sure about
16 this one.

17 (Laughter.)

18 CO-CHAIR BRENNAN: And the other
19 people in the room, don't worry. You didn't
20 waste your money.

21 Let me move to Ted Rooney's
22 comments. And then we'll open for the whole

1 discussion. I remember the people on the
2 phone and on the internet. You're welcome to
3 pose questions also. Ted Rooney from Maine.

4 MR. ROONEY: Hi. I am Ted Rooney,
5 obviously. I work with two groups in Maine.
6 One is the Maine Health Management Coalition,
7 which is an employer/union-led regional health
8 improvement collaborative that focuses on
9 performance measure, public reporting, payment
10 reform, benefit design, consumer engagement.

11 I also work for a provider of that
12 multi-stakeholder group, Quality Counts, which
13 really focuses on quality improvement and
14 getting consumers involved in the partners in
15 care. Together they run the Aligning Forces
16 for Quality initiative in Maine. So I'm sort
17 of in the middle there.

18 We also have an active health
19 information exchange. So everything has to be
20 electronic anymore. We do our performance
21 measurement through NCQA and Bridges to
22 Excellence. And we have got about 500

1 different physician and PCP offices in Maine.
2 We define a practice as a physical office
3 location. So even a group may have seven
4 offices. We count one practice.

5 And there are probably about -- I
6 don't know -- 60-70 percent of those having
7 reported got recognition from NCQA or Bridges
8 to Excellence in something, whether in office
9 assistance survey diabetes or heart disease.
10 And the diabetes are clinical outcomes
11 embedded in there.

12 And one of the things we realize
13 is we have these steering committees that help
14 run the program, you know, 14 docs and 6
15 employers and 3 health plans. And a year or
16 two ago, we were talking about getting
17 recognition. And there is this doc over here
18 who talks about having spent 20 hours trying
19 to get data out from his chart and even from
20 the EMR in order to apply to NCQA to get
21 diabetes recognition.

22 And so I turn to Frank Bragg, who

1 is a straight doc with Eastern Maine Medical
2 Center. And I say, "Frank, how long does it
3 take you to get recognized?"

4 He said, "Well, I don't do
5 anything" because he happens to have
6 Centricity. Centricity works with MQIC.
7 Every night his data gets uploaded, and he
8 gets reports every month. He's just looking
9 at increasing reports. And he gets recognized
10 as a byproduct of giving great care.

11 And then he takes that data. And
12 he works within his own group of docs, like a
13 performance assessor. You know, they
14 aggregate the data. They work together. They
15 drive performance up.

16 And we have been fans with the D5,
17 which is the Minnesota measurement that looks
18 at the five elements of diabetes and they
19 track it over time. And we looked at that
20 like five years ago. And there were people
21 doing maybe five or ten percent of getting all
22 their patients the goal of all five areas.

1 And we like that because that is a
2 patient-centered measure.

3 Typically you measure how many of
4 the doc's patients have a hemoglobin at this
5 level, how many of the doc's patients have an
6 LDL of that level. But they changed the
7 paradigm by saying, "How many patients are for
8 all levels?"

9 And, all of a sudden -- I haven't
10 looked for the last month or two, but some of
11 the practices are up in 50, 60, 70 percent of
12 their patients echo for all those measures.
13 They dramatically change the paradigm, I
14 think, in delivering good care.

15 And that's what we began to see
16 happen when people have data electronically
17 that they control, they believe, and they use.

18 Now, I'm absolutely convinced that
19 there's no way in hell Frank would have had
20 all the time and resources to do that if there
21 wasn't a performance measure, that his
22 practice wasn't rated publicly on it, he

1 wasn't paid on it, there's no way he would
2 have had the time to do it.

3 So we talk in Maine a lot about
4 doing the head and the heart. I mean, the
5 heart is the right thing. It gets the
6 physician energized. This is good care. But
7 the head is the business case. Every
8 physician needs resources. You can't get
9 resources without a measurement.

10 And I actually think that CMS has
11 got it -- well, they've got a lot of things
12 right, but I have been trying to figure this
13 out. I think I finally figured out today
14 where they have this list of 33 measures that
15 ACOs are to be measured on. And I am
16 particularly interested in this because we
17 have one pioneer and three shared savings
18 models in Maine. So I know they are going to
19 be measuring it.

20 And they have this functional
21 status, health status section that I guess was
22 going to be part of CAHPS that has got about

1 5 or 6 SF-36 questions that I could see as
2 well as some other neat health risk questions
3 and everything.

4 And, whereas, every other
5 mechanism is reporting the first year and to
6 pay the second year, pay for the third year,
7 this one is reporting all three years.

8 Well, I know for a fact that when
9 I go back to Maine, I am going to start
10 talking a lot about this. And even though
11 these providers won't get paid on this for
12 three years, they are going to pay so much
13 attention to it because they know that's where
14 it's going.

15 We've got at least providers who
16 used to pay for performance. So you just have
17 to threaten pay for performance down the road.

18 (Laughter.)

19 MR. ROONEY: And that gives time
20 for the clinicians to work the heart because
21 most of this stuff is the right kind of thing
22 to do.

1 And so if you can set the system
2 up in place where you know it's going to be
3 reported, you know it's going to be paid for
4 eventually, but you give the docs the right
5 measures at the right time and let them work
6 with it. I think we're going to see
7 tremendous improvements.

8 And I think, at least for me,
9 we're big fans of the patient-centered medical
10 home concept or advanced primary care. And
11 it's no longer the docs by themselves anymore.

12 And so when I think of the
13 clinical data, I think you want the doc or the
14 clinician getting some of the data from PROMIS
15 or health risk appraisal stuff, but maybe in
16 that practice, it's not the doc who is the
17 care manager or maybe it's an aide. I mean,
18 how does the practice take that data and get
19 the right data and the right time so in the
20 clinician in that encounter with the patient,
21 they do the right things?

22 Like, do we want our PCP spending

1 five minutes of every visit counseling someone
2 to stop smoking? No. But we probably want
3 them to spend 30 seconds telling the
4 importance of it and giving the warm handoff
5 to a care manager, who spends a half-hour with
6 them, refers them to a stop smoking clinic,
7 and follows up to see how they are doing.

8 So I think the more we can get
9 this data electronic, the more we can sort of
10 have it as being part of a practice. And the
11 more we can think about who needs to see that
12 data in order to make right decisions, I think
13 some of this stuff will work itself out, but
14 we've got to start because if we don't start,
15 what we find is our clinicians are our best
16 innovators.

17 If you wait until you have it
18 perfect and then hand it to them, it's too
19 late. If you give it to them in a safe enough
20 space, like -- again, maybe we'll publicly
21 report it next year or the year after -- it's
22 going to happen, but give them some time to

1 work with it and refine this up. I think
2 we'll see tremendous innovation.

3 And if we think of the system of
4 care, it's not just about the doc. You know,
5 I'd like our practices to tell us. You know,
6 even in the docs I talk to, it's funny. You
7 know, we're all individuals. Some docs really
8 want to see the data themselves. They want to
9 go in there, and they want to strategy it and
10 look at it.

11 And another doc doesn't care about
12 that. He wants his care manager to do it. He
13 just wants to know which patient should I pay
14 attention to? What are the key points? And
15 what should I do with them?

16 We've got to begin to think about
17 that in a team-based environment. Different
18 members of the team will do different things
19 and do it well. And we talk a lot about
20 practicing the top of your license. So you
21 get the least paid person doing the most. In
22 fact, that's probably what the VA and the feds

1 have done for a long time.

2 How do we think of a system of
3 care? And then how do we think of the
4 patient-reported outcomes? What do we measure
5 in the right way? You know it has to be
6 electronic, but who needs to see it when? How
7 often do we do it?

8 And how do we know or how do we
9 point to -- how does a person know what to do
10 about that? So, for example, if we do a
11 yearly survey of everybody in a practice with
12 a functional status measure and you get an
13 aggregate data, how do you know -- of the
14 2,000 people who made up that panel, how do
15 you know who to do what with?

16 So you've got to do it on an
17 individual level, but at an individual level,
18 you know, if you do it on an individual level,
19 can you roll it up hopefully? Because one of
20 the things we're big fans of is that
21 performance measure should be a byproduct of
22 giving great care, like Frank does.

1 I mean, we don't want our
2 clinicians, we hate it when our clinicians,
3 start to worry about which measurement program
4 to follow. It's a waste of time. We say,
5 "Well, just give great care. You know, let us
6 try to figure out how the measurement and
7 reward systems help you give great care and
8 reward you for it. And do it in such a way
9 that they know they have to do it, but give
10 them enough time so that they have a chance to
11 do it right, at least the early majority
12 folks."

13 And so that's sort of my take on
14 it. If we don't get it in the electronic
15 health record, no one is going to pay
16 attention to it. But we've got to do it in a
17 way that is helpful and that we find this
18 balance between improvement and reporting and
19 accountability. And I think it's doable.
20 It's just not easy.

21 CO-CHAIR BRENNAN: Thank you very
22 much.

1 Comments or questions for Ted?

2 Yes, Kathy? Go for it, then.

3 MEMBER LOHR: I am stuck. I am
4 one of those Luddites who sort of doesn't do
5 a lot with computers and that sort of thing,
6 really. But I haven't heard anybody say about
7 whether much of this stuff can be stored on
8 and the software placed on the cloud.

9 And it's as if people are still
10 talking about storing all this stuff on
11 servers someplace or something like that. I
12 may be wrong, but that was kind of the sense
13 I had. That was one question.

14 Another, Kevin, is really for you.
15 Is there a time frame for the specs for
16 meaningful use 3? Because would that help to
17 put in some time perspective what NQF is
18 trying to do with this whole effort? And I
19 don't know what the dovetailing is of the time
20 frame.

21 And the third question, which is
22 maybe for everybody, is, but perhaps picking

1 up on what Ted was saying about, "We'll start
2 now. And then three years down the road,
3 people will be ready," are medical school or,
4 let's say, stick with physicians. Are medical
5 schools trying to teach this stuff?

6 Is the AAMC on board? Are the
7 specialty boards and so forth on board, if you
8 will, with moving in this direction? And if
9 they're not part of the conversation soon,
10 should they be? And I don't know the answer
11 to that one either.

12 DR. LARSEN: So I can tackle the
13 first two. I'll start on the third one. The
14 question about the cloud, we really have a
15 high bar of performance we need to have for
16 patients. And that is we need to be flexible
17 and adaptable to what patients want and need.
18 And we need to give them access. And they
19 need to be in complete control of their own
20 data.

21 So they need to be able to tell us
22 when and where that data should go and need to

1 be able to tell us which data they want to go
2 where. So that is a lot of the work that ONC
3 does: building the standards for how that
4 works, certifying that systems can do that,
5 and starting to provide a regulatory
6 environment that allows all of those sort of
7 challenging concepts to live together and make
8 sure that happens.

9 Can it happen? Of course, it can
10 happen. Your bank does that, right? So your
11 money flows all around electronically. And
12 you have trust in your bank that somehow
13 through a combination of incentives and
14 regulation, that they keep track of your money
15 and it doesn't just slip out electronically
16 through someone else. We have to do that same
17 kind of thing in this more complicated
18 landscape of health care.

19 So, to the cloud question, yes, we
20 could use the cloud. Currently the regulatory
21 environment and the certification environment
22 are a little bit new for people to trust the

1 cloud to say, "I'm going to put my data there
2 because I believe that it is going to be
3 protected in the same way that the bank
4 protects my data." We have to get to that
5 level of trust in order for people to want to
6 use the cloud for their data to be stored.

7 It's part of the reason hospitals
8 are a little bit nervous about sharing with
9 each other. It's not because they don't think
10 it's the right thing to do. It's because
11 they're nervous that they'll be held
12 accountable if the information gets shared
13 with somebody else and somebody else doesn't
14 have the same level of security that they do.

15 Back to the sort of easiest
16 question of yours, which is the meaningful use
17 3 timeline. The rule, preliminary rule, will
18 probably be out in a year to 18 months or
19 something like that. And so right now the
20 reason I had to step out is we were on a call
21 with the Quality Measures Workgroup. And
22 they're busy framing up what their initial

1 thoughts will be for how meaningful use 3
2 looks for doing it over the next couple of
3 months.

4 And then that comes out as sort of
5 a series of questions to the country as a
6 whole, "Hey, what do you think about this?
7 What do you think about that? Please send us
8 comments." This is a very, very public
9 process. And we are really open. We really
10 welcome and open everybody's opinion.

11 But this fall we're starting to
12 try to frame what will be the major themes for
13 meaningful use 3. And then over the course of
14 the winter and next spring and summer, we'll
15 be refining those, sometimes directing them to
16 specific committees or task groups or other
17 places to say, "Hey, give us some really
18 specific thing that we can go on with this."

19 And the last to this start-now
20 question, interestingly, I was at a meeting
21 the American College of Surgeons put together.
22 And it was a fantastic meeting with surgery

1 representatives from a lot of the major
2 surgical societies. They were all on board
3 about this. This was not someone from outside
4 driving this. This was the surgeons saying,
5 "We want this. We think that the outcomes of
6 our patients are what is really important to
7 care."

8 And they wanted to measure safety.
9 They thought that safety was really important.
10 Surgical outcomes as measured by patients were
11 really important. I can't necessarily speak
12 to all of the other places being on board.

13 I know that there is a lot of
14 culture change that we will likely need to
15 have health care undergo to really move this,
16 but from the leadership standpoint, I can see
17 it happening.

18 DR. KOTAGAL: I can speak to some
19 of the other societies. I think all of the
20 boards are certainly working together around
21 this question of quality outcomes. The
22 American Board of Internal Medicine, the

1 American Board of Pediatrics are all doing
2 that.

3 And it's all linked now to
4 recertification. So that if you want to be
5 recertified, you have to sort of show data and
6 show evidence around improvement in the
7 process and in the outcomes. And there are
8 some standards for that that have to be met.
9 So that's happened.

10 CO-CHAIR BRENNAN: Are there other
11 questions? Yes?

12 MEMBER TORDA: Phyllis Torda from
13 NCQA again. We're working with Kevin and our
14 colleagues from Dartmouth -- and we're almost
15 all here as well -- on three measures that use
16 patient-reported outcomes with some portion of
17 those measures designated for meaningful use
18 stage 2 and were included in the draft reg and
19 then some for stage 3. So I thought it might
20 be helpful to just share what we have been
21 able to accomplish and what we haven't.

22 So the three measures are

1 measurement of functional status before and
2 after hip replacement, before and after knee
3 replacement, and for patients with CHF.

4 In each of those cases, the goal
5 for meaningful use stage 2 was what we called
6 a building block measure. And that was to get
7 some agreement on a defined set of tools, not
8 one tool but a discrete set of tools, and to
9 begin to be able to document that those tools
10 are being used to measure in the case of hip
11 and knee replacement before and after and CHF
12 periodically.

13 So we have gotten that far. We
14 have applied for the codes, the LOINC and the
15 SNOMED codes, to be able to document that.
16 There are some barriers in terms of the sort
17 of current standardized structures for quality
18 measures and being able to accommodate all of
19 that data, but that is probably more important
20 for the future.

21 Our goal for meaningful use stage
22 3, which we're really hoping to start

1 beginning virtually tomorrow, is to then be
2 able to say what improvement would be.

3 So the task that we have before
4 us, which is a little bit scary in light of
5 the paper and all of the discussion today, the
6 task that we have before us, is we have three
7 measures. We're hoping to sort of be able to
8 do hip and knee replacement at the same time,
9 multiple tools.

10 And for each of those tools, we
11 need to be able to define in a standardized
12 way what would clinically meaningful
13 improvement be so that we can then come up
14 with the algorithms and test them to measure
15 it.

16 So that's where we are and where
17 we are not.

18 DR. LARSEN: I will make just a
19 quick comment that I look to the NPRM2. We've
20 got about five other measures that, in some
21 way or another, you could talk about or think
22 about their patient-reported outcomes, so

1 things like the PHQ-9 for depression, asthma
2 control test scores, fall risk assessment,
3 suicide risk assessment. Depending on how you
4 phrase something like a suicide risk
5 assessment and you think is at a
6 patient-reported outcome or is that just an
7 assessment, it kind of depends on your
8 perspective.

9 The systems think of those as,
10 hospital systems think of those
11 patient-reported outcomes because they have to
12 administer a survey, which feels to them like
13 something else that other people would call a
14 patient-reported outcome. I think that from
15 the measurement community, they think of those
16 as an assessment, not as an outcome.

17 But, anyway, there are a number of
18 proposed measures that take in account this
19 standardized collection of patient data into
20 the EHR.

21 MEMBER BASCH: A quick comment
22 again. Sorry, folks. Focusing a little bit

1 on Kevin's comments, the first is I believe in
2 meaningful use, I think in phase 1, there was
3 engage patients and their families --

4 DR. LARSEN: Right.

5 MEMBER BASCH: -- in health care,
6 which I think was more focused around
7 providing a copy of the record to the patient,
8 but the spirit is sort of there. I mean, the
9 functionality wasn't. It probably was
10 developed early on.

11 I remember a conversation with
12 David Blumenthal way at the beginning. I
13 said, "Well, what about patient-reported
14 outcomes?"

15 He says, "Well, you know, we're
16 really far from that." But, you know, we've
17 got to focus on the nuts and bolts first.
18 But, you know, it seems that the spirit was
19 there.

20 One area where this is perhaps
21 immediately applicable is in the review of
22 systems. So if you think about it, you know,

1 physicians do review of systems all the time.
2 That's a part of the EHR. But they are
3 symptoms, right?

4 So the way that we think about
5 these things now, 2012, is those things that
6 the patient is in the best position to report,
7 right, should be reported by the patient. A
8 review of systems is nausea, vomiting,
9 fatigue, shortness of breath, chest pain, leg
10 swelling, skin rash, all these experiences
11 that the patients have, but we as clinicians
12 report that.

13 And we know from abundant research
14 that we as clinicians systematically
15 underreport both the incidence and the
16 severity of those phenomena.

17 And one could argue that there
18 should be the immediate capacity for an her to
19 collect PRO data for the review of systems as
20 a part of functionality of EHR, some
21 interesting thoughts.

22 DR. LARSEN: I think it is a great

1 idea. I think the kinds of things that we are
2 trying to get to apply to lots of patients and
3 lots of providers. And we can actually
4 certify them that the system can do this.

5 And so those are exactly the kinds
6 of ideas we are looking at because we could
7 apply that to lots of patients. We could
8 apply that to lots of doctors. And we could
9 certify that an electronic health record could
10 do that.

11 Then it's up to people like the
12 people in this room to figure out what to do
13 with that information once it's entered in.

14 As far as I know, there's not a
15 particular national standard review of systems
16 other than what CMS requires for E&M billing.
17 And CMS is pretty prescriptive about what they
18 want for evaluation/management billing. So
19 that could become the standard by which we
20 capture these symptoms, but you might imagine
21 a different standard that had more meaning to
22 patients.

1 CO-CHAIR BRENNAN: Ted has a
2 comment.

3 MR. ROONEY: As far as meaningful
4 use, two examples that just make you want to
5 cry. You know, I mentioned before what we're
6 doing, NCQA and Bridges to Excellence, which
7 are really the only real outcome measures out
8 there for primary care.

9 And the story I told about Frank
10 Bragg with Centricity being able to report
11 through Bridges to Excellence, we have been
12 working to Bridges to Excellence and all the
13 major EMR vendors for a year now. We can't
14 get any other EMR vendor to do it because it's
15 not a meaningful use.

16 The second story, we're working
17 with Gene Nelson at Dartmouth. He's helping
18 us with some ACO measures. And we have this
19 wonderful practice right in my hometown called
20 Emerges of Family Doc. And he's totally
21 bought into this stuff. He wants to take
22 PROMIS and integrate it into his practice,

1 among other things.

2 And all he wanted to do was get it
3 in a way that makes the work flow. He's got
4 Centricity. He's got a hospital that supports
5 him. And for four months, he's been trying to
6 get his IT folks to get some help to go ahead
7 and implement the PROMIS into the work flow.
8 And he hasn't been able to do it.

9 I was just talking to Melanie
10 about, you know, being able to do that. And
11 why hadn't he been able to do it? Well, the
12 hospitals are so focused on meaningful use
13 that they are not doing this thing.

14 And so here you have this really
15 dedicated clinician and his team who wants to
16 do the right thing who can't. So I'm going to
17 talk with Kevin about what I can do to get
18 this stuff into meaningful use. But if we
19 don't get it in now, more and more I think --
20 there are clinicians out there in the field,
21 maybe not in the Beltway, in the field, who
22 want to do this. This is the right thing to

1 do. And we've got to help them.

2 CO-CHAIR BRENNAN: Mary had a
3 comment first and then Rita.

4 MEMBER TINETTI: I just wanted to
5 begin by addressing Kathryn's questions about
6 medical schools. I am hitting our patient
7 care curriculum for Yale University, which is
8 certainly not known as a forward-thinking
9 medical school. Nobody is here listening.

10 (Laughter.)

11 MEMBER TINETTI: But everybody is
12 on board. And I'm hitting it. We have ED,
13 surgery, et cetera. And what we're trying to
14 do is decide, throw out the curriculum that
15 was great to training doctors for the
16 Nineteenth Century and make them for the
17 Twenty-First Century.

18 And we're all about this stuff.
19 And, in fact, it's kind of the chicken and the
20 egg. We're waiting to see what are the
21 measures we should be training our docs in.

22 So I think this is all informing

1 everything. And we're finding a lot of other
2 medical schools are doing it as well. The
3 problem is the faculty are so far behind where
4 their students are. But I think it is going
5 to happen.

6 I want to sort of address Ted's
7 point. I think, like everything, this seems
8 to be sort of a top-down approach. We're
9 going to be telling docs what to do and
10 patients what to do, but I think your point is
11 well-taken. I've spun that over and over
12 again.

13 There are docs that are just doing
14 it. You know, we machinate about it. We have
15 meetings. And there are people that are just
16 doing it. They don't report it. They don't
17 publish it. And I know it sort of challenges
18 the entire group to find those people.

19 And we can talk about the
20 barriers, why we can't do it. Let's talk to
21 the people that are doing it. And I think
22 this would be sort of a great form to sort of

1 begin to get that to happen.

2 What is useable? What is really
3 happening out there that's really informing
4 clinical practice as well as policy. And I
5 think that is something I hope this group
6 won't forget about.

7 CO-CHAIR BRENNAN: Thank you.

8 Rita and then Lori. Yes? Am I
9 calling you the wrong name? I'm sorry.

10 MEMBER GAGE: It's all right.

11 CO-CHAIR BRENNAN: Thank you.

12 MEMBER GAGE: There is a lot going
13 on, actually, even within the Medicare
14 program, not so much -- well, I can't speak to
15 the physician. And the hospital end is kind
16 of coming along. But when it comes to the
17 area of post-acute care, the rest of the
18 delivery system, the skilled nursing
19 facilities, the rehab hospitals, the specialty
20 long-term care hospitals, the home health
21 agencies, CMS has been pretty busy the last
22 five or ten years in running a consensus-based

1 approach to identify what types of assessment
2 items or clinical items ought to be measured
3 on the different populations so that they can
4 monitor quality in the Medicare program, set
5 payment rates, all of that.

6 And, in complement to that, they
7 have been working on a lot of these
8 interoperability issues to develop the
9 HL7-associated terminology to be able to
10 transfer data across the different settings.

11 So, as this change is going on in
12 all of the ACOs and the A4FQs and the CVEs,
13 out here on the other part of the system, they
14 are kind of moving ahead. And, you know, they
15 have the LOINC codes, and they have the
16 interoperability. And they are working with
17 the standard committees to move it forward.

18 So, going back to Mary's point
19 about building on what is going on, there's
20 quite a bit going on outside of the physician
21 community and perhaps even within -- I just
22 don't know the physician community.

1 CO-CHAIR BRENNAN: Thank you.

2 Comment here? Okay. Lori? Wait
3 a second. Kevin has a comment.

4 DR. LARSEN: The only thing I will
5 say is that the national surveys show that the
6 penetration for electronic health records is
7 much higher in the acute care and ambulatory
8 care and that the long-term care penetration
9 and home care penetration of electronic health
10 records is actually much, much lower. The
11 adoption is quite low depending on how you
12 define the electronic health record.

13 MEMBER GAGE: Thank you. Very
14 important point about language. And what we
15 found in traveling across the country and
16 speaking to all of the different communities
17 is that language differs.

18 So the electronic health record,
19 the electronic medical record, you have the
20 little slice over here with the personal
21 health record, not completely duplicative.
22 And you have the existing system, which the

1 provider is using to manage their patient
2 care, manage their billing, submit the bills
3 to the payers.

4 So yes, I think we are just at the
5 start of it, but there's a lot in place on
6 which you can build.

7 MEMBER FRANK: Hi. Lori Frank,
8 PCORI. I was quite taken with this notion of
9 goal attainment scaling. When you put into
10 Pub. Med. "goal attainment scaling" and
11 "performance measurement," three articles pop
12 up, one of them from 1984.

13 I mean, that's not necessarily an
14 index of how widely this is used, but I am
15 interested in your opinion and others'
16 opinions about the advisability of GIS for
17 performance measurement.

18 And then perhaps David could
19 comment on whether there's an opening here for
20 some form of adaptive testing that could be
21 used for the goals.

22 DR. KOTAGAL: I can't speak to the

1 her pursuit, but I think goal attainment is
2 very much a part of the self-management work.
3 And, as providers are trained in really
4 working with patients on self-management,
5 posing the question really starts with asking
6 what your goal is. As part of our registries,
7 we are incorporating those into our models for
8 measurement and reporting.

9 There is a two-part process to it,
10 as we talked about. And the very important
11 part of it, as I said earlier, is flattening
12 the gradients between the provider and the
13 patient so that the conversation about
14 meaningful goals is real and not just simply
15 something that we pass on into the electronic
16 record. But if we are able to do that, I
17 think we will see much bigger shifts in
18 outcomes than we have to date.

19 DR. LARSEN: And I can speak to
20 the Minnesota perspective that I lived
21 through. So our patients in the medical home
22 program -- in Minnesota, it's actually called

1 the health home program. And it's not NCQA.
2 It's actually an 85-item Joint Commission-like
3 certification process, where they do site
4 visits and require a whole number of system
5 organizational culture and processes be in
6 place.

7 It's a fairly new program in
8 Minnesota. And I led the implementation at
9 our site. And it was really through that
10 process that they would come and assess, "Were
11 you living out the spirit of what we had in
12 our program, rather than looking for just
13 numbers?"

14 So it was a sort of accountability
15 program, but accountability, much more like a
16 Joint Commission certification accountability,
17 rather than a 60 percent of your patients
18 reached 5 or more.

19 They didn't really care about
20 that. They cared that when they watched our
21 clinicians talking to patients, our clinicians
22 really asked about the patients' goals. And

1 we really had a system to track the patients'
2 goals.

3 That's a fundamentally different
4 sort of qualitative approach to measurement
5 that there is some experience, especially
6 through the Joint Commission, in doing, but
7 it's really the approach that Minnesota took
8 in certifying its health homes.

9 CO-CHAIR BRENNAN: What you are
10 hearing is that the electronic health record
11 doesn't exist in a vacuum. It exists in a
12 context, in a system.

13 I see David. And did you also
14 want to speak? Yes? So David and then you.

15 MEMBER CELLA: Lori actually asked
16 about whether there would be an opportunity
17 for measurement or use of item response 3 with
18 goal attainment scaling or goal setting.

19 Actually, I used to work with Amy
20 Peterman, who is now at University of North
21 Carolina, Charlotte, developed one of these
22 goal attainment measures. They're very tricky

1 to score because you have to make an
2 assumption that, despite the variety of goals,
3 that the underlying thing that you're
4 measuring is attainment of that goal. And
5 it's difficult to pull that off.

6 However, I do think there is
7 potential here when I think about a goal of
8 being able to dance. You know, where I would
9 go with that is so -- so if I'm this patient's
10 doctor and this patient says that she wants to
11 be able to dance more, well, why can't she
12 dance as much as she wants? Is it fatigue?
13 Is it pain? Is it limited range of motion?

14 Now, we measure fatigue, pain, and
15 range of motion. We don't measure dancing.
16 But we measure the things that are interfering
17 with that person's ability to dance.

18 So I guess I would go down that
19 direction and say to the extent that the goals
20 can be broken down into clinically
21 intervenable elements that are PROs, then
22 you're back to the point where you're to help

1 the patient dance.

2 I assume that the doctor is not
3 giving a dance lesson.

4 (Laughter.)

5 MEMBER CELLA: You know, they're
6 treating the problem that the patient -- you
7 know, it could be depression. It may not be
8 something physical.

9 So that's a great idea. It's very
10 personalized. Of course, that's what means
11 something to patients. I guess I would work
12 toward breaking that down to the components
13 that are the clinical intervention points.

14 CO-CHAIR BRENNAN: Up here in the
15 front. And then we'll also check on the phone
16 line in just a minute to see if there's anyone
17 waiting to ask a question.

18 MEMBER WASSON: Just to carry
19 David's point further, frankly, very few
20 people have dance as a goal. And I think that
21 is an important point that when you look at
22 tens of thousands of people who do

1 problem-solving online -- and we have done
2 that -- they have pretty much come into
3 patterns, four or five patterns, for which
4 then you can ask the secondary question of,
5 how confident are you that you can reach this?
6 And you can norm across all the sites
7 immediately. So it's really not a difficult
8 issue technically.

9 CO-CHAIR BRENNAN: So there are
10 multiple approaches to getting that level of
11 a targeted, patient-focused --

12 MEMBER WASSON: Yes. I mean, you
13 just picture, for example, adult population.
14 You already know that about 80 percent, it
15 turns out, right now of adult Americans,
16 either they are overweight, they are not
17 exercising, they are smoking, or maybe they
18 are having a few too many drinks. You're up
19 to that number already.

20 And that can be fed back online in
21 real time "Which one do you want to work on?"
22 Which is their goal? They'll pick one of them

1 for starters. And then "How confident are you
2 right now?"

3 And they'll say, you know,
4 whatever the number, however you rate it. And
5 then they go into a registry, and you follow
6 them up. And you can see change over time,
7 however you decide to measure it. It's not
8 technically difficult just because, again, you
9 are going with the 80 percent rule. You're
10 not going with the dancing, which is probably
11 one of the one percent rules.

12 CO-CHAIR BRENNAN: I think what I
13 am also hearing is that there are emerging
14 information tools that might be external to
15 the clinical care facility that might be
16 useful in measuring, identifying achievement
17 of our accountability and quality improvement
18 and goals under NQF that may be useful to
19 examine.

20 MEMBER WASSON: Just to carry
21 Steve's point, Steve Fihn's point, further and
22 Kevin's point, as we move toward more and more

1 patient control of their information, it then
2 can be moved into the electronic health
3 record, but it need not be strangled by the
4 electronic health record, which is the problem
5 we have when we have 200 electronic health
6 records. And you just articulated an issue in
7 Maine.

8 CO-CHAIR BRENNAN: Let me check
9 and see if there's anyone on the phone. And
10 then we can go with the gentleman from
11 Hopkins.

12 MR. CUNNINGHAM: Excuse me.
13 Operator, would you check to see if anyone is
14 in the queue for questions or concerns?

15 THE OPERATOR: If anyone would
16 like to ask a question, please press *1 on
17 your telephone keypad.

18 CO-CHAIR BRENNAN: And while we're
19 waiting, please --

20 MEMBER WU: I would like to ask a
21 question. I guess I didn't get the exit
22 right. So I agree that, John, if you ask

1 people sort of what they want to work on,
2 they'll think of sort of -- most of them will
3 think of four pretty medicalized things, which
4 have been repeated to them over and over and
5 which the media repeats them over and over.

6 But I think that in the spirit of
7 it getting late in the afternoon, I would say
8 that we're not being very imaginative about
9 goal attainments. And, in fact, most people
10 have other goals that they want to achieve
11 that don't have to do with any of those
12 things. And so I still think there is some
13 room to develop this.

14 There's one tool that you're aware
15 of I'm sure that's called the C-Qual. It was
16 developed by an Irish group. And it basically
17 asks people to identify activities that they
18 would like to -- that they find important.
19 And they might include dancing or might not.
20 They might include going to church or all
21 sorts of things. But, in any event, they then
22 rank them in importance and so forth.

1 I think that there is more room to
2 come up with goals that are really more like
3 people's actual goals.

4 MEMBER WASSON: Just one point.
5 The tens of thousands of things that I've
6 alluded to are all open-ended. So I wasn't
7 medicalizing it in that sense, but I was using
8 the medical example as one to relate.

9 CO-CHAIR BRENNAN: Thank you.
10 Is there a question in the back
11 and then one up here in the front? Yes, sir?

12 MR. YANG: All right. So I am
13 going to comment on this from a health IT
14 vendor perspective.

15 CO-CHAIR BRENNAN: Okay.

16 MR. YANG: I know there has been
17 some mention about data standards. Kevin, you
18 mentioned some of those. And there are
19 several levels of data standardization.

20 And one of the things, actually,
21 as a vendor that we try to implement to some
22 sort of types of systems is to code whether,

1 for example, each questionnaire, you need to
2 have a code for it, right? And then maybe
3 down to the item level, you have to have a
4 code.

5 For that right now, LOINC and
6 SNOMED are the two places that have been doing
7 that. However, for LOINC, there is an issue
8 with the copyright. So they couldn't code out
9 of the questionnaires that are out there.
10 They can code only a few of them.

11 So I wonder if like an
12 organization like ONC is going to be able to
13 bring this issue up a level to see maybe what
14 LOINC -- because the way LOINC implements this
15 solution is assuming the instrument that they
16 are all treated as laboratory results. And
17 I'm not sure that's actually the right model
18 for it, but I just wonder if ONC is assisting
19 in that sort of a matter to maybe working with
20 LOINC or maybe HL7 to see how they can
21 incorporate that into the HL7 standards.

22 DR. LARSEN: Yes. Thank you. A

1 lot of us have been involved in this, Phyllis
2 from NCQA. We've talked to NQF about this.

3 There is this fundamental question
4 that if you're a researcher or a corporation
5 that develops an instrument and you have
6 invested a lot of R&D time and energy into
7 that instrument, how is it that we keep a
8 landscape of people willing to keep those up
9 and to keep those coming?

10 So intellectual property has
11 really been part of the way that that works,
12 right? It's just like drugs. However, as we
13 try to put these into federal incentive
14 programs, it's a hidden cost for a federal
15 incentive program if now everyone that uses
16 this instrument has to pay a licensing fee to
17 whomever is the holder of that intellectual
18 property.

19 And so I won't necessarily speak
20 for CMS, but I think I speak for CMS in that
21 they are looking for things that don't have
22 hidden costs. And ideally CMS would like to

1 pick public domain instruments, things that
2 don't have a hidden cost.

3 As we have been working through
4 some of the building out functional status
5 measures for the HR, we run into these
6 questions that if an organization or a
7 university or an individual holds intellectual
8 property and now we try to put it into the
9 measure for a federal incentive program and we
10 can't really resolve the intellectual property
11 questions, do we just eliminate that
12 instrument from an intellectual property and
13 hidden cost point of view and just go with
14 others instead?

15 If we do that, then we don't
16 necessarily have a landscape where people
17 develop those things because now they don't
18 have an incentive to develop those things
19 anymore.

20 So I don't know that I have an
21 answer to that question, but I think it's a
22 great thing for a group like this to think

1 through. I think it's especially good for NQF
2 as a measure endorser to think through.

3 We are taking tactical approaches
4 to figuring out how to build data standards
5 around those. And so far it has been pretty
6 easy except if the IP owner is in Europe
7 because they have no sort of reason to move at
8 our timelines here in the U.S. And although
9 they're nice enough, they don't feel the same
10 kind of pressure to get into a U.S. program
11 that a U.S. IP owner might.

12 CO-CHAIR BRENNAN: Thank you,
13 Kevin.

14 We are going to have to have the
15 last question now or comment here from the
16 table. And then I'll let the panelists have
17 the final remarks. You are all right. Okay.

18 Let me ask the panelists if you
19 have a closing remark. And if you don't, if
20 you could address one of the questions we've
21 left unaddressed, which is, what needs to
22 happen to get patient-reported outcomes into

1 an electronic health record? What would be
2 the first thing you would do?

3 And while you're thinking, I'll
4 start and say mine is I would resolve the
5 issue of at what point is it appropriate and
6 at what point is it risky for patient-reported
7 outcome to be released into a clinical record
8 system, where it enters back into the care
9 process in ways they may not understand, the
10 patient may not understand?

11 For example, experience data put
12 into the clinical record seems to me to be
13 inappropriate. But other patient-reported
14 outcomes might be actually quite useful.
15 However, there may be things patients will
16 refer to control.

17 MEMBER WILKINSON: I would be very
18 curious eventually how one would determine
19 what was important for the patient to know and
20 what the patient would actually understand.

21 The reason for my question is
22 probably obvious. It is that so many times

1 experience shows that, just as clinicians can
2 underreport something that the patient views
3 quite differently, as was shown on one of the
4 slides, I think that principle could be
5 extended to a lot of other settings in which
6 measures and others presume they know what is
7 understandable or important and they leave out
8 of the equation communication.

9 CO-CHAIR BRENNAN: Yes.

10 MEMBER WILKINSON: I am
11 oversimplifying for the purposes of time.

12 CO-CHAIR BRENNAN: No. We will
13 have a whole day for more conversation
14 tomorrow. So let me go down the road and let
15 Ted start and then Uma and then Kevin.

16 MR. ROONEY: I don't know the
17 right lever, but getting PROMIS and a general
18 health risk appraisal into every EMR so a
19 clinician could use it would be huge.

20 CO-CHAIR BRENNAN: Thank you.

21 DR. KOTAGAL: I think engaging the
22 clinicians through incentives in a way that's

1 meaningful to shift from clinical outcomes to
2 patient-reported outcomes.

3 CO-CHAIR BRENNAN: Excellent.
4 Kevin?

5 DR. LARSEN: So I am with Ted. I
6 have a little bit of mantra of just start.
7 Don't get worried that you don't exactly know
8 the whole ending goal. You've got to start
9 someplace.

10 And so then I am working very
11 tactically. What can I start with with
12 meaningful use 3? What is the right thing
13 that we can use that lever to build
14 infrastructure that gets us the furthest the
15 fastest in the best way?

16 And so I am open to your input.
17 Please help me and help our FACAs figure that
18 question out.

19 CO-CHAIR BRENNAN: And, David, you
20 have been so good to us all day I'm going to
21 let you have the very last word of the panel.
22 Thank you.

1 MEMBER CELLA: I like the idea of
2 just starting. You know, the kind of work
3 that we do and others of us, others of you who
4 do similar work, we can get very bogged down
5 in the detail and worry a lot.

6 And there is a lot of good
7 information that we can already capture. And
8 we are ready to go. And we'll get better
9 along the way.

10 CO-CHAIR BRENNAN: Thank you very
11 much. It's been a really excellent day. And
12 I want to just remind you, first of all, that
13 the panel would be here mostly for tomorrow.
14 Speak up more. All right. The panel will be
15 here mostly for tomorrow.

16 We're going to have a couple of
17 closing comments before the day is over. I
18 will be at -- I want you to just reflect for
19 a few minutes about the key points that you
20 heard through the day since tomorrow's work,
21 we'll be moving into small workgroups.

22 Now, several of you have noticed

1 that there is a small dot on the back of your
2 name tag. That identifies which workgroup
3 you're going to be in. And for those of you
4 who need a reminder, there is a paper on your
5 table that summarizes that. And there is also
6 a screen up here.

7 It is color-coded. If you don't
8 have a dot on your name tag, please see one of
9 our staff. The NQF staff will make sure you
10 are in a workgroup tomorrow.

11 The workgroups will be focused
12 tomorrow throughout the morning on, first of
13 all, breakout session for selecting
14 individual-level PROs for performance
15 measurement. And then there will be a
16 discussion on the next steps for what
17 characteristics should be used for selecting
18 PROs.

19 I am going to now turn to Karen or
20 Karen Adams or Helen to see if you have
21 closing remarks that you would like to make
22 for the day.

1 MS. PACE: I will just make a
2 quick note. As Patty said, if you don't have
3 a colored dot on your name tag, see Gene
4 Cunningham. And if we didn't receive your
5 request, we tried to honor first and second
6 requests. There is a limited availability to
7 switch, but one of the groups is totally full.
8 So, again, you would need to see Gene
9 Cunningham about that.

10 We really appreciate all of your
11 engagement. It's been very nice to see
12 everyone involved, including our audience.

13 And I'll just give it back to
14 Patty. And also, Joyce, do you want to make
15 any comments?

16 CO-CHAIR BRENNAN: So on behalf of
17 Joyce and myself, we want to thank you for
18 taking the time to be here. We want to thank
19 you to all the speakers today and particularly
20 to David for a great deal of work and
21 provocative thinking.

22 We want to thank all the

1 curmudgeons and the provocateurs in the room.
2 Please come back tomorrow and be provocateurs.
3 Thank you very much.

4 (Whereupon, the above-entitled
5 matter went off the record at 4:45 p.m.)
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

| A | | | | |
|----------------------------|----------------------------|---------------------------|-----------------------------|---------------------------|
| AAMC 362:6 | 150:4 220:9 | 222:10,21 226:18 | ACO-type 105:22 | 310:15 |
| AARP 1:13 4:4 | 222:14,15 235:7 | 235:19,20 243:7 | acquire 317:10 | added 75:8 118:12 |
| 24:19 | 295:18 312:6 | 262:21 273:17 | act 147:10 201:14 | 122:2 312:9 |
| abilities 267:9 | 353:18 | 276:13 288:20 | 286:22 | adding 207:8 |
| ability 23:11 48:17 | absorb 150:12 | 294:11 298:16 | acted 158:6 262:16 | addition 39:5,17 |
| 90:8 104:18,19 | abstraction 338:8 | 301:11 304:9,18 | action 75:11 | 75:9 185:1 329:18 |
| 148:1 152:10 | abundant 372:13 | 304:20 317:4 | 160:16 162:13 | additional 27:3 |
| 200:6 204:13 | academic 241:19 | 360:19 383:14,15 | 252:22 253:2 | 31:16 32:12 |
| 210:14 232:9 | 325:14 | 383:16 388:17 | 325:21 | 191:12 |
| 253:7 276:3,20 | Academy 21:1 | accountable 19:17 | actionability | address 40:3 49:3 |
| 277:17,18,20 | accept 210:19 | 29:17 30:1 99:22 | 258:10 262:10 | 52:7 53:9 57:7 |
| 285:2,4,12 342:9 | acceptable 244:20 | 105:14 112:10 | actionable 52:12 | 81:11 91:11,15 |
| 385:17 | accepted 47:15 | 122:13,14 232:2 | 245:12 253:3 | 223:4 224:9 |
| able 13:5 23:6 26:8 | access 48:13,17 | 245:11 275:13 | 257:11 299:21 | 225:20 234:15 |
| 44:16 49:12,17 | 56:5 58:2 61:22 | 280:5 294:5 | 300:5 | 246:21 272:18 |
| 50:20 51:3 59:3 | 62:5,7,8 63:14 | 364:12 | actions 76:10 | 283:18 377:6 |
| 62:6,11 81:2 | 74:17 81:16 | accreditation 73:1 | activated 46:6 | 395:20 |
| 82:17 90:2 91:11 | 148:22 150:6 | accumulation | active 161:16 | addressed 39:3 |
| 107:1,2,20 108:2 | 203:1 236:14 | 268:14 | 350:18 | addresses 36:21 |
| 108:5,7 138:15 | 276:1 277:5,18 | accuracy 176:17 | actively 66:9 | 100:20 |
| 165:11 166:16 | 278:6 303:14 | 215:10 | 105:19,22 144:19 | addressing 57:19 |
| 183:3 222:9 223:2 | 322:14 347:3 | accurate 215:7,15 | activities 7:11 59:4 | 69:14 376:5 |
| 234:18 253:1,4 | 362:18 | 215:16 | 166:15 390:17 | adequate 118:15 |
| 254:7 255:17 | accessibility 96:21 | accurately 42:13 | activity 312:1 | 150:12 250:17 |
| 256:8 273:21 | 174:15 | 214:12 | actual 21:15 45:12 | 251:16 253:6 |
| 288:7,21 300:2,13 | accessible 46:7 | achieve 40:9 136:3 | 137:6 322:9 391:3 | 286:3 |
| 301:10,12 305:4 | 77:11 | 210:8 390:10 | acuity 267:9 | adhere 30:5 |
| 305:10,11 327:16 | accessing 56:10 | achievement 57:9 | acute 53:17 103:8 | adherence 291:11 |
| 333:3 334:2 337:2 | 58:6 | 388:16 | 118:5 137:9 380:7 | 291:20 |
| 339:14 343:8 | accommodate | achievements | acutely 67:6 | adjuncts 127:9 |
| 346:4 362:21 | 341:15 342:9 | 307:14 | ad 327:19 | adjust 94:15,21 |
| 363:1 367:21 | 368:18 | achieving 131:11 | Adams 3:7 6:21 | 95:1 193:20 |
| 368:9,15,18 369:2 | accompany 326:16 | 290:2 291:4 | 22:1,10 400:20 | 194:11 222:4 |
| 369:7,11 374:10 | accompanying | acknowledge 34:14 | adaptable 362:17 | 225:15 283:1,4 |
| 375:8,10,11 379:9 | 247:9 | 41:15 216:7 | adaptation 175:8 | 328:5 |
| 382:16 385:8,11 | accomplish 87:16 | acknowledged | adaptations 256:3 | adjusted 57:16 |
| 392:12 | 143:21 195:9 | 96:10 | adapting 47:17 | 219:17 |
| above-entitled | 367:21 | acknowledging 4:6 | adaptive 160:14 | adjusting 283:15 |
| 110:8 240:19 | account 105:21 | 38:4 43:6 85:4 | 176:12 178:12 | adjustment 93:12 |
| 316:9 402:4 | 109:22 112:12 | 112:19 | 188:6 206:22 | 286:11,13 294:15 |
| absent 136:8 | 244:16 269:2 | ACO 19:18 74:2 | 284:20,21,22 | adjustments |
| absenteeism 74:19 | 370:18 | 143:17 149:19 | 285:3 381:20 | 194:10 220:10 |
| 238:1 | accountability 9:20 | 195:11 202:20 | add 25:1 52:10 | administer 159:8 |
| absolute 281:22 | 12:11 24:7 52:9 | 374:18 | 57:4 73:2 76:19 | 183:19 248:18 |
| absolutely 86:11 | 99:18 109:21 | ACOs 105:20 | 77:9 78:20 153:15 | 370:12 |
| 105:3 106:7 150:2 | 112:12 202:19 | 149:14 354:15 | 207:4 211:3 | administered 158:5 |
| | 203:4 218:4,11 | 379:12 | 229:22 236:18 | 158:9,13 159:19 |

| | | | | |
|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 176:21 189:11 194:17 297:15 298:1 administering 176:5 administration 1:19 4:10 26:15 46:20 59:10 63:11 108:15 159:22 160:3,4,5 163:6 167:21 168:16,19 169:3 170:8,12 171:12,13,20 173:1,5,17 193:11 193:15 194:17 204:22 211:17 214:4 217:9,21 219:13 255:15 ADMINISTRAT... 4:21 administrator 255:4 320:20 administrators 127:3 adopt 313:10 adopted 108:14 186:15,18 193:4 220:8 adopter 297:20 adoption 205:4 380:11 adult 55:10 177:7 387:13,15 adults 285:22 advance 41:1,2 153:2 163:2 188:1 advanced 55:6 185:6 286:12 319:22 356:10 advantage 108:18 186:19 189:13,19 219:14 advantageous 192:7 advantages 44:21 167:8,22 169:10 189:4 190:12 | 191:16,22 285:4 adverse 43:8,17 44:1 185:8 advisability 381:16 advising 132:13 advisor 287:18 advisory 348:9 advocate 50:3 Affairs 64:18 206:1 affect 90:17 158:13 177:10 244:22 affordable 75:18 117:6 286:22 Afghanistan 66:2 afraid 42:16 afternoon 316:12 317:15 325:8 390:7 afterthought 13:7 after-hours 276:3 age 15:8 47:1,7 86:16 142:2 191:4 254:16 aged 269:2 agencies 61:4 207:2 378:21 agency 97:16 agenda 24:12 aggregate 23:22 30:22 231:19 232:13 294:4 295:6 317:14 352:14 359:13 aging 53:22 ago 78:20 111:20 135:13 219:11 271:19 297:8 320:19 330:8 336:15 351:16 352:20 agree 72:8 76:2 83:16 84:16 102:3 102:13 105:3 173:6 228:4 246:15 309:17,22 389:22 agreeing 334:13 | agreement 40:4 42:22 166:9,12,13 166:17 345:18 368:7 ahead 6:4 17:17 28:1 38:2 128:4 150:14 153:12 156:15 183:8 219:8 222:6 224:21 228:12 235:14 241:4 262:18 280:12 375:6 379:14 aide 356:17 airplane 84:20 akin 70:12 Al 81:6,7 83:13,15 241:5 280:11 304:19 Alabama-Birmin... 2:22 Albert 2:24 5:6 36:8,9 220:17 241:13 308:8 alcohol 66:4 alert 174:17 alerts 322:15 algorithm 194:2,12 algorithms 187:9 189:16 324:12 369:14 align 120:16 Aligning 75:7 350:15 alignment 12:6 alliance 1:14 5:1 195:8 allocation 186:10 allow 185:6 220:14 257:4 278:4 290:15 295:14 327:9 allowing 167:22 188:1 257:14 allows 82:14 144:20 165:6 187:5 254:7 | 285:21 329:8 363:6 alluded 46:15 87:3 128:11 129:17 147:8 165:9 167:1 173:3 391:6 alluding 87:18 alpha 251:13 295:12,13 310:1,3 alter 102:6 158:17 alternative 62:15 255:22 284:10,19 alternatively 311:2 Alternatives 255:14 Alvan 295:16 always-present 248:12 Alzheimer's 55:6 ambulance 303:15 ambulatory 380:7 amelioration 53:21 American 21:1 28:6 122:4 283:12 306:17 365:21 366:22 367:1 Americans 387:15 AMI 116:3 266:4 Amory 133:7,13 amount 42:11 59:3 66:7 104:8 174:22 216:18 311:3 326:17 amplified 137:7 Amy 384:19 analogue 249:16 analyses 78:15 219:17 analysis 16:11 63:12 197:20 283:2 analytic 256:22 321:15 analytically 286:2 analytics 293:21 analyzing 63:16 anchor 209:21 | 284:13 anchor-based 284:10,11,14 ancillary 56:13 Anderson 2:18 animation 182:5 Anne 1:17 10:17 announcement 240:10 annoyed 307:18 annoying 314:20 annual 100:8 128:16 149:18 266:5 answer 45:15 69:20 83:22 127:1 132:17 142:3 156:14 158:15 166:2 176:1 180:7 180:8 201:11,16 210:10 223:6 237:4 264:9 266:17 293:8,9 308:3,18 362:10 394:21 answering 314:9 331:8,9 answers 42:9 80:1 95:5 127:16,20 130:8,13 150:15 179:13,22 200:2 245:1,1,2 anticipate 320:8 anticipated 174:5 anticipating 124:18 anxiety 122:2 174:8 349:5 anxious 174:17 anybody 28:2 29:14 51:20 99:3 361:6 anymore 321:6 346:6,8 347:1 348:3,16 350:20 356:11 394:19 anyway 240:15 289:1 370:17 |
|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | |
|---------------------------|---------------------------|---------------------------|----------------------------|---------------------------|
| aortic 118:12,14 | 187:21 192:18,20 | 372:17 | aspects 111:13 | Associates 1:25 |
| apart 42:5 170:18 | 193:6 194:6 217:2 | arguing 132:10 | 258:14 | Association 2:6,10 |
| apologize 22:9 | 218:1 281:17 | argument 72:5 | assembled 55:18 | 4:12,17 40:16 |
| 122:6 | 282:6 283:3,19,22 | 210:12 | assess 59:20 61:13 | 58:17 230:16 |
| app 66:12 341:4,5 | 284:4,11,11 | arms 119:18 | 165:20 383:10 | assume 91:3 |
| 341:6,8 345:18 | 294:17 387:10 | Arnie 229:11 | assessed 33:22 34:2 | 178:21 179:5,6 |
| appears 196:20 | 395:3 | arrive 93:12 | 89:6 | 386:2 |
| applaud 67:4 | appropriate 46:19 | artery 118:13 | assessing 75:14 | assuming 392:15 |
| Applause 110:4 | 50:6 55:22 56:11 | arthritis 134:7 | 191:17 281:12,16 | assumption 385:2 |
| 316:6 | 58:2 63:15 65:7 | 144:8 181:17 | 281:20 286:3,8 | assurance 2:21 |
| Apple 340:11 341:5 | 89:1 101:12 | 267:20 311:11 | assessment 22:16 | 61:7 99:13 227:4 |
| 345:18 | 163:13 396:5 | arthroplasties | 44:22 48:4 50:16 | assure 339:13 |
| applicable 31:6 | appropriately | 100:22 | 66:16 108:22 | asthma 237:20 |
| 123:21 371:21 | 262:16 | arthroplasty 252:9 | 123:5 134:19 | 238:5,8,11 239:3 |
| application 192:21 | appropriateness | 268:5 | 142:16,16 162:3 | 239:22 278:15 |
| applications 28:11 | 15:7 | article 283:12 | 165:10,16 167:6 | 297:4 370:1 |
| 283:21 285:9 | approval 11:2 | articles 381:11 | 170:14 173:16,21 | asynchronous |
| 319:11 320:3 | approved 47:15 | articulate 291:2 | 175:7 176:12 | 125:8 |
| 326:5 | apps 66:13 345:21 | 343:4 | 192:15,16 220:3 | atmosphere 44:18 |
| applied 251:11 | 345:22 | articulated 294:13 | 220:12 253:3,4 | attached 299:7 |
| 368:14 | April 143:3 | 389:6 | 287:4 288:3 | attain 152:6 |
| apply 205:7 254:12 | apropos 70:8 | articulating 342:8 | 303:11 310:6 | attainment 381:9 |
| 351:20 373:2,7,8 | apt 101:19 | ascertain 87:15 | 317:1,2 323:11,15 | 382:1 384:18,22 |
| applying 253:11 | arbiter 107:13 | ascertainment | 324:10 370:2,3,5 | 385:4 |
| appointment | 108:4 | 87:10 | 370:7,16 379:1 | attainments 390:9 |
| 319:21 | arbitrary 226:10 | ASHLEY 3:16 | assessments 48:10 | attainment 381:10 |
| appointments | area 30:11 45:2,21 | asked 43:9 53:9 | 109:2,11,11 178:5 | attend 23:12 |
| 296:8,9 | 70:6 75:22 84:10 | 76:1 116:11,15 | 186:16 188:16,16 | attendants 98:2 |
| apportion 203:3 | 98:3 104:7 109:19 | 124:14 133:4 | 188:21 190:22 | attention 146:5 |
| appraisal 356:15 | 111:7 131:16 | 155:18 166:2 | 220:6 255:6 | 166:21 167:17 |
| 397:18 | 160:13 186:17 | 168:17 181:2,6 | assessor 352:13 | 320:22 355:13 |
| appraised 29:3 | 215:4 229:17 | 223:4 225:2 | assist 44:10 86:4 | 358:14 360:16 |
| appreciate 64:14 | 233:5 268:21 | 272:18 281:5 | 234:11 | attitude 96:8 |
| 91:9 272:16 325:5 | 269:9 330:12 | 298:2 308:21 | assistance 50:7 | attractive 101:3 |
| 345:8 348:4 | 371:20 378:17 | 309:5,10 325:11 | 55:16 56:7,10 | attribute 191:3 |
| 401:10 | areas 16:17 17:13 | 383:22 384:15 | 85:14 191:12 | attributes 32:5 |
| appreciated 145:8 | 17:16 18:10 42:15 | asking 29:1 42:6 | 351:9 | 33:2 246:21 247:2 |
| 205:12 262:16 | 53:9 57:7 61:21 | 71:5 79:15 126:21 | assistant 124:3 | attribution 29:20 |
| approach 145:4 | 62:1 71:14 100:20 | 130:18 156:7 | assistants 126:12 | 30:7 210:1 |
| 152:21 223:4 | 101:21 102:10 | 184:6 211:14 | 126:15 127:7 | at-home 48:21 |
| 281:21 282:3,20 | 104:15 115:21 | 261:7 307:12 | assisting 392:18 | audience 24:12 |
| 284:14 285:3 | 131:12 159:21 | 315:2 382:5 | assistive 47:9 | 80:9 95:6 99:10 |
| 345:16 377:8 | 177:5 183:2 | asks 180:6 326:22 | associated 42:21 | 151:8,11 153:12 |
| 379:1 384:4,7 | 217:11 231:9 | 390:17 | 43:22 122:18 | 203:12,15 230:13 |
| approached 196:4 | 352:22 | aspect 70:20 188:7 | 163:11 252:20 | 233:17 235:10 |
| approaches 63:16 | argue 71:13 94:14 | 191:15 251:3 | 270:15 281:4 | 240:7,11 316:1 |
| 88:14 161:13,14 | 224:11 233:6 | 252:13 320:16 | 282:18 | 401:12 |

| | | | | |
|---------------------------|-------------------------|---------------------------|---------------------------|----------------------------|
| audio 95:10 154:15 | 110:10 | balance 114:8 | 227:18 231:14,15 | behalf 47:11 79:19 |
| Audit 66:4 | A1c 126:7 231:11 | 328:5 360:18 | based 9:18 10:10 | 140:18 164:8 |
| author 39:17,18 | A4FQs 379:12 | balanced 273:4 | 31:8 42:8 48:5 | 401:16 |
| 40:14 | | bang 109:16 | 57:14 89:4 97:11 | behavior 34:16 |
| authoritative 4:7 | B | bank 177:19,19,21 | 104:5 118:5 138:1 | 269:6 280:1 |
| 38:5 40:6 83:16 | back 15:14 21:12 | 179:11 181:15 | 138:11,17 169:18 | 328:11 |
| 85:5,22 89:21 | 27:8 30:8,11 | 188:16 363:10,12 | 173:21 175:22 | behavioral 55:8 |
| 91:5,13 112:20 | 31:20 38:1 49:14 | 364:3 | 227:16 259:7 | 280:6 |
| authoritativeness | 52:2 62:13 63:21 | Bankowitz 1:13 5:1 | 266:13 323:4 | behaviors 25:22 |
| 38:10 | 67:22 69:22 73:9 | 162:4 195:4 | baseline 74:17,18 | 26:1 177:11 |
| authority 230:11 | 86:6 92:1 93:18 | 224:19,22 236:10 | 123:6 152:7 281:7 | 266:14 268:16,19 |
| authors 40:15 | 95:17 103:14,14 | 237:4 | 282:1,16,17 283:1 | behold 179:11 |
| 205:13 211:17 | 103:15,16 106:16 | banks 177:6 178:11 | 283:4,8,15 | 182:13 |
| 213:11 240:11 | 107:10 110:7,20 | 188:4,10,13 | bases 122:1 | belabor 161:20 |
| autism 55:9 | 132:11 135:21,21 | 220:14 | basic 12:21 143:17 | belief 172:1 228:22 |
| automate 338:5 | 135:21 137:16,17 | bar 11:8 45:19 | 303:15 323:5 | 229:3 |
| automated 160:4 | 137:18 138:15 | 362:15 | basically 69:2 | believe 57:3 90:19 |
| 256:17 321:12 | 144:10 151:10 | Baranowski 306:16 | 197:18 259:20 | 91:1 130:15 132:7 |
| automatically 91:5 | 153:11 154:18 | 306:17 | 390:16 | 147:21 166:1 |
| autonomy 235:2 | 158:14,18 159:16 | Barb 10:17 235:13 | basics 62:14 95:18 | 172:2,9 226:20 |
| availability 63:1 | 162:19 163:15 | Barbara 1:21 2:17 | 304:21 | 326:4 353:17 |
| 401:6 | 182:2,21,22 186:7 | 151:13 235:11 | basis 151:20 156:7 | 364:2 371:1 |
| available 47:14 | 209:8 230:12 | bariatric 268:6 | 194:19 243:18 | believes 130:6 |
| 77:18 83:5 104:8 | 235:11 236:16 | barometer 175:13 | 263:2 335:13 | Bellows 1:15 5:8 |
| 104:14 134:4,4 | 240:8 245:16 | barrier 35:18 | battle 346:14 | 245:20 258:5 |
| 167:7 199:22 | 258:21 267:19 | 96:20 | Bay 196:1 | 261:3 292:20 |
| 246:21 249:17 | 271:18 277:7 | barriers 46:14,18 | Beacon 195:21 | 308:20 309:8 |
| 255:9 256:1,10 | 294:20 302:3,5,17 | 50:13 62:11 77:15 | bear 162:16 260:1 | 315:7 |
| 257:4 320:2 | 303:2,3 304:21 | 91:14 113:20 | 345:5 | Beltway 28:17 |
| 332:19 | 306:15 307:1 | 161:5 213:7 | beat 299:1 | 375:21 |
| average 54:2 | 309:7 313:12 | 368:16 377:20 | beautiful 64:4 | bench 62:1 |
| 139:17 140:16 | 314:12,18 315:1,6 | bars 43:1 | beautifully 315:19 | benchmark 148:2 |
| 141:19 172:18 | 315:11 317:17 | Basch 1:14 4:22 | beauty 258:20 | beneficiaries 55:1 |
| 189:15 253:13,16 | 328:2 329:11 | 42:19 88:7,7 | becoming 237:13 | benefit 9:10 68:16 |
| 255:10 | 330:21 343:12 | 131:22 147:5,6 | 293:3 313:9 | 70:5 140:10,13 |
| avoid 190:9 261:22 | 346:5 348:22 | 157:4,5 184:17 | bedside 300:6 | 142:15,16 213:2 |
| 262:1 | 355:9 364:15 | 195:2 203:9 | beer 133:9 | 350:10 |
| avoidable 268:13 | 379:18 385:22 | 216:14 218:19 | began 186:12 238:9 | benefits 68:6 70:19 |
| 268:20 269:3 | 387:20 391:10 | 219:1,7 220:17 | 239:4 326:22 | 121:5 143:13 |
| AVR 118:12 | 396:8 400:1 | 221:18 224:10,21 | 331:2 353:15 | 265:7 |
| 120:22 | 401:13 402:2 | 225:21 227:1 | beginning 9:8 | bent 288:18 |
| aware 43:13 287:10 | backed 82:12 | 228:9 230:10 | 12:20 76:12 | Berwick 69:1 206:2 |
| 390:14 | background 8:4 | 232:14 235:14 | 114:20 115:5 | 208:17 |
| awareness 317:14 | 87:19 111:10 | 236:9,21 237:15 | 119:16 178:21 | best 7:5 26:9 46:17 |
| A-F-T-E-R-N-O-... | 306:20 | 240:5 291:7 | 227:8 327:13 | 53:10 61:14 62:10 |
| 241:1 | backward 274:8 | 370:21 371:5 | 369:1 371:12 | 77:4 145:6,20 |
| a.m 1:9 6:2 110:9 | bad 167:19 | base 72:16 153:8 | begins 331:22 | 167:17 179:10 |

| | | | | |
|----------------------------|----------------------------|---------------------------|---------------------------|----------------------------|
| 197:17 211:1 | 241:19 263:8,11 | blood 126:7 337:3 | breakout 400:13 | 299:14,16 |
| 243:12 264:4 | 334:8 348:14 | Bloomberg 241:14 | breast 77:22 | British 152:19 |
| 285:18 287:13 | 356:9 359:20 | blue 17:12 18:3 | 260:11 | 153:7 |
| 317:7,21 337:4,5 | bigger 173:12 | 45:18,19 139:10 | breath 372:9 | broached 155:18 |
| 357:15 372:6 | 382:17 | 139:22 142:10 | Brennan 1:9,12 4:2 | broad 11:15 85:13 |
| 398:15 | biggest 103:20 | 149:21 179:20,21 | 5:15,21 6:15 | 159:21 |
| Beth 41:12 | 104:2 109:16 | 230:16,16 | 21:21 28:15 29:8 | broaden 319:9 |
| better 15:1 25:7 | 202:10 | BlueCross 2:9 4:17 | 30:10,14 34:4 | broadened 236:4 |
| 36:4 38:6 46:4,9 | billing 373:16,18 | BlueShield 2:10 | 37:1 88:4 316:12 | broadening 45:7 |
| 47:14 50:10 72:3 | 381:2 | 4:17 | 316:13 324:19 | broader 11:16 |
| 101:19 102:1 | billion 65:9 | Blum 234:6,6 | 325:4 334:21 | 13:19 43:19 57:19 |
| 133:10,10 134:20 | bills 381:2 | Blumenthal 371:12 | 335:14,19 344:16 | 82:1 85:9 165:6 |
| 136:2 138:15 | binding 187:15 | blurry 224:15 | 348:4 349:14,18 | 237:14,19 265:18 |
| 140:16 142:11,20 | biometrics 268:16 | board 306:18 362:6 | 360:21 367:10 | 303:13 330:1 |
| 143:18,18,19 | bios 40:11 161:21 | 362:7 366:2,12,22 | 374:1 376:2 378:7 | broadly 115:20 |
| 146:12 149:6 | bit 7:10 8:3,5 10:6 | 367:1 376:12 | 378:11 380:1 | 306:6 337:12 |
| 165:12 166:12,13 | 11:4 16:14 20:8 | boards 362:7 | 384:9 386:14 | broke 17:6 |
| 167:10 182:19 | 32:14 35:3 52:18 | 366:20 | 387:9 388:12 | broken 385:20 |
| 188:13 202:7 | 82:1 83:20 85:9 | body 138:13 | 389:8,18 391:9,15 | Brookings 1:17,21 |
| 214:11 219:17 | 85:13 89:18 | Boeing 73:22 74:10 | 395:12 397:9,12 | 151:14 275:10 |
| 220:1 226:21 | 106:18 114:4 | bogged 399:4 | 397:20 398:3,19 | 278:2 |
| 239:21 248:11 | 115:8 120:16 | bolts 371:17 | 399:10 401:16 | brother 336:7,13 |
| 250:19 251:7 | 137:8 139:1 140:8 | bombs 348:1,2 | bridge 192:19 | 336:21 337:12 |
| 271:9,13 272:12 | 150:14 153:7 | boost 171:22 | bridges 188:11,19 | brought 106:17 |
| 275:15 276:9 | 154:22 160:3 | bore 205:16 | 350:21 351:7 | 107:11 117:9 |
| 277:21 285:5 | 205:3 235:16 | Borun 2:15 | 374:6,11,12 | 257:1 271:18 |
| 287:22 288:7 | 237:19 244:11 | BOSSLEY 3:8 | bridging 187:13 | 273:8,13 299:13 |
| 312:6,8,16,17 | 246:1 258:8 264:2 | Boston 2:1 4:24 | brief 134:2 234:7 | Bruner 43:16 |
| 313:2 323:19 | 268:8 272:11 | 108:12 115:14 | 255:7 289:11 | BSN 2:11 |
| 333:8 334:16 | 276:9 279:14 | 161:22 | 336:6 | BU 185:17,18 |
| 343:13 399:8 | 280:8,9,13 289:4 | bottom 17:1 140:15 | briefly 7:10 8:5 | bubble 25:6,10 |
| beyond 105:15 | 297:18 299:18 | 277:15 333:10 | 10:4 18:5 157:20 | buck 109:16 |
| 116:20 314:16 | 311:2 326:9 | bought 78:4 374:21 | 189:5 | bucket 280:1 |
| bias 42:6 43:11,11 | 334:22 335:9 | boundaries 37:9 | Brigham 115:16 | build 53:10 83:11 |
| 67:12 100:18 | 339:3 363:22 | box 18:6 279:15 | bright 337:2 | 143:3 264:5 |
| 102:8,13 168:6 | 364:8 369:4 | 303:8 | BrightOutcome | 314:11 315:5 |
| 170:16,21 175:1,3 | 370:22 379:20 | boxes 18:8 142:13 | 33:19 | 323:1 327:13,21 |
| 194:11 221:13 | 398:6 | Bragg 351:22 | bring 8:19 11:1 | 340:19 343:2 |
| 222:4 286:11 | black 179:18 | 374:10 | 22:12 88:9 204:20 | 345:12 381:6 |
| 325:21 | blame 41:17 | Branch 2:9 5:12 | 231:11 240:1 | 395:4 398:13 |
| biased 193:14 | blanking 122:5 | brand 151:1 | 260:1 289:19 | building 83:11 |
| biases 159:17 | blended 244:18 | break 4:14 5:4,13 | 290:21 392:13 | 328:19 338:19 |
| 194:20 220:20,22 | blind 84:17 255:18 | 17:22 38:1 110:6 | bringing 14:8 | 339:6 340:18,22 |
| bidirectional | 290:8 | 156:11 316:3 | 15:10 22:17 | 363:3 368:6 |
| 212:13 | block 240:13 368:6 | 332:1 | 129:21 290:2 | 379:19 394:4 |
| big 9:6 69:9 101:15 | blocks 83:11 339:6 | breaking 16:12 | brings 24:18 27:12 | builds 35:2 |
| 197:3,20 225:6,13 | 340:18 | 386:12 | 70:14 75:3 272:15 | built 175:20 242:10 |

| | | | | |
|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 242:13 315:18 320:4 341:5 343:5 343:6 347:19 built-in 242:9 bullet 32:10 188:15 191:8 192:15 burden 50:22 67:13 120:14 122:3 124:11 154:2 169:20 174:10,13 211:18 255:1 294:3 331:10 burdensome 211:19 Burstin 3:9 4:4 6:3 6:5 7:16,20 8:2 45:20 82:8 183:21 business 1:23 4:11 73:13 119:20 121:14 125:22 261:9 354:7 busy 145:14 364:22 378:21 Butt 41:13 button 106:14 buy-in 35:8,16 36:4 292:4 322:2,4 323:7 bypass 118:13 bypassing 223:11 223:11 byproduct 352:10 359:21 | 271:22 281:22 calculation 190:20 191:5 calculator 142:1 calibrate 190:8 calibrated 177:15 177:16,20 California 74:22 California-San 30:18 89:18 call 9:6 64:9 65:16 74:2 84:19 94:4 124:17 170:14 235:18 295:19 304:2 314:5 336:19 341:10 364:20 370:13 called 66:17 73:20 97:22 139:20 160:14 177:18 185:4 194:12 296:22 330:19 347:12 368:5 374:19 382:22 390:15 calling 336:15 378:9 calls 204:14 camel's 346:19 CAMPBELL 3:11 cancel 296:8 cancer 1:15 2:18 4:22 42:21 43:16 77:22 88:8 116:3 157:5 181:17 182:2 183:7 184:11 candidates 265:10 cap 293:20 capabilities 256:18 256:22 321:15 capability 47:6 111:22 capable 6:15 108:6 capacity 372:18 captivated 149:14 capture 31:16 | 42:13 51:12 146:10 169:15 204:6 257:3 324:11 338:6 373:20 399:7 captured 117:14 captures 265:19 card 78:10 carder 82:13 cardiac 121:10 123:4 cardiometabolic 268:17 cardiovascular 16:19 care 14:4,16,20 16:7 23:9,12,17 24:3 25:12,15 28:21,22 29:2,9 29:17 30:8 34:2 37:3,5,7 38:12 44:9,11,19,21 45:3,6,17 46:2,8 47:3 49:6 53:17 54:1 55:4 56:11 58:2 61:8 62:9 64:2 65:12 66:3 68:4 69:1,4,10 70:9,17,19 71:1 71:16 72:18,19 73:4,9,21 74:4,4,6 74:18 75:12,17,19 77:6 83:3 86:3 87:13 89:14 93:19 97:10 102:5 105:5 105:13,14 106:3,6 109:7,13 111:13 112:10 114:19 115:13,21,22 116:2,7,8,16,22 117:5,17,21 118:8 118:10 120:17 132:13 133:10 134:9,18,19 135:4 135:8,12,17,19 136:21 137:6,9,10 138:3,17 141:3,3 | 143:14,20 144:1 144:21,22 145:1,4 145:7 146:18 147:18,22 148:14 149:13 150:3,5,12 150:15 152:10 158:1 159:6 162:5 174:19 188:18 189:14 192:18 195:12,18 206:2,7 206:13 207:4,12 220:16 231:4 232:2,7 236:14,19 259:1,11 260:4 263:15,16,19 270:16 274:16 275:13,21 276:3,8 276:22 277:13 278:3,14 279:4 286:22 287:12,16 293:14,16 294:5 297:3,16 298:7,10 298:19 303:21 304:14 306:1,3 310:22 311:22 312:5,9,11 313:1 313:7,8 314:15 315:17 316:22 317:3,13 319:13 319:22 320:1,5,6 322:18 325:17 327:1,22 329:20 332:17 336:11,11 338:2 342:19,22 347:19 349:2 350:15 352:10 353:14 354:6 356:10,17 357:5 358:4,11,12 359:3 359:22 360:5,7 363:18 366:7,15 371:5 374:8 376:7 378:17,20 380:7,8 380:8,9 381:2 383:19 388:15 396:8 cared 266:19 | 383:20 career 70:4 careful 102:14,22 131:2 146:15 158:16 159:7 166:21 167:16 170:19 261:12 288:2 Carolina 384:21 Carpal 270:7 carry 23:7 204:17 386:18 388:20 case 19:19 46:22 55:19 56:12 74:7 100:22 101:12,13 127:3 134:6,9 138:12 142:3 144:14 152:3 165:19 180:12 181:8 182:3,10 199:2 214:10 215:4 290:3 334:5 354:7 368:10 cases 68:15,16 183:1 232:22 233:1 311:9 329:22 368:4 cash 97:22 339:3 CAT 160:14 162:12 162:18 171:11 175:18 177:1 178:20 179:11 188:5 189:1,6,18 189:20 190:6,8,11 191:8 192:4,20 220:14 306:22 catalogued 208:4 cataract 21:4 catch 119:22 category 191:1 344:13 CATs 323:17 CAT's 181:19 caught 46:3 cause 322:15 caused 55:9 causes 215:22 |
| C | | | | |
| C 66:4 CABG 116:2 118:11 120:21 121:1 123:3 263:18 cacophony 14:2 CAHPS 21:13,15 45:6,11 260:7 273:10 276:2,9 354:22 calculate 78:12 | | | | |

| | | | | |
|----------------------------|---------------------------|---------------------------|----------------------------|----------------------------|
| causing 101:16 | 293:2 376:16,17 | 360:10 | charges 82:19 | chronic 73:11 74:3 |
| caution 173:14 | CEO 115:18 130:5 | change 64:7 75:15 | Charles 2:6 4:12 | 102:20,21 118:7,8 |
| 229:22 | CEOs 115:18 | 100:8 107:6 | 40:16 51:21 64:8 | 137:10 171:9 |
| cautious 130:7 | certain 42:15,15 | 116:13 119:2 | 76:2 | 267:16 268:2 |
| caveats 283:14 | 215:10 268:2 | 125:20 129:19 | Charlotte 384:21 | 278:19 326:14 |
| CDC 54:4 | 269:21 279:5 | 131:3 145:6 | chart 18:8 78:17 | 327:2,22 329:3 |
| ceiling 190:15 | certainly 17:14 | 149:12 150:4 | 338:8 351:19 | church 390:20 |
| cell 77:10 293:5 | 60:10 92:3 208:5 | 175:12 181:5 | cheaper 42:3 | Cincinnati 2:2 5:19 |
| 296:5 | 210:7 211:20 | 191:17 225:8 | cheating 48:7 | 237:18 238:10 |
| Cella 1:16 4:8,23 | 212:10 243:3 | 232:12 251:8,10 | check 154:7 241:12 | 318:18 |
| 5:7,15 9:12 40:14 | 274:13 276:19 | 252:15,18 253:1,7 | 305:8 331:14 | Cindy 41:13 |
| 40:22 70:18 93:14 | 304:1,14 319:16 | 253:21,22 281:7,9 | 386:15 389:8,13 | circumstance |
| 93:14 94:7 121:20 | 321:15,21 366:20 | 281:11,13,16,20 | checked 124:10 | 96:16 |
| 162:11,14 187:17 | 376:8 | 282:1,2,4,12,13 | checklist 202:2,4 | circumstances |
| 193:9 205:12 | certification 73:1 | 282:15,18,22 | 229:21 | 50:14 206:6 281:8 |
| 220:13 246:7,10 | 363:21 383:3,16 | 283:20,20 284:6 | chemotherapy | cited 247:5 |
| 289:8 308:17 | certify 373:4,9 | 284:14,17,20 | 232:20 | Citizens 97:3 |
| 317:17 318:14 | certifying 363:4 | 285:2,3 286:3,8,8 | cherishes 235:1 | citizen's 24:19 |
| 319:4 384:15 | 384:8 | 288:14,15,16,21 | CHERYL 2:12 | claims 338:7 |
| 386:5 399:1 | CESD 183:15 | 289:14 291:1 | chest 372:9 | clapping 316:4 |
| Cella's 83:8 160:15 | 184:7 | 328:12 330:14 | CHF 368:3,11 | clarification 33:20 |
| census 178:9 | cetera 26:1 31:4 | 336:10,18 349:1 | chicken 376:19 | 34:10 131:18 |
| center 1:15 2:2,18 | 34:19 38:18 43:11 | 353:13 366:14 | chief 162:5 | 132:1 324:21 |
| 2:23 4:19,19,22 | 100:4 138:16 | 379:11 388:6 | child 36:15,16 | 335:15 |
| 5:9,19 87:22 88:8 | 176:11 247:19 | changed 84:9 | 214:14 240:2 | clarify 32:8,14 |
| 133:5 134:10 | 270:11 277:19,19 | 136:14 353:6 | 331:7 | 36:10 87:6 |
| 136:16,19 139:3 | 293:21 294:15,15 | changes 92:5,6,9 | children 36:14 51:9 | Clarke 96:22 |
| 141:18 143:11 | 376:13 | 145:1 175:10 | 237:20 238:11 | class 201:11,15 |
| 144:7 157:5 162:2 | chain 236:16 | 183:3 267:15 | 239:1,7 287:21 | 256:11 |
| 213:5 323:11 | chair 15:15 226:9 | 268:10 287:11 | 327:2 330:9,13 | classes 201:10 |
| 324:11 325:14 | Chairs 8:4 | 312:6 333:11 | 333:19 334:4 | classic 16:17 |
| 352:2 | challenge 89:20 | changing 138:8 | 336:9 | classical 175:21 |
| centered 5:2 44:19 | 94:10 109:22 | 235:16 | Children's 2:2 5:19 | 248:17 310:3 |
| 49:6 71:1 135:6 | 149:2 152:13 | channel 123:11 | 237:18 318:18 | classified 284:5 |
| 207:22 213:6 | 202:9,10,11 229:3 | 164:2 | child's 238:13 | clean 280:10 |
| centers 1:22 2:12 | 286:5,9,19 287:8 | channels 212:11,17 | choice 59:3 62:8 | clear 15:18 69:6 |
| 29:15 139:7 | challenged 91:12 | characteristic | 92:12 164:9 206:5 | 131:20 300:17 |
| 241:19 312:10,12 | challenges 36:20 | 180:13 | choices 58:11 60:15 | 301:3 332:14 |
| 346:20 | 39:12 40:3 55:9 | characteristics | 98:3 142:20 242:4 | clearly 11:19 26:3 |
| Center/VA/RAND | 77:14 91:10 96:4 | 26:22 32:2,9 33:1 | 242:4 249:16 | 26:7 32:15 45:21 |
| 2:15 | 97:8 107:21 169:6 | 59:7 200:18 211:1 | choir 44:5,6 | 91:9 92:11 188:3 |
| central 65:6 88:21 | 217:13,15 284:18 | 211:5 249:5,22 | choose 48:16 58:8 | 188:6,8 189:19 |
| 332:5 | 286:7,13 377:17 | 257:21 281:7 | 124:16 300:7 | 190:12,18 191:7 |
| centric 65:3 213:10 | challenging 363:7 | 400:17 | 301:7 328:21,22 | 191:16,22 193:11 |
| Centricity 352:6,6 | chance 10:13,14 | charge 81:16 187:9 | choosing 32:4 | 231:12 232:2 |
| 374:10 375:4 | 79:13 84:21 | 187:12 | 48:14 | 237:11 |
| century 205:1 | 156:11 338:22 | charged 185:22 | chosen 125:3 | Cleveland 1:25 |

| | | | | |
|-----------------------------|----------------------------|-----------------------------|----------------------------|---------------------------|
| clients 133:17 | 36:4,12 37:11 | Coast 196:1 | College 365:21 | 309:7 313:18 |
| climates 34:15 | 43:7 60:6 87:9,10 | coat 48:6 | Collette 2:11 20:11 | 315:11 378:16 |
| cling 346:11 | 87:11 90:2 105:16 | cockpit 151:5 | 81:8 | 393:9 |
| clinic 1:25 14:14 | 136:11 138:3 | code 345:17 391:22 | colon 116:3 | comment 10:20 |
| 48:10 78:4 135:16 | 207:22 210:16 | 392:2,4,8,10 | colonoscopy | 28:3,18 29:6,7 |
| 173:11,15,20,21 | 252:5 278:10 | codes 324:9 368:14 | 260:11 | 35:3 80:15,20 |
| 174:9,12 193:16 | 293:15 300:15 | 368:15 379:15 | color 84:17 | 86:22 91:19,22 |
| 195:21 256:20 | 326:17 334:12 | coffee 37:22 | colored 401:3 | 93:18 228:11 |
| 331:13 357:6 | 356:14,20 375:15 | cognitions 177:11 | color-coded 400:7 | 232:16 233:4 |
| clinical 19:8 20:16 | 397:19 | cognitive 47:5 | Columbia 59:14 | 234:7,22 240:6 |
| 30:21,21 33:14 | clinicians 13:17 | 50:21 166:19 | combination 55:15 | 281:3 291:7 307:7 |
| 39:13 42:12 44:9 | 24:6 42:2 53:10 | 167:3 169:19 | 61:14 363:13 | 348:7 369:19 |
| 44:22 68:5,15,18 | 56:21 75:20 86:7 | 212:13 215:5 | combine 84:4 | 370:21 374:2 |
| 70:15,19 72:2 | 87:14,21 132:4 | 267:9 | 188:20 | 376:3 380:2,3 |
| 73:2 77:6,7 | 145:8,22 146:9 | cognitively 166:7 | combined 327:8 | 381:19 391:13 |
| 106:21,22 107:2,7 | 148:12,18 262:11 | cognizant 67:7 | combines 284:21 | 395:15 |
| 109:7 121:11 | 328:13,14,20 | cognoscenti 242:17 | Combining 285:7 | commented 255:16 |
| 125:22 126:6 | 329:9 330:17 | coherent 111:16 | come 34:20 48:9 | comments 5:21 |
| 127:15 137:17 | 333:2,15,15 | cold 133:9 | 77:16 79:10 80:3 | 10:22 53:8 80:8 |
| 143:9 145:12 | 334:13 355:20 | colectomy 116:3 | 82:10,14 92:13,20 | 105:3 151:19 |
| 146:1,18 147:18 | 357:15 360:2,2 | collaborated 67:22 | 99:15 105:9 | 154:9 233:21 |
| 152:14 153:17 | 372:11,14 375:20 | collaboration | 110:13 114:17 | 235:17 289:6 |
| 183:1,7 189:21 | 383:21,21 397:1 | 58:18 208:19 | 116:11 130:18 | 292:12 299:6 |
| 193:5 204:2 | 397:22 | 210:9 | 149:16,18 156:10 | 303:2,7 307:20 |
| 206:12,16,18 | clinician-patient | collaborative | 162:19 163:15 | 318:2 324:17 |
| 207:4,12 222:16 | 312:7 | 195:10,11 334:14 | 184:20 188:2 | 325:1,10 328:2 |
| 231:17 243:22 | clinics 81:20 123:4 | 350:8 | 202:12 214:10 | 330:21 335:17 |
| 244:18 252:10 | 124:8 | colleague 35:4 | 220:20 222:10 | 344:18 345:9 |
| 253:8 255:4 258:3 | ClinROs 214:9 | colleagues 67:20 | 223:9,10 225:13 | 349:22 361:1 |
| 259:1,21 260:10 | clock 185:18 | 119:6 127:15 | 230:6,22 231:14 | 365:8 371:1 |
| 261:11 262:15 | close 67:20 70:14 | 130:3 283:14 | 247:19 290:22 | 399:17 401:15 |
| 265:5 266:18 | 152:18 242:11 | 345:3 367:14 | 303:16 315:12 | Commission 9:11 |
| 267:5 269:12 | closely 16:3 75:5 | collect 65:13 66:3,4 | 325:15 329:16 | 317:16 318:15 |
| 270:7,13 281:12 | 93:7 | 96:18 125:7 | 347:11 369:13 | 383:16 384:6 |
| 284:13 297:2 | closer 234:16 292:7 | 151:18 212:20 | 383:10 387:2 | Commission-like |
| 300:20 301:13 | closing 5:21 395:19 | 372:19 | 391:2 402:2 | 383:2 |
| 309:16 319:13 | 399:17 400:21 | collected 65:18 | comes 90:3 93:18 | committed 68:22 |
| 322:2,6 327:5,10 | cloud 361:8 362:14 | 125:1 164:17 | 120:1 133:6 | committee 1:7 2:20 |
| 329:1 338:3,3,12 | 363:19,20 364:1,6 | 311:17,19 | 174:17 196:17 | 99:12 226:5 227:3 |
| 351:10 356:13 | CMS 35:2,12,20 | collecting 77:4 | 235:5 242:9 | committees 82:17 |
| 378:4 379:2 | 61:6 108:16 | 117:19 291:21 | 253:10 309:10 | 348:10 351:13 |
| 386:13 388:15 | 154:20 186:18 | collection 115:6,7 | 331:7 365:4 | 365:16 379:17 |
| 396:7,12 398:1 | 189:13 337:16 | 123:10,13,16 | 378:16 | common 44:22 |
| clinically 254:13,17 | 339:4 354:10 | 129:22 139:4 | coming 8:14 11:22 | 45:3 184:8 236:6 |
| 299:21 369:12 | 373:16,17 378:21 | 141:17 147:9 | 24:13 39:21 124:5 | 263:21 266:22 |
| 385:20 | 393:20,20,22 | 370:19 | 130:22 136:10 | 281:21 291:14 |
| clinician 23:16,16 | Coalition 350:6 | collections 123:9 | 184:22 262:20 | commonly 191:21 |

| | | | | |
|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 251:4 | compelling 72:5 75:2 | 271:8 | conclusion 216:22 | connected 66:16 205:21 349:8 |
| communicate | competencies | compulsive 330:9 333:21 | conclusions 19:12 93:13 | connecting 239:17 |
| 218:22 296:9 | 55:17 | compute 282:4 | concrete 20:8 | connectivity |
| communication | competitive 218:7 | computer 50:6 164:16,22 169:1 | concurrently | 319:12 |
| 44:14 46:10 50:21 | compile 95:12 | 171:15 172:1,4 | 165:17 | cons 165:3 |
| 55:8 62:19 164:2 | complaint 310:21 | 173:5 180:15 | condition 53:21 74:3 135:2 198:9 | consensus 231:2 232:16 233:2 290:16,22 |
| 170:4 207:13,17 | complement 71:18 165:15 379:6 | 188:6 192:2,5 | conditions 73:11 93:9 102:21 103:10 116:4 118:5 141:8 171:9 265:7,14,16 267:14,16 268:2,5 268:19 271:2 279:2 281:8 285:14,16 313:3 327:3 | consensus-based 378:22 |
| 208:20 397:8 | complementary | 193:17 206:21 298:11 337:21 340:3 348:16 349:7 | condition-based | consequences 252:19 287:10,11 |
| communities | complete 13:14 136:17 153:3 163:21 176:19 190:5 215:1 255:11 294:21 313:4 362:19 | computerized | 18:2 | conservative 172:16 |
| 104:21 106:7 380:16 | completed 137:1 | 160:14 176:12 178:12 284:22 | condition-specific | consider 29:1 33:8 37:6 47:1,4 49:9 76:6 94:21 109:10 109:12 141:4 167:5 168:22 187:14 189:3 192:6 194:19,20 206:9 212:5 220:21 221:16 255:19 262:3,12 305:15,16 307:4 |
| community 2:11 20:10 39:22 56:5 62:5 81:9 97:10 105:1,17 106:2,10 112:14 134:17 161:9,13 195:21 202:22 272:10,10 277:6 278:13 279:7,10 290:6,22 297:1 303:10,14 305:14 370:15 379:21,22 | completely 21:18 275:2 309:17 340:7 380:21 | computers 96:14 170:1 260:16 361:5 | conducted 65:15 68:8 | consideration 29:11 88:15 205:14 328:22 |
| community-based | completing 50:8 216:3 308:1 311:13 | computer-adapted | conference 1:8 7:14,15,17 94:4 185:12 280:16,22 | considerations 5:14 26:18 82:19 318:7 |
| 98:6 | complex 192:17 | 285:7 | confidence 179:8 238:7 276:19,20 280:2 | considered 48:22 67:8 147:11 194:5 209:1 |
| companies 79:19 236:12 | 193:5 218:10 286:2 327:2 | conceivably 243:2 | confidential 296:10 331:15 | consistency 250:21 251:3 |
| comparability | complexities | concept 46:1,2 112:9 116:9 138:20 183:9 200:19,21 254:5 306:2 356:10 | conducted 65:15 68:8 | consistent 57:12 63:15 |
| 218:15 | 148:14 | concepts 250:4,7 363:7 | conference 1:8 7:14,15,17 94:4 185:12 280:16,22 | consistently 43:5 |
| comparables 218:7 | complexity 31:17 98:14 188:17 218:5 | conceptual 159:21 250:1,18 281:17 | confidence 179:8 238:7 276:19,20 280:2 | consisting 195:11 |
| comparative 139:9 144:6 | complicated 139:1 156:19 161:4 224:11 244:6 363:17 | conceptualize | confident 198:17 238:13 387:5 388:1 | consortium 97:3,4 97:5 |
| compare 57:16,17 61:19 170:10 183:3 201:5 202:5 344:4 | complications 82:3 122:17 | 25:20 | confidential 296:10 331:15 | constitute 320:16 |
| compared 74:11 168:11 189:18 190:8 191:18 | comply 321:3 | concern 170:22 197:6 222:19,20 223:7 322:12 332:5 | conforms 341:7 | construct 208:7 251:21 |
| comparing 171:14 172:19 184:10 219:12 229:13 | component 74:9 140:5 228:16 322:5 | concerned 129:4 222:9 236:8,14 237:11 243:4 | confronting 69:14 243:10 | construction 36:2 |
| comparisons 95:1 220:15 244:2 251:1 | components 327:22 386:12 | concerns 67:11 128:17 132:16 168:12 173:9 322:15 389:14 | confused 21:18 | |
| compatible 322:6 | composite 13:12 | conclude 77:19 79:9 286:6 | congratulations 41:10 289:15 | |
| compel 149:5 | composition 55:17 | concluded 333:17 | Congress 192:10 Congressional 192:11 | |

| | | | | |
|--------------------------------|---------------------------------|---------------------------|---------------------------|----------------------------|
| consult 213:14 329:9 | continually 16:5 82:2 | convenient 77:9 | 43:21 | 30:2 53:20 58:12 |
| consultant 150:3 | continue 16:2 | conversation 27:11 | cost 15:10 82:13 | 116:17 117:4 |
| consulted 132:4 | 17:16 54:12 99:10 | 27:13 34:13 49:14 | 135:2 140:16,22 | 118:8 168:6 169:2 |
| consumer 40:19 | 104:20 205:17 | 51:19 70:4 79:11 | 156:18 157:2 | 190:4 203:19 |
| 98:5,17,20 272:10 | 310:11 311:6 | 96:11 128:19 | 167:9 168:6 169:5 | 221:3 238:22 |
| 272:17 350:10 | continues 282:13 | 229:20 239:10 | 169:13 236:2 | 251:7 267:10 |
| consumers 13:15 | continuing 50:12 | 271:18 319:10 | 237:21 321:13 | 310:10 316:1 |
| 155:6,9 229:10,14 | continuous 323:3 | 325:18 331:22 | 340:8 393:14 | 327:12 328:1,6 |
| 274:18 287:3 | 337:3 | 332:19 333:2 | 394:2,13 | 363:9 365:13 |
| 350:14 | continuously 148:3 | 334:12 362:9 | costs 117:7 133:11 | 386:10 |
| contain 339:6 | continuum 212:5 | 371:11 382:13 | 133:11 140:18,20 | cousin 242:11 |
| contains 339:22 | 212:10 213:4 | 397:13 | 143:19 163:10,18 | covariants 283:2,5 |
| contemplated | 233:16 | conversations | 169:5 191:20 | 283:9 |
| 209:17 | contracting 131:8 | 110:22 117:22 | 236:8 340:16 | cover 74:8 |
| content 208:7 | contracts 143:17 | 119:13 239:20 | 393:22 | covered 130:10 |
| 211:10 251:21 | 216:20 | converting 160:11 | cost-effectiveness | covering 249:14 |
| 252:7 287:6 | contradict 299:17 | convey 113:18 | 187:6 | covers 163:20 |
| 319:12 327:15 | contradictory | 148:21 218:5 | Council 55:3 | co-authors 207:12 |
| content-specific | 299:12 301:2 | conveyed 109:6 | counseling 97:22 | co-chair 1:13 21:21 |
| 291:13 | contrast 54:6 | convinced 353:18 | 357:1 | 24:16,22 25:3 |
| context 11:5 46:20 | contrasting 248:22 | cool 260:19 347:14 | count 36:17,18 | 28:2,4,15 29:8,14 |
| 85:9 86:7,13 88:9 | contributed 41:14 | coordinate 317:21 | 351:4 | 30:10,14 34:4,9 |
| 88:16,19,20 89:11 | contribution 205:8 | coordinated 71:17 | counting 259:8 | 34:22 36:5,17,19 |
| 92:2 164:11,20 | contributors 29:2 | coordination 56:12 | country 61:5 93:7 | 37:1 38:3 51:17 |
| 183:12 185:16 | 41:6 | 275:22 276:8 | 297:7 339:2 365:5 | 64:8 69:16 79:8 |
| 187:6 188:5 190:2 | contributory 23:19 | 279:4 329:20 | 380:15 | 80:13,16 81:1,6 |
| 192:17 195:12 | control 62:6,21 | Coordinator 2:4 | country's 339:12 | 82:4 83:13 84:15 |
| 219:16 220:2 | 102:7 104:18 | 5:17 | Counts 2:14 5:18 | 86:20 88:3 91:7 |
| 234:14,18 237:20 | 106:11 170:1 | COPD 68:14 182:1 | 350:12 | 91:20 92:21 95:4 |
| 239:16,18 252:2 | 293:9,10,11 297:4 | 182:9 | County 75:6 | 99:2,9 100:13 |
| 253:12 255:6 | 315:18 337:4 | copy 134:5 371:7 | couple 6:18 8:1 | 109:17 110:5 |
| 256:14 261:11 | 349:12 353:17 | copyright 81:12 | 10:11 13:22 15:11 | 316:12,14 324:19 |
| 263:5 281:12,15 | 362:19 370:2 | 82:3 392:8 | 15:13 18:17 31:20 | 325:4 334:21 |
| 281:18 292:8 | 389:1 396:16 | core 58:20 59:12 | 33:11 64:21 81:15 | 335:14,19 344:16 |
| 303:21 312:19 | controllability | 60:21 61:10 94:9 | 83:1 97:7 128:2 | 348:4 349:14,18 |
| 315:13 325:12,13 | 100:12 | 103:22 | 129:19 130:11,13 | 360:21 367:10 |
| 325:19 326:1,3,19 | controllable 100:6 | corner 30:11 | 144:10 155:10 | 374:1 376:2 378:7 |
| 327:5 330:4,5 | 105:5,16 | coronary 66:22 | 156:3 158:11 | 378:11 380:1 |
| 331:10 344:12 | controlled 68:9 | 101:13 118:13 | 174:20 181:12 | 384:9 386:14 |
| 384:12 | 103:18 106:5 | corporate 241:16 | 189:2 200:9 | 387:9 388:12 |
| contexts 161:6 | 139:5 258:2 | corporation 73:22 | 205:20 216:19 | 389:8,18 391:9,15 |
| 219:4 | controls 194:13 | 393:4 | 242:10 247:14 | 395:12 397:9,12 |
| contextual 187:10 | controversial | corrected 132:9 | 257:21 259:4 | 397:20 398:3,19 |
| 193:21,22 | 190:18 281:21 | correctly 29:6 | 263:3 286:6 | 399:10 401:16 |
| context-specific | controversy 283:11 | correlate 252:8 | 345:12 365:2 | co-chaired 226:4 |
| 292:6 | conundrum 212:4 | correlates 93:7 | 399:16 | Co-Chairs 1:9 4:4 |
| | | correlation 43:17 | course 18:11,18 | 5:21 6:15 |

| | | | | |
|----------------------------|----------------------------|---------------------------|----------------------------|----------------------------|
| Co-design 145:19 | culture 47:20 94:16 | 4:1 | 169:6,14 174:6,21 | day 15:8 45:16 |
| co-learning 208:19 | 210:3,3 366:14 | C-Qual 390:15 | 175:2,3 193:13 | 46:16 58:10,12 |
| co-morbid 265:16 | 383:5 | | 194:9 196:16 | 59:5 118:8 133:8 |
| co-occurring 55:11 | cultures 34:14 | D | 197:1,4 210:11 | 162:16 218:6,11 |
| CRAWFORD 3:12 | 256:9 | daily 166:15 | 220:20,21 221:1,1 | 225:9 226:3,5,6,6 |
| create 136:1 137:5 | cumbersome 161:3 | dance 343:8,9 | 221:5 238:6 257:3 | 237:22 271:20 |
| 137:16 138:16 | Cunningham 3:13 | 345:10 385:8,11 | 279:17 286:3 | 307:15 397:13 |
| 176:7 213:20 | 6:22 22:2,11 | 385:12,17 386:1,3 | 290:7 291:21 | 398:20 399:11,17 |
| 223:20 267:3 | 80:17,21 81:3 | 386:20 | 322:3,13,14,21,21 | 399:20 400:22 |
| 287:14 | 154:6,11,17 | dancing 343:13 | 324:11 327:17,18 | days 6:18 10:12 |
| created 223:16 | 233:19 234:5 | 385:15 388:10 | 327:19 330:8,16 | 21:4 29:13 30:7 |
| 226:5 | 235:9,13 302:6,10 | 390:19 | 331:18,19,20,21 | 97:13 130:12 |
| creates 72:10 73:7 | 302:16,21 389:12 | Dartmouth 2:8,21 | 333:14,16 334:11 | 237:7 239:7 |
| creating 71:9 72:16 | 401:4,9 | 2:23 4:19 95:15 | 335:11 351:19 | 249:15 264:11 |
| 223:12 226:16 | cure 53:21 | 114:2 119:5 | 352:7,11,14 | dead 296:12 |
| 288:8 | curious 32:1 83:7 | 134:10 135:13 | 353:16 356:13,14 | deal 11:22 14:6,11 |
| creation 286:22 | 396:18 | 139:7 143:10 | 356:18,19 357:9 | 86:12 199:5 |
| creative 111:2 | curmudgeon 89:19 | 145:11 153:14 | 357:12 358:8 | 206:21 220:11 |
| credible 53:12 57:7 | 91:8,17 | 195:18 196:3,10 | 359:13 362:20,22 | 293:11 294:14 |
| credit 41:11 | curmudgeonly | 246:1 258:19,22 | 363:1 364:1,4,6 | 401:20 |
| criteria 12:19 32:4 | 258:6 264:19 | 265:14 270:5 | 367:5 368:19 | dealing 31:5 |
| 33:2,3,3 247:11 | 345:3 | 367:14 374:17 | 370:19 372:19 | 148:14 192:3 |
| 249:4 254:12 | curmudgeons | Dartmouth-Hitc... | 379:10 391:17,19 | dealt 206:20 |
| 264:3 301:5,6,22 | 402:1 | 4:19 5:9 34:12 | 395:4 396:11 | dear 70:6 185:12 |
| criterion 251:22 | current 46:17 | data 4:7 12:4 13:5 | date 340:4 382:18 | death 268:13,21 |
| 255:12 257:11 | 150:9 293:1 | 13:8 19:14,19 | dated 148:20 | 269:3 272:8,8 |
| critical 8:20 22:15 | 311:20 368:17 | 38:12 40:7 57:17 | dates 10:15 | Deb 43:16 |
| 49:5 60:7 86:1,11 | currently 14:2,7 | 58:13,21,21 59:1 | daughter 104:10 | DEBRA 2:15 |
| 129:5,13 144:4 | 54:9,17 59:8 | 59:16 60:11,18,20 | Dave 93:14 162:11 | decade 117:10 |
| 146:10 170:9 | 186:16 203:19 | 61:6 62:15 63:9 | David 1:16 2:13 4:8 | 134:14 145:11 |
| 256:15 321:10 | 328:15 331:16 | 63:12,13,14,17,18 | 4:23 5:7,15 9:12 | decide 298:6 |
| Cronbach's 251:13 | 335:4 363:20 | 64:1 71:12,18 | 15:15 40:14,21 | 329:10 376:14 |
| 295:12,13 310:1,3 | curriculum 376:7 | 76:6,11 92:18 | 70:18 77:13 83:7 | 388:7 |
| cross 149:21 | 376:14 | 96:19 106:19 | 106:20 121:19 | decided 77:22 |
| 217:11 218:22 | curve 84:10 128:4 | 107:17 112:20 | 160:15 187:17 | 118:11 120:11,14 |
| 230:16 | curves 179:16 | 115:6,7 123:8,10 | 190:5 193:9 | 121:17,19 241:20 |
| crosscutting 18:1 | 180:14,14 | 123:13,16 125:1 | 205:12 207:12 | decides 334:15 |
| crosses 235:6 | customer 260:3 | 128:18 129:22 | 220:13 246:5 | decision 23:20 76:8 |
| crosswalk 254:6 | customize 242:14 | 137:13 138:2,18 | 257:17 308:16 | 77:3 142:18,18 |
| 295:8 | customized 176:11 | 138:19 139:4 | 317:17 318:14 | decisionmaking |
| CRRN 1:17 | cut 171:8 | 141:18 142:20 | 319:3 324:20,20 | 209:1 235:3 |
| cry 374:5 | CVEs 379:12 | 143:10 146:5,10 | 325:5 371:12 | 236:15 |
| cue 133:1 | cycle 187:1 209:3 | 150:13,20 152:7,8 | 381:18 384:13,14 | decisions 57:22 |
| cultural 34:16 | 337:7 | 155:5 156:18 | 398:19 401:20 | 76:9 97:20 163:8 |
| 47:22 96:20 256:2 | cycling 285:16 | 158:21 159:1 | David's 67:10 | 273:22 274:1 |
| culturally 47:16 | cystic 326:12 | 163:7,10 165:8 | 100:19 325:2 | 276:21 305:4,5 |
| 256:7 | C-O-N-T-E-N-T-S | 167:15 168:13 | 386:19 | 357:12 |

| | | | | |
|-------------------------------------------------------------------|--------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| decision-making 274:15 287:5 301:17 | delivery 57:8 115:14 147:22 304:11 378:18 | depressed 179:13 180:1,1,3,19 | detail 399:5 detailed 65:22 245:15 | 306:8 development 8:22 11:8 27:4 29:19 63:10 88:22 112:14 132:1 185:15 186:3 188:4 189:21 194:1 203:20,22 211:4 233:11 252:4 306:21 |
| declined 104:15 | demands 148:15 255:3 | depression 20:13 20:17,18 66:5 172:21 176:10 178:4 179:4,10 181:10 182:2,17 182:20 183:15 254:11 259:6,11 265:9 266:11 267:17 269:20 271:4,4 274:21 298:3,13 323:20 330:11 370:1 386:7 | details 79:3 163:4 290:14 | developmental 2:6 4:13 40:17 52:21 54:9 59:11 239:12 |
| decrease 74:19 300:1 | demand-specific 291:9 | depth 84:22 | detect 252:18 285:2 333:3 | developments 98:19 |
| dedicated 74:5 375:15 | dementia 51:5 215:5 | derive 327:16 | determinants 105:9 106:1 277:16 304:3 | develops 393:5 |
| deemed 55:22 250:17 | demo 171:11 175:18 177:2 324:1 | derived 178:11 212:21 | determinations 24:8 | deviation 172:18 178:7 179:1 182:8 182:18 184:3 253:14,16 |
| deep 104:13 | demographers 63:19 | DerShung 33:18 | determine 19:10 106:8 115:2 233:9 284:13 343:7 396:18 | devices 139:4 171:16 |
| deeply 14:20 | demographic 54:22 | describe 224:12 | Deutsch 1:17 10:17 | diabetes 116:3 118:7,17 120:22 121:16,17 122:3,4 122:17 123:6 278:15 336:8,17 337:13 351:9,10 351:21 352:18 |
| deficits 50:21 | demographics 178:9 | described 284:2,4 285:8 304:7 | develop 11:12 56:20 57:2 89:8 171:6 185:6 211:6 218:9 233:2 258:17 264:5 301:22 379:8 390:13 394:17,18 | device 139:4 171:16 |
| define 276:8 351:2 369:11 380:12 | demonstrate 233:13 285:5 337:22 | describes 25:11 | developed 35:16 58:19 108:13 125:11 158:10 161:2 170:9 184:11 186:2 187:3 194:12 220:11 233:14 258:2 323:10 371:10 384:21 390:16 | diabetic 336:7,12 |
| defined 21:5 206:2 276:2 342:21 368:7 | demonstrated 68:15 147:15 262:14 282:7 308:9 | describing 155:14 | developing 9:17 65:10 66:11 111:21 120:7 123:13 125:10 158:2 160:10 193:22 213:12 217:16 233:7 | diagnosed 336:8 |
| defining 210:3 276:5 277:12 281:15 | demonstrates 25:13 42:11 | description 250:4 | | diagnostic 61:20 |
| definitely 12:17 199:10 | demonstrating 88:22 89:5,11 92:12 | deserve 41:11 | | diagram 25:6,11,19 49:7 |
| definition 28:11 206:8 | demonstration 160:16 162:12 | design 47:13 152:12,15 167:17 167:18 168:1,3 213:13 267:5,6 301:22 312:11 322:16,18 350:10 | | diagrams 117:3 |
| definitive 81:16 | DENNIS 1:25 | designated 367:17 | | dictate 200:16,17 |
| degenerative 141:14 285:14 | dense 137:21 330:22 | designation 320:1 | | Diego 1:21 30:18 89:17,18 |
| degree 21:9 163:8 163:20 164:3 269:21 315:18 | densest 162:15 | designed 31:8 296:6 | | DIF 191:5 306:22 |
| degrees 28:16 | dentists 337:18 | designer 312:9 | | differ 28:13 163:18 281:18 |
| delay 204:18 | departing 263:20 | designing 47:12 | | difference 31:11 52:15 53:3 127:19 150:22 172:16 253:17,19,20 270:18 281:22 |
| deliberate 121:22 | Department 64:17 | desirable 285:19 | | |
| delight 185:11 | depend 25:16 101:10 | desire 320:12 | | |
| delighted 22:5 24:17 69:11 70:1 157:9 | dependent 176:3,4 201:11,16 | desired 15:6 56:3 281:10 285:13 | | |
| deliver 55:18 135:10 149:12 150:5,12 151:6 319:12 | depending 137:8 220:7 370:3 380:11 | desk 153:20 | | |
| delivered 61:19 75:13 145:2,7 | depends 200:13 251:6 370:7 | desks 64:5 | | |
| delivering 49:5 149:13 315:8 353:14 | | despite 44:20 282:14 385:2 | | |

| | | | | |
|----------------------------|----------------------------|---------------------------|----------------------------|---------------------------|
| 290:17 334:8 | 107:15 | disadvantages | 50:22 55:6 66:20 | 179:3,4 282:16 |
| differences 48:1,5 | differently 16:10 | 167:14 189:4 | 68:12 135:1,2 | 284:7 |
| 63:7 90:15,16 | 16:10 397:3 | 190:4,17 192:1 | 139:19 242:20 | distribution-based |
| 93:4 103:7 107:4 | differs 380:17 | disagreement | 267:18,18 268:13 | 284:5 |
| 169:17 170:7 | difficult 9:5 12:2 | 166:20,22 | 269:8 285:15,17 | District 59:14 |
| 171:19 219:18,22 | 13:1 16:15 18:16 | disappointing | 311:16 312:1,16 | diverse 55:2 |
| 220:11,12 233:15 | 50:15 102:3 107:1 | 205:4 | 326:14 327:2 | divide 49:8 177:3 |
| 247:13,14,17 | 165:4 239:9 385:5 | disappointment | 329:4 331:10 | divided 49:16 |
| 269:15 270:17 | 387:7 388:8 | 204:18 | 344:12 351:9 | doable 360:19 |
| 282:8 | difficulties 67:8 | disc 78:14 139:17 | diseases 268:14 | doc 351:17 352:1 |
| different 13:19 | difficulty 155:11 | 139:18 141:14 | 285:16 328:15 | 356:13,16 358:4 |
| 14:3,4,5,5 17:21 | dignity 206:5 | 269:19 | disease-based | 358:11 374:20 |
| 18:22 24:3 28:11 | dilemma 202:16 | disclosure 40:20 | 329:18 | docs 351:14 352:12 |
| 28:21,22 29:2 | dimensions 163:19 | 167:10,11 | disease-focused | 356:4,11 358:6,7 |
| 34:14 47:19 55:7 | direct 15:5 42:14 | disconnected 324:2 | 278:19,19 | 376:21 377:9,13 |
| 55:19 61:1 63:6 | 56:4,9 62:7 97:13 | discrete 249:15 | disease-specific | doctor 43:2,3,7 |
| 73:17 76:10 78:15 | 140:17,20 | 368:8 | 109:2,10 270:8 | 45:9 77:21 78:18 |
| 94:13,21 96:1 | directed 101:2 | discuss 26:13,17 | 330:2 | 126:20 199:22 |
| 111:11 112:5 | directing 365:15 | 200:10 203:17 | dismiss 87:8 90:6,6 | 297:3 298:8,18 |
| 116:18 131:12 | direction 64:22 | 223:5 | 261:19,19 | 311:19 336:15,19 |
| 132:17 141:10 | 67:3 183:6 186:12 | discussed 88:11 | dismisses 87:20 | 385:10 386:2 |
| 143:8 145:9,12 | 188:19 224:7 | 110:18 157:12 | disorder 55:10 | doctors 42:7 |
| 147:13 148:22 | 248:20 303:19 | 160:18 | 333:21 | 126:15 128:10,12 |
| 151:2 166:5 170:8 | 362:8 385:19 | discusses 39:20 | disorders 330:9 | 129:6 149:5 |
| 176:7,21,22 177:6 | directly 28:19 | discussing 83:18 | disparities 13:6 | 337:17 373:8 |
| 181:16,18,19,19 | 36:16 50:15 97:18 | 219:20 318:19 | display 142:5 | 376:15 |
| 183:1,14 191:1,2 | 98:8,8,20 105:15 | 319:1 | 150:20 151:1 | doctor's 196:18 |
| 193:10 199:17 | 164:15 165:5 | discussion 27:6 | disproportionate | doctor-directed |
| 220:16 231:13 | 296:10 | 52:6 64:12 65:7 | 54:15 | 336:11 |
| 247:21 248:3 | director 162:8 | 70:8 80:6 87:19 | disproportionately | document 49:18 |
| 254:4 256:9 264:2 | 275:9 297:21 | 93:15 99:15 103:9 | 282:19 | 63:19 197:17 |
| 268:9 270:15,16 | 336:1 | 108:11 175:5 | disrespected 146:8 | 335:6 339:19 |
| 282:3 285:1 300:8 | directors 2:6 4:13 | 181:14 198:7 | disruptive 313:16 | 368:9,15 |
| 300:12 301:18 | 40:17 61:3 | 207:10 211:16 | dissemination | documentation |
| 318:11 324:10 | directs 162:2 | 228:15 230:1,20 | 146:16 207:3 | 250:2 |
| 333:9,21,22 340:1 | disabilities 52:21 | 234:9 235:16 | dissociate 239:19 | documented |
| 340:2,21 342:9,10 | 54:5,8,9 55:2,11 | 236:3 237:14 | distal 16:5 | 103:22 225:19 |
| 344:4,6,10 351:1 | 56:4 59:11 60:12 | 246:6 249:3 | distance 53:3 212:7 | documenting 58:7 |
| 358:17,18 373:21 | 97:4,14,19 104:10 | 257:11,12 273:1 | distinct 49:4 | documents 246:21 |
| 379:3,10 380:16 | 213:16 | 306:22 307:2 | distinction 7:6 | doc's 153:20 353:4 |
| 384:3 | disability 2:7 4:13 | 315:20 334:2 | 11:10 18:15 19:1 | 353:5 |
| differential 190:19 | 39:21 40:17 47:7 | 343:17,19 345:5 | 21:10 33:5 164:19 | doing 12:5 22:4 |
| differentiate 87:10 | 55:3 92:7 97:5 | 349:15 350:1 | 206:12 301:9 | 42:7 49:10 51:1 |
| 88:2 107:3 206:15 | 139:22 249:19 | 369:5 400:16 | distracted 314:19 | 68:6 70:11 79:5 |
| differentiating | 268:21 269:9 | discussions 121:12 | distraction 174:8 | 85:2 96:1 101:12 |
| 87:1 | disadvantage 168:5 | 289:1 332:1 | distributed 161:21 | 101:17 108:6 |
| differentiation | 212:1 | disease 16:19 47:7 | distribution 93:2 | 119:2 123:4 |

| | | | | |
|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 129:19,20 131:8 136:11 146:22 149:20,21 151:21 163:12 168:9 186:16 212:8 232:7 259:9 270:5 270:18 276:10 287:20 293:14 296:20 297:4,22 299:1 307:10 308:22 309:22 311:1,5 312:2,17 313:1,7,15 331:17 334:15,16 336:2,4 352:21 354:4 357:7 358:21 365:2 367:1 374:6 375:13 377:2,13 377:16,21 384:6 392:6 dollar 98:19 171:5 dollars 97:9,10,11 97:15,18 140:19 140:20 141:1 243:16 346:21 domain 177:22 187:8 394:1 domains 132:6 155:2 171:8 233:2 domestic 113:4 Don 69:1 Donald 206:2 208:17 door 346:18 doors 37:21 DORIAN 3:14 dose 333:18 334:1 dot 225:6,13 400:1 400:8 401:3 dots 78:8,8 dotted 172:14 182:14 doubt 89:21 dovetailing 361:19 download 78:14 downstream 169:5 downward 260:6 | dozens 242:18 256:10 Dr 6:3 7:16,20 30:13,15 31:18 33:17 35:1 36:9 36:18 40:22 45:20 51:22 64:15 82:8 83:14 84:16 86:21 88:7 89:15 91:21 92:22 93:14,18 94:7 96:22 99:11 100:17 103:6 106:13 108:10 110:14 114:14 122:13 128:7 130:21 131:22 132:5,20,22 136:7 137:22 146:14 147:5 148:5,9 149:10,17 150:18 151:13 152:1,18 152:20 153:6 154:3 155:8 156:13,16 157:4 162:14 178:17 183:21 184:17 185:10 195:2,4 203:9,13 216:14 217:20 218:19,20 219:1,6,7,9 220:17,19 221:18 222:7,13 224:10 224:19,21,22 225:21 226:1 227:1 228:9 230:10,15 232:14 234:21 235:14,15 236:9,10,18,21 237:4,15,17 240:5 241:7 257:17 264:7,11 270:21 271:15 279:20 280:11,20 287:18 288:2,11 289:11 291:6 292:1,12 295:16,20 296:15 299:3,8,9,12,14 | 300:22 302:2,9,12 302:17 303:1,6,22 306:14 307:8 308:16 309:7,8,18 309:21 310:15 313:17,22 314:4 315:20 325:8 335:4,22 348:9 362:12 366:18 369:18 371:4 372:22 380:4 381:22 382:19 392:22 397:21 398:5 draft 10:14 41:5,10 284:3 288:6 367:18 dramatic 219:19 dramatically 267:10 282:21 286:12 353:13 draw 226:7 236:22 drawbacks 265:7 draws 247:10 290:1 dressed 56:19 drew 247:4 drill 64:6 drilled 45:17 drinks 387:18 drive 12:18 19:14 69:3 259:5 352:15 driven 69:1 319:14 drivers 12:7 driving 12:10 366:4 drop 182:16 Drs 308:20 drugs 393:12 DR.S 1:10 DSc 2:8 dual 317:3 Dubow 1:9,13 4:4,8 5:21 6:16 24:16 24:22 28:2,4 29:14 34:9,22 36:5,17,19 37:17 38:3 51:17 64:8 | 69:16 79:8 80:13 80:16 81:1,6 82:4 83:13 84:15 86:20 88:3 91:7,20 92:21 95:4 99:2,9 100:13 109:17 110:5 duck 52:18 due 105:12 duh 263:7 duly 97:2 duplicative 380:21 dyad 235:7 dynamic 178:13 D.C 1:9 336:5 D5 352:16 <hr/> E <hr/> eager 127:7 Eames 1:23 228:13 earlier 32:16 63:4 92:2 106:18 167:2 171:21 173:2,20 178:17 183:21 184:11 202:1 214:19 222:22 254:3 255:16 267:2,17 268:4 322:8 328:2 382:11 early 51:4 66:12 67:22 70:4 114:18 124:21 126:11,18 153:3 167:2 196:7 196:9,13 238:8 239:2 297:20 328:8 360:11 371:10 easier 42:3 75:1 129:12 145:17 169:20 280:13 293:21 323:1 easiest 364:15 easily 60:13 155:6 170:2 266:1 294:11 295:9 341:15 | east 139:19 Eastern 352:1 easy 138:21 148:22 167:19,19 169:12 173:7,16 233:2 257:5 266:4 267:5 360:20 395:6 eating 56:18 echo 95:16 278:20 293:12 353:12 eclectically 269:13 economic 155:5 254:20 346:12 economics 271:20 272:12 economy 104:12 Ed 327:22 376:12 Educate 146:3 educated 94:19 educating 127:14 129:20 educational 78:7 290:1 Ed.D 2:6 effect 102:4 109:3 232:3 275:19 333:18 effectiveness 139:9 effector 112:16 effects 168:12,15 190:16 334:1 effect-size 283:21 efficiency 44:12,13 236:20 efficient 174:2 316:21 332:18 effort 14:6 27:15 135:12 255:2 361:18 efforts 67:4 68:10 69:18 egg 376:20 EHR 143:6 197:16 197:18 257:2 318:9 325:10 345:7 346:9 348:14 370:20 |
|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | |
|--------------------------|----------------------------|---------------------------|--------------------------|----------------------------|
| 372:2,20 | elements 73:18 | 97:13 198:16 | 118:19 119:12 | enter 6:12 54:11 |
| EHRs 26:18 318:9 | 352:18 385:21 | 346:10 | 121:10 124:13 | 142:2 |
| eight 181:4,6 | elevation 269:21 | empowers 98:19 | 152:14 201:19 | entered 373:13 |
| either 25:8 42:1 | eliciting 159:13 | EMR 351:20 | 316:22 326:10 | entering 334:11 |
| 43:13 133:9 164:6 | eligible 9:19 19:20 | 374:13,14 397:18 | 328:12,14 345:10 | enterprise 11:6,17 |
| 169:1 172:18 | 95:19 97:2 337:17 | EMRs 293:6 | engagement 17:3 | 209:9 217:4 |
| 187:1 234:11 | eliminate 394:11 | enable 34:18 56:7 | 18:7 24:13 75:20 | 258:17 |
| 270:13 276:22 | ELISA 3:17 | 56:15 104:9 175:4 | 76:15 142:19 | enters 396:8 |
| 278:14 291:4 | Elizabeth 2:5 4:18 | 326:18 | 161:9,10,18 162:9 | enthusiastic 114:21 |
| 308:9 314:16,19 | 110:12 113:22 | enables 176:11 | 203:15,18 205:5 | 292:11 310:11 |
| 338:7 362:11 | 114:13 154:21,21 | encode 340:2 | 205:22 206:10 | entire 113:14 |
| 387:16 | Elizabeth's 114:3 | encounter 70:15 | 207:8,18,21 | 125:17,22 130:5 |
| elaborate 154:22 | eloquently 67:11 | 328:11 332:8 | 208:16,21 209:2,4 | 176:5 248:18 |
| 155:4 | 69:2 | 356:20 | 209:5,19 210:4,20 | 294:3 377:18 |
| Eleanor 2:10 | else's 349:3 | encourage 27:13 | 211:4,7 213:19 | entirely 55:6 |
| 106:14 | email 197:7,8 | 34:18 | 215:13 216:1,19 | 125:21 296:12 |
| elective 66:21 | 260:21 | encouraged 213:14 | 216:22 222:17 | entities 99:22 |
| electricity 133:8 | emanates 345:5 | encouraging 170:6 | 233:8 240:3 | entity 19:11,18 |
| electronic 5:14 | embarked 113:11 | 227:20 230:3 | 289:20 322:19 | entree 214:4 |
| 12:3 26:20 48:15 | embed 128:19 | endorsable 184:5 | 326:13,18 350:10 | entry 63:13 134:18 |
| 50:4 86:16 111:22 | 327:7 | endorse 19:3,4,15 | 401:11 | 169:6 |
| 120:3,5 125:19 | embedded 127:6 | 21:15 183:22 | engagements | environment 50:4 |
| 137:4 143:1 | 135:11 312:11 | 184:1 | 161:15 | 71:9 105:21 107:7 |
| 148:18 149:1 | 329:21 351:11 | endorsed 19:21 | engaging 71:7 | 136:2,6,14 143:7 |
| 160:17 168:22,22 | emerged 15:17 | 20:9,14,20,20 | 209:9 210:13 | 143:22 144:3 |
| 169:2,7,10,12,14 | Emerges 374:20 | 21:1,6,8,13,13,14 | 215:20 217:2 | 267:4 324:6 |
| 169:18 173:16,18 | emerging 12:3 | endorsement 8:10 | 233:8 342:4 | 358:17 363:6,21 |
| 241:21 242:7 | 112:8 388:13 | 8:14,22 9:19 12:1 | 397:21 | 363:21 |
| 243:1 256:14 | emotional 166:19 | 45:4 200:22 | engineer 174:18 | environmental |
| 257:3 317:6,9 | 237:12 | endorser 395:2 | 321:16 337:1 | 12:6 |
| 318:8,10 319:14 | emphasis 287:2 | endpoint 108:17 | engineered 212:12 | Epic 327:14,15 |
| 320:14 322:13 | empiric 193:13 | 332:14 | 212:18 | 328:1 335:7 |
| 324:6,7,12 331:4 | 233:5 | endpoints 186:20 | engineering 28:16 | epidemiologic |
| 331:5 335:1,5 | empirical 208:4 | ends 210:14 | England 68:18 | 288:18 |
| 337:19 338:5 | 210:16 | end-of 137:11 | 221:21 | Epidemiology |
| 339:1 340:13 | empiricism 210:15 | enemy 200:12 | enhance 44:11 | 283:13 |
| 343:2 350:20 | employ 98:1 | 296:3 | 147:22 204:1 | episode 14:18 |
| 357:9 359:6 | employer 240:3 | energized 354:6 | enhanced 198:15 | 25:12,13 47:3 |
| 360:14 373:9 | employers 237:11 | energy 115:10 | enhancement | 118:6 263:15 |
| 380:6,9,12,18,19 | 238:2 300:7 | 133:14 393:6 | 146:17 | episodes 28:21 |
| 382:15 384:10 | 351:15 | engage 59:4 72:18 | enhances 207:18 | episodic 118:10 |
| 389:2,4,5 396:1 | employer/union-l... | 76:16 98:7,12 | enjoy 240:16 | ePRO 205:2 |
| electronically | 350:7 | 161:16 217:17 | enlightened 79:18 | Epstein's 46:2 |
| 124:19 353:16 | employing 236:12 | 305:18 323:3 | enlisting 161:11 | equality 322:3 |
| 363:11,15 | employment 58:6 | 329:9 342:11 | enrolled 125:3,17 | 338:13 |
| element 31:16 | 61:22 62:8 | 371:3 | ensues 150:16 | equally 90:9,12,13 |
| 208:21,22 310:22 | empowerment | engaged 75:21 85:1 | ensures 207:20 | equation 397:8 |

| | | | | |
|-----------------------------------------------|-----------------------------------------------|--------------------------------|-----------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| equations 283:6 | 277:18,19 293:21 | evidence 15:15 | 232:17 235:3 | 252:6 380:22 |
| equivalence 160:11 170:6 | 294:15,15 376:13 | 72:16,20 73:8 | 240:1 250:13 | exists 341:14 384:11 |
| equivalent 47:21 | Ethan 1:14 4:22 | 75:2,3 99:21 | 265:14 266:3 | exit 389:21 |
| 113:15 172:3 | 42:19 88:7 106:17 | 102:10 170:5,6 | 269:19 275:8 | expand 22:19 59:12 66:10 |
| 191:2 256:5,6,7 | 107:11 132:5 | 208:4,6 223:10,11 | 285:14,20 291:22 | expect 252:21 |
| EQ-5D 81:18 | 147:6 157:4 | 223:22 224:6 | 293:5 301:15 | expectancy 280:3 |
| ER 329:22 | 162:15 165:8 | 226:7,8 227:17 | 305:13 330:7 | expectation 199:17 252:17 327:21 |
| Erin 99:12 109:18 | 174:20 185:10 | 231:14,15 238:3 | 342:14 359:10 | expectations 60:17 |
| erroneous 93:13 | 203:14 215:2 | 248:7 259:22 | 387:13 391:8 | expected 156:22 |
| error 43:5 180:19 213:21 214:16 | 291:6 | 286:1 320:10 | 392:1 396:11 | expenditure 141:5 |
| 229:7 284:8 | Ethan's 43:18 | 329:14 367:6 | examples 20:8 | expenses 156:22 |
| errors 163:10,18 169:6 | ethical 210:12 | evidence-based | 33:11 113:4,5 | expensive 191:21 274:13 |
| error's 180:10 | Eugene 3:13 4:19 5:9 22:2,11 | 15:20 232:9,10 | 147:15 276:14 | experience 14:16 17:3 45:3,6,12,17 65:18 67:19 72:3 77:20 106:19 107:5 111:13 113:13 114:19 127:2,12 133:5 135:3 138:14 143:19 152:19 158:1 173:6 195:5 195:14 206:3 231:4,22 253:11 258:22 260:4,4 261:18 275:1,6,16 276:14 279:1,13 289:10 293:13 307:9 309:18 318:17 323:4 329:21 384:5 396:11 397:1 |
| escalating 298:14 | Europe 395:6 | 327:6 332:22 | 287:10 374:4 | |
| especially 14:21 47:4 111:5 231:18 | EuroQol 121:8 | evident 288:5 | exams 149:19 | |
| 232:13 272:21 | evaluate 108:18 | evolution 286:20 | Excellence 350:22 351:8 374:6,11,12 | |
| 303:7 304:11 | evaluate 108:18 211:2 223:22 | evolve 128:3 | excellent 87:19 | |
| 322:4 384:5 395:1 | evaluated 29:3 | evolving 51:7 177:10 | 110:2 193:9 | |
| essential 96:12 | evaluating 12:9 88:14 109:12 | exacerbation | 213:18 219:10 | |
| 134:1 136:9 | 166:9 | 182:12 | 299:13,14,16 | |
| 143:22 144:2 | evaluation 47:5 | exacerbators | 300:10 398:3 | |
| 153:4 164:10 | 186:21 203:20 | 182:10 | 399:11 | |
| 290:20 322:3 | 211:11 212:9 | exact 122:5 230:19 | exceptional 108:11 | |
| essentially 8:15,17 25:20 33:5 74:2 | 217:17 221:9,12 | 333:12 348:12 | excerpt 134:3 | |
| 221:4 296:20 | 254:20 | exactly 69:5 116:19 | exchange 168:7,8 | |
| established 188:12 | evaluations 221:4 221:11 | 272:13 373:5 | 170:18 172:9 | |
| 248:6 346:3 | evaluation/mana... | 398:7 | 321:12,21 350:19 | |
| establishes 198:5 | 373:18 | exam 128:16 | excited 6:8 79:5 | |
| establishing 201:17 | evaluators 11:14 | examine 283:7 388:19 | 115:4 157:11 | |
| estimate 140:17 | evening 323:18 | examined 285:9 | 316:15,16,18 | |
| 176:8 181:4,8,10 | event 390:21 | example 17:17 19:4 | exciting 70:3 111:1 | |
| 190:12 194:13 | events 43:8,17 44:1 129:13 185:8 | 36:13 37:12 38:15 | 114:18 208:12 | |
| 269:1 | eventually 35:20 | 39:6 45:13 48:6 | 298:21 306:21 | |
| estimated 142:6 | 322:8 356:4 | 53:18 54:11 61:22 | 309:14 | |
| estimates 172:19 | 396:18 | 62:18 65:9,10,15 | exclude 51:2 159:9 | |
| 175:21 180:18,21 | everybody 6:3 7:21 9:7 25:1 27:7,11 | 66:10 73:9,9,12 | excluded 275:2 | |
| estimating 283:5 | 38:7,22 64:13 | 79:4 81:14 93:4 | excluding 36:13,15 | |
| estimator 156:20 | 70:1 111:8 118:2 | 96:15 98:2 112:13 | exclusion 109:3 | |
| et 26:1 31:4 34:19 | 263:22 292:9 | 166:8 167:2 | exclusive 344:15 | |
| 38:17 43:10 100:3 | 340:12,16 359:11 | 170:13 175:17 | excuse 7:13 53:15 389:12 | |
| 138:16 176:10 | 361:22 376:11 | 178:13 182:4 | executives 130:3 | |
| 247:18 270:11 | everybody's 365:10 | 183:16 189:10 | exercising 387:17 | |
| | | 191:4,4 194:16 | exert 58:11 | |
| | | 210:17 214:14 | exist 274:5 384:11 | |
| | | 218:21 221:2 | existing 170:10 | |
| | | | | expert 8:16 10:21 71:14 80:7 132:3 |

| | | | | |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 133:14 147:3 151:11 240:10 252:5 expertise 189:22 experts 51:16 94:2 95:17 129:21 213:15,15 290:3,4 290:21 explain 311:10 explained 62:17 122:10 explanations 200:6 explicitly 42:1 87:4 expose 100:18 exposing 333:22 exposure 333:20 express 183:18,20 expressed 284:6 expression 39:5 extended 397:5 extension 45:11 282:22 extensive 113:10 extensively 189:8 extent 35:9 58:13 62:6,13 215:11 385:19 external 284:12 388:14 extinguishes 310:18 extra 290:18 extraordinarily 273:19 275:7 277:2 305:2 extraordinary 277:20 extremely 24:21 263:22 279:8 312:20 314:20 Eyal 118:19 eye 243:7 eyes 70:9 336:12 E&M 373:16 e-clinical 338:13 e-health 319:14 e-measures 339:21 | F | fair 94:22 174:22 216:18 231:1 246:14 256:7 326:17 fairly 79:17 168:21 257:4 268:1 311:13 383:7 Fairview 195:16 fall 9:16 201:10 280:1 365:11 370:2 falling 12:22 267:7 fallow 292:18 falls 272:3 familiar 75:6 105:6 122:9 248:14 249:10 families 2:19 5:10 56:6 104:20 133:17 145:21 246:3 326:11,12 332:14 371:3 family 32:5 37:7 46:6 48:8 51:7 52:14 54:16,19 60:6 61:8 90:21 98:5,17,20 103:15 104:9,10 235:4,7 239:17 331:20 374:20 famous 25:6,10 fans 352:16 356:9 359:20 fantastic 365:22 far 27:20 181:3 196:6 209:17 273:10 292:21 368:13 371:16 373:14 374:3 377:3 395:5 Farah 7:17 Farrar 302:11 fascinating 222:15 fashion 111:16 fashioned 160:5 fast 157:17 333:6 faster 199:4 | fastest 398:15 fatigue 43:10 172:21 176:10 178:4 181:15 182:3,4,19 183:2 184:8,10,12 323:21 372:9 385:12,14 fatigued 183:5 214:14 favor 12:22 43:5 168:15 226:20 favoring 140:3,14 FDA 107:16,16 214:8 fear 261:3 feasibility 82:20 147:16 160:21 161:17 165:9 262:6 feasible 273:3 features 256:15 321:10 fed 137:14,16,17,18 387:20 federal 187:11 345:11 347:12 393:13,14 394:9 feds 358:22 fee 116:21 393:16 feed 137:20 139:1 173:17 feedback 23:18 24:11 68:2 126:10 146:19 198:1,2 311:3 337:6,7 feel 15:1 52:18 198:16 201:18 204:20 238:12 261:6 395:9 feeling 23:5 71:15 259:20 264:13 270:19 feelings 198:11 feels 292:9 307:10 370:12 Feinberg 1:17 4:9 | Feinstein 295:17 felt 9:3 38:7 132:15 179:12 180:12,20 198:21 272:12 fewer 189:17 291:14 fibrosis 326:12 fiduciary 297:10 field 4:16 41:22 52:20 64:2 114:18 124:22 215:12 242:17 375:20,21 fields 17:19 figure 40:7 45:20 64:5 84:4 128:17 150:16 211:21 329:13 333:16 349:11 354:12 360:6 373:12 398:17 figured 354:13 figures 49:19 figuring 395:4 Fihn 1:18 4:10 40:18 64:15 92:22 100:17 217:20 219:6 345:2 Fihn's 93:18 388:21 files 245:15 fill 78:8 174:5 296:11 filled 78:6 196:18 197:4 238:15 297:10 fills 331:7,18 final 221:8 277:3 287:8 395:17 finalize 10:22 finally 14:10 51:9 54:21 57:15 62:10 204:17 217:14 242:2 280:10 295:7 330:3 354:13 financed 54:18 98:4,16 |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | | | | |
|--------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| find 12:8 13:15,17 21:11 32:18 101:3 148:19 157:15 169:20,21 330:13 332:7,12,14 334:7 357:15 360:17 377:18 390:18 | 322:17 326:8 341:8 fitness 88:21 292:8 fits 11:15 five 110:17 111:20 118:4 141:12 143:12 145:16 230:11 243:15 255:11 270:2,3 296:21 297:5 328:17 343:11 347:17 352:18,20 352:21,22 357:1 369:20 378:22 387:3 | 133:15 134:11 155:10,11,13,21 156:2 158:7 159:20 161:12 212:19 217:9,12 284:16 320:3 325:11,20 339:10 371:17 | form 96:2 136:13 207:18 209:1 215:13,19 238:16 255:9 288:22 290:15 327:8 377:22 381:20 format 249:17 formative 114:5 former 175:16 275:9 forms 120:4 178:14 181:20 286:11 297:9 314:8,8 formula 262:2 formularies 319:19 Formulate 61:17 forth 21:12 80:3 123:20 186:11 193:18 220:8 273:10 362:7 390:22 forthcoming 150:15 Forum 1:1,8 4:5 forward 27:16 40:4 65:4 79:2,7 82:15 89:7 109:22 130:7 137:14 183:10 188:11 203:7 205:6 207:1 210:21 220:4 258:15 274:3 298:22 379:17 forward-thinking 124:19 376:8 forward/feedback 137:20 139:2 found 60:10 62:12 62:18 74:10,15 92:3 102:19 103:2 122:21 151:14 171:18,19 201:12 201:13 298:4 332:21 380:15 founded 115:15 founder 186:13 founding 115:15 | four 59:13 98:4 118:5 142:3 265:17 330:16 375:5 387:3 390:3 fourth 333:11 frame 234:12 343:16,19,22 361:15,20 365:12 framework 25:21 104:6 177:3 187:15 210:2,4,7 frameworks 281:18 344:6 framing 364:22 Francis 196:2 Frank 1:19 5:2 162:8 203:13 218:20 234:21 236:18 351:22 352:2 353:19 359:22 374:9 381:7,7 frankly 232:7 386:19 free 187:8 343:5 frequently 180:3 247:5,5 fresh 203:1 Friday 119:11 friend 162:11 friendly 296:4 friends 56:6 338:14 front 89:4 94:5 115:9 126:13 132:6 148:13 169:8 199:3 217:19 225:2 323:1 386:15 391:11 front-end 191:11 fruit 109:14 fruitful 269:9 frustrated 148:19 full 41:17 161:21 205:16 213:2 347:3 401:7 fully 56:15 82:16 | | | |
| finding 96:4 107:3 112:4 199:18 275:17 377:1 | five-point 343:6 five-year 326:22 | focused 101:21 102:9 109:1 116:4 157:7 253:8 312:19 332:13,20 371:6 375:12 400:11 | form 96:2 136:13 207:18 209:1 215:13,19 238:16 255:9 288:22 290:15 327:8 377:22 381:20 format 249:17 formative 114:5 former 175:16 275:9 forms 120:4 178:14 181:20 286:11 297:9 314:8,8 formula 262:2 formularies 319:19 Formulate 61:17 forth 21:12 80:3 123:20 186:11 193:18 220:8 273:10 362:7 390:22 forthcoming 150:15 Forum 1:1,8 4:5 forward 27:16 40:4 65:4 79:2,7 82:15 89:7 109:22 130:7 137:14 183:10 188:11 203:7 205:6 207:1 210:21 220:4 258:15 274:3 298:22 379:17 forward-thinking 124:19 376:8 forward/feedback 137:20 139:2 found 60:10 62:12 62:18 74:10,15 92:3 102:19 103:2 122:21 151:14 171:18,19 201:12 201:13 298:4 332:21 380:15 founded 115:15 founder 186:13 founding 115:15 | find 12:8 13:15,17 21:11 32:18 101:3 148:19 157:15 169:20,21 330:13 332:7,12,14 334:7 357:15 360:17 377:18 390:18 finding 96:4 107:3 112:4 199:18 275:17 377:1 findings 130:19 finds 180:11 fine 166:7 197:11 223:18 302:19 finish 203:10 237:16 240:6 fired 118:2 firewall 11:13 first 8:7 9:11,13 12:17 18:19 19:2 20:10,14,16 23:3 24:3 27:21 32:21 36:7,21 37:16 38:3 39:18 41:2,8 41:19,22 80:7 111:21 112:19 151:10 155:10 158:11 159:22 162:22 170:12 180:8,8 184:19 187:14 196:16 199:8 200:14 210:5 238:9 260:15 262:4 264:20 266:7,17 271:20 273:7 281:16 289:6 294:10 306:19 321:18 330:7 336:16 355:5 362:13 371:1,17 376:3 396:2 399:12 400:12 401:5 fit 107:12 128:13 145:16 245:4 303:1 321:14 | fitness 88:21 292:8 fits 11:15 five 110:17 111:20 118:4 141:12 143:12 145:16 230:11 243:15 255:11 270:2,3 296:21 297:5 328:17 343:11 347:17 352:18,20 352:21,22 357:1 369:20 378:22 387:3 five-point 343:6 five-year 326:22 fixed-length 178:14 fixes 187:10 193:13 193:21,22 194:3,7 fix-it 277:14 flagging 129:14 flags 138:6 flattening 382:11 flexibility 48:2 193:2,7 248:19 flexible 108:21 127:5 190:7 255:17 341:10 342:13 362:16 flipping 21:12 floor 1:8 190:15 flow 173:20,21 174:12 245:5,6 256:20 267:2 299:5 321:20 322:6,18 341:20 341:20 342:2,3,6 342:6 375:3,7 flows 96:3 363:11 flowsheet 126:4 focus 26:19 34:5 37:4 45:16 52:6 70:20 73:5 105:3 106:4 109:14 111:5 112:21 122:8 132:5,11 | 133:15 134:11 155:10,11,13,21 156:2 158:7 159:20 161:12 212:19 217:9,12 284:16 320:3 325:11,20 339:10 371:17 focused 101:21 102:9 109:1 116:4 157:7 253:8 312:19 332:13,20 371:6 375:12 400:11 focuses 350:8,13 focusing 22:22 23:21 53:19 133:21 135:8 265:5 370:22 fold 294:10 folks 52:22 119:5 123:13 125:9 184:20 360:12 370:22 375:6 follow 225:21 236:21 360:4 388:5 followed 139:14 152:3 following 21:4 28:20 54:11 66:21 follows 357:7 follow-up 25:15 124:15 125:4 134:20 137:15 152:7,16 259:9,15 282:1 315:16 food 203:1 foot 346:18 force 15:16 105:1 213:21 230:20 236:1 Forces 75:7 350:15 forefront 186:5 Forest 234:7 forget 378:6 forgive 64:12 163:2 | four 59:13 98:4 118:5 142:3 265:17 330:16 375:5 387:3 390:3 fourth 333:11 frame 234:12 343:16,19,22 361:15,20 365:12 framework 25:21 104:6 177:3 187:15 210:2,4,7 frameworks 281:18 344:6 framing 364:22 Francis 196:2 Frank 1:19 5:2 162:8 203:13 218:20 234:21 236:18 351:22 352:2 353:19 359:22 374:9 381:7,7 frankly 232:7 386:19 free 187:8 343:5 frequently 180:3 247:5,5 fresh 203:1 Friday 119:11 friend 162:11 friendly 296:4 friends 56:6 338:14 front 89:4 94:5 115:9 126:13 132:6 148:13 169:8 199:3 217:19 225:2 323:1 386:15 391:11 front-end 191:11 fruit 109:14 fruitful 269:9 frustrated 148:19 full 41:17 161:21 205:16 213:2 347:3 401:7 fully 56:15 82:16 |

| | | | | |
|----------------------------|---------------------------|---------------------------|----------------------------|-------------------------|
| 82:17 | furthest 398:14 | 151:15 153:15 | 196:9,15 197:22 | 359:22 386:3 |
| fun 126:22 | fusion 82:1 | 154:21 155:3 | 202:7 227:16 | glad 10:2 199:19 |
| function 21:2,4 | future 119:20 | 196:22 245:21 | 246:11 256:4 | 204:16 219:21 |
| 56:8 99:19 100:1 | 123:11 198:13 | 264:15,16 274:21 | 270:1 292:4 299:4 | glean 134:12 |
| 100:11 138:10 | 243:3 248:20 | 374:17 401:3,8 | 304:15 313:2 | global 102:6 |
| 166:15,16 172:21 | 259:18 320:17 | general 2:5 4:18 | 314:5 323:6,7 | 149:15 178:16 |
| 176:10 177:8,13 | 321:7 339:7,8,8 | 44:1 102:18 114:1 | 350:14 351:16 | Glymour 283:13 |
| 178:4 251:17 | 368:20 | 115:16 135:7 | 352:21 356:14 | go 6:4 20:5 28:1 |
| 254:11 265:21 | futuristic 120:6 | 144:12,19 178:8 | 387:10 390:7 | 30:11 31:20 38:2 |
| 269:17 285:20,22 | fuzzy 37:10 | 178:22 179:5 | 397:17 | 40:15 41:8 62:13 |
| functional 17:3 | | 183:5 184:4 223:8 | get-go 117:16 | 80:9 83:15 94:16 |
| 18:6 26:1 38:17 | G | 228:21 264:21 | 119:14 | 98:21 103:13,14 |
| 42:15 47:2 49:3 | Gage 1:21 10:17 | 265:2,3,10,13,19 | ghost 295:16 | 103:15,15 106:16 |
| 71:16 81:16,21 | 151:13,13 235:11 | 266:2,10,12 | giant 140:7 209:8 | 109:22 126:18 |
| 100:15 111:12 | 235:15 378:10,12 | 269:14 271:3 | 339:2 | 132:11 137:9 |
| 121:3,18 126:9 | 380:13 | 278:22,22 283:5 | Giovannetti 99:11 | 138:20 141:9 |
| 134:22 137:10 | gain 140:8 195:13 | 291:11 292:4 | 99:12 | 153:12 156:15 |
| 138:7 239:6 266:8 | 282:2,22 284:6 | 336:2 397:17 | GIS 381:16 | 163:11 175:3 |
| 275:4 330:11 | gaining 217:18 | generalizability | give 8:3 10:5 11:14 | 181:1 182:5,11,13 |
| 334:18 354:20 | gains 140:6,7 | 31:15 | 21:10 37:19 39:17 | 192:11 199:4 |
| 359:12 368:1 | Gallup 196:4 | generally 38:21 | 39:19 50:7 63:6 | 200:21 212:15 |
| 394:4 | Galveston 2:9 5:12 | 89:3 204:21 222:1 | 73:12 79:13 129:9 | 219:8 222:6 |
| functionality 143:2 | 246:4 | 224:12 232:21 | 160:15 162:12 | 224:21 228:10,12 |
| 143:6 371:9 | Ganey 1:25 | generate 194:18 | 177:2 183:20 | 230:11,12,13 |
| 372:20 | Ganiats 1:21 30:13 | generated 187:4 | 221:2 244:9,22 | 235:10,14 240:13 |
| functioning 43:20 | 30:15,17 89:15,16 | 194:21 | 245:1,2 275:8 | 240:14 241:3,20 |
| 44:2 74:16 78:3 | 222:7,8,13 226:1 | generates 194:4 | 308:18 311:3 | 249:4 250:20 |
| 92:15 104:4 | 244:11 299:8 | generic 68:3 88:12 | 325:12 356:4 | 257:7 258:21 |
| 190:20 266:12 | gaps 307:13 | 102:3 109:3,11 | 357:19,22 360:5,7 | 263:10 264:16,17 |
| 290:12 | Gateway 124:17 | 171:6 242:18 | 360:9 362:18 | 266:1,2 269:7 |
| functions 39:9 | gather 59:8 60:8 | 270:14 271:8 | 365:17 401:13 | 271:14 280:12 |
| fundamental 31:11 | 72:20 86:8,8 92:3 | 291:11 330:1 | given 10:6 19:11 | 290:13,14 292:20 |
| 67:12 312:7 393:3 | gathered 61:15 | Gene's 114:5 | 34:17 76:22 78:16 | 304:21 305:21,22 |
| fundamentally | gathering 60:11 | gentleman 389:10 | 80:2 90:14 107:7 | 314:11,18 315:1,6 |
| 94:20 384:3 | 62:16 64:2 71:19 | gentlemen 95:10 | 124:2,4 148:18 | 317:22 320:20 |
| funded 54:10 139:5 | 110:22 289:10 | 316:13 | 179:6 191:2 | 323:16,22 324:22 |
| 206:20 | gathers 58:20 | geographic 93:2,4 | 230:10 238:16 | 329:11 330:6 |
| funders 110:19 | gauge 20:13 | germane 181:14 | 240:12 | 335:2,16 344:1,19 |
| funding 9:22 57:21 | gauging 303:12 | getting 45:5,22 | givers 34:2 37:7 | 346:7 348:22 |
| 151:21 206:17 | Gee 25:8 | 56:18 70:22 75:19 | 86:3 | 355:9 358:9 361:2 |
| 207:2 | Geisinger 195:17 | 88:13 94:5 110:2 | gives 107:18 180:7 | 362:22 363:1 |
| funds 206:19 | gender 90:15,16 | 114:10 118:15 | 198:6 200:1 230:7 | 365:18 375:6 |
| funny 358:6 | 142:3 191:5 | 119:12,12 125:15 | 331:15 355:19 | 385:9,18 388:5 |
| further 8:13 27:4 | 254:16 | 125:16 128:12 | giving 144:13 | 389:10 394:13 |
| 148:10 206:15 | Gene 2:8 6:22 | 153:8 158:18 | 166:3 216:10 | 397:14 399:8 |
| 208:9 219:20 | 110:13 114:2 | 163:2 169:7 | 318:14 333:1 | goal 11:19 87:16 |
| 386:19 388:21 | 119:6 130:22 | 170:13 173:14,15 | 352:10 357:4 | 116:8 117:1,4 |

| | | | | |
|---------------------------|-------------------|----------------------------|---------------------------|--------------------------|
| 126:2 334:17 | 157:19 158:7 | 388:10 390:20 | gradient 328:7 | 114:15 230:15 |
| 338:4 343:3 | 159:20 162:12,15 | 391:13 392:12 | gradients 382:12 | Gretsky 133:19 |
| 347:16 352:22 | 163:5 164:7 179:6 | 395:14 398:20 | gradually 310:17 | grew 336:7 |
| 368:4,21 381:9,10 | 180:11 181:2,3,20 | 399:16 400:3,19 | graft 118:13 | grist 137:5 |
| 382:1,6 384:18,18 | 184:18 185:22 | gold 11:8 330:19 | grandchildren 23:8 | groceries 23:7 |
| 384:22 385:4,7 | 186:6 192:11 | good 6:4 7:21 12:20 | granddaughter | ground 96:3 |
| 386:20 387:22 | 193:4 199:3 | 27:8 30:14 40:22 | 85:1 86:18 112:3 | grounded 10:18 |
| 390:9 398:8 | 200:15,20 202:8 | 51:8 63:5 64:1,15 | grant 59:10 | groundwork 8:21 |
| goals 60:16 119:15 | 203:4,7,10 205:15 | 67:3 69:21 71:18 | Granted 105:19 | group 1:24 4:12 |
| 120:6 218:13 | 208:9 213:17 | 73:5,9 82:4 86:12 | grants 110:17 | 6:14 24:5 27:6 |
| 307:14 317:5 | 215:3 216:5,5 | 93:4 95:14 101:5 | granular 88:13 | 35:14 38:6,7 |
| 332:13 342:19,21 | 218:14 220:4,5 | 101:6 102:10 | graph 298:10 | 40:20 69:12,13 |
| 344:3 347:8,20 | 223:14,20,21 | 103:11 108:4 | 330:16 333:10 | 73:13 92:18 93:21 |
| 381:21 382:14 | 226:7 228:10,18 | 125:15 128:15 | 335:6,6 | 93:21 94:2 108:12 |
| 383:22 384:2 | 230:12,13 239:15 | 136:7,11 141:7 | graphically 25:21 | 124:12 132:14 |
| 385:2,19 388:18 | 241:3 242:22 | 142:17,18,19 | graphs 49:19 | 134:8 143:4 150:6 |
| 390:10 391:2,3 | 243:13,16,17 | 152:15 159:12 | 150:19 | 152:5 155:11,13 |
| God 128:21 243:15 | 244:22 245:18,22 | 160:5 167:20 | great 6:10 7:20 | 161:12 174:7 |
| goes 30:4 115:2 | 246:5 248:12 | 168:14 176:16 | 11:22 14:6,11 | 227:11 230:19 |
| 124:6 180:19 | 249:2,4,7 250:11 | 189:10 198:22 | 34:20 62:12 69:22 | 254:1,1 281:14 |
| 181:3 197:13,18 | 252:14,21 253:2 | 199:21 200:13 | 77:16 79:4 82:9 | 288:16 289:20 |
| 293:20 335:4 | 254:5 257:20 | 202:15 210:11,15 | 101:11 127:9 | 319:2,19 334:14 |
| going 6:4 8:3,18 | 258:7 260:12,13 | 221:6 223:19 | 133:2,19 147:5 | 339:20 340:15 |
| 10:5,7,9 14:22 | 263:11,14,19,21 | 224:6 227:17,19 | 148:12 149:11 | 350:12 351:3 |
| 16:1,22 17:15 | 264:13 271:6,22 | 228:9 230:2,2 | 166:12 172:4 | 352:12 377:18 |
| 18:16 19:14 21:18 | 273:15,18 275:12 | 243:20,22 244:22 | 184:17 195:2 | 378:5 390:16 |
| 22:21 24:9,15 | 277:1,19 279:5,7 | 249:22 251:14 | 203:9,13 212:19 | 394:22 |
| 27:5,22 28:13 | 279:16 280:12 | 252:7,11 254:22 | 214:4 218:21 | grouping 235:8 |
| 35:10 37:15 39:17 | 287:22 299:22 | 255:22 256:11 | 221:18 225:1 | groups 30:1 47:19 |
| 40:13,15 42:8 | 300:13,18,19,20 | 264:18 265:10 | 235:3 251:15 | 61:20 102:5 122:8 |
| 46:15 47:3 66:15 | 301:7,8,10,12,19 | 268:3,6 293:1 | 260:17 270:21 | 132:6,12,12 140:5 |
| 67:18 70:20 83:15 | 301:21 305:2,17 | 299:9,12 316:12 | 289:1,5 302:2 | 155:10,21 156:3 |
| 84:6 85:16 87:15 | 306:8 308:7 | 322:3 325:8 333:7 | 306:14 315:12,20 | 212:19 279:3,5 |
| 89:20 92:22 95:16 | 313:19 315:21 | 353:14 354:6 | 348:14 352:10 | 290:15 318:16 |
| 95:17 99:15 102:8 | 316:2 317:20,22 | 395:1 398:20 | 359:22 360:5,7 | 333:22 350:5 |
| 102:15 105:20 | 318:6,11 321:1 | 399:6 | 372:22 376:15 | 365:16 401:7 |
| 108:1,2,3 110:11 | 325:6 326:8 332:2 | Goodrich 1:22 35:1 | 377:22 386:9 | group-level 29:18 |
| 110:14,20 112:4 | 333:17 336:18 | 35:2 | 394:22 401:20 | 250:22 |
| 112:21 113:3,7,8 | 342:12 346:1,11 | Gosh 300:15 | greater 139:21 | growing 71:1 |
| 113:17 114:3 | 346:13 348:5 | gotten 12:19 45:2 | 191:18 258:12 | guardian 60:7 |
| 116:7,7,16,20 | 349:14 354:18,22 | 215:9 315:6 | 292:5 | guess 30:5 84:18 |
| 119:1,9,11,19 | 355:9,12,14 356:2 | 368:13 | greatest 263:6 | 89:19 94:1,8 |
| 125:6 130:7 | 356:3,6 357:22 | government 185:3 | green 81:5 84:17 | 107:21 151:3 |
| 132:22 133:6,20 | 360:15 364:1,2 | 187:11 347:13 | 179:19 344:22,22 | 263:3 308:18 |
| 134:2,11,19 | 375:16 377:4,9 | grade 204:13 221:9 | 344:22 345:1 | 354:21 385:18 |
| 143:15 145:11 | 378:12 379:11,18 | 256:12 | Greg 2:9 4:17 | 386:11 389:21 |
| 149:7 156:6 157:1 | 379:19,20 388:9 | grades 250:19 | 84:15 110:12 | guidance 8:16 |

| | | | | |
|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 246:20 247:1,6 guide 23:18 227:12 guideline 223:12 223:13,15,21 224:1 225:11 226:17,19 231:3 231:10 guidelines 223:9,10 224:12 226:2 230:17,22 231:4 231:13,15 232:19 233:1 guideline-connec... 232:10 guides 313:6 guinea 257:15 guy 299:15 321:2 | happened 221:10 297:17 367:9 happening 97:8 209:6 332:3 366:17 378:3 happens 126:16 224:2 352:5 363:8 happier 319:6 happily 171:18 happy 222:11 307:14 309:5 317:16 319:5,5 hard 15:8 102:7 126:3 129:3 134:5 138:21 159:4 harder 91:17 hardware 191:20 harm 68:18 harmonizing 14:1 has-existed-for-a... 250:15 hate 274:6,7 360:2 Haven 2:19 HCAHPS 260:7 HCBS 61:6 head 354:4,7 headed 337:9 health 1:18,22,24 2:1,17,19,24 4:10 4:12,25 5:6,14,17 24:3 25:22,22 38:12 46:8,11 48:21 49:3,6 51:11 56:11 58:2 61:9,22 62:9 64:2 65:16 66:11,15 93:5,8 99:22 101:8 102:6 105:5 105:12 106:3,6,8 108:14,17 109:13 113:14 114:19 115:13,22 121:5,7 123:1 134:8,18 135:4 137:4 138:11 140:10,13 143:1,15 145:1,5 150:3 162:1,4 | 169:7,12 177:4,8 177:12 178:15,16 186:3 188:18 192:18 195:12 196:3,10 199:11 199:17 201:14 202:14,17,20 203:2 206:1,7 208:1,1 219:12 232:7 239:21 241:15,17,21 242:7 243:1 256:14 257:5 264:21 265:13,19 265:20,20 266:5,9 266:13,13 267:15 268:15,16,19 269:6,6,8,14 270:3,16 271:19 271:20 275:12 277:13,17 278:3 280:1,4 287:12,16 297:10 298:22 300:7 303:10,21 304:3,13,13 305:22 306:3,6,10 307:12 308:12 314:15 317:6 318:8,10 319:10 319:14,18 320:1,5 320:5,14,15 321:12,20,22 324:6,7,12 325:14 331:5 337:19 339:1,8,8 340:13 350:6,7,18 351:15 354:21 355:2 356:15 360:15 363:18 366:15 371:5 373:9 378:20 380:6,9,12 380:18,21 383:1 384:8,10 389:2,4 389:5 391:13 396:1 397:18 Healthcare 1:14 2:14 4:18 5:1 | healthier 199:13 339:14 Healthways 196:5 healthy 66:17 237:7 health-related 93:2 319:11 hear 22:6,6 25:6 27:18 28:5 30:16 31:18 35:11 38:7 49:13 52:1 69:22 78:22 83:7 95:2 100:10 280:14 306:21 309:3 319:6 348:6 heard 27:20 32:11 39:11 70:21 99:14 160:3 161:12 173:19 183:21 208:16 209:18 210:15 217:22 245:22 307:4 361:6 399:20 hearing 35:6 162:21 302:21 384:10 388:13 heart 66:20 68:12 68:14 70:7 181:17 182:1,14 185:13 226:4 265:8,15 266:3 267:17,18 268:7 351:9 354:4 354:5 355:20 heavily 247:10 heavy 9:2 heck 151:3 HEIDI 3:8 held 122:8 155:11 250:16 364:11 Helen 3:9 4:4 6:5 8:2 21:22,22 22:10 23:3 25:17 33:10 82:7 114:16 227:7 230:19 400:20 hell 353:19 Hello 292:16 | 302:12 319:4 help 8:18 24:4 44:6 48:8 50:7 85:7,11 85:21 86:14 93:22 100:14 134:20 175:4 196:11 198:21 210:4 211:8,14 215:14 215:21 216:11 224:7 296:8 300:6 312:5,10 324:14 326:15 337:8 351:13 360:7 361:16 375:6 376:1 385:22 398:17,17 helped 85:3 140:11 188:10 198:7,9 278:13 343:21 helpers 85:11 helpful 24:21 35:12 69:18 73:4 86:5 144:16 227:11 236:17 266:10 278:12 360:17 367:20 helping 47:9 127:5 259:14 374:17 helps 25:20 26:2 200:3 207:15 210:7 223:3 312:12 317:11 hemoglobin 126:7 231:11 353:4 herniated 139:17 139:18 141:14 269:19 heterogeneity 218:1 219:3,3 225:17 Hey 320:21 365:6 365:17 HHS 9:22 Hi 29:15 33:18 88:7 96:22 99:11 104:22 157:4 234:6 237:17 |
| H | | | | |
| Hahn 41:12 half 23:21 79:12 112:3 150:10 172:12 238:11 239:1 half-hour 357:5 hall 37:21 hallmarks 131:6 hallway 37:22 haloed 271:4 Hampshire 258:21 hand 18:14 124:5 138:9 140:12 141:9 142:6,12 151:12 157:20 240:8 258:18 295:20 299:7 307:17 310:20 357:18 handheld 171:16 handle 210:2 311:8 handoff 357:4 happen 93:22 144:1 224:2 297:22 338:18 353:16 357:22 363:9,10 377:5 378:1 395:22 | | | | |

| | | | | |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 303:5 335:22 350:4 381:7 Hibbard 229:11 hidden 393:14,22 394:2,13 hierarchical 15:18 283:6 high 168:14 191:19 201:12 212:6 232:11 250:10 253:13 268:12,19 294:12 333:7 362:15 higher 12:18 139:21 222:3 229:2,7,14 248:3 291:12 380:7 highlighted 109:18 highlighting 52:7 161:7 186:22 highly 19:8 20:3 65:19 high-cost 74:1 high-level 12:13 119:13 high-quality 288:5 high-risk 74:1,3 high-speed 205:19 hip 100:22 101:6 249:13 368:2,10 369:8 hips 101:12 historic 187:16 191:19 historically 186:17 338:7 history 98:11 138:5 281:21 hit 2:4 343:15 Hitchcock 2:23 114:2 143:10 265:15 270:5 hitting 37:8 174:2,7 376:6,12 HL7 392:20,21 HL7-associated 379:9 | hoc 327:19 hodge 220:5 hold 10:14 99:21 122:12,13 231:20 holder 393:17 holders 81:13 holding 29:22 holds 216:4 394:7 home 14:16 48:7,8 48:11,12,16 54:13 54:19 84:22 97:10 104:10 112:9 127:1 134:16 137:1 152:22 173:11 174:11,14 174:18 193:15 198:10 202:20 264:9 297:16 342:16 343:15 356:10 378:20 380:9 382:21 383:1 homes 65:11 384:8 hometown 374:19 honest 128:7,8 honestly 129:2 honesty 132:8 honor 401:5 hope 8:13 13:4 40:8 67:8 69:19 79:11 89:7,16 110:1 114:22 119:20 130:15 149:11 203:20 224:2 245:12 378:5 hopefully 21:17 115:11 130:19 146:22 252:18 256:3 336:4 359:19 hoping 30:6 105:13 106:7 130:11 272:13 368:22 369:7 Hopkins 2:24 5:6 36:10 241:17 389:11 | HOS 100:16 hospital 2:2,5 4:18 5:19 14:15 19:18 48:12 114:1 133:8 144:12 297:8 318:18 320:20 370:10 375:4 378:15 hospitalization 118:6 198:14 239:4 hospitalizations 239:5 hospitals 81:20 115:15 130:4 195:8 243:15 337:16 364:7 375:12 378:19,20 hostesses 6:17 hot 133:10 hour 79:12 150:10 150:11 157:19 hours 45:14 84:20 121:11,11 351:18 housing 100:3 How's 196:3,10 199:10 296:15 HR 394:5 Huff 1:23 4:11 40:19 69:21 102:12 228:13 huge 93:3 140:5 226:10 397:19 huh 280:18 Human 58:18 humanness 70:15 Humboldt 75:5 hundred 172:13 hundreds 242:20 242:20 346:21 hurdle 35:18 husband 166:6 hybrid 192:18 hybrid-ness 193:2 hypertension 48:6 268:18 hypothesized | 219:22 <hr/> I <hr/> icons 112:5 ICU 83:1,3 idea 22:19 79:9 107:12 125:12 134:15 135:18,22 209:12 210:11,19 213:20 221:15 263:3 277:5 334:9 340:18 341:3 373:1 386:9 399:1 ideal 341:13 ideally 344:14 393:22 ideas 338:19 373:6 identical 172:22 244:1 identification 36:3 207:19 identified 20:3 60:4 91:14 132:8 identifies 400:2 identify 26:3,8,21 27:2 28:4 32:2 33:15 44:15 57:16 58:10 59:19 198:8 198:9,17 236:4 257:22 259:14 287:9 379:1 390:17 identifying 20:4 22:22 24:1 43:12 58:3 198:14,20 207:14 388:16 ignored 146:7 ilk 90:8 illness 98:4,10,12 202:13 238:14 239:3 illnesses 55:12 illustrates 172:14 illustration 49:7 image 135:5 imagination 117:15 imaginative 390:8 | imagine 15:8 181:16 341:4 373:20 immediate 372:18 immediately 371:21 387:7 impact 15:6 62:4 104:16 163:16 173:20 174:12 193:19 203:2 231:18 267:21 281:6 impacted 106:12 impacting 100:4 impairment 51:5,6 167:3 215:5 impairments 268:1 imperatives 218:3 impersonal 172:9 impersonality 169:19 implement 81:21 173:16 189:18,22 191:11 196:2 337:19 375:7 391:21 implementation 9:1 91:10 108:22 118:4 160:22 185:16 189:21 195:11 197:21 217:14 227:20,20 230:3,5,8 341:21 383:8 implemented 46:4 58:19 113:13 191:9 203:19 287:12 implementing 195:7 315:3 implements 392:14 implications 199:15 implicitly 42:1 import 186:9 274:14,17 276:17 279:3,16 |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | |
|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| importance 15:21 38:10 57:8 149:6 258:9,13 262:12 284:13 357:4 390:22 | 322:5 326:16 328:22 341:21 342:12 343:20 344:3,7 345:7 347:6 366:6,9,11 368:19 380:14 382:10 386:21 390:18 396:19 397:7 | 270:11 356:7 improves 338:1 improving 4:15 16:6 70:17 73:4 75:17 116:8 117:1 170:17 206:10 207:17 208:7 214:1 216:12,12 232:19 280:5 306:6 | incorporate 75:10 169:12 201:21 239:13 242:6 328:9 392:21 incorporated 79:16 169:7 197:14 331:5 incorporates 228:16 incorporating 5:14 72:19 106:21 133:22 212:3 318:7 382:7 increase 98:17 300:2 increased 287:2 increases 165:9 179:22 282:19 increasing 13:6 160:13 170:5 352:9 increasingly 15:4 15:10 72:1 125:6 125:16 237:11 incredible 130:2 143:4 258:20 incredibly 6:15 55:2 111:18 112:1 347:20 incremental 140:22 incurred 140:18 169:14 independent 42:9 98:5 284:12 285:22 independently 56:8 index 139:20 178:17 196:6 249:9 283:20 381:14 indicate 288:6 indicated 11:19 108:16 269:22 indicator 129:7 287:15 indicators 52:8,11 57:3 58:1,20 | 59:12 60:22 61:10 104:1 117:12,13 122:5 126:2,6 266:13 286:17 288:8 318:20 indices 283:17 indirect 140:17,20 individual 19:9 23:4,16 25:16 30:1 31:7,10 33:7 37:3,4 53:4,11 57:6,9 58:10 59:7 68:21 80:18 84:14 86:4 92:4,15 100:6 103:21 134:16 176:14,17 214:13 231:20 235:2 239:17 251:2 281:13 284:20 286:15 288:15 295:5 305:9 317:3 319:18 327:17 332:9 359:17,17 359:18 394:7 individualization 206:4 individually 34:6 59:1 individuals 36:12 37:7 57:20 58:8 58:15 59:9 60:12 61:5 62:11 64:11 98:7 104:14 189:12 295:3 317:19 358:7 individual-level 18:20 19:3,13 23:14 26:11,16,22 32:3 58:21 288:14 400:14 industry 106:18 107:13 inertia 114:11 inescapable 208:20 inferring 211:20 influence 168:8 |
| important 7:8 10:1 11:7,10,12 15:17 18:11 27:10,12 29:11 34:10 36:5 38:8,12 39:9,9,16 40:1 44:3,5 45:10 47:1,22 49:2 50:19 53:2,13,15 54:21 57:2 59:18 59:19,21,21 60:3 60:4 61:11 63:7 63:22 71:8,22 74:14 76:3,4,6,12 78:1 86:9 87:1,6 88:4 91:22 92:16 93:16 103:12 109:9,19 110:16 111:5 120:20 122:7 127:4,22 129:18 132:20 151:16 152:9 156:1 158:11,20 163:7,12 172:16 187:22 188:7 191:8 192:22 193:3 200:11,21 201:6 203:5 211:1 211:5 223:1 224:18 229:17 233:9,12 238:8 239:16 245:7 247:22 252:15,16 253:20 254:6,18 255:17 261:10 265:12 266:1 272:20 273:2,19 274:20 275:7 277:2 279:5,8 281:16 286:9,14 299:22 305:3 308:10,11 311:8 320:16 321:17 | importantly 23:19 impossible 50:15 impression 43:4 impressive 86:19 improve 16:7 24:6 44:14 46:11 52:8 72:18 87:13 117:5 117:6 126:17 146:11 148:3 203:22 208:1,11 209:20 211:14 213:19 236:20 300:4 304:12 306:10 332:6 improved 12:11 62:8 72:1 74:16 74:18 210:17 237:2 239:6 291:20 improvement 2:14 9:21 12:10 15:22 21:3,9 24:4 39:7 87:13 109:20 117:11 137:17 139:22 201:3 210:21 218:12 222:18 223:3,18 224:5 228:22 243:6 252:19 254:18 262:21 273:16 276:12 291:5 300:19 301:13 317:5 323:3 326:2,6,20 334:17 350:8,13 360:18 367:6 369:2,13 388:17 improvements | imputation 187:9 inadequate 198:8 inappropriate 73:8 396:13 incentive 337:15,16 393:13,15 394:9 394:18 incentives 339:3 363:13 397:22 incentive-based 131:8 incidence 372:15 incidentally 296:5 296:11 include 17:2 50:20 57:15 63:8 82:18 190:4 213:16 242:14,22 250:3 276:11 281:13 283:19 286:21 319:17 390:19,20 included 198:17 201:17 367:18 includes 65:17 74:5 176:12 285:5 319:18 including 15:12 34:1 46:18 139:7 177:20 208:19 210:12 216:19 258:10 268:16 285:4 401:12 inclusion 26:18 33:8 165:6 inclusive 50:20 income 100:3 inconsistent 168:16 | | |

| | | | | |
|----------------------------|----------------------------|-----------------------------|---------------------------|----------------------------|
| 175:7 338:22 | informative 144:17 | instant 256:19 | 169:11 190:1 | 263:19 292:2 |
| influences 48:7 | 155:16 180:12 | 337:5 | 304:11 323:6 | 308:7,12 325:22 |
| 228:19 | 217:3 | Institute 1:20 2:8 | 324:14 | 329:6 354:16 |
| inform 23:17 132:6 | informed 46:5 | 2:13 5:2 58:19 | integrating 129:21 | 381:15 |
| 188:10 196:11 | 142:18 187:21 | 70:5 95:16 153:14 | 147:21 153:22 | interesting 30:20 |
| 348:10 | 188:4 | 162:8 195:18 | 320:14 322:17 | 31:15 52:18 |
| informant 214:22 | informing 376:22 | 196:3 287:2 | integration 77:5 | 100:14 111:2,18 |
| informaticist 162:6 | 378:3 | Institutes 2:16 28:7 | 131:13 147:18 | 146:15 147:7,20 |
| informatics 157:8 | infrastructure | institution 1:18,21 | 257:5 259:1 | 151:15 155:9 |
| information 5:17 | 161:1 197:2 317:9 | 23:11 31:3 241:13 | intellectual 52:20 | 169:21 196:15 |
| 38:14,19 44:4 | 337:11 338:21 | 275:10 300:1 | 52:20 54:8 55:11 | 198:1 199:14,18 |
| 45:5,22 47:10 | 339:1,6,13 340:20 | institutions 197:9 | 59:11 60:12 | 239:20 277:9 |
| 48:21 49:14 51:8 | 341:14 398:14 | 201:6 202:5,5 | 393:10,17 394:7 | 332:8 335:9 |
| 52:12 58:22 59:8 | infusion 339:3 | 317:14 | 394:10,12 | 372:21 |
| 60:8,14 61:13,15 | ingrained 309:16 | instrument 95:20 | intended 57:10 | interestingly |
| 62:3,22 64:3,6 | ingredient 96:12 | 190:5 198:5 | 250:5 263:1 283:9 | 171:20 288:13 |
| 65:13 66:8 70:11 | inherent 28:8 | 199:16 201:20 | 296:14 | 291:19 365:20 |
| 71:19 72:7,14,20 | initial 32:11 33:10 | 249:22 250:2 | intensify 68:11 | interests 184:18 |
| 73:3 75:11,21 | 93:18 114:11 | 254:8 259:12 | intensive 73:20 | interface 348:19 |
| 76:3,21,22 77:2 | 135:22 136:21 | 294:3 313:6 | 74:6 102:4 | interfere 44:13 |
| 77:17 78:14 84:6 | 364:22 | 343:18 392:15 | intentional 121:22 | interfering 385:16 |
| 85:16 86:8 109:5 | initially 73:5 | 393:5,7,16 394:12 | intentionally | interim 126:1 |
| 109:6 125:7 127:8 | initiative 185:2,3 | instrumental | 115:10 | intermediate 13:1 |
| 128:13 129:10 | 205:8 221:21 | 121:20 122:20 | intentions 287:13 | internal 123:13 |
| 136:2,6,9,14,18 | 350:16 | instruments 19:3 | interact 104:20 | 135:15 175:13 |
| 136:20,22 137:3 | initiatives 26:10 | 20:2 39:13 47:12 | 134:17 | 201:3 243:5 |
| 137:21 141:7 | injection 336:16 | 47:15 69:9 120:7 | interacted 6:20 | 250:21 251:3 |
| 143:22 144:3,13 | injured 66:2 | 120:9,12,15 123:8 | interacting 172:7 | 306:18 326:17 |
| 144:20 146:10,12 | inn 136:13 | 158:12 183:22 | interaction 27:6 | 366:22 |
| 148:16,20 149:3,7 | inner 117:7 | 190:7 191:12 | 86:2 163:22 | internet 48:17 |
| 151:4,6 153:2,4 | innovating 338:10 | 195:7,14 246:16 | 191:14 | 125:5 134:5 190:3 |
| 157:2 158:14 | innovation 227:21 | 249:11 250:15 | interactions 283:8 | 196:19 197:5 |
| 164:2,13,15,17 | 358:2 | 252:1 263:22 | interactive 27:11 | 261:18 293:18 |
| 170:15 171:4 | innovative 205:18 | 274:6 340:1,3 | 169:11 171:15 | 319:11 349:8,8,11 |
| 172:10 180:5,14 | innovators 357:16 | 341:15 394:1 | 208:21 | 350:2 |
| 187:7 196:9,16 | input 10:10 53:11 | insulin 336:16,18 | interest 14:11 | internist 162:6 |
| 198:12 199:3,21 | 56:22 85:15 132:3 | insurance 156:22 | 34:21 42:6 89:12 | 336:2 |
| 200:15 208:11 | 145:19 212:6,11 | insure 13:9 59:16 | 160:14 213:11 | interoperability |
| 217:19 229:4,8,19 | 212:20 252:5,5 | insurer 236:6 | 217:5,6 291:17 | 256:16 321:11 |
| 257:1 267:3 292:3 | 398:16 | insurer's 235:17 | 307:22 308:1 | 379:8,16 |
| 311:17 312:20 | inputting 223:14 | 236:7 | 310:17 327:12 | interpret 254:7 |
| 319:18 321:12,20 | inside 28:16 | insuring 236:13 | 329:1,2,11 | interpretability |
| 321:20 324:22 | insight 79:14 | intake 152:16 | interested 34:13 | 67:14 253:10 |
| 331:16 332:18 | 214:22 288:18 | integrate 197:16 | 35:5 52:5 84:7,9 | 254:22 |
| 350:19 364:12 | insights 69:17 | 324:10 374:22 | 132:7,14 185:9 | interpretable 245:9 |
| 373:13 388:14 | installing 125:21 | integrated 26:20 | 199:20 205:6 | 247:18 |
| 389:1 399:7 | instances 285:11 | 96:10 115:14 | 219:20 230:7 | interpretation 34:7 |

| | | | | |
|---------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------|
| interpretations 317:12 | invested 119:14 393:6 | ischemic 66:20 68:12 267:18 | 190:13 194:16 203:2 263:7,10 294:22 295:4,5 379:2,2 | 384:6 |
| interpreted 158:6 | investigators 181:22 | isolation 15:9 19:5 | | jointly 298:6 |
| interpreter 56:14 | investing 65:9 | Israel 118:19 | | Journal 68:18 283:12 |
| interrupted 314:17 314:22 | investment 171:5 303:9 | issue 7:8 35:8 42:5 50:1 59:18 82:13 83:4 88:9 92:1 124:12 128:10 148:15 152:5 159:11 160:20 161:8 187:13 192:2,14 193:2 194:8 237:22,22 238:1 307:6 322:9 387:8 389:6 392:7 392:13 396:5 | iterated 125:12 | Joyce 1:9,13 4:4,8 5:21 6:16 24:16 24:17,21 32:11 37:17 41:1 401:14 401:17 |
| interruptions 173:20 | invitation 157:10 329:5 | issues 4:21 5:5 8:11 9:4 23:1,13 26:14 30:19 31:2,14 36:21 37:6 43:13 48:9 60:21 67:12 69:14 81:13 82:6 84:12 101:15 113:19 114:11 147:2 160:1,2,8 163:2 167:11 169:5 185:7 199:5 200:11 205:14 217:14 243:9 255:18 266:5,16 266:22 267:8 272:11 281:4 294:13 295:10 303:10,13,14 321:18 379:8 | iterations 126:18 | Judy 229:11 |
| intervals 179:8 | invite 213:21 | | iterative 119:12 | July 1:6 10:7 |
| intervenable 385:21 | invited 69:12 | | IVR 124:19 125:5 152:22 171:15,22 172:3,20 260:19 260:20 | jump 69:10 93:19 93:21,22 |
| intervene 44:17 | inviting 64:16 114:17 117:17 133:2 325:9 | | IVRS 160:4 | judicious 261:4,12 |
| intervention 55:21 231:17 386:13 | involve 165:20 178:11 190:19 285:16 321:10 | | i.e 239:12 | judiciously 263:13 |
| interventions 66:22 71:8 103:10 119:9 253:8 269:12 270:15 | involved 73:14 127:8 128:18 144:21,22 185:5 185:13 219:10 252:4 291:21 321:19 350:14 393:1 401:12 | | <hr/> J <hr/> | jumping-off 198:6 |
| interview 63:11 167:12,21 168:7 168:10,19 170:12 171:21 | involvement 61:8 163:21 203:21 204:3 208:6 209:7 284:16 | | JD 3:21 | |
| interviewed 118:20 | involves 46:5 158:2 | | Jennifer 1:23 69:20 79:8,10 228:13 | |
| interviewer 163:20 167:9 168:2 172:11 | involving 155:1,8 | | Jennifer-Eames 4:11 40:19 | |
| interviewers 57:12 63:1 | in-clinic 170:13 | | Jensen 41:13 | |
| interviewing 119:3 119:4,5 212:13 | IP 395:6,11 | | Jessica 3:22 7:9 10:2 21:20 | |
| interview-admini... 164:18 | iPad 86:18 | | Jim 1:15 5:8 137:22 245:20 257:19,20 278:21 292:19 294:8 304:7 | |
| introduce 37:19 162:11 | iPads 62:18 123:19 153:1 | | Jim's 135:22 136:12 294:18 | |
| introduced 23:4 159:18 175:2,3 284:17 | iPhone 341:5 | | job 7:1 58:7 101:6 110:2 119:2 193:9 212:16 287:22 288:7 | |
| introducing 317:20 | Iraq 66:2 | | jobs 103:14 | |
| introduction 6:13 51:14 114:15 257:15 | IRENE 1:25 | | John 2:21 67:21 292:13 389:22 | |
| introductions 161:20 | Irish 390:16 | | Johns 2:24 5:6 36:9 241:16 | |
| introductory 103:4 324:17 | irrelevant 310:5 | | Johnson 105:7 | |
| invest 339:11 348:14 | IRT 187:20 188:9 189:6 190:11 191:8 192:20 220:13 248:10 285:7 306:22 309:22 310:4 | issuing 216:19 | join 110:1 | |
| | IRT-based 248:21 | item 176:6 177:17 177:19,19,21 178:11,15 179:10 180:5 181:15 188:4,9,13 190:19 202:4 220:14 255:1 284:22 343:10 384:17 392:3 | joining 6:7 7:21 | |
| | | items 178:18 181:19 187:18 | joins 335:21 | |
| | | | joint 181:17 249:19 259:13 263:17 265:9 268:5 345:12 383:2,16 | |
| | | | | keen 69:15 153:16 |
| | | | | <hr/> K <hr/> |
| | | | | Kaiser 1:15 5:8 186:12 245:20 259:3 |
| | | | | Kalahn 2:18 5:10 246:1 271:14 |
| | | | | KALDENBERG 1:25 307:21 |
| | | | | Karen 3:7,19 6:21 6:21 15:14 21:22 22:1,10,11 27:21 27:22 32:20 79:12 400:19,20 |
| | | | | Karens 6:20 |
| | | | | Karin 240:8 |
| | | | | Karolinska 134:6 |
| | | | | Kate 1:22 35:1 |
| | | | | Kath 31:19 |
| | | | | Kathryn's 376:5 |
| | | | | Kathy 2:4 314:5 316:4 361:2 |
| | | | | KATZAN 1:25 |
| | | | | Kazis 2:1 4:24 108:10,12 148:9 161:22 184:19 185:10 219:9 303:5 |

| | | | | |
|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| keep 6:17 79:3 88:6 89:8 97:11 104:9 113:20 148:9 181:2,3 204:10 212:16 241:9 300:10 307:3 340:4,16 363:14 393:7,8,9 | 333:7 kill 225:11 kilowatt-hour 133:7 kind 21:9 32:8 61:13 85:14 91:16 92:16 100:4,9 104:12 109:7 119:15 121:13 122:9 123:11 127:8 131:12 136:8 157:1 168:20 178:1 182:5 197:19 231:13 237:8 257:9 272:21 290:16 292:9 297:11 314:13 321:3 331:4 340:6 340:12 347:8 348:19 355:21 361:12 363:17 370:7 376:19 378:15 379:14 395:10 399:2 | 116:19 119:17 122:12 127:13,18 128:14 129:6,12 132:18 150:21 155:21 157:17 163:16 171:2 172:7 199:19 201:14 202:6 206:17 211:18 214:8 215:9 216:16 232:6 237:9 238:19 239:13 243:19,21 244:4,7 246:18 253:12,18,18,21 253:22 259:3 260:2,9 261:16 271:6,22 272:20 275:15 277:12 280:6 289:8 292:1 294:14,17 297:2 301:5 304:4,20 308:17 309:3,11 309:22 310:16 315:10 317:7 318:10 319:5 321:3,11 322:1 337:15 346:16 347:13,14,15,22 348:2,17,19,21,22 351:6,14 352:13 354:18 355:8,13 356:2,3 358:4,5,7 358:13 359:5,8,9 359:13,15,18 360:5,9 361:19 362:10 366:13 371:15,16,18,22 372:13 373:14 374:5 375:10 377:14,17 379:14 379:22 385:8 386:5,7 387:14 388:3 391:16 394:20 396:19 397:6,16 398:7 399:2 | knowing 228:18 266:20 296:13 314:21 knowledge 27:14 198:8 211:22 knowledgeable 25:2 known 244:4 282:6 376:8 knows 106:20 149:21 172:6 180:15 210:10 243:15 258:4 Kotagal 2:2 5:19 237:17,17 325:8 335:4 366:18 381:22 397:21 kudzu 287:16 288:12 | 239:16 Larsen 2:3 5:17 296:17,17 318:19 335:21,22 348:9 362:12 369:18 371:4 372:22 380:4 382:19 392:22 398:5 lasts 54:2 late 225:8 289:5 357:19 390:7 lately 234:9 Laughter 91:18 94:6 128:6 222:12 246:9 261:2 264:10 280:19 287:17 288:1 292:15 302:20 349:17 355:18 376:10 386:4 launched 115:19 115:20 338:17 laundry 271:11 LAURA 3:15 LAURALEI 3:14 lay 227:12 lazy 215:11 LDL 353:6 lead 14:19 36:4 215:21 233:10 276:16 308:2 344:10 leadership 366:16 leading 53:20 142:16 208:18 225:4,16 leads 12:1 175:18 346:20 leap 9:6 learn 56:7 119:6 126:17 214:1 228:1 254:12 265:6 learned 26:9 35:11 119:6 129:16 144:5 161:6,19 199:22 200:10 |
| keeping 117:5 122:14 166:22 205:18 257:18 319:13 keeps 88:4 Keller 28:6,6 Ken 186:12 246:3 280:10 294:13 Kenneth 2:8 5:11 kept 289:2 Kettering 4:22 88:8 147:7 157:5 Kevin 2:3 5:17 296:17 318:18 335:20,21 344:17 344:19 345:9,16 348:7 361:14 367:13 375:17 380:3 391:17 395:13 397:15 398:4 Kevin's 347:7 371:1 388:22 key 5:14 26:17 27:5 60:20 61:17,21 62:1,3 86:15 95:11 96:12,18 99:8 116:6 162:20 202:8 203:7 247:14 318:6 322:16 358:14 399:19 keypad 154:14 302:15 314:3 389:17 kicked 115:17 kicking 111:9 kidding 112:2 kids 25:9 215:4 | kindergarten 204:11 kinds 51:11 61:18 98:21 117:21 126:5 141:10,13 145:14 270:16 373:1,5 kiosk 334:10 kiosks 331:17 knee 249:13 368:2 368:11 369:8 knew 116:12,18 175:15 260:15 know 8:9 20:11 23:9 24:6 32:1 35:20 41:20 42:3 44:7 49:20,20 56:2 64:19 69:5 70:13 73:21 79:10 83:7 90:11,14 100:2,18,19 101:14 106:20 | 116:19 119:17 122:12 127:13,18 128:14 129:6,12 132:18 150:21 155:21 157:17 163:16 171:2 172:7 199:19 201:14 202:6 206:17 211:18 214:8 215:9 216:16 232:6 237:9 238:19 239:13 243:19,21 244:4,7 246:18 253:12,18,18,21 253:22 259:3 260:2,9 261:16 271:6,22 272:20 275:15 277:12 280:6 289:8 292:1 294:14,17 297:2 301:5 304:4,20 308:17 309:3,11 309:22 310:16 315:10 317:7 318:10 319:5 321:3,11 322:1 337:15 346:16 347:13,14,15,22 348:2,17,19,21,22 351:6,14 352:13 354:18 355:8,13 356:2,3 358:4,5,7 358:13 359:5,8,9 359:13,15,18 360:5,9 361:19 362:10 366:13 371:15,16,18,22 372:13 373:14 374:5 375:10 377:14,17 379:14 379:22 385:8 386:5,7 387:14 388:3 391:16 394:20 396:19 397:6,16 398:7 399:2 | L lab 296:9 laboratory 195:13 392:16 lacking 86:12 ladies 95:9 316:13 lagging 225:5,6,10 land 153:19 landscape 11:16 216:20 363:18 393:8 394:16 language 47:19 94:16 112:6 256:3 297:13 380:14,17 languages 256:9,11 large 116:13 126:16 160:22,22 161:15 171:4 179:8 236:12 241:20 270:18 282:17 318:9 325:14 largely 45:4 67:2 337:17 larger 102:2 109:13 113:10 152:2 190:9 | |

| | | | | |
|----------------------------|---------------------------|-----------------------------|-----------------------------|----------------------------|
| 289:14 | 131:11 163:22 | limit 83:5 223:2 | 173:9 192:3 | LMR 126:2 |
| learning 146:19 | 175:22 176:17 | 309:9 | literally 256:5 | lo 179:11 182:12 |
| leave 160:17 | 190:13 231:19 | limitations 67:7 | literate 192:5 | location 48:3,5 |
| 224:16 342:14 | 232:13 270:7 | 91:2 173:19 | literature 42:10,10 | 351:3 |
| 397:7 | 285:12,19,20 | 174:15 191:10 | 166:11 216:21 | locked 294:19 |
| leaving 64:4 | 286:16,18 329:18 | 282:5,14 283:18 | 248:15 251:19 | log 267:7 |
| lectures 121:21 | 329:19 332:11,16 | 284:1 | 284:2 308:14 | logically 42:14 |
| led 15:14 118:21 | 353:5,6 359:17,17 | limited 30:3 53:19 | little 7:10 8:3,5 | 46:10 233:10 |
| 383:8 | 359:18 364:5,14 | 66:7 200:8 248:16 | 10:6 11:4 35:3,7 | logistic 286:4 |
| left 43:1 120:22 | 387:10 392:3,13 | 251:22 385:13 | 37:10 38:1 43:20 | logistics 37:19 |
| 333:10 395:21 | levels 142:9 191:3 | 401:6 | 52:18 81:22 83:20 | Lohr 2:4 31:18,19 |
| lefthand 16:16 | 231:12 275:4 | limiting 213:1 | 85:9,13 106:17 | 33:17 314:5,6 |
| left-hand 333:8 | 297:12,13 323:21 | limits 106:2 261:14 | 113:6 114:4,6 | 361:3 |
| leg 372:9 | 353:8 391:19 | Linda 2:23 34:10 | 115:8 120:15 | LOINC 324:9 |
| legacy 187:15,21 | lever 397:17 | 34:11 210:2 | 127:13 140:8 | 368:14 379:15 |
| 188:2,3,9,12,22 | 398:13 | LINDSEY 3:20 | 148:10 150:13 | 392:5,7,14,14,20 |
| 189:4,7,18 192:19 | leveraged 341:2 | line 10:4 32:13 99:3 | 157:18 160:3 | loneliness 62:5 |
| 241:22 | levers 153:10 | 138:10 182:14 | 180:18 215:11 | long 6:9 53:22 60:3 |
| legal 235:1 | Lew 303:3,3 | 224:16 226:7 | 219:1 235:16 | 111:10 115:3 |
| length 178:16 | Lewis 2:1 4:24 | 251:11 277:15 | 237:19 243:21 | 116:10 121:14 |
| 204:19 248:1 | 108:11 161:22 | 345:4 386:16 | 244:11 245:22 | 174:3 176:18 |
| 307:5 | 184:19 217:12 | lines 15:2 65:8,14 | 247:1 255:11 | 178:5 185:19 |
| lengthy 330:21 | 219:8 | 80:11 148:13 | 258:8 264:2 268:8 | 224:10 238:21 |
| Lentz 29:15,15 | license 243:15 | 172:14 302:4,7 | 272:11 276:9 | 248:13 254:21 |
| 35:4 154:19,20 | 358:20 | linger 224:17 | 279:14 280:7,9,13 | 264:4,11 281:20 |
| 209:22 | licensing 393:16 | link 15:21 183:14 | 289:4,4 296:18 | 293:14 307:15 |
| lesion 101:16 | lie 292:18 | 254:6 278:12 | 299:18 320:10 | 311:13 313:4 |
| lesions 101:17 | lies 308:19 | 279:15 324:7 | 326:9 334:22 | 326:11 341:7 |
| lesson 132:21 386:3 | life 25:22 38:17 | linkages 306:8 | 335:9 341:1 | 352:2 359:1 |
| lessons 4:16 26:9 | 43:19 54:7,13 | linked 15:19 16:4 | 363:22 364:8 | longer 190:6 199:5 |
| 35:11 114:18 | 60:16 62:5 92:5,8 | 17:19 20:3 68:9 | 369:4 370:22 | 314:8 346:5,22 |
| 129:16 144:5 | 99:19 100:1,12 | 72:1 367:3 | 380:20 398:6 | 356:11 |
| 161:6 200:9 328:8 | 103:14 104:17 | linking 305:13 | live 58:9 59:2 88:16 | longitudinal 14:12 |
| letter 291:22 | 122:4 126:9 | 318:21 | 172:11 339:14 | 14:17 25:19 28:8 |
| letters 260:16 | 128:22 137:12 | lipoprotein 148:6 | 349:4 363:7 | 28:19 47:4 165:10 |
| let's 28:1 32:18 | 140:22 141:2 | Lisa 29:15 35:4 | lived 336:9 382:20 | 170:20 175:7 |
| 99:9 101:21 120:5 | 155:15 194:9 | 154:19 209:22 | lively 241:10 | long-term 56:17 |
| 128:4,22 152:6 | 275:9 293:20 | list 41:5 44:15 | 349:15 | 103:8 250:14 |
| 167:5 216:6 | 330:1 338:9 | 52:11 124:4 | lives 52:15 57:5 | 323:7 378:20 |
| 250:20 298:13 | lifetime 307:1 | 271:11 354:14 | 85:1 92:14 339:14 | 380:8 |
| 318:8 319:9 362:4 | lift 9:2 | listed 241:15 | 339:19 | look 15:9 25:18 |
| 377:20 | light 43:1 179:21 | listen 49:12 90:2 | live-long 103:17 | 43:16 83:2 88:18 |
| level 23:4,22 28:12 | 369:4 | 103:9 | living 103:16,17 | 92:17 102:20 |
| 30:22 31:7,10 | liked 112:4 200:4 | listening 376:9 | 134:16 166:15 | 105:15,16 106:7 |
| 47:20 71:15 84:14 | 303:7 | literacy 49:3,4,8,10 | 272:7,7 338:9 | 141:21 142:20 |
| 90:5 92:7 94:11 | likelihood 142:11 | 49:11,12,15,15,16 | 383:11 | 147:14 159:14 |
| 96:13 104:13 | Likert-type 249:15 | 49:19,22 50:10,11 | Liz 197:1 | 164:5 170:17 |

| | | | | |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 184:13 187:6 225:3 229:5 232:16,18 236:11 236:17 248:22 250:12 251:18 258:15 259:19,19 265:17 278:3 279:2,12 288:21 295:2 296:9 316:20 318:11 326:7,18 327:9 358:10 369:19 386:21 looked 43:18 83:10 93:1 171:1,12 219:14,15 229:12 272:4 352:19 353:10 looking 25:14 26:10 32:22 33:15 42:20 73:6 78:2 96:3 101:1 102:16 105:8 107:6 114:9 115:20,22 116:1 118:7 145:5 150:22 160:11 183:11 195:15,17 195:20 221:15 229:18 250:3 270:10 275:10 278:17 290:1 303:10 327:4,7 333:13 352:8 373:6 383:12 393:21 looks 21:2,8 107:16 190:11 252:10 308:5 311:20 335:7,13 352:17 365:2 loop 22:17 63:21 Lori 1:19 5:2 162:8 203:11 216:15,16 217:15 378:8 380:2 381:7 384:15 Lori's 233:4 | lose 90:7 109:4 159:9 169:9 309:15 lost 143:3,5 204:18 lot 14:1 24:10 29:20 41:15 42:3 42:3 64:3,3 73:8 86:15 87:5 98:16 100:2 101:17 109:6 114:10 120:19 123:12,14 126:11 134:12 141:17 143:1 145:11 151:3 170:7 178:1 195:9 198:12 199:1 201:14 203:2 206:7,8 209:6 210:15 211:7 212:21 213:7 216:4,4 217:4 218:4 220:1 224:5 228:4 241:19 246:20 248:6 254:21 263:10 269:4 270:12 271:2 272:21 274:4 277:11 287:20 289:12 294:13 295:10 296:7 306:3 307:10 308:14 315:3 325:16 326:5 341:19 346:12 348:14 354:3,11 355:10 358:19 361:5 363:2 366:1,13 377:1 378:12 379:7 381:5 393:1 393:6 397:5 399:5 399:6 lots 13:19 80:2 113:19 132:17 239:19 248:14 341:6 342:9,10 373:2,3,7,8 | loud 50:9 louder 22:8 love 89:15,16 91:6 259:16 300:15 loved 301:15 lovely 7:18 loves 128:8 Lovins 133:7 low 169:4 182:2 212:6 253:12 267:19 297:11 333:7 380:11 lower 18:6 133:11 141:9 142:12 143:19 179:2 180:4 182:10 222:2 380:10 lowest 17:4 253:18 low-lying 109:14 Luddites 361:4 lunch 5:4 156:11 157:15 203:14 240:10,14,16 luxury 165:18 220:3 | 285:20 maintenance 73:1 291:4 major 13:21 26:13 35:18,18 64:20 275:11 287:18 327:12 365:12 366:1 374:13 majority 54:7 60:13 360:11 makers 52:13 53:11 56:21 58:14 58:15 making 14:17 23:20 39:13 76:8 76:15 77:2,6,11 77:17 79:3 88:4 93:20 113:21 122:1,15 142:18 142:19 199:16 244:2 malcognitive 51:5 mammogram 260:11 manage 174:21 198:21 238:20 381:1,2 managed 55:4 97:9 170:3 management 44:11 56:12 74:6 118:10 119:3 129:19 131:3 162:2 181:18 209:21 350:6 manager 356:17 357:5 358:12 managing 119:3 122:16 238:13 286:11 mandate 93:20 mandated 69:9 mandatory 221:7 manifest 48:1 manner 44:16 207:14 mantra 398:6 | MAP 97:1 MARCEL 2:16 March 115:7 124:22 336:3 marketplace 218:8 marking 62:1 marvelous 289:12 Mary 2:19 86:21 216:17 376:2 Mary's 214:19 379:18 Mass 115:16 135:7 144:12 Massachusetts 2:5 4:18 114:1 massive 125:20 Mastanduno 95:14 95:15 153:13,14 Match 105:8 matched 74:11 materials 40:12 161:22 200:5 245:16 maternity 274:16 matter 56:19 110:9 117:18 240:20 290:17 291:1,2 294:2 316:10 392:19 402:5 matters 206:5 266:19 332:10 matured 190:1 maturity 227:8 Mayo 195:21 MBA 1:13 2:22,23 3:8 McMaster 249:8 MD 1:13,14,18,21 1:22,25 2:2,3,5,9 2:15,16,18,19,21 2:24 3:9,21 meals 56:18 mean 29:9 32:9 34:19 46:20 90:21 139:21 178:7 179:1 182:7 184:3 197:19 219:2,3,5 |
| M | | | | |
| MA 2:20 machinate 377:14 machine 78:4,9 172:8 MagLev 205:19 magnitude 166:20 284:17 mail-out 294:21 main 7:14,17 251:21 Maine 2:14 5:18 105:1,18 277:8 318:22 350:3,5,6 350:16 351:1 352:1 354:3,18 355:9 389:7 maintain 176:16 285:12,19 324:14 340:16 maintaining | | | | |

| | | | | |
|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 219:7 226:1 236:6 271:9 272:5 292:22 346:16 354:4 356:17 360:1 371:8 381:13 387:12 meaning 32:5,17 158:17 177:16 181:21 284:5 373:21 meaningful 57:4 89:2,6,13 171:19 204:7 208:10 233:15 253:17,19 253:21 254:1,14 273:12 317:11 318:20 320:17 321:1,4,8,9 322:7 322:20 336:1 337:14 348:11 361:16 364:16 365:1,13 367:17 368:5,21 369:12 371:2 374:3,15 375:12,18 382:14 398:1,12 meaningfulness 258:11 262:10 means 49:20 133:16 141:1 175:10,14 203:18 255:22 263:13 270:2 273:21 386:10 meant 71:17 138:2 310:2 measure 11:8,11 13:6 19:7,22 20:21 21:1,8,14 24:1 28:9,12 29:4 29:10,18 30:21 31:1 33:9 36:2,3 57:11 58:2 66:20 82:16 84:9 88:22 89:1,11,13,13 90:7,10,17 93:11 100:16 109:4 | 122:2,3,7,10,21 123:1 138:22 139:20 147:11,12 147:19 152:10 176:3 177:17 183:16 201:2,3,4 201:5 202:1 203:22 223:13,20 225:5,6,7,10,14 226:9,18 227:18 228:19 232:17,22 235:21,22 239:15 246:16 253:11 256:17 262:3,13 265:19 266:2,11 266:12 270:7,9 272:14 273:20 278:3,9,11 282:14 285:5 293:3 315:14,15 329:14 329:14,16 330:1,2 330:18,20 331:13 334:13,18 338:12 338:13 339:18,22 350:9 353:2,3,21 359:4,12,21 366:8 368:6,10 369:14 385:14,15,16 388:7 394:9 395:2 measured 53:19 84:8 122:22 175:12 182:3 224:13 232:12 250:5 254:11 287:7 332:5 338:2 354:15 366:10 379:2 measurement 2:12 6:11 11:6,16,21 12:16 14:2,13 16:18 20:11 26:12 39:10 41:22 51:12 66:13 71:20 72:17 79:16 81:9,15,20 82:6 83:20 91:15 93:20 108:9 123:7 171:3 187:13 | 191:18 192:21 204:3 205:17 206:10 207:7,8 209:12,15 211:13 213:19,21 216:6 216:13 218:6,10 225:12 229:8 233:3,15 235:6,8 238:9 243:5 246:13 248:11 250:1,7,18 252:3 252:16 256:18 266:22 268:15 284:9,18,20,21 288:14,20 291:10 297:1,5 318:21 321:13 330:22 338:6,11 340:14 350:21 352:17 354:9 360:3,6 368:1 370:15 381:11,17 382:8 384:4,17 400:15 measurements 19:16 34:15 117:12 127:15 208:12 263:5 measures 4:5 6:6 7:7 8:10 9:2,7,15 9:18 10:19 11:12 11:14 12:7,8,21 13:2,12,13,13,16 14:1,3,19 15:2,3,5 15:7,10,12 16:2,3 16:13 17:10,11,14 17:15 18:3,4,9,21 19:15 20:6,9,14 20:17 23:10 24:2 26:16 27:2 28:20 29:4 31:5,13 32:6 32:16 33:4,12,13 33:14 35:9,17,19 35:22 36:14,16 39:14 45:7 58:13 59:20 61:12,20 66:19 67:14 69:9 82:14,21 83:1,12 | 84:2 87:5 88:10 88:11,12,19 89:9 95:20 96:2 99:17 101:5,22 102:16 103:8 108:3 119:10 120:8 121:9,10,18 124:11 126:9 132:2,9 155:2 174:3 178:3 183:14 184:1 186:6,8 187:16,16 187:21 188:3,9,12 188:22 189:5,6,7 189:18,20 191:19 195:19 200:22 201:22 202:11,13 202:14,17 208:8 211:3 213:12 214:9 216:12 217:16 218:4 219:5,7,15 222:10 223:9 224:13 225:5,16 226:3,12 226:13,13,14,16 227:6,13,19 229:21,22 230:2 230:18 231:16 233:7,11,14 242:18,19 243:11 247:3,15,21 248:4 248:8,16,21 249:7 252:7 254:4 255:5 256:16 258:1 262:4,9,19 263:9 264:21,22 265:4 265:13 266:10 267:1 268:22 269:6,14 270:14 272:20 273:9,15 274:4,10,17,20,20 275:1,5,16,22 276:4,11,16 277:4 277:12 279:12,16 283:22 286:16 291:13,13 292:6 294:1,10 295:8 | 301:7,19 304:6 305:3 322:11,17 326:3,8 329:20,20 338:3,4 339:17 341:22 353:12 354:14 356:5 364:21 367:15,17 367:22 368:18 369:7,20 370:18 374:7,18 376:21 384:22 394:5 397:6 measuring 16:6 57:10 101:8 138:22 147:16 175:13 176:9,14 177:7,9,12 178:18 250:9,9 267:15 268:10 272:13 281:11 282:11 288:16 289:14 354:19 385:4 388:16 mechanism 355:5 mechanisms 31:8 315:5 Med 381:10 media 50:6 390:5 median 265:17 293:3 Medicaid 1:23 2:12 29:16 55:1 97:2,9 97:9,10 104:3,4 medical 2:2,9,21,23 4:19 5:9,12,19 55:14,14,20 65:11 105:12 112:9 121:4 124:3 125:19 126:15 127:6 148:18 162:5 169:22 241:19 247:7,10 297:20 335:22 342:16 345:12 346:20 348:3 352:1 356:9 362:3 362:4 376:6,9 |
|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | |
|---------------------------|---------------------------|--------------------------|---------------------------|---------------------------|
| 377:2 380:19 | members 52:14 | methodological | 29:7 30:12 80:15 | 386:16 |
| 382:21 391:8 | 54:16 56:16 73:19 | 4:21 5:5 23:1,13 | 80:19,20 81:4 | minutes 24:14 |
| medicalized 390:3 | 103:15 195:6,16 | 26:14 63:16 | 91:19 306:15 | 110:6 124:9 153:3 |
| medicalizing 391:7 | 195:20 315:22,22 | 113:20 157:12 | 344:20 | 162:13 217:7 |
| Medicare 1:22 2:12 | 358:18 | 160:1,1,8 163:1 | Microsoft 340:11 | 230:11 240:15,17 |
| 29:16 97:2 108:18 | Memorial 1:14 | 196:12 200:11 | mid 68:1 | 245:18 255:11 |
| 186:19 189:13 | 4:22 88:8 157:5 | 203:6 228:5 | middle 42:22 | 289:3 303:16 |
| 219:13 266:7 | men 90:13 | methodologically | 140:21 227:1 | 311:14 313:5,5 |
| 378:13 379:4 | mental 55:12 74:16 | 29:1 | 261:18 336:19 | 314:16 357:1 |
| medications 55:15 | 78:3 98:3,10,12 | methodologies | 350:17 | 399:19 |
| 259:8 | 138:11 166:18 | 63:12 293:8 | mild 142:9 | misclassification |
| medicine 1:17 4:9 | 177:4,8 178:18 | methodologists | MILLER 3:15 | 229:13 |
| 17:19 68:18 | 237:12 265:20 | 329:12 | million 54:8 67:6 | missed 237:22 |
| 135:16 280:7 | 266:5 267:22 | methodology 65:20 | 67:15 171:5 | 239:7 |
| 306:18 366:22 | mentally 270:19 | 156:2 161:17 | 189:12 | missing 158:21 |
| meet 61:6 | mention 11:7 61:11 | 163:17 191:9 | millions 346:21,21 | 159:1 165:8 |
| meeting 6:9 8:7 | 63:22 185:1,11,21 | 195:10 228:15 | Milstein 229:11 | 167:15 174:6,21 |
| 18:18 31:20 93:17 | 187:20 189:2 | 284:3 288:6 | mind 39:2 88:6 | 175:2,3 194:8 |
| 113:8 152:4 | 216:16 323:12 | 290:14,18 | 94:18 97:12 120:6 | 212:22 220:19,20 |
| 221:19 323:21 | 391:17 | methods 47:16 | 166:22 204:10 | missingness 158:21 |
| 365:20,22 | mentioned 33:11 | 62:15 163:18 | 294:20 298:20 | 194:10,14,22 |
| meetings 111:4 | 52:4 57:18 60:21 | 170:8,9,10,21 | 319:13 332:15 | 215:17,18,22 |
| 121:12 157:16 | 96:7 101:1 152:22 | 175:4 181:19 | mindful 214:20 | mission 70:7 |
| 377:15 | 174:21 177:14 | 185:6,15 196:17 | 245:19 | 112:15 |
| meets 137:6 | 178:17 190:6 | 206:16,19 209:6 | mindfully 204:4 | misunderstood |
| Melanie 95:15 | 207:13 210:1,3 | 209:20 210:21 | minds 46:3 | 309:21 |
| 153:13 375:9 | 222:21 258:11 | 213:1 214:2 | mindset 293:1 | mixed 127:13 |
| member 46:7 48:8 | 267:2,17 268:4 | 216:21 217:10 | mine 396:4 | 144:18 193:6 |
| 51:7 54:20 60:6 | 274:12,21 322:6 | 223:4 224:8 | minimally 253:20 | 194:6 |
| 74:7 97:1 98:20 | 322:18 323:11 | 228:20 229:17 | 254:17 | mixed-methods |
| 241:16 246:7,10 | 347:9 374:5 | 248:17 255:15 | minimize 46:18 | 152:21 |
| 258:5 261:3 | 391:18 | 281:17 283:11 | 50:13 62:11 | mixing 160:9 |
| 264:17 289:8 | merge 322:21 | 284:21 286:10 | 170:20 | 173:12 |
| 291:7 292:14,16 | merit 233:3 | 290:15 | minimized 67:17 | mobile 66:11 |
| 295:18 296:13,17 | mess 135:17 | metric 178:6,7 | 166:21 | 255:20 |
| 299:8 303:5 | message 261:1 | 180:6 181:21 | minimizes 165:8 | mobility 285:21 |
| 307:21 308:17 | messaging 260:22 | 183:2,21 184:2,5 | 174:12,13 | mode 46:19 160:2 |
| 311:9 314:6 315:7 | met 1:7 367:8 | 184:8 187:4 194:4 | Minnesota 2:11 | 160:10,12 163:6 |
| 319:4 345:2 361:3 | method 4:21 46:19 | 236:5,7 237:5,8 | 20:10 81:9 195:21 | 164:10,12,19 |
| 367:12 370:21 | 125:3 163:5,9,13 | metrics 211:6 | 296:19 297:1,18 | 167:4 168:12 |
| 371:5 376:4,11 | 163:14 164:20 | 303:18 | 342:15 352:17 | 171:12 193:6 |
| 378:10,12 380:13 | 169:19 171:13 | MHS 1:22 | 382:20,22 383:8 | 194:6 |
| 381:7 384:15 | 192:4 204:21 | MI 303:16 | 384:7 | model 25:13 46:11 |
| 386:5,18 387:12 | 211:17 214:3 | mic 31:19 36:8 | minor 268:18 | 74:5,21 75:1,16 |
| 388:20 389:20 | methodologic 8:11 | 148:9 | 314:7 | 250:1,7,18 258:22 |
| 391:4 396:17 | 9:4 187:22 205:14 | Michael 313:11 | minute 115:8 | 259:6 313:9 |
| 397:10 399:1 | 222:19 223:7 | microphone 28:3 | 149:18 150:10,10 | 327:22 392:17 |

| | | | | |
|---------------------------|--------------------------|-----------------------------|---------------------------|----------------------------|
| modeling 283:6 | mood 90:17 | 379:14 399:21 | 246:2 373:15 | 158:16 161:2,15 |
| models 13:19 98:15 | morning 6:5 7:21 | MPA 1:21 | 380:5 | 165:12 194:7 |
| 189:1 283:2,16 | 40:22 42:20 64:15 | MPH 1:18,23 2:8,9 | nationally 220:6 | 197:7 200:16 |
| 354:18 382:7 | 69:21 95:14 96:7 | 2:13,14,15,16,18 | naturally 14:19 | 204:10 205:10,15 |
| moderate 142:9 | 117:22 128:11 | 2:24 3:9 | nature 33:15 53:14 | 205:21 206:11,15 |
| moderator 4:8,17 | 209:18,22 210:18 | MQIC 352:6 | 53:16 59:5 60:14 | 210:19 211:6,13 |
| 4:22 5:6,15 37:17 | 248:21 400:12 | MSc 1:14 | nausea 232:18,20 | 214:20 215:15 |
| moderators 42:19 | MORSELL 3:16 | MSN 3:8 | 372:8 | 217:1,17 221:16 |
| modes 163:18 | Mort 2:5 4:18 | MT 3:7 | nay 107:18 | 225:14 227:19 |
| 171:20 193:10 | 110:12 114:1,14 | multidimensiona... | NCI 185:4 | 230:17 231:3,10 |
| 255:14 256:1 | 122:13 128:7 | 190:21 | NCQA 100:16 | 231:12 232:15 |
| modified 194:12 | 132:5 149:10,18 | multiple 39:4 73:10 | 350:21 351:7,20 | 233:4 244:17 |
| 329:15 331:1 | 155:8 178:17 | 171:8 218:1 | 367:13 374:6 | 253:12 255:7 |
| modify 334:2 | 197:1 | 285:17 340:21 | 383:1 393:2 | 259:15 261:11 |
| modifying 213:12 | mortality 219:14 | 369:9 387:10 | near 70:6 | 275:15 279:9 |
| modules 77:8 | 225:9,10 232:4 | multiples 327:9 | nearly 171:4 | 305:11 307:3 |
| moment 7:19 34:5 | Moseley 2:6 4:12 | multiplied 67:15 | 259:19 271:18 | 310:4 320:22 |
| 95:12 136:5 | 40:16 51:22 91:21 | multiply 84:5 | neat 355:2 | 321:1 324:7 326:4 |
| 197:16 204:5 | 103:6 | multi-center 68:8 | necessarily 16:1 | 342:12 362:15,16 |
| 208:14 275:21 | Mother 292:16 | multi-stakeholder | 67:17 72:11 94:20 | 362:17,18,19,21 |
| 292:17 348:6 | motion 385:13,15 | 350:12 | 199:2 230:4 | 362:22 366:14 |
| momentarily | move 10:19 15:4 | MUNTHALI 3:17 | 239:21 268:11 | 369:11 389:3 |
| 224:20 | 27:15 37:16 40:4 | MUP 1:13 | 273:12 274:5 | 392:1 400:4 401:8 |
| moments 8:1 | 40:7 72:11 79:1,7 | musculoskeletal | 279:6 296:3 304:6 | needed 9:5 55:7 |
| momentum 98:16 | 89:7 102:7 124:18 | 16:20 | 304:8 305:9 320:8 | 63:14 181:7 |
| MONDAY 1:6 | 165:12 183:8 | myth 44:12 | 366:11 381:13 | 189:17 272:9 |
| Mondays 14:14 | 184:18 186:11 | | 393:19 394:16 | needing 85:21 |
| money 72:18 | 188:11,19 202:17 | N | necessary 8:20 | needn't 294:2 |
| 133:12 169:8 | 203:16 210:20 | name 99:11 122:5 | 91:8 191:13 | needs 19:22 44:15 |
| 294:18 339:12 | 228:1 250:20 | 218:13 299:15 | 192:19 193:7 | 48:2 60:19 69:10 |
| 340:9 348:14 | 262:17 303:3 | 306:16 378:9 | 194:7 220:10 | 76:11 85:11 |
| 349:20 363:11,14 | 349:21 366:15 | 400:2,8 401:3 | 317:9 | 108:21 137:7 |
| monitor 98:7 287:9 | 379:17 388:22 | nameless 320:21 | need 8:21 16:3 | 161:4 188:19 |
| 304:10 313:7 | 395:7 | Nan 41:14 | 18:17,22 19:6 | 193:12,20 194:19 |
| 337:3 379:4 | moved 336:3 389:2 | nanosecond 143:3 | 20:5 25:17 26:19 | 194:20 207:14,20 |
| monitoring 304:16 | movement 17:8 | narrative 335:2 | 29:12 36:7,20 | 255:19 263:16 |
| 306:12 | 98:17 | narrow 81:18 | 37:20 39:15 47:8 | 266:20 273:14 |
| month 74:7 182:20 | mover 131:15 | 278:18 | 50:5 52:7 55:1 | 294:1 307:13 |
| 352:8 353:10 | moves 15:6 28:13 | narrowly 53:19 | 56:1,4,6,8,10,13 | 320:4 329:15 |
| months 11:22 | 50:3 109:1 136:20 | 263:14 | 59:14 62:14 64:5 | 354:8 357:11 |
| 20:18,19 45:13 | 298:22 | national 1:1,8 2:3,6 | 71:3 77:2 83:19 | 359:6 395:21 |
| 123:6,7 130:12 | moving 6:17 14:11 | 2:16,18,20 4:5,12 | 84:12 85:12,14,18 | negative 177:10 |
| 139:12,12 153:9 | 165:13 183:6 | 5:10,17 11:18 | 87:9,21 88:6 | 187:2 |
| 182:21 309:12 | 202:12 207:1 | 40:16 55:3 57:18 | 91:11,14 105:3 | Nelson 2:8 4:19 5:9 |
| 312:16 318:12 | 224:6 262:2 | 58:17,20 99:12 | 106:4,11 109:21 | 110:13 114:2 |
| 328:18 364:18 | 263:13 302:5 | 103:22 113:14 | 110:18 137:9 | 132:22 152:1,20 |
| 365:3 375:5 | 303:18 362:8 | 137:18 227:3 | 148:21 150:4 | 153:15 156:13,16 |

| | | | | |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 196:22 245:21 264:15,17 311:9 374:17 nervous 364:8,11 network 115:14 126:1 neurological 55:10 neutral 11:13 never 22:6 25:7 54:13 110:19 119:8 166:12 175:14 179:13,18 231:4 297:9 new 2:19 68:17 85:12 86:16 97:1 110:17 112:6 115:18 120:7 125:21 134:22,22 135:1 136:1,6 143:1,6 149:3 151:1,4 157:16 170:8 190:1 200:1 258:21 260:14 266:5 268:14 293:7 304:11 312:12 313:14 338:11,12 339:16 341:15,15,16,17 363:22 383:7 newer 188:22 248:9 248:10 news 168:14 172:5 298:21 NHS 221:20 nice 41:10 113:6 114:8 151:5 240:5 259:19 321:2 395:9 401:11 nicer 244:15 night 84:18 336:20 352:7 NIH 21:7 83:8 139:5 171:6 185:2 NIHPROMIS.org 323:17,22 NIH-sponsored 152:2 | nine 143:2 206:21 Nineteenth 376:16 nitty 79:2 non 139:11 140:1 150:7 167:11 non-ignorable 214:16 non-methodologi... 193:12 non-participating 74:11 non-physician 150:7 non-surgery 142:10 non-surgical 141:3 non-traditional 74:8 norm 387:6 normal 122:14,15 179:4 normally 85:10 norms 254:16 North 140:4 384:20 Northern 74:22 Northwest 134:8 Northwestern 1:16 4:8 nose 346:19 nostalgically 295:22 notably 260:6 note 37:18 63:7 213:10 335:3 401:2 noted 55:2 213:7 227:7 notes 241:13 notice 45:18 noticed 150:18 166:7 399:22 noting 153:21 notion 65:5 68:22 69:8 92:1 216:9 264:13 381:8 November 10:21 nowadays 293:10 | Nowinski 41:14 NPRM2 369:19 NQF 3:5 6:6 8:9 9:19 11:10 12:1 15:19 19:2 21:12 21:13 22:14 25:10 82:13 114:16 119:18 128:2 183:22 223:19,21 230:18,21 280:16 280:22 284:3 317:5 361:17 388:18 393:2 395:1 400:9 NQF's 217:5 NQF-endorsed 226:12 number 12:21 28:21 29:5 58:4 61:1 84:11 121:1 131:7 147:2 148:17 175:9 232:4 242:3 243:9 251:22 263:7 285:3 334:6,6 341:12 370:17 383:4 387:19 388:4 numbers 290:9 383:13 numeracy 50:1 numerous 82:11 nurse 78:16 127:9 292:2 311:19 337:18 nurses 127:7 nursing 48:11 54:2 54:13 378:18 nuts 371:17 N.W 1:8 | observational 139:6 214:9 observations 27:19 34:5 286:7 observe 100:15 observed 37:10,11 221:22 observer 164:8 observer-assisted 234:10 obsessive 330:9 obtain 50:16 165:5 215:6 obtained 42:14 71:12 81:17 obtaining 195:6 obvious 248:2 396:22 obviously 36:11 93:7 117:7 121:6 128:10 207:7 252:17 273:8 274:17 277:16 279:4 301:19 332:4 350:5 occurring 230:9 occurs 137:15 OCD 330:12,20 333:20 October 10:20 OEF/OAF 347:10 offer 6:10 71:11 136:19 204:6 offered 320:11 offering 266:7 329:5 offers 319:15 office 2:3 5:17 30:3 45:14 123:9 126:13 153:1 196:18 199:1 200:4 337:21 351:2,8 officer 162:5 offices 351:1,4 offline 111:1 off-topic 212:15 | Oftentimes 159:5 Oh 7:16 36:8 80:21 82:9 128:21 260:19 289:7 okay 7:19 22:6 25:6 25:7 31:19 37:15 41:2,7 51:21 52:2 52:3 81:8 91:20 99:9 109:17 110:14 119:9 120:10 147:15 156:9,15 178:20 246:10 253:9 280:17,20 319:9 325:4 335:19 380:2 391:15 395:17 old 55:5 84:22 86:17 150:22 160:5 162:11 271:10 294:19 older 285:22 oldest 143:11 Olympics 323:20 ONC 296:18 318:19 335:21 336:1 363:2 392:12,18 once 124:12 127:3 132:8 140:13 154:12 155:20 158:9 162:10 173:22 346:3 373:13 oncologist 77:21 157:6 oncology 232:17 291:8 307:11 ones 16:20 17:1 32:18 153:20 167:20 242:15 248:9,10 274:12 303:8 310:12 one's 206:6 one-size-fits-all 46:21,22 one-stop 135:19 |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| ongoing 55:16 151:20 156:7 324:13 | 208:10,13 212:20 212:22 213:18 234:11 242:5,13 272:16 280:17 281:1 339:9 384:16 | 136:18 origin 37:2 original 247:11 originally 46:2 ortho 152:5 orthopedic 81:15 153:16 | 39:7 40:5 42:13 46:11 56:3 57:9 60:16 61:20 66:21 71:6 72:2,4,12 85:18 86:10 87:1 87:2 88:1 91:3 94:12,17 99:17 100:1 103:21 105:4 113:11 117:6,13,18,20 121:5 133:10 134:1,14,21 135:11 136:1,4 137:14 138:14 139:2 141:11 143:10,18 144:2 144:15 145:5 147:9,17 149:5 151:16 155:15 157:6,8 162:7 171:3 185:14 186:5 195:22 204:7 208:2 211:11 213:6 227:14 228:5 230:6,7 231:2,8 232:6,11,21 233:10,12 237:10 239:7 242:7 246:17,22 247:2,7 247:11 252:10 261:5,10,21 267:16 268:10 275:3 276:16 279:1 285:10 286:15,21,21 287:4,20 288:4,9 296:21 298:21 299:20 301:16 304:15 313:2 316:20 317:10 318:21 326:1,6,19 326:22 327:4,5,6 327:10,11 328:5 328:10,16,21 329:1,2,5 330:11 330:14 332:6 | 342:7 351:10 359:4 366:5,10,21 367:7,16 369:22 370:11 371:14 382:18 395:22 396:14 398:1,2 outdone 345:3 outliers 187:2 outline 247:9 outlines 67:11 Outpatient 73:21 output 211:15 outreach 161:14 319:1 outside 11:9 35:7 100:2 106:10 189:12 279:14 325:15 366:3 379:20 out-of-pocket 156:22 out-of-the-box 279:22 overall 18:8 44:1 103:13 112:15 120:17 216:13 overcome 114:10 overlapping 117:2 overload 148:16 overly 227:22 oversimplifying 397:11 overuse 15:12 overview 39:18,19 40:13 216:20 289:12 overweight 387:16 owner 395:6,11 Oxford 81:17 o'clock 318:4 |
| onset 268:13,21 Ontario 249:8 onus 277:10 305:17 306:4 op 101:13 open 80:11 95:4,8 266:3 268:7 302:2 302:4 349:22 365:9,10 398:16 opening 381:19 open-ended 128:15 391:6 open-minded 222:8 operated 98:6,15 operating 200:17 292:22 operation 114:12 operationalizing 303:20 operator 7:13,14 7:19 80:11 95:8,9 99:2,5,6 154:7,11 154:12 233:20,22 235:12 302:4,7,9 302:13 313:20,21 314:1 389:13,15 operators 124:20 Ophthalmology 21:2 opinion 283:6 290:16 365:10 381:15 opinions 381:16 opportunistic 111:18 opportunities 24:12 44:21 77:1 83:6 284:15 opportunity 6:10 24:10 27:18 82:18 95:5 204:19 | opposed 13:7 17:10 17:18 29:10 37:11 44:12 128:20 153:21 224:13 229:9 326:2 330:2 330:10 340:22 opposite 174:14 option 125:5 options 169:3 oral 49:12,13 50:9 order 116:20 306:7 306:11 322:4 345:15 351:20 357:12 364:5 ordering 148:6 organization 24:5 68:21 97:16 112:10 115:12 186:10,13 220:7 232:2 275:13 294:6 297:16 329:19 348:13 392:12 394:6 organizational 218:3 276:1 383:5 organizations 29:17 97:6 98:6 98:22 105:14,22 218:16 244:3 320:6 344:4 organize 53:7 119:21 122:21 250:7 317:10 organized 46:7 116:5 organizers 157:10 280:16 281:1 organizing 116:22 118:9 209:16 orientation 207:22 oriented 46:9 | ought 250:3 321:8 379:2 outcome 13:2,13 14:19 16:5,13 17:15 18:3 22:16 29:18 32:16 33:12 33:14 57:19 90:18 94:13,22 95:2 108:17 151:17,17 171:7 219:15 226:14,16 227:10 227:13 228:2 230:18 262:8 280:3 281:10 283:10 285:13,19 287:1,15 317:2 325:18 329:11 370:6,14,16 374:7 396:7 outcomes 1:3,19 4:15 5:2 11:20 13:1 14:22 15:6 15:19,20 16:4 17:7,8,10,12,18 18:12 22:15,20 23:2,5,8,15 24:20 25:18 29:22 30:8 33:16 38:11 39:4 | pace 3:19 6:21 15:14 21:22 22:11 32:20,20 37:15 80:5 110:11 156:9 156:15 167:13 | |
| P | | | | |

| | | | | |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 240:9 241:3 316:2 316:7 401:1 Pacific 1:23 4:11 73:13 134:8 package 89:10 158:3 packaged 13:18 packet 192:9 pad 95:12 pads 99:8 page 134:3 138:4 145:4 paid 42:8 103:18 116:14,16 337:20 354:1 355:11 356:3 358:21 pain 38:17 43:10 66:13 71:15 73:9 135:16 138:12 142:4,8,9 166:18 175:14 176:9 181:18 182:2,21 182:22 231:3 249:19 251:16 258:21 267:19 290:11 311:4 372:9 385:13,14 paired 20:16 panel 4:9,23 5:8,16 8:16 10:21 27:21 36:7 37:13,16 38:4 39:15 40:11 80:2,7,13 94:2 100:10 110:2,7 112:19 147:3 154:4 156:10 158:7 159:20 205:2 210:5 218:19 228:14 236:9 240:7,11 241:5 245:17 289:6 292:13 313:6 315:22 316:5,14 317:18 359:14 398:21 399:13,14 panelists 70:22 | 157:21 160:19 161:19 395:16,18 panels 94:3,4 151:2 179:3 paper 10:8,10,17 36:21 39:18,20 40:14 41:4,5,6,15 41:16 50:17 67:10 67:10 84:21 85:8 87:20 100:19 113:6 120:4,18 134:3 144:9 160:6 162:19,20 163:19 164:11,15,21 168:21 169:4,17 170:3 171:14 172:4,20 174:22 196:18 205:13 207:11 208:5 210:22 213:8 238:17 247:9 260:16 284:3 288:6 297:12 307:15 317:16 318:15 320:9 331:3 339:18 369:5 400:4 papers 9:11 11:1 paper's 171:13 par 319:7 paradigm 97:8 293:1 294:20 336:10 346:4 353:7,13 paramount 262:11 342:6 parcel 301:21 parent 37:10 238:6 240:2 parents 36:13 214:13 238:2,12 239:1 parking 216:4 Parkinson's 267:18 285:15 parsimonious 14:9 parsimony 132:15 | part 7:4 12:4 13:21 19:6 22:16 63:8 69:12 71:3,20 73:7 75:8 76:17 77:6 78:18 82:15 91:15 103:12 108:16 112:14 115:1 126:16 137:18 144:3 152:2 153:20 162:16 194:21 205:1 215:20 242:8 245:6 259:16,17 272:9 293:16,17 296:22 300:3 301:20 304:2 308:10 309:6 310:8 354:22 357:10 362:9 364:7 372:2 372:20 379:13 382:2,6,11 393:11 partially 90:6 participate 27:14 56:16 63:2 69:15 153:17 213:22 280:17 281:1 participating 292:11 participation 24:10 240:16 292:3 participatory 46:6 particular 6:22 8:8 13:15 14:22 18:10 22:14 53:8 72:9 89:6 92:8 93:15 100:22 109:15 152:1 159:2 163:13,17 186:22 187:1,18 198:15 214:5 262:9 265:5 276:4 279:3 292:19 373:15 particularly 29:21 48:20 66:19 103:16 159:7,12 160:21 165:3 | 205:6 244:5 274:16 286:14 308:6 345:9 354:16 401:19 partly 315:10 partnering 123:15 partners 4:18 114:19 115:13 121:21 127:14 130:4 131:6 161:11,17 287:5 321:19 345:11 350:14 partnership 2:19 5:10 46:5 208:18 246:2 310:13 parts 93:6 149:1 party 216:7 pass 257:6 382:15 passing 256:12 password 331:15 path 8:21 9:17 76:13 344:11 patient 1:3 4:6,15 5:2 17:2 18:6 19:9 22:17 23:1 23:15,17 28:13,20 29:21 30:4 34:6 34:18 38:4,13 40:5 42:14,16 43:2,4,6 44:4,15 44:18 45:3,6,8 46:6 47:2,3,6 52:21 53:5 65:3 65:17 66:16 68:22 69:3,4,5 70:13,22 71:2,14 75:20 77:8 83:16,21 84:7,13 85:5,20 86:9 87:1,22 89:2 89:3,8 90:3 91:4 91:13 94:21 96:8 99:16 101:10,18 112:19 124:2,6,14 124:16,17 125:4 125:14,18 131:14 132:2 134:13 | 136:3,15 137:15 137:21 138:1,4 140:18,19 141:20 142:19 143:9,14 144:20 146:7,18 149:16 150:6 151:18 157:22 161:9,18 162:9 165:5,11,15,21 166:1,4,5 169:20 170:22 174:8,16 174:17 175:8 195:18 196:20 198:6,16 199:12 201:18 203:17,21 205:5,22 207:14 207:18,20,21 208:6,21 209:2 210:1 211:3,6,18 211:19 212:2,6,7 212:7,20 213:3,5 213:5,9 215:1,1 215:13,16 216:1,3 216:19,22 222:16 231:22 232:5,20 233:8 235:7 242:9 244:6 260:4 263:8 263:14 265:18 267:15 272:10,14 275:1,6,16 276:22 279:13 281:6,13 289:20 290:5 291:17,17 292:1 293:22 294:2,9 296:2,7 297:9 298:7,8,17 300:6 307:3 308:4 310:9 312:5,21 319:16 322:9 326:13 327:18 328:6,11 329:21 330:3 331:12,18,19 332:9 333:3 338:2 342:4,4,5,22 343:4,7 344:1 346:9,10 347:5,15 356:20 358:13 |
|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | |
|-----------------------|------------------------|----------------------------|----------------------------|----------------------------|
| 370:19 371:7 | 210:6,9,13,13 | 322:10 | 171:7 185:14 | 360:15 393:16 |
| 372:6,7 376:6 | 211:21 212:3,10 | patient-centered | 186:5 195:22 | payer 237:1 |
| 381:1 382:13 | 212:15 213:22 | 1:19 28:10 46:1,9 | 227:14 231:3,7 | payers 14:5 381:3 |
| 385:10 386:1,6 | 214:2 215:20 | 65:10 71:9 76:15 | 234:16 237:10 | paying 45:5 310:12 |
| 389:1 396:10,19 | 219:4 231:5 | 112:9 162:7 206:2 | 242:6 246:17,22 | payment 24:8 39:8 |
| 396:20 397:2 | 233:10,12 236:4 | 206:12,14 207:6 | 247:2 261:5,9,21 | 72:22 97:15,18 |
| patients 14:20 | 244:12,13,20 | 207:16 211:12 | 262:8 275:3 | 131:13 146:17 |
| 19:20 22:19 23:8 | 245:5,9 252:6 | 286:21 287:1 | 285:10 286:15,20 | 149:14,15 153:8 |
| 30:3,9 31:3,9 | 259:20 261:6,13 | 342:16 353:2 | 287:15 288:3,9 | 229:2,5 262:21 |
| 33:22 38:18 42:4 | 262:11 263:12 | 356:9 | 296:21 298:21 | 304:9 350:9 379:5 |
| 42:7 43:12,21 | 264:12 265:15 | patient-centered... | 299:20 316:20 | pays 266:6 |
| 45:22 47:10 48:1 | 266:21 267:1,4,8 | 205:22 207:19,20 | 317:10 325:18 | PBGH 40:20 73:19 |
| 49:9 59:17 65:14 | 270:10 272:20 | patient-centricity | 326:1,6,19,21 | 74:21 79:17 |
| 65:17,22 66:3,8 | 273:2,12,20 275:7 | 65:5 | 327:4,5,10 328:4 | 228:14 |
| 67:6 68:2,11 71:3 | 275:18,22 276:6,7 | patient-defined | 328:9,16 329:2,4 | PC 172:19,22 |
| 72:2,18 73:10 | 276:17,20 279:8 | 342:19 | 330:14 342:7 | PCI 101:1 |
| 74:1,17,20 75:13 | 279:17 280:5 | patient-driven 66:1 | 359:4 367:16 | PCORI 206:17 |
| 75:18 76:2,7,16 | 290:5,21 293:7 | 93:19 | 369:22 370:6,11 | 216:18 217:6 |
| 76:17,20 77:1,22 | 294:5 297:9,16 | patient-focused | 370:14 371:13 | 381:8 |
| 78:3,5 82:1 83:21 | 298:3 299:5 | 14:18 387:11 | 395:22 396:6,13 | PCORI's 217:5 |
| 84:5 87:15 88:16 | 304:13,14 305:4 | patient-generated | 398:2 | PCP 351:1 356:22 |
| 89:14,20 90:8 | 307:4,11 308:21 | 138:18,19 | patient-technology | PCs 296:1 |
| 95:19 96:14 102:5 | 309:3 311:12 | patient-identified | 191:14 | PDA 171:16 172:20 |
| 117:18,20 122:8 | 312:5 315:16 | 347:8 | patient-use 243:17 | pediatric 83:2 |
| 122:11 123:21 | 317:12 320:11 | patient-level 5:5 | patient/the 322:11 | pediatrics 177:6 |
| 124:4 125:2,10,14 | 321:18,19 325:15 | 9:14 241:12 | Patricia 1:9,12 4:2 | 325:17 367:1 |
| 125:17 126:11,20 | 326:10,14 328:20 | 269:11 281:4 | 5:15,21 | peers 98:13 |
| 127:11 128:15,20 | 329:10 330:17,20 | patient-owned | pattern 333:12 | pencil 160:6 168:21 |
| 132:7,12 133:16 | 332:7,13 337:8 | 336:11 | patterns 132:18 | 169:4,17 171:14 |
| 133:17 134:7,9 | 339:7 342:10,20 | patient-provider | 333:4 387:3,3 | 172:4,20 196:19 |
| 135:12,14 136:8 | 343:16 344:8 | 207:13 | Patti 6:15 7:12 | 331:3 |
| 137:14 139:13,16 | 346:1,4 347:2 | patient-quoted | 18:14 21:19 24:22 | penetration 380:6 |
| 140:2 144:11,12 | 352:22 353:4,5,7 | 322:14 | 37:18 88:4 | 380:8,9 |
| 144:22 145:18,21 | 353:12 362:16,17 | patient-reported | Patty 316:13 401:2 | people 12:7,8 18:19 |
| 146:3 149:16 | 366:6,10 368:3 | 11:20 14:21 22:15 | 401:14 | 30:11 35:10 41:10 |
| 152:14 153:9 | 371:3 372:11 | 23:5,14 24:20 | pause 95:12 302:6 | 41:21 43:9 47:12 |
| 155:1,9,16 159:5 | 373:2,7,22 377:10 | 25:18 33:12,16 | Pawlson 2:9 4:17 | 48:15,16 50:5,20 |
| 159:9,14,16 | 382:4,21 383:17 | 38:11 39:4,6 | 84:16 110:12,14 | 51:3 52:15 53:1,2 |
| 161:16 165:7,21 | 383:21,22 384:1 | 72:12 77:7 87:2 | 130:21 132:20 | 53:22 54:5,8,17 |
| 166:10 168:3 | 386:11 396:15 | 87:11 90:18 91:3 | 146:14 148:5 | 56:1,3,22 58:4,5 |
| 176:19 182:9,11 | patient's 14:16 | 94:12,17 96:2 | 150:18 152:18 | 62:6,19 63:1,14 |
| 182:15,17 185:7 | 21:3 70:9 75:10 | 105:4 111:12 | 153:6 154:3 | 65:19 67:16 69:13 |
| 198:2,8,15,16,18 | 129:9 136:10 | 113:10 117:19 | 230:15,15 | 82:12 85:13,15 |
| 198:20 199:7,11 | 138:13 174:18 | 133:22 135:11 | pay 146:5 167:9 | 86:3 87:3 90:19 |
| 199:19 200:3 | 175:9,13 311:20 | 136:1,20 139:2 | 243:14,17 300:3 | 92:9,10,11,13 |
| 201:10,12,13 | 385:9 | 144:2,15 147:9 | 320:22 355:6,6,12 | 95:7,8 97:14,19 |
| 204:7 209:7,10 | patient-based | 149:4 157:8 171:3 | 355:16,17 358:13 | 98:1,9,11 101:5 |

| | | | | |
|---------------------------|---------------------------|----------------------------|---------------------------|----------------------------|
| 101:11 103:13 | 152:16 199:9 | 254:4 255:5 | Personnel 161:2 | 224:14 372:16 |
| 117:9 119:1,4,12 | 221:11 265:15 | 256:15 258:1 | persons 64:11 | phenomenal 7:1 |
| 119:13,13 127:21 | 269:1,3 282:4,13 | 262:3,13 285:13 | 85:12 86:3 97:1 | phenomenological |
| 128:1 129:1,2 | 282:15,18 293:19 | 286:16 300:4 | 213:16 | 215:12 |
| 137:11 139:10,11 | 293:19 298:4 | 326:3,8 350:9,20 | person's 92:7 | phenomenon |
| 139:17 141:13 | 325:15 334:3,4 | 352:13,15 353:21 | 103:13 137:6 | 221:22 |
| 142:7,14 143:5,20 | 351:6 352:21 | 355:16,17 359:21 | 175:22 181:10 | Philadelphia 22:8 |
| 152:3 153:1,3 | 353:11 383:17 | 362:15 381:11,17 | 267:21 385:17 | PHO 321:5 |
| 155:12,22 161:16 | 387:14 388:9,11 | 400:14 | person-centered | phobias 198:12 |
| 167:12 168:9 | percentage 49:20 | period 10:20 68:4 | 25:11 133:21 | phone 48:19 77:10 |
| 170:14 173:4,8 | percentages 58:4,7 | 104:11 118:9 | 135:9,20 | 80:10,11 95:7 |
| 199:16 204:14,15 | perceptions 93:3 | 171:6 223:6 | person-focused | 124:20 151:9 |
| 205:3 212:14 | percutaneous | 289:16 309:12 | 25:12 | 170:15 204:14,16 |
| 213:17 214:12,15 | 66:21 | periodically 368:12 | perspective 24:19 | 224:4 230:14 |
| 214:17 221:8,13 | perfect 188:1 | perks 292:9 | 24:20 25:19 39:22 | 233:19 255:20 |
| 225:12 230:6 | 200:12 357:18 | Permanente 1:15 | 40:1 67:2,5 71:11 | 296:5 302:4,7,18 |
| 244:9,22 251:8,9 | perfectly 113:1 | 5:8 245:21 259:3 | 73:15 83:8 96:9 | 302:22 313:20 |
| 254:2 255:16 | Perfetto 2:10 | permission 81:17 | 96:21 158:1 | 316:1 336:18 |
| 256:8 259:15 | 106:13,14 | permit 220:15 | 159:10 166:4 | 350:2 386:15 |
| 260:17,19 263:17 | performance 4:5 | permitted 96:17 | 207:17 235:18 | 389:9 |
| 266:3 268:11,17 | 6:6 9:1,15,18 | person 27:8 49:5 | 236:7 240:4 | phoned 45:13 |
| 269:16,20 270:9 | 10:19 12:15,18 | 54:3 58:11 59:21 | 272:14 273:5,5 | phones 96:19 151:9 |
| 271:2 289:9 290:4 | 18:21 19:6,11,15 | 59:22 60:5,8 74:5 | 276:1,22 277:1 | 293:5 |
| 290:10,21 295:21 | 19:16,22 20:6,21 | 76:4,4 80:9 84:3 | 290:6 296:18 | photograph 197:18 |
| 299:1 311:15 | 23:10 24:1,2 | 85:11,21 86:13 | 321:18 341:13 | PHQL 329:22 |
| 312:15 314:10 | 26:11,16 27:1 | 104:17 135:1,5 | 347:21 361:17 | PHQ-9 20:12,20,21 |
| 315:3,5 316:1,18 | 31:1,13 33:4,8,13 | 137:2 139:18 | 370:8 382:20 | 74:15 75:9 242:10 |
| 334:16,16 339:14 | 39:14 41:22 51:12 | 161:10 164:1,7,8 | 391:14 | 259:5 269:20 |
| 341:6 345:21 | 57:19 61:19 62:1 | 164:14,18 172:6 | perspectives 39:16 | 297:4 298:3 370:1 |
| 349:19 350:1 | 67:13 69:8 71:20 | 179:6 180:6,9,17 | 39:21 59:2 60:2 | phrase 85:12 370:4 |
| 352:20 353:16 | 76:21 88:14 89:9 | 206:6 246:16 | 73:16 92:4 159:6 | Phyllis 2:20 227:2 |
| 359:14 361:9 | 90:7,10,17 93:11 | 253:22 270:18 | per-use 243:17 | 367:12 393:1 |
| 362:3 363:22 | 93:20 99:17 103:7 | 290:10 312:12 | pessimistic 232:8 | physical 74:16 78:2 |
| 364:5 370:13 | 108:3,8 120:18 | 316:22 331:15 | Peterman 384:20 | 138:10 140:4 |
| 373:11,12 377:15 | 131:11 147:12 | 347:13 358:21 | Pfizer 2:10 106:15 | 166:14 172:21 |
| 377:18,21 386:20 | 148:4 174:3,8 | 359:9 | pharmaceutical | 176:10 177:4,7,8 |
| 386:22 390:1,9,17 | 184:1 185:16 | personal 55:16 | 106:18 107:13 | 178:4,18 199:4 |
| 393:8 394:16 | 186:6,8,18 192:22 | 56:17 67:19 98:1 | 162:3 | 237:12 251:17 |
| people's 57:5 59:1 | 201:2,2,3,4,5,22 | 102:8 190:12 | pharmacological | 254:11 265:20 |
| 64:4 92:4 117:14 | 204:2 205:16 | 320:15 321:22 | 55:21 | 267:22 269:17 |
| 315:17 391:3 | 207:6,8 209:15,20 | 380:20 | phase 115:6 371:2 | 322:10 351:2 |
| perceived 140:10 | 210:16 211:2,12 | personality 244:7,8 | phases 209:10 | 386:8 |
| 140:12 | 216:6 217:16 | 244:14 | PhD 1:12,15,16,17 | physically 270:19 |
| percent 54:18 | 223:9,12 226:3,18 | personalized 142:5 | 1:19,21,25 2:4,8 | physician 29:18 |
| 74:12 105:11 | 246:13 247:3,15 | 142:15 386:10 | 2:10,13,17,18,22 | 90:22 106:11 |
| 106:8 125:2 | 247:21 248:4,8 | personally 6:19 | 3:7 | 118:19 127:12 |
| 144:14 152:6,7,16 | 249:6 252:3,16 | 50:3 70:3 226:8 | phenomena 224:12 | 136:8 154:2 197:6 |

| | | | | |
|----------------------------|----------------------------|---------------------------|---------------------------|----------------------------|
| 197:11,13 198:19 | 73:5 76:16 77:17 | 302:4,14 303:3 | points 28:22 29:5 | 270:13 285:18 |
| 199:20 231:21 | 135:14 161:3 | 314:2 325:7 365:7 | 31:22 88:2 140:6 | 379:3 |
| 238:17 296:10 | 201:19 225:18,18 | 389:16,19 398:17 | 140:6 162:20 | population-based |
| 303:12 351:1 | 268:7 305:3 317:1 | 400:8 402:2 | 172:13,17 189:3 | 254:16 327:17,19 |
| 354:6,8 378:15 | 317:7 333:1 356:2 | pleased 17:7 | 251:9 260:1 268:3 | population-level |
| 379:20,22 | 381:5 383:6 | pleasure 25:2 52:1 | 275:14 277:22 | 335:11 |
| physicians 30:1 | placebo 107:8 | 52:4 95:22 | 278:16 286:4 | population-speci... |
| 35:14 127:10 | placed 287:2 361:8 | plenty 32:15 37:12 | 292:20 299:13,15 | 57:3 291:10 |
| 198:1 201:9,18 | places 46:4 59:2 | 79:22 318:2 | 299:16 300:9 | porcelain 90:5 |
| 362:4 372:1 | 73:3,6 145:15 | plethora 262:19 | 346:16 358:14 | portal 66:17 |
| physiologic 310:18 | 240:13 365:17 | plot 180:4 | 386:13 399:19 | 124:16 125:4,14 |
| Ph.D 271:19 | 366:12 392:6 | plots 142:13 | policy 52:13 53:11 | 125:18 137:1,3 |
| 287:19 | plague 288:14 | plug 76:14 | 56:20,21 57:5,21 | 150:6 152:21 |
| PH29 183:15,19 | plan 61:8 75:11 | plugging 337:20 | 58:14,14 61:17 | 197:10,12 242:9 |
| 184:6 | 92:15 103:13 | plus 31:13 59:13 | 97:4 162:1 271:19 | 323:12 |
| pick 32:10,12 227:4 | 115:19 123:6 | 213:3 | 338:16 378:4 | portals 77:8 293:6 |
| 249:7 252:14 | 130:1 134:19 | pod 270:7 | political 235:1 | 296:2 315:4 |
| 344:20 387:22 | 136:21 137:6 | podge 220:5 | POM 111:13 | 334:10 |
| 394:1 | 138:17 219:14 | podium 24:15 | pool 177:14 | ported 296:4 |
| picked 180:15 | 300:7 308:11 | 184:20 280:12 | pools 176:7 | Porter 313:11 |
| 330:10 | 311:21,22 313:1 | point 10:16 22:2 | poor 214:17 | portfolio 16:12 |
| Picker 70:5 | 326:22 347:12,18 | 29:4 38:5 58:3 | pop 261:17 381:11 | 17:9 18:1,13 |
| picking 361:22 | plane 150:21 | 64:9 65:6 66:7 | popping 113:20 | portion 367:16 |
| picks 180:20 | planning 6:9 38:6,7 | 82:5 84:2,8 87:7 | popular 125:7 | portrayed 138:12 |
| picture 13:14 51:2 | 146:15 320:1 | 88:5 102:17 | popularizing | 139:18 |
| 170:20 387:13 | plans 66:1,9 99:22 | 112:11 113:5 | 313:12 | pose 350:3 |
| piece 71:8 86:10 | 186:22 187:7 | 153:15 156:19 | population 25:14 | posing 382:5 |
| 128:18 158:8 | 351:15 | 172:13 198:7 | 74:3,12 89:2,3,7 | position 157:15 |
| 164:15 203:16 | plant 94:5,8 | 204:4,10 213:10 | 89:12 102:15,21 | 262:20 372:6 |
| 206:1,9,13 276:18 | platform 12:4 13:5 | 214:6,19 215:18 | 103:1 105:12 | positioned 119:22 |
| 276:19 277:3 | 340:13 341:10 | 221:8,18 227:16 | 106:9 115:22 | positions 61:17 |
| 339:18 | platforms 66:11 | 228:10 236:11 | 118:10 160:9,22 | positive 13:3 72:3 |
| pieces 15:17 111:11 | 255:21 | 237:6 261:14,17 | 161:9 178:9 179:1 | 90:4,4 96:7 |
| 120:8 239:19 | plausible 15:21 | 265:3 267:11 | 179:5 183:5 184:4 | 144:13,14 153:19 |
| pig 257:15 | play 23:7 39:9 | 277:9 278:20 | 192:3,5 253:15 | 173:7 177:10 |
| pilot 73:22 74:10 | 89:18 92:20 | 293:12 295:3 | 263:11 265:5 | 187:2 211:9 |
| 195:19 275:11 | 135:21 218:18 | 298:9 304:7 309:9 | 269:2 281:14 | positives 154:1 |
| piloted 125:12 | 235:5 277:19 | 309:10 317:8 | 286:18 295:3 | possibility 142:17 |
| piloting 329:19 | played 86:16 | 327:14 328:7 | 332:11 387:13 | 178:1 215:6 |
| pilots 151:3 | playgrounds 203:1 | 329:3 347:7 348:5 | populations 31:9 | possible 7:6 15:19 |
| PIM 75:8 | plays 86:2 237:9 | 359:9 377:7,10 | 53:17 109:15 | 42:5 44:20 50:4 |
| PIMS 66:3 | plea 101:20 | 379:18 380:14 | 139:16 158:22 | 56:9 108:21 |
| pinnacle 16:21 | please 7:16 12:12 | 385:22 386:19,21 | 159:2,2,3 161:11 | 122:15 163:15 |
| pioneer 143:17 | 16:7 26:2 28:5 | 388:21,21,22 | 189:10 192:6 | 165:4 168:18 |
| 149:19 354:17 | 40:21 41:18 42:17 | 391:4 394:13 | 217:2 233:9 | 193:8 245:12 |
| PITZEN 2:11 81:8 | 44:7 95:11 99:7 | 396:5,6 | 236:13 250:5 | 255:7,18 312:18 |
| place 9:8 20:16 | 154:13 289:7 | pointing 117:4 | 263:9,14 266:18 | 316:8 322:12 |

| | | | | |
|----------------------------|----------------------------|---------------------------|----------------------------|--------------------------|
| 340:1,7 | 150:16 159:12,15 | 147:1,6,8 148:11 | 186:20 | 396:22 |
| possibly 120:8 | 162:3 167:18 | 151:14 227:17 | principally 177:9 | problem 25:8 31:2 |
| 152:22 279:22 | 211:1 233:16 | presented 26:7 | principle 116:6 | 90:11,14,16 |
| post 101:13 153:9 | 238:10 293:15 | 111:4 | 209:16 210:20 | 101:18 165:8 |
| postpartum 266:4 | 295:8 333:1 | presenteeism 238:1 | 397:4 | 174:9 183:7 197:3 |
| post-acute 378:17 | 353:11 358:5 | presenter 221:20 | principles 47:13 | 197:21 226:15 |
| post-chemothera... | practicing 358:20 | presenting 89:10 | 55:4 208:16 | 270:10 288:15 |
| 232:18 | practitioners 58:15 | President 4:4 6:6 | 213:13 322:16 | 299:17 304:4 |
| post-lunch 241:9 | 127:9 148:1,3 | presiding 1:9 | 323:5 | 377:3 386:6 389:4 |
| post-op 121:6,10 | 337:18 | press 1:25 95:11 | printed 331:11,19 | problematic |
| 123:5 | precept 69:7 | 99:7 154:13 234:1 | prior 223:15 | 190:21 243:21 |
| potassium 129:6,15 | precious 261:10 | 302:14 314:2 | priority 250:10 | problems 43:6,12 |
| potential 27:1 44:8 | precise 181:9 188:2 | 389:16 | privacy 48:22 | 44:17 50:18 62:20 |
| 81:12 167:15 | 200:16 201:1 | pressed 106:13 | 67:14 170:22 | 67:13,16 167:11 |
| 168:6 169:16 | precisely 20:1 | pressure 126:7 | 174:16 322:9,12 | 168:2,4,4,5 |
| 207:11 234:9 | precision 176:16 | 395:10 | 322:15 | 173:12 198:10,11 |
| 259:10 278:9 | 191:18 284:9 | presume 397:6 | private 14:6 | 198:21 226:11 |
| 345:4 385:7 | 285:6 | pretty 9:2 43:5 | privilege 84:18 | 265:8,22 266:8,9 |
| potentially 146:17 | predicting 276:5 | 45:2 62:21 122:22 | pro 9:17 32:7 33:7 | 282:11 288:13 |
| 184:5 268:3 269:4 | prefer 176:22 | 124:7 156:2,19 | 33:20 44:8 59:16 | problem-solving |
| 305:15 | preferably 256:21 | 181:14 183:10 | 68:10 72:17 76:6 | 387:1 |
| POWELL 2:12 | preference 15:18 | 221:6 238:3 | 77:5 106:19 107:6 | procedure 15:1 |
| power 94:5,8 96:17 | 215:19 237:1 | 247:20 248:2 | 152:7,8 183:12 | 156:21 |
| 118:22 146:20 | preferences 38:15 | 252:11 267:10 | 203:20,21 207:11 | procedures 274:13 |
| 216:2,4,7,10 | 39:6 236:5 273:22 | 333:6 373:17 | 209:6 216:12 | 283:7 |
| powerful 104:16 | preference-conco... | 378:21 387:2 | 217:16 250:9 | proceed 167:12 |
| 131:15 283:10 | 236:19 | 390:3 395:5 | 255:5 258:17 | 261:12 |
| 347:20 | preferred 173:4,4 | prevent 44:17 | 259:17 266:21 | process 11:2,21 |
| practical 63:11 | preliminary 130:18 | 239:3 269:8 | 288:6 295:8 | 12:21 13:13 15:3 |
| 72:14 169:11 | 364:17 | prevention 16:18 | 321:16 322:10,21 | 15:5 16:2,12 17:6 |
| 222:20 294:17 | premature 228:6 | 266:6 | 331:13 372:19 | 17:10,14,19 18:3 |
| 326:5 | prematurely 7:22 | previous 36:10 | proactive 157:2 | 23:17 29:2 39:10 |
| practice 19:8,18 | premier 1:13 5:1 | 307:1 335:8 | probabilities 49:21 | 49:13 63:3 67:9 |
| 20:16 24:5 45:1 | 162:4 195:6 | previously 276:10 | probability 179:17 | 71:4 76:18 77:7 |
| 57:6 64:7 127:2 | prepare 10:13 | 285:8 | 179:21 190:22 | 106:21 107:16,22 |
| 147:10,21 223:14 | prequel 8:12 | pre-op 121:9 123:4 | probably 18:17 | 108:9 117:12 |
| 226:17 258:3 | prescription 55:14 | pre-procedure | 83:19 93:13 102:1 | 131:2 132:13 |
| 263:20 295:4,6 | 55:15 319:19 | 121:2 | 110:17 116:19 | 147:19 155:1 |
| 297:3,7,19 300:20 | prescriptive 227:22 | primarily 77:21 | 157:16 170:11 | 200:22 201:20 |
| 351:2,4 353:22 | 373:17 | 327:14 | 224:16 228:4 | 209:11 226:13,19 |
| 356:16,18 357:10 | present 1:10 34:4 | primary 65:12 66:3 | 233:16 237:6 | 226:22 227:9,13 |
| 359:11 374:19,22 | 194:16 220:22 | 68:4 74:4,6 102:4 | 246:15 250:11 | 228:2 276:15 |
| 378:4 | 334:11 | 115:22 134:9 | 255:6 317:7 | 287:5 289:19 |
| practices 26:9 | presentation 51:21 | 195:18 293:14 | 319:20 351:5 | 291:21 293:2 |
| 35:15 46:17,17 | 114:4 131:1,20 | 297:3 333:18,20 | 357:2 358:22 | 295:15 301:13 |
| 50:12 62:10 77:4 | 289:11 | 356:10 374:8 | 364:18 368:19 | 316:21 328:13 |
| 90:9 126:13 | presentations | principal 108:17 | 371:9 388:10 | 329:8 330:6 |

| | | | | |
|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 338:18 339:15 365:9 367:7 382:9 383:3,10 396:9 processes 15:20,22 45:5 63:13,17 134:18 152:13 285:17 304:14 317:4 327:6 383:5 process-oriented 231:10 produce 47:14,18 47:21 52:11 57:11 58:13 produces 46:8 176:2 producing 64:3 product 242:8 productively 262:14 professional 34:7 97:18 professionalism 131:13 professor 162:1 271:21 272:4 profile 244:14 profiles 55:1 178:15 244:12 290:9 program 58:20 73:12,20,21 74:13 104:1 108:19 135:19 137:9,10 137:11,17 157:7 186:19 189:14 271:21 297:6 337:15,16 338:15 342:16 347:10 351:14 360:3 378:14 379:4 382:22 383:1,7,12 383:15 393:15 394:9 395:10 programs 39:8 72:17,22 97:22 104:3,4 143:9,20 145:12 181:16 | 229:15 296:22 393:14 progress 79:3 113:21 317:12 progression 202:15 227:9 228:3,7 project 7:11 8:3,6 8:15 103:3 105:8 118:21 171:10 183:13 projects 116:12 156:4 206:20 proliferation 340:11 PROMIS 122:3 171:1,2 172:5 177:3 178:6,10 181:15,22 183:15 184:6,12 185:2 205:8 248:22 323:10 330:11 341:11 356:14 374:22 375:7 397:17 promise 4:15 105:13 205:2 promised 204:12 promising 130:9 PROMIS-10 121:3 121:19 promote 269:8 promotes 222:16 222:16,17 PROMS 221:20 properly 296:6 properties 244:4 246:19 248:11 property 393:10,18 394:8,10,12 proponent 91:1 proportion 131:10 142:14 propose 262:7 proposed 82:11 283:4,18 370:18 proposition 53:10 56:21 72:15 73:7 | 73:16 75:3 102:2 proprietary 82:6 82:14 191:21 243:11 pros 4:15 5:5,14 9:14 18:20 20:4 26:11,16,18,22 31:7,12 32:3 36:11 49:16 65:14 68:2 70:19 71:11 71:22 73:19 77:5 79:15 114:19 118:16 145:17 146:4 147:18 165:3,5 204:1,6 204:21,22 205:16 206:21 210:17 211:2 213:8 214:8 241:12 242:10,14 242:18,21 246:13 247:6,15 248:4,6 248:9 249:6 254:4 257:22 258:2 266:17 281:5,8 286:9 296:11 300:12 318:7 320:14,15,22 321:14 322:17 325:10 345:21 385:21 400:14,18 PROsetta 183:13 218:20 protected 49:1 364:3 protects 364:4 protocols 63:12 193:8 prototype 141:16 prove 172:2 proved 331:1 provide 23:12,17 47:10 52:9 60:18 60:19 65:12 96:9 107:17 152:10 177:22 234:13 278:6 285:1 296:7 305:11 340:5,6 | 363:5 provided 19:12 53:15,16 54:16 107:5 198:13 provider 29:20 35:8 39:22 76:10 97:17 100:7 144:19 222:2 277:10 278:10,12 291:20 304:9 319:15 322:11 328:6,11 331:20 342:2 343:22 344:5,5 350:11 381:1 382:12 providers 30:2 34:2 35:14 42:12 43:13 44:11 52:13 61:15 68:4 95:2 96:8 99:21 116:10 125:11 144:18 145:18 146:4,5 150:7 153:16 198:3 229:13 244:20 245:5,10 270:4 274:1 292:4 299:5 305:10 306:5 309:1 320:5 333:1 337:17 355:11,15 373:3 382:3 provider's 45:14 310:10 provider-based 178:3 provider-patient 96:11 provides 283:14 284:14 providing 10:11 62:2 63:15,17 141:7 164:1,14 172:10 212:11 273:5 286:1 371:7 provocateurs 402:1 402:2 provocative 258:8 | 401:21 provoker 349:6 proxies 34:3 36:17 36:18,22 47:9 165:14,20 166:10 214:7,10,11,17 proximity 212:6 proxy 37:6 50:17 51:7 63:4 164:8 165:2,12,22 166:3 214:21 215:3,15 234:8,10,16 277:1 320:1 PRO-based 9:1 PRO-CTCAE 185:4 PSQ 66:4 psychological 55:20 psychometric 212:9 PTSD 66:14 Pub 381:10 public 4:25 10:20 14:5 24:7 39:8 56:19 72:22 187:8 229:1,4,15 273:17 276:13 304:18 305:1 350:9 365:8 394:1 publicly 54:10,17 141:11,15 353:22 357:20 publish 238:5 377:17 published 47:14 144:9,10 183:17 187:4 219:11 230:21 254:17 282:12 puck 133:20 pull 237:19 385:5 pulled 335:12 pulled-up 335:5 pulling 7:1 58:22 253:15 purchase 97:20 |
|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | |
|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| purchaser 40:1,20 73:15 79:18 236:17 | puzzle 85:2 86:10 | 295:19 | 170:2 184:10 | 214:6 226:2 240:9 |
| purchasers 13:15 75:4 78:22 79:14 229:6 274:18 | P-R-O-C-E-E-D-... 6:1 | quantitative 49:21 286:10 | 187:19 238:17 308:1,2,5 314:14 392:1 | 240:12 348:7 369:19 370:21 401:2 |
| purchaser's 236:11 237:6 | p.m 240:20,21 241:2 316:10,11 402:5 | quarter 54:3 318:4 | questionnaires 47:17 50:8 125:2 158:12 159:8,18 159:22 174:5 176:16 246:19 314:10 392:9 | quickly 44:17 87:8 168:21 182:5 203:16 225:22 260:14 |
| purpose 22:18 88:21 107:12 146:8 271:13 292:8 308:6 341:1 | <hr/> Q <hr/> | quasi 91:16 | questions 27:19 28:1 45:8,15 50:9 51:18,20 57:15 62:17 80:1,4,8 95:5 121:1,2,4 126:21 127:1 130:14 131:18 132:16 142:4 147:2 151:9 154:8 154:15,20 156:12 166:2 176:1,4,8 176:13,20,21 177:15,16,20 181:2,4,7,9 184:6 184:6,7 185:6 196:12 200:2,3 203:6,11 210:16 210:18 214:7 224:8 225:14 233:21 234:3 235:10,12 249:14 261:7 263:4 278:22 279:19 303:2,6 307:5,12 308:3,13,22 309:4 309:11 324:20 325:1 329:13 334:20 335:15 344:18 350:3 355:1,2 361:1 365:5 367:11 376:5 389:14 394:6,11 395:20 | quite 68:22 79:21 111:14 127:5 157:11 173:7 198:12 219:18 233:1 239:13 241:18 242:19 251:14 294:11 297:17 339:3 348:12 379:20 380:11 381:8 396:14 397:3 |
| purposes 24:3 118:15 192:20 251:2 271:2 273:11 300:14,21 301:11 304:8 314:15 340:21 397:11 | qualitative 89:4 212:14 384:4 | query 42:14 | quote 145:1 208:17 282:7,10 | quote 145:1 208:17 282:7,10 |
| pursue 345:4 | qualitatively 94:20 | quest 118:2 | Q&A 95:13 217:8 | <hr/> R <hr/> |
| pursuit 382:1 | qualities 272:1 | question 20:15 32:21 36:11 41:19 42:1 69:20 82:9 83:19,20 95:11 99:3,7 100:14 121:6 128:15 131:5,22 149:11 151:7,15 153:11 154:13,18 156:5,5 156:8,14,16 158:15 163:13 179:12 180:6,8,11 180:16,20 197:10 200:14 201:7 203:5 211:14 215:8 218:8 219:10 220:18 222:5 223:5 224:11 225:1,2 234:2,12,17 237:5 240:2,2,3 242:15 264:20 266:15 267:13 269:10 272:19 302:14,19 303:4,17 304:1,19 308:19 314:2,7 331:8,8 340:8 361:13,21 362:14 363:19 364:16 365:20 366:21 382:5 386:17 387:4 389:16,21 391:10 393:3 394:21 395:15 396:21 398:18 | RA 311:16 312:10 312:12,15 | RA 311:16 312:10 312:12,15 |
| push 81:5 261:13 | quality 1:1,8 2:14 2:21 4:5 5:18 9:21 11:6,18 15:9 15:11 19:12 24:4 25:22 38:17 39:7 43:18 52:8 61:7 62:4 75:7 87:12 92:5 99:13,19 100:1,11 104:17 105:1 108:8 109:20 117:11 122:4 128:22 147:11 155:15 168:13 222:17 223:3,18,20 224:5 227:3 228:22 230:4 235:22 236:14,15 243:5,5 244:19 272:3 273:16 276:12 286:17 287:15 288:8,20 300:4,18 317:5 318:21 321:13 330:1 338:3,4,6,12 339:9 350:12,13 350:16 364:21 366:21 368:17 379:4 388:17 | questionnaire 62:17 78:6 158:3 158:4,9 160:10,17 | radical 336:21 | radical 336:21 |
| pushed 125:13 | quality-adjusted 140:22 141:2 | questionnaire 62:17 78:6 158:3 158:4,9 160:10,17 | radically 55:19 | radically 55:19 |
| pushing 226:17 262:19 | QUAL-E 156:18 | | RADLEY 2:13 | RADLEY 2:13 |
| push-back 91:9 | QUAL-Es 155:5 156:17 | | raised 130:14 147:2 210:18 215:17 | raised 130:14 147:2 210:18 215:17 |
| put 7:17 20:7 69:2 78:4,9,17 90:7 101:7 111:15 119:16 138:3 156:17 161:3 183:10 197:9 200:6 269:12 273:10 274:3 277:10 290:17 294:1,9 305:17 306:4 325:20 338:11 341:6 345:22 361:17 364:1 365:21 381:9 393:13 394:8 396:11 | QUAL-Es 155:5 156:17 | | raises 156:5 | raises 156:5 |
| puts 86:7 | quantiphrenia | | raising 91:22 287:21 | raising 91:22 287:21 |
| putting 9:7 11:4 120:9 143:6 184:7 213:4 234:17 332:22 346:17 | | | Rand 108:13 | Rand 108:13 |
| | | | random 159:1 | random 159:1 |
| | | | randomized 68:1,8 139:5 | randomized 68:1,8 139:5 |
| | | | randomly 295:5 | randomly 295:5 |
| | | | RAND-36 242:11 | RAND-36 242:11 |
| | | | range 39:19 56:14 165:7 169:2 249:14 282:16 285:6 319:12 385:13,15 | range 39:19 56:14 165:7 169:2 249:14 282:16 285:6 319:12 385:13,15 |
| | | | rank 390:22 | rank 390:22 |

| | | | | |
|---------------------------|-----------------------------|-------------------|----------------------------|---------------------------|
| rapid 49:11 146:18 | 78:15 79:2 83:4 | 149:10 155:9 | 383:9,19,22 384:1 | 269:21 276:15 |
| rapport 198:5 | 96:20 113:21 | 158:3,16 159:21 | 384:7 387:7 391:2 | 293:4 |
| 201:17 | 131:6 143:13 | 161:10 167:15 | 393:11 394:10 | recognized 238:22 |
| rarely 179:14,18 | 145:2 149:2 154:6 | 173:7 176:4 181:5 | 399:11 401:10 | 352:3,9 |
| 180:9,17,21 | 158:22 161:5,15 | 181:7 185:12 | realm 11:9 166:18 | recognizes 64:13 |
| rash 372:10 | 186:8 188:14 | 192:19,22 193:3 | real-time 173:15 | recognizing 77:15 |
| rate 43:10 74:7 | 191:16 193:8 | 198:5,22 200:13 | 256:17 321:13 | 238:7 239:11 |
| 95:19 205:4 221:6 | 194:9 220:5 226:2 | 201:16 202:8 | 337:5 | recommend 168:18 |
| 221:12 298:5 | 255:8 258:9 | 209:19,21 210:10 | real-world 158:22 | 199:9,10 232:19 |
| 333:9 388:4 | 262:17 277:8 | 213:2 214:12,13 | reason 7:4 90:5 | recommendation |
| rated 353:22 | 299:22 303:9 | 215:14 217:1,4,9 | 146:22 158:19 | 168:17 |
| raters 57:13 | 374:7 382:14 | 217:11 218:22 | 172:3 223:1 | recommendations |
| rates 221:7,15 | 387:21 | 222:11 227:11 | 226:14 242:8 | 144:6 145:13 |
| 222:3 260:5 | reality 293:11 | 228:17,19 229:17 | 364:7,20 395:7 | recommended |
| 291:11 293:18 | realize 16:14 43:7 | 229:18 230:6,8,22 | 396:21 | 249:5 |
| 294:12 297:10 | 44:4 351:12 | 232:15 235:17 | reasonable 141:4 | reconciliation |
| 300:2 333:6 379:5 | realized 241:8 | 238:19 242:6 | reasonably 242:1 | 218:15 |
| rating 166:13 | 272:9 | 243:12 247:22 | reasons 44:3 | reconnected 67:20 |
| rationale 232:15 | really 6:10 7:5 8:12 | 253:10 259:7,10 | 158:11 218:12,12 | reconvene 110:12 |
| 233:7 | 8:20 9:7,8 10:1,2 | 259:16 263:8,11 | 228:5 346:12 | 240:14,17 316:4,8 |
| reach 159:4 309:9 | 11:12 13:22 14:16 | 266:20 268:6 | Rebecca 306:17 | record 39:1 110:9 |
| 387:5 | 15:17 19:10 25:4 | 272:5 273:2,11 | recall 234:12 | 125:20 137:4 |
| reached 383:18 | 31:21 37:19 40:5 | 274:11,19 275:15 | receive 54:6,12,19 | 143:1 148:18 |
| react 51:16 150:13 | 41:8 44:3 49:5 | 276:7,10 280:2 | 59:6 60:15 62:7 | 149:1 153:22 |
| reactions 144:18 | 50:19 52:5,14 | 288:7 289:12,22 | 92:13 103:17 | 169:13 192:12 |
| reactor 4:9,23 5:8 | 59:14,18 60:7,19 | 298:18,22 299:3 | 121:5 136:4 | 197:14 240:20 |
| 5:16 94:3,4 | 61:13 63:22 64:5 | 300:17 303:7 | 189:15 274:2 | 241:21 242:7 |
| 317:18 | 70:1,2,22 71:2 | 304:1 305:1,18 | 401:4 | 257:5 292:21 |
| reactors 94:3 | 72:13,16 73:4 | 306:21 309:16,19 | received 37:3 40:12 | 316:10 317:6 |
| 245:17 318:16 | 74:2,14 75:3,21 | 310:13 315:2,17 | receives 37:4 | 318:10 319:15 |
| read 16:15 49:17 | 76:3,5,11,22 | 320:21 322:3 | receiving 30:9 | 321:22 324:6,8,12 |
| 105:10 126:4 | 77:11 78:1 79:5,6 | 325:22 326:7 | 52:16 53:1,5,17 | 331:6 335:1,5 |
| 273:20 299:15 | 79:20 84:21 85:3 | 328:21 329:8 | 54:10,17 56:22 | 340:14 345:13,22 |
| 339:19 | 85:7,8,19 86:5,9 | 333:13 336:14 | 57:21 58:5,5,15 | 346:2,5,5,13 |
| reading 47:20 | 86:12 87:17 88:1 | 337:9,10 338:21 | 60:9 63:2 | 348:3 360:15 |
| 49:15,16 50:8,10 | 88:6,13,15,21 | 338:22 340:17 | receptor 112:16 | 371:7 373:9 |
| 62:16 168:4 200:4 | 96:17 99:20 | 342:13 344:2,7 | recertification | 380:12,18,19,21 |
| 297:12 | 102:14,19,22 | 345:8 346:18 | 367:4 | 382:16 384:10 |
| readmission 226:4 | 103:11 104:19 | 347:5,14 348:10 | recertified 367:5 | 389:3,4 396:1,7 |
| readmissions 232:4 | 109:18,19 117:14 | 348:20 349:2,3 | recipients 98:8 | 396:12 402:5 |
| 235:21 238:5 | 117:15,18,21 | 350:13 358:7 | recognition 71:1 | recorder 164:12 |
| readmitted 237:21 | 118:2,21 119:1 | 361:6,14 362:14 | 206:4 351:7,17,21 | 167:5 |
| reads 149:20 | 122:6,7 124:21 | 365:9,9,17 366:6 | recognize 12:2 | recording 347:5 |
| ready 24:11 80:12 | 128:9 130:5 131:1 | 366:9,11,15 | 19:13 37:2 51:6 | records 5:14 26:20 |
| 119:22 264:16,17 | 133:15 134:1 | 368:22 371:16 | 53:14,15 54:22 | 169:8 173:18 |
| 325:7 362:3 399:8 | 135:8 143:22 | 374:7 375:14 | 71:10 106:2 | 243:1 256:15 |
| real 52:7 70:15 | 148:19,21,22 | 378:2,3 382:3,5 | 209:13 217:10 | 318:8 320:15,15 |

| | | | | |
|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 337:19 339:1 346:6,22 347:3 380:6,10 389:6 recounting 67:21 recovering 143:5 266:4 recovery 66:1 98:9 347:12 red 84:17 138:6 179:19 344:21,21 344:22 redesign 74:4 115:21 116:2,7,8 116:17 120:17 132:13 135:8,12 135:18 143:19 144:1 150:15 redesigned 312:4 reduce 120:13 154:2 170:21 238:4 239:5 287:9 reduced 235:21 282:20 reducing 117:7 reduction 74:13 236:2 334:5 redundant 148:20 refer 29:9 52:22 396:16 reference 211:18 referenced 178:8 178:22 184:4 253:15 282:11 referral 136:15 referrals 129:11 referred 164:12 251:4 282:2 284:2 284:19 311:10 referring 33:21 refers 54:1 357:6 refills 319:20 refine 358:1 refining 365:15 reflect 59:20 67:18 288:9 399:18 reflected 288:4 reform 350:10 | refrain 44:6 reframe 211:9 reframing 203:21 reg 367:18 regard 165:14 182:19 292:19 regarding 222:21 247:1 283:14 286:7 287:6 320:10 regimen 53:20 region 325:16 regional 350:7 registration 331:14 registries 73:2 313:11 327:14 382:6 registry 137:16 144:8 311:11,18 312:4 328:1,10 388:5 regression 194:13 283:2 regular 45:14 60:18,19 62:9 335:13 regularly 75:14 regulation 363:14 regulatory 88:18 363:5,20 rehab 65:22 101:13 378:19 reimbursement 131:10 reinforce 38:9,19 reinforcing 85:20 reiterate 275:6 reiterated 273:14 relate 18:9 31:14 47:8 164:21 187:17 254:9 391:8 related 23:1 26:14 31:2 58:11 66:20 93:9 160:8 169:5 185:7 206:5 244:5 244:8 269:11 | 303:8 329:22 relates 84:13 225:1 255:2 328:2 relation 254:8 relationship 87:22 136:3 145:3 208:22 216:2 237:3 291:15 312:7,22,22 relationships 177:12 206:6 283:8 306:9 relative 234:8 relatively 66:7 release 66:15 206:18 315:9 released 396:7 releasing 63:18 relevance 258:1 relevant 31:10 57:20 183:11 213:14 286:8 292:10 307:5 309:19 310:6 312:20 313:5,11 322:20 323:6 347:1 reliability 168:13 189:8 250:21 251:4 262:4 283:20 reliable 9:17 19:8 51:10 57:11 194:5 245:2 247:18 251:11 relief 142:8 rely 284:7 remains 328:7 remark 28:19 395:19 remarks 103:4 325:7 395:17 400:21 remediation 103:9 remedied 149:12 remember 23:3 91:2 171:21 | 178:22 182:7 204:12 212:1 230:18,19 264:8 295:22 350:1 371:11 remind 22:19 98:18 182:8 399:12 reminded 185:1,19 215:2 reminder 80:18 234:1 313:21 314:1 400:4 reminders 260:10 reminding 162:22 300:11 remission 20:18,19 259:7 270:1 rendered 109:8 repeated 390:4 repeatedly 310:17 repeats 390:5 repertoire 66:10 replace 71:17 replacement 101:7 118:12,14 181:18 259:13 263:18 265:9 368:2,3,11 369:8 replicable 145:10 report 10:14 34:19 43:8,14 51:11 60:13 71:5 78:11 84:5 90:18 95:20 146:6 153:2 168:9 185:7 196:8 214:12,15 256:19 291:18 312:3 317:15 318:15 357:21 372:6,12 374:10 377:16 reported 1:3 23:2 29:22 36:14 40:5 59:1 68:17 74:17 74:20 86:10 87:9 87:11 89:9 94:22 99:17 134:14 | 138:2 141:11,15 143:10 195:19 232:6,21 238:12 329:16 335:12 351:7 356:3 372:7 reporter 214:21 215:16 reporting 24:7 39:8 50:18 63:17 72:22 165:2 184:14 215:2,7 229:1,5 229:15 234:10 255:8 256:18 273:17 276:13 304:18 305:1 327:8,16 350:9 355:5,7 360:18 382:8 reports 45:12 60:20 64:4 125:10 125:13 352:8,9 represent 40:6 84:3 97:3 172:15 253:13 283:10 290:5 representative 57:13,14 64:17 92:19 165:7 representatives 76:17 113:9 366:1 represented 179:2 represents 39:16 reproducibility 251:5 request 401:5 requested 136:17 requests 136:16 189:15 401:6 require 55:13 167:16 178:5 189:20 190:9,14 191:10 192:4 248:18 284:11 300:8 328:13 383:4 required 55:5 194:11 200:18 |
|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | | |
|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--|
| requirements 61:7 223:15 338:19 342:17,18 | resources 112:14 167:7 189:17,20 277:6 278:7,13 279:7,10 305:12 305:14 353:20 354:8,9 | 335:18 342:20 343:3 384:17 | 101:15 | 329:12,13,16 340:8 343:20 346:4 354:5,12 355:21 356:4,5,19 356:19,21 357:12 359:5 360:11 363:10 364:10,19 371:4 372:3,7 374:19 375:16,22 378:10 387:15 388:2 389:22 391:12 392:2,5,17 393:12 395:17 397:17 398:12 399:14 | |
| requires 23:12 35:21 48:13 281:11 286:3 373:16 | resource-intensive 191:15 | responses 63:5 92:4 153:8,18 159:13,16 199:8 200:8 201:9 260:9 260:12 | revealed 82:15 215:19 | | |
| requiring 329:3 331:13 | respect 61:7,18 91:6 92:6,9 142:7 206:4 208:18 231:6 310:14 | responsible 202:21 231:21 | review 10:22 82:17 90:4 153:18 162:19 208:15 216:21 249:5 252:6 271:11 371:21 372:1,8,19 373:15 | | |
| rescore 343:12 | respectively 140:6 | responsive 46:8 171:7 252:15 253:5 256:4 260:5 311:22 | revisions 10:10 | | |
| research 1:20 5:2 19:9 28:7 42:10 58:18 89:5 103:5 126:12 129:20 139:9 141:7 143:14 146:19 152:4 157:7 162:7 162:9 182:1 186:3 203:22 206:14,14 206:16,16,18,19 207:5 209:5,7,10 212:3 213:6 215:12,20 217:2,6 219:12 232:15 233:5,13 239:14 243:3,20 244:18 246:22 247:6,15 247:22 248:5 258:3 263:4,21 273:11 275:9 287:1,20 291:8 309:2 311:17 372:13 | respiratory 239:2 | responsiveness 252:12 253:7 | revisiting 280:8 | | |
| researcher 157:6 213:3 393:4 | respond 37:8 51:1 51:3 84:2 90:14 93:1 100:17 165:22 221:14 234:18 260:20 263:12 281:6 287:19 293:7 308:8 310:21 311:2,6 | rest 246:6 313:10 378:17 | revolutionary 306:2 | | |
| researchers 58:16 63:18 87:5 119:4 211:20,22 212:12 212:17 | responded 260:17 | restoration 137:11 | reward 360:7,8 | | |
| reside 133:17 | respondent 67:13 120:13 164:1 172:5 255:3 308:4 | restrooms 37:20 | re-read 84:21 | | |
| residential 61:21 | respondents 63:5 | result 15:1 19:17 56:2 129:5 239:21 253:2 271:3 | rheumatoid 134:7 144:8 267:19 311:11 | | |
| residual 283:20 | respondent's 307:22 | resulting 287:11 | rich 218:10 | | |
| resolve 394:10 396:4 | responding 165:22 179:17,21 191:1 261:15 | results 47:18 57:12 71:7 78:11 129:5 130:20 139:15 140:16 141:6,13 141:19,21 142:6 142:11 144:9 152:2,11 153:19 153:21 190:10 193:20 194:11 311:21 319:22 340:6 392:16 | Richard 1:13 5:1 162:4 195:3 217:13 236:21 | | |
| resolved 9:5 | responds 84:1 | results-driven 65:4 | richer 267:3 | | |
| resource 15:11 186:10 286:4 | response 4:21 95:18 132:18 154:10 168:15 171:16 175:8 176:6 180:13 199:15 221:6,7,11 221:15 222:3,4 233:18 234:14 238:18 253:8 284:22 293:17 294:3,12 297:11 298:5 310:8,19 325:3 333:6,9,11 | resumed 110:10 240:21 316:11 | riddle 133:6 | | |
| | | retention 275:19 276:6,6 300:2 | ride 135:3 | | |
| | | retrospect 121:7 | right 6:3 7:20 12:8 29:8 32:21 33:6 43:3 54:18 62:20 63:13 69:13 78:10 78:19 79:12 80:16 105:11 110:6 112:11 121:1 126:3 131:14 138:7,9 140:12 141:9 142:6,12 145:13,18 147:12 150:3,4 151:4 158:4,14 173:17 179:12,17 183:6 197:11,13,13 202:19 206:17 209:5 218:3 222:11 224:4 239:15 264:18 292:21 294:18 295:13 298:9,19 | right-hand 333:5 | |
| | | return 204:4 | risky 396:6 | | |
| | | returning 29:12 | risk-adjust 286:10 | | |
| | | returns 237:8 | risk-adjusted 94:14 | | |
| | | reusable 345:17 | Rita 376:3 378:8 | | |
| | | revascularization 101:14,17 | RN 1:17 2:11,14,17 | | |
| | | revascularizing | road 130:19 346:7 355:17 362:2 397:14 | | |
| | | | ROB 2:22 | | |
| | | | Robert 105:7 | | |
| | | | robust 121:17 | | |
| | | | role 39:10 89:19 206:9 207:2 | | |

| | | | | |
|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 270:20 277:20 287:3 328:3 roll 123:2 267:22 332:10 359:19 rolls 267:21 room 1:8 8:17 35:13 110:19 111:8 118:21 174:1 185:5 225:3 238:16 262:2 290:4 302:3,5,18 349:19 373:12 390:13 391:1 402:1 rooms 123:22 Rooney 2:14 5:18 104:22,22 277:7 318:22 348:6 350:3,4,4 355:19 374:3 397:16 Rooney's 349:21 Rosetta 183:12 Ross 96:22,22 roster 95:13 Rothrock 41:14 roughly 58:22 roundtable 80:18 80:22 81:3 routine 44:21 RTI 2:4 10:18 31:19 rub 94:9 ruin 216:5,5 rule 251:11 364:17 364:17 388:9 rules 341:8 388:11 run 44:10 115:3 122:1 157:7 168:20 180:7 257:9 264:4 343:15 350:15 351:14 394:5 running 82:2 135:14 202:6 378:22 RWJ 303:9 R&D 393:6 | S | 343:6 scales 19:4 20:2 129:8 scale's 285:2 scaling 381:9,10 384:18 scan 197:17 scars 114:6 scary 216:9 349:9 349:12 369:4 ScD 2:1 schedule 296:8 scheduling 153:2 319:21 schematic 136:13 209:15 scholarship 288:5 school 1:17 2:1,21 4:9,24 54:11 202:22 237:22 241:14 362:3 376:9 schools 362:5 376:6 377:2 scientific 65:20 160:7 217:3,13 312:4 344:6 scientifically 331:2 343:17 sclerosis 285:17 SCL-90 271:10 scope 7:11 8:6,15 35:7 36:22 81:18 score 19:17 140:5 177:22 179:7,9 180:18 194:4 253:13 270:2 282:3 283:9,21 332:16 343:11 385:1 scored 176:2 248:17 scores 19:9,13 94:22 139:21 171:22 178:19 194:18 222:2 244:8 252:8,22 | 253:1 254:7 269:11 282:8,9 283:1,4 284:6 288:22 290:8 298:3 312:17 330:12 334:5 370:2 scoring 20:2 31:7 169:6 189:16 248:17 324:11 scrapping 241:22 scratch 32:19 120:11 screen 142:4 154:1 164:16 400:6 screening 153:19 265:22 266:8 268:20 271:1,9 script 128:14 search 197:19 seats 241:5 second 9:15 10:15 10:17 23:21 67:19 87:7 96:13 158:8 158:19 160:20 164:9 185:3 201:15 202:10 248:1 266:15 286:19 304:17 338:16 345:6 355:6 374:16 380:3 401:5 secondarily 262:5 secondary 338:4 387:4 secondly 24:6 325:20 seconds 181:11 357:3 secret 133:19 secretary 64:22 124:4 section 117:8 325:2 335:2 354:21 secure 197:7,8 security 48:22 174:16 364:14 | see 12:20 16:10,16 17:7,13 24:12 25:5,20 31:16 34:14 52:5 70:8 81:7 92:18 93:15 93:21 94:2 107:22 109:3 123:17 134:21 135:10 136:5 137:7 138:10,12 139:10 140:15 141:10,19 151:9 157:11 179:16 180:13 181:4 182:14 183:4 184:21 188:14 196:5 199:22 204:14 217:4 218:4 222:18 247:8 258:9,16,18 259:17 290:9,9,10 299:6 303:18 304:6 305:21 306:15 309:2 323:20 332:3 333:6,8,10 334:3 335:7 338:13 348:7 353:15 355:1 356:6 357:7 357:11 358:2,8 359:6 366:16 376:20 382:17 384:13 386:16 388:6 389:9,13 392:13,20 400:8 400:20 401:3,8,11 seeing 12:10 13:11 30:3 127:10 128:20 162:21 309:14 313:1 seen 72:4 76:20 135:15 259:2 segmenting 333:14 segments 330:17 select 12:7 102:15 163:12 211:2 246:13 |
|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|

| | | | | |
|-----------------------------------------------------------------------------------------------------|---------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------|-----------------------------------------------------------------------------------------------------------|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| selected 108:1,2 116:2 118:4,16 164:9 | 172:16 175:9 230:7 265:18 344:12 361:12 391:7 | 29:4 32:11,12 33:10 41:7 60:18 63:9 108:1 115:18 116:5 157:19 177:16 187:18 192:16 217:8 291:3 318:16 342:17 356:1 368:7,8 379:4 | share 27:14 54:15 113:22 114:17 130:18 349:13 367:20 | 251:10 270:16 298:10 312:14 367:5,6 380:5 |
| selecting 32:7 46:19 76:9 101:11 103:1 125:4 224:8 241:12 247:14 281:4 400:13,17 | senses 111:3 sensitive 267:14 268:9,22 282:15 315:17 | sets 81:15 176:20 347:19 | shared 23:20 38:22 74:9 76:8 142:17 202:18 208:22 235:3 274:15 301:16 354:17 364:12 | showed 45:20 141:20 184:8 196:22 197:1 |
| selection 5:5 9:14 26:15 101:10 132:2 155:2 245:6 266:16 | sensitivity 102:20 103:2 282:20 | setting 4:2 7:4 48:20 74:4 115:11 120:19 169:14 170:1 173:10 174:11 178:2 182:1 184:12 193:5 254:14 267:11,11 309:16 384:18 | sharing 235:5 364:8 | shower 56:18 showers 133:10 showing 42:20 209:9 290:6 |
| selects 177:1 | separate 60:1 228:17 | settings 14:4 48:21 165:18 170:3 189:9,22 193:8 194:10 204:2 267:12 379:10 397:5 | sheet 128:22 SHEILA 3:12 Shield 230:16 | shown 72:1 117:3 397:3 |
| self 168:15,18 238:6 | separated 87:14 | set-aside 322:22 323:14 | shift 13:11 145:2 175:8 199:15 216:2 336:10,20 336:21 398:1 | shows 72:16 137:2 142:13 180:4 209:14 269:13 330:16 335:6 397:1 |
| selfishly 244:21 346:12 | September 10:15 | seven 84:20 245:18 351:3 | shifting 143:16 | sick 83:22 159:16 |
| self-administrati... 164:16 167:8 168:11 | sequence 239:12 | severe 50:22 | shifts 382:17 | sicker 312:15 |
| self-care 285:21 | series 144:11 365:5 | severely 66:1 347:10 | Shinseki 64:22 | sickest 159:5,13 |
| self-classified 330:18 | serious 55:9 268:11 311:15 | severity 372:16 | shocked 238:18 | sickness 202:13 |
| self-confidence 328:3 330:3 | seriously 245:3 | SF 248:15 | shoes 70:13 210:6 | side 16:16 50:2 112:8 121:16 138:9 139:19,19 140:12 141:9 142:6 172:18 174:14 177:7,9,12 217:6 237:1 272:17 289:20 310:10 320:13 333:5,8 |
| self-efficacy 280:3 | serum 90:5 | SF-12 19:5 74:15 75:9 78:2,6 100:5 102:18 | Shop 65:17 | sight 89:8 |
| self-manage 278:14 337:8 | serve 6:16 264:4 | SF-12V 65:18 | shopping 135:20 | sign 126:4 |
| self-management 276:21 301:14 328:3 330:4 336:20 382:2,4 | server 197:8 | SF-36 138:11 140:4 176:2 355:1 | short 120:15,16 181:20 248:1 255:9 263:22 266:17 289:15 307:3,18 310:5 316:3 | signaling 227:19 |
| self-monitoring 23:18 | servers 361:11 | Shahian 15:15 | shortcomings 234:15 | significance 239:14 |
| self-report 46:18 50:13,16 62:12 165:11 167:12 | service 37:5 52:13 56:12 57:8 58:10 61:21 97:11 98:8 116:21 186:3,4 293:17 294:9 307:11 | shaker 131:15 | shorter 176:15 251:7 261:1,1 314:8 | significant 55:8 131:9 |
| self-standing 331:3 | services 1:23 2:7,13 4:13 29:16 40:18 53:4,14,16,18 54:18 55:4,14,18 55:22 56:2,9,13 56:14 58:18 61:18 62:7 74:8 92:12 98:7 104:18 219:12 320:11 | SHANA 3:11 | shortest 319:7 | significantly 104:7 104:15 239:6 |
| self-understand 148:1 | session 114:22 157:20 163:1 241:11 316:15,17 318:2,5 319:7 322:2 400:13 | shape 85:4 | shortly 315:9 316:18 | signs 126:5 239:2 |
| semantically 256:6 | set 7:3 14:9 27:3 | | shortness 372:9 | silence 292:17 |
| send 260:16 365:7 | | | show 41:8 68:5 73:14 102:12,20 114:6 141:18 178:13 179:17 181:13 182:3 209:4 232:12 | siloed 14:14 |
| senior 4:4 6:5 130:3 | | | | silver 188:15 191:7 192:14 |
| sense 10:5 11:15 14:7 21:10 43:19 57:4 101:4 133:18 | | | | similar 17:22 68:13 132:19 220:3 244:13 331:22 |

| | | | | |
|-----------------------------|----------------------------|----------------------------|----------------------------|----------------------------|
| 399:4 | 184:15 187:14 | 239:18 280:6 | 350:16 357:9 | speakers 401:19 |
| similarities 247:16 | 209:14 247:12 | sociodemographic | 360:13 361:4,5 | speaking 67:2 89:3 |
| similarly 20:22 | 249:2,21 250:20 | 47:22 | 363:6 364:15 | 318:17 380:16 |
| 261:20 291:19 | 251:20 252:12 | socioeconomic 93:8 | 365:4 367:5 | speaks 232:14 |
| simple 192:16 | 253:9 255:14 | 96:16 | 368:16 369:7 | special 211:22 |
| simplistic 94:11 | 256:2,13 257:7 | software 191:20 | 371:8 377:6,8,17 | 217:15 335:2 |
| simply 91:14 197:6 | 269:13 270:22 | 337:1 339:17,21 | 377:22,22 383:14 | specialized 55:13 |
| 382:14 | 320:13 321:17 | 340:22 361:8 | 384:4 390:1,2 | 325:16 |
| single 13:12,13 | 323:9 324:16 | solution 310:7 | 391:22 392:19 | specialty 362:7 |
| 29:3,4 188:15 | 330:7 334:3 | 392:15 | 395:7 | 378:19 |
| 336:17 | slides 41:18 52:6 | solutions 295:10 | sorts 159:17 207:16 | specific 20:1 51:18 |
| sir 233:22 391:11 | 133:1 192:8 397:4 | somebody 151:10 | 252:2 390:21 | 51:20 132:9 |
| sit 51:15 90:2 213:2 | slightly 14:3,4 | 151:11 173:3 | sound 63:10 227:15 | 139:20 219:2 |
| 280:21 | 245:15 258:8 | 272:6 340:2 349:3 | sounded 94:4 | 242:20 263:4,15 |
| site 75:7 112:16 | 333:9 | 364:13,13 | source 4:7 22:20 | 266:10 272:19 |
| 243:14 321:16 | slip 64:12 363:15 | someone's 310:21 | 38:5,11,14,16,18 | 278:11 291:16 |
| 383:3,9 | slippage 166:8 | someplace 361:11 | 40:6 51:8 83:17 | 341:1,17 342:17 |
| sites 29:9 65:11 | Sloan 4:22 88:8 | 398:9 | 85:5,16,22 86:1 | 344:12,13 365:16 |
| 96:1 387:6 | 147:6 157:5 | somewhat 49:4 | 86:14 89:21 91:13 | 365:18 |
| sits 124:6 324:21 | Sloan-Kettering | son 104:9 | 112:20 163:7 | specifically 33:21 |
| sitting 12:14 124:7 | 1:14 | soon 336:4 362:9 | 164:6,6 | 85:8 99:18 186:19 |
| 272:22 326:15 | slur 22:8 | sophisticated 79:18 | sources 71:13,18 | 187:18 204:22 |
| 333:14 | small 17:1 144:6,11 | 256:21 283:3,16 | 146:11 | 211:16 214:7 |
| situated 38:2 | 170:1 196:14 | 321:14 | South 196:1 | 217:21 266:11 |
| situation 92:8 | 318:9 327:8 | sophistication | Southeast 93:5 | 275:21 276:16 |
| situations 103:16 | 399:21 400:1 | 196:22 | 195:20 | 277:4 279:2 281:5 |
| 103:18 165:16 | smaller 105:20 | sorry 25:7 28:15 | Southern 74:22 | 325:1 |
| six 20:18 84:19 | 190:14 | 246:7 278:10 | so-called 253:19 | specified 20:1 |
| 96:1 153:9 189:15 | smallest 18:8 | 299:9 370:22 | space 14:2 258:6,12 | specs 361:15 |
| sixth 204:13 | Smart 96:19 | 378:9 | 262:8,22 357:20 | spectrum 85:20 |
| size 61:21 62:4 | smartphone 171:17 | sort 32:4,6 34:17 | spammed 259:20 | speed 157:18 237:7 |
| 196:14 303:1 | smiling 295:17 | 36:10 49:19 65:1 | 261:6 264:13 | spend 58:9 115:8 |
| sizes 190:14 | smoking 357:2,6 | 69:8 82:12 83:9 | span 54:7 | 120:7 123:12 |
| skating 133:20 | 387:17 | 84:12 85:3 87:18 | speak 22:7 37:3 | 129:18 306:2 |
| skilled 378:18 | sneaker 118:22,22 | 93:10,12 94:7 | 49:14 50:22 65:21 | 340:9 357:3 |
| skills 49:9 50:6,7 | SNOMED 324:8 | 102:2,6 111:1,9 | 133:4 184:20 | spending 74:12 |
| 56:7 | 368:15 392:6 | 113:4,15 114:9 | 195:5 220:13 | 84:19 356:22 |
| skin 372:10 | social 55:21 96:16 | 118:3 131:4,15 | 224:19 233:4 | spends 357:5 |
| sleep 138:15 241:8 | 100:3 105:9 106:1 | 148:5 149:15 | 245:18 272:16 | spent 8:8 115:10 |
| slice 380:20 | 166:18 168:7,8 | 174:13 185:19 | 299:10 316:19 | 118:18 120:19 |
| slide 7:8 10:3 11:3 | 170:18 177:4,11 | 218:2,8 243:7 | 317:17 334:22 | 126:11 127:14 |
| 21:19 23:22 26:2 | 177:12,13 198:11 | 244:11,13 249:3 | 366:11,18 378:14 | 193:21 346:20 |
| 32:16 41:7,18 | 277:5,16 278:12 | 271:3,7 278:21 | 381:22 382:19 | 351:18 |
| 42:17 43:14 44:7 | 279:7,9 304:3 | 279:21 280:1 | 384:14 393:19,20 | spine 4:19 82:1 |
| 45:1,20 46:13 | 305:14 | 289:19 323:5,13 | 399:14 | 133:5 134:10 |
| 49:6 50:11 51:13 | societies 366:2,19 | 327:19 331:13 | speaker 184:19 | 135:12,14 136:16 |
| 119:17 123:17 | society 56:16 | 345:4 346:15 | 335:20 | 136:18 139:3 |

| | | | | |
|-----------------------------|---------------------------|-----------------------------|----------------------------|---------------------------|
| 141:14,18 143:11 | 19:22 28:9,10 | 182:9 183:4 268:3 | 130:16 256:22 | strategies 79:17 |
| 144:7 265:8 268:7 | 246:17 368:17 | 312:15 317:8 | 294:19 332:12 | strategy 11:18 |
| spirit 279:22 371:8 | 369:11 370:19 | 326:11 341:9 | stead 215:2 | 120:17,18 143:18 |
| 371:18 383:11 | standards 290:2 | 348:5 363:5 | steering 1:7 351:13 | 297:14 358:9 |
| 390:6 | 291:3 363:3 367:8 | 365:11 399:2 | stenosis 141:15 | stratification |
| spit 78:10 | 391:17 392:21 | starts 182:7 328:12 | 269:19 | 198:13 |
| sport 269:15 | 395:4 | 382:5 | stent 101:7 | stratify 13:9 |
| spread 75:1 79:20 | standing 41:12 | start-now 365:19 | step 138:17 209:8 | Street 1:8 |
| 90:9,12 | 310:1 | start-up 169:4 | 236:16 277:6 | strengths 173:14 |
| spreading 74:21 | standpoint 207:6 | 191:20 | 281:16 364:20 | 174:11,14 284:1 |
| spring 365:14 | 250:18 366:16 | star-one 154:14 | Stephan 1:18 4:10 | stretch 153:7 |
| spun 377:11 | stands 205:8 | 234:1 | steps 9:9,13 40:8 | stroke 116:2 |
| spurious 190:9 | 295:12,13 | state 2:6 4:13 40:17 | 274:7 311:22 | strong 91:1 140:13 |
| St 196:1 | stand-alone 322:22 | 57:5,21 61:4 84:7 | 400:16 | 165:19 238:3 |
| stability 251:5,16 | 323:2 | 104:1,1,3 105:20 | Steve 40:18 64:14 | 291:15 |
| 285:12 286:1 | start 16:22 38:8 | 196:1 297:18 | 69:16 92:21 | struck 83:17 131:1 |
| stabilization 281:9 | 40:13 46:15 69:13 | 344:13 | 108:15 113:16 | structural 16:3 |
| stable 182:10,12 | 79:6 85:8 88:12 | statement 89:20 | 234:6 344:19,19 | 17:11 147:19 |
| staff 3:5 6:22 25:3 | 101:21 102:9 | 144:16 180:9 | 388:21 | 227:6,18,18 |
| 74:5 126:14 127:2 | 114:3 115:4 | statements 72:9 | Steven 102:13 | 229:21 |
| 153:17 174:9,13 | 117:19 118:11 | 207:16 | Steve's 388:21 | structure 92:14 |
| 255:4 400:9,9 | 119:11 120:3,10 | states 58:22 59:12 | stick 163:14 232:5 | 104:3 202:1 |
| stage 4:2 6:11 7:3,4 | 123:9,18 130:22 | 59:13,15 61:1 | 264:14 305:22 | 223:13 226:13 |
| 114:5 338:16,20 | 133:6 134:16 | 62:2 97:21 98:4 | 362:4 | 227:9,13 228:1 |
| 367:18,19 368:5 | 147:3 164:6 | 141:4 234:22 | stiffness 249:20 | 247:10 |
| 368:21 | 165:11 179:7,11 | statewide 297:5 | 251:18 | structured 197:20 |
| stages 51:4 196:7 | 182:15,17 217:8 | state-to-state 57:17 | stifling 227:21 | 296:22 |
| 320:17 338:15 | 217:19 241:5 | static 248:16 | stimulated 117:15 | structures 306:4 |
| stakeholder 145:19 | 249:21 261:6 | statin 148:6 | Stone 183:12,13 | 368:17 |
| 284:15 322:19 | 262:19 265:6 | statistical 283:3,16 | 218:21 | stuck 361:3 |
| stakeholders 8:19 | 289:4 306:8,12 | status 17:4 18:6 | stood 341:11 | student 221:4,4 |
| 72:6 287:3 | 314:21 336:6 | 26:1 38:17 47:2 | stop 162:10 203:8 | students 287:19 |
| stakes 229:2 248:2 | 338:5 347:4 | 51:11 71:16 81:16 | 261:15 314:11 | 377:4 |
| 248:3 | 348:17 349:6,11 | 81:21 93:5,8 | 334:19 357:2,6 | studies 72:4 134:6 |
| stand 132:9 157:14 | 355:9 357:14,14 | 100:15 102:18 | stopped 260:18,20 | 248:5 309:2 |
| 341:16 | 360:3 362:1,13 | 111:12 121:3,18 | 336:15 | study 36:20 43:18 |
| standard 172:17 | 368:22 381:5 | 134:22,22 135:1 | store 66:12 317:1 | 67:21 118:16 |
| 178:7 179:1 | 396:4 397:15 | 138:7 166:19,19 | 341:4,6,8 345:19 | 134:10 139:9 |
| 180:10,19,22 | 398:6,8,11 | 264:21 265:13,19 | stored 361:7 364:6 | 144:6 152:4 |
| 182:8,18 184:3 | started 6:4 7:22 | 267:15 268:15 | storing 361:10 | 171:14 173:22 |
| 253:14,16 284:8 | 27:20 65:21 102:9 | 269:14 275:4 | story 114:18 336:6 | 212:9 216:5 |
| 284:12 330:19 | 110:3,15 114:10 | 354:21,21 359:12 | 374:9,16 | 219:11 229:11 |
| 373:15,19,21 | 115:6 139:3 241:4 | 368:1 394:4 | straight 352:1 | 275:11 |
| 379:17 | 260:18 | status/quality | strangely 272:5 | stuff 26:19 90:22 |
| standardization | starters 388:1 | 126:9 | strangled 389:3 | 110:16 112:4 |
| 391:19 | starting 32:19 | statutory 104:6 | strategic 115:19 | 150:19 293:20 |
| standardized 11:21 | 38:20 81:21 118:6 | stay 58:7 114:21 | 129:22 | 337:2 345:22 |

| | | | | |
|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|--------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| 346:2 348:15,17 348:18,20,21 349:2,13 355:21 356:15 357:13 361:7,10 362:5 374:21 375:18 376:18 style 170:4 294:19 styles 342:10 subgroups 191:2 subject 234:13,18 subjects 209:8,11 submerging 315:11 submit 381:2 subsequent 130:12 subset 177:20 subsets 190:13 substantial 15:21 131:9 161:1 270:11,17 substantive 130:20 substitute 165:15 sub-elements 83:9 sub-scale 251:18 sub-scales 249:18 251:14 succeed 204:8 success 115:11 successful 191:13 270:4 succinctly 69:2 sudden 298:15 353:9 sufficient 19:10 146:21 sufficiently 51:10 289:2 sugar 337:3 suggest 50:17 92:17 211:3 270:10 suggested 277:7 322:8 suggesting 277:13 345:16 suggestions 145:16 227:5 | suggests 105:11 129:8 suicide 370:3,4 suitable 27:1,3 244:1 257:22 summarize 192:13 summarizes 400:5 summarizing 60:20 summary 120:21 178:19 summer 365:14 SUMMERS 2:17 summing 175:22 Superb 230:20 support 34:17 42:11 52:16,22 53:1 54:1,12,16 56:5 57:21 58:5 58:16 63:2 92:15 100:3 106:9 130:2 186:2 224:1 337:11 supported 187:10 supporting 61:4 supports 53:4 54:6 54:10 55:5 56:15 56:17 57:1 59:6 60:9,14 103:8,17 104:8,14,19 375:4 supposed 51:15 84:3 supposedly 303:12 sure 15:4 32:17 34:19 37:2 50:5 81:10,11 82:8 87:17 88:2 94:12 110:15 122:1 126:16 152:6 197:12 202:3 245:13 249:10 252:14 253:1,4 271:15 296:12 297:21 310:2 316:14 340:4,5 344:21 349:15 363:8 390:15 392:17 400:9 | surfers 96:18 surgeons 365:21 366:4 surgery 16:19 17:17 21:5 121:11 123:4 139:10 140:3,8,14 141:22 142:10 266:3 268:6,7,7 269:16 269:16 365:22 376:13 surgical 103:10 141:2 153:10 202:2 268:4 274:13 366:2,10 surgically 139:12 140:2 surprise 243:12 surprisingly 127:13 survey 62:13,16 63:11 65:15,16,20 95:18 108:14,18 121:5 167:17,18 168:1 199:11,12 216:3 252:5 311:12 313:4 340:1,2 343:18 351:9 359:11 370:12 surveyed 61:3 surveys 62:14 163:11 167:19 260:2,5,8 261:17 278:20 293:9 294:21 307:3,18 380:5 suspect 111:7 208:8 271:5 suspicious 244:10 sustainability 151:19 323:8 sustainable 145:10 161:5 250:11 324:14 Sweden 113:7 144:8 311:11 | 312:10 313:10,12 swelling 372:10 switch 401:7 Symbyax 330:19 symptom 332:16 334:5 symptoms 34:16 42:15,21 43:21 66:14 101:16,18 122:17 138:6 177:7 237:2 238:8 372:3 373:20 symptom-level 121:4 symptom-specific 291:9 syndrome 268:17 270:8 synergies 188:8 217:4 system 1:22 2:19 2:25 5:6 28:14 46:8 54:11 61:11 62:1 65:6 67:5,15 72:11 93:3 101:9 105:5 106:6 113:14 125:17,22 129:21 130:5 134:18 135:4 139:3 143:15 145:19 171:4 174:19 188:18 192:18 208:1 218:10 221:2 235:1 241:17,22 242:14 247:11 250:10 260:3 262:15 270:6 273:3 275:12 276:7 277:14,18 278:4,5 303:21 305:9,10,17 322:19,22 323:2 323:16 324:2,15 327:18 331:4 332:10 340:3 342:5,11 343:3,5 | 356:1 358:3 359:2 373:4 378:18 379:13 380:22 383:4 384:1,12 396:8 systematic 159:1 170:16 216:21 217:1 systematically 372:14 systems 58:21 62:3 77:5 90:4 109:13 123:14 134:13 145:14 149:14 189:14 192:21 195:12 196:21 197:15 218:16,17 220:4,16 222:2 259:21 270:3 271:11,12 280:4 304:11,12 305:19 306:3,10 320:3 323:4,14 327:16 360:7 363:4 370:9 370:10 371:22 372:1,8,19 373:15 391:22 system-centric 213:9 system-wide 297:14 S-E-S-S-I-O-N 241:1 |
| T | | | | |
| table 87:4 90:20 110:13 116:11 120:20 210:22 247:8 249:4 257:10,10 273:1 279:13 326:15 395:16 400:5 tables 8:9 tablet 124:3,5 169:21,22 334:10 tablets 123:18,22 173:6 331:17 | | | | |

| | | | | |
|---------------------------|----------------------------|---------------------------|----------------------------|----------------------------|
| tablet's 126:22 | 326:9 341:19 | 230:20 281:3 | tee 246:5 | terms 12:14,15 |
| tackle 39:15 40:2 | 343:21 345:21 | 365:16 369:3,6 | teeing 334:11 | 17:17 20:6,8 |
| 362:12 | 346:9 347:1 354:3 | tasks 118:4 288:19 | teenager 55:7 | 49:10 70:16 72:6 |
| tactical 395:3 | 358:6,19 369:21 | taught 326:12 | tee-off 319:7,8 | 72:12,15 73:4,17 |
| tactically 398:11 | 375:17 377:19,20 | taxonomy 214:8,11 | 324:17 | 75:12,22 76:19 |
| tag 299:16 400:2,8 | talked 25:17 63:4 | Taylor-Clark 5:10 | telephone 2:13 | 77:10,17 78:11 |
| 401:3 | 70:18 72:21 77:13 | 246:2 271:15 | 48:18 95:11 99:8 | 82:3 100:10 101:8 |
| tagged 331:12 | 92:2 103:3 110:16 | 299:13 300:22 | 154:14 160:4 | 102:14,16,22 |
| tailor 176:13 | 113:16 116:9 | 303:6,22 | 165:1 169:1 | 108:21 109:7,12 |
| take 9:6 28:1 36:8 | 123:14 148:17 | teach 362:5 | 170:12 264:9 | 109:15 129:11 |
| 41:17 83:10 88:15 | 187:17 210:5 | teaching 221:3 | 302:15 314:3 | 131:2 138:13 |
| 93:17 109:21 | 217:12,13 248:9 | team 9:12 55:17 | 389:17 | 146:16 149:4 |
| 112:12 131:16 | 248:20 254:3 | 121:11,17 153:17 | tell 23:11 25:8 | 155:15 186:9,15 |
| 174:19 193:1 | 258:14 269:5 | 212:3 215:21 | 84:17 118:1 | 186:20 187:9 |
| 196:5 202:4 | 277:11 322:1 | 267:5 329:4 | 129:10 214:13 | 188:1,15,17 189:3 |
| 203:11 236:16 | 382:10 393:2 | 335:12 358:18 | 233:20 311:1,4 | 189:13,20 192:15 |
| 237:20 241:5,8 | talking 8:11 29:21 | 375:15 | 320:18 321:7 | 193:3,13 197:21 |
| 244:15 245:3 | 33:1 35:7 58:1 | teams 117:10,17 | 324:3 341:22 | 218:3 219:18 |
| 253:7 274:7 | 64:10 73:17 79:6 | 132:3 146:1 | 358:5 362:21 | 220:5 229:3 |
| 275:20 277:6 | 98:14,22 99:18 | 152:14 326:14 | 363:1 | 247:17 248:7 |
| 278:14 279:13,18 | 105:19 106:1 | team-based 358:17 | telling 115:9 | 268:9 273:22 |
| 312:12 323:17 | 109:20 111:11 | technical 67:12 | 127:21 287:19 | 274:1 276:21 |
| 334:19 338:10 | 119:1 137:22 | 134:3 162:16 | 357:3 377:9 | 284:7 303:20 |
| 340:19 343:5 | 149:4 164:17 | 163:3 258:13 | tells 225:7,8 | 304:18 325:20 |
| 345:16,20 352:3 | 172:6 185:22 | 295:9 | ten 121:2 171:5 | 368:16 |
| 356:18 360:13 | 193:10 206:13 | technically 101:5 | 303:16 328:18 | terrible 41:17 |
| 370:18 374:21 | 212:14 217:15 | 387:8 388:8 | 352:21 378:22 | terrific 25:4 62:19 |
| taken 34:16 64:10 | 224:15 231:8,16 | technique 289:22 | tend 8:9 47:14 72:3 | 216:14 240:7 |
| 69:10 146:6 258:7 | 235:19 238:20 | technologies 190:2 | 168:10,15 188:20 | 245:17 259:14 |
| 381:8 | 275:3 293:6 | 206:22 | 201:10 248:10 | 279:20 |
| takes 25:21 56:22 | 295:10 299:4 | technology 5:18 | 267:20 282:8 | terrifically 259:5 |
| 121:14 181:11 | 300:12 306:3 | 48:14,15,18,19 | 320:3 | test 49:11 175:21 |
| 201:19 311:13 | 314:7 318:6 | 49:9 50:2 77:10 | tended 144:12 | 176:2,5 179:7 |
| 313:4 352:11 | 351:16 355:10 | 77:13 96:15 164:4 | 276:15 | 188:6 200:18 |
| talented 40:11 | 361:10 375:9 | 191:11 204:16 | tending 171:22 | 271:9 297:4 |
| talk 8:9 11:5,22 | 383:21 | 205:19 260:14 | tends 13:7 | 306:20 319:21 |
| 18:19,20 30:6 | talks 133:19 351:18 | 309:14 323:5 | tenets 65:1 | 324:1 369:14 |
| 37:12 46:16 68:19 | tallies 202:6 | Ted 1:21 2:14 5:18 | tens 346:20 386:22 | 370:2 |
| 76:1 82:5 98:13 | TALYOR-CLA... | 30:17 89:16 | 391:5 | tested 189:8 243:12 |
| 128:22 157:17 | 2:18 | 104:22 222:8 | tension 28:8 248:12 | testify 192:10 |
| 163:5 187:12 | target 250:8 334:6 | 231:11 244:10 | tent 346:19 | testing 8:22 78:7 |
| 189:5 193:1 | 334:14 | 277:7 299:7,8 | tenth 257:10 | 160:15 176:13 |
| 219:21 226:22 | targeted 263:14 | 318:22 348:6 | tenths 172:17 | 178:12,13 204:1 |
| 235:22 245:12 | 387:11 | 349:21 350:3,4 | term 33:3,20 52:19 | 206:22 211:4 |
| 246:12 257:20 | targeting 63:13 | 361:1 362:1 374:1 | 54:1 204:3 313:16 | 251:9 285:1,8 |
| 258:8 272:11 | Targets 281:12 | 397:15 398:5 | terminology | 290:1 381:20 |
| 307:14 319:10 | task 15:16 213:21 | Ted's 232:16 377:6 | 171:14 379:9 | tests 176:7,18 |

| | | | | |
|--------------------------|----------------------------|--------------------------|--------------------|-------------------|
| 296:9 297:4 | 216:15 241:7 | 127:21 128:3,9 | 69:17 70:2,7,9 | 209:17 210:1,4 |
| test-free 176:6 | 257:13,16,17,18 | 129:2 146:20 | 71:10,21 72:5,8 | 211:8 212:8 213:8 |
| Texas 2:9,17 5:12 | 264:7 270:21 | 160:2 162:22 | 72:13 73:6,14 | 214:3,9,20 215:11 |
| 246:4 | 279:21 306:16 | 166:16 169:18 | 75:2,16 76:5 77:5 | 216:2,9,10 218:20 |
| text 49:17 247:9 | 324:18,19 | 174:20 175:19 | 77:12,13 78:21 | 219:9 220:4,9,13 |
| 260:21 282:11 | theme 205:19 | 181:12 193:19 | 79:4,20 80:1,2,5 | 220:14,17 221:14 |
| 343:5 | 315:11 320:7 | 194:8 195:16 | 83:6,15 84:11,12 | 221:19 223:19 |
| thank 6:7,19 9:12 | themes 217:10,22 | 198:4 200:1 | 85:6,10,12,18,19 | 224:3,5,16,22 |
| 21:21,22 22:7,10 | 365:12 | 201:22 202:21 | 86:5,6,12 87:3,5,8 | 225:3,4,19 226:10 |
| 22:13 24:21 27:7 | theory 175:21 | 214:1 227:10 | 87:9,20 88:5,12 | 226:15 227:7 |
| 27:9 29:6 30:10 | 176:6 284:22 | 231:22 238:19 | 90:19 91:12,22 | 228:3,6,17,21 |
| 30:14 33:17 34:8 | 310:4 | 242:12 243:8 | 92:16 94:8,18 | 229:15,16 230:1,5 |
| 34:9,21,22 37:13 | therapies 56:11 | 244:6 245:8,13 | 95:3 99:20 100:11 | 231:1,13 232:14 |
| 40:22 41:3 51:22 | Therapists 332:12 | 246:6,11 257:21 | 100:19 101:4,8 | 236:10,12 237:5 |
| 64:7,16 96:21 | therapy 68:11 | 261:8 276:3 280:2 | 103:11 106:4,13 | 237:10,14,15 |
| 98:22 99:3 109:17 | 298:14 | 297:22 299:4 | 106:17 107:10,19 | 239:9,9 240:11 |
| 114:14,15 130:17 | thing 11:7 29:19 | 302:1 307:13,15 | 108:10,20 109:4,9 | 241:16 242:11 |
| 130:21 131:20 | 35:5 43:10 76:19 | 308:20 310:16 | 109:18 110:5,21 | 246:5,11,14 |
| 133:1 146:13 | 93:16 99:14 102:7 | 315:4 323:9 | 111:5,15,17,19,22 | 247:16 261:11 |
| 154:3,4,19 157:9 | 107:1 145:18 | 339:10,11,16 | 112:8,15,22 | 262:1 263:2 264:4 |
| 162:14 184:16,17 | 149:15 151:5 | 340:15 341:2,12 | 113:17 114:8,22 | 264:12 265:2,12 |
| 195:2,4 203:13 | 176:8 177:18 | 344:8 349:13 | 116:22 117:17 | 269:7 273:14,19 |
| 228:14 234:5 | 182:22 183:7 | 351:12 354:11 | 122:16,20 125:6 | 274:4,8,9 275:2 |
| 235:13,15 240:6 | 220:21 239:15 | 356:21 358:18 | 130:10 131:5,12 | 277:11 278:2,11 |
| 240:15,17 258:5 | 247:20 248:1,18 | 359:20 370:1 | 131:20 132:22 | 278:20 279:11,14 |
| 264:6 271:15 | 254:10 258:19 | 372:5,5 373:1 | 133:21 143:13,21 | 280:13 287:21 |
| 279:19 280:11,22 | 260:17 273:7 | 375:1 385:16 | 146:19 147:1,13 | 289:3,18 294:16 |
| 288:10,11 302:16 | 290:20 341:17 | 390:3,12,21 391:5 | 147:17,20 149:10 | 294:18 295:17,21 |
| 315:22 316:5 | 343:6,7 348:12 | 391:20 393:21 | 150:14,14 151:5,6 | 296:2 298:12,13 |
| 321:5 325:4,9 | 354:5 355:21 | 394:1,17,18 | 151:7 153:11 | 298:16,18 299:2 |
| 335:14,19 344:16 | 361:5 363:17 | 396:15 | 158:20 165:21 | 300:9,10,17 301:1 |
| 344:20 360:21 | 364:10 365:18 | think 6:10,11 7:7 | 166:5,6 173:2 | 301:2,20 303:20 |
| 378:7,11 380:1,13 | 375:13,16,22 | 8:12,19 9:2,3,13 | 175:11 177:22 | 303:22 304:17,22 |
| 391:9 392:22 | 380:4 385:3 | 10:1 12:5,14,19 | 181:13 183:10 | 305:16,16,18,20 |
| 395:12 397:20 | 394:22 396:2 | 13:2,4 14:21 | 184:2,15 186:4,11 | 306:1,2,11 307:19 |
| 398:22 399:10 | 398:12 | 15:16 16:10 17:15 | 187:22 188:3,6,11 | 307:21,22 308:14 |
| 401:17,18,22 | things 16:4,10 33:6 | 18:10,15 20:5 | 188:18 190:17 | 309:4,20 310:7,18 |
| 402:3 | 34:17,20 45:12 | 21:17 29:5,10 | 191:16 192:14,18 | 311:7 312:18 |
| thanked 96:10 | 47:1 48:10 51:11 | 30:12,19 31:22 | 193:1,9,11 196:11 | 313:14,17 314:4 |
| thankfully 209:18 | 64:10 68:13 75:14 | 35:6,11,17 36:6 | 196:14 199:14 | 315:21 316:17 |
| thanking 110:1 | 78:21 79:1 84:5 | 38:21 41:5,16,19 | 200:1,2,7,8,11,13 | 317:8 318:8 320:7 |
| 280:15 | 85:6 94:15,16 | 45:4,19 46:2,12 | 200:19,20 201:6 | 320:21 321:3,6 |
| thanks 9:22 24:22 | 96:6 97:8 105:15 | 51:15 53:2,13 | 202:1,7,10,11,15 | 324:16 326:13,15 |
| 25:3 64:8 69:16 | 106:5,10 108:6 | 59:15,18 64:1,9 | 202:15 203:7 | 327:1 334:3,6 |
| 79:8 82:3 146:14 | 111:19 113:15 | 64:13 65:5 67:1,3 | 204:10 205:2,4,11 | 337:9,10 339:16 |
| 147:5 156:7 | 121:15 122:15 | 67:4,10,16 68:20 | 205:15,20 206:7 | 339:21 341:9 |
| 185:10 203:9 | 123:18 126:6,17 | 68:21 69:1,7,12 | 207:1,15 208:3 | 342:1,3,7 344:2 |

| | | | | |
|-------------------------|----------------------------|-------------------|----------------------------|---------------------------|
| 344:15 345:4,6,7 | 144:16 | 37:12 47:5 53:18 | 369:8 372:1 | 399:20 |
| 345:20 346:7,11 | thought 32:11 | 57:13 58:3,3,9 | 387:21 388:6 | tool 19:5 20:13 |
| 346:16 347:4,5,7 | 97:12 119:16 | 75:14 78:15 79:10 | 393:6 397:11 | 21:7,14,15 34:5 |
| 347:22 348:15 | 120:8 122:19 | 79:22 83:14 84:2 | 401:18 | 83:2,12 107:14,17 |
| 349:4,6 353:14 | 129:18 144:11 | 84:5,8 95:9 99:6 | timeline 364:17 | 107:22 108:4,7 |
| 354:10,13 356:6,8 | 148:11 156:1 | 104:11 105:6 | timelines 395:8 | 120:21 122:6 |
| 356:12,13 357:8 | 162:18 199:13 | 106:6 111:10,18 | timely 44:16 | 125:15 127:17 |
| 357:11,12 358:1,3 | 201:8 214:3 257:8 | 115:9,9,17 116:10 | 207:14 264:8 | 192:15 198:22 |
| 358:16 359:2,3 | 293:2 295:14 | 120:7,19 121:14 | times 18:17 54:13 | 243:19 298:6,15 |
| 360:19 364:9 | 366:9 367:19 | 123:12 126:12 | 106:14 117:4 | 298:16,17 368:8 |
| 365:6,7 366:5,19 | thoughtful 205:13 | 134:21 135:22 | 156:3 174:4 | 390:14 |
| 369:21 370:5,9,10 | 288:3 | 137:15 138:8 | 176:22 273:9 | tools 7:7 33:22 |
| 370:14,15 371:2,6 | thoughtfulness | 143:20 146:6,12 | 396:22 | 81:12,19,22 82:11 |
| 371:22 372:4,22 | 69:11 | 148:10 154:16 | timing 76:21 | 83:9 106:22 108:1 |
| 373:1 375:19 | thoughts 155:19 | 162:22 174:4,7 | Tinetti 2:19 86:21 | 109:1 129:9 171:7 |
| 376:22 377:4,7,10 | 289:10 365:1 | 175:5,10 184:18 | 86:21 216:17 | 178:10 187:13 |
| 377:21 378:5 | 372:21 | 190:5 197:13 | 376:4,11 | 188:2 192:16 |
| 381:4 382:1,17 | thousand 65:11 | 199:1 200:1 | tiny 341:1 | 220:3,12 244:3,17 |
| 385:6,7 386:20 | thousands 117:3 | 204:12,19 212:16 | tips 43:11 | 248:15 303:11 |
| 388:12 390:2,3,6 | 242:18 386:22 | 224:9 228:6 | tired 314:17 | 337:8 340:19 |
| 390:12 391:1 | 391:5 | 232:13 234:4 | today 6:7 8:10 9:12 | 341:16 368:7,8,9 |
| 393:20 394:21,22 | thread 227:5 | 237:15 238:11 | 10:6,7 20:12 | 369:9,10 388:14 |
| 395:1,2 397:4,21 | threaten 355:17 | 245:19 248:14 | 22:21 23:6,22 | top 11:7 16:20 |
| thinking 17:18 | three 20:14 39:20 | 251:6,9 253:21 | 24:11 27:11 32:13 | 44:15 179:12,16 |
| 29:20 35:22 65:4 | 53:8 65:1 113:12 | 254:1,21 255:2,8 | 35:8 39:15 52:17 | 327:20 333:5 |
| 79:15 83:17 85:4 | 134:6 139:16 | 255:10 257:18,20 | 53:8 64:12 70:2 | 358:20 |
| 95:18 100:5 111:9 | 146:20 153:9 | 262:17 264:8 | 87:19 114:22 | topic 18:10 36:3,6 |
| 133:14 155:17 | 159:21 161:19 | 265:3 266:7 | 161:20 185:12 | 38:9,20 157:12 |
| 199:21 207:5 | 181:2,7,9 182:21 | 267:11 283:9 | 186:1 187:12 | 220:19 221:17 |
| 214:5 227:12 | 194:16 196:1,21 | 287:22 288:8 | 202:12 222:8,14 | 308:2 325:11 |
| 228:7 261:19 | 198:4 249:18 | 289:2,16 291:12 | 231:17 269:7 | topics 39:20 |
| 273:4 274:11,19 | 251:21 295:4,5 | 293:14,18 298:11 | 272:15 273:9 | top-down 377:8 |
| 280:7 285:1 | 298:2 309:12 | 299:22 300:5,16 | 275:4 281:3 | Torda 2:20 227:2,3 |
| 289:17 295:22 | 317:18 318:12,13 | 306:3 307:19 | 316:14 317:7,16 | 367:12,12 |
| 301:4,6,21 303:8 | 318:16 319:7 | 309:12 311:5 | 318:5,11 320:8 | tortuous 345:10 |
| 326:2 339:5 344:9 | 320:19 338:15 | 312:14 313:18,19 | 323:11 354:13 | tossing 149:8 |
| 344:11 347:4 | 354:17 355:7,12 | 314:21 317:21 | 369:5 401:19 | total 121:1 140:17 |
| 348:11 396:3 | 362:2 367:15,22 | 318:2 322:4 | today's 26:4,4 | 243:16 259:13 |
| 401:21 | 369:6 381:11 | 326:11 327:11 | told 113:8 328:8 | 263:17 265:9 |
| thinks 241:14 | throw 97:7 146:1 | 328:7 330:8 332:2 | 345:17 374:9 | 268:5 |
| third 17:9 134:9 | 223:8 348:1 | 334:11 336:17 | tolerance 229:7,12 | totally 151:2 |
| 161:8 267:13 | 376:14 | 343:11,13,14 | TOM 3:21 | 188:17 374:20 |
| 268:8 333:10 | thrown 297:8 | 352:19 353:20 | tomorrow 6:8 | 401:7 |
| 338:19 355:6 | tie 30:8 252:22 | 354:2 355:19 | 31:22 115:1 369:1 | touchpad 137:2,3 |
| 361:21 362:13 | TIGHE 3:20 | 356:5,19 357:22 | 397:14 399:13,15 | touch-screen |
| third-party 98:5 | time 8:8 10:4 19:21 | 359:1 360:4,10 | 400:10,12 402:2 | 255:21 |
| thorough 119:2 | 20:4 30:4 35:21 | 361:15,17,19 | tomorrow's 26:4,5 | tough 304:1 |

| | | | | |
|---------------------------------------------------------------|----------------------------------------------|--------------------------------------------------|-----------------------------------------------|--------------------------------------------|
| track 205:18 342:20 343:3 352:19 363:14 384:1 | transplant 182:15 | 112:11 209:9 | 20:8,9,17 24:2 | ultimately 8:13 |
| tracked 126:3 | transportation 56:13 277:17 | 215:16 256:4 324:14 | 29:13 30:6 31:21 41:18 54:3 60:1 | 12:9 16:6 87:12 |
| tracking 58:12 78:2 | traveling 380:15 | trumps 218:7 | 84:2 85:2 88:2 | 218:2 243:6 |
| traction 125:15 | treated 77:22 135:4 139:11 140:2 | trust 96:14 208:17 231:6 247:7 | 96:6 97:13 113:3 113:12 115:21 | 245:10 301:17 |
| trade-offs 218:9 | 182:20 231:5 | 363:12,22 364:5 | 118:3,18 123:3 | 306:5 346:1 |
| traditional 203:6 262:2 283:19 | 305:6,7 392:16 | truth 215:9,10,14 234:17 | 140:2,8,21 141:20 142:8 147:1 152:8 | Uma 2:2 5:19 |
| traditionally 159:3 | treating 172:8 183:6 214:21 | try 7:5,6 18:12 22:7 30:22 82:8 113:17 | 152:17 153:6 | 237:17 318:16 |
| train 6:17 | 386:6 | 163:14 195:9 | 154:20 172:17,17 | 325:6 335:16 |
| trained 62:22 98:12 155:13,22 | treatment 42:22 43:22 53:20 76:9 | 218:5 241:9 | 173:12 195:16,19 | 397:15 |
| 161:2 382:3 | 103:13 107:4,8 | 245:19 264:19 | 201:5,10,22 220:4 | unable 37:7 198:21 |
| training 56:6 326:18 336:2 | 140:11 259:6,7 | 267:2 279:14 | 228:18 251:9 | unaddressed 395:21 |
| 376:15,21 | 308:11 311:21 | 280:21 288:11 | 258:16 265:16 | unauthorized 322:14 |
| trains 205:20 | 332:13,17 333:20 | 300:4 316:3,7 | 274:7 276:4,14 | unaware 198:10 |
| trait 177:18 254:10 285:6 | treatments 107:9 274:2 | 319:9 321:8 324:4 | 303:5 318:12,12 | undergo 116:13 |
| traits 190:13 | treatment-specific 242:21 | 360:6 365:12 | 350:5 351:16 | 366:15 |
| trajectories 25:15 | tremendous 205:7 270:6 356:7 358:2 | 391:21 393:13 | 353:10 362:13 | undergoing 64:20 125:20 |
| transfer 322:10,13 379:10 | tremendously 130:9 | 394:8 | 374:4 392:6 | underlying 176:8 177:17,18 191:3 |
| transformation 64:21 65:2 259:18 | trend 138:10 | trying 12:5 13:14 14:7 70:10,16 | two-part 382:9 | 254:10 284:7 |
| transformational 112:1 | trending 260:5 | 86:3,4,14 113:16 | two-year 68:4 139:15 151:17 | 385:3 |
| transformative 112:1 | trends 57:17,18 60:20 63:20 | 122:11 129:3 | 187:1 | underneath 92:17 |
| transforming 259:11 327:1 | 312:14 | 137:5 155:14 | tying 76:11 229:17 | underreport 372:15 397:2 |
| transition 37:16 | trial 68:9 106:21 107:2,7 137:19 | 195:13 196:2 | type 53:21 55:4 | underrepresented 159:4 |
| translate 23:10 254:19 | 139:5 152:3 | 197:9 278:1 | 214:5 336:7,8,12 | underscore 103:7 |
| translated 108:8 155:4 286:16 | 269:15 | 314:10 351:18 | 337:12 338:10 | underscored 70:21 |
| translating 47:16 | trials 106:22 | 354:12 361:18 | 344:5,5 | understand 9:9 35:13 45:9 49:18 |
| translations 256:5 256:6,8 | tricky 384:22 | 362:5 373:2 375:5 | types 35:9,17,19,21 55:20 251:21 | 127:4 131:19 |
| transmit 18:16 | tried 53:7 123:21 401:5 | 376:13 | 275:5 300:12 | 136:9 149:6 |
| transom 146:2 | tries 135:9 | tuning 266:21 267:1 | 303:18 305:3 | 234:13 239:2 |
| transparency 24:8 201:4 206:3 | trouble 129:9 268:12 295:1 | tunnel 270:8 | 306:9 322:21 | 244:17 264:1 |
| 208:18 | true 94:18 160:7 214:11 277:16 | turn 6:14 7:12 8:4 21:19 24:15 221:9 | 379:1 391:22 | 308:6 316:21 |
| transparent 82:16 141:12 | 285:15 307:9,22 | 351:22 400:19 | typical 264:3 | 396:9,10,20 |
| | 308:15 313:18 | turns 238:6 297:17 387:15 | typically 53:1,18 54:1 235:20 236:8 | understandable 155:6 397:7 |
| | 321:6 | 387:15 | 353:3 | understanding 28:18 157:22 |
| | truly 71:2 83:1 | tweaking 121:12 | T-score 178:6 180:10 184:12 | 212:2 215:22 |
| | | Twentieth 293:2 | | 238:7 304:10 |
| | | Twenty-First 376:17 | U | understands 130:6 |
| | | twice 251:12 | U 65:21 | undertook 336:22 |
| | | two 6:20 8:18 9:10 16:9 17:4 18:7,9 | UCLA 2:15 | underway 26:10 |
| | | | ultimate 85:15 86:14 | |

| | | | | |
|-------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|
| under-report 168:10 | use 9:15 12:9 15:11 19:19 20:12 26:15 27:1 33:2,20 35:18,21 44:9 47:8,13 48:14 52:19 53:1 59:4 64:6 66:4 68:9 72:17 75:12 76:3 76:12 77:10 78:18 80:19 81:4,11,19 81:22 84:6 86:18 88:10,20 89:12 90:22 93:11 98:18 107:18 112:13 113:10 120:11 121:17,19 133:11 135:10,22 144:15 146:4,16 149:8 164:19 169:21,22 173:7 184:13 185:13 187:15 188:20 191:10,15 196:10 198:4,22 200:15 202:7 204:1,3,21 207:11 210:14,17 213:8 222:16 239:22 243:22 244:2,18 244:18,19 248:3 251:1 254:13,18 254:19 256:8 257:14,22 258:1 259:5,13 260:15 261:5 262:12 265:3,12,18 266:2 266:21 273:15,21 279:17,17 280:12 292:8 293:5 301:10,12 302:18 317:3 318:20 319:20,21 320:17 321:1,4,8 322:7 323:16 324:5 328:4 334:7 336:1 337:14 340:16,19 340:20 341:5,18 344:3 348:11 | 353:17 361:16 363:20 364:6,16 365:1,13 367:15 367:17 368:5,21 371:2 374:4,15 375:12,18 384:17 397:19 398:12,13 useability 200:20 228:16 229:18 258:10 262:9 267:7 294:9 301:11 useable 273:3 378:2 useful 13:17 50:19 59:17 72:14 76:16 76:20 79:20 103:2 145:21,22 165:3 167:1 168:3 191:17 228:8 237:5,9 249:6 257:8 267:3 273:11 278:12 300:16 388:16,18 396:14 usefulness 76:1 248:8 user 133:16 145:20 194:2 247:6 323:4 users 59:17 229:5 user-friendly 60:19 uses 20:21 21:14 39:5 72:21 185:5 259:4 393:15 usually 30:20 44:14 128:5 145:7 164:9 166:11 190:6,14 191:13 192:10 229:16 276:2 utilities 254:19 utility 121:7 123:1 145:20 146:11 187:4 234:9 utility-based 242:19 utilization 20:15 237:3 240:1 | utilize 54:13 59:19 62:15 utilized 264:21 265:1 U.K 113:11 222:1 U.S 113:5 178:8 195:9 313:13 395:8,10,11 | 138:22 143:18 198:20 204:1 207:7 208:6 209:12 212:21 235:20 236:5 290:18 296:7 344:9 values 281:7 282:17 283:15 291:14 value-based 143:16 valve 118:12,14 variable 104:2 variables 57:20 62:3 92:6,20 103:21 244:5 variance 282:17 variation 269:11 270:6 282:3 284:8 variations 282:15 varies 191:1 variety 72:21 73:16 195:15 196:17 199:7 283:17 385:2 various 12:6 25:15 42:21 54:22 92:19 103:10 147:16 163:19 171:8 177:9 283:21 286:11 290:15 314:14,14 vary 104:6 225:18 267:10 vast 42:10 106:19 vastly 104:11 181:5 VA's 67:1 113:16 vendor 123:15 315:8 374:14 391:14,21 vendors 123:15 324:10 374:13 vendor-provided 241:21 Venn 117:2 venture 258:7 verbally 297:15 |
| | | | V | |
| | | | VA 40:18 64:19 65:9 66:12 68:2 186:2,4,8,15 189:12,13 219:16 220:1 345:9 358:22 vacuum 384:11 valid 9:17 19:8 22:20 30:21 39:14 40:6 47:18 57:10 60:2 127:17 225:13 245:1 247:18 263:8 331:2 validated 21:7 39:12 81:12 101:22 120:12 252:1 255:20 274:6 343:18 validators 257:14 validity 128:8 189:9 208:7 211:10 232:11 250:16 251:20,22 252:2,7,10,13 262:4 263:6 valuable 13:16 22:20 23:15 71:11 206:9 VALUCK 3:21 value 53:10 56:20 57:5 72:10,15 73:7,16 75:3 102:2 116:9 117:1 117:8 133:11,15 133:22 134:15 135:5,10 138:21 | |

| | | | | |
|----------------------------|-----------------------------|-------------------|---------------------------|------------------------|
| versa 198:18 | 343:22 357:1 | 46:12 50:19 51:12 | wanted 31:20 33:4 | 119:19 121:13 |
| versions 47:21 | visiting 96:1 | 51:19 63:21 71:2 | 38:19 81:1,11 | 123:2 124:19 |
| versus 14:15,15 | visits 150:6,8 259:9 | 80:14 82:5 86:22 | 88:9 96:9 97:7 | 125:7,8 130:4 |
| 48:6 87:11,14 | 383:4 | 87:6,7,8,12,17 | 98:18 100:9 115:8 | 137:7 147:13,14 |
| 92:10 107:8 | VISNs 186:9 | 88:1 95:4 103:6 | 120:2,13,16 123:2 | 147:17,20 149:12 |
| 139:11 140:1,19 | visual 16:8,9 21:2,3 | 105:2 106:16 | 123:10 132:18 | 150:5,20,20 151:4 |
| 142:9 166:3 | 249:16 | 107:17,20 108:5 | 185:21 208:15 | 155:17 158:5,5 |
| 168:21 169:17 | vital 126:4,5 | 117:6,19 119:21 | 221:3,5 224:9 | 159:8,18 164:5 |
| 247:15 269:18,18 | vitality 158:10 | 120:4,7,10 122:12 | 234:7 274:22 | 168:9 176:1,20 |
| 291:10 314:8 | vocalize 156:1 | 122:13,20 123:12 | 276:8 292:20 | 184:2 204:6,8,9 |
| vertical 172:14 | voice 30:17 171:15 | 124:15,18 133:9 | 324:5 326:9 343:1 | 205:5 209:4 |
| Vet 66:17 | 212:7,7 | 151:7 154:7 | 346:17 366:8 | 210:13 211:9 |
| Veteran 65:2 | voicing 244:11 | 165:17 178:2 | 375:2 376:4 | 212:4 218:21 |
| veterans 1:18 4:10 | volume 118:15 | 181:13 183:9 | wanting 131:14 | 224:3 225:3,20 |
| 64:18 66:2 108:13 | volunteer 97:5 | 185:11 191:6 | 247:17 | 234:12,19 239:11 |
| 108:15 219:13 | vomiting 372:8 | 202:5 203:17 | wants 69:5 133:9 | 245:4 246:18 |
| 347:11 | VR 248:15 | 205:11 210:10 | 302:18 340:15 | 248:22 256:4,20 |
| vetting 121:13 | VR-12 186:1,14,21 | 217:9 223:17 | 344:1 358:12,13 | 261:8 262:1 285:1 |
| VF-14 21:5,7 | 187:5,19 189:10 | 225:4,21 228:14 | 374:21 375:15 | 295:13,14 296:11 |
| VF-36 242:11 | 189:16 194:1,4,18 | 229:22 236:13 | 385:10,12 | 304:5 310:1 |
| vice 4:4 6:5 198:18 | 219:16 | 238:4 239:22 | Ward 282:6 | 317:11,13 328:19 |
| view 12:13 13:19 | VR-36 186:1,14 | 240:6 246:15 | warm 357:4 | 330:15 335:10 |
| 14:12,17 15:12 | vulnerable 170:11 | 251:8 252:13,22 | Washington 1:8 | 338:1,2,12 339:16 |
| 16:8 17:21 28:8 | 170:16 190:15 | 253:3 261:20 | wasn't 32:17 112:2 | 340:8,14 353:19 |
| 28:20 38:22 66:6 | | 274:3 275:5 277:6 | 155:12 174:6 | 354:1 359:5 360:8 |
| 108:20 207:9,15 | W | 278:21 279:2,12 | 298:7,8 353:21,22 | 360:17 364:3 |
| 209:9,13,19 212:2 | Wagner's 327:22 | 279:14 287:14 | 354:1 371:9 391:6 | 369:12,21 371:12 |
| 216:1 236:11 | wait 128:1 314:4 | 292:17 293:9,10 | Wasson 2:21 67:21 | 372:4 375:3 |
| 237:6 295:3 | 357:17 380:2 | 299:19,19,21 | 292:14,16 295:18 | 392:14 393:11 |
| 394:13 | waiting 123:22 | 300:3,6 305:20,22 | 296:13 299:10,14 | 397:22 398:15 |
| viewing 12:15 | 124:9 174:1,4,4,7 | 308:13 321:19 | 308:20 309:8,18 | 399:9 |
| views 16:9 27:15 | 229:9 238:16 | 324:22 325:12 | 309:21 386:18 | ways 15:22 61:2 |
| 397:2 | 246:12 376:20 | 330:6 337:10 | 387:12 388:20 | 64:5 77:9 79:6 |
| vignette 113:7 | 386:17 389:19 | 339:7,13 341:17 | 391:4 | 83:10 112:6 |
| virtually 172:22 | waiver 61:6 | 342:11 343:8,21 | waste 349:20 360:4 | 132:17 274:8 |
| 369:1 | walk 23:6 347:17 | 345:3 346:1,9 | wastebasket 149:9 | 280:4 296:6 |
| virtuous 209:3 | walked 255:15 | 347:17 348:1,7,8 | watch 323:19 | 301:18 313:14 |
| 337:7 | 271:21 | 356:13,22 357:2 | watched 383:20 | 314:9,11,14 315:5 |
| visibility 298:17 | walking 70:12 | 358:8,8,9 360:1 | watching 86:17 | 316:21 339:17 |
| vision 168:5 224:4 | 210:6 | 362:17 363:1 | 129:13 | 341:16 342:10 |
| 258:18 259:16 | want 6:12,19 7:5 | 364:5 366:5 367:4 | water 52:19 | 396:9 |
| 260:1 | 11:7 12:8 18:12 | 373:18 374:4 | wave 120:1 | wayside 12:22 |
| visions 258:16 | 21:22 22:10,13 | 375:22 377:6 | waving 306:15 | web 7:21 22:14 |
| visit 45:11 78:5,19 | 25:1 26:8,13,17 | 384:14 387:21 | way 13:10 17:17,22 | 160:5 |
| 100:8 136:16 | 26:21 27:2,13 | 390:1,10 399:12 | 24:13 45:18 78:13 | WEBER 3:22 |
| 144:16 150:11 | 32:2,8 33:19 36:7 | 399:18 401:14,17 | 82:22 96:18 99:4 | website 141:10 |
| 266:6 332:5 | 38:5 40:2 41:8,15 | 401:18,22 | 106:10 116:13 | 155:7 156:17,18 |

| | | | | |
|----------------------------|----------------------------|---------------------------|---------------------------|-------------------------|
| 156:20 349:1 | 237:16 240:14,17 | 259:12 260:13 | 381:14 | 294:7 333:19 |
| web-based 323:12 | 247:19 294:11 | 261:4 271:22 | wider 215:21 | work 8:13 10:1,9 |
| 323:15 | 316:3 317:18 | 277:12,13 293:5,6 | widespread 321:11 | 11:15 12:4 13:21 |
| Wednesday 149:17 | 318:1,3 328:17,18 | 294:22 295:10 | widow 55:5 | 15:13,17 17:15,16 |
| WEECH-MALD... | 345:18 349:22 | 299:3 300:11 | Wilkinson 2:23 | 22:1,3,12,14 |
| 2:22 | 357:20 358:2 | 301:4,5,6,7,8,10 | 34:11,12 210:2 | 24:17 28:16 29:16 |
| week 152:5 179:15 | 362:1 365:14 | 301:12,21 302:5 | 396:17 397:10 | 41:20,21 42:18 |
| 189:15 345:17 | 386:15 399:8,21 | 306:7 313:7,18 | willing 126:22 | 43:15 56:5 59:3 |
| weigh 230:17 | we're 6:4,8 8:10,17 | 318:6 331:16 | 393:8 | 69:18 70:16 85:7 |
| Weinstein 136:7 | 10:2,5,7 12:14 | 338:18 346:11 | willingness 263:12 | 91:17 96:3 97:12 |
| 138:1 | 13:11 17:7,15 | 356:6,9 358:7 | 291:18 | 105:7 116:11 |
| welcome 4:2 8:1 | 18:16 22:16,21 | 359:20 365:11 | window 251:7 | 117:16 118:9,22 |
| 25:1 350:2 365:10 | 23:21 24:1,9 | 367:13,14 368:22 | winter 365:14 | 119:14 120:20 |
| welfare 61:9 | 29:21 31:5 32:18 | 369:7 371:15 | Wisconsin 105:10 | 122:21 124:1 |
| wellness 100:8 | 32:22 33:1 35:7 | 374:5,16 376:13 | Wisconsin-Madi... | 129:1,3 130:15 |
| 202:18 203:3 | 35:10 37:15 40:12 | 376:18,20 377:1,8 | 1:12 4:3 | 135:21 138:16 |
| 266:6 | 40:15 45:22 46:15 | 389:18 390:8 | wisdom 213:3,3 | 145:14 152:13 |
| well-being 196:6 | 58:1 59:9 64:1 | 399:16 | wise 265:3 | 184:9 188:17 |
| 202:14 267:22 | 66:9,11,18 70:10 | we've 12:5,15 20:9 | wish 136:4 258:20 | 192:17 211:7 |
| well-constructed | 70:11,16 71:19 | 20:13,20 29:19 | 269:7 | 216:18 218:9 |
| 62:14 | 75:4,22 76:12 | 39:3,11 65:15,21 | wished 200:5 | 237:7,8 239:4 |
| well-defined 68:10 | 78:22 80:11 81:14 | 67:6 68:13 70:20 | wishes 313:10 | 245:5,6 267:2 |
| well-honed 147:1 | 86:13 87:15 98:14 | 72:4 75:5 76:20 | WOMAC 249:9 | 270:12 280:18 |
| well-supervised | 98:22 101:1 102:8 | 79:4 93:1 102:10 | 250:12 251:14 | 289:13,18 299:5 |
| 62:22 | 102:15 105:1,13 | 103:5,21 107:5 | 252:4,11 253:6 | 324:13 325:16 |
| well-taken 377:11 | 105:18,19,20 | 115:17 117:22 | 254:15,19 255:10 | 326:5,21 329:6 |
| went 110:9 119:5 | 112:20 113:3,17 | 124:22 125:1,3 | 255:21 256:10 | 330:6 340:5 |
| 221:12 240:20 | 114:3,9,20,21 | 127:14,21 142:22 | 257:3,14 | 341:20,20 342:2,3 |
| 271:10 272:21 | 115:2 119:9,22 | 143:4 145:10 | women 2:19 5:10 | 342:5,6 347:13 |
| 316:10 320:20 | 123:15 125:14 | 160:3 161:12 | 90:13 246:3 | 348:10 350:5,11 |
| 402:5 | 133:5,14 143:16 | 175:20 183:16 | Women's 115:16 | 352:14 355:20 |
| weren't 122:9 | 143:17 145:4 | 184:10 187:3 | wonder 237:18 | 356:5 357:13 |
| 155:16 168:17 | 149:3,21 150:1,13 | 193:21 194:11 | 271:1,7,12 392:11 | 358:1 363:2 375:3 |
| 272:13 | 158:17 159:7 | 195:22 196:4,13 | 392:18 | 375:7 382:2 |
| west 139:19 | 179:5 183:11 | 198:2 209:18 | wondered 154:22 | 384:19 386:11 |
| Western 249:8 | 184:18 195:15 | 215:9,11 230:10 | 155:3 | 387:21 390:1 |
| we'll 9:16 10:13,16 | 196:9,15 197:22 | 231:4,16 238:20 | wonderful 110:21 | 399:2,4,20 401:20 |
| 10:19,21 11:21 | 202:12 203:10 | 243:7 264:15 | 222:15 336:21 | worked 24:18 |
| 13:5 37:6,12 38:1 | 207:5 210:13 | 306:14 313:6 | 374:19 | 148:12 275:11 |
| 38:2 80:8,10 | 211:19,20 212:8 | 324:8 355:15 | wondering 32:13 | workflow 124:12 |
| 81:10 95:12 96:18 | 212:14,22 217:18 | 357:14 358:16 | 293:7 | 127:6 128:9,20 |
| 110:6 130:13,19 | 223:2,11,14 | 360:16 369:19 | Wood 105:7 | 145:17 153:21 |
| 131:16 147:3 | 224:15 226:7 | 371:16 376:1 | word 53:5 65:3 | Workflow-wise |
| 156:10 161:7 | 228:10,18 230:12 | 393:2 395:20 | 79:21 169:22 | 124:6 |
| 164:19 180:7 | 230:13 231:8 | white 18:2 48:5 | 229:22 301:5 | workforce 237:13 |
| 183:8 203:11 | 232:7 243:3 | wide 56:14 | 398:21 | workgroup 97:1 |
| 217:19 221:19 | 246:11 249:2,7 | widely 282:6,10,14 | words 136:12 250:2 | 115:1 364:21 |

| | | | | |
|---------------------------|---------------------------|----------------------------|----------------------------|---------------------------|
| 400:2,10 | worthless 180:21 | 15:14 16:18 54:3 | <hr/> # <hr/> | 340:2 |
| workgroups | worthwhile 216:11 | 59:13 60:11 64:21 | #1 1:4 | 15th 1:8 |
| 399:21 400:11 | wouldn't 19:4 | 65:15 78:20 88:20 | <hr/> 0 <hr/> | 15,000 238:10 |
| working 10:8 58:6 | 232:8 234:19 | 111:20 113:12 | 0 140:7 | 239:1 |
| 59:10 66:9 70:5 | wounded 347:10 | 118:3,18 127:14 | <hr/> 1 <hr/> | 157 4:21 |
| 75:4,5 77:20 | Wow 86:20 | 127:22 128:3 | 1 95:11 99:7 302:14 | 17 78:20 121:2,9 |
| 81:14 92:9,10,11 | wrap 315:21 | 129:19 130:13 | 314:3 371:2 | 124:10 |
| 96:5 117:11,11,12 | wrapped 131:10 | 131:7 135:13 | 389:16 | 18 143:8 364:18 |
| 117:13 123:3 | wrapping 142:22 | 139:13,13 140:2,9 | 1-1/2 86:17 | 1960s 282:7 |
| 125:9 126:13,14 | wrap-up 36:7 | 140:21 141:12,17 | 1.8 270:9 | 1963 282:12 |
| 126:14,15 128:1 | 318:3 | 141:21 142:8 | 1:11 240:20 | 1984 381:12 |
| 129:2 150:2 242:1 | writers 10:8 | 143:2,13 144:10 | 1:55 240:15,21 | 1995 115:15 |
| 259:4,12 278:2 | writing 168:4 | 148:13 152:8,17 | 241:2 | <hr/> 2 <hr/> |
| 304:12 324:9 | written 49:15 | 153:6 185:17,19 | 10 135:13 141:17 | 2 139:12 180:22 |
| 339:20 340:17 | 230:21 | 186:6,7 187:11 | 175:15,15,16 | 367:18 368:5 |
| 366:20 367:13 | wrong 320:18,19 | 188:22 189:9 | 178:8,18 179:2 | 2nd 143:4 |
| 374:12,16 379:7 | 361:12 378:9 | 193:22 219:11 | 182:8 184:3 313:4 | 2,000 359:14 |
| 379:16 382:4 | Wu 2:24 5:6 36:9,9 | 224:3 229:9 242:2 | 314:16 328:16 | 2,600 195:8 |
| 392:19 394:3 | 36:18 81:7 83:14 | 260:6,13 271:19 | 10,000 140:20 | 20 74:12 149:18 |
| 398:10 | 83:15 220:19 | 286:12 296:21 | 10:47 110:9 | 150:10 162:13 |
| works 30:13 82:9 | 241:7,13 257:17 | 297:5,8 298:2 | 100 140:7 171:4 | 181:11 186:7 |
| 119:7 124:7 153:5 | 264:7,11 270:21 | 318:13 320:19 | 221:11 269:17 | 242:2 289:3 297:8 |
| 178:21 330:15 | 279:20 288:11 | 327:3 336:14,17 | 298:4 | 311:14 318:1,3 |
| 352:6,12 363:4 | 291:6 292:12 | 345:12 347:18 | 100-millimeter | 336:14 351:18 |
| 393:11 | 295:16,20 296:15 | 352:20 355:7,12 | 249:16 | 20,000 59:9 |
| workshop 1:4 8:5 | 299:3 302:2,9,12 | 362:2 378:22 | 1030 1:8 | 200 389:5 |
| 10:7,15 22:18 | 302:17 303:1 | year-old 112:3 | 11 206:20 | 2000 178:9 |
| 26:6 38:9 128:2 | 306:14 307:8 | year-olds 123:19 | 11th 10:16 | 2002 326:11 |
| 281:2 288:4 | 308:16 310:15 | yellow 139:11 | 11:00 110:7 | 2005 283:13 |
| workshops 8:18 | 313:17,22 314:4 | 140:1 142:10 | 11:02 110:10 | 2007 104:12 |
| work-up 136:21 | 315:20 389:20 | yield 233:14 | 110 4:16 | 2009 206:1 |
| world 166:16 193:8 | <hr/> Y <hr/> | Yorker 157:16 | 113 97:5 | 2010 115:19 116:12 |
| 194:9 291:8,16 | Yale 2:19 86:21 | young 51:9 295:21 | 12 20:19 45:13 | 116:17 |
| 304:3 338:11 | 376:7 | 330:13 336:9 | 123:5 139:6,12 | 2012 1:6 125:1 |
| 340:14 341:13,14 | Yang 33:18,18 | <hr/> Z <hr/> | 312:16 | 372:5 |
| worried 129:1 | 391:12,16 | Zeeshan 41:13 | 12th 10:16 | 2014 338:18 |
| 226:21 398:7 | yea 107:18 | zero 269:17 272:3,7 | 12-item 108:13 | 21 121:10 |
| worry 90:10 122:16 | year 11:2 16:11 | 272:8 291:14 | 13 332:16 | 22 313:5 |
| 167:22 294:18 | 20:10 59:9 65:19 | Zimlichman | 14 60:11 105:20 | 23 269:18 |
| 349:19 360:3 | 86:17 141:1,2 | 118:20 | 249:15 351:14 | 24 140:1 249:13 |
| 399:5 | 171:6 351:15 | zoom 62:20 | 15 110:6 127:14,22 | 241 5:5 |
| worrying 321:4 | 355:5,6,6 357:21 | <hr/> P <hr/> | 150:10 181:11 | 25 185:17,18 186:7 |
| worse 43:2,3 93:6 | 357:21 364:18 | p 95:8 102:20 | 217:7 226:6 242:2 | 269:2 |
| 159:14 272:7 | 374:13 | <hr/> \$ <hr/> | 271:18 314:16 | 25,000 140:19 |
| 298:13 | yearly 359:11 | \$1 65:9 | 336:16 339:22 | 264 125:1 |
| worth 280:8 290:19 | years 8:14 13:22 | | | 29 178:15 |
| 307:19 | | | | |

| | | | | |
|----------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|-------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------------|------------------------------------------------------------------------------------------------------|--|--|
| 3 | 178:7,15 179:1,4 179:7 182:8 184:3 206:20,21 325:14 353:11 500 350:22 51 59:12,15 52 180:10 53 180:18 54 181:6 55 180:22 181:6 56 125:2 182:10,13 57 54:18 58 182:16 | 9:00 1:9 9:04 6:2 90 21:4 152:6,15 90s 68:1 98 251:15 | | |
| 3 123:5 180:19 210:22 247:8 249:5 257:10 351:15 361:16 364:17 365:1,13 367:19 368:22 384:17 398:12 3.1 270:9 3:10 316:10 3:20 316:4,8 3:27 316:11 3:30 317:22 30 1:6 153:3 195:12 225:9 226:3,5 269:2,18 293:19 357:3 30,000 68:2 316 5:14 32 269:19 33 354:14 34 140:6 35 58:21 60:22 365 118:8 38 4:7 139:22 | 6 | | | |
| 4 139:13 180:10 4:45 402:5 40 177:6 328:19 334:4 401 5:21 43 178:15 44 140:6 269:18 45 226:6 238:10 240:14,17 47 182:16 49 269:2 | 6 67:6,15 123:5,7 139:12 351:14 355:1 60 182:18 312:10 327:2 328:15 353:11 383:17 60-70 351:6 600,000 65:19 63 182:11,13 | | | |
| 5 | 7 | | | |
| 5 189:12 249:15 269:1 327:3 355:1 383:18 5-year 84:22 5.6 129:6 5:00 318:1,4,4 50 59:13 134:3 | 7 4:2 250:22 70 353:11 700 18:9 737-800 151:1 74,000 141:1 757 150:22 76 265:15 | | | |
| | 8 | | | |
| | 8 175:14,16 80 105:11 106:8 152:7,16 293:19 387:14 388:9 84 144:14 85 199:9 85-item 383:2 85-year 55:5 86 251:15 | | | |
| | 9 | | | |
| | 9 251:1 9th 1:8 | | | |

C E R T I F I C A T E

This is to certify that the foregoing transcript


In the matter of: Patient Reported Outcomes

Before: NQF

Date: 07-30-12

Place: Washington, DC

was duly recorded and accurately transcribed under
my direction; further, that said transcript is a
true and accurate record of the proceedings.



Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701