

THE NATIONAL QUALITY FORUM  
+ + + + +  
STEERING COMMITTEE ON  
NATIONAL VOLUNTARY CONSENSUS STANDARDS  
FOR PATIENT OUTCOMES

+ + + + +

MEETING

+ + + + +

WEDNESDAY  
APRIL 21, 2010

+ + + + +

The Steering Committee met in  
Salon 1 in the Marriott Bethesda Hotel, 5151  
Pooks Hill Road, Bethesda, Maryland, at 8:30  
a.m., Joyce Dubow and Lee Fleisher, Co-Chairs,  
presiding.

MEMBERS PRESENT:

JOYCE DUBOW, MUP, CO-CHAIR  
LEE FLEISHER, MD, CO-CHAIR  
RUBEN AMARASINGHAM, MD, MBA, MEMBER  
LAWRENCE M. BECKER, MEMBER  
E. PATCHEN DELLINGER, MD, MEMBER  
ANNE DEUTSCH, PHD, RN, MEMBER  
BRIAN FILLIPO, MD, MMM, FACP, MEMBER

LINDA GERBIG, RN, MSPH, MEMBER  
EDWARD F. GIBBONS, MD, MEMBER  
LINDA GROAH, RN, MSN, CNOR, FAAN, MEMBER  
PATRICIA K. HAUGEN, MEMBER  
DAVID HERMAN, MD, MEMBER  
DAVID S. P. HOPKINS, MS, PHD, MEMBER  
DIANNE V. JEWELL, PT, DPT, PHD, CCS, MEMBER

DAVID A. JOHNSON, MD, FACP, FACG, FASGE,  
MEMBER  
IVER JUSTER, MD, MEMBER

MEMBERS PRESENT (Cont'd):

BURKE KEALEY, MD, FHM, MEMBER

PAULINE McNULTY, PHD, MEMBER

LEE NEWCOMER, MD, MHA, MEMBER

VANITA K. PINDOLIA, PHARMD, BCPS, MEMBER

AMY K. ROSEN, PHD, MEMBER

BARBARA YAWN, MD, MEMBER

ALSO PRESENT:

HEIDI BOSSLEY, MSN, MBA, SENIOR DIRECTOR,

PERFORMANCE MEASURES

HELEN BURSTIN, STAFF

HAWA CAMARA, STAFF

SARAH FANTA, STAFF

SEAN O'BRIEN, MD, CONSULTING STATISTICAL

REVIEWER

REVA WINKLER, MD, MPH, PROGRAM CONSULTANT

## T-A-B-L-E O-F C-O-N-T-E-N-T-S

Opening Remarks . . . . .	.4
Cardiovascular Measures	
OT1-010-09. . . . .	.6
Public Comment,	
Dr. Kay Jewell. . . . .	28
OT1-012-09. . . . .	35
GI Measures	
OT2-014-09. . . . .	57
OT2-009-09. . . . .	62
OT2-008-09. . . . .	.102
Vote. . . . .	.131
OT2-012-09. . . . .	.102
Vote. . . . .	.133
Cancer Measures	
OT2-010-09. . . . .	.145
OT2-011-09. . . . .	.147
OT2-019-09. . . . .	.171
OT2-014-09. . . . .	.170
OT2-015-09. . . . .	.207
OT2-016-09. . . . .	.207
OT2-017-09. . . . .	.207
Discussion of gaps and recommendations. . .	.210
Next Steps. . . . .	.252

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

P-R-O-C-E-E-D-I-N-G-S

9:01 a.m.

CO-CHAIR DUBOW: So we were very productive yesterday in terms of doing heavy lifting, not to say that we don't have hard questions to deal with today, but it will be shorter.

It's nice everybody moved around.

I think the Committee as a Committee worked very, very effectively yesterday. So that's really great.

So today we have a couple of cardiovascular measures left to consider. And then we have the GI measures and the cancer measures. And that's it.

And we're going to try to be out of here by 2:30. Okay.

Could the folks on the phone just identify yourselves, please.

MEMBER GIBBONS: This is Ted Gibbons for University of Washington.

DR. WINKLER: Hi, Ted.

1 CO-CHAIR FLEISHER: Hi, Ted.

2 DR. PATTON: Mary Patton.

3 CO-CHAIR FLEISHER: Could you  
4 repeat that?

5 DR. PATTON: Mary Patton.

6 CO-CHAIR DUBOW: Mary Patton.

7 CO-CHAIR FLEISHER: Yes.

8 CO-CHAIR DUBOW: And anybody else?

9 DR. DAVIES: This is Cheryl Davies  
10 from the AHRQ development team.

11 CO-CHAIR FLEISHER: Great. When  
12 you speak today, if you could identify  
13 yourself, even if you had previously. Then  
14 the person who is actually transcribing this  
15 can attribute it appropriately.

16 CO-CHAIR DUBOW: Yes. And please  
17 use a land line if it's possible, but  
18 certainly not a speaker phone because the  
19 connection is not always clear. So it would  
20 be best if you had a land line, we would  
21 appreciate that.

22 DR. BOTT: John Bott with AHRQ.

1 CO-CHAIR DUBOW: Hi, John. How  
2 are you?

3 DR. BOTT: How's it going?

4 CO-CHAIR DUBOW: All right. We're  
5 going to start with the cardiovascular  
6 measures.

7 CO-CHAIR FLEISHER: Okay. Reva?

8 DR. WINKLER: Okay. The first  
9 measure we're going to talk about this morning  
10 is measure 010-09. This is acute myocardial  
11 infarction, AMI mortality rate. This is from  
12 the folks at AHRQ.

13 CO-CHAIR FLEISHER: Page 149?

14 DR. WINKLER: Yes, page 149 in  
15 your PDF document.

16 This is a measure of number of  
17 inpatient deaths among cases 18 years and  
18 older with a principal diagnosis code of acute  
19 myocardial infarction. So this is an  
20 inpatient mortality rate for acute myocardial  
21 infarction.

22 This is not the first time NQF has

1 dealt with measures of mortality for AMI. In  
2 fact, we have previously endorsed two  
3 measures:

4 (1) An inpatient mortality  
5 measure from the Joint Commission, and;

6 (2) A 30 day mortality measure  
7 from CMS.

8 So this is not exactly new ground.

9 This measure, however, is  
10 different and unique in a couple of respects.

11 This measure, unlike the 30 day mortality  
12 which was reported by CMS and the Joint  
13 Commission, this includes all ages. The  
14 measure that CMS and Joint Commission report  
15 only include patients over age 65, but it is  
16 a 30 day mortality rate.

17 This measure is similar to the  
18 endorsement measure from the Joint Commission  
19 in that it is in-hospital mortality for anyone  
20 regardless of age greater than 18. However,  
21 this measure uses claims as its data source,  
22 whereas the Joint Commission measure uses the

1 more typical Joint Commission data abstraction  
2 methodology. All right.

3 CO-CHAIR FLEISHER: So just a  
4 comment with regard to harmonization of  
5 measures: If we approve this, does that occur  
6 at a different stage or do we have to be  
7 concerned about harmonization?

8 DR. WINKLER: At this point we  
9 need to evaluate this measure on its own  
10 merits. The other measures, for the most  
11 part, the definition of AMI is fairly  
12 straightforward. The age criteria is very  
13 straightforward. The things that are  
14 different are the way the risk adjustment  
15 models are created.

16 MEMBER HOPKINS: So, Reva, are you  
17 saying it's not our job to worry about best in  
18 class and this measure versus others that are  
19 similar measures?

20 DR. WINKLER: Well, I think you  
21 can but there are a lot of elements about how  
22 to make that decision that make it quite

1       difficult. Differences in data source is one  
2       issue.

3                   MEMBER HOPKINS: Yes.

4                   DR. WINKLER: The other is just  
5       looking at what specifications you can, I  
6       don't know how the two best models would  
7       compare. I don't believe there's been any  
8       head-to-head comparison. So it can be  
9       challenging to do that.

10                  MEMBER HOPKINS: So you're saying  
11       if I hear you, NQF could live with this  
12       measure being alongside the ones that are  
13       already endorsed?

14                  DR. WINKLER: I think if the  
15       reason is because it's used as a different  
16       data source, that would be fine.

17                  MS. BOSSLEY: I think the only  
18       thing I would add is at maintenance it will  
19       come up when both measures come forward they  
20       will then be looked at probably head-to-head,  
21       side-to-side. And data source may again be  
22       the reason why both are continued, it may not

1 be. Yes. But if you look also, they included  
2 on page 165 the one difference between the two  
3 measures. So they did address the differences  
4 in how they exclude or include transfers.

5 DR. WINKLER: Right. That's right,  
6 the transfers.

7 MEMBER DEUTSCH: And there's some  
8 difference to the rest of this?

9 DR. WINKLER: Yes.

10 MS. BOSSLEY: Yes.

11 CO-CHAIR FLEISHER: So, Ted, do  
12 you want to make any comments from the TAP's  
13 perspective?

14 MEMBER GIBBONS: Well, I think it  
15 is important to emphasize that our perspective  
16 here is this is more inclusive, and its versed  
17 with individuals that deserve, perhaps more  
18 attention as it relates to excess morbidity  
19 and mortality with AMI, particularly the  
20 description of gender differences and rural  
21 versus urban of AMI.

22 And so it is unique in its

1 analysis when compared to the CMS measure. So  
2 we were interested to see that that give some  
3 insights into looking at quality measures.

4 CO-CHAIR DUBOW: Yes. Is the Joint  
5 Commission measure widely in use?

6 DR. WINKLER: After the TAP met, I  
7 asked the Joint Commission what the status of  
8 their measure was. They publicly reported on  
9 their website from 2004 to 2007. But at the  
10 time that the CMS 30 day mortality measure  
11 became available and was publicly reported  
12 they did not want to put both measures up for  
13 confusion, whatever; there was a decision. So  
14 they only publicly reported the 30 day  
15 measure. However, they continued to collect  
16 data from hospitals and provide feedback to  
17 hospitals on the inpatient measure.

18 MEMBER HOPKINS: Would you say  
19 that their measure is also 65 and older?

20 DR. WINKLER: What? The Joint  
21 Commission?

22 MEMBER HOPKINS: Yes.

1 DR. WINKLER: No, not their  
2 inpatient measure.

3 MEMBER HOPKINS: Oh, CMS' measure?

4 CO-CHAIR FLEISHER: So any  
5 comments from our colleagues from AHRQ? John?

6 DR. BOTT: No, I don't have any  
7 other comments. But I think Cheryl's on the  
8 phone and I believe Patrick Romano is there in  
9 person. They may have comments.

10 CO-CHAIR FLEISHER: Patrick, do  
11 you want to -- I don't if we have a spot at  
12 the table. But why don't you come up while we  
13 discuss it.

14 So, any comments from the Steering  
15 Committee? Barbara?

16 MEMBER YAWN: The distinction of  
17 not having people whose MI is while they're in  
18 the hospital seems to me to be a little bit  
19 difficult from discharge data. Could you just  
20 comment on that?

21 DR. BOTT: This is John Bott.

22 I believe, and correct me if I'm

1 wrong, I believe it's being discussed in the  
2 TAP and at that time the way in which AMI was  
3 defined is in the same group that AMS defines  
4 AMI with its denominator for the 30 day  
5 mortality measure.

6 DR. RAMANO: That's correct. It's  
7 defined based on the principal diagnosis. Our  
8 definition is the diagnosis that's responsible  
9 for occasioning the admission of the patient  
10 to the hospital for care.

11 MEMBER YAWN: A lot of times they  
12 come in because they're having symptoms of  
13 chest pain and they're not admitted for an  
14 AMI. And it turns out that, of course, it is  
15 an AMI. It captures those also?

16 DR. RAMANO: Yes. It's under  
17 coding rules it's a retrospective  
18 determination that's made by the coder after  
19 discharge based on review of the entire  
20 medical record and the physician's impression  
21 of what was the underlying diagnosis that  
22 caused the admission of the patient. Now, of

1 course, in occasional cases there may be  
2 confusion about that.

3 MEMBER YAWN: There's always  
4 occasional anything.

5 CO-CHAIR FLEISHER: But I think  
6 what it does is eliminates the perioperative  
7 MI and peri-procedural MI, which is a good  
8 thing.

9 Lee?

10 MEMBER NEWCOMER: So if I'm  
11 reading this TAP comment on page 164  
12 correctly, we are missing one-third of the MIs  
13 if this correct. So could someone from the  
14 TAP just explain how they work that through  
15 from a scientific and usability standpoint?  
16 That's question one.

17 Question two is I notice that if  
18 discharge diagnosis is missing or if  
19 disposition is missing, the case is excluded.  
20 Do we have any idea how many abstracts come  
21 out with missing disposition?

22 DR. BOTT: Well, I could address

1 the first issue about one-third of MIs being  
2 missing. But since these come up in the TAP  
3 and really reflect the definition of the MI  
4 and the coding with the definitions that were  
5 brought out in 2007 of the universal  
6 definition of MIs the seven criteria and the  
7 public allegation it appears that some MIs  
8 made the list because that the majority that  
9 was defined as the medical condition applied  
10 to in the admission would be included.

11 The measure developer may have  
12 another perspective of that, but we didn't see  
13 that as an obstacle.

14 CO-CHAIR FLEISHER: Can you  
15 comment, Patrick? Can you comment?

16 DR. RAMANO: I had a little  
17 trouble understanding the telephone comments.

18 Yes, I'd reference the point, yes,  
19 it is definitely true that a significant chunk  
20 of MIs occur in the hospital, particularly in  
21 the postoperative settings, somewhere in the  
22 range of 30 percent. And these are omitted

1 from all of these AMI mortality measures.

2 And the basic rationale is that  
3 it's a fundamentally different set of events.  
4 These events are picked up, obviously, very  
5 quickly when they occur in the hospital. And  
6 they have a lot of variety of predisposing  
7 circumstances, particularly in hospitals that  
8 do a lot of cardiac surgery and cardiovascular  
9 surgery.

10 So, all of us have excluded for  
11 the sake of homogeneity these in-hospital  
12 events. But it is perhaps an area for future  
13 measure development.

14 CO-CHAIR FLEISHER: So can we  
15 record that for future measure development we  
16 should look at an in-hospital secondary  
17 diagnosis MI and potentially mortality. It  
18 used to be, at least perioperatively, 30 or 50  
19 percent of these patients died. The recent  
20 data suggests in the 12 to 15 percent range  
21 for prospectively collected data. That would  
22 probably be an interesting question between

1 hospitals.

2 DR. RAMANO: You could also  
3 address the second question that was raised.  
4 Missing discharge disposition is extremely  
5 rare in most of these datasets, less than a  
6 tenth of a percent. The more significant  
7 issue relates to the patients who are  
8 transferred to another hospital and may expire  
9 at another hospital. This measure definition  
10 excludes those patients because the ultimate  
11 outcome is unknown when you don't have linked  
12 data. And that is clearly a superior feature  
13 on the CMS 30 day mortality measure. Of  
14 course, that measure does require a linked  
15 dataset as the Medicare claims dataset.

16 CO-CHAIR FLEISHER: Patricia?

17 MEMBER HAUGEN: Yes, just a  
18 comment relative to this issue that a third of  
19 them are missing from a patient perspective.  
20 I'd just like to add some weight to the need  
21 to further explore this type of measure.  
22 Because from a patient perspective the fact

1 that there was an AMI, mortality AMI on an  
2 inpatient basis even if the admission wasn't  
3 AMI, is a serious mortality event that needs  
4 to be measured and would affect a view of the  
5 quality in an institution provider.

6 MEMBER DELLINGER: Don't you think  
7 that a lot of those would come up under the  
8 individual surgical quality measure? Because  
9 that's where they're captured is a 30 day  
10 mortality rate. And so they would be actually  
11 captured but maybe not specifically defined  
12 under a AMI or --

13 MEMBER HAUGEN: Well, I can't  
14 speak not being a measure developer that for  
15 all conditions it's going to be captured in  
16 some other measure. I don't know, I couldn't  
17 speak to that.

18 CO-CHAIR FLEISHER: So actually,  
19 the NSQUIP, the ACS measure yesterday would  
20 capture not AMI, but capture those  
21 complications with 30 day complications?

22 MEMBER HAUGEN: As a new measure

1 if it ends up being --

2 CO-CHAIR FLEISHER: Absolutely.

3 You're correct.

4 MEMBER HAUGEN: I mean, there's a  
5 long road to go through to make sure that that  
6 would be in place.

7 MEMBER KEALEY: So I wanted to  
8 support Barbara's contention about possible  
9 MIs that get admitted, unstable angina. In my  
10 experience a third of MIs happening in the  
11 hospitals is incredibly high. So it just  
12 doesn't seem right to me. It just doesn't  
13 pass the gut check. And so I just want a  
14 little clarity that we're not missing all  
15 these people whose troponin comes back eight  
16 hours later and positive and then we say they  
17 have an MI.

18 CO-CHAIR FLEISHER: So I guess as  
19 someone who does a lot of coding is the  
20 diagnosis code, could that be expanded for  
21 Burke's comment?

22 DR. RAMANO: In previous work we

1 have looked at how many patients have a  
2 principal diagnosis of unstable angina, for  
3 example, ventricular tachycardia, ventricular  
4 fibrillation, cardiac arrest; other conditions  
5 that might be a consequence of the MI but they  
6 have a secondary diagnoses of MI.

7 So we have looked at that  
8 situation. Don't quote me on the exact  
9 number, but it would modestly increase the  
10 denominator size. I'm remembering numbers on  
11 the range of 3 to 5 percent, but it certainly  
12 wouldn't account for the majority of the cases  
13 with a secondary diagnosis of MI.

14 MEMBER KEALEY: So as I look at it  
15 we have kind of the MIs that are associated  
16 with things we do to people; so these are the  
17 surgical procedures mostly. And then we might  
18 have MIs that, say, happen to somebody who is  
19 there with pneumonia, some other medical  
20 cause. Do we try and sort those two out?  
21 Because it does seem like I can understand  
22 how you'd want to look at those differently.

1 But the second, the ones that are just  
2 associated with other medical conditions,  
3 seems like it ought to be counted just like a  
4 regular MI.

5 DR. RAMANO: It's a good question.  
6 I've personally taken care of patients who  
7 came in with hip fractures and other trauma  
8 who had MIs while awaiting surgery. So they  
9 weren't postoperative MIs. So I think it is  
10 an important question and it's one that we  
11 haven't fully explored.

12 CO-CHAIR FLEISHER: I wondered if  
13 as we vote whether we need that clarification  
14 or ask for that data as we go forward?  
15 Because it's sort of, Patrick, you're giving  
16 us your Gestalt but maybe that can be  
17 addressed more formally as a question to the  
18 measure developers to get us that data of the  
19 other diagnosis codes for the comment period.  
20 Would that be something you'd like to say?

21 MEMBER HOPKINS: Did I hear  
22 correctly, though, that the other measures

1 that are out there, CMS and Joint Commission,  
2 also exclude this group?

3 DR. RAMANO: Yes, that's true.

4 And obviously those deaths would be captured  
5 under other NQF approved measures, for the  
6 most part for pneumonia mortality, heart  
7 failure mortality, hip fracture mortality, et  
8 cetera.

9 MEMBER HERMAN: You know, it may  
10 be worthwhile somewhere along the line to do  
11 a crosswalk of all these measures to find out  
12 where everything's being picked up. Because  
13 taking them one piece at a time is likely  
14 going to have a lot of overlap and a lot of  
15 administrative burden that are going to cause  
16 us to make poor decisions down the line  
17 because we're going saying there's just so  
18 much administrative burden we don't want to  
19 measure this.

20 So if we could get a crosswalk  
21 maybe somewhere this year to look at the CMS  
22 measures, the JCAHO measures, the NQF

1 measures. Because when I look at the stuff  
2 that I get every month from the Mayo Clinic  
3 standpoint, all this stuff is in there  
4 someplace. So in the hospital 30 day  
5 mortality we break it out what's due to MI,  
6 what was the admitting diagnosis; all that  
7 stuff.

8 So a crosswalk and an overall, you  
9 know 20,000 foot view of this, might help us  
10 as we develop measures in the future.

11 MEMBER GIBBONS: This is Ted  
12 Gibbons on the TAP.

13 Just coming back to the issue of  
14 usability, the other thing that we discussed  
15 in the TAP was the fact that individuals who  
16 have a secondary myocardial infarction related  
17 to a whole variety of things, arrhythmia,  
18 sepsis, pneumonia, renal failure where the  
19 diagnosis is often made retrospectively based  
20 on laboratory data rather than clinical  
21 symptoms, it's more difficult to interrupt we  
22 thought the timeliness of application of

1 entrance-based AMI care such as aspirin beta  
2 blockers for what they're worth now and other  
3 principals of management that are often  
4 instituted within minutes, within an hour of  
5 presentation.

6 So interpreting the timeliness of  
7 management of secondary myocardial infarctions  
8 we thought was fraught with quite a bit of  
9 difficulty. And that's why we felt that even  
10 if it was a significant number of MIs, that  
11 they're not being counted in terms of  
12 mortality, that the data was perhaps more  
13 interpretable just including the primary data.

14 CO-CHAIR FLEISHER: Barbara?

15 MEMBER YAWN: But as a primary  
16 care physician I'm going to suggest that some  
17 of those people who are called pneumonia, they  
18 have pneumonia but they also have symptoms  
19 that vary MI which haven't been recognized.  
20 And so to say that it's hard to interpret,  
21 perhaps. But it might also make us look a  
22 little beyond what, you know, oh yes they have

1 a pneumonia and a chest x-ray and that's all  
2 your focus on and you forget the rest of the  
3 patient.

4 So I'm not convinced of that  
5 argument. I understand postoperatively it may  
6 look a little differently.

7 My other question, though, is  
8 about the crosswalk between coding and looking  
9 at the medical records. And I believe you  
10 have some data about that.

11 We did a study was published about  
12 -- oh, it was probably published almost ten  
13 years ago, and we were missing, oh somewhere  
14 around, I think 17 percent of the MIs from  
15 coded data versus medical record review. And  
16 I can't remember what you tell us when you  
17 check that.

18 CO-CHAIR FLEISHER: I think that  
19 was addressed to, Patrick. No?

20 DR. RAMANO: Let me look that up.  
21 I'll be back with you in a minute.

22 MEMBER YAWN: Thank you.

1 CO-CHAIR FLEISHER: Any other  
2 questions? Yes.

3 MEMBER JUSTER: Yes. This is just  
4 a coding question.

5 Would there be any circumstances  
6 under which a patient would receive a  
7 principal diagnosis on discharge of AMI, but  
8 it was because of an MI that actually occurred  
9 once they were hospitalized or is that code  
10 only to be used for people that that was the  
11 reason they presented to the hospital? In  
12 other words, might there be some reasons to  
13 code it simply because it reimbursed better or  
14 something?

15 MEMBER YAWN: Yes, and that  
16 happens regularly.

17 MEMBER JUSTER: And they really  
18 had an MI, it just wasn't the reason they were  
19 admitted?

20 MEMBER YAWN: Yes. And we just  
21 finished auditing a large number of records to  
22 see if the coders ranked the diagnoses in the

1 same matter in which the physician did. And  
2 pretty consistently they did not.

3 DR. DAVIES: This is Cheryl  
4 Davies.

5 Patrick, you can tell me am I  
6 right. I just want to note that technically  
7 a principal diagnoses must be present on  
8 admission for it to be valid as it comes for  
9 diagnosis code. So that would be actually the  
10 incorrect coding because a postoperative AMI  
11 or an AMI that occurred in the hospital as a  
12 principal diagnosis?

13 DR. RAMANO: Yes. I would add that  
14 there clearly are going to be variations in  
15 the sequencing in the diagnoses. But the  
16 principal diagnosis is really kind of  
17 sacrosanct because it is the diagnosis that  
18 drives the DRG. So actually, it would be  
19 fraud for a hospital to claim that an MI that  
20 actually occurred after discharge was present  
21 on admission. You know, some hospitals may do  
22 that, but it would be fraud.

1                   MEMBER YAWN:   And I think  
2                   sometimes you'd be hard pressed to prove that  
3                   the MI occurred after admission if it's 12  
4                   hours or 10 hours. So, you know, what's an  
5                   evolving MI versus -- you know. But I do  
6                   think that no one intentionally approaches it  
7                   as fraud. They intentionally approach it as  
8                   how do we get the best DRG with what has been  
9                   available for patient diagnosis.

10                  CO-CHAIR FLEISHER:   So, I will ask  
11                  that we move on from this particular topic  
12                  because of the issues -- I understand,  
13                  Barbara. So as we vote on this, we can  
14                  consider the issues of coding. And I would  
15                  actually suggest that CSAC and the crosswalk,  
16                  they consider the validity of the different  
17                  approaches in determining what is the best  
18                  measure in the end to harmonize against.

19                  So, we'll go on from there.

20                  Dianne?

21                  MEMBER JEWELL:   Well, it's my  
22                  impression we're not, whichever way we slice

1       this whether we're talking about how its coded  
2       or who, it doesn't sound like we're talking  
3       huge volumes of patients here from anybody's  
4       description.

5                        So, if it's not creating an  
6       unstable measure, I'm not sure that picking  
7       out these very narrow slices really helps us  
8       make a good decision about the measure.

9                        CO-CHAIR FLEISHER: This is the  
10      traditional approach with coding. But I think  
11      it is valid to ask NQF to look at these issues  
12      that we have great concern about to see what's  
13      the best approach.

14                      Other comments?

15                      MEMBER YAWN: The only one of our  
16      narrow comments that I don't think it is, the  
17      methodology, the using codes versus using  
18      medical record abstraction. I think that does  
19      affect the scientific validity and other  
20      things. And so I don't know how narrow it is.

21                      CO-CHAIR DUBOW: It effects  
22      feasibility.

1                   MEMBER JEWELL:  So let me try  
2                   again.  I think that these kinds of issues  
3                   come up with every single measure we have.

4                   I appreciate the relevance of all  
5                   of the comments, I just am not clear that they  
6                   are so different in this case that it's  
7                   helping me, at least, make a better decision  
8                   about the measure.  So that's really why I'm  
9                   saying that.  It's not that I don't think  
10                  they're important; believe me, I do.

11                  CO-CHAIR FLEISHER:  Thanks.

12                  Vanita?

13                  MEMBER PINDOLIA:  But I think on  
14                  that same point, the other measures they've  
15                  actually gone and done chart reviews compared  
16                  to their claims and had data to show that it  
17                  was X percent similar.  Their data, the 2b  
18                  reliability, it was done with 25 patients and  
19                  found to be 90 percent.

20                  So, it is 90 percent but it was  
21                  with 25 patients.  So that is concerning of  
22                  why -- actual it was 100 percent but their

1 claims data showed 90 percent to have AMI.

2 CO-CHAIR FLEISHER: Any comments?

3 DR. RAMANO: Yes. So in section  
4 1c, I apologize. Some of the information here  
5 is not really properly in the right section.

6 But anyway, in section 1c about  
7 four paragraphs in there's some information  
8 regarding the agreement in the identification  
9 of new MI cases with more recent data, two  
10 studies. One indicated 93 percent, the other  
11 98 percent agreement. So that's the best  
12 current evidence that we have.

13 CO-CHAIR FLEISHER: How many  
14 numbers?

15 DR. RAMANO: What's that?

16 CO-CHAIR FLEISHER: Do you know  
17 how many numbers of patients that represented?

18 DR. RAMANO: The study from  
19 California was roughly a 1,000. And I don't  
20 recall the other study offhand.

21 MEMBER ROSEN: I think there was a  
22 study also done -- it's just escaping me right

1 me. But Laura Peterson looked at the  
2 reliability of AMI coding and found it was  
3 quite high in VA data looking at the medical  
4 records.

5 CO-CHAIR FLEISHER: Other  
6 comments? Okay.

7 Any public comments?

8 DR. KAY JEWELL: Hi. Kay Jewell,  
9 no disclosures.

10 The only thing I would comment is  
11 the usability in terms of if Joint Commission  
12 has not been publishing theirs because they  
13 are concerned about the confusion with the CMS  
14 measure. This measure and the Joint  
15 Commission are both 18 and over in-hospital  
16 mortality. So I'm not sure that effects the  
17 usability issue.

18 CO-CHAIR DUBOW: Heidi reminds me  
19 that the cardiovascular measures come up for  
20 maintenance this year and that means that if  
21 this measure were endorsed, it would be put to  
22 a head-to-head comparison with the Joint

1 Commission measures. So we're looking at a  
2 very narrow time frame for resolving these  
3 issues.

4 CO-CHAIR FLEISHER: Ready to vote?  
5 Reva?

6 DR. WINKLER: All right. We need  
7 to go through the four criteria.

8 So in terms of a measure of in-  
9 hospital AMI mortality. Important to measure  
10 and report? How many would say it's yes?

11 Oh, great, I got to figure out  
12 where everybody is now. All right, that's  
13 everybody.

14 CO-CHAIR FLEISHER: And Ted.

15 DR. WINKLER: Okay. Plus Ted,  
16 that's fine.

17 MS. BOSSLEY: Twenty.

18 DR. WINKLER: Twenty. That's  
19 okay. We'll be 21 but Brian is not here right  
20 now. Okay. Got it. All right.

21 Scientific acceptability of the  
22 measure properties for this measure as

1 specified. So how many agree it meets the  
2 criteria completely? Thirteen.

3 Partially? Seven. Okay. That's  
4 it.

5 Usability, completely? Seventeen.

6 Partially? That's the remaining  
7 three.

8 Feasibility completely?

9 Seventeen.

10 Partially? Three. Okay.

11 All right. Recommendation on the  
12 measure.

13 CO-CHAIR FLEISHER: Any  
14 conditions?

15 All those in favor of the measure?  
16 Twenty.

17 DR. WINKLER: Is that everybody?

18 MS. BOSSLEY: Everyone.

19 CO-CHAIR FLEISHER: Yes.

20 DR. WINKLER: Okay. Got it. All  
21 right.

22 Ted, are you going to send me your

1 votes like you did last night?

2 MEMBER GIBBONS: I certainly am.  
3 I can tell you that I support the measure  
4 right now.

5 DR. WINKLER: Okay. Thanks.

6 MEMBER GIBBONS: I'll send you a  
7 written one.

8 DR. WINKLER: Thank you.

9 CO-CHAIR FLEISHER: Next measure.

10 DR. WINKLER: The next measure is  
11 measure OT1-012-09, coronary artery bypass  
12 graft procedure and postoperative stroke  
13 during the hospitalization or within seven  
14 days of discharge. This is a measure from  
15 Ingenix.

16 Okay. What do I want to say? I'm  
17 just trying to find it. There it is. Okay.

18 Again, this is not the first  
19 measure that NQF has evaluated and endorsed  
20 for postoperative stroke after CABG procure.  
21 This is one of the measures from STS that is  
22 endorsed both independently and as part of the

1 composite that you discussed yesterday. This  
2 measure approaches it somewhat differently  
3 looking at the time frame of hospitalization  
4 plus seven days out. Okay.

5 Did Ted want to say anything?

6 CO-CHAIR FLEISHER: Ted, any  
7 comments?

8 MEMBER GIBBONS: Yes. The TAP was  
9 concerned on several levels.

10 (1) That it was already a measure  
11 that was included in the STS composite. I'm  
12 wondering whether that --

13 CO-CHAIR FLEISHER: We're losing  
14 you again, Ted.

15 MEMBER GIBBONS: Sorry. I'm  
16 actually on the land line connected to the  
17 wall. So I don't know if it's the connection.

18 The TAP was concerned about  
19 several issues.

20 (1) That it was already a part of  
21 the STS composite and it did add a separate  
22 meaning.

1                   But more importantly, the  
2                   definition of stroke was of concern because it  
3                   really depended on who made the diagnosis of  
4                   stroke; was it the surgeon, was it a  
5                   consulting neurologist, was it based on an  
6                   imaging scan. And it was felt that the  
7                   reliability of making the diagnosis of stroke  
8                   was of significant concern.

9                   And on that basis, because it  
10                  arose from the data different from the  
11                  registry of the STS, we wondered whether it  
12                  actually added a value.

13                 CO-CHAIR FLEISHER: Any comments  
14                 on risk adjustment? Ted?

15                 MEMBER GIBBONS: No. Well, the  
16                 risk adjustment was there weren't any specific  
17                 comments on it. But I would have to ask  
18                 whether the Steering Committee felt that it  
19                 was adequately looked at.

20                 CO-CHAIR FLEISHER: The issue was  
21                 that there was no risk adjustment, correct?

22                 MEMBER GIBBONS: Yes, that's

1 correct.

2 CO-CHAIR FLEISHER: Okay. Can we  
3 have any comment from anyone from Ingenix.

4 DR. SCHWEBKE: Is there anything  
5 in particular you would like me to comment on?

6 CO-CHAIR FLEISHER: The risk  
7 adjustment would be the first question.

8 DR. SCHWEBKE: Okay. So this is  
9 Kay Schwebke from Ingenix.

10 As far as risk adjustment, we do  
11 not have any specific methodology. We do  
12 exclude from the denominator people that have  
13 had pre-existing strokes and we do take into  
14 account that people who are going to undergo  
15 coronary artery bypass or surgery to some  
16 degree have already been self-selected. So  
17 beyond that, we do not take administrative  
18 pains to try to further risk adjust.

19 As far as the first concern, does  
20 it add value? One of the unique features of  
21 this measure is that it really improves  
22 authorization of what STS is trying to

1 accomplish.

2 And I apologize. I'm sure that  
3 the FM music makes it difficult to understand.

4 MS. BOSSLEY: Can you hold on.

5 CO-CHAIR FLEISHER: Okay. Why  
6 don't --

7 DR. SCHWEBKE: Great. Thanks.  
8 Thanks.

9 CO-CHAIR FLEISHER: Why don't you  
10 start at the beginning again.

11 DR. SCHWEBKE: Okay. So as far as  
12 risk adjustment, we do not have any specific  
13 methodology currently that's risk adjusting.  
14 We do pull out of the denominator individuals  
15 who have a previous CBA. In addition, we do  
16 take into account the fact that people who are  
17 undergoing coronary artery bypass procedure to  
18 some degree have been self-selected.

19 Beyond that our concern has been  
20 the ability of administrative claims to  
21 otherwise really completely risk adjust. We  
22 have not built in any methodology. We're

1 certainly open to suggestions and feedback  
2 about that.

3           With respect to, you know, does  
4 this add value, I certainly appreciate the STS  
5 measure and this measure was built off the STS  
6 measure because we appreciate the value of  
7 this measure. What's different is that the  
8 STS measure really focuses on a registry and  
9 a category 2 coding approach, which  
10 unfortunately we have found is not taking off  
11 and is really reducing the feasibility of  
12 measuring and identifying this important gap  
13 in care.

14           What this measure does is actually  
15 takes administrative claims data. So it  
16 really operationalizes the measure to a  
17 greater extent that I think would allow us  
18 nationally to probably do a better job looking  
19 at large numbers of people and truly trying to  
20 capture this information.

21           I think a few points, you know,  
22 diagnosis of CBA, you know it's really

1       difficult to address that one.  If petitioners  
2       aren't able to accurately diagnose a CBA, then  
3       it doesn't really matter how that data is  
4       collected; if it's collected through the STS  
5       approach, if it's collected through using a  
6       face-to-face encounter with an administrative  
7       claim that identifies a CBA.

8                 So to me, I don't really see that  
9       argument particularly if this is a measure  
10      that's already supported by STS and is using  
11      exactly the same codes.

12                CO-CHAIR FLEISHER:  Comments from  
13      the Committee?  David.

14                MEMBER JOHNSON:  The questions I  
15      had was on the seven day extension.  Where did  
16      that come from?  Why pick seven days, why  
17      eight days?  And was the captured percent of  
18      postoperative CBAs, and where's the science  
19      behind that?

20                DR. SCHWEBKE:  Yes, that's a great  
21      question.  You know, our original measures I  
22      mentioned was built off the STS measure.  And

1 in that measure we did a good job defining  
2 certain characteristics. For example, what's  
3 bypass procedure or what's the code for CBA.

4 What they did do was specify time  
5 frames. And so as we were conceptually trying  
6 to kind of mimic their measure of CBA, we also  
7 appreciate that they didn't have a specificity  
8 that we really needed from which to built a  
9 more precise measure. So we actually took  
10 input from our external consultant to try to  
11 define that time frame.

12 So almost without any data, it is  
13 really a consensus import from an external  
14 consultant, Panlow Specialist that included  
15 neurologists and surgeons and cardiologists.  
16 However, that's another area where we're  
17 certainly open to feedback and modification if  
18 people thought that that was a significant  
19 issue.

20 MEMBER JOHNSON: So the point, I  
21 guess you were registering, is that there's so  
22 much variability here because if the length of

1 stay is extended for one patient, seven days  
2 beyond that gives you whole different time  
3 frame for capture. And why not just stick  
4 with a 30 day rule which would really  
5 harmonize across other measures of  
6 postoperative complications within particular  
7 CABG 30 day events?

8 DR. SCHWEBKE: So just so I make  
9 sure that I'm clear. So you're suggesting to  
10 be consistent rather than looking seven days  
11 out, look 30 days out? Is that a correct  
12 summary of your statement?

13 MEMBER JOHNSON: Yes.

14 DR. SCHWEBKE: Yes, we'd be  
15 certainly open to that. And I certainly  
16 appreciate that that would at least bring some  
17 standardization to the approach.

18 CO-CHAIR FLEISHER: So you're  
19 willing to change it if we put a condition on  
20 it, is that what I'm hearing?

21 DR. SCHWEBKE: Absolutely. We  
22 would be very open to that.

1 CO-CHAIR FLEISHER: Okay. Joyce?

2 CO-CHAIR DUBOW: Could I come back  
3 to the risk adjustment and ask our  
4 methodologists, or anybody else for that  
5 matter, about the -- oh, Sean's on the phone?

6 MS. BOSSLEY: Sean should be on  
7 the phone.

8 CO-CHAIR DUBOW: I'm just  
9 interested in your take on the explanation  
10 about the lack of risk adjustment that we just  
11 heard?

12 CO-CHAIR FLEISHER: Amy?

13 MEMBER ROSEN: Is Sean on the  
14 phone?

15 MS. BOSSLEY: I believe Sean is  
16 on.

17 DR. O'BRIEN: Hi.

18 CO-CHAIR FLEISHER: Sean?

19 DR. O'BRIEN: Hi. This is Sean  
20 O'Brien.

21 I think I definitely, I shouldn't  
22 comment. Actually I was asked to reviewed

1 certain measures, as other measures I did not  
2 review. I'm involved with the American  
3 College of Surgeons, and there's a conflict  
4 here. So I think I can't comment on this  
5 measure --

6 CO-CHAIR DUBOW: We appreciate  
7 that. Thank you.

8 DR. O'BRIEN: From the comment  
9 standpoint, I didn't really review this  
10 measure and I can only comment on the kind of  
11 address measure. (Inaudible due to failure of  
12 house audio system)

13 There's a risk model using  
14 clinical data for the end point in STS and the  
15 model that has that model discrimination that  
16 it's clearly identifiable risk factor that are  
17 associated with that higher risk of CBA, even  
18 among patients without CBA, this risk measure  
19 did not approve patients with prior CBA, but  
20 among the patients without CBA that sets that  
21 comparability present in the package  
22 (Inaudible) is associated with 50 percent

1 increased likelihood of stroke and peripheral  
2 vascular disease, as well, at 30%, so there  
3 are well established risk factors that could  
4 potentially (Inaudible).

5 CO-CHAIR FLEISHER: Thank you,  
6 Sean.

7 Can you hear us?

8 DR. O'BRIEN: I can hear you fine.

9 CO-CHAIR FLEISHER: Okay. And I  
10 would ask anybody on the phone to not put us  
11 on hold since we got music last time.

12 Amy, comments?

13 MEMBER ROSEN: I missed 95 percent  
14 of what Sean said. But I think that risk  
15 adjustment is very important in this kind of  
16 modeling. In looking at stroke I think that  
17 there are from the literature lots of risk  
18 factors for stroke that it's really critical  
19 to include in a model.

20 Also, I'm concerned that patients  
21 with prior strokes with evidence of history of  
22 stroke are excluded from the denominator

1       because it seems to me that that variable  
2       would be an indicator of severance. So I'm  
3       unclear why patients with prior stroke were  
4       excluded unless there's some diagnostic coding  
5       issue there.

6                   DR. SCHWEBKE: Yes. This is Kay  
7       Schwebke again.

8                   That's a good point. Usually that  
9       was because we wanted to make sure that if it  
10      was coding for a CBA after the procedure, it  
11      was a higher likelihood that that CBA coding  
12      face-to-face encounter was truly related to a  
13      CABG and wasn't kind of a follow-up code for  
14      an individual who has a stroke in the past and  
15      now was just being addressed as part of a  
16      longstanding chronic situation versus an acute  
17      episode. So that was one of the big reasons  
18      why we thought that we really needed to  
19      exclude a prior CBA from the denominator.

20                   MEMBER ROSEN: I appreciate that  
21      comment. I would still think that one of our  
22      recommendations might be to include those

1 patients, and also to encourage Ingenix to  
2 think more about developing or applying a risk  
3 adjustment model for this particular measure.

4 Also, it's my understanding from  
5 looking at this that there's only face  
6 validity. This hasn't been empirically  
7 tested, is that correct?

8 DR. SCHWEBKE: That is correct.  
9 I'm sorry, if what you're asking is, is there  
10 a chart review validation process that's been  
11 tied to testing this specific measure, that is  
12 correct.

13 Now we do provide in the measure  
14 application a summary of more global chart  
15 review comparison to administrative claims, a  
16 validation that we have done. And actually in  
17 that setting we found that measures like this  
18 which are looking predominately for ICD  
19 Diagnoses Codes are actually quite accurate  
20 and sometimes were actually better than chart  
21 review if we truly it a gold standard.

22 MEMBER ROSEN: I was looking more

1 as these statistics are some evidence of model  
2 performance, empirical performance. Does that  
3 appear? I don't see it.

4 DR. SCHWEBKE: Could you give me  
5 an example of specifically what you're looking  
6 for, and maybe I can answer that question?

7 MEMBER ROSEN: Is there a key  
8 statistic, you know r squared or something  
9 where you've basically developed a model to  
10 look at an outcome of stroke?

11 DR. SCHWEBKE: Okay. We do not  
12 have that information as part of our testing.

13 MEMBER ROSEN: Okay.

14 DR. SCHWEBKE: Thanks for  
15 clarifying that.

16 CO-CHAIR FLEISHER: Other  
17 comments? Yes.

18 MEMBER GERBIG: Yes. I have just  
19 a technical question back to the seven day  
20 follow-up. At least for the Medicare  
21 population those short, fast readmissions are  
22 rolled back into the original admission and

1 billed as a single bill. So those codes  
2 appear on the original bill, not as a separate  
3 admission. And I was wondering if since we'll  
4 have essentially two different measures for  
5 the under 65 population and the over 65 it  
6 won't appear as a readmission, it'll appear as  
7 a continuation of the original admission which  
8 would speak for spreading that out to the 30  
9 days. I'm just wondering from a purely  
10 performance improvement aspect if we'll get  
11 the information from the measure that we  
12 really need to drive improvement?

13 We'll certainly see a  
14 postoperative stroke. There's no doubt at all  
15 that it would appear to have occurred within  
16 the admission rather than, perhaps, the  
17 patient was discharged too early or with  
18 improper follow-up and returned with a stroke  
19 because they didn't take their medicines, they  
20 didn't see a physician. I was just wondered  
21 if that was considered?

22 DR. SCHWEBKE: You know, that

1 wasn't considered in our time frame. You  
2 know, really the reason we had developed this  
3 shorter time frame because we wanted to be  
4 more confident than less confident that if a  
5 CBA occurred, that temporally it was a higher  
6 likelihood which related to the recent  
7 hospitalization for the CABG procedure. But  
8 again, you know, we'd be happy to consider to  
9 extending it out to a 30 day time period based  
10 on the feedback that has been shared today.

11 CO-CHAIR FLEISHER: Dianne.

12 MEMBER JEWELL: So after the risk  
13 adjustment question, you know Amy brought up  
14 the point about prior stroke. And I would  
15 think that that would be a reason to risk  
16 adjust, the presence or absence of that.

17 But also the wide age range here,  
18 20 years to infinity. The measure identifies  
19 patients 20 years and older?

20 DR. SCHWEBKE: That's correct.

21 And that actually is the original age group  
22 that was in the STS measure.

1                   Now STS has actually modified  
2                   their measure from the original one, and I'm  
3                   speaking now to one of the CMS PQLI measures.  
4                   And it actually extended that age group even  
5                   further, which is now 18 years of age and  
6                   older.

7                   So, you know, we were really  
8                   trying in good faith to replicate as best we  
9                   could that STS measure that was NQF endorsed  
10                  at that time and so didn't want to stray from  
11                  the age group that they had defined.

12                 MEMBER JEWELL: And actually, I'm  
13                 not debating the choice of the age group. I'm  
14                 actually more just raising the point that it  
15                 seems to me with such a wide age span, that's  
16                 another argument for having some risk  
17                 adjustment in the measure.

18                 CO-CHAIR FLEISHER: Other  
19                 questions? Other comments? Okay.

20                 DR. WINKLER: Okay.

21                 MEMBER NEWCOMER: So point of  
22                 clarification. I have to recuse myself,

1       Ingenix is a subsidiary of United Health  
2       Group.

3                   DR. WINKLER:   Thank you, Lee.

4                   All right. For this measure the  
5       CABG procedure postoperative stroke.

6       Importance to measure and report. All yeses?

7                   MS. BOSSLEY:   We're back to 20.

8                   DR. WINKLER:   We're back to 20  
9       now?

10                  CO-CHAIR FLEISHER:   Well, we're  
11       back to 21 a least.

12                  DR. WINKLER:   But Lee's recusing  
13       himself, so he's not. Okay. So we still got  
14       20.

15                  All right. Scientific  
16       acceptability of the measure properties.

17       Completely meets criteria, how many? Zero.

18                  Partially meets criteria? Eight.

19                  Minimum meets criteria? Twelve.

20       That's 20. Okay.

21                  Usability. Completely meets  
22       criteria? One.

1 Partially meets criteria?

2 CO-CHAIR FLEISHER: I think it's  
3 two.

4 DR. WINKLER: Oh, okay. Sorry.  
5 Sixteen.

6 Minimally meets criteria? Not at  
7 all?

8 MS. CAMARA: I think we had 17 for  
9 partial.

10 DR. WINKLER: Okay. Seventeen for  
11 partial. All right. That adds up now.

12 Feasibility? Completely? Two.  
13 Okay.

14 Partially? Seventeen there.

15 Minimally? Okay.

16 CO-CHAIR FLEISHER: Okay. As far  
17 as the vote, is there any conditions? Or we  
18 want to include a condition on time frame or  
19 no? Ruben?

20 MEMBER JOHNSON: I believe that  
21 was the same.

22 CO-CHAIR FLEISHER: It's David,

1       okay.

2                   MEMBER JOHNSON:   The extended time  
3       frame, the 30 days seemed to be kind of  
4       consistent with other measure capture.   The  
5       risk adjustment I think has been raised by a  
6       number of people and I'm not sure that we get  
7       that in a condition.

8                   CO-CHAIR FLEISHER:   Right.   So I  
9       wasn't going to put the condition of risk  
10      adjustment because that's a different measure,  
11      correct?

12                  DR. WINKLER:   I think that's a  
13      significant change in the measure.   You've  
14      heard a willingness to change the time frame  
15      to a 30 day.   So you can vote it that way.

16                  MEMBER JOHNSON:   My point is I  
17      think it's too complicated to show risk  
18      extended time frame with the elephant being  
19      the risk adjustment.   And I think it's  
20      counterintuitive to make that a condition.

21                  CO-CHAIR FLEISHER:   Yes?

22                  MEMBER HOPKINS:   I'm seeing a

1       problem here.  Suppose they agree to extend  
2       the time frame and we do mean from admission,  
3       so it's actually that change as well, then  
4       aren't they going to get hung up on the  
5       testing requirement because that measure would  
6       not have been tested?

7                   MEMBER AMARASINGHAM:  I would  
8       propose that there's too many deficits to have  
9       a condition and ask for to vote.

10                  CO-CHAIR FLEISHER:  Okay.  Very  
11       good.  We will go to all these in favor of  
12       approving this measure.  All those opposed.

13                  MEMBER GIBBONS:  I oppose.  
14       Ted Gibbons.

15                  DR. WINKLER:  So it's 17.

16                  CO-CHAIR FLEISHER:  No, it would  
17       be 18.

18                  MS. BOSSLEY:  Eighteen because we  
19       had Ted.

20                  DR. WINKLER:  Well, okay.  Yes.  
21       Okay.  Seventeen plus Ted.  Because I haven't  
22       got him in all of them.

1 CO-CHAIR FLEISHER: Okay. Thank  
2 you.

3 We're done the cardiovascular and  
4 GI.

5 CO-CHAIR FLEISHER: Okay. Thank  
6 you.

7 Are the measure developers on the  
8 phone for the GI measures.

9 DR. WINKLER: Actually, they're  
10 the same group as the previous.

11 CO-CHAIR DUBOW: Yes, it's AHRQ  
12 and also Pfizer. Is there anybody from Pfizer  
13 on the hone.

14 DR. WINKLER: I doubt it. Okay.

15 CO-CHAIR DUBOW: Can we do that  
16 one first?

17 DR. WINKLER: Yes, that's the one.

18 The first measure is OT2-014-09.

19 This measure was submitted by Pfizer. It's  
20 opioid-related symptom distress scale. And  
21 one of the issues was the incompleteness of  
22 the information that was provided in the

1 submission despite numerous attempts to get  
2 greater information.

3 When this went to the TAP they  
4 felt that they didn't have enough information  
5 really to do an assessment of the criteria.  
6 So disposition is ultimately in your hands,  
7 but--

8 MEMBER JOHNSON: I'd like to move  
9 that this be denied. Not considered for review  
10 because there was no input from the TAP and  
11 that we felt that we were hindered by this  
12 process. It shouldn't even be discussed  
13 beyond a no vote if it goes to a vote.

14 CO-CHAIR DUBOW: Is there a second  
15 for that? Any discussion at all?

16 MEMBER McNULTY: Just one  
17 question. I mean, I know that with GI that,  
18 you know, opioids can cause GI side effects.  
19 I guess you have no background information so  
20 I'm asking questions you probably can't  
21 answer. Why is was specifically submitted for  
22 a GI?

1 DR. WINKLER: The one thing I can  
2 tell you is when you look at the tool because  
3 they did give us the references that it's  
4 based on, it was applied to like gallbladder  
5 patients for pain management. That was its  
6 primary application. So that seemed to be its  
7 use.

8 MEMBER McNULTY: Okay.

9 CO-CHAIR DUBOW: Okay. And we  
10 don't have the measure developer on the phone,  
11 is that correct?

12 Okay.

13 CO-CHAIR FLEISHER: There's nobody  
14 on the TAP call either.

15 CO-CHAIR DUBOW: We don't have any  
16 information.

17 MEMBER HOPKINS: It came in late?

18 CO-CHAIR DUBOW: No, it just --

19 DR. WINKLER: Not late.

20 CO-CHAIR FLEISHER: Did Pfizer  
21 call into the TAP?

22 CO-CHAIR DUBOW: No.

1 DR. WINKLER: No.

2 CO-CHAIR DUBOW: So apparently the  
3 measure developer is not interested in this  
4 measure. Okay.

5 CO-CHAIR FLEISHER: Maybe the drug  
6 development changed over the course of the  
7 time frame. Can I --

8 CO-CHAIR DUBOW: All those in  
9 favor --

10 CO-CHAIR FLEISHER: Can I move  
11 that we thank them for saving us a lot of  
12 time?

13 CO-CHAIR DUBOW: We have a motion  
14 on the table to deny the measure.

15 CO-CHAIR FLEISHER: To deny.

16 CO-CHAIR DUBOW: So this is in  
17 denial. All those in favor of denying  
18 consideration of this measure?

19 MEMBER DELLINGER: Let's vote it  
20 down.

21 CO-CHAIR DUBOW: Okay. Then you  
22 want to change your --

1                   MEMBER JOHNSON: The proposal is  
2 that this be a no vote on the measure.

3                   CO-CHAIR DUBOW: Fine.

4                   MEMBER HOPKINS: What if they  
5 wanted to legitimately submit at another time?  
6 It wouldn't be fair to say the measure was  
7 considered and turned down or denied.

8                   CO-CHAIR DUBOW: Well, David, the  
9 measure developer didn't provide enough  
10 information to consider it.

11                   MEMBER HOPKINS: So let's not  
12 consider it.

13                   CO-CHAIR DUBOW: So you just want  
14 to table it?

15                   MEMBER JOHNSON: We can't. Based  
16 on the data that it provided, we're evaluating  
17 this for a yes or no vote. We've already said  
18 that it was incomplete. So you don't have  
19 information. It's a yes or no vote.

20                   CO-CHAIR DUBOW: So Helen  
21 correctly points out that we didn't have  
22 adequate information to consider this.

1 DR. WINKLER: It's a condition for  
2 consideration that that is a full submission.  
3 And I think we should just say it's not  
4 considered, period.

5 CO-CHAIR DUBOW: It was not  
6 considered a full submission. The measure was  
7 not considered.

8 DR. WINKLER: It's not considered.

9 CO-CHAIR DUBOW: So, okay. And  
10 there's unanimity, I see, not to consider the  
11 measure. Okay. That's it. But we finally  
12 got it out.

13 Okay. The next measure is the  
14 AHRQ measure ion gastrointestinal hemorrhage  
15 mortality rate, 009.

16 DR. WINKLER: Yes. This is  
17 measure 2-009, gastrointestinal hemorrhage  
18 mortality rate from AHRQ. This is the number  
19 inpatient deaths per 100 discharges with  
20 esophageal hemorrhage as defined within the  
21 measure.

22 David, the view of the TAP?

1                   MEMBER JOHNSON: The TAP felt that  
2                   this was certainly an important outcome to  
3                   measure, although it wasn't clear that this  
4                   was going to be gained through the measure  
5                   that was provided. The developers of the  
6                   measure did an excellent job of giving us the  
7                   stratifications that would be used in this,  
8                   but recognizably it had not been validated.  
9                   It's certainly impossible to corroborate that  
10                  that process for extraction then walleied be  
11                  accurate.

12                  The TAP felt that this was a very  
13                  complex populations and that complications  
14                  inherent from esophageal hemorrhage may be  
15                  very much more stratified for risk for death  
16                  from other causes that may not be attributable  
17                  and may be attributable to disease,  
18                  particularly to cirrhosis and complications  
19                  from cirrhosis.

20                  So the overall feeling from the  
21                  TAP was that this was, perhaps, too diffused  
22                  to really validate this as a measure.

1 CO-CHAIR DUBOW: John, are you  
2 still on the phone?

3 DR. BOTT: Yes, I'm on the phone.

4 CO-CHAIR DUBOW: And Patrick too?  
5 Does either of you want to respond  
6 to the TAP's observations?

7 DR. BOTT: Well, if I can first  
8 backup a little bit. I don't the degree of  
9 which this would be significant or not. But  
10 in the TAP's deliberations something occurred  
11 I guess extracting our measure submission form  
12 it providing it to the TAP and there was a lot  
13 of discussion about the denominator not being  
14 thoroughly defined in the submission form that  
15 the TAP had.

16 It wasn't on the online form, and  
17 for some reason again, it was during  
18 Committee--

19 MEMBER JOHNSON: It was  
20 recirculated then after the call, so that was  
21 complete.

22 DR. BOTT: Okay. But I was just

1       referencing it because the Steering Committee  
2       in the packet they estimate did not have that.  
3       I don't know, Heidi brought that and was able  
4       to distribute it.

5                 One other just logistical about  
6       this, it was a wrong phone number to call in  
7       today, which I called NPS and got the right  
8       number. So I'm not saying Pfizer tried to  
9       call in, but if there are some people you  
10      expected on the call today, that could be due  
11      to that.

12                Clinical, as far as a clinical  
13      response, I differ to Patrick for the comments  
14      made from the petition representing the TAP.

15                   CO-CHAIR FLEISHER: Patrick?

16                 DR. RAMANO: Yes. I will say that  
17      this is a measure has really just been revised  
18      because of feedback from users and  
19      stakeholders. That the original definition of  
20      the gastrointestinal hemorrhage mortality  
21      measure, which was never an NQF endorsed  
22      measure, the denominator definition was too

1 broad in that it captured all types of  
2 gastrointestinal hemorrhage. And so the  
3 feedback that we received was to narrow the  
4 denominator to patients who were admitted  
5 related to esophageal hemorrhage and variceal  
6 hemorrhage because that's a subset of patients  
7 for whom there are more clinically defined  
8 interventions.

9 But I will say that the measure  
10 has not gone through full testing in the sense  
11 of validation with chart review and so forth  
12 as a result of the fact that these changes  
13 have been made recently.

14 With respect to the other points  
15 in the memo, the only thing that I would just  
16 slightly correct is that the logic does not  
17 involve CPT codes. So it is strictly based on  
18 ICD-9 CM diagnosis codes.

19 MEMBER JOHNSON: The TAP felt that  
20 this is an inordinately strong end point that  
21 ends to be looked it, but it just by the  
22 present measure this is captured -- HRQ

1 captures an all VI hemorrhage measure already.  
2 That there was too complexity in this space  
3 with a claims base measure that the outcome of  
4 death is really within a specific  
5 hospitalization, but the complexity of the  
6 patient population made it somewhat difficult  
7 to really be accurate that this is related to  
8 the GI hemorrhage and in particular cirrhosis  
9 and alcohol related death is multicomplex  
10 variables that could contribute to this.

11 So that, and the lack of a  
12 validation testing really made it too much of  
13 an issue for the TAP to really endorse the  
14 measure.

15 CO-CHAIR DUBOW: Any other  
16 comments?

17 MEMBER DELLINGER: Is there any  
18 risk adjustment in this?

19 MEMBER JOHNSON: There is, but  
20 within specifics of other multiple. Because  
21 it's a claims based extraction, it makes it  
22 impossible to really be -- we felt until you

1 have validation testing to be an accurate  
2 assessment. We didn't want to speculate on  
3 how accurate the assessment would be.

4 MEMBER DELLINGER: So do they, in  
5 instance, risk adjust between alcoholic  
6 cirrhosis and viral cirrhosis?

7 MEMBER JOHNSON: No.

8 MEMBER NEWCOMER: And clarify for  
9 me is there a difference in the outcomes and  
10 the natural history of those sets of patients  
11 by the time they make esophageal varices --

12 MEMBER JOHNSON: Absolutely.  
13 Because the comorbidities associations with  
14 alcohol can be a lot more complex. Clearly  
15 you can get pulmonary disease and cardiac  
16 disease both from cirrhosis. But in an  
17 alcoholic you have multiple other variables  
18 that play into end-organ damage. So the  
19 answer is there's just no question that  
20 alcohol related diseases can be a lot more  
21 complex.

22 MEMBER NEWCOMER: So there's

1 evidence for that or --

2 MEMBER JOHNSON: Yes, there's  
3 evidence for that.

4 MEMBER NEWCOMER: Okay.

5 CO-CHAIR FLEISHER: And, David,  
6 the TAP brought up the issue of withdrawal of  
7 support?

8 MEMBER JOHNSON: As far as end-of-  
9 life decisions?

10 CO-CHAIR FLEISHER: Right.

11 MEMBER JOHNSON: We actually  
12 didn't discuss it specifically a withdrawal of  
13 support conclusion. But, again, as a claims-  
14 based measure you wouldn't be able to capture  
15 that decision in part of this. It's a great  
16 point, though.

17 CO-CHAIR FLEISHER: So the risk  
18 adjustment wouldn't capture the fact that  
19 there may be some decisions with regard to  
20 that this patient's probability of dying  
21 independent of this event, this may be the way  
22 that the patient actually chooses to withdraw

1 support?

2 MEMBER JOHNSON: Yes. As a  
3 claims-based measure there's just no way to  
4 harmonize that decision into an extraction.

5 MEMBER YAWN: I don't remember  
6 what this one does about patients referred.  
7 Is this only for the primary hospital that  
8 admitted this person or is it also for  
9 referral?

10 MEMBER JOHNSON: This was another  
11 element of potential stratification bias  
12 against complex patients being shifted to a  
13 center that is really tertiary referral center  
14 because it's too complicated a patient. So  
15 that was an element raised as far as some of  
16 the extractions as well, that there may be an  
17 inherent downside for just referral by it's--

18 MEMBER YAWN: So that it did not  
19 account for that referral?

20 MEMBER JOHNSON: Did not.

21 MEMBER YAWN: Thank you.

22 MEMBER HOPKINS: I asked whether

1 transfer are included or not included in the  
2 measure.

3 DR. RAMANO: Yes, so transfers are  
4 included in the measure, that's correct.  
5 They're included in the denominator.

6 They're also included as a factor  
7 in the risk adjustment. So the odds ratio  
8 associated with those transfers in is 1.64  
9 indicating that we account for basically a 64  
10 percent higher risk of death for those  
11 patients who are transferred in from other  
12 centers.

13 I should also just clarify that  
14 the risk adjustment does include, well it's  
15 based on the APR DRG system and so it does  
16 include specific factors for alcoholic liver  
17 disease including more severe alcoholic liver  
18 disease as well as less severe disease. This  
19 is, of course, captured with ICD-9 CM codes.  
20 And so there could be room for argument about  
21 whether those codes would accurately capture  
22 the patients who actually have alcoholic liver

1 disease.

2 MEMBER JOHNSON: Patrick, and I  
3 guess the other question is how those numbers  
4 came be of the risk adjustment? Where they  
5 were validated as far as previous data and  
6 maybe you can expand on it, and if there is  
7 any data?

8 DR. RAMANO: Right. Well, this  
9 the situation for a patient who is admitted  
10 with esophageal varices would be typically  
11 that the coders are required to identify the  
12 underlying cause of the varices. So they  
13 would be expected to code whether the patient  
14 had underlying liver disease of course is the  
15 most common cause of varices. But we have no  
16 separate validation regarding the accuracy of  
17 the assignment, whether the coder assigns  
18 alcoholic liver disease versus viral liver  
19 disease in that field, so to speak.

20 MEMBER JOHNSON: And more  
21 specific, I guess to get to Barbara's comment,  
22 was really the transfer validation of that

1 risk adjustment of 1.6. Is that where that  
2 number comes from? It may be right. I don't  
3 know the science behind, you know at least in  
4 our discussions there wasn't -- that may be  
5 correct but it, again, hadn't been validated  
6 as far as an accurate prediction of a  
7 transferred mortality related to esophageal  
8 hemorrhage.

9 CO-CHAIR DUBOW: So that raises  
10 the question for me to the staff about the  
11 time limit endorsement and the complexity of  
12 this measure with respect to how we would  
13 handle a time limited endorsement given what  
14 has to be validated. How do we do that? Does  
15 it fall within our purview to do that?

16 MS. BURSTIN: Yes. You know this  
17 project began before the new Board directive  
18 came down to narrow the scope of time limited  
19 measures. So I think if you think it's an  
20 important measure.

21 The other thing is AHRQ has a  
22 track record of testing the measures and

1 bringing them back. So I don't think there's  
2 a lot of concerns about the timeliness of the  
3 testing of the measure either. I think it's  
4 really for you to determine. I think it's an  
5 important enough measure to add to the  
6 portfolio that you would be willing to take it  
7 in while its tested.

8 CO-CHAIR DUBOW: Alternative to  
9 ask AHRQ to bring it back when they have more  
10 data as opposed to doing it that way.

11 MEMBER JOHNSON: Can I say, this  
12 is an incredibly important measure to capture,  
13 but the science behind it right now is just to  
14 me and to the TAP was too premature to endorse  
15 this and there's just too many stratification  
16 biases that they're inherent to the measure as  
17 it stands. No question that it's important.

18 CO-CHAIR DUBOW: I think the  
19 importance is quite clear. I mean, I'm  
20 concerned about an endorsement for a measure  
21 that really does need to be flushed out with  
22 better data to support it. And I have doubt

1 that AHRQ will do that. And the issue is  
2 whether it can find a home as soon as the data  
3 are available and ready for us to consider it  
4 again.

5 MEMBER JOHNSON: Joyce, one of the  
6 places we thought it might find a home at  
7 present if you look at ICU mortality and that  
8 this might not stand up to a best in class if  
9 you looked at an outcome of an ICU mortality  
10 kind of a crosswalk, as David was talking  
11 before on other measures that may capture a  
12 short term consequence in a more synthesized  
13 and harmonized way.

14 CO-CHAIR DUBOW: You said it would  
15 not?

16 MEMBER JOHNSON: No, it would. It  
17 would potentially if you looked at like an ICU  
18 outcome. As far as mortality in an ICU, this  
19 would come into at least some coverage in the  
20 short term, albeit I think it gets more  
21 granular if you specifically assign it to GI  
22 hemorrhages as a long term measure.

1 DR. DAVIES: This is Cheryl Davies  
2 from the development team.

3 And I apologize because I had to  
4 step off for just a minute there and I think  
5 I missed.

6 We have run some additional data  
7 analyses. So if there's specific data that  
8 you're interested in. Specifically we looked  
9 at information involving more tabulates by  
10 transfer status. I'm happy to see if we've  
11 actually run that data already to inform the  
12 discussion today.

13 CO-CHAIR DUBOW: So do you have  
14 the data that David discussed from the TAP  
15 perspective? I mean, what do you have? Show  
16 your cards.

17 DR. DAVIES: Show my cards? Okay.

18 DR. RAMANO: Cheryl?

19 DR. DAVIES: Yes.

20 DR. RAMANO: This is Patrick.

21 Yes, I think the question was  
22 specially related to data about validity based

1 on chart abstraction, which I don't think we  
2 have. We have data with respect to the  
3 performance of the risk adjustment model and  
4 the bias with respect to variables that are in  
5 the risk adjustment model as well as data on  
6 the issue of the transfers. But those are all  
7 based on analyses of secondary data,  
8 administrative data.

9 CO-CHAIR FLEISHER: So I would  
10 ask David one of the options we're debating up  
11 here because we're concerned that this may not  
12 be endorsed, but we haven't taken a vote yet,  
13 is that if it's deferred do you think the TAP  
14 would want to see the data, and would that  
15 change the recommendation from the TAP?

16 MEMBER JOHNSON: It might in the  
17 time frame that we're talking about for a  
18 month or two. I'm not sure you can get  
19 validation data. So I don't think the answer  
20 is, it's a fair measure to propose at this  
21 point. The gaps are too severe

22 CO-CHAIR DUBOW: So the second

1 question is finding a home for it in a  
2 subsequent review when the data might be more  
3 readily -- Patrick, do you have any idea when  
4 you'll have these reliability data? Is that  
5 a fair question?

6 Well, anyway I'm asking you.

7 DR. RAMANO: I'm a contractor to  
8 AHRQ. I advise AHRQ.

9 CO-CHAIR DUBOW: AHRQ, John,  
10 Cheryl, what do you have to say about that?  
11 Do you have any idea?

12 DR. DAVIES: I'm a contractor too.

13 CO-CHAIR DUBOW: Well put John --

14 DR. BOTT: Yes. We're all  
15 contractors on the call, actually. I work  
16 under contract.

17 I just don't know if that's in the  
18 forthcoming fiscal year to perform such  
19 analyses. I can't commit to that data either.

20 DR. RAMANO: I would just say that  
21 recommendations from NQF do carry some weight  
22 in AHRQ's decision making about how to invest

1 resources in validation efforts.

2 CO-CHAIR DUBOW: Barbara?

3 MEMBER YAWN: One of the things  
4 that I think we've heard about this measure  
5 that I haven't heard about any of the others  
6 is that this specific one is coming from  
7 feedback from users of their full GI  
8 hemorrhage measure. I think that ought to  
9 carry some weight with us thinking about is  
10 this something you'd be willing to do as a  
11 time limited, given the opportunity to get the  
12 validation that you've asked for. And if they  
13 don't get it, and it's not acceptable, it goes  
14 away.

15 CO-CHAIR DUBOW: It is being  
16 considered as a time limited endorsement. It  
17 doesn't meet the criteria for a full fledged  
18 unlimited endorsement. So it is a time  
19 limited endorsement.

20 MEMBER YAWN: Well, and I think  
21 that's very important to bring out --

22 CO-CHAIR DUBOW: Okay. Okay.

1                   MEMBER YAWN: -- and think about  
2                   in our discussions.

3                   CO-CHAIR DUBOW: All right.

4                   CO-CHAIR FLEISHER: So one of the  
5                   questions I actually have for Helen is can we  
6                   define what we think should be obtaining that  
7                   data. As Patrick sort of suggested if NQF  
8                   eventually says this is the critical data, if  
9                   we do decide to endorse this time limited, can  
10                  we say this is what needs to be done during  
11                  that time?

12                  CO-CHAIR DUBOW: Absolutely.  
13                  Right. And from I hear it doesn't sound as  
14                  though there's an easy home for it anytime  
15                  soon.

16                  So I think to Barbara's point we  
17                  should pursue consideration of this measure as  
18                  a time limited measure. Is it 12 months or 24  
19                  months, the new rules?

20                  MS. BURSTIN: It's your  
21                  preference.

22                  CO-CHAIR DUBOW: We prefer 12

1 months. Okay. And we will communicate that  
2 to AHRQ.

3 David, do you want to make one  
4 more point?

5 MEMBER HOPKINS: I have a totally  
6 different question.

7 So I just want to explore the  
8 usability criteria here. And I'm trying to  
9 understand how an individual consumer patient  
10 would use this measure. Because if I get what  
11 this measure is, it's that something happened  
12 in the hospital and they handled it better or  
13 worse than some other hospital. I didn't  
14 enter with this problem, correct? So how  
15 would I know --

16 DR. BOTT: No, you entered with  
17 it.

18 MEMBER HOPKINS: Oh, I did enter  
19 with it a GI hemorrhage? I thought it was  
20 occurring in the hospital.

21 DR. BOTT: Well, because it's a  
22 principal diagnosis.

1                   This is John Bott.

2                   MEMBER HOPKINS:   Okay.  But it's  
3                   probably emergency surgery so I wouldn't have  
4                   a chance -- all right.

5                   MS. BURSTIN:  I think that it may  
6                   have some -- it's just I think another of the  
7                   suite of the patient's safety indicators.  
8                   We've endorsed a series of a couple of  
9                   composites around it.  I guess the question is  
10                  if the measure worked, is that something that  
11                  you would -- do you consider it a safety  
12                  measure?

13                  CO-CHAIR FLEISHER:  It's almost  
14                  like a failure to rescue measure, I mean it's  
15                  in that way in that someone comes in with a  
16                  major complication and is the patient rescued  
17                  or do they die.

18                  MEMBER JOHNSON:  And this should  
19                  be helpful just so we understand, are there  
20                  ICU measures that cover mortality and ICU  
21                  performance measures that are endorsed --

22                  DR. WINKLER:  The first group that

1       you did in March, remember it was an ICU  
2       mortality measure

3                   MEMBER JOHNSON:    So don't we have  
4       -- you know, we're saying we need to have  
5       something to give this a home.  We have an ICU  
6       mortality measure.

7                   CO-CHAIR DUBOW:    The idea of a  
8       home is that it would be subsequent home.  
9       Because that ICU measure sits in this home.

10                  MEMBER JOHNSON:    Right.

11                  CO-CHAIR DUBOW:    And it's under  
12       consideration now.  So it doesn't help.  They  
13       live in the same place.

14                  MEMBER JOHNSON:    No, but I'm  
15       talking about as far as patient safety and  
16       defining risk, you've got a measure that  
17       captures what we're talking about until you  
18       refine the specific granularity of this  
19       measure; that's where our TAP was that you  
20       would capture this as a best in class by some  
21       other measure right today.

22                  MEMBER YAWN:       I don't think that

1 you do have a full measure of it by looking at  
2 only ICU mortality. Because as somebody who  
3 is from the rural hospital world, I want to  
4 know did I send the patients fast enough and  
5 make decisions quickly enough and things like  
6 that. And that won't be captured in just an  
7 ICU mortality if they don't use transfer and  
8 all those other things.

9 So this is I think different than  
10 some of the other ICU mortality because it's  
11 going to look at what I did in the first  
12 hospital and what it had to do with the  
13 second, as I understand it. And I think  
14 that's an important way to look at it.

15 CO-CHAIR FLEISHER: But I would  
16 actually argue my biggest concern because we  
17 spend a lot of time now talking about  
18 preventable mortality in the four quadrants,  
19 as opposed to someone who ended up on hospice  
20 and then expired. And I'm very concerned  
21 about the robustness of this measure because  
22 if they chose hospice because their end stage

1 liver disease, that's not a good measure of  
2 quality.

3 MEMBER JOHNSON: How would you  
4 assign the rural hospital assessment of  
5 management when it gets transferred? Was it  
6 that you managed them too poorly before you  
7 transferred them or you held them too long, or  
8 they managed them poorly when they got there?  
9 See, that's what I'm saying is it doesn't  
10 capture when you got to a claims-based  
11 extraction of this measure.

12 No question this is important and  
13 all this information needs to be ascertained,  
14 but just at present point it just doesn't seem  
15 to be accurate.

16 MEMBER YAWN: So Patrick and I  
17 agree, it's a discussion starter.

18 CO-CHAIR FLEISHER: So I'm just  
19 actually curious. Is there a way to use any  
20 kind of hospice codes in this measure to pull  
21 out those patients? Because that's one way to  
22 actually change the measure.

1 DR. RAMANO: Yes, it's an  
2 important question. And the problem is that  
3 there are hospice codes that are available in  
4 Medicare claims data in certain claims  
5 datasets. But the hospice eligibility code is  
6 really tied to Medicare eligibility, and  
7 therefore it's not available in other  
8 administrative datasets.

9 There is a palliative care V code  
10 which vendors are using as the basis for  
11 exclusion or stratification. But we're very  
12 concerned about using that because palliative  
13 care can cover the waterfront in terms of a  
14 wide variety of different services. It doesn't  
15 necessarily translate to terminal or end-of-  
16 life care.

17 DR. BOTT: Yes. This is John  
18 Bott.

19 When I was on the CSAC this very  
20 question came up with CMS 30 day measures  
21 about can we through codes address folks  
22 receiving palliative care. And CMS' positions

1 and the documentation that CSAC had was that  
2 that palliative care code is just too unstable  
3 to consider on risk adjustment.

4 A couple of other thoughts is  
5 beginning the first of this year a point of  
6 origin code was introduced to identify folks  
7 coming from hospitals, and I think that just  
8 partially addresses the questions. So it's an  
9 exciting opportunity to build in the point of  
10 origin code to remove people from probably  
11 primarily mortality measures. So, you know,  
12 to count somebody who came in or who is from  
13 an active hospice care program.

14 And the one other thought is often  
15 times when people go from receiving active  
16 care in a hospice to now be cared for in a MLA  
17 situation, a discharge claim is generated and  
18 the person is transferred to assume that. And  
19 now that discharge phase view is ending, so  
20 they don't actually appear in a discharge  
21 hospital claim as a death. It's noted as a  
22 transfer out, and then admitted to that

1 hospital or admitted elsewhere into a  
2 admission home.

3 Just a couple of thoughts.

4 MEMBER JEWELL: David, can I just  
5 get some clarification? Are you making a case  
6 for not even endorsing it on a time limited  
7 basis? I'm trying to get a little clarity on  
8 your perspective there. Because I think what  
9 I'm hearing you say is that the measure is  
10 currently specified, the validity testing  
11 included, is still not strong enough to get at  
12 what we need to get it. Am I understand you  
13 property?

14 MEMBER JOHNSON: Yes.

15 MEMBER JEWELL: Okay.

16 MEMBER JOHNSON: Yes.

17 CO-CHAIR DUBOW: Are there any  
18 other questions. Is there any discussion?  
19 Helen?

20 MS. BURSTIN: I'm sorry. And I  
21 apologize, I missed the beginning since I was  
22 with the nursing home group.

1                   So this current GI hemorrhage  
2 mortality is part of the endorsed composite  
3 that NQF has endorsed of mortality for  
4 selected medical conditions? So I was just  
5 checking just so I don't make a strange  
6 disconnect here.

7                   So, I guess my question is  
8 regardless of sort of what you do with this as  
9 a stand-alone, we'll also just need to update  
10 this measure for the sake of this composite,  
11 which I believe is also intended for posting  
12 at a hospice center.

13                   MEMBER HOPKINS: What's the  
14 composite? I'm sorry.

15                   MS. BURSTIN: I'm sorry. It's a  
16 composite of mortality for selected medical  
17 conditions. These are the IQI composite.  
18 Correct me if I'm wrong AHRQ folks or Patrick.  
19 But it includes AMI mortality, CHF mortality,  
20 acute stroke mortality, GI hemorrhage  
21 mortality, hip fracture mortality and  
22 pneumonia mortality.

1                   MEMBER JOHNSON: But, Helen, this  
2 is specifically esophageal hemorrhage.

3                   MS. BURSTIN: No, I get that.  
4 That's why I was doing a little sidebar  
5 review. But I'm trying to understand is this  
6 measure essentially to replace what's in this  
7 composite then, Patrick or John, do you know?

8                   DR. RAMANO: It is true that the  
9 two measures that are currently in the NQF  
10 endorsed composite that off not been  
11 separately endorsed, are the two measures  
12 under consideration today. But of course, you  
13 know, NQF is still free to make its own  
14 decision about these as stand-alone measures.

15                   The GI hemorrhage mortality  
16 measure is undergoing revision. And so we  
17 submitted the definition which is the  
18 anticipated future definition and not actually  
19 the current definition that's included in the  
20 NQF endorsed composite.

21                   So we apologize for that  
22 confusion. It's a versioning issue.

1 CO-CHAIR DUBOW: Okay. But then  
2 the measure in the composite is not what we  
3 are now considering?

4 DR. RAMANO: Technically, no.

5 CO-CHAIR FLEISHER: But it will  
6 be.

7 CO-CHAIR DUBOW: Well, it will be.  
8 But this is not -- this is the updated measure  
9 that they want to fold into the composite?

10 DR. RAMANO: Right. Depending on  
11 the NQF process for annual updating, for  
12 example, we would have to present the changes  
13 to the NQF endorsed composite --

14 CO-CHAIR DUBOW: Right.

15 DR. RAMANO: -- to the NQF. And  
16 one of those changes would be that the GI  
17 hemorrhage mortality component of the  
18 composite would be redefined in this way.

19 CO-CHAIR DUBOW: And when is that  
20 composite going to be maintained?

21 MS. BURSTIN: It was just  
22 endorsed.

1 CO-CHAIR DUBOW: Yes, right. But  
2 do we have a calendar for -- I'm only looking  
3 for a home again.

4 DR. RAMANO: John knows the  
5 calendar.

6 CO-CHAIR DUBOW: No, no, this is  
7 an NQF question.

8 MS. BURSTIN: This wouldn't link  
9 for you as a measure change. You'd have to  
10 get review --

11 CO-CHAIR DUBOW: Right.

12 MS. BOSSLEY: Right. It would go  
13 through an ad hoc review.

14 CO-CHAIR DUBOW: Anyway, so --

15 MS. BURSTIN: You know, the  
16 question would be either way we're going to  
17 have to do this as an ad hoc review because  
18 it's part of an existing composite regardless.  
19 So we're going to have to get on this sooner  
20 rather than later because I believe it's  
21 intended -- I'm just pulling up the list of  
22 measures intended for Hospital Compares. If

1 it's included in the Hospital Compare in its  
2 old iteration, then it's pretty important that  
3 this group look at it in its new iteration and  
4 feel comfortable with it.

5 MEMBER GERBIG: Yes. Those  
6 preview reports were posted. I just looked at  
7 that.

8 MS. BURSTIN: Yes.

9 MEMBER GERBIG: Just what? About  
10 a month ago. And they're due to be posted on  
11 Hospital Compare sometime during the fourth  
12 quarter of this year. Yes.

13 MS. BURSTIN: So I'm just saying  
14 the timing of this would suggest that we  
15 should do this sooner rather than later. So  
16 I guess it might just be a question back to  
17 AHRQ of who --

18 CO-CHAIR DUBOW: If it's a little  
19 bit later, would you have had time in the  
20 later to answer some of the issues that we are  
21 posing now so that when it comes back for that  
22 composite review, it would be more complete

1 with respect to the data? Do you understand?  
2 You do. I know you understand what I'm asking.

3 DR. RAMANO: Yes. I mean,  
4 obviously, I can't speak to -- I think it's  
5 unlikely that we would have information to  
6 address the validity issues that the TAP has  
7 raised within a few months. So there you have  
8 some issue of reconciling the previous  
9 endorsement of the overall composite with the  
10 fact that we are moving to a new version of  
11 this component to the composite based on more  
12 recent research as well as input from the  
13 users and stakeholders.

14 CO-CHAIR DUBOW: I don't  
15 understand having a time limited measure  
16 folded into the composite. I mean, I think  
17 this is a question for the staff to tell us  
18 what to do, frankly.

19 MS. BURSTIN: Yes. I actually  
20 think this is a discussion we need to have  
21 offline with AHRQ and figure out what our  
22 options really are.

1 I mean, literally the composite is  
2 intended, not this individual measure. I just  
3 looked it up. This individual measure is not  
4 intended for posting on Hospital Compare. But  
5 this particular mortality for the selected  
6 medical conditions is slated to be on Hospital  
7 Compare in December 2010. That's pretty soon.

8 So I guess as long as we have this  
9 brain trust here, I'd like to see how much of  
10 this we actually could get done and get the  
11 measure looked at.

12 It's also not clear to me what's  
13 going to be posted in December of 2010; is it  
14 the old one or the new one?

15 So I just think that there's  
16 enough uncertainty that possibly we could just  
17 take this offline and bring it back to the  
18 group.

19 DR. BOTT: Well, this is John  
20 Bott.

21 What is being calculated currently  
22 to be tested in Hospital Compare in December

1 of this year is the version 4.1 version of all  
2 these measures contained in the composite  
3 which is currently out now.

4 MS. BOSSLEY: The old one or the  
5 new one?

6 DR. BOTT: I'm sure what you mean  
7 by "old one" or "new one." It's the version  
8 that's presently out that users had access to  
9 run in the two tier measures working on right  
10 now.

11 I think that the version that you  
12 have in your hands today is a potential future  
13 version.

14 CO-CHAIR DUBOW: I think that  
15 regardless of the outcome of any decision we  
16 make today, when this goes to public comment  
17 this has to be very clear to the public  
18 because they need to know what they're voting  
19 on. And it's hard to imagine that the timing  
20 issue is not going to have an impact on some  
21 of the comments. That's my personal  
22 observation.

1                   So, what's staff's druthers? That  
2 we vote on this now? That you have a  
3 conversation offline with AHRQ?

4                   We have had a conversation, so  
5 that if we voted on this, we could vote on  
6 this on email. Because we have had the  
7 discussion and it's now just a matter of  
8 reaching a decision.

9                   How does that grab you?

10                  MS. BURSTIN: I just think it  
11 would be better if we talked to AHRQ directly,  
12 have an answer that all the contractors feel  
13 comfortable with that we can share with you  
14 and then make the decision.

15                  CO-CHAIR DUBOW: Okay. So we will  
16 just defer consideration of this, unless  
17 there's any objection to the contrary. And we  
18 will hear from staff shortly.

19                  MEMBER JOHNSON: Do you think  
20 maybe we would resend it to the TAP first so  
21 we sensitize it before it's presented back to  
22 the Steering Committee?

1 MS. BURSTIN: That would be great.

2 CO-CHAIR DUBOW: That's a great  
3 idea.

4 MEMBER ROSEN: Can we be clear  
5 what we're asking from AHRQ in terms of  
6 further testing? I hear they're changing the  
7 denominator. I mean, this will come up next  
8 time. We would want the empirical testing --

9 CO-CHAIR DUBOW: On reliability.

10 MEMBER ROSEN: -- of the -- yes.  
11 Reliability of the new measure. And in terms  
12 of validity. And we're looking at comparing  
13 the administrative data with medical record  
14 review, is that correct? And are there any  
15 standards for that? I mean, are we looking at  
16 100 records, 200 records? I mean, do we have  
17 any sense of what we're asking?

18 I don't know if there's precedent  
19 on that.

20 MEMBER JOHNSON: I think that  
21 there's got to be a pro forma for validating  
22 testing that they use standardly, I would say,

1 but other standard means --

2 MEMBER AMARASINGHAM: Will the  
3 resubmission or the return of this measure  
4 include the DNR care aspect?

5 CO-CHAIR DUBOW: We could ask  
6 them.

7 MEMBER JOHNSON: I'm not sure you  
8 can get a DNR in a claims-based extraction.

9 CO-CHAIR DUBOW: Well John  
10 mentioned that new code.

11 DR. RAMANO: Yes. That was for  
12 patients who are actually --

13 CO-CHAIR DUBOW: In hospice?

14 DR. RAMANO: previously in a  
15 hospice.

16 CO-CHAIR DUBOW: Yes.

17 DR. RAMANO: And admitted from  
18 type of hospice program.

19 MEMBER AMARASINGHAM: Yes.

20 CO-CHAIR DUBOW: Right.

21 DR. RAMANO: So it doesn't fully  
22 address Dr. Fleisher's concern that there is

1 some patients that go into a terminal care  
2 program during a hospital stay when it's that  
3 the variceal hemorrhage is a manifestation of  
4 terminal liver disease.

5 CO-CHAIR DUBOW: And as I  
6 understand that issue, the concern is that we  
7 want to avoid needlessly aggressive treatment  
8 for terminal patients.

9 CO-CHAIR FLEISHER: But the  
10 question is whether your risk model captures  
11 that sufficiently. You said 66 percent  
12 increase risk. I mean, that gets to the  
13 transfer of risk adjustment and where did that  
14 number come from based on your data analyses  
15 from previous data of transferring  
16 comorbidities.

17 DR. RAMANO: Well, it's based on  
18 the admission source variable, which can be  
19 validated. But it's really two separate  
20 issues. So the issue of patients who are not  
21 admitted from another hospital but they're  
22 simply recognized to be terminal care during

1 a particular hospitalization for GI hemorrhage  
2 I think is a more difficult issue that we  
3 wouldn't be able to effectively address.

4 MEMBER JOHNSON: But again, the  
5 odds ratio that you designed at 1.6 for a risk  
6 adjustment for a transfer. So what we were  
7 struggling with was just dumping patients and  
8 referral bias of being the super sick tertiary  
9 referral center.

10 CO-CHAIR DUBOW: I think that we  
11 should continue the conversation offline in  
12 terms of exactly what questions we have. I  
13 think you have a general idea. But we can be  
14 more precise in conversations with staff and  
15 with you, David. And we can just expect to  
16 see this come back to us by way of email so  
17 that we can vote.

18 Okay. Can we move on to the two  
19 bariatric surgery complication measures?

20 Is Ingenix back on the phone or  
21 still on the phone?

22 DR. SCHWEBKE: Yes. This is

1 Schwebke. I'm still here.

2 CO-CHAIR DUBOW: Hi. Okay.

3 DR. WINKLER: The next two  
4 measures are virtually identical with the  
5 exception of the time frame. 2-008 is  
6 bariatric surgery and complications during the  
7 hospitalization or within 180 days of  
8 discharge. The second measure, 2-012 is  
9 bariatric surgery complications during the  
10 hospitalization or within 30 days of measure.  
11 So, essentially it is identical except for the  
12 time frame.

13 Again, this was discussed by the  
14 GI TAP.

15 MEMBER JOHNSON: So important to  
16 measure consensus of the TAP. The 180 days we  
17 felt was really the real kind of conundrum  
18 because it was such a broad net to cast.  
19 Recognizably, too, all the data that were  
20 presented are non-Medicare patients. So we  
21 have a focus of where the data analysis has  
22 come from.

1           The complication rates, no  
2 question. Important to capture for any  
3 surgical intervention.

4           But the 180 days, the weakness of  
5 the time frame was really troublesome for the  
6 TAP because there are just so many  
7 comorbidities and complications that can occur  
8 just in the at-risk population and we didn't  
9 have any balance for that against the norm of  
10 risk complication against that population,  
11 which are typically comorbid metabolic  
12 syndrome type patients.

13           And then how that was captured was  
14 still another question. Because they're  
15 identified by the claims code, so the  
16 definition and standardization of those  
17 diagnoses wasn't really as clear.

18           Management among different  
19 populations, too, and different systems would  
20 be an issue because patients may come in on  
21 one system and be captured in another, in  
22 particular if it's a referral system. And the

1 accuracy of attributable risk adjustment for  
2 that to the system that really created the  
3 problem is still the question.

4 So the 180 day rule was very much  
5 of a trouble for us. The 30 day rule we felt  
6 more accurately within the same context of  
7 what we do with 30 day risk adjustments for  
8 other things that we've considered here and  
9 already endorsed.

10 There's some issues that's still  
11 in the comorbidities and complications that  
12 were troublesome, and in particular as  
13 gastroenterologists they didn't include GI  
14 bleed and the other one was hernias, which is  
15 a very common complication postoperatively.  
16 In the interpretable complications we would  
17 say that is a significant complication that  
18 requires frequently another intervention.  
19 Both of those were at least lacking from the  
20 definitions of attributable complications.

21 CO-CHAIR DUBOW: I just wonder  
22 whether we want to hear a response from the

1 developer before we go to you, Patch.

2 Kay?

3 DR. SCHWEBKE: Yes. I am here.

4 And would you like me to address  
5 this as the 180 day measure or you want me to  
6 address this more globally?

7 CO-CHAIR DUBOW: Yes, both.

8 DR. SCHWEBKE: Okay. Okay. Just a  
9 little bit of history. Initially we had built  
10 in, like this measure has been used for a  
11 couple of years now as the 30 day measure.  
12 And surely the comment that came forth from  
13 the technical advisory group about the 180  
14 measures is appreciated.

15 The reason we had extended it to  
16 180 days was because of some research that  
17 came out from Encinosa, et al. that had  
18 basically demonstrated that there may be  
19 different patterns of complications in shorter  
20 time periods versus longer time periods. And  
21 of course, the whole goal was to identify  
22 these folks for possible preventative

1 interventions as well as ability to offer  
2 disease management services. And in light of  
3 that article we realized that there might be  
4 value from our perspective of having a 180 day  
5 measure. So we wanted to bring both measures  
6 forward for you to consider. But certainly  
7 appreciate the limitations that have been  
8 articulated about the 180 measure.

9 As far as additional  
10 complications, we did look at the Encinosa  
11 article to expand our original complication  
12 list. We actually did not include the hernia  
13 and GI bleeds because based on that article  
14 those complications were relatively lower-  
15 prevalence, although we certainly had the  
16 ability and have the codes that actually were  
17 used in that study to add those complications  
18 if desired.

19 Also, we were really kind of  
20 focused on complications where there might be  
21 opportunities to prevent these complications.  
22 For example, DVTs and the known data about how

1 to use prophylactic measures to prevent the  
2 deep venous thrombosis.

3 So hopefully that addresses the  
4 180 day measure, but also might capture some  
5 of the things people might be thinking about  
6 the 30 measure also.

7 MEMBER JOHNSON: Recognizably as  
8 gastroenterologists GI bleed is not an  
9 infrequent diagnosis in post-bariatric  
10 patients.

11 In hernias it may be limited  
12 because of laparoscopic surgery, so they may  
13 be less than in typical abdominal surgeries.  
14 But still, a frequent complication.

15 The other thing is, and maybe you  
16 can update us, but the validation testing on  
17 the 180 days extraction was still pending at  
18 the time of the TAP.

19 DR. SCHWEBKE: The 180 day  
20 complication was 19.1 percent.

21 MEMBER JOHNSON: The reliability  
22 testing of your 180 day extraction?

1 DR. SCHWEBKE: Well reliabilities  
2 remain, again looking at a gold standard, like  
3 a chart review.

4 MEMBER JOHNSON: You told us at  
5 the time, I think there's a 12 million  
6 extraction analysis that was pending at the  
7 time of the TAP. Maybe you could just give us  
8 an update?

9 DR. SCHWEBKE: Yes, I'd be happy  
10 to. That is still pending. We're going to  
11 have our new updated benchmark projection.  
12 We'll end being closer to 15 to 18 million  
13 database, and that should be available  
14 sometime this summer, hopefully end of July.

15 MEMBER DELLINGER: Just a comment.  
16 I would think the 180 days would be very  
17 important if you could accurately capture all  
18 the information about the patients. And I  
19 have a strong skepticism that you could  
20 possibly get accurate capture of 180 days  
21 except for Medicare patients. And if you made  
22 this a Medicare patient measure, then you

1 walleyed in fact have a chance for accurate  
2 180 day capture because you would have all the  
3 claims data available.

4 And while that's variable around  
5 the country, I know in our medical center half  
6 of our bariatric surgery is Medicare. So it's  
7 certainly a very significant patient  
8 population.

9 DR. SCHWEBKE: Yes. As part of  
10 the inclusion criteria we do require that  
11 people have active medical enrollment  
12 throughout the entire period. So I'm not  
13 worried about our ability to capture as  
14 individuals. If those individuals don't have  
15 the amount of enrollment eligibility that we  
16 need, then they actually are eliminated up  
17 front from the measure.

18 And also I just want to be clear  
19 that although the testing database that we use  
20 is a commercial database that is on these  
21 individuals younger than 65, I just want  
22 people to be aware that this certainly is a

1 measure that we believe could be used in a  
2 Medicare population 65 and older.

3 MEMBER DELLINGER: Well, almost  
4 all the bariatric Medicare patients are under  
5 the age of 65 anyway.

6 DR. SCHWEBKE: Yes, I would agree  
7 with that.

8 MEMBER DELLINGER: But another  
9 question I have is whether there is risk  
10 adjustment for band versus bypass and  
11 laparoscopic versus open? Because if there  
12 isn't, I think the measure is completely  
13 unacceptable. The risk of a band procedure is  
14 literally one-tenth the risk for a bypass  
15 procedure, even when they're both done  
16 laparoscopically. And there's no way I could  
17 consider this if there's not risk adjustment.

18 DR. SCHWEBKE: That was an issue  
19 that was actually addressed in the Encinosa  
20 study, which was actually published in May of  
21 2009.

22 And in this study we were seeing

1 trends and the differences of complications  
2 for the different types of procedures that  
3 were being used on bariatric surgery. But it  
4 still wasn't entirely clear from their  
5 research that there could be a definitive  
6 statement said at this point about which was  
7 the best procedure.

8           And I think one of the points in  
9 their article was that it we would need to  
10 compute to understand complication data  
11 because it really is missing from the national  
12 arena despite the fact that bariatric surgery  
13 is really significantly increasing as a  
14 procedure. And so the ability to capture  
15 complications and then to look at things like  
16 the type of procedures that was done, I think  
17 will actually contribute to our knowledge and  
18 we can stay with clearly identifying the best  
19 approaches.

20           MEMBER DELLINGER: That's  
21 absolutely right, but the point is the  
22 procedures are radically different.

1           The band is much less dangerous  
2           and much less effective. And unless you  
3           report them separately, your data is expletive  
4           deleted.

5           DR. SCHWEBKE: And my only comment  
6           is that I say that generally the literature is  
7           trying to define what you just stated.

8           MEMBER JOHNSON: The other  
9           question that the TAP wrestled with those,  
10          your definition of background risk in a high  
11          risk population with comorbidities for  
12          assigning what you call as a complication, how  
13          did you attribute those?

14          DR. SCHWEBKE: How did we  
15          attribute those? This measure is does not  
16          have attribution relating to the measure  
17          itself. What we do with our measures is we  
18          develop the methodology for identifying  
19          certain aspects of care and then we provide  
20          actually several output files that identify  
21          different ways that users could attribute the  
22          measures. For example, we can identify who

1 the surgeon was that performed the procedure,  
2 who had the greatest number of contacts with  
3 the patients. We basically provide those  
4 options to our users because our users have  
5 different needs. And then the user can select  
6 their own attribution methodology.

7 So that itself is not built into  
8 the measure so that the customers have the  
9 flexibility to do that how they're planning to  
10 use this measure.

11 CO-CHAIR FLEISHER: I have a  
12 question slightly different than Patch but  
13 along the same lines.

14 I mean, bariatric surgery at our  
15 different centers as well as the bariatric  
16 center in the suburbs who do 250 pound  
17 patients versus 600 pound patients. And that's  
18 very different. And the odds of having  
19 complications are hugely different.

20 So you can forget about the type  
21 of surgery, but without weight-based risk  
22 adjustment how do you compare the place that

1       advertises on the Jersey Turnpike to the major  
2       medical centers that are taking care of the  
3       600 pound patients? Have you addressed that  
4       at all since there's no risk adjustment?

5                   DR. SCHWEBKE: Well, we certainly  
6       about this as being an elective procedure.  
7       And as an elective procedure there is going to  
8       be some inherent risk adjustment that's going  
9       to take place.

10                   We also appreciate that data is  
11       really limited on complications that are  
12       taking place which also means that there is  
13       data limited on selection of patients that  
14       might reduce complications.

15                   So this is really a first national  
16       effort to capture some of this information so  
17       we can start defining some of the issues that  
18       you just raised.

19                   CO-CHAIR FLEISHER: So I also  
20       thought the American College of Surgeons has  
21       a registry. Because I mean bariatric surgery  
22       done in the suburbs that's a 24 hour stay or

1 even outpatient and monitoring the heavy  
2 patients, and you have very different  
3 populations. So I'm wondering do you know as  
4 far as ACS how does this compare to the ACS  
5 registry that's being developed?

6 DR. SCHWEBKE: Yes. I can't  
7 comment on that because I haven't seen that  
8 information published in any peer review  
9 journal. If I did have access to that  
10 information, you know I think we'd all be very  
11 interested in that.

12 CO-CHAIR DUBOW: Pat?

13 MEMBER HAUGEN: Yes. Just comments  
14 relative to the 180 day question that we've  
15 discussed. And I can't comment on the rest of  
16 the things. But I think from a patient  
17 perspective I think 180 days is important.  
18 And especially with the advent of direct-to-  
19 consumer advertising for this.

20 And as we know, these patients  
21 come in with they are a whole series of issues  
22 and complications coming in the door. So if

1       there is a complication within 180 days, I  
2       think it needs to be reported against the  
3       intervention. Now all the other specifics  
4       need to be taken care of. You can't say well  
5       this one was more serious than the other,  
6       maybe the weight and type of thing. But I  
7       think from a patient perspective 30 days is  
8       not adequate. 180 days is. And I think  
9       anything that happens within that window.

10               I mean, if you look at from a  
11       cancer patient, you know an AML patient that  
12       goes in for a transplant are monitored and  
13       looked at as a total patient for at least 180  
14       days to make certain they survive. And I  
15       think this patient population is a little  
16       different.

17               CO-CHAIR DUBOW: I think the  
18       meaningfulness of the longer window is clearly  
19       obvious for patients.

20               Barbara?

21               MEMBER YAWN: And I think we might  
22       be mixing some terms with risk adjustment and

1 perhaps stratification.

2 I do not want to risk adjust. I  
3 want to say okay, patients that are three  
4 times their ideal body weight versus two  
5 times, perhaps, verses something.

6 So I don't think any of you really  
7 mean risk adjustment. And I have no problem  
8 if they report that and we can look at that.  
9 And if they report what kind of procedure and  
10 we can look at that. But I want to know the  
11 whole thing because you chose to do that 600  
12 pound patient. I believe you are there and  
13 responsible for whatever happens with that  
14 person.

15 And I know you're not trying to  
16 dodge that. But we keep getting into all these  
17 funny things.

18 CO-CHAIR FLEISHER: Stratification  
19 would be ideal.

20 CO-CHAIR DUBOW: But can you get  
21 those data elements from claims? Can you get  
22 weight from claims?

1 MS. BURSTIN: Just one additional  
2 question. I mean, what if the measure could  
3 at least be stratified as a starting point?  
4 And again, there are no measures in this area  
5 and it's a huge growth area. If you could at  
6 least stratify the codes by a laparoscopic  
7 procedure versus surgical procedure, would  
8 that be a reasonable points or are there still  
9 additional --

10 MEMBER JOHNSON: No. They're  
11 virtually all start intention is laparoscopic,  
12 and there's just no way to stratify data.

13 MEMBER DELLINGER: That's actually  
14 not true. There are plenty started open if  
15 you sit in a referral center where they send  
16 the cases that can't be done laparoscopically.

17 CO-CHAIR DUBOW: So it sounds as  
18 though we're entertaining a possible condition  
19 here, or at least a question to ask the  
20 developer whether a couple of these variables  
21 can be reported in a stratified way. It  
22 sounds as though one of the important ones

1 that we mentioned around weight cannot be,  
2 though, because claims data don't have weight  
3 reported in them. But the nature of the  
4 procedure certainly is available.

5 DR. SCHWEBKE: Actually, all  
6 claims are identified differently in  
7 categories. I think the question would be  
8 whether or not those are reported. And it  
9 might be that who are doing bariatric surgery  
10 because some of the requirements that you need  
11 follow to validate the fact, that this is an  
12 actual an individual who meets certain  
13 requirements. So, you know, we could  
14 certainly look to see the frequency of  
15 submission of these calls in our bariatric  
16 surgery population.

17 And then as far as procedures, my  
18 understanding is that granularity for the type  
19 of procedure is there. That if there were  
20 kind of groups of procedures that we wanted to  
21 identify for the purpose of stratification,  
22 that's certainly something we could explore.

1                   MEMBER YAWN: The other thing that  
2                   a lot of, and I think most -- I can't talk  
3                   about all the suburban ones, but most of them  
4                   have bariatric registries now and you can get  
5                   weight from the bariatric registry.

6                   And I understand. I'm just saying  
7                   that this is something we may make a comment  
8                   back to the developer that in addition to  
9                   adding as a condition I'm going to say add the  
10                  ability to stratify by surgical surgery, we  
11                  comment back to them we think it walleys be  
12                  very important to be able to stratify by  
13                  weight category in some way. And we would  
14                  suggest that they figure out how to do that as  
15                  they update their measure.

16                  DR. SCHWEBKE: And I think this is  
17                  some great recommendations.

18                  CO-CHAIR DUBOW: The one other  
19                  question that I would pose to the Committee is  
20                  whether we think that the testing is adequate  
21                  for a non time limited endorsement. I'm  
22                  seeing a lot of -- I'm hearing a lot of nos.

1                   So when we consider this measure  
2                   it sounds like it's the Committee's pleasure  
3                   to consider this only for time limited  
4                   endorsement and with conditions attached would  
5                   include stratifying by weight and by  
6                   procedure.

7                   Is there anything else?

8                   MEMBER HOPKINS: I think we said  
9                   by procedure for sure. And they would try to  
10                  come up with a way to do it by weight, but  
11                  they may not be able to.

12                  MEMBER YAWN: Yes.

13                  CO-CHAIR DUBOW: To qualify the  
14                  condition, recognizing that --

15                  MEMBER HOPKINS: You know, BMI  
16                  might be easier to capture this way. That  
17                  might work

18                  MEMBER JUSTER: You can't get it  
19                  from claims data.

20                  MEMBER HOPKINS: Even for these  
21                  surgical patients?

22                  MEMBER JUSTER: No.

1                   MEMBER JOHNSON: See, Joyce, I  
2                   just have to take a gut check from the TAP.  
3                   The point is, is that NQF has very strict and  
4                   high quality assessment processes to evaluate  
5                   measures. So to respond to we need a measure  
6                   in this space and put a measure that we think  
7                   potentially has so many inaccuracies and  
8                   stratification biases undermines, I think, the  
9                   credibility of the NQF process.

10                   And so I'm much more comfortable  
11                   in saying let's capture it appropriately and  
12                   correctly under surgical measures or other  
13                   parallel processes rather than to say we need  
14                   something to have it on a checklist for  
15                   bariatric surgery.

16                   CO-CHAIR DUBOW: So I think that's  
17                   something to consider as we vote. I mean, you  
18                   know I think that's an important consideration  
19                   and we have the TAP's review. But I think in  
20                   terms of considering it, we have that.

21                   Iver?

22                   MEMBER JUSTER: Under what

1       circumstances would we put forward a measure  
2       that required using -- I mean, there are codes  
3       for BMI ranges. I don't know if they're fine  
4       enough for this. But let's say they were. So  
5       the measure can only be used if you include  
6       the codes, and therefore we are encouraging  
7       using the codes: Under what circumstances  
8       would we put forward a measure like that?

9                   CO-CHAIR FLEISHER: One of the  
10       problems I have is actually ACS has the  
11       registry. And Barbara is correct. So if  
12       there's actually a better way to do this that  
13       has not been submitted, it would unfortunate  
14       to endorse a less than best in class.

15                   MEMBER YAWN: This is what we  
16       have.

17                   CO-CHAIR FLEISHER: We're going to  
18       ask you to vote on this.

19                   MEMBER NEWCOMER: There also is no  
20       guarantee that the ACS would ever release that  
21       data.

22                   CO-CHAIR DUBOW: This is a measure

1 that we have before us. It would be nice to  
2 have another measure with a different data  
3 source. That's not what we have right now.

4 MS. BURSTIN: And just to respond  
5 to Dave. I'm not saying that we want to bring  
6 this in if it's low quality. I think the  
7 issue would be if there's additional  
8 information you would gain by, in fact, seeing  
9 what they could come up with the stratified  
10 measure, it would go back to you again to take  
11 a look at based on the conditions. It  
12 wouldn't be a slam dunk. Conditions means  
13 they have to bring it back. You take another  
14 look at it and see if it works. If it doesn't  
15 work or if they can't do it and satisfy the  
16 remaining concerns that you've listed, it  
17 won't go through. But the question is are you  
18 interested enough to at least be able to see  
19 if they stratified the measure by type of  
20 procedure, would it maybe something that you  
21 think would be a good starting point, at least  
22 to something that you could grab in the

1 interim since there's nothing else currently  
2 publicly reported in this space.

3 MEMBER JOHNSON: Stratification of  
4 the measure --

5 MS. BURSTIN: Type of procedure.

6 MEMBER JOHNSON: -- open versus  
7 laparoscopic.

8 MS. BURSTIN: Yes.

9 MEMBER JOHNSON: And I will tell  
10 you, despite Patrick's comment, it's not  
11 uniform and it reflects institutional bias on  
12 how these things are done.

13 We screen over a 1,000 bariatric  
14 patients a year, due 450 of the operations.  
15 And our intention, we just wrote a book on  
16 bariatric issues. It's not standard. So that  
17 would be a selection bias against an  
18 institution.

19 So if you took that as your  
20 intermediate assessment of BMI or other  
21 parallel risks, I think you've made a major  
22 assumption which is probably not present.

1 CO-CHAIR DUBOW: Amy?

2 MEMBER ROSEN: So I'm not sure  
3 where this puts us, but I have two concerns  
4 about the measure.

5 One is that I understand that it's  
6 important from a patient perspective to look  
7 at 180 days. But I think from a claims data  
8 perspective we're just having too much lead  
9 time in there to be able to control for all  
10 the many things that may be associated with  
11 complications. That when we look back at the  
12 bariatric surgery there may be just a million  
13 things that have happened to the patient that  
14 we can't control for.

15 So I think 180 days I'm really not  
16 comfortable with that as an outcome, per se.  
17 I'd be more comfortable with 30 days of  
18 raising claims.

19 So my second point is that I guess  
20 maybe I'm alone in this, but I do feel that  
21 some type of basic risk adjustment for this  
22 outcome is appropriate here. Because it's not

1 just the type of procedure that may affect the  
2 outcome, but it may be patient related factors  
3 that are really important.

4 MEMBER JOHNSON: It's highly  
5 important, yes.

6 MEMBER ROSEN: So I'm sort of  
7 echoing that. And I think that we could  
8 garner from the literature some patient  
9 related factors that would be appropriate to  
10 use from claims data.

11 So I would make those two  
12 statements.

13 CO-CHAIR DUBOW: I heard the  
14 developer say in his introduction that they  
15 didn't comfortable with easy ways to risk  
16 adjustment administrative data. So I don't  
17 know whether that takes it off the table from  
18 their perspective or not, but that's what I  
19 heard in response.

20 MEMBER ROSEN: I heard it too.

21 CO-CHAIR DUBOW: Yes. Okay.

22 Patch, and then we need to wrap

1 this one up.

2 MEMBER DELLINGER: I'm actually --  
3 if we could get an adequately stratified and  
4 possibly risk adjusted measure, I would  
5 strongly support the 180 days. And while  
6 these are complicated patients to which many  
7 things can happen in 180 days maybe not  
8 related to the operation, differences would be  
9 important.

10 CO-CHAIR DUBOW: Yes.

11 MEMBER DELLINGER: You know, a  
12 stratified group from one area that has a high  
13 rate and the same stratified group from  
14 another that has a lower rate, I think that  
15 would be significant.

16 There are always going to be some  
17 complications that are not necessarily --

18 CO-CHAIR DUBOW: Okay. So am I  
19 hearing that voting on this, and we need to  
20 vote on the 30 and the 180 separately, is that  
21 right?

22 DR. WINKLER: Correct.

1 CO-CHAIR DUBOW: So are we adding  
2 I think a third condition now to go back that  
3 we do want to ask the measure developer to  
4 entertain the addition of some kind of risk  
5 adjustment ---

6 CO-CHAIR FLEISHER: And  
7 stratification.

8 CO-CHAIR DUBOW: No, no. This is  
9 the third one to see what they can do to  
10 address the patient factors that we've heard.

11 MEMBER DELLINGER: There are data  
12 you can get from administrative data that  
13 walleyed effect risk: Diabetes, sleep apnea,  
14 pulmonary hypertension.

15 CO-CHAIR DUBOW: Okay.

16 MEMBER DELLINGER: These are  
17 things that we see routinely in this patient  
18 population that at least should be looked at,  
19 I would think.

20 CO-CHAIR DUBOW: Okay. So the  
21 case heard that conversation. And so I hear  
22 stratification on the basis of procedure, risk

1 adjustment for patient factors and the third  
2 one was --

3 MEMBER JOHNSON: Weight. But BMI,  
4 actually.

5 CO-CHAIR DUBOW: Yes. You think  
6 that would be wrapped in? Okay.

7 CO-CHAIR FLEISHER: Comorbidities  
8 may be the way to actually get around the fact  
9 that you probably can't get weight. So if you  
10 actually looked at the number of  
11 comorbidities, that may --

12 MEMBER JOHNSON: I'm not that you  
13 can say that there's not a threshold effect  
14 for comorbidities and then beyond that you  
15 super select risk. So you have a 300 pound  
16 that's got sleep apnea, diabetes, metabolic  
17 syndrome but is that patient at 600 pounds  
18 more a risk for DVT, pulmonary complications.

19 CO-CHAIR DUBOW: Okay. So the  
20 developer has heard the conversation. We are  
21 going to vote first on the 180 day criteria  
22 and I think let's go.

1                   MEMBER HOPKINS: So you're going  
2 to remind us what the conditions are that we  
3 just--

4                   DR. WINKLER: Well, let's do the  
5 criteria first.

6                   CO-CHAIR DUBOW: Okay.

7                   MEMBER HOPKINS: Was it presented  
8 or what?

9                   CO-CHAIR DUBOW: Criteria are risk  
10 adjustment for patient factors.

11                   MEMBER AMARASINGHAM: This is a  
12 significant revision to the measure.

13                   CO-CHAIR DUBOW: Right. Okay. So  
14 should we vote the measure as it is and then  
15 consider some recommendations for the  
16 developer? Okay.

17                   DR. WINKLER: So for a measure of  
18 complications after bariatric surgery for 180  
19 days. The report is to measure and report.  
20 How many yes? Nineteen. And Lee you're  
21 recusing

22                   MEMBER NEWCOMER: I'm abstaining.

1 Yes.

2 DR. WINKLER: Yes. Okay.

3 Scientific acceptability of this measure as  
4 specified as submitted to you. All right. So  
5 completely meets the criteria. How many?

6 Partially meets the criteria?

7 Three.

8 Minimally meets the criteria?

9 Fourteen.

10 Not at all? Oh, that's right  
11 Brian's gone. Okay.

12 MS. BOSSLEY: But we have 19 on  
13 the list and now we have only 18.

14 DR. WINKLER: Lee's not voting.  
15 All right.

16 Let's try usability. Completely  
17 meets the criteria? No.

18 Partially? Fifteen.

19 Minimally? Three. Okay.

20 And not at all? Okay. That's the  
21 18. Okay. That's where we're at.

22 Feasibility. Completely? One.

1 Partially? Fifteen.

2 Minimally? Three.

3 And not at all, is there anybody?

4 Okay.

5 All right. Did you want to do

6 the--

7 CO-CHAIR DUBOW: Oh, you want to

8 vote?

9 DR. WINKLER: That's what I'm  
10 asking you.

11 CO-CHAIR DUBOW: Yes. Is there  
12 any public comment before we go for a vote.  
13 okay.

14 All those who are in favor of  
15 recommending for endorsement the 180 day  
16 bariatric surgery measure as-is. Right. As-  
17 is. All in those in favor?

18 MEMBER KEALEY: Are we doing time  
19 limited?

20 CO-CHAIR DUBOW: This is just as-  
21 is. No, this is as-is. It came as a non time  
22 limited. So this is straight. We could do

1 that separately.

2 All those in favor of the 180 day  
3 measure as it was specified and as it's  
4 presented?

5 MEMBER KEALEY: Sorry. Just a  
6 little clarity. So I'm in favor of a time  
7 limited. What do I vote now? What do I do  
8 now.

9 CO-CHAIR DUBOW: Do you want to  
10 separate this vote as a time limited one or  
11 not?

12 MEMBER YAWN: We voted on 09, we  
13 voted yes for the measure and then said for  
14 conditions.

15 CO-CHAIR DUBOW: Yes. That's  
16 exactly right.

17 MEMBER YAWN: And so I was now  
18 saying yes with no conditions is the vote at  
19 the moment.

20 CO-CHAIR DUBOW: Yes.

21 MEMBER YAWN: And then you're  
22 going to say yes with conditions if it's voted

1 down.

2 CO-CHAIR DUBOW: Yes, that's  
3 right. This is the measure as it's specified  
4 and it is not a time limited measure as it is  
5 specified.

6 So all those in favor of this  
7 measure as it appears in our materials? And  
8 all those who are opposed?

9 DR. WINKLER: I assume everybody  
10 else? Is there anybody abstaining.

11 MEMBER YAWN: Lee.

12 DR. WINKLER: Well Lee is  
13 recusing, right.

14 CO-CHAIR DUBOW: Okay. We have to  
15 the conditions.

16 DR. WINKLER: How quickly you  
17 forget.

18 CO-CHAIR DUBOW: Okay. SO do we  
19 have a vote on the conditions?

20 MS. BURSTIN: I think you need to  
21 vote just that you want to see a series of  
22 recommendations to bring the measure back.

1 And again, this is a pretty heavy lift  
2 condition. So I don't know if the measure  
3 developer can do it, but at least the question  
4 is do you want to offer it. And since it is  
5 a significance difference, definitely that  
6 include, that measure would then truly have to  
7 be time limited and be tested.

8 CO-CHAIR DUBOW: So you'll get if  
9 we see a response from the developer in a way  
10 that modifies the measure in accordance with  
11 the discussion, then it would be a time  
12 limited measure and then you'll have the  
13 opportunity to vote that way.

14 So I think staff has a pretty good  
15 handle on what it is, but you know we're  
16 talking about stratification under certain  
17 circumstances, risk adjustment for demographic  
18 factors. We're talking about trying to get  
19 weight in some way.

20 MEMBER JOHNSON: Type of  
21 procedure.

22 CO-CHAIR DUBOW: Type of

1 procedure. That's what I meant by  
2 stratification.

3 Is there anything else that I --

4 MEMBER HOPKINS: Actually, I'm  
5 worried we're being overly prescriptive here.

6 CO-CHAIR DUBOW: But we're not  
7 being prescriptive.

8 MEMBER HOPKINS: We want the  
9 developer to address risk.

10 CO-CHAIR DUBOW: Right.

11 MEMBER HOPKINS: Yes, he's heard  
12 all the conversation about it, it's got to  
13 there.

14 CO-CHAIR DUBOW: Right.

15 MEMBER HOPKINS: I don't want to  
16 build in right now that we're going to  
17 stratify by procedure, because I actually  
18 heard a good argument against it.

19 I don't want to build in that  
20 we're going to stratify on this and adjust on  
21 that. But they've got to address these issues  
22 and these factors.

1 CO-CHAIR DUBOW: I know the  
2 developer has heard the conversation and I  
3 know that the staff understands that. And we  
4 will see this conversation reflected back in  
5 the measure if it's possible for them to  
6 respond. So I think that's clear, David.

7 Okay.

8 MEMBER YAWN: Do we have to vote  
9 that we would want to even send it to them, or  
10 we just want to ignore it.

11 CO-CHAIR FLEISHER: Because then  
12 it would be a form of that would allow it to  
13 come back, right? if we affirm the vote?

14 CO-CHAIR DUBOW: All those in  
15 favor of making those recommendations to the  
16 measure developer?

17 CO-CHAIR FLEISHER: And then if  
18 they re-evaluate.

19 CO-CHAIR DUBOW: Well, if that  
20 happens as a matter of course.

21 CO-CHAIR FLEISHER: Iver, yes or  
22 no?

1 CO-CHAIR DUBOW: Okay. And no  
2 opposition. Okay. So let's do the 130 day --  
3 the 30 day.

4 DR. WINKLER: We do need to break.

5 CO-CHAIR DUBOW: As soon as we  
6 finish this measure we are going to have a  
7 break. So the quicker we do this, the sooner  
8 we'll have a break.

9 Reva, please.

10 DR. WINKLER: Yes. All right. On  
11 the 30 day bariatric surgery measure  
12 importance to measure and report. How many  
13 yes? Is that everybody?

14 Scientific acceptably of the  
15 measure properties. Completely meets  
16 criteria? Zero.

17 Partially meets criteria?

18 PARTICIPANT: Excuse me. We can't  
19 hear.

20 DR. WINKLER: This is the 30 day  
21 bariatric measure, scientific acceptability of  
22 the measure properties completing meeting.

1 Right, with zero.

2 So partially now.

3 MEMBER JOHNSON: Do you want to  
4 just go back for a second. There was a couple  
5 of issues for the TAP just on the 30 day  
6 versus 180.

7 CO-CHAIR DUBOW: Yes, I apologize.  
8 That would be a good idea.

9 MEMBER JOHNSON: Okay. I'm sorry.  
10 There were a couple of issues. The TAP felt  
11 much more inclined with a 30 day measure which  
12 was a lot more attributable to the surgical  
13 intervention.

14 The reliability testing for this  
15 measure was also still pending at the time, so  
16 maybe the measure developer can address that  
17 as well. The key issues was the number of key  
18 morbid disease states attributing risk. And  
19 then the extraction based on all the factors  
20 that we've just discussed as far as patient  
21 selection, what type of intervention they had  
22 and the biases that were already pertinent and

1 relevant to the 180 day discussion.

2 CO-CHAIR DUBOW: So similar  
3 concerns except less so about the shorter  
4 period of time.

5 MEMBER JOHNSON: Yes. Just wanted  
6 to qualify that their reliability testing for  
7 the 30 days measure was pending at the time as  
8 well. So just maybe the measure developer  
9 could address it.

10 CO-CHAIR DUBOW: Kay? That's who  
11 hung up.

12 MEMBER YAWN: They may have gotten  
13 cut off.

14 MS. BOSSLEY: We're checking it.

15 CO-CHAIR DUBOW: Patch, do you  
16 want to say something?

17 MEMBER DELLINGER: Just, I mean I  
18 think this measure is equally flawed and  
19 equally important as the 180.

20 MEMBER HAUGEN: Yes. It's the same  
21 importance.

22 CO-CHAIR DUBOW: Let's continue.

1 DR. WINKLER: All right. We're  
2 back on scientific acceptability of the 30 day  
3 bariatric surgery measure. Completely meets  
4 criteria. Zero before any changes.

5 Partially meets criteria? I get  
6 nine.

7 Minimally? That's seven.

8 Not at all? None.

9 Is anybody abstaining, not voting.

10 MEMBER NEWCOMER: I'm still out.

11 DR. WINKLER: Right, you're still  
12 out. Brian's still out. And Amy's out.

13 There's it. Okay. Yes.

14 Usability. Completely meets  
15 criteria for usability? Seeing zero.

16 Partially meets criteria for  
17 usability?

18 Minimally meets criteria? Three.  
19 Okay.

20 Not at all? Okay.

21 And now Amy's back. Okay.

22 Feasibility. Completely meets

1 criteria? One.

2 Partially meets criteria?

3 Seventeen. Okay.

4 Minimally? I don't think there  
5 was anybody. Okay.

6 So recommendation to you.

7 CO-CHAIR DUBOW: Okay. So we'll  
8 vote up or down and then vote on making the  
9 same conditions, okay?

10 All those in favor of recommending  
11 this measure for endorsement as it is  
12 presented? All those opposed?

13 DR. WINKLER: That's everybody.

14 CO-CHAIR DUBOW: All those who  
15 favor making the same relevant recommendations  
16 to the developer?

17 DR. WINKLER: That's everybody. Is  
18 there anybody not jumping in on this? Okay.

19 CO-CHAIR DUBOW: Okay. So we've  
20 earned a break, right? And we'll reconvene,  
21 I have 11:10, is that -- so we'll be back at  
22 25 after 11:00? Okay. Thank you.

1                   (Whereupon, the foregoing matter  
2 went off the record at 11:13 a.m. and resumed  
3 at 11:37 a.m.)

4                   CO-CHAIR DUBOW: And the Chair of  
5 the cancer tab is Lee. So we have the imaging  
6 measures -- I mean the timeliness measures and  
7 then we have the FACIT, is that how you  
8 pronounce.

9                   DR. SCHWEBKE: Excuse me. This is  
10 Kay Schwebke from Ingenix. Are we done with  
11 the bariatric surgery measures.

12                  CO-CHAIR DUBOW: Oh, I apologize.  
13 Yes, we are done.

14                  You got cut off, I think.

15                  DR. SCHWEBKE: Well, I was when I  
16 was told there was a break. So I wasn't sure  
17 if you were coming back to it.

18                  CO-CHAIR DUBOW: Okay. Sorry.  
19 Well, you're welcome to listen to the  
20 discussion of the cancer measures.

21                  DR. SCHWEBKE: Okay. Thank you.  
22 Thank you so much.

1 CO-CHAIR DUBOW: Okay. So the  
2 first one we're going to do is 002-010 which  
3 is the imaging timeliness of diagnostic  
4 mammograms. Okay.

5 CO-CHAIR DUBOW: Did he say okay  
6 honey?

7 MS. BOSSLEY: Heidi.

8 CO-CHAIR DUBOW: Oh, just  
9 checking. I was going to have a talk with  
10 David.

11 MS. BOSSLEY: Okay. Am I red?  
12 Okay.

13 So this measure is imaging  
14 timeliness of care. It measures the time in  
15 business days required between the diagnostic  
16 mammogram which identifies a suspicious lesion  
17 and the day that the biopsy actually occurs.

18 Just in general, the TAP had a  
19 discussion primarily on importance and whether  
20 this measure really, first of all, had a  
21 demonstrated link to an outcome because they  
22 all agreed this was not really an outcome

1 measure. So one of the biggest concerns was  
2 there was no evidence provided that it  
3 impacted survival or mortality, nor did it  
4 address disparities in care, didn't even look  
5 a whether or not it reduced anxiety if we had  
6 the time frame shortened.

7 So based on that, they actually  
8 did not move beyond the importance criteria.  
9 They all agreed that it did not pass that  
10 piece.

11 Lee, do you have anything you  
12 wanted to add?

13 MEMBER NEWCOMER: No. I'd just  
14 emphasize that even anxiety we had no evidence  
15 to show whether a shorten period would  
16 alleviate anxiety, which was the principal  
17 effort behind this measure. So we stopped  
18 right there and moved on.

19 CO-CHAIR DUBOW: Pat?

20 MEMBER HAUGEN: And I was on that  
21 committee also. So just to comment I guess on  
22 this and overall, it was extremely

1       disappointing the quality of measures that  
2       were submitted considering the issue of  
3       cancer. And this certainly wasn't an outcome  
4       measure, and no evidence to the measure  
5       itself.

6                   CO-CHAIR FLEISHER: Boy, that put  
7       the group on a downer.

8                   CO-CHAIR DUBOW: Barbara?

9                   MEMBER YAWN: I do want to say  
10       that this is a measure that lots and lots of  
11       clinics use for themselves. And I think that  
12       if there is something that could come out of  
13       the comments that would be useful to go back,  
14       I think that would be very helpful.

15                   And we were discussing it, and  
16       it's not so much exactly how quickly its done,  
17       it's are there barriers to getting the next  
18       step that is much more important. And if we  
19       could somehow have that come out in a comment  
20       or a discussion or something, I think that  
21       would be very helpful for all the people who  
22       choose to just measure it, including our group

1 I realize, in a very quick and dirty way.

2 I'm just hoping for comments?

3 CO-CHAIR DUBOW: Is the measure  
4 developer on the phone?

5 MEMBER NEWCOMER: He was during  
6 the actual subcommittee meeting and did get  
7 that feedback. We actually had a very good  
8 discussion with the measure developer on this  
9 and the next measure with exactly that  
10 feedback.

11 CO-CHAIR DUBOW: Okay. Is  
12 somebody from the National Consortium Breast  
13 Centers on the phone? Okay.

14 So it's good, Lee, that you  
15 remember that conversation to call it to our  
16 attention.

17 All right. Is there any further  
18 discussion. Okay.

19 Should we go through the -- we  
20 should vote on the importance, right? Well,  
21 I think that's a good question whether this is  
22 out-of scope or whether it's

1                   MEMBER HERMAN:  It's  
2 nonresponsive.

3                   CO-CHAIR DUBOW:  Yes, which is  
4 out-of-scope.

5                   So is it the pleasure of the group  
6 to consider it nonresponsive and out-of-scope  
7 rather than bringing it even it to the first  
8 point?  Okay.

9                   All those who vote that this  
10 measure is out-of-scope for this project --  
11 everyone.  Okay.  So there are no nays.  Okay.

12                   Can we go to the next timeliness  
13 one, which is imaging timeliness?

14                   MEMBER NEWCOMER:  It's actually  
15 biopsy timeliness.

16                   CO-CHAIR DUBOW:  Right.  Sorry.

17                   DR. WINKLER:  This is identical to  
18 the conversation that I think was identical to  
19 what we just talked about.  Nothing was  
20 different I don't think, unless Lee and Pat  
21 have something to add.

22                   MEMBER NEWCOMER:  The measure

1 looked at the time from initial needle biopsy  
2 to definitive surgical therapy. And again  
3 studies have been examined looking at impact  
4 on outcome with this and there isn't any until  
5 you get out to the very, very long time  
6 frames. So we had the same opinion about this  
7 one that it just didn't make the importance  
8 measure and stopped at that point.

9 CO-CHAIR DUBOW: Pat?

10 MEMBER HAUGEN: Also there was a  
11 discussion that could be unintended negative  
12 consequences of this measure from a patient  
13 standpoint because there is no evidence that  
14 it impacts outcomes. And by kind of the rush  
15 to procedure, the patient does not have timing  
16 for informed decision making looking at  
17 alternatives, reconstructive surgery, second  
18 opinion, et cetera. So, I mean it's a very  
19 poor measure from a patient-centered  
20 perspective.

21 CO-CHAIR DUBOW: Did you all have  
22 that opportunity to discuss that with the

1 developer as well?

2 MEMBER HAUGEN: Yes, very much.

3 CO-CHAIR DUBOW: Was there any  
4 response to that?

5 MEMBER NEWCOMER: It was taken  
6 into consideration and actually I'd call it  
7 almost a mentoring session with the  
8 developers. They hadn't considered some of  
9 those and were very interested in pursuing  
10 something later. I think you'll see something  
11 again.

12 CO-CHAIR DUBOW: Yes.

13 MEMBER HAUGEN: The thing that I  
14 was concerned about, and again I'm not an  
15 expert in this, but because of the source of  
16 the measure looking at breast centers that you  
17 have to be careful that my intent is not to  
18 keep the patient within my own circle of  
19 influence. And that's what I'm measuring to  
20 versus the care the patient gets, if that  
21 makes sense.

22 CO-CHAIR DUBOW: Yes, it does.

1                   MEMBER HAUGEN: So the source of  
2                   the measure needs to be considered in the  
3                   potential intent of the use.

4                   CO-CHAIR DUBOW: Right. Not a  
5                   patient-centered approach.

6                   CO-CHAIR FLEISHER: So I'm not a  
7                   mother, but I am a husband. And not all  
8                   positive mammograms are cancer. And I was  
9                   concerned in this measure in having looked  
10                  through it the decision of whether or not  
11                  watching waiting versus going to biopsy, and  
12                  that other decision process took a while. And  
13                  I would not want somebody to rush into it  
14                  because we had one opinion that was watch  
15                  relating.

16                  MEMBER NEWCOMER: Actually, just  
17                  on the technical end this measures the time  
18                  from the initial needle biopsy. So the  
19                  decision about watch relating precedes this  
20                  measure.

21                  CO-CHAIR FLEISHER: And that's the  
22                  other measure.

1 CO-CHAIR DUBOW: Yes. Right.

2 MEMBER NEWCOMER: That was my  
3 thought on the other measure, right. Right.

4 CO-CHAIR DUBOW: Right.

5 MEMBER YAWN: I just don't want to  
6 give this measure developer the idea that we  
7 don't think it's good that they tried, they  
8 just didn't think of it broadly enough. I  
9 mean, they were using a poor proxy for making  
10 sure the patient wasn't having barriers, I  
11 believe. And I think that's what they were  
12 trying to do.

13 So, I don't want them to think we  
14 think your awful, and I know you guys didn't  
15 do that.

16 CO-CHAIR DUBOW: Although I think  
17 Pat's point about the fact that this is not  
18 patient centered is very important to be the  
19 point to be in our report that:

20 (1) We are very concerned about  
21 the dearth of cancer measures generally that  
22 came in. These, in particular, don't have a

1 patient-centered focus that we obviously are  
2 looking for. And I think the measure  
3 developer will hear that.

4 MEMBER YAWN: I think you just  
5 have to be very clear about what you mean by  
6 not patient-centered. Because I think they  
7 will believe they were being very patient-  
8 centered.

9 CO-CHAIR DUBOW: Well, I'm teeing  
10 up on Pat's comment.

11 MEMBER YAWN: Yes, but if there  
12 are any other peoples besides who were there  
13 at that meeting reading, I just would urge you  
14 to be more explicit in what could be patient-  
15 centered --

16 CO-CHAIR DUBOW: They will have a  
17 chance to review it.

18 MEMBER HAUGEN: I guess, you know  
19 some points that could be made. When I look  
20 at some of the values that are important to a  
21 patient, is the value of having adequate  
22 information, the respect for their opinion,

1 the process of decision making; those things  
2 were not considered at all when you look at  
3 this rush to timing. And just the lack of  
4 outcome evidence here, this is an outcomes  
5 measures, that is of concern did they think  
6 through what this was about.

7 CO-CHAIR DUBOW: Vanita?

8 MEMBER PINDOLIA: After reading  
9 this I had a suggestion that if we could try  
10 to help them to try to figure out where maybe  
11 there are gaps related to breast cancer, the  
12 mammogram and then the needle biopsy.

13 We have so many now that are  
14 diagnosed at such an early stage, like DCIS,  
15 and there's so much confusion because they're  
16 not really considered cancerous, so they don't  
17 get a medical oncologist assigned to them.  
18 They have their surgery, and then their  
19 discussion on radiation therapy, which most of  
20 them end up going through because of the 13  
21 percent versus 9 percent recurrence rate, et  
22 cetera. Then you're given a one-time fill for

1 your tamoxifen. And then you're kind of on  
2 your own.

3 And so there really is a need for  
4 a patient care plan to either be passed out to  
5 the internist or assigned to an oncologist, or  
6 something to make sure the six month follow-up  
7 whether it's a MRI, is done. And then the 12  
8 month mammogram. And then on top of that the  
9 gynecological appointment that they have to  
10 keep up because of the -- and if that could be  
11 as an illustrated example of where something  
12 similar to what they're thinking there really  
13 is a gap right now.

14 CO-CHAIR DUBOW: Yes. Dianne?

15 MEMBER JEWELL: So Lee's point a  
16 moment ago that it almost being a mentor  
17 session. You know, as I referenced yesterday  
18 the bone and joint TAP didn't receive any  
19 measures. I guess the eye group didn't  
20 either, right?

21 So in our conversation about why  
22 the bone and joint TAP's conversation about

1       some possible reasons why we didn't receive  
2       measures, this issue of really not  
3       understanding, particular because we were  
4       asking for outcomes measures. And this  
5       patient-centric and how that's truly reflected  
6       in the measure isn't something we specifically  
7       highlighted. But as you're speaking to it, I  
8       think it is a key point. I think this speaks  
9       to the larger concern about groups not truly  
10      knowing how to do this.

11                   And so I don't want to lose that  
12      thought.

13                   CO-CHAIR DUBOW: Any other  
14      discussion?

15                   So are we entertaining --

16                   MEMBER YAWN: I move that we do  
17      exactly the same motion that we both --

18                   CO-CHAIR DUBOW: We missed it,  
19      Barbara. You didn't have your mic on.

20                   MEMBER YAWN: Oh, I'm sorry.

21                   I move that we consider exactly  
22      the same motion for the 30 day that we did for

1 the 180 day.

2 CO-CHAIR DUBOW: No. You're back.

3 MEMBER YAWN: I'm sorry. I'm  
4 sorry. The imaging -- yes, you know the  
5 needle biopsy to surgery that we did for the  
6 other --

7 CO-CHAIR DUBOW: Right, that it  
8 was out-of-scope.

9 MEMBER YAWN: Yes. That it's out-  
10 of-scope.

11 CO-CHAIR DUBOW: Right. That it  
12 was out-of-scope. Okay.

13 All those who believe that this  
14 measure is out of scope for this outcome  
15 project?

16 MS. BOSSLEY: Seventeen.

17 CO-CHAIR DUBOW: Anybody who  
18 disagrees? Okay.

19 MEMBER YAWN: Do we get to make  
20 recommendations to NQF also? About maybe a  
21 meeting or sponsoring other workshops. I know  
22 you do some already, but about outcome

1 measures that would particularly target some  
2 areas that we know are gaps like bone and  
3 joint and eye and cancer. And trying to think  
4 of how to get those people to come and talk  
5 with some of the others that have figured it  
6 out a little better.

7 MEMBER HAUGEN: But let ask a  
8 question. With cancer being as much money as  
9 we spend in health care on cancer and all the  
10 people that are working on cancer, why don't  
11 we have measures for cancers coming forward  
12 here? I don't understand it. And they're  
13 inadequate. This is just embarrassing.

14 MEMBER YAWN: There are more.

15 MS. BURSTIN: Yes. I'm sure Lee  
16 has something to say about this as well. But,  
17 you know, I did call some of the luminaries in  
18 outcomes research in cancer at the time we  
19 were doing this and there was a lot of  
20 discomfort about what really is an outcome for  
21 cancer. So much of the way its measured is  
22 about life span or those appropriate.

1                   So maybe, Lee, you want to have  
2 further thoughts about that. But I was  
3 fascinated. I tried and they just went no.

4                   MEMBER NEWCOMER: Well, the nation  
5 does have probably the best outcomes measure  
6 collection of all specialties, and that is the  
7 National Tumor Registries where we have  
8 survivals recorded in nearly three --  
9 actually, I'm sorry. Four thousand hospitals  
10 with survivals by stage and prognostic factors  
11 breast, cancer, colon, all the major cancers.  
12 But the American College of Surgeons will not  
13 release that data.

14                   Each hospital can release it  
15 themselves if they choose to. And there are  
16 a few institutions that do that, but very few.  
17 And so until the ACS decides to open that  
18 treasure chest, if you will, we're blind.

19                   CO-CHAIR FLEISHER: But Medicare  
20 has their SEER Medicare.

21                   MEMBER NEWCOMER: But SEER doesn't  
22 help anybody. It's de-identified. And you

1 can't get the hospital names. It's also  
2 limited to six markets. And it's the Medicare  
3 population. So a fair number of cancers fall  
4 off quickly.

5 CO-CHAIR DUBOW: Lee, do  
6 clinicians know how survival relates to the  
7 care that's provided?

8 MEMBER NEWCOMER: Well, I would  
9 answer that they do. That those folks getting  
10 -- we know oncology is very rich in studies  
11 that have shown difference in outcomes for  
12 various regiments. So we know that good  
13 standard care will produce prolonged  
14 survivals.

15 CO-CHAIR DUBOW: That makes it --  
16 I'm asking whether there is indeed an  
17 opportunity to develop measure then along  
18 those lines.

19 MEMBER NEWCOMER: Measure 1 would  
20 be let's release the cancer registry survival  
21 data. That would be very simple. It would be  
22 simple in methodology, not politically.

1                   MEMBER HOPKINS: I so agree with  
2 Lee on this. Is there anything NQF can do?

3                   MS. BURSTIN: We'd be happy to  
4 have conversations with ACS. I think it's a  
5 good discussion. Bauer is in the back of the  
6 room, I don't know if you have any thoughts on  
7 this, but go for it. Bauer is from ACS.

8                   MEMBER HAUGEN: You know, not  
9 understanding. Coming in to this from a  
10 patient that is an expert on this, I mean this  
11 is where the definition of what is proprietary  
12 data and what should be publicly available  
13 data when it's about patients, and much of  
14 that has been paid for with public funds. I  
15 mean, I think this is a big issue here. And  
16 I don't know who wrestles the bear with it.  
17 But if we can't even develop good outcome  
18 measures in a health reform context because  
19 data is being viewed as proprietary when it's  
20 about me, I mean that's a big issue.

21                   MEMBER AMARASINGHAM: I had a  
22 quick question to ask Lee.

1                   You know, for these measures so  
2                   there's the issue of the measure definition  
3                   and there's the issue of the data to get the  
4                   cutpoints. Can the measure definitions at  
5                   least be endorsed without having the ACS data?

6                   MEMBER NEWCOMER: Yes, I believe  
7                   that's very possible.

8                   CO-CHAIR DUBOW: So could you  
9                   elaborate on what that would mean?

10                  MEMBER NEWCOMER: I think you  
11                  could create cohorts very simply based on  
12                  staging information and a few other prognostic  
13                  factors for a large number of cancers. And  
14                  simply set up a measure that looks at their  
15                  overall survival. It should be fair.

16                  Defining that measure was  
17                  relatively simple work.

18                  MEMBER HOPKINS: Can you think of  
19                  another source of data?

20                  MEMBER NEWCOMER: Our conflict of  
21                  interest all over here. But I have now  
22                  collected staging information comparable to

1 tumor registries on 10,000 patients which we  
2 are combining with claims data and then  
3 creating a medical record to do survival  
4 measures. But we're not ready to come in and  
5 test yet at this point.

6 And it's a non-randomized sample.  
7 It's volunteers who are submitting the data.  
8 So it wouldn't be ready for this group,  
9 whereas the registry is all patients and is of  
10 the rigor that would allow the kind of  
11 measures here.

12 CO-CHAIR FLEISHER: So, Lee, I  
13 mean we have the surgical complication issues,  
14 we have comparative effectiveness and some of  
15 those hundred are actually comparing different  
16 modalities of treatment in the top 100 IOM  
17 priorities. I'm thinking of robotics, I'm  
18 thinking of prostate cancer with, you know  
19 we're a proton therapy unit now.

20 Do you think that we can get  
21 anything in that domain of looking at  
22 different treatments? Even at six month, you

1 know, bariatrics the 180 day window, but  
2 trying to treat prostate cancer and looking at  
3 complications. Because there's a cancer that  
4 you shouldn't do treatment unless survival is  
5 long term.

6 MEMBER NEWCOMER: There's some  
7 very good recent work from the -- up at Boston  
8 on prostate looking at brachytherapy, IMRT and  
9 radical prostatectomy which showed basically  
10 no differences except cost between the  
11 treatments and complications.

12 The proton therapy folks -- well,  
13 wait a minute. I don't believe there were  
14 significant complications. Not significant.  
15 Yes. Not significant.

16 Proton folks, Sean Tunis, the CMPT  
17 group has tried for almost three years now to  
18 begin developing measures with them, and they  
19 simply refused to participate.

20 CO-CHAIR DUBOW: Linda?

21 MEMBER GROAH: You know, the ACS  
22 has a new Executive Director, Dr. Hoyt, and he

1       may be very open to working and looking at  
2       opening some data. I understand he's a basic  
3       scientist so he may have a different view on  
4       their data than what we've had responses in  
5       the past.

6                   MEMBER McNULTY: Can I ask a  
7       question? What do they actually do with the  
8       data that they're collecting if they don't  
9       release it to the public? So is it just  
10      storing it and not doing anything, or they  
11      actually doing something with it?

12                   MEMBER NEWCOMER: You do get your  
13      data back as a hospital and you get a blinded  
14      comparator. So you will be able to see how  
15      you do against your peers. But there's no  
16      further -- none of that data is allowed to  
17      come out to the public.

18                   CO-CHAIR FLEISHER: But research,  
19      I mean they use it for research purposes.

20                   CO-CHAIR DUBOW: Yes, and  
21      hopefully for quality improvement.

22                   MEMBER NEWCOMER: I've seen

1 nothing that suggests there's an organized  
2 quality improvement effort or any publications  
3 that have shown quality improvement from that  
4 data yet.

5 MEMBER HERMAN: You know, we  
6 should probably ask the patients what they  
7 think is important. Because we can all kind  
8 of sit around the table and say this is  
9 important and this is important. But if you  
10 could get a form to find out what the patients  
11 think are important and then find a way to  
12 measure that as an outcome, and then you can  
13 do that. Because there's a lot of things that  
14 are important, just not in survivability,  
15 certainly that's important, but there's a lot  
16 of things along the way that are just as  
17 important from a quality of life standpoint.

18 MEMBER YAWN: And that's exactly  
19 what I was going to say, too. I think that  
20 when NPF thinks about their outcome forms,  
21 they ought to bring people in who don't say  
22 they're health care professionals who can say

1 we'd love you to figure out how to measure  
2 functionality, quality of life, all kinds of  
3 things. And nobody's talked about any of the  
4 mental health complications today.

5 CO-CHAIR DUBOW: Yes. And there  
6 is, you know, the Foundation for Informed  
7 Decision Making does have a module on breast  
8 cancer, because this is in terms of modality,  
9 there is a shared decision making component  
10 here. And that does exist already.

11 So there's a way to being. But I  
12 think it's hard to imagine that some of the  
13 cancer groups haven't --

14 MEMBER HAUGEN: But the thing that  
15 you also have to make certain, this is a very  
16 complicated topic and you need to also make  
17 sure that that consumer patient is an  
18 educated, people that understand this. So  
19 this is some of the things, at least I've  
20 seen, where many times there's patient  
21 involvement, and the involvement is I want to  
22 be satisfied. Well, we know very well you

1       could be satisfied with poor care based on its  
2       being delivered. So that one has to be very  
3       cautious as specific and thoughtful about how  
4       that process occurs as anything else that's  
5       done in the quality area.

6               MEMBER HOPKINS: So this brings up  
7       another huge gap area, since we were talking  
8       about that. And our work here which is when  
9       we had our first meeting, I think we all  
10      agreed that quality of life, functional health  
11      were really important outcomes, particularly  
12      to patients. And we've come up so short.

13             We've got a couple, I realize, the  
14      last couple of measures are going to address  
15      little pieces of that. But that's just one  
16      little slice. We don't have what we need for  
17      the broad population.

18             CO-CHAIR DUBOW: You know, I think  
19      that I'm going to use that as a good segue to  
20      get the last set of measures that we need to  
21      address. And then our concluding conversation  
22      should be about the gaps and the concerns, and

1 the outstanding issues that we want to  
2 identify for the report.

3 DR. WINKLER: There are box  
4 lunches right out that door.

5 CO-CHAIR DUBOW: Why don't we do  
6 that now and then we will proceed to talk  
7 about FACIT and then we will get to the last  
8 agenda item. It's noon, so we should have  
9 plenty of time. Everybody, just take five  
10 minutes, okay. We just had a break.

11 (Whereupon, the foregoing matter  
12 went off the record 12:01 p.m. and resumed at  
13 12:07 p.m.)

14 MS. BOSSLEY: Is there anyone from  
15 FACIT on the phone, Lauren or Jennifer?

16 PHONE OPERATOR: This is the  
17 operator.

18 MS. BOSSLEY: Wait a minute. What  
19 was that?

20 PHONE OPERATOR: This is the  
21 operator. There's only one on the line.

22 MS. BOSSLEY: There is somebody on

1 the line?

2 PHONE OPERATOR: Just Ted Gibbons  
3 from the University of Washington.

4 MEMBER GIBBONS: I'm still here.  
5 This is Ted Gibbons.

6 DR. WINKLER: Ted, you get the  
7 prize.

8 MEMBER GIBBONS: Well, it's  
9 snowing in Seattle.

10 CO-CHAIR DUBOW: Well, we're just  
11 trying to reach the measure developer to see  
12 if we can have them walk us through this.  
13 Otherwise, we'll proceed.

14 Okay. While Heidi's finishing the  
15 email, we are going to the FACIT-G, 19. And  
16 that's because that's kind of the stem for the  
17 other -- just a second. I'll tell you the  
18 number in a minute.

19 DR. WINKLER: It's OT2-019-09. So  
20 it starts on page, I think, 165.

21 MS. BOSSLEY: They should be  
22 bookmarked, but they sometimes disappear.

1 CO-CHAIR DUBOW: Functional  
2 assessment of cancer therapy, general version.

3 MS. BOSSLEY: So just in general  
4 what you have before you are four surveys,  
5 questionnaires. We're talking about the first  
6 one, which is the general one that is the  
7 functional assessment of cancer therapy.

8 These are a collection of quality  
9 of life questionnaires targeted at the  
10 management of chronic illness.

11 There are multiples in existence.  
12 I actually have lost track of many they have.  
13 And they have been translated into multiple  
14 languages. But they have been using primarily  
15 these surveys for clinical trials to assess  
16 how cancer patients feel about how true  
17 certain symptoms have been for them. So it  
18 truly is assessing patient's experience with  
19 care.

20 And again, it primarily has been  
21 with clinical trials to date.

22 The TAP discussed this and all

1       agreed that these surveys were well described,  
2       well validated, and have been proven as  
3       excellent tools in clinical trials.

4                You definitely can determine the  
5       differences in the quality of life for  
6       patients in one therapy versus the other with  
7       these tools. And it can be used to guide  
8       patients in therapeutic interventions.

9                One of the key concerns that was  
10       discussed was how does this measure or this  
11       tool translate from clinical trials into point  
12       of care. And that was one piece that they  
13       grappled with.

14               The other was how do you take this  
15       tool and really use it to determine an  
16       outcome. So this measure really assesses at  
17       the patient level for clinical trial how it  
18       worked for them.

19               The TAP was unsure how that would  
20       then translate into a measure that would be  
21       publicly reported as its written now.

22               So that was primarily what they

1 discussed under importance.

2           When you look at the scientific  
3 acceptability, again the tools have been well  
4 tested for their use as it stands right now.  
5 They have not necessarily been tested to look  
6 at clinicians performance or practices  
7 performance on treating patients. They felt  
8 that risk adjustment and exclusion should be  
9 considered if, again, you're going to look at  
10 it as a quality of care measure.

11           For usability they felt that it  
12 was unclear, again, how the measure would  
13 inform patients when its publicly reported as  
14 its written now. It's been used as more of a  
15 static tool, not necessarily for reporting out  
16 how it has worked across a patient population.

17           And feasibility. Again, felt  
18 these have been widely used, widely  
19 demonstrated across multiple practices  
20 targeting different disease types. One  
21 question was how would a small practice or  
22 perhaps an indigent community be able to

1       implement these measures.

2                   And they're primarily available by  
3       paper, but they're also starting to be  
4       available electronically with a few.

5                   Lee or Pat, did I miss anything?

6                   MEMBER NEWCOMER: I think I would  
7       add one comment that particularly in quality  
8       of life, we are looking at the general measure  
9       here. But you could have wide variations in  
10      your scores depending on what part of the  
11      treatment process you were in. So the classic  
12      example was a patient who begins radiation  
13      therapy , good functional status in radiation  
14      therapy, significant drop in functional  
15      status, 30 days later higher than usually  
16      before they started.

17                  So as you think about an outcomes  
18      measure where patient would compare, you'd  
19      have to be at a very similar process in the  
20      therapy in order to have an apple to an apple.  
21      And it again made it very difficult to discuss  
22      how this measure could be used as a patient

1 outcome without controlling for those types of  
2 issues and getting, basically, homogenous  
3 therapy between patients.

4 MEMBER HAUGEN: From a patient  
5 perspective as we look at this as a public  
6 reporting, that that was the intent, the  
7 developer hadn't put any effort into thinking  
8 through how would you take this and use it for  
9 public reporting. And I couldn't as a  
10 patient.

11 If these quality of life surveys  
12 are very important in the context of research  
13 studies and clinical trials or in particular  
14 environment such as that, but to understand  
15 how you would use this in public reporting as  
16 an outcome measure, would you just say this  
17 group of people, they feel better than this  
18 group of people, or at some point in time, or  
19 how would you use this to evaluate an outcome.

20 The other concern is, I mean if  
21 you look at some, like one of the ones that's  
22 on fatigue, was used in, how do you say it,

1 the erythropoietin trials where you could have  
2 an outcome that would have said fatigue had  
3 been addressed fabulous and the real outcome  
4 of that, as we know, that there are other  
5 complications due to some of those intense  
6 therapies.

7 So in isolation, none of these  
8 really me what I would view as important or  
9 usable from a patient's perspective.

10 CO-CHAIR DUBOW: Did the TAP have  
11 the opportunity to talk to the measure  
12 developer? So did you ask about what their  
13 plans were for public reporting or did they  
14 just misunderstand what we mean by public  
15 reporting?

16 MEMBER NEWCOMER: They were on,  
17 Heidi. I don't remember a response to that.  
18 Do you?

19 MS. BOSSLEY: No. I think they  
20 were approached by a group to put these  
21 forward because they are really good tools to  
22 measure this. I don't think that they then

1 had taken the next step, nor has it been  
2 something that they've been actively looking  
3 at: How would you then take it to public  
4 report it.

5 MEMBER HAUGEN: Where would you  
6 take this to mature it --

7 MS. BOSSLEY: They were not  
8 response in the materials we had.

9 MEMBER HAUGEN: They were not  
10 responsive.

11 CO-CHAIR DUBOW: It seems they  
12 answered a different question from what we  
13 intended.

14 MEMBER HAUGEN: Yes.

15 CO-CHAIR DUBOW: And I just  
16 wondered whether they understood what the  
17 intent of public reporting is. Okay.

18 Vanita?

19 MEMBER PINDOLIA: I think where it  
20 would be useful, and I don't know if this  
21 could be done, I haven't read the details, is  
22 their data collected and if they could

1 stratify by age groups and the different  
2 tumors and where they're at in their disease  
3 states when they took this. For new patients  
4 coming in and having to make difficult  
5 decisions and then they can glean from  
6 previous patients who had a breast cancer, and  
7 then they had to deal with the radiation  
8 therapy, what were their outcomes. And if you  
9 have an aggregate of, like, at least a 100 or  
10 200 it might bring some value for that new  
11 patient to feel more comfortable or help a  
12 decision if they're actually on the fence.

13 CO-CHAIR DUBOW: Sounds like a new  
14 measure.

15 MEMBER PINDOLIA: Yes, I know.

16 MEMBER HAUGEN: Yes, that's  
17 another one.

18 MEMBER PINDOLIA: But that's where  
19 I think a tool like this could be useful.

20 MEMBER NEWCOMER: You would  
21 actually have to look at the differences in  
22 the functional status or quality over time

1 because everybody's starting in a different  
2 point.

3 MEMBER HAUGEN: Yes. Right.

4 MEMBER NEWCOMER: So it would be  
5 the different thing you get.

6 MEMBER HAUGEN: Yes, you would.

7 MEMBER JEWELL: So, my impression  
8 is that when it comes to functional status  
9 measures, whether they're a physical activity  
10 or fatigue, or whatever, that there's such  
11 disparity and variation of use at the clinical  
12 level for individual patients. And so part of  
13 the push, I could imagine in this case, again  
14 it's not the intent of the NQF, but  
15 nevertheless there's a real effort to try to  
16 get clinicians just to adopt standardized  
17 clinical measures like this. You know,  
18 vigorously self-report patient-centered  
19 outcome measures.

20 And when you have tools like this  
21 that have a wealth of data behind them  
22 demonstrating their responsiveness, et cetera,

1 I can envision that the desire would be well  
2 if we get some kind of seal of approval, that  
3 will firm up their use. Now that doesn't  
4 fulfill our mandate, but I think that speaks  
5 to the state of where we are. And so we have  
6 to understand that that's another sort of  
7 message to help people.

8 CO-CHAIR DUBOW: I think one of  
9 the challenges that we have at NQF that we  
10 have to come to grips with is the notion of  
11 taking a patient level tool and converting it  
12 somehow for purposes. So it's not necessarily  
13 this tool, it's all of them.

14 We had a little bit of this  
15 conversation in the HOS measure that is rolled  
16 up to the health plan level. You know, that's  
17 how NCQA has dealt with that. And yet we had  
18 a discussion about the challenges with that  
19 particular measure.

20 So I think it's a broader policy  
21 question about how we do it. Because we  
22 clearly defined outcome, functional status and

1 quality of life as an outcome that we're  
2 interested in.

3 Pauline?

4 MEMBER McNULTY: Yes, I think this  
5 is the conundrum. And for me working in the  
6 area of patient reported outcomes and mostly  
7 in clinical trials context, sometimes in the  
8 context of observational studies, I think  
9 you've hit the nail on the head. There's a  
10 huge amount of measures that are out there  
11 that could potentially be used, but it's the  
12 question of well how do you take them from the  
13 use that they currently have to public  
14 reporting kinds of use.

15 But one of the things that I was  
16 curious about, and I don't know if Lauren Lent  
17 or somebody else from FACIT is on the line  
18 right now, but I did see in here somewhere  
19 that they talked about AHRQ, not just the use  
20 of the FACIT measures in the context of  
21 clinical trials, but also at cooperative group  
22 clinical trials, and also AHRQ. And I'm

1 thinking that maybe they might be looking at  
2 some of the upcoming comparative effectiveness  
3 types of research. And if they are, then they  
4 have to be thinking about these issues of how  
5 you kind of jump from the clinical trials  
6 intervention kind of studies and following  
7 patients over time, as Lee talked about.  
8 Because these measures are commonly used and  
9 very, very useful in those context.

10 So I just think, again like  
11 everybody else is making a plea, that we  
12 really ought to be looking at the wealth of  
13 measures that are out there for a variety of  
14 diseases and seeing how we can bridge from one  
15 kind of use to the kind of use that we're  
16 talking about here.

17 I would hate to see all of the  
18 effort that has gone into these measures not  
19 somehow being able to be pulled into a quality  
20 initiative.

21 MEMBER HAUGEN: Just to add to  
22 that, some of these comments. I think that is

1 exactly the issue: How do you take it from a  
2 patient level to something that's meaningful  
3 on public reporting? And urging clinicians to  
4 use this is one thing, but from a patient  
5 perspective it isn't enough to just a yes or  
6 no do you use it. Because the issue is how is  
7 it used? How does it inform your care and the  
8 work you're doing with the patient? How does  
9 it inform the patient?

10 So it's a big issue. It isn't  
11 just whether you use it or not, but how and in  
12 the context.

13 CO-CHAIR DUBOW: Dianne?

14 MEMBER JEWELL: Well, and I think  
15 you're absolutely right. I mean, it's not  
16 intended to be a process thing anymore than  
17 the rest.

18 I think the issue that you all  
19 raised related to measures like this for  
20 patients undergoing cancer therapy of any kind  
21 is a particular conundrum because the therapy  
22 often makes you feel worse before you feel

1 better. Whereas, many of the other measures  
2 are being implemented in situations where, you  
3 know, and so I'm the beneficiary of this in my  
4 world -- I mean, I can make somebody feel  
5 worse, too. But generally speaking the  
6 rebound is quicker. And so I think that  
7 that's also something. I think that the  
8 cancer experts that you -- at least where  
9 these kind of outcome measures are concerned.  
10 So for me I could see there being a gap  
11 related to management of more the long term  
12 implications that we're starting to have a  
13 better understanding of that. I have no idea  
14 what that would look like. But I think that's  
15 a conversation that's almost unique to cancer  
16 and similar kinds of diseases where the  
17 treatment is almost as bad, if not worse at  
18 times than the disease, at least in the short  
19 turn.

20 MEMBER HAUGEN: Yes. And long  
21 term you may not feel as good. You may  
22 survive but you may never feel as good or the

1 same. And so then that's an issue of  
2 survivorship and managing -- you know  
3 minimizing that in survivorship which is  
4 totally different than what some of these  
5 tools are even trying to get at.

6 MEMBER McNULTY: Can I just say  
7 something?

8 That has actually been recognized  
9 by the FDA, for example because they put out  
10 their final guidance on patient reported  
11 outcome measures for labor claims. It was one  
12 of the things that they noted was that they  
13 really would like companies to not just look  
14 at kind of the standard time period that you  
15 have that's protocol driven in terms of you  
16 measure the beginning and in between and at  
17 the end. But they really want the companies  
18 to start looking beyond that to see what the  
19 effects are beyond the end of the treatment  
20 especially, as you said, in oncology. And I  
21 think it's really, really important. But  
22 there are other disease areas that it could be

1 important in also. But they really kind of  
2 put it out there as almost a challenge to  
3 companies to really start collecting data  
4 beyond the treatment intervention period.

5 CO-CHAIR DUBOW: But I think  
6 Dianne's point also makes the point that we  
7 made before, and that is that we need to be  
8 sure that we're able to make apples-to-apples  
9 comparisons when we public data, which means  
10 everybody has to be sort of at the same place  
11 when we start.

12 Barbara?

13 MEMBER YAWN: And I like that,  
14 they have to be in the same place. But it is  
15 not necessarily always at the end of therapy.  
16 Because you can make a difference to how a  
17 patient feels in the middle of therapy. And  
18 I would love to have that also be one of the  
19 patient driven outcome measures. Because if  
20 you have lots of support and help, and  
21 explanation, and education most people do feel  
22 better then if they're just kind of stuck over

1 in a corner with their IV chemo going.

2 MEMBER McNULTY: And that's  
3 actually where electronic data collection can  
4 be really, really useful. Because when you  
5 think of the worlds that that opens up that  
6 you can have more continuous data collect.

7 MEMBER YAWN: Yes.

8 MEMBER McNULTY: Again, if you've  
9 got a protocol, the data collection is very  
10 much driven by the time points that a patient  
11 comes back in.

12 MEMBER YAWN: Yes.

13 MEMBER McNULTY: But as to your  
14 point, Barbara, it may be between baseline and  
15 where they come back at week 4, you've lost a  
16 whole wealth of information about what's  
17 happening in that patient's ability to feel  
18 and function.

19 MEMBER YAWN: Yes.

20 CO-CHAIR DUBOW: Who is the  
21 telephone?

22 MEMBER YAWN: Ted is.

1 CO-CHAIR DUBOW: Oh, it's Ted.

2 Not that we're not happy for it to be Ted.

3 Ted, we're looking for the measure  
4 developer.

5 MEMBER GIBBONS: Well, I can't  
6 help you there, but I'm still holding.

7 CO-CHAIR DUBOW: No, I know.  
8 We're happy that you've stayed with us.

9 Okay. So we still don't have the  
10 measure developer on the phone. But I think  
11 that if there's no further discussion on the  
12 FACIT-G, which is the 019 measure, we should  
13 start out -- do you want to walk us through  
14 the vote?

15 MS. BOSSLEY: Sure. So we're  
16 going to have you vote on importance first.

17 So, again, we're doing the FACIT-  
18 G, so number 19.

19 So all who think yes it meets  
20 criteria? Oh, this is importance. I'm sorry.

21 Importance. Yes.

22 DR. WINKLER: Twenty-two.

1 MS. BOSSLEY: So next for  
2 scientific acceptability. First for  
3 completely? No one.

4 Partially? I have 12. Twelve.  
5 Okay.

6 Minimally? Eight. Is that  
7 everybody? Are we 20?

8 Anyone for not at all?

9 CO-CHAIR DUBOW: Did Ted vote.

10 MS. BOSSLEY: Oh, there we go.

11 CO-CHAIR DUBOW: You really want  
12 us to work at this.

13 MS. BOSSLEY: Next is usability.  
14 Completely?

15 Partially? Two.

16 Minimally? How many did you have?  
17 I had 14. Okay. Got it. Okay.

18 So minimally? Did we do -- I'm  
19 sorry. Which one? I lost track.

20 DR. WINKLER: You just did  
21 minimally.

22 MS. BOSSLEY: We did. Okay.

1 Not at all? There we go. Four.

2 So last but not least,

3 feasibility. Completely?

4 Partially? Seven. Seven.

5 Minimally?

6 DR. WINKLER: Twelve.

7 MS. BOSSLEY: And not at all? Two.

8 Okay. All right.

9 CO-CHAIR DUBOW: So we're ready to  
10 vote up or down?

11 MS. BOSSLEY: Yes, vote up or  
12 down.

13 CO-CHAIR DUBOW: All those in  
14 favor of the functional assessment of cancer  
15 therapy general version FACIT-G?

16 DR. WINKLER: Anybody abstaining?  
17 Okay. Vote by subtraction.

18 CO-CHAIR DUBOW: Okay. Which is  
19 the next one you want to do --

20 MEMBER HOPKINS: Can I just what  
21 we just did? Is we said no to this measure  
22 because of various points that were raised,

1 most of which had to do with how its used, or  
2 the survey instrument is used, right? I  
3 didn't hear anybody say it was a bad  
4 instrument or didn't accomplish its purpose.

5 So I'm having a little trouble  
6 interpreting --

7 CO-CHAIR DUBOW: Although we  
8 didn't respond to the TAP point that there's  
9 no testing on using this measure reporting.  
10 It's only a validated instrument in a clinical  
11 trial.

12 And, Lee, do you want to --

13 MEMBER HOPKINS: So I'm wondering  
14 if there isn't sort of a condition that we  
15 attach to it. I mean, otherwise we just lost  
16 our only functional status measure

17 MR. HERMAN: It a great tool, but  
18 it's a bad tool for the job.

19 MEMBER NEWCOMER: It's very  
20 reliable and valid. Everybody agree with that  
21 for its purpose in clinical trial. No dissent  
22 on whatsoever. But it is the wrong tool for

1 this purpose.

2 MEMBER HOPKINS: So is there a  
3 better tool out there?

4 MEMBER NEWCOMER: No, I would  
5 agree there's none, but that doesn't make this  
6 tool better, either.

7 CO-CHAIR DUBOW: And I assume  
8 that, David, to your point I know I'm  
9 inferring that there's some wish on your part  
10 for NQF staff to get back to the measure  
11 developers to tell them why this didn't  
12 prevail.

13 MEMBER JEWELL: So just for  
14 clarification. We did approve for time  
15 limited endorsement, if I understood what we  
16 talked about yesterday, the CRQ for the COPD  
17 population which is a functional status  
18 measure. So we will have --

19 MEMBER HOPKINS: But, see, we're  
20 not giving the developer --

21 MEMBER JEWELL: Let me just finish  
22 my thought.

1                   But the difference in that case  
2 was that the measure developers had in fact  
3 thought about how this would work for public  
4 reporting and be used, they just hadn't tested  
5 it yet. That's in my mind what's different  
6 here.

7                   MEMBER HOPKINS: But, see, we're  
8 not giving the developer a chance to respond  
9 to that. Because of --

10                  MEMBER JEWELL: Well, I thought  
11 that the TAP did in fact talk to them about  
12 the issue of public reporting and how would  
13 you use it for public reporting. And they  
14 have not responded with that information.

15                  CO-CHAIR DUBOW: And I don't think  
16 it's fair to say we haven't given them a  
17 chance.

18                  MEMBER JEWELL: Yes, right.

19                  CO-CHAIR DUBOW: I mean, that's  
20 not fair. You know, they had the opportunity  
21 to be on the call. We've tried to contact  
22 them. I don't think that's a fair statement,

1 David.

2 MEMBER NEWCOMER: Yes. Our call  
3 was just last week. So it's a very short time  
4 frame.

5 CO-CHAIR DUBOW: Right. So I  
6 think that that's -- we have to be reasonable.  
7 But I think the message will be clear about  
8 what our needs are and maybe they'll be able  
9 to think about this. And we also discussed  
10 the need for NQF to think more about how you  
11 translate patient level measures into  
12 reportable measures.

13 MEMBER ROSEN: And I think it's  
14 really important that we give them very clear  
15 feedback that. Because it's really important  
16 for testing purposes to understand what the  
17 measure would be like for public reporting and  
18 to give them time to do that. But not the time  
19 limited endorsement at this point.

20 MEMBER DELLINGER: And we've sent  
21 a very clear message by voting unanimously  
22 that this was important. It's just that it

1 doesn't do what it needs to do.

2 MEMBER McNULTY: And just to add  
3 to what Pat just said. Again, as somebody  
4 who works in this area I would make a plea to  
5 all of us to figure this out how we can really  
6 piggyback on all of the work that has been  
7 done, whether it's these measures or other  
8 measures for other disease areas, that we  
9 somehow figure this out.

10 MS. BURSTIN: And just a general  
11 point on this. We've been coming up with a  
12 list of what I think are essentially white  
13 papers or sort of thought pieces that we need  
14 for this next generation of measurement that  
15 we're all sort of, I think, wanting to enter  
16 into. And one at the top of that list is how  
17 do you use functional status measures for  
18 performance measurement? What's the science  
19 of the delta, for example?

20 I mean, I think this is at the  
21 cusp. I just don't think we've kind of gotten  
22 over the hump with the exception of perhaps

1 the functional status delta measures around  
2 physical therapy, which we've endorsed and now  
3 the COPD measure.

4 MEMBER HOPKINS: Isn't there a  
5 huge literature that from the SF-36 studies of  
6 30 years ago or something?

7 MS. BURSTIN: But again, other may  
8 know this very well. But at least my  
9 understanding is the deltas really happen when  
10 somebody had a major life event. So, for  
11 example, hip surgery gave you a really  
12 significant change in your physical  
13 functioning score. But there's very little  
14 about understanding the interventions across  
15 an episode, for example. If somebody enters  
16 the hospital, leaves the hospital. Or a lot of  
17 interest has been about using the SF-12, or  
18 even like this beginning of cancer therapy,  
19 end of cancer therapy.

20 We need to understand those deltas  
21 and how to use it. It's absolutely the right  
22 place to go, particularly with PHRs coming on

1 line, the ability to get patient reported  
2 outcomes. I just want to make sure we  
3 understand how to use it best.

4 MEMBER McNULTY: And just, David,  
5 to your question about the SF-36. Yes, there  
6 are normative data not just for the general  
7 population but for particular diseases as  
8 well. So you can look at diabetes patients,  
9 patients who are depressed and there are  
10 normative data for all of those patients. And  
11 you can benchmark against the general  
12 population to see how a particular disease  
13 population is doing. And you could do that in  
14 the context of a particular health care plan.

15 You can do it on all kinds of basis. But I  
16 think the fact is that the SF-36 has had a 30  
17 year advantage and has put a lot of thought  
18 into how these kinds of data might be used in  
19 other ways other than in clinical trials. And  
20 I think that that's where a lot of these other  
21 developers need to go to figure that out.

22 MEMBER HOPKINS: Nobody ever put

1 it on the table.

2 CO-CHAIR DUBOW: But you know in  
3 terms of measure --

4 MS. BURSTIN: We tried and they  
5 refused because of the intellectual property  
6 issues. I mean Reva and I had several phone  
7 calls with them and they would not play.

8 DR. WINKLER: That's it's their  
9 business model. I mean that's what they've  
10 got to offer as a product.

11 CO-CHAIR DUBOW: I know that there  
12 is money in the new bill for measurement  
13 development that is being considered in  
14 Congress, the authorization is being  
15 considered. Because it has to be funded.

16 MEMBER AMARASINGHAM: How is it  
17 funded?

18 CO-CHAIR DUBOW: It has to be  
19 authorized first. Well, it has to be  
20 appropriated. It's authorized. So it's to do  
21 measure development.

22 MS. BOSSLEY: Let's just around

1 the table once more and then we can move on.

2 And the measure developer is going to join us.

3 Lauren, are you on the phone?

4 MS. LENT: I am, yes.

5 CO-CHAIR DUBOW: Great. Okay.

6 So we just completed our  
7 discussion of the general version. And we are  
8 about to move -- do you want to give us any  
9 introductory? Lee, do you want to --

10 MEMBER NEWCOMER: Well, Joyce, I  
11 just wonder as a matter of procedure these  
12 subsets are going to be exactly the same  
13 discussion.

14 CO-CHAIR DUBOW: I know. Right.

15 MEMBER NEWCOMER: I'm happy to  
16 have a talk with the developer now that  
17 they're on the call. But I think all we're  
18 doing is being redundant by going through the  
19 measures.

20 CO-CHAIR DUBOW: I know. But we  
21 still need to record our votes for each of  
22 these measurements. So --

1                   MEMBER NEWCOMER: Could we move  
2 the same votes for all measures?

3                   CO-CHAIR DUBOW: I think that's  
4 something we can entertain. But I think we  
5 should give the developer a chance to say  
6 something, if she wants to.

7                   MEMBER NEWCOMER: Yes.

8                   CO-CHAIR DUBOW: If that's okay.

9                   MEMBER YAWN: Did she hear our  
10 discussion. I think she needs to respond to  
11 what we've said --

12                  CO-CHAIR FLEISHER: Yes. Please  
13 your mics.

14                  So why don't we let Lee sum it up  
15 and so the developer respond, if that's  
16 appropriate.

17                  MEMBER NEWCOMER: Sure. So who am  
18 I talking to?

19                  MS. LENT: My name is Lauren Lent.  
20 I am a senior administrator at FACIT.org.

21                  I'm going to apologize in advance  
22 that I'm not a scientist. I did answer the

1 questions for the NQF, but I did prepare those  
2 answers with significant input from our  
3 biostatistician, Jennifer Beaumont who was on  
4 the previous phone call, as well as Dr.  
5 Stella, who is actually the developer and the  
6 copyright holder.

7 I'm pleased to answer questions I  
8 can. But I do want to be clear that I'm not a  
9 scientist and I'm afraid I won't be able to  
10 answer any scientific questions with any  
11 legitimacy.

12 MEMBER NEWCOMER: Well, no  
13 apologies required. And I'll apologize  
14 because I'm not a scientist either, I'm a  
15 doctor.

16 So I think what we discussed here,  
17 Lauren, I'll try and be brief, is that there  
18 was uniform agreement that the FACIT measures  
19 are both reliable and valid in clinical trial  
20 work where they've been well tested and are  
21 considered to be gold standard.

22 The issue here was that we didn't

1 believe there was enough evidence or  
2 information about converting this tool into an  
3 individual patient outcome measure; that it  
4 instead applied to populations and these  
5 populations had to be quite comparable in  
6 terms of disease states and progress through  
7 therapy.

8 So the reason that we voted this,  
9 our vote reflected that this was an important  
10 thing for us to be measuring but we were  
11 concerned that this was not a right tool to  
12 measure an individual patient outcome.

13 MS. LENT: Yes, sir. I will say a  
14 couple of things to that.

15 Jennifer gave me a brief rundown  
16 of the previous phone call that occurred with  
17 the TAP committee. Please forgive me if I've  
18 gotten that incorrect.

19 MEMBER NEWCOMER: Right.

20 MS. LENT: In any case, and she  
21 said that there four parameters that you all  
22 were reviewing in terms of making the decision

1 about whether our questionnaires would fall  
2 into an approval category for what you all are  
3 doing.

4 And I think the bigger issue --  
5 let me just say this. There are cases in  
6 particular with the lung module, the FACIT-L.  
7 And I apologize, I can't speak to the others.  
8 Oh, that's not true. The fatigue module which  
9 I'm not sure if you guys are reviewing today.  
10 I think actually the fatigue module is being  
11 reviewed by a different committee.

12 But the fatigue module and the  
13 lung module are very usable on an individual  
14 patient basis. They have been proven to be  
15 reliable clinical indicators.

16 I'm going to give a Reader's  
17 Digest condensed version of this. Again, I  
18 apologize. I'm not a scientist.

19 But the lung cancer module in  
20 particular is being used by oncologists for  
21 treating lung cancer patient as a parameter  
22 for when treatment can be halted and period of

1 care being.

2 And the fatigue module is used  
3 with a wide variety of individual patients  
4 with different chronic illness in terms of the  
5 changing quality of life score.

6 So, I'm not sure I'm prepared to  
7 answer critiques on the FACIT-B module for  
8 individual indicator. In fact, I know I'm  
9 not. But I can say pretty confidentially that  
10 the lung and the fatigue module are used on an  
11 individual patient basis.

12 I do agree with your synopsis that  
13 Jennifer gave me as whether these  
14 questionnaires can be used as a quality module  
15 and/or quality indicator. I am afraid I can't  
16 defend that statement.

17 And when Jennifer told me that  
18 that was one of the bigger reasons why you  
19 guys were not sure if you were going to be  
20 able to vote for it, I have to be honest with  
21 you, I kind of agreed with that. But I do  
22 think that on an individual patient basis that

1 Dr. Stella, if he were on the phone, could  
2 absolutely make a case for that being an  
3 acceptable use of these questionnaires.

4 MEMBER NEWCOMER: And, Lauren, yes  
5 we're also in agreement about that portion,  
6 the individual. But it's the quality  
7 component, the individual quality component.  
8 So you might be able to tell in an  
9 individual's fatigue score where they are at  
10 a given moment, but it wouldn't be a good  
11 quality outcome measure for others to compare  
12 one provider versus another or one treatment  
13 versus another because of the multiple --

14 MS. LENT: I agree with that.

15 MEMBER NEWCOMER: Okay.

16 MS. LENT: Yes, sir.

17 CO-CHAIR DUBOW: Okay. Was it Lee  
18 who was going to make a motion that we  
19 consider--

20 MEMBER NEWCOMER: I was going to  
21 move that we use the same set of votes for all  
22 of the subset measures since the issues are

1 exactly the same for all of them.

2 CO-CHAIR DUBOW: Okay. Is there  
3 any disagreement with handling --

4 MEMBER HOPKINS: Was there nothing  
5 different about the chronic illness therapy  
6 measure because it applied to a broader  
7 population? The same issues

8 CO-CHAIR DUBOW: Okay. Okay. So  
9 we are going to consider 017, which is the  
10 assessment of cancer therapy, the 016 -- I'm  
11 going backwards, lung. The first one is  
12 breast, lung and the fatigue. The functional  
13 assessment of chronic illness therapy-fatigue,  
14 which is 015.

15 Since nobody has any concern about  
16 looking at these three measures  
17 simultaneously, should we just go through the  
18 criteria for the three of them?

19 MEMBER HOPKINS: Or just take the  
20 previous vote.

21 CO-CHAIR DUBOW: Or take the  
22 previous vote?

1                   MEMBER HOPKINS: I think that's  
2                   Lee's--

3                   CO-CHAIR DUBOW: Okay. Okay. I  
4                   guess should we just ratify that through?

5                   All those in favor of doing that?  
6                   Okay. That's great.

7                   Okay.

8                   MEMBER NEWCOMER: While the  
9                   developer is on the phone, I want to  
10                  reemphasize the fact that everyone at this  
11                  table believes that in the place where those  
12                  measures are used, they are very reliable,  
13                  very valid gold standard measures. So please  
14                  don't take that as a --

15                  MS. LENT: Thank you, sir. We  
16                  feel the same way.

17                  CO-CHAIR DUBOW: Yes.

18                  MS. LENT: No, we understand. We  
19                  understand. We're all about measurement, so  
20                  we understand if it's not measuring what  
21                  you're trying to measure.

22                  CO-CHAIR DUBOW: Yes. And we all

1 thought that this area is extremely important.  
2 And as soon as you have a publicly reportable  
3 measure, please come back fast.

4 Okay. Thank you very much for  
5 joining us.

6 We have completed our review of  
7 the measures. Is there public comment?

8 Okay. No comment. Okay.

9 We did a lot of work very  
10 efficiently, so I think you. I know Lee thanks  
11 you. We think this is going swingingly.

12 We have one outstanding issue, and  
13 that's to discuss gaps and recommendations.  
14 Right? Okay. So the floor is open.

15 DR. WINKLER: I was going to say,  
16 it's a huge thing to throw at you.

17 In terms of the second deliverable  
18 for this project, it is an assessment of gaps  
19 where specific direction as to measure  
20 development to fill those gaps is needed. And  
21 we've been collecting your comments and the  
22 TAP comments along the way.

1                   What I'm intending to do is use as  
2                   a framework the types of outcome measures that  
3                   we talked about yesterday that were on the  
4                   slide, take the measures that have been  
5                   endorsed previously and are being recommended  
6                   in this project, kind of plug them in if you  
7                   will, and look at the empty spaces.

8                   We can begin to start populating  
9                   some of those empty spaces based on comments  
10                  and conversations that have been ongoing  
11                  through all of these. I think that once we  
12                  have those a little bit better setup, we  
13                  didn't want to give them to you now when you  
14                  have all this other work to do. We'll be able  
15                  to provide that to you a little bit later.  
16                  We'll have some opportunity for you to make  
17                  the suggestions.

18                  And the granularity of suggestions  
19                  is great. There are a lot of efforts around  
20                  looking at gaps, but they're kind of big  
21                  picture: We need more measure about some big  
22                  topic. That's lovely, but could you be a tad

1 more specific of what those might look at.

2 And our charge is to try to be  
3 more specific about what outcome measures  
4 would look like for the various types of  
5 outcome measures that we have in the various  
6 topic areas that we've outlined. So we are  
7 going to have a fairly significant deliverable  
8 around this.

9 I do think, however, that at this  
10 point as sort of a close to the considerable  
11 work you've done in the last two days, is  
12 think about general issues. What are some of  
13 the big picture issues around outcomes  
14 measures, around getting the kinds of measures  
15 we would like and don't have that you could  
16 make recommendations around? Because not only  
17 do we have those specific little framework  
18 thing, I can envision several pages of very  
19 general recommendations around approach for  
20 outcome measure.

21 Hey, Ted, how you doing?

22 MEMBER JOHNSON: So I think that

1 was a comment we need veterinary measures  
2 included.

3 CO-CHAIR FLEISHER: Barbara?

4 MEMBER YAWN: Well, one of the  
5 things that I think I have heard us sort of  
6 wrestling with back and forth is the idea that  
7 all of these measures have to go back to  
8 something that we could easily attribute  
9 problems to this or that. But on the other  
10 hand, we've kind of moved beyond that, which  
11 I think is wonderful, that we have the whole  
12 patient problem. And you don't have to be  
13 able to immediately attribute it, you need to  
14 think about it.

15 For example, we're go to bariatric  
16 for second. The fact that somebody chooses to  
17 operate on patients that weigh 600 pounds  
18 versus they don't, those patients are going to  
19 have many, many more risks and you have to  
20 think about that as a whole patient so you  
21 look at all cause morbidity, mortality for a  
22 long period, not just what happened because

1       you didn't clamp some little artery or  
2       something.

3                       So, I think -- I know, it's a big  
4       deal. I understand. I'm trying to speak as  
5       a non-surgeon, and I am a non-surgeon.

6                       But I do think that we have made  
7       that comment with several of our  
8       recommendations that we are looking at much  
9       broader problems and some of those problems  
10      are whole system problems, some of those are  
11      our lack of system problems. And we'd like  
12      that the measures would address that issue and  
13      recognize that issue.

14                      CO-CHAIR FLEISHER: Good. David?

15                      MEMBER JOHNSON: So there are a  
16      couple of things that I thought that begins  
17      with uniformity of definition for outcomes and  
18      to make sure that they're standardized as far  
19      as across the system that's assessing the  
20      outcomes and that's appropriate.

21                      The second thing that I really saw  
22      as an unmet need is longitudinal outcomes.

1       Because we go to snapshot analyses. And to  
2       your point about bariatric surgery and we had  
3       this discussion a little earlier, people stop  
4       the outcome assessment at 30 days, or that's  
5       a surgical outcomes or 180 days. But for some  
6       of these interventions they're really life  
7       long manifestations that the outcomes and the  
8       pass-off of the transition of care is critical  
9       for the ultimate outcome and assessment  
10       longitudinally, particularly if we talk about  
11       bariatrics, metabolic nutritional  
12       consequences. It may not even manifest for  
13       years after the initial surgery.

14                 So I think the longitudinal  
15       assessment of outcomes is really an unmet  
16       need. That I think if we put out a plea, that  
17       would be really a key one for me.

18                 CO-CHAIR FLEISHER: So I would  
19       actually for the procedural aspects, you could  
20       actually add in appropriateness and how does  
21       appropriateness criteria mesh without outcome?  
22       So to address Barbara's original question: Is

1       there a way? And there are, particularly the  
2       American Heart and the American College of  
3       Cardiology has developed appropriateness  
4       criteria. You know, could we some develop an  
5       outcome that says it's both appropriate for  
6       the Rand-type studies and has a good outcome?

7                   Path?

8                   MEMBER DELLINGER: Yes. I would  
9       agree that appropriateness is important. But  
10      I also point out that it's incredibly complex  
11      to take an example already on the floor. You  
12      choose to operate on that 600 pound patient or  
13      you choose to let that 600 pound patient stay  
14      at 600 pounds, gaining with all the  
15      comorbidities and say I'm not going to do  
16      anything for you.

17                  CO-CHAIR FLEISHER: Iver?

18                  MEMBER JUSTER: I'm thinking even  
19      further into the future. And I suppose the  
20      Medical Home and ACO organizations and so on  
21      are thinking about longer term, more  
22      longitudinal outcomes including the community

1 as part of the system. A lot of times what  
2 the community does might be more important to  
3 the health of more people than what the so  
4 called health care system does. Well, we  
5 don't have the information systems necessary  
6 to link our neighborhoods and communities to  
7 our health care system. But thinking in this  
8 forums might drive the future building of such  
9 systems.

10 CO-CHAIR FLEISHER: That could  
11 also incorporate our concerns that we didn't  
12 capture some of the novel approaches to taking  
13 care of patients remotely or through nursing  
14 outreach.

15 MEMBER JUSTER: And the other  
16 thing, I don't know where I found this on the  
17 web the other day, the National Happiness  
18 Index. And I suppose happiness is an outcome.  
19 The ability to be happy with whatever you've  
20 got is itself an outcome, although not  
21 necessarily of health care.

22 DR. WINKLER: I just want to make

1 a comment to Iver that there are other  
2 aspects, particularly in the child health part  
3 of our outcomes projects where we actually are  
4 looking at the influences of community and  
5 like, for instance, schools and things like  
6 that particularly as NQF is broadening is  
7 looking to populations and population-based  
8 measures. So this is a growing area that we  
9 are beginning to move into. So it's  
10 definitely on the agenda.

11 And to the degree you can help me  
12 craft the recommendation in a way that is  
13 useful, I think it's totally appropriate and  
14 a very good one to put in there.

15 MEMBER McNULTY: Reva, one thing  
16 that just has come to my attention in the last  
17 few weeks that might be worth taking a look at  
18 in terms of this idea of looking at  
19 populations and the health population,  
20 especially from the patient's own perspective,  
21 in the U.K. the National Health Service now  
22 has actually already instituted a mechanism

1 for collecting PRO data from all patients in  
2 the National Health Service who come through  
3 the system.

4 They're looking at right now, I  
5 think, at four different elective surgeries  
6 like hip and knee and varicose veins, and  
7 whatever. But they're talking about extending  
8 this out to other disease areas and so on.

9 It might be worth looking at what  
10 they're doing in the U.K. I'm still trying to  
11 find out more about it because one of my big  
12 questions is well they're going to collect all  
13 of these data, but what are they actually  
14 going to do with them? I mean, how are they  
15 planning on analyzing them? Because I think  
16 they'll face some of the same problems that we  
17 talked about here just a little while ago with  
18 regard to the FACIT measures. So I'm trying  
19 to find more about that and see what's going  
20 on. But at least they are down the road of  
21 thinking that they want to collect lots and  
22 lots of data on a population level.

1 CO-CHAIR DUBOW: You know, apropos  
2 of that, the issue of population medicine is  
3 clearly important, but I think we need to  
4 grapple with how we translate that into these  
5 measures of accountability that can be used in  
6 decision making for patients, which is one  
7 aspect of our care. I mean, we need to look  
8 at broad -- and that speaks to accountability,  
9 which comes back to Barbara's point about  
10 systems and about shared accountability, and  
11 about community responsibility for patient  
12 outcomes.

13 But I think we need some guidance  
14 to help us to figure out how to think about  
15 these of things.

16 The other point I wanted to talk  
17 about really speaks to the issue of  
18 longitudinal measurement, and that is to think  
19 about the episodes, thinking in the context of  
20 episodes. And NQF has done a lot of the  
21 bubble diagram and that kind of stuff.

22 DR. WINKLER: Right. I was

1 planning on using the bubble diagram.

2 CO-CHAIR DUBOW: Yes. Showing it.  
3 Because that really graphically very nicely  
4 describes what the episode is. And I think  
5 that we need to start doing is to begin to  
6 define the episode, you know, longer period of  
7 time so that we get the whole scope of it.

8 MEMBER JOHNSON: And, Joyce, just  
9 to expand on that further. To harmonize the  
10 outcome assessment for success. So you're a  
11 bariatric patient, you lost weight. Did you  
12 harmonize the success based on management  
13 metabolic syndrome that effected diabetes,  
14 hypertension and other risks that if you  
15 snapshot, you might miss. And so I think  
16 that's a -- as I would encourage long term  
17 assessment of risk and harmonizing. Because  
18 one outcome may not be the predictor of  
19 success. And it's really harmonization of  
20 that outcome that really defines best quality.

21 CO-CHAIR DUBOW: An we need to  
22 include marriage, as you pointed out.

1 CO-CHAIR FLEISHER: Then divorce.

2 MEMBER JOHNSON: No. One of  
3 things, a sidebar conversation we had with  
4 Joyce, is one of the major complication that  
5 patient don't realize when they go through  
6 bariatric surgery, a very significant  
7 complication is divorce. And that some  
8 programs now actually have marital counseling.

9 CO-CHAIR DUBOW: So we're  
10 branching out.

11 MEMBER ROSEN: Well, Walter Pories  
12 is the godfather of this. But he would not  
13 even operate on a patient until they went  
14 through marital counseling. And the divorce  
15 rates were in excess of 40 percent.

16 CO-CHAIR FLEISHER: I will go  
17 around the room. Why don't we go around and  
18 see if people had a last comment. And you can  
19 just defer, if not. But that way we can get  
20 everyone if they have a thought, it can be  
21 collected.

22 So Amy?

1                   MEMBER ROSEN: So just a couple of  
2 thoughts from the discussion over the last two  
3 days in terms of methodological thinking.

4                   So we had concern about our  
5 thinking about process measures. And I think  
6 there should be some sort of TAP or steering  
7 committee that looks at trying to link process  
8 and outcome measures. Because until we do  
9 that, we're really not going to be able to  
10 understand what's effecting the outcomes that  
11 we're talking about. So to look at outcomes  
12 in a vacuum, I think it's important to come up  
13 with a clearly defined set of outcomes. But I  
14 think the next step and important gap that the  
15 literature certainly has been facing is the  
16 ability to link process measures with  
17 outcomes. And very few empirical studies to  
18 date really are able to do that. The data  
19 just don't seem to be able to do that. So I  
20 think that's important.

21                   The other thing I heard is that  
22 while administrative data, you know sometimes

1 don't cut it. But then we have clinical data  
2 from registries or from medical record review,  
3 and that's very expensive and very labor  
4 resource intensive. So one thing would be to  
5 encourage some sort of crossbreeding so that  
6 we could test outcomes in both emerged  
7 administrative-type database along that has  
8 some clinical data elements.

9           And I know that AHRQ and some  
10 other groups are trying to develop those kinds  
11 of databases. But those might be good sources  
12 for us to start to think about developing  
13 outcome measures that incorporate both  
14 clinical and administrative types of elements.

15           The third thing was to think about  
16 risk adjustment and to think about ways in  
17 which we can enrich that as we think about  
18 outcomes measures. And one important  
19 ingredient I think that's really been brought  
20 out here today is the patient-centered view  
21 and perspective on care. And I think as much  
22 as we bring patients-center measures into a

1 risk adjustment framework would be great. And  
2 I know that's going to be hard to do. We tend  
3 to rely on either administrative or clinical  
4 data. But trying to broaden our perspective  
5 on risk adjustment would be great for thinking  
6 about comparing outcomes across providers.

7 Those were three thoughts I had.

8 CO-CHAIR FLEISHER: Any comment?

9 I mean, you can defer.

10 CO-CHAIR FLEISHER: Yes, I agree  
11 with Amy.

12 MEMBER JUSTER: I'm also a vote  
13 for linking process to outcomes. It's not the  
14 same thing to say that people who are  
15 randomized to take a statin, for example, have  
16 better heart outcomes than the 20 percent,  
17 let's say, of some group that ought to be  
18 taking a statin is not. And you convert ten  
19 of them, ten of those 20, we don't really know  
20 whether outcomes improvement follows exactly  
21 the same pattern as the randomized trials  
22 upon which those guidelines were based. So

1 the more we can do that, the better.

2 And other than the National  
3 Happiness Index, I'm fine.

4 CO-CHAIR FLEISHER: David?

5 MEMBER HOPKINS: A couple of  
6 things.

7 For Reva. When you do your gap  
8 analysis, and I wasn't sure if you were  
9 expressing this or not. But it strikes this  
10 that you've got one dimension right there. But  
11 the other dimension that may be is the 20  
12 priority conditions? Is that what you said?

13 DR. WINKLER: Yes.

14 MEMBER HOPKINS: I'm sorry I  
15 missed that.

16 DR. WINKLER: It's the major  
17 conditions, right.

18 MEMBER HOPKINS: Okay.

19 DR. WINKLER: That this project is  
20 oriented around.

21 MEMBER HOPKINS: And then this  
22 process look at outcomes, I just want to

1 express a somewhat perspective.

2 Yes, where we know what processes  
3 lead to good outcomes, we should do that. But  
4 I learned a long time ago when I was at  
5 Intermountain Healthcare that it's perfectly  
6 okay to collect data on outcomes when you  
7 don't know what processes lead to better  
8 outcomes, because that's how you find out.  
9 And that leads to clinician innovation. And  
10 Intermountain Health Care's story has been  
11 there for 20 plus years, and that's proof.

12 MEMBER DEUTSCH: This is Anne.

13 So just wanted to mention for  
14 functional status, there are measures out  
15 there in rehabilitation, but I did approach  
16 the developer and I guess they didn't submit.  
17 So probably a copyright issue. So it's  
18 similar to what we've talked about before.

19 CO-CHAIR FLEISHER: That might be  
20 an issue of how do we get around copyright  
21 issues in the future.

22 MEMBER GROAH: We'll let the

1 lawyers dig that out.

2 MEMBER DEUTSCH: Yes. But it's  
3 come up three different times, three different  
4 issues today.

5 And I just wanted to support  
6 Pauline's comment about there's a lot of  
7 people who developed instruments and test  
8 their reliability, validity. But they don't  
9 understand the outcome quality measure issue,  
10 and maybe not even the risk adjustment issue  
11 and how important that is. So I think that's  
12 really important.

13 And that's it.

14 MR. HERMAN: We had a lot of smart  
15 people that submitted things to us that we  
16 dismissed because they were out of scope or  
17 they weren't relevant to what we were talking  
18 about. And I'm not sure we clear enough when  
19 we asked the question of what we wanted them  
20 to preform to. So we spend a lot of time  
21 talking about it now, and maybe we an  
22 crystallize those things together, and the

1 next time we ask this we can be a little bit  
2 more specific so that people can be a little  
3 bit more responsive.

4 I also think that we spend a lot  
5 of time talking about well if we can't get at  
6 -- we understand the limitations of  
7 administrative data, but we don't have any  
8 other place to go. We're spending a lot of  
9 money in the next five years across this  
10 country how do we design our information  
11 systems to actually collect the information  
12 that we need to pull out at the end.

13 And then particularly with the  
14 bariatric surgery and things like that, we  
15 talk about risk adjustment, we talk about  
16 stratification. But I think it's important  
17 from a quality thing to be able to stratify  
18 before and then risk adjust afterwards.  
19 Because we can't apply everything to  
20 everybody. And you have to understand who  
21 this is important to apply it to before you  
22 start, and then risk adjust at the end.

1       Because I'm afraid we're going to have a lot  
2       of places out there taking our recommendations  
3       and taking a hammer to them and trying to fit  
4       them in and spending a lot of money on  
5       something and not getting a lot out of it.

6                So any guidance that we can  
7       provide as far as that stratification before  
8       about who are the patients that we should  
9       really apply this to, I think we'll make a lot  
10      better investments as we move forward.

11               MEMBER GROAH: I support the  
12      process and the outcome, and as well patient-  
13      centered movement. And also I'd like to bring  
14      up again is the remark that David made about  
15      the crosswalk. I think that would really  
16      serve us well and help in the future.

17               MEMBER NEWCOMER: So my points  
18      have also been made, but I'll underscore the  
19      two.

20               One is the use of combined  
21      databases. I've had the opportunity to do  
22      that now with the Ohio Tumor Registry,

1 WellPoint and us putting all of our claims  
2 data together with information. And we got  
3 more information about cancer in that state  
4 then we dreamed possible. So I think we can't  
5 do this enough.

6 The second is I also would agreed  
7 with David that I think we should worry more  
8 about outcomes and not worry about process  
9 linkage unless we have some clear -- but the  
10 outcomes in real world are not what we see in  
11 most of the studies with the process  
12 attachment. So I'd rather measure outcomes,  
13 use that, inform that information with the  
14 processes we know about but not be dependent  
15 on it.

16 MEMBER PINDOLIA: I agree with  
17 what everyone said, and especially that last  
18 part you said, Lee.

19 Reva, thank you for mentioning  
20 about childhood diseases because that was one  
21 of my comments that we haven't talked about  
22 childhood obesity and depression.

1                   Looking at disparity, I know on my  
2 Medication Management Steering Committee and  
3 this one, it's listed but every time there's  
4 no information. And with looking at the U.S.  
5 and the whole focus on disparity, infantile  
6 mortality, asthma, I mean there's mammograms,  
7 colorectal. I mean, there is so much  
8 opportunity for someone who has collected tons  
9 of data between RWJ and everyone else, that  
10 they should be able to develop one outcome  
11 measure for racial disparity , whether it's  
12 women keeping their OB/GYN appointments,  
13 something as simple as that that we know could  
14 help. But I mean just to give some ideas to  
15 someone for motivation.

16                   And I was really surprised if we  
17 get come feedback about like smoking  
18 cessation. In the U.S. more and more states  
19 are becoming nonsmoking states. So are these  
20 smoking cessation programs really useful or is  
21 just that people are paying for something and  
22 they're still not helping them. It'd be a

1 really good outcome for patients to know which  
2 ones are working and which ones aren't.

3 So those are just some of my  
4 suggestions.

5 MEMBER HAUGEN: I think most of my  
6 points have been also. But just two areas.

7 You know, having grown up in the  
8 information systems business, the data problem  
9 in this industry is just -- it's appalling and  
10 inexcusable. So it's so behind, but the  
11 message that it is a barrier to a quality care  
12 and delivering quality care, and the  
13 investment through health reform in electronic  
14 health records isn't the answer. That's part  
15 of the answer. Because as we listen to this,  
16 there's issues: Is the right data being  
17 capture, do I have access to it? The issue  
18 with proprietary data, the ownership of it.  
19 And it is a barrier to delivering quality care  
20 because we can't even assess it.

21 So I think from a topic moving  
22 forward as far as something, you know is there

1 a central message source for that is critical.

2 And then the second is this point  
3 of development. If outcomes are important,  
4 then the development of good outcome measures  
5 by groups that are used to doing process  
6 measures, how does one make that transition?  
7 And from a patient, I'd rather have a real  
8 simple thing. How many breast cancer patients  
9 recurred in the first five years? Well, maybe  
10 that isn't fair and I don't want it attributed  
11 to me, but it would begin to tell you  
12 something if people dig into it, wouldn't  
13 they?

14 So I think it may be simpler  
15 clarity, and I can get the information, maybe  
16 that's something that needs to be kind of a  
17 criteria as one looks at measures.

18 MEMBER YAWN: Well, I think my  
19 comments follow-on to that.

20 I'm really interested in more work  
21 being done on how we present the information  
22 to people, how they use it. And then I'd like

1 to know what the outcomes are from us  
2 presenting this information to the public.

3 DR. WINKLER: Impact?

4 MEMBER YAWN: Pardon me?

5 DR. WINKLER: You're talking about  
6 an impact, right?

7 MEMBER YAWN: Impact. I mean,  
8 yes, we keep saying this is great, let's do  
9 this. But I haven't seen a lot of outcome  
10 saying this is the impact of talking to  
11 patients. But part of that is I don't think  
12 we know how to talk to them or describe the  
13 information.

14 You think it's been done?

15 CO-CHAIR DUBOW: No. You know,  
16 there is evidence that at the present time  
17 given the measures that we have now, there is  
18 evidence that most consumers don't use the  
19 measures.

20 MEMBER NEWCOMER: Yes. Right.

21 CO-CHAIR DUBOW: But we know that  
22 there is an effect anyway because providers

1 are using it. So there is value to public  
2 reporting whether or not consumers themselves  
3 use it. And I don't think we should ever lose  
4 sight of that.

5 CO-CHAIR FLEISHER: And actually,  
6 if you look at the Kaiser data, it's changed  
7 over time.

8 MEMBER YAWN: And I wasn't wasn't  
9 suggesting we shouldn't do it. I'm just  
10 saying that we said there were two parts. One  
11 was for the systems and health systems and one  
12 is for the consumer, if you want to call it.

13 CO-CHAIR DUBOW: I know. But I  
14 just think we have to remember that there is  
15 value to publicly reporting regardless of who  
16 the --

17 MEMBER YAWN: I would never  
18 arguing that.

19 CO-CHAIR DUBOW: I know. I just  
20 want to say it.

21 MEMBER YAWN: I just want to do it  
22 better.

1                   MEMBER FILLIPO: I think most of  
2 my points have been made. I just want to  
3 underscore again the discussion about process  
4 indicators.

5                   Again, I'd love to be able to  
6 identify process indicators that are true  
7 intermediate outcome indicators and tied to  
8 the outcomes we're interested in. I just  
9 think that most of the processes of care that  
10 we're trying to look at are far too complex  
11 for us to bogging to understand what are the  
12 real important process indicators. And we go  
13 ahead and identify process indicators and  
14 health care systems and providers then work to  
15 those process indicators. And we've done  
16 nothing to improve outcomes of care. And in  
17 a lot of those case I'd prefer identify  
18 structural indicators. You know, structures  
19 of care that we know are tied to outcomes.

20                   MEMBER BECKER: So a lot of great  
21 work has been done. We should never forget  
22 any of that. Given health reform, given the

1 environment, given costs I think we're going  
2 to have to move a lot more quickly. And it  
3 seems to me that one of the things we should  
4 be thinking about is what's our desire to  
5 state, what do we want, what measures do we  
6 need, what do we have and what are the gaps?  
7 And then once we figure out what those gaps  
8 are, we need to become more prescriptive about  
9 saying this is what we want developed.  
10 Because we've got to start to fill in those  
11 holes. We've got to start moving in a  
12 direction.

13 When we talked about cancer today,  
14 maybe it's about filling in cancer and putting  
15 most of our energy towards that to get that  
16 one done, or another condition. But getting  
17 pieces done so that we have the most impact in  
18 health care cost and quality that we can.  
19 Because maybe we can't do it all at once, but  
20 we got to focused and finish.

21 MEMBER JEWELL: So I think NQF as  
22 a bit of a conundrum to wrestle with, and I'm

1       guessing you already have started down this  
2       discussion.  And that has to do with the fact  
3       that there is such a wide range of  
4       understanding across the different groups  
5       about what is needed.

6                So to your point about identify  
7       what we need and become more prescriptive, I  
8       could see some greater clarity in saying these  
9       are the kinds of measures we need and these  
10      are the specifications.  But the variety of  
11      level of understanding is such that there is  
12      in my estimation is such an educational need  
13      that I'm not clear that NQF can maintain its  
14      neutrality as a endorser and provide the level  
15      of education that's required.

16               And I've been down that road in my  
17      own professional organization relating to  
18      credentialing processes that we have.  And  
19      this incredible demand for a level of  
20      experience and detail that at some point we  
21      had to draw the line and say we can't teach  
22      you and endorse you at the same time.

1                   So I'm sure you're well aware of  
2                   that. But I just wanted to call it to  
3                   everybody's attention. Because my sense is  
4                   that even with more prescription like you're  
5                   describing, there's going to be some  
6                   significant cadre of people who don't know  
7                   what it means to take a measure from a patient  
8                   level up to an advocate level and to develop  
9                   a registry in order to do that, and to do the  
10                  risk adjusting and decide empirical versus  
11                  clinical. I mean, that's huge amount of  
12                  stuff.

13                   MEMBER GERBIG: Well, I'll be  
14                   interested to see the gap analysis. Because  
15                   my sense is that we've missed a lot of things.

16                   We've really been down in a lot of  
17                   minutiae, a lot of measures and we might be  
18                   shocked when we look at the gap to say how did  
19                   we miss this one. And then the fact that you  
20                   have two customers. You have the patients,  
21                   the public that we're trying to provide them  
22                   useable information and also the providers.

1 And I'm sure payors, too, are interested in  
2 the data. And have we met both of their needs  
3 and will we even ask a group of patients what  
4 are we missing and what would you like to see,  
5 and is this understandable.

6 I really like the fact that we're  
7 beginning to look at measures longitudinally.  
8 Because to the degree that we push measures  
9 beyond the hospital event, we really serve the  
10 data purposes for patients and get off of our  
11 own internal purposes as providers. And it's  
12 really uncomfortable because we don't have  
13 good methods for doing that, but that's what  
14 we want. We want to push people beyond their  
15 comfort zone because we'll rise to do what we  
16 need to do.

17 MEMBER McNULTY: I think it's  
18 really important, not just that we look at  
19 patient- centric measures, but that we also  
20 actually get the voice of the patient, him or  
21 self in there, hence the patient reported  
22 measures. And I'm just really pleased that

1 that is beginning is happen.

2 I think it's the beginning of a  
3 long journey. And other people have talked  
4 about that, but I would just really encourage  
5 us to move forward in this path and to see  
6 where we can go. Because I think from one the  
7 customers, i.e. the patient's perspective on  
8 this, it would be good for them to know how  
9 their own reports of how they either survived,  
10 or they feel or they function is really  
11 important information for them to know. And  
12 to translate that somehow into a quality  
13 measure is something I really want to see  
14 happen over the coming period of time. And I  
15 don't know how long it will take us to get  
16 there, but I think it's a really worthwhile  
17 endeavor.

18 So that's kind of my little piece.

19 MEMBER KEALEY: I want to make  
20 sure that we, as we look at measures, that we  
21 continue to use strategies that keep the heat  
22 on people to get better. Some examples, we

1 saw in the diabetes all or none versus the  
2 DRP, which was partial credit. We definitely  
3 know that the all or none may seem unfair to  
4 some people, but it really keeps the heat on  
5 and it makes sure that people are getting  
6 better. So I propose that.

7 I do like the measuring systems.  
8 We've seen a tendency for some of these  
9 measures to really try and focus down on a  
10 procedure done and my responsibility with that  
11 procedure and not think about the team or the  
12 system of care in the measures.

13 And the last thing as a non-  
14 statistician, I guess I just want to say that  
15 the whole idea of risk adjustment does concern  
16 me. Because I do think that it can obscure the  
17 reality of what's happening in our facilities.  
18 And so I think it can explain things away and  
19 turn the heat down, which I want to keep the  
20 heat up.

21 MEMBER JOHNSON: So two areas just  
22 for final comment.

1                   One is the implications of how  
2                   these measures are developed and how they're  
3                   used. Because these things will all be used  
4                   to single out individual or health systems, be  
5                   it good or bad. That's what's going to be  
6                   used. Payors and even the consumers are  
7                   looking for discriminance in choosing one  
8                   course of action or another. And there are  
9                   just so many unforeseen consequences of these  
10                  measures when you start to talk about  
11                  reporting bias stratification risk. And you  
12                  really run the risk as we put these systems  
13                  through to really maintain the mentality of  
14                  are we adding something that's truly better.  
15                  And on the same side, are we burdening a  
16                  reporter that we may hinder their care or cost  
17                  them in their practice in imposing something  
18                  that really is justifiably better. So that  
19                  remains my consternation on this process.

20                         We don't more measures, we just  
21                         need measures that really work. And so as we  
22                         look for things and say we've got gaps in

1 measurement, the easy thing because everybody  
2 feels good about quality. Nobody could argue  
3 quality. But if it's quality that matters,  
4 that's really I think the jurisdiction of what  
5 the NQF really needs to rein in and keep  
6 perspective on that. Not that you haven't,  
7 but just the concepts of going forward I think  
8 that becomes even more of a growing emphasis  
9 of need.

10 I remain concerns, too, with the  
11 accuracy of the assessments that are judged  
12 then by cross validation and looking at ways  
13 that these are truly reaching the right  
14 measures and the validity and how these things  
15 are reported. Because until everybody's on a  
16 uniform system with electronic records, it's  
17 very burdensome for any organization to go in  
18 and validate to make sure that these measures  
19 are really reported accurately by intent or  
20 just by misdirection.

21 The second area that I really  
22 wanted to focus on, this silo mentality, and

1 I've alluded to this to some degree. But when  
2 you look at an outcome and you can say for a  
3 bariatric patient they lost X amount of weight  
4 or you look at a colonoscopy patient and say  
5 I found X number of polyps. And outcome is  
6 very easy to report. Or you talk about an ICU  
7 mortality and you say the 30 day mortality in  
8 the ICU patient was at X percent. But if we  
9 looked at the data on that ICU, maybe 50  
10 percent of them went out on dialysis with  
11 pegs, they're brain dead and they went to a  
12 nursing home.

13 So the context of silo mentality I  
14 think is the other challenge for the NQF. That  
15 we harmonize these outcomes across the myopic  
16 focus that I think that a lot of us tend to  
17 practice in, because that's our snapshot of  
18 the episode of care.

19 So anyway, I'm not sure what the  
20 answer is, but that's really the assessment  
21 over time.

22 MEMBER AMARASINGHAM: That's

1 great. I just have a few additional comments  
2 myself.

3 I think that this conference has  
4 clearly illustrated how difficult measure  
5 development is and the importance of sort of  
6 national leadership with respect of funding  
7 for this. And I also think that if there is  
8 federal funding for measure development, there  
9 absolutely has to be stipulations that it's  
10 going to be a variable. And if you have  
11 groups that are developing measures like the  
12 American College of Surgeons or even the SF-36  
13 work is just for the academic credit of the  
14 investigators. And it clearly needs to be  
15 doing that.

16 The other thing is for research  
17 that's been funded, federal funding for  
18 research that primary intent may not have been  
19 measurement development but for which a  
20 measure was developed, should also released.  
21 Just like we have data sharing agreements from  
22 federal funds.

1 I also think that, you know  
2 there's only 1.7 percent of U.S. hospitals  
3 have electronic medical records. And this is  
4 absolutely critical, obviously, to developing  
5 e-measures. And we maybe ought to consider if  
6 there's only 1.7 percent of U.S. hospitals  
7 that are going to be the ones that are sort of  
8 all oriented development in this area, the  
9 question is whether we should think about the  
10 feasibility standards, the threshold being  
11 slightly lower. Just to encourage IT measures.

12 CO-CHAIR DUBOW: The Meaningful  
13 Use Regulations are actually to produce that.

14 MEMBER AMARASINGHAM: To put that  
15 up. So, obviously, you know we hope that we  
16 move from 1.7 to 20 percent. But still the  
17 vast majority of hospitals do not have  
18 electronic medical records.

19 We also for the longitudinal data,  
20 this absolutely critical. There's no doubt  
21 about that. But one of the questions with  
22 longitudinal data is if you're not an

1       accountable care organization, your patients  
2       are staying with you hopefully for five years,  
3       but there's a significant turn within the  
4       community. So let's say that the work that I  
5       do at my hospital is responsible for what may  
6       be real benefits in five years. But let's say  
7       if the patient goes up to a different  
8       hospital, and then measure is then attributed  
9       to that hospital.

10               I think there's an emerging area  
11       that we should encourage which is outcome and  
12       profiling at the community level. So imagine  
13       for Dallas, you say what's the readmission  
14       rate for Dallas and that hospitals are  
15       potentially in the game together on this. And  
16       while we're computing hospitals need to come  
17       together and say what's your admission rate in  
18       Dallas, and you'll all be penalized to some  
19       extent if that readmission rate is too high.  
20       I think that that's radical, but it's  
21       something we should consider.

22               CO-CHAIR DUBOW: We'll start in

1 Dallas.

2 MEMBER AMARASINGHAM: I have  
3 another Dallas partner here.

4 CO-CHAIR DUBOW: We'll start in  
5 Rochester --

6 MEMBER AMARASINGHAM: That's  
7 right. That's right.

8 And I think there is areas that  
9 clearly have been doing this. I think it  
10 would be real interesting to see this work in  
11 highly competitive markets.

12 CO-CHAIR FLEISHER: Philadelphia.

13 MEMBER AMARASINGHAM:  
14 Philadelphia, Dallas, you know Boston.

15 And I think that some ideas about  
16 the NQF supporting conferences on this.  
17 Because I think there is a large amount of  
18 sort of lack knowledge of how do you move  
19 strictly health services research methodology  
20 to more public reporting. I think there's  
21 health services researchers that are very  
22 intelligent, but many may not require a lot of

1 guidance to move into thinking about how their  
2 work could apply to public reporting.

3 MEMBER JEWELL: Or to at least  
4 train them.

5 MEMBER AMARASINGHAM: Okay. I'll  
6 be more charitable.

7 CO-CHAIR FLEISHER: So I'm  
8 actually thinking of the end user. I think  
9 NQF needs to go to AHRQ or some -- and  
10 actually get something upon what will actually  
11 move the public. What way of reporting does  
12 the star method actually influence how the  
13 public thinks.

14 I know Kaiser's analyzed this, but  
15 nobody's actually trying to change the way,  
16 see how different reporting systems make a  
17 difference to the patients themselves.

18 And the other thing is I think  
19 there's a lot about integrated outcomes versus  
20 subcategories of outcomes. We've actually  
21 approved a lot of broad outcomes, and how  
22 important is it to look at specific outcomes

1 versus the more general.

2 It's been a tremendous experience  
3 for the last two days. This is an amazing  
4 group. I've learned a lot. And it's been a  
5 real honor.

6 I wanted to thank, unless you have  
7 other comments, thank Helen, who is not here,  
8 and in particular Reva who has led us through  
9 this, and Heidi. And whole NQF staff.

10 CO-CHAIR DUBOW: Also Hawa and  
11 Sarah.

12 (Applause.)

13 CO-CHAIR FLEISHER: And Hawa and  
14 Sarah.

15 And despite putting us in two  
16 different hotels, it's been tremendous. Thank  
17 you.

18 CO-CHAIR DUBOW: And thank you to  
19 you all. This has been a really terrific  
20 group. I mean, for doing this kind of work  
21 we've really come together.

22 I just want to say a word about

1 next steps.

2 MEMBER YAWN: Before you do that,  
3 we just want to say thank you to the two of  
4 you also.

5 (Applause.)

6 CO-CHAIR DUBOW: It has been a  
7 real pleasure. Thank you for that.

8 DR. WINKLER: Can I make a  
9 comment?

10 CO-CHAIR DUBOW: Yes, please.

11 DR. WINKLER: Okay. In terms of  
12 next steps, you know this only so long, it's  
13 not goodbye. We're going to continue to be  
14 friends for a while now.

15 As we referred, David is always  
16 asked me for a copy of the slides that have  
17 the timelines for the two parts of this  
18 project going forward. And so I'm going to  
19 distribute that, send them out to everybody.  
20 You guys can take a look. Those will be the  
21 timelines.

22 This particular group will go

1 through the same process that we're embarking  
2 on for the first group, just lagging about  
3 four to six weeks behind. We will be first  
4 wrapping back with the measure developers you  
5 asked us to get responses on and get back to  
6 you. We'll try and do it electronically. If  
7 it looks like that's not the best way to reach  
8 some conclusions, we may have to organize a  
9 conference call. We'll have to see how that  
10 plays out. We'll work with Joyce and Lee to  
11 determine that.

12 Then we will be drafting a report.  
13 As I mentioned, we have drafted the ones for  
14 the first 12 measures. And now that you've  
15 kind of put this behind you, we can send you  
16 some more documents to take a look at. Lucky  
17 you.

18 But the summaries from the two  
19 conference calls as well as the draft report,  
20 like we said, we'd be happy to circulate with  
21 you. We'll send them to. So realize that  
22 that's those first 12 measures.

1                   We're going to be preparing  
2                   exactly the same set of documents based on  
3                   this after we do the discussion with the  
4                   measure developers and kind of sort through  
5                   some of some of these pending issues that  
6                   you've left us. So that's sort of the  
7                   immediacy for us.

8                   So both of these draft reports  
9                   will go through public comments periods. So  
10                  a 30 day public comment period. You are  
11                  certainly welcome to submit comments, should  
12                  you want. Encourage your friends, colleagues,  
13                  whoever else you'd like. It's available and  
14                  open to everyone.

15                  We have at times see highly  
16                  voluminous numbers of comments come in. And  
17                  we will have to deal with those.

18                  We will schedule a conference call  
19                  during the period where we're responding to  
20                  comments to wrap back with you all to see how  
21                  we're going to respond them, does it change  
22                  your thinking, might it change your

1 recommendations? This is the opportunity for  
2 the folks that you're really acting as a proxy  
3 for. You know, how does it play out there?  
4 How does your work reflect what they're really  
5 thinking? And so this is a nice feedback  
6 loop.

7 Revisions to the document or your  
8 recommendations will be finalized into a  
9 voting draft. It goes out to NQF members for  
10 voting. Those results ultimately go to the  
11 CSAC.

12 Now just to tell you, David and  
13 Joyce sit on the CSAC. They don't get to go  
14 away from this at all.

15 And then ultimately to the Board  
16 for final endorsement.

17 The time period for endorsement is  
18 looking to be September, October, November of  
19 next fall. So it's moving quickly. There is  
20 a lot of stuff. You've seen lots of volume. So  
21 we'll be in constant contact with you. We  
22 don't anticipate any more in-person meetings,

1 but certainly a minimum of two conference  
2 calls, possibly more as issues could arise  
3 that we need to check in with you all to help  
4 us make the decision necessary to go forward.

5 So with that, questions?

6 MEMBER HOPKINS: So does our work  
7 persist beyond the current realm measures, or  
8 is that it?

9 DR. WINKLER: Well, essentially  
10 for each project steering committee, yes. Once  
11 we reach the endorsement phase and we kind of  
12 finalize the deliverable, the work of this  
13 committee as constituted this group of people,  
14 is pretty much at an end.

15 MEMBER JEWELL: Trying to give us  
16 lifetime appointments, David.

17 DR. WINKLER: Yes.

18 MEMBER HOPKINS: No. But after a  
19 discussion about gaps, you sort of wonder how  
20 you're going to handle that and are you going  
21 to re-educate a whole crew. I mean, think  
22 about that.

1 DR. WINKLER: In terms of --  
2 you're saying next time we look at outcome  
3 measures?

4 MEMBER HOPKINS: Yes. Not that  
5 I'm looking for more work.

6 CO-CHAIR FLEISHER: I think he's  
7 volunteering.

8 DR. WINKLER: I get the feeling --  
9 yes.

10 CO-CHAIR DUBOW: You know, I just  
11 want to mention one thing about the public  
12 comment period. Because I think to emphasize  
13 what Reva said about commenting and having  
14 colleague comment. I think it's really  
15 important.

16 I think we just made some  
17 recommendations that deserve a lot of  
18 scrutiny. And I think that the CSAC would  
19 benefit from a lot of input from the public to  
20 ratify the decisions we made, to disagree.  
21 I'm sure there'll be a lot of that too. But  
22 I think that it's a good idea.

1                   It's the part of the process that  
2 really does allow for public input. And I  
3 think it's very important to have that  
4 information available when the CSAC makes its  
5 recommendation to the Board. So I really  
6 encourage you to work that part of the  
7 process.

8                   So it's time to wish everybody  
9 safe travels.

10                   (Whereupon, at 1:28 p.m. the  
11 Steering Committee was adjourned.)  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

<b>A</b>				
<b>abdominal</b> 107:13	219:5,8,10	140:16 141:9	223:14 224:3	215:9 224:10
<b>ability</b> 39:20 106:1	<b>accountable</b> 248:1	146:4 169:14,21	228:7	230:16
106:16 109:13	<b>accuracy</b> 72:16	213:12 214:22	<b>administrative-t...</b>	<b>agreed</b> 145:22
111:14 120:10	104:1 244:11	<b>addressed</b> 21:17	223:7	146:9 169:10
188:17 198:1	<b>accurate</b> 48:19	25:19 47:15	<b>administrator</b>	173:1 205:21
216:19 222:16	63:11 67:7 68:1,3	110:19 114:3	201:20	230:6
<b>able</b> 41:2 65:3	73:6 85:15 108:20	177:3	<b>admission</b> 13:9,22	<b>agreement</b> 31:8,11
69:14 101:3	109:1	<b>addresses</b> 87:8	15:10 18:2 27:8	202:18 206:5
120:12 121:11	<b>accurately</b> 41:2	107:3	27:21 28:3 49:22	<b>agreements</b> 246:21
124:18 126:9	71:21 104:6	<b>adds</b> 54:11	50:3,7,16 56:2	<b>ahead</b> 236:13
166:14 174:22	108:17 244:19	<b>adequate</b> 61:22	88:2 100:18	<b>AHRQ</b> 5:10,22
183:19 187:8	<b>ACO</b> 215:20	116:8 120:20	248:17	6:12 12:5 57:11
195:8 202:9	<b>ACS</b> 18:19 115:4,4	154:21	<b>admitted</b> 13:13	62:14,18 73:21
205:20 206:8	123:10,20 160:17	<b>adequately</b> 37:19	19:9 26:19 66:4	74:9 75:1 78:8,8,9
210:14 212:13	162:4,7 163:5	128:3	70:8 72:9 87:22	81:2 89:18 93:17
222:9,18,19	165:21	<b>adjourned</b> 258:11	88:1 99:17 100:21	94:21 97:3,11
228:17 231:10	<b>acting</b> 255:2	<b>adjust</b> 38:18 39:21	<b>admitting</b> 23:6	98:5 182:19,22
236:5	<b>action</b> 243:8	51:16 68:5 117:2	<b>adopt</b> 180:16	223:9 250:9
<b>absence</b> 51:16	<b>active</b> 87:13,15	137:20 228:18,22	<b>advance</b> 201:21	<b>AHRQ's</b> 78:22
<b>absolutely</b> 19:2	109:11	<b>adjusted</b> 128:4	<b>advantage</b> 198:17	<b>al</b> 105:17 155:2
43:21 68:12 80:12	<b>actively</b> 178:2	<b>adjusting</b> 39:13	<b>advent</b> 115:18	<b>albeit</b> 75:20
111:21 184:15	<b>activity</b> 180:9	239:10	<b>advertises</b> 114:1	<b>alcohol</b> 67:9 68:14
197:21 206:2	<b>actual</b> 30:22	<b>adjustment</b> 8:14	<b>advertising</b> 115:19	68:20
246:9 247:4,20	119:12 148:6	37:14,16,21 38:7	<b>advise</b> 78:8	<b>alcoholic</b> 68:5,17
<b>abstaining</b> 131:22	<b>acute</b> 6:10,18,20	38:10 39:12 44:3	<b>advisory</b> 105:13	71:16,17,22 72:18
135:10 142:9	47:16 89:20	44:10 46:15 48:3	<b>advocate</b> 239:8	<b>allegation</b> 15:7
191:16	<b>ad</b> 92:13,17	51:13 52:17 55:5	<b>affect</b> 18:4 29:19	<b>alleviate</b> 146:16
<b>abstraction</b> 8:1	<b>add</b> 9:18 17:20	55:10,19 67:18	127:1	<b>allow</b> 40:17 138:12
29:18 77:1	27:13 36:21 38:20	69:18 71:7,14	<b>affirm</b> 138:13	164:10 258:2
<b>abstracts</b> 14:20	40:4 74:5 106:17	72:4 73:1 77:3,5	<b>afraid</b> 202:9	<b>allowed</b> 166:16
<b>academic</b> 246:13	120:9 146:12	87:3 100:13 101:6	205:15 229:1	<b>alluded</b> 245:1
<b>acceptability</b> 33:21	149:21 175:7	104:1 110:10,17	<b>age</b> 7:15,20 8:12	<b>alongside</b> 9:12
53:16 132:3	183:21 196:2	113:22 114:4,8	51:17,21 52:4,5	<b>Alternative</b> 74:8
139:21 142:2	214:20	116:22 117:7	52:11,13,15 110:5	<b>alternatives</b> 150:17
174:3 190:2	<b>added</b> 37:12	126:21 127:16	179:1	<b>AMARASINGH...</b>
<b>acceptable</b> 79:13	<b>adding</b> 120:9 129:1	129:5 130:1	<b>agenda</b> 170:8	1:17 56:7 99:2,19
206:3	243:14	131:10 136:17	217:10	131:11 162:21
<b>acceptably</b> 139:14	<b>addition</b> 39:15	174:8 223:16	<b>ages</b> 7:13	199:16 245:22
<b>access</b> 96:8 115:9	120:8 129:4	224:1,5 227:10	<b>aggregate</b> 179:9	247:14 249:2,6,13
232:17	<b>additional</b> 76:6	228:15 242:15	<b>aggressive</b> 100:7	250:5
<b>accomplish</b> 39:1	106:9 118:1,9	<b>adjustments</b> 104:7	<b>ago</b> 25:13 93:10	<b>amazing</b> 251:3
192:4	124:7 246:1	<b>administrative</b>	156:16 197:6	<b>American</b> 45:2
<b>account</b> 20:12	<b>address</b> 10:3 14:22	22:15,18 38:17	218:17 226:4	114:20 160:12
38:14 39:16 70:19	17:3 41:1 45:11	39:20 40:15 41:6	<b>agree</b> 34:1 56:1	215:2,2 246:12
71:9	86:21 94:6 99:22	48:15 77:8 86:8	85:17 110:6 162:1	<b>AMI</b> 6:11 7:1 8:11
<b>accountability</b>	101:3 105:4,6	98:13 127:16	192:20 193:5	10:19,21 13:2,4
	129:10 137:9,21	129:12 222:22	205:12 206:14	13:14,15 16:1

18:1,1,3,12,20 24:1 26:7 27:10 27:11 31:1 32:2 33:9 89:19 <b>AML</b> 116:11 <b>amount</b> 109:15 182:10 239:11 245:3 249:17 <b>AMS</b> 13:3 <b>Amy</b> 2:9 44:12 46:12 51:13 126:1 221:22 224:11 <b>Amy's</b> 142:12,21 <b>analyses</b> 76:7 77:7 78:19 100:14 214:1 <b>analysis</b> 11:1 102:21 108:6 225:8 239:14 <b>analyzed</b> 250:14 <b>analyzing</b> 218:15 <b>and/or</b> 205:15 <b>angina</b> 19:9 20:2 <b>Anne</b> 1:19 226:12 <b>annual</b> 91:11 <b>answer</b> 49:6 58:21 68:19 77:19 93:20 97:12 161:9 201:22 202:7,10 205:7 232:14,15 245:20 <b>answered</b> 178:12 <b>answers</b> 202:2 <b>anticipate</b> 255:22 <b>anticipated</b> 90:18 <b>anxiety</b> 146:5,14,16 <b>anybody</b> 5:8 44:4 46:10 57:12 133:3 135:10 142:9 143:5,18 158:17 160:22 191:16 192:3 <b>anybody's</b> 29:3 <b>anymore</b> 184:16 <b>anytime</b> 80:14 <b>anyway</b> 31:6 78:6 92:14 110:5	234:22 245:19 <b>apnea</b> 129:13 130:16 <b>apologies</b> 202:13 <b>apologize</b> 31:4 39:2 76:3 88:21 90:21 140:7 144:12 201:21 202:13 204:7,18 <b>appalling</b> 232:9 <b>apparently</b> 60:2 <b>appear</b> 49:3 50:2,6 50:6,15 87:20 <b>appears</b> 15:7 135:7 <b>Applause</b> 251:12 252:5 <b>apple</b> 175:20,20 <b>apples-to-apples</b> 187:8 <b>application</b> 23:22 48:14 59:6 <b>applied</b> 15:9 59:4 203:4 207:6 <b>apply</b> 228:19,21 229:9 250:2 <b>applying</b> 48:2 <b>appointment</b> 156:9 <b>appointments</b> 231:12 256:16 <b>appreciate</b> 5:21 30:4 40:4,6 42:7 43:16 45:6 47:20 106:7 114:10 <b>appreciated</b> 105:14 <b>approach</b> 28:7 29:10,13 40:9 41:5 43:17 152:5 211:19 226:15 <b>approached</b> 177:20 <b>approaches</b> 28:6 28:17 36:2 111:19 216:12 <b>appropriate</b> 126:22 127:9 159:22 201:16 213:20 215:5 217:13 <b>appropriated</b>	199:20 <b>appropriately</b> 5:15 122:11 <b>appropriateness</b> 214:20,21 215:3,9 <b>approval</b> 181:2 204:2 <b>approve</b> 8:5 45:19 193:14 <b>approved</b> 22:5 250:21 <b>approving</b> 56:12 <b>APR</b> 71:15 <b>APRIL</b> 1:9 <b>apropos</b> 219:1 <b>area</b> 16:12 42:16 118:4,5 128:12 169:5,7 182:6 196:4 209:1 217:8 244:21 247:8 248:10 <b>areas</b> 159:2 186:22 196:8 211:6 218:8 232:6 242:21 249:8 <b>arena</b> 111:12 <b>argue</b> 84:16 244:2 <b>arguing</b> 235:18 <b>argument</b> 25:5 41:9 52:16 71:20 137:18 <b>arose</b> 37:10 <b>arrest</b> 20:4 <b>arrhythmia</b> 23:17 <b>artery</b> 35:11 38:15 39:17 213:1 <b>article</b> 106:3,11,13 111:9 <b>articulated</b> 106:8 <b>ascertained</b> 85:13 <b>asked</b> 11:7 44:22 70:22 79:12 227:19 252:16 253:5 <b>asking</b> 48:9 58:20 78:6 94:2 98:5,17 133:10 157:4	161:16 <b>aspect</b> 50:10 99:4 219:7 <b>aspects</b> 112:19 214:19 217:2 <b>aspirin</b> 24:1 <b>assess</b> 172:15 232:20 <b>assesses</b> 173:16 <b>assessing</b> 172:18 213:19 <b>assessment</b> 58:5 68:2,3 85:4 122:4 125:20 172:2,7 191:14 207:10,13 209:18 214:4,9,15 220:10,17 245:20 <b>assessments</b> 244:11 <b>assign</b> 75:21 85:4 <b>assigned</b> 155:17 156:5 <b>assigning</b> 112:12 <b>assignment</b> 72:17 <b>assigns</b> 72:17 <b>associated</b> 20:15 21:2 45:17,22 71:8 126:10 <b>associations</b> 68:13 <b>assume</b> 87:18 135:9 193:7 <b>assumption</b> 125:22 <b>asthma</b> 231:6 <b>as-is</b> 133:16,21 <b>attach</b> 192:15 <b>attached</b> 121:4 <b>attachment</b> 230:12 <b>attempts</b> 58:1 <b>attention</b> 10:18 148:16 217:16 239:3 <b>attributable</b> 63:16 63:17 104:1,20 140:12 <b>attribute</b> 5:15 112:13,15,21 212:8,13 <b>attributed</b> 233:10	248:8 <b>attributing</b> 140:18 <b>attribution</b> 112:16 113:6 <b>at-risk</b> 103:8 <b>audio</b> 45:12 <b>auditing</b> 26:21 <b>authorization</b> 38:22 199:14 <b>authorized</b> 199:19 199:20 <b>available</b> 11:11 28:9 75:3 86:3,7 108:13 109:3 119:4 162:12 175:2,4 254:13 258:4 <b>avoid</b> 100:7 <b>awaiting</b> 21:8 <b>aware</b> 109:22 239:1 <b>awful</b> 153:14 <b>a.m</b> 1:13 4:2 144:2 144:3
<b>B</b>				
<b>back</b> 19:15 23:13 25:21 44:2 49:19 49:22 53:7,8,11 74:1,9 93:16,21 95:17 97:21 101:16,20 120:8 120:11 124:10,13 126:11 129:2 135:22 138:4,13 140:4 142:2,21 143:21 144:17 147:13 158:2 162:5 166:13 188:11,15 193:10 209:3 212:6,7 219:9 253:4,5 254:20 <b>background</b> 58:19 112:10 <b>backup</b> 64:8 <b>backwards</b> 207:11 <b>bad</b> 185:17 192:3				

192:18 243:5	165:9 176:2	187:22 193:3,6	<b>bone</b> 156:18,22	167:21 179:10
<b>balance</b> 103:9	<b>basis</b> 18:2 37:9	210:12 224:16	159:2	223:22 229:13
<b>band</b> 110:10,13	86:10 88:7 129:22	225:1 226:7	<b>book</b> 125:15	<b>bringing</b> 74:1
112:1	198:15 204:14	229:10 235:22	<b>bookmarked</b>	149:7
<b>Barbara</b> 2:10	205:11,22	241:22 242:6	171:22	<b>brings</b> 169:6
12:15 24:14 28:13	<b>Bauer</b> 162:5,7	243:14,18	<b>BOSSLEY</b> 2:14	<b>broad</b> 66:1 102:18
79:2 116:20	<b>BCPS</b> 2:8	<b>beyond</b> 24:22	9:17 10:10 33:17	169:17 219:8
123:11 147:8	<b>bear</b> 162:16	38:17 39:19 43:2	34:18 39:4 44:6	250:21
157:19 187:12	<b>Beaumont</b> 202:3	58:13 130:14	44:15 53:7 56:18	<b>broaden</b> 224:4
188:14 212:3	<b>BECKER</b> 1:18	146:8 186:18,19	92:12 96:4 132:12	<b>broadening</b> 217:6
<b>Barbara's</b> 19:8	236:20	187:4 212:10	141:14 145:7,11	<b>broader</b> 181:20
72:21 80:16	<b>becoming</b> 231:19	240:9,14 256:7	158:16 170:14,18	207:6 213:9
214:22 219:9	<b>began</b> 73:17	<b>bias</b> 70:11 77:4	170:22 171:21	<b>broadly</b> 153:8
<b>bariatric</b> 101:19	<b>beginning</b> 39:10	101:8 125:11,17	172:3 177:19	<b>brought</b> 15:5 51:13
102:6,9 109:6	87:5 88:21 186:16	243:11	178:7 189:15	65:3 69:6 223:19
110:4 111:3,12	197:18 217:9	<b>biases</b> 74:16 122:8	190:1,10,13,22	<b>bubble</b> 219:21
113:14,15 114:21	240:7 241:1,2	140:22	191:7,11 199:22	220:1
119:9,15 120:4,5	<b>begins</b> 175:12	<b>big</b> 47:17 162:15,20	<b>Boston</b> 165:7	<b>build</b> 87:9 137:16
122:15 125:13,16	213:16	184:10 210:20,21	249:14	137:19
126:12 131:18	<b>believe</b> 9:7 12:8,22	211:13 213:3	<b>Bott</b> 5:22,22 6:3	<b>building</b> 216:8
133:16 139:11,21	13:1 25:9 30:10	218:11	12:6,21,21 14:22	<b>built</b> 39:22 40:5
142:3 144:11	44:15 54:20 89:11	<b>bigger</b> 204:4	64:3,7,22 78:14	41:22 42:8 105:9
212:15 214:2	92:20 110:1	205:18	81:16,21 82:1	113:7
220:11 221:6	117:12 153:11	<b>biggest</b> 84:16 146:1	86:17,18 95:19,20	<b>burden</b> 22:15,18
228:14 245:3	154:7 158:13	<b>bill</b> 50:1,2 199:12	96:6	<b>burdening</b> 243:15
<b>bariatrics</b> 165:1	163:6 165:13	<b>billed</b> 50:1	<b>box</b> 170:3	<b>burdensome</b>
214:11	203:1	<b>biopsy</b> 145:17	<b>Boy</b> 147:6	244:17
<b>barrier</b> 232:11,19	<b>believes</b> 208:11	149:15 150:1	<b>brachytherapy</b>	<b>BURKE</b> 2:4
<b>barriers</b> 147:17	<b>benchmark</b> 108:11	152:11,18 155:12	165:8	<b>Burke's</b> 19:21
153:10	198:11	158:5	<b>brain</b> 95:9 245:11	<b>BURSTIN</b> 2:17
<b>base</b> 67:3	<b>beneficiary</b> 185:3	<b>biostatistician</b>	<b>branching</b> 221:10	73:16 80:20 82:5
<b>based</b> 13:7,19	<b>benefit</b> 257:19	202:3	<b>break</b> 23:5 139:4,7	88:20 89:15 90:3
23:19 37:5 51:9	<b>benefits</b> 248:6	<b>bit</b> 12:18 24:8 64:8	139:8 143:20	91:21 92:8,15
59:4 61:15 66:17	<b>best</b> 5:20 8:17 9:6	93:19 105:9	144:16 170:10	93:8,13 94:19
67:21 69:14 71:15	28:8,17 29:13	181:14 210:12,15	<b>breast</b> 148:12	97:10 98:1 118:1
76:22 77:7 94:11	31:11 52:8 75:8	228:1,3 237:22	151:16 155:11	124:4 125:5,8
100:14,17 106:13	83:20 111:7,18	<b>bleed</b> 104:14 107:8	160:11 168:7	135:20 159:15
124:11 140:19	123:14 160:5	<b>bleeds</b> 106:13	179:6 207:12	162:3 196:10
146:7 163:11	198:3 220:20	<b>blind</b> 160:18	233:8	197:7 199:4
169:1 210:9	253:7	<b>blinded</b> 166:13	<b>Brian</b> 1:19 33:19	<b>business</b> 145:15
220:12 224:22	<b>beta</b> 24:1	<b>blockers</b> 24:2	<b>Brian's</b> 132:11	199:9 232:8
254:2	<b>Bethesda</b> 1:12,13	<b>BMI</b> 121:15 123:3	142:12	<b>bypass</b> 35:11 38:15
<b>baseline</b> 188:14	<b>better</b> 26:13 30:7	125:20 130:3	<b>bridge</b> 183:14	39:17 42:3 110:10
<b>basic</b> 16:2 126:21	40:18 48:20 74:22	<b>Board</b> 73:17	<b>brief</b> 202:17 203:15	110:14
166:2	81:12 97:11	255:15 258:5	<b>bring</b> 43:16 74:9	
<b>basically</b> 49:9 71:9	123:12 159:6	<b>body</b> 117:4	79:21 95:17 106:5	
105:18 113:3	176:17 185:1,13	<b>bogging</b> 236:11	124:5,13 135:22	

## C

CABC 35:20

<b>CABG</b> 43:7 47:13 51:7 53:5	122:11 216:12 232:17	204:5	<b>challenges</b> 181:9 181:18	207:5,13
<b>cadre</b> 239:6	<b>captured</b> 18:9,11	<b>cast</b> 102:18	<b>challenging</b> 9:9	<b>chunk</b> 15:19
<b>calculated</b> 95:21	18:15 22:4 41:17	<b>categories</b> 119:7	<b>chance</b> 82:4 109:1	<b>circle</b> 151:18
<b>calendar</b> 92:2,5	66:1,22 71:19	<b>category</b> 40:9	154:17 194:8,17	<b>circulate</b> 253:20
<b>California</b> 31:19	84:6 103:13,21	<b>cause</b> 20:20 22:15	201:5	<b>circumstances</b> 16:7
<b>call</b> 59:14,21 64:20	<b>captures</b> 13:15	58:18 72:12,15	<b>change</b> 43:19 55:13	26:5 123:1,7
65:6,9,10 78:15	67:1 83:17 100:10	212:21	55:14 56:3 60:22	136:17
112:12 148:15	<b>cardiac</b> 16:8 20:4	<b>caused</b> 13:22	77:15 85:22 92:9	<b>cirrhosis</b> 63:18,19
151:6 159:17	68:15	<b>causes</b> 63:16	197:12 250:15	67:8 68:6,6,16
194:21 195:2	<b>cardiologists</b> 42:15	<b>cautious</b> 169:3	254:21,22	<b>claim</b> 27:19 41:7
200:17 202:4	<b>Cardiology</b> 215:3	<b>CBA</b> 39:15 40:22	<b>changed</b> 60:6 235:6	87:17,21
203:16 235:12	<b>cardiovascular</b> 3:3	41:2,7 42:3,6	<b>changes</b> 66:12	<b>claims</b> 7:21 17:15
239:2 253:9	4:13 6:5 16:8	45:17,18,19,20	91:12,16 142:4	30:16 31:1 39:20
254:18	32:19 57:3	47:10,11,19 51:5	<b>changing</b> 98:6	40:15 48:15 67:3
<b>called</b> 24:17 65:7	<b>cards</b> 76:16,17	<b>CBAs</b> 41:18	205:5	67:21 69:13 86:4
216:4	<b>care</b> 13:10 21:6	<b>CCS</b> 1:23	<b>characteristics</b>	86:4 103:15 109:3
<b>calls</b> 119:15 199:7	24:1,16 40:13	<b>center</b> 70:13,13	42:2	117:21,22 119:2,6
253:19 256:2	86:9,13,16,22	89:12 101:9 109:5	<b>charge</b> 211:2	121:19 126:7,18
<b>CAMARA</b> 2:18	87:2,13,16 99:4	113:16 118:15	<b>charitable</b> 250:6	127:10 164:2
54:8	100:1,22 112:19	<b>centered</b> 153:18	<b>chart</b> 30:15 48:10	186:11 230:1
<b>cancer</b> 3:12 4:14	114:2 116:4	154:8,15 229:13	48:14,20 66:11	<b>claims-based</b> 70:3
116:11 144:5,20	145:14 146:4	<b>centers</b> 71:12	77:1 108:3	85:10 99:8
147:3 152:8	151:20 156:4	113:15 114:2	<b>check</b> 19:13 25:17	<b>clamp</b> 213:1
153:21 155:11	159:9 161:7,13	148:13 151:16	122:2 256:3	<b>clarification</b> 21:13
159:3,8,9,10,18	167:22 169:1	<b>central</b> 233:1	<b>checking</b> 89:5	52:22 88:5 193:14
159:21 160:11	172:19 173:12	<b>centric</b> 240:19	141:14 145:9	<b>clarify</b> 68:8 71:13
161:20 164:18	174:10 184:7	<b>certain</b> 42:2 45:1	<b>checklist</b> 122:14	<b>clarifying</b> 49:15
165:2,3 168:8,13	198:14 205:1	86:4 112:19	<b>chemo</b> 188:1	<b>clarity</b> 19:14 88:7
172:2,7,16 179:6	214:8 216:4,7,13	116:14 119:12	<b>Cheryl</b> 5:9 27:3	134:6 233:15
184:20 185:8,15	216:21 219:7	136:16 168:15	76:1,18 78:10	238:8
191:14 197:18,19	223:21 232:11,12	172:17	<b>Cheryl's</b> 12:7	<b>class</b> 8:18 75:8
204:19,21 207:10	232:19 236:9,14	<b>certainly</b> 5:18	<b>chest</b> 13:13 25:1	83:20 123:14
230:3 233:8	236:16,19 237:18	20:11 35:2 40:1,4	160:18	<b>classic</b> 175:11
237:13,14	242:12 243:16	42:17 43:15,15	<b>CHF</b> 89:19	<b>clear</b> 5:19 30:5
<b>cancerous</b> 155:16	245:18 248:1	50:13 63:2,9	<b>child</b> 217:2	43:9 63:3 74:19
<b>cancers</b> 159:11	<b>cared</b> 87:16	106:6,15 109:7,22	<b>childhood</b> 230:20	95:12 96:17 98:4
160:11 161:3	<b>careful</b> 151:17	114:5 119:4,14,22	230:22	103:17 109:18
163:13	<b>Care's</b> 226:10	147:3 167:15	<b>choice</b> 52:13	111:4 138:6 154:5
<b>capture</b> 18:20,20	<b>carry</b> 78:21 79:9	222:15 254:11	<b>choose</b> 147:22	195:7,14,21 202:8
40:20 43:3 55:4	<b>case</b> 14:19 30:6	256:1	160:15 215:12,13	227:18 230:9
69:14,18 71:21	88:5 129:21	<b>cessation</b> 231:18,20	<b>chooses</b> 69:22	238:13
74:12 75:11 83:20	180:13 194:1	<b>cetera</b> 22:8 150:18	212:16	<b>clearly</b> 17:12 27:14
85:10 103:2 107:4	203:20 206:2	155:22 180:22	<b>choosing</b> 243:7	45:16 68:14
108:17,20 109:2	236:17	<b>Chair</b> 144:4	<b>chose</b> 84:22 117:11	111:18 116:18
109:13 111:14	<b>cases</b> 6:17 14:1	<b>challenge</b> 187:2	<b>chronic</b> 47:16	181:22 219:3
114:16 121:16	20:12 31:9 118:16	245:14	172:10 205:4	222:13 246:4,14
				249:9

<b>Clinic</b> 23:2	<b>colleague</b> 257:14	126:16,17 127:15	<b>committee</b> 1:3,12	93:22
<b>clinical</b> 23:20 45:14	<b>colleagues</b> 12:5	179:11	4:9,10 12:15	<b>completed</b> 200:6
65:12,12 172:15	254:12	<b>coming</b> 23:13 79:6	37:18 41:13 64:18	209:6
172:21 173:3,11	<b>collect</b> 11:15 188:6	87:7 115:22	65:1 97:22 120:19	<b>completely</b> 34:2,5,8
173:17 176:13	218:12,21 226:6	144:17 159:11	146:21 203:17	39:21 53:17,21
180:11,17 182:7	228:11	162:9 179:4	204:11 222:7	54:12 110:12
182:21,22 183:5	<b>collected</b> 16:21	196:11 197:22	231:2 256:10,13	132:5,16,22
192:10,21 198:19	41:4,4,5 163:22	241:14	258:11	139:15 142:3,14
202:19 204:15	178:22 221:21	<b>comment</b> 3:4 8:4	<b>Committee's</b> 121:2	142:22 190:3,14
223:1,8,14 224:3	231:8	12:20 14:11 15:15	<b>common</b> 72:15	191:3
239:11	<b>collecting</b> 166:8	15:15 17:18 19:21	104:15	<b>completing</b> 139:22
<b>clinically</b> 66:7	187:3 209:21	21:19 32:10 38:3	<b>commonly</b> 183:8	<b>complex</b> 63:13
<b>clinician</b> 226:9	218:1	38:5 44:22 45:4,8	<b>communicate</b> 81:1	68:14,21 70:12
<b>clinicians</b> 161:6	<b>collection</b> 160:6	45:10 47:21 72:21	<b>communities</b> 216:6	215:10 236:10
174:6 180:16	172:8 188:3,9	96:16 105:12	<b>community</b> 174:22	<b>complexity</b> 67:2,5
184:3	<b>College</b> 45:3	108:15 112:5	215:22 216:2	73:11
<b>clinics</b> 147:11	114:20 160:12	115:7,15 120:7,11	217:4 219:11	<b>complicated</b> 55:17
<b>close</b> 211:10	215:2 246:12	125:10 133:12	248:4,12	70:14 128:6
<b>closer</b> 108:12	<b>colon</b> 160:11	146:21 147:19	<b>comorbid</b> 103:11	168:16
<b>CM</b> 66:18 71:19	<b>colonoscopy</b> 245:4	154:10 175:7	<b>comorbidities</b>	<b>complication</b> 82:16
<b>CMPT</b> 165:16	<b>colorectal</b> 231:7	209:7,8 212:1	68:13 100:16	101:19 103:1,10
<b>CMS</b> 7:7,12,14	<b>combined</b> 229:20	213:7 217:1	103:7 104:11	104:15,17 106:11
11:1,10 12:3	<b>combining</b> 164:2	221:18 224:8	112:11 130:7,11	107:14,20 111:10
17:13 22:1,21	<b>come</b> 9:19,19 12:12	227:6 242:22	130:14 215:15	112:12 116:1
32:13 52:3 86:20	13:12 14:20 15:2	252:9 254:10	<b>companies</b> 186:13	164:13 221:4,7
86:22	18:7 30:3 32:19	257:12,14	186:17 187:3	<b>complications</b>
<b>CNOR</b> 1:21	41:16 44:2 75:19	<b>commenting</b>	<b>comparability</b>	18:21,21 43:6
<b>code</b> 6:18 19:20	98:7 100:14	257:13	45:21	63:13,18 102:6,9
26:9,13 27:9 42:3	101:16 102:22	<b>comments</b> 10:12	<b>comparable</b> 163:22	103:7 104:11,16
47:13 72:13 86:5	103:20 115:21	12:5,7,9,14 15:17	203:5	104:20 105:19
86:9 87:2,6,10	121:10 124:9	29:14,16 30:5	<b>comparative</b>	106:10,14,17,20
99:10 103:15	138:13 147:12,19	31:2 32:6,7 36:7	164:14 183:2	106:21 111:1,15
<b>coded</b> 25:15 29:1	159:4 164:4	37:13,17 41:12	<b>comparator</b> 166:14	113:19 114:11,14
<b>coder</b> 13:18 72:17	166:17 169:12	46:12 49:17 52:19	<b>compare</b> 9:7 93:1	115:22 126:11
<b>coders</b> 26:22 72:11	181:10 188:15	65:13 67:16 96:21	93:11 95:4,7,22	128:17 130:18
<b>codes</b> 21:19 29:17	209:3 217:16	115:13 147:13	113:22 115:4	131:18 165:3,11
41:11 48:19 50:1	218:2 222:12	148:2 183:22	175:18 206:11	165:14 168:4
66:17,18 71:19,21	227:3 231:17	209:21,22 210:9	<b>compared</b> 11:1	177:5
85:20 86:3,21	248:16 251:21	230:21 233:19	30:15	<b>component</b> 91:17
106:16 118:6	254:16	246:1 251:7 254:9	<b>Compares</b> 92:22	94:11 168:9 206:7
123:2,6,7	<b>comes</b> 19:15 27:8	254:11,16,20	<b>comparing</b> 98:12	206:7
<b>coding</b> 13:17 15:4	73:2 82:15 93:21	<b>commercial</b> 109:20	164:15 224:6	<b>composite</b> 36:1,11
19:19 25:8 26:4	180:8 188:11	<b>Commission</b> 7:5,13	<b>comparison</b> 9:8	36:21 89:2,10,14
27:10 28:14 29:10	219:9	7:14,18,22 8:1	32:22 48:15	89:16,17 90:7,10
32:2 40:9 47:4,10	<b>comfort</b> 240:15	11:5,7,21 22:1	<b>comparisons</b> 187:9	90:20 91:2,9,13
47:11	<b>comfortable</b> 93:4	32:11,15 33:1	<b>competitive</b> 249:11	91:18,20 92:18
<b>cohorts</b> 163:11	97:13 122:10	<b>commit</b> 78:19	<b>complete</b> 64:21	93:22 94:9,11,16

95:1 96:2	<b>conferences</b> 249:16	<b>Consortium</b> 148:12	137:12 138:2,4	140:4,10 169:13
<b>composites</b> 82:9	<b>confident</b> 51:4,4	<b>constant</b> 255:21	148:15 149:18	169:14 203:14
<b>compute</b> 111:10	<b>confidentially</b>	<b>consternation</b>	156:21,22 169:21	213:16 222:1
<b>computing</b> 248:16	205:9	243:19	181:15 185:15	225:5
<b>concepts</b> 244:7	<b>conflict</b> 45:3	<b>constituted</b> 256:13	221:3	<b>course</b> 13:14 14:1
<b>conceptually</b> 42:5	163:20	<b>consultant</b> 2:22	<b>conversations</b>	17:14 60:6 71:19
<b>concern</b> 29:12 37:2	<b>confusion</b> 11:13	42:10,14	101:14 162:4	72:14 90:12
37:8 38:19 39:19	14:2 32:13 90:22	<b>consulting</b> 2:20	210:10	105:21 138:20
84:16 99:22 100:6	155:15	37:5	<b>convert</b> 224:18	243:8
155:5 157:9	<b>Congress</b> 199:14	<b>consumer</b> 81:9	<b>converting</b> 181:11	<b>cover</b> 82:20 86:13
176:20 207:15	<b>connected</b> 36:16	115:19 168:17	203:2	<b>coverage</b> 75:19
222:4 242:15	<b>connection</b> 5:19	235:12	<b>convinced</b> 25:4	<b>CO-CHAIR</b> 1:16
<b>concerned</b> 8:7	36:17	<b>consumers</b> 234:18	<b>cooperative</b> 182:21	1:17 4:3 5:1,3,6,7
32:13 36:9,18	<b>consensus</b> 1:4	235:2 243:6	<b>COPD</b> 193:16	5:8,11,16 6:1,4,7
46:20 74:20 77:11	42:13 102:16	<b>contact</b> 194:21	197:3	6:13 8:3 10:11
84:20 86:12	<b>consequence</b> 20:5	255:21	<b>copy</b> 252:16	11:4 12:4,10 14:5
151:14 152:9	75:12	<b>contacts</b> 113:2	<b>copyright</b> 202:6	15:14 16:14 17:16
153:20 185:9	<b>consequences</b>	<b>contained</b> 96:2	226:17,20	18:18 19:2,18
203:11	150:12 214:12	<b>contention</b> 19:8	<b>corner</b> 188:1	21:12 24:14 25:18
<b>concerning</b> 30:21	243:9	<b>context</b> 104:6	<b>coronary</b> 35:11	26:1 28:10 29:9
<b>concerns</b> 74:2	<b>consider</b> 4:13	162:18 176:12	38:15 39:17	29:21 30:11 31:2
124:16 126:3	28:14,16 51:8	182:7,8,20 183:9	<b>correct</b> 12:22 13:6	31:13,16 32:5,18
141:3 146:1	61:10,12,22 62:10	184:12 198:14	14:13 19:3 37:21	33:4,14 34:13,19
169:22 173:9	75:3 82:11 87:3	219:19 245:13	38:1 43:11 48:7,8	35:9 36:6,13
216:11 244:10	106:6 110:17	<b>continuation</b> 50:7	48:12 51:20 55:11	37:13,20 38:2,6
<b>concluding</b> 169:21	121:1,3 122:17	<b>continue</b> 101:11	59:11 66:16 71:4	39:5,9 41:12
<b>conclusion</b> 69:13	131:15 149:6	141:22 241:21	73:5 81:14 89:18	43:18 44:1,2,8,12
<b>conclusions</b> 253:8	157:21 206:19	252:13	98:14 123:11	44:18 45:6 46:5,9
<b>condensed</b> 204:17	207:9 247:5	<b>continued</b> 9:22	128:22	49:16 51:11 52:18
<b>condition</b> 15:9	248:21	11:15	<b>correctly</b> 14:12	53:10 54:2,16,22
43:19 54:18 55:7	<b>considerable</b>	<b>continuous</b> 188:6	21:22 61:21	55:8,21 56:10,16
55:9,20 56:9 62:1	211:10	<b>contract</b> 78:16	122:12	57:1,5,11,15
118:18 120:9	<b>consideration</b>	<b>contractor</b> 78:7,12	<b>corroborate</b> 63:9	58:14 59:9,13,15
121:14 129:2	60:18 62:2 80:17	<b>contractors</b> 78:15	<b>cost</b> 165:10 237:18	59:18,20,22 60:2
136:2 192:14	83:12 90:12 97:16	97:12	243:16	60:5,8,10,13,15
237:16	122:18 151:6	<b>contrary</b> 97:17	<b>costs</b> 237:1	60:16,21 61:3,8
<b>conditions</b> 18:15	<b>considered</b> 50:21	<b>contribute</b> 67:10	<b>counseling</b> 221:8	61:13,20 62:5,9
20:4 21:2 34:14	51:1 58:9 61:7	111:17	221:14	64:1,4 65:15
54:17 89:4,17	62:4,6,7,8 79:16	<b>control</b> 126:9,14	<b>count</b> 87:12	67:15 69:5,10,17
95:6 121:4 124:11	104:8 151:8 152:2	<b>controlling</b> 176:1	<b>counted</b> 21:3 24:11	73:9 74:8,18
124:12 131:2	155:2,16 174:9	<b>Cont'd</b> 2:2	<b>counterintuitive</b>	75:14 76:13 77:9
134:14,18,22	199:13,15 202:21	<b>conundrum</b> 102:17	55:20	77:22 78:9,13
135:15,19 143:9	<b>considering</b> 91:3	182:5 184:21	<b>country</b> 109:5	79:2,15,22 80:3,4
225:12,17	122:20 147:2	237:22	228:10	80:12,22 82:13
<b>conference</b> 246:3	<b>consistent</b> 43:10	<b>conversation</b> 97:3	<b>couple</b> 4:12 7:10	83:7,11 84:15
253:9,19 254:18	55:4	97:4 101:11	82:8 87:4 88:3	85:18 88:17 91:1
256:1	<b>consistently</b> 27:2	129:21 130:20	105:11 118:20	91:5,7,14,19 92:1

92:6,11,14 93:18	195:5 199:2,11,18	<b>crosswalk</b> 22:11,20	114:10,13 117:21	41:15 43:4,7
94:14 96:14 97:15	200:5,14,20 201:3	23:8 25:8 28:15	118:12 119:2	49:19 51:9 55:15
98:2,9 99:5,9,13	201:8,12 206:17	75:10 229:15	121:19 123:21	86:20 104:4,5,7
99:16,20 100:5,9	207:2,8,21 208:3	<b>CRQ</b> 193:16	124:2 126:7	105:5,11 106:4
101:10 102:2	208:17,22 212:3	<b>crystallize</b> 227:22	127:10,16 129:11	107:4,19,22 109:2
104:21 105:7	213:14 214:18	<b>CSAC</b> 28:15 86:19	129:12 160:13	115:14 130:21
113:11 114:19	215:17 216:10	87:1 255:11,13	161:21 162:12,13	133:15 134:2
115:12 116:17	219:1 220:2,21	257:18 258:4	162:19 163:3,5,19	139:2,3,11,20
117:18,20 118:17	221:1,9,16 224:8	<b>curious</b> 85:19	164:2,7 166:2,4,8	140:5,11 141:1
120:18 121:13	224:10 225:4	182:16	166:13,16 167:4	142:2 145:17
122:16 123:9,17	226:19 234:15,21	<b>current</b> 31:12 89:1	178:22 180:21	157:22 158:1
123:22 126:1	235:5,13,19	90:19 256:7	187:3,9 188:3,6,9	165:1 216:17
127:13,21 128:10	247:12 248:22	<b>currently</b> 39:13	198:6,10,18 218:1	245:7 254:10
128:18 129:1,6,8	249:4,12 250:7	88:10 90:9 95:21	218:13,22 222:18	<b>days</b> 35:14 36:4
129:15,20 130:5,7	251:10,13,18	96:3 125:1 182:13	223:1,8 224:4	41:16,17 43:1,10
130:19 131:6,9,13	252:6,10 257:6,10	<b>cusps</b> 196:21	226:6 228:7 230:2	43:11 50:9 55:3
133:7,11,20 134:9	<b>Co-Chairs</b> 1:13	<b>customers</b> 113:8	231:9 232:8,16,18	102:7,10,16 103:4
134:15,20 135:2	<b>CPT</b> 66:17	239:20 241:7	235:6 240:2,10	105:16 107:17
135:14,18 136:8	<b>craft</b> 217:12	<b>cut</b> 141:13 144:14	245:9 246:21	108:16,20 115:17
136:22 137:6,10	<b>create</b> 163:11	223:1	247:19,22	116:1,7,8,14
137:14 138:1,11	<b>created</b> 8:15 104:2	<b>cutpoints</b> 163:4	<b>database</b> 108:13	126:7,15,17 128:5
138:14,17,19,21	<b>creating</b> 29:5 164:3	<b>C-O-N-T-E-N-T-S</b>	109:19,20 223:7	128:7 131:19
139:1,5 140:7	<b>credentialing</b>	3:1	<b>databases</b> 223:11	141:7 145:15
141:2,10,15,22	238:18		229:21	175:15 211:11
143:7,14,19 144:4	<b>credibility</b> 122:9	<b>D</b>	<b>dataset</b> 17:15,15	214:4,5 222:3
144:12,18 145:1,5	<b>credit</b> 242:2 246:13	<b>Dallas</b> 248:13,14	<b>datasets</b> 17:5 86:5	251:3
145:8 146:19	<b>crew</b> 256:21	248:18 249:1,3,14	86:8	<b>DCIS</b> 155:14
147:6,8 148:3,11	<b>criteria</b> 8:12 15:6	<b>damage</b> 68:18	<b>date</b> 172:21 222:18	<b>dead</b> 245:11
149:3,16 150:9,21	33:7 34:2 53:17	<b>dangerous</b> 112:1	222:22	<b>deal</b> 4:6 179:7
151:3,12,22 152:4	53:18,19,22 54:1	<b>data</b> 7:21 8:1 9:1	<b>Dave</b> 124:5	213:4 254:17
152:6,21 153:1,4	54:6 58:5 79:17	9:16,21 11:16	<b>David</b> 1:22,23,24	<b>dealt</b> 7:1 181:17
153:16 154:9,16	81:8 109:10	12:19 16:20,21	41:13 54:22 61:8	<b>dearth</b> 153:21
155:7 156:14	130:21 131:5,9	17:12 21:14,18	62:22 69:5 75:10	<b>death</b> 63:15 67:4,9
157:13,18 158:2,7	132:5,6,8,17	23:20 24:12,13	76:14 77:10 81:3	71:10 87:21
158:11,17 160:19	139:16,17 142:4,5	25:10,15 30:16,17	88:4 101:15 138:6	<b>deaths</b> 6:17 22:4
161:5,15 163:8	142:15,16,18	31:1,9 32:3 37:10	145:10 193:8	62:19
164:12 165:20	143:1,2 146:8	40:15 41:3 42:12	195:1 198:4	<b>debating</b> 52:13
166:18,20 168:5	189:20 207:18	45:14 61:16 72:5	213:14 225:4	77:10
169:18 170:5	214:21 215:4	72:7 74:10,22	229:14 230:7	<b>December</b> 95:7,13
171:10 172:1	233:17	75:2 76:6,7,11,14	252:15 255:12	95:22
177:10 178:11,15	<b>critical</b> 46:18 80:8	76:22 77:2,5,7,8	256:16	<b>decide</b> 80:9 239:10
179:13 181:8	214:8 233:1 247:4	77:14,19 78:2,4	<b>Davies</b> 5:9,9 27:3,4	<b>decides</b> 160:17
184:13 187:5	247:20	78:19 80:7,8 86:4	76:1,1,17,19	<b>decision</b> 8:22 11:13
188:20 189:1,7	<b>critiques</b> 205:7	94:1 98:13 100:14	78:12	29:8 30:7 69:15
190:9,11 191:9,13	<b>cross</b> 244:12	100:15 102:19,21	<b>day</b> 7:6,11,16 11:10	70:4 78:22 90:14
191:18 192:7	<b>crossbreeding</b>	106:22 109:3	11:14 13:4 17:13	96:15 97:8,14
193:7 194:15,19	223:5	111:10 112:3	18:9,21 23:4	150:16 152:10,12

152:19 155:1 168:7,9 179:12 203:22 219:6 256:4 <b>decisions</b> 22:16 69:9,19 84:5 179:5 257:20 <b>deep</b> 107:2 <b>defend</b> 205:16 <b>defer</b> 97:16 221:19 224:9 <b>deferred</b> 77:13 <b>deficits</b> 56:8 <b>define</b> 42:11 80:6 112:7 220:6 <b>defined</b> 13:3,7 15:9 18:11 52:11 62:20 64:14 66:7 181:22 222:13 <b>defines</b> 13:3 220:20 <b>defining</b> 42:1 83:16 114:17 163:16 <b>definitely</b> 15:19 44:21 136:5 173:4 217:10 242:2 <b>definition</b> 8:11 13:8 15:3,6 17:9 37:2 65:19,22 90:17,18,19 103:16 112:10 162:11 163:2 213:17 <b>definitions</b> 15:4 104:20 163:4 <b>definitive</b> 111:5 150:2 <b>degree</b> 38:16 39:18 64:8 217:11 240:8 245:1 <b>deleted</b> 112:4 <b>deliberations</b> 64:10 <b>deliverable</b> 209:17 211:7 256:12 <b>delivered</b> 169:2 <b>delivering</b> 232:12 232:19 <b>DELLINGER</b> 1:18	18:6 60:19 67:17 68:4 108:15 110:3 110:8 111:20 118:13 128:2,11 129:11,16 141:17 195:20 215:8 <b>delta</b> 196:19 197:1 <b>deltas</b> 197:9,20 <b>demand</b> 238:19 <b>demographic</b> 136:17 <b>demonstrated</b> 105:18 145:21 174:19 <b>demonstrating</b> 180:22 <b>denial</b> 60:17 <b>denied</b> 58:9 61:7 <b>denominator</b> 13:4 20:10 38:12 39:14 46:22 47:19 64:13 65:22 66:4 71:5 98:7 <b>deny</b> 60:14,15 <b>denying</b> 60:17 <b>depended</b> 37:3 <b>dependent</b> 230:14 <b>depending</b> 91:10 175:10 <b>depressed</b> 198:9 <b>depression</b> 230:22 <b>describe</b> 234:12 <b>described</b> 173:1 <b>describes</b> 220:4 <b>describing</b> 239:5 <b>description</b> 10:20 29:4 <b>deserve</b> 10:17 257:17 <b>design</b> 228:10 <b>designed</b> 101:5 <b>desire</b> 181:1 237:4 <b>desired</b> 106:18 <b>despite</b> 58:1 111:12 125:10 251:15 <b>detail</b> 238:20 <b>details</b> 178:21	<b>determination</b> 13:18 <b>determine</b> 74:4 173:4,15 253:11 <b>determining</b> 28:17 <b>DEUTSCH</b> 1:19 10:7 226:12 227:2 <b>develop</b> 23:10 112:18 161:17 162:17 215:4 223:10 231:10 239:8 <b>developed</b> 49:9 51:2 115:5 215:3 227:7 237:9 243:2 246:20 <b>developer</b> 15:11 18:14 59:10 60:3 61:9 105:1 118:20 120:8 127:14 129:3 130:20 131:16 136:3,9 137:9 138:2,16 140:16 141:8 143:16 148:4,8 151:1 153:6 154:3 171:11 176:7 177:12 189:4,10 193:20 194:8 200:2,16 201:5,15 202:5 208:9 226:16 <b>developers</b> 21:18 57:7 63:5 151:8 193:11 194:2 198:21 253:4 254:4 <b>developing</b> 48:2 165:18 223:12 246:11 247:4 <b>development</b> 5:10 16:13,15 60:6 76:2 199:13,21 209:20 233:3,4 246:5,8,19 247:8 <b>de-identified</b> 160:22	<b>diabetes</b> 129:13 130:16 198:8 220:13 242:1 <b>diagnose</b> 41:2 <b>diagnosed</b> 155:14 <b>diagnoses</b> 20:6 26:22 27:7,15 48:19 103:17 <b>diagnosis</b> 6:18 13:7 13:8,21 14:18 16:17 19:20 20:2 20:13 21:19 23:6 23:19 26:7 27:9 27:12,16,17 28:9 37:3,7 40:22 66:18 81:22 107:9 <b>diagnostic</b> 47:4 145:3,15 <b>diagram</b> 219:21 220:1 <b>dialysis</b> 245:10 <b>Dianne</b> 1:23 28:20 51:11 156:14 184:13 <b>Dianne's</b> 187:6 <b>die</b> 82:17 <b>died</b> 16:19 <b>differ</b> 65:13 <b>difference</b> 10:2,8 68:9 136:5 161:11 187:16 194:1 250:17 <b>differences</b> 9:1 10:3,20 111:1 128:8 165:10 173:5 179:21 <b>different</b> 7:10 8:6 8:14 9:15 16:3 28:16 30:6 37:10 40:7 43:2 50:4 55:10 81:6 84:9 86:14 103:18,19 105:19 111:2,22 112:21 113:5,12 113:15,18,19 115:2 116:16 124:2 149:20	164:15,22 166:3 174:20 178:12 179:1 180:1,5 186:4 194:5 204:11 205:4 207:5 218:5 227:3 227:3 238:4 248:7 250:16 251:16 <b>differently</b> 20:22 25:6 36:2 119:6 <b>difficult</b> 9:1 12:19 23:21 39:3 41:1 67:6 101:2 175:21 179:4 246:4 <b>difficulty</b> 24:9 <b>diffused</b> 63:21 <b>dig</b> 227:1 233:12 <b>Digest</b> 204:17 <b>dimension</b> 225:10 225:11 <b>direction</b> 209:19 237:12 <b>directive</b> 73:17 <b>directly</b> 97:11 <b>Director</b> 2:14 165:22 <b>direct-to</b> 115:18 <b>dirty</b> 148:1 <b>disagree</b> 257:20 <b>disagreement</b> 207:3 <b>disagrees</b> 158:18 <b>disappear</b> 171:22 <b>disappointing</b> 147:1 <b>discharge</b> 12:19 13:19 14:18 17:4 26:7 27:20 35:14 87:17,19,20 102:8 <b>discharged</b> 50:17 <b>discharges</b> 62:19 <b>disclosures</b> 32:9 <b>discomfort</b> 159:20 <b>disconnect</b> 89:6 <b>discriminance</b> 243:7 <b>discrimination</b>
--	--	---	--	--

45:15	<b>distress</b> 57:20	54:4,10 55:12	<b>drop</b> 175:14	153:1,4,16 154:9
<b>discuss</b> 12:13 69:12	<b>distribute</b> 65:4	56:15,20 57:9,14	<b>DRP</b> 242:2	154:16 155:7
150:22 175:21	252:19	57:17 59:1,19	<b>drug</b> 60:5	156:14 157:13,18
209:13	<b>divorce</b> 221:1,7,14	60:1 62:1,8,16	<b>druthers</b> 97:1	158:2,7,11,17
<b>discussed</b> 13:1	<b>DNR</b> 99:4,8	64:3,7,22 65:16	<b>Dubow</b> 1:13,16 4:3	161:5,15 163:8
23:14 36:1 58:12	<b>doctor</b> 202:15	71:3 72:8 76:1,17	5:6,8,16 6:1,4	165:20 166:20
76:14 102:13	<b>document</b> 6:15	76:18,19,20 78:7	11:4 29:21 32:18	168:5 169:18
115:15 140:20	255:7	78:12,14,20 81:16	44:2,8 45:6 57:11	170:5 171:10
172:22 173:10	<b>documentation</b>	81:21 82:22 86:1	57:15 58:14 59:9	172:1 177:10
174:1 195:9	87:1	86:17 90:8 91:4	59:15,18,22 60:2	178:11,15 179:13
202:16	<b>documents</b> 253:16	91:10,15 92:4	60:8,13,16,21	181:8 184:13
<b>discussing</b> 147:15	254:2	94:3 95:19 96:6	61:3,8,13,20 62:5	187:5 188:20
<b>discussion</b> 3:20	<b>dodge</b> 117:16	99:11,14,17,21,22	62:9 64:1,4 67:15	189:1,7 190:9,11
58:15 64:13 76:12	<b>doing</b> 4:4 74:10	100:17 101:22	73:9 74:8,18	191:9,13,18 192:7
85:17 88:18 94:20	90:4 119:9 133:18	102:3 105:3,8	75:14 76:13 77:22	193:7 194:15,19
97:7 136:11 141:1	159:19 166:10,11	107:19 108:1,9	78:9,13 79:2,15	195:5 199:2,11,18
144:20 145:19	184:8 189:17	109:9 110:6,18	79:22 80:3,12,22	200:5,14,20 201:3
147:20 148:8,18	198:13 200:18	112:5,14 114:5	83:7,11 88:17	201:8 206:17
150:11 155:19	204:3 208:5	115:6 119:5	91:1,7,14,19 92:1	207:2,8,21 208:3
157:14 162:5	211:21 218:10	120:16 128:22	92:6,11,14 93:18	208:17,22 219:1
181:18 189:11	220:5 233:5	131:4,17 132:2,14	94:14 96:14 97:15	220:2,21 221:9
200:7,13 201:10	240:13 246:15	133:9 135:9,12,16	98:2,9 99:5,9,13	234:15,21 235:13
214:3 222:2 236:3	249:9 251:20	139:4,10,20 142:1	99:16,20 100:5	235:19 247:12
238:2 254:3	<b>domain</b> 164:21	142:11 143:13,17	101:10 102:2	248:22 249:4
256:19	<b>door</b> 115:22 170:4	144:9,15,21	104:21 105:7	251:10,18 252:6
<b>discussions</b> 73:4	<b>doubt</b> 50:14 57:14	149:17 165:22	115:12 116:17	252:10 257:10
80:2	74:22 247:20	170:3 171:6,19	117:20 118:17	<b>due</b> 23:5 45:11
<b>disease</b> 46:2 63:17	<b>downer</b> 147:7	189:22 190:20	120:18 121:13	65:10 93:10
68:15,16 71:17,18	<b>downside</b> 70:17	191:6,16 199:8	122:16 123:22	125:14 177:5
71:18 72:1,14,18	<b>DPT</b> 1:23	202:4 206:1	126:1 127:13,21	<b>dumping</b> 101:7
72:19 85:1 100:4	<b>Dr</b> 3:5 4:22 5:2,5,9	209:15 216:22	128:10,18 129:1,8	<b>dunk</b> 124:12
106:2 140:18	5:22 6:3,8,14 8:8	219:22 225:13,16	129:15,20 130:5	<b>DVT</b> 130:18
174:20 179:2	8:20 9:4,14 10:5,9	225:19 234:3,5	130:19 131:6,9,13	<b>DVTs</b> 106:22
185:18 186:22	11:6,20 12:1,6,21	252:8,11 256:9,17	133:7,11,20 134:9	<b>dying</b> 69:20
196:8 198:12	13:6,16 14:22	257:1,8	134:15,20 135:2	
203:6 218:8	15:16 17:2 19:22	<b>draft</b> 253:19 254:8	135:14,18 136:8	<b>E</b>
<b>diseases</b> 68:20	21:5 22:3 25:20	255:9	136:22 137:6,10	<b>E</b> 1:18
183:14 185:16	27:3,13 31:3,15	<b>drafted</b> 253:13	137:14 138:1,14	<b>earlier</b> 214:3
198:7 230:20	31:18 32:8 33:6	<b>drafting</b> 253:12	138:19 139:1,5	<b>early</b> 50:17 155:14
<b>dismissed</b> 227:16	33:15,18 34:17,20	<b>draw</b> 238:21	140:7 141:2,10,15	<b>earned</b> 143:20
<b>disparities</b> 146:4	35:5,8,10 38:4,8	<b>dreamed</b> 230:4	141:22 143:7,14	<b>easier</b> 121:16
<b>disparity</b> 180:11	39:7,11 41:20	<b>DRG</b> 27:18 28:8	143:19 144:4,12	<b>easily</b> 212:8
231:1,5,11	43:8,14,21 44:17	71:15	144:18 145:1,5,8	<b>easy</b> 80:14 127:15
<b>disposition</b> 14:19	44:19 45:8 46:8	<b>drive</b> 50:12 216:8	146:19 147:8	244:1 245:6
14:21 17:4 58:6	47:6 48:8 49:4,11	<b>driven</b> 186:15	148:3,11 149:3,16	<b>echoing</b> 127:7
<b>dissent</b> 192:21	49:14 50:22 51:20	187:19 188:10	150:9,21 151:3,12	<b>educated</b> 168:18
<b>distinction</b> 12:16	52:20 53:3,8,12	<b>drives</b> 27:18	151:22 152:4	<b>education</b> 187:21

238:15	<b>embarrassing</b>	<b>enrollment</b> 109:11	<b>events</b> 16:3,4,12	16:10 46:22 47:4
<b>educational</b> 238:12	159:13	109:15	43:7	<b>excludes</b> 17:10
<b>EDWARD</b> 1:21	<b>emerged</b> 223:6	<b>enter</b> 81:14,18	<b>eventually</b> 80:8	<b>exclusion</b> 86:11
<b>effect</b> 129:13	<b>emergency</b> 82:3	196:15	<b>everybody</b> 4:8	174:8
130:13 234:22	<b>emerging</b> 248:10	<b>entered</b> 81:16	33:12,13 34:17	<b>Excuse</b> 139:18
<b>effected</b> 220:13	<b>emphasis</b> 244:8	<b>enters</b> 197:15	135:9 139:13	144:9
<b>effecting</b> 222:10	<b>emphasize</b> 10:15	<b>entertain</b> 129:4	143:13,17 170:9	<b>Executive</b> 165:22
<b>effective</b> 112:2	146:14 257:12	201:4	183:11 187:10	<b>exist</b> 168:10
<b>effectively</b> 4:10	<b>empirical</b> 49:2 98:8	<b>entertaining</b>	190:7 192:20	<b>existence</b> 172:11
101:3	222:17 239:10	118:18 157:15	228:20 244:1	<b>existing</b> 92:18
<b>effectiveness</b>	<b>empirically</b> 48:6	<b>entire</b> 13:19 109:12	252:19 258:8	<b>expand</b> 72:6
164:14 183:2	<b>empty</b> 210:7,9	<b>entirely</b> 111:4	<b>everybody's</b> 180:1	106:11 220:9
<b>effects</b> 29:21 32:16	<b>Encinosa</b> 105:17	<b>entrance-based</b>	239:3 244:15	<b>expanded</b> 19:20
58:18 186:19	106:10 110:19	24:1	<b>everything's</b> 22:12	<b>expect</b> 101:15
<b>efficiently</b> 209:10	<b>encounter</b> 41:6	<b>environment</b>	<b>evidence</b> 31:12	<b>expected</b> 65:10
<b>effort</b> 114:16	47:12	176:14 237:1	46:21 49:1 69:1,3	72:13
146:17 167:2	<b>encourage</b> 48:1	<b>envision</b> 181:1	146:2,14 147:4	<b>expensive</b> 223:3
176:7 180:15	220:16 223:5	211:18	150:13 155:4	<b>experience</b> 19:10
183:18	241:4 247:11	<b>episode</b> 47:17	203:1 234:16,18	172:18 238:20
<b>efforts</b> 79:1 210:19	248:11 254:12	197:15 220:4,6	<b>evolving</b> 28:5	251:2
<b>eight</b> 19:15 41:17	258:6	245:18	<b>exact</b> 20:8	<b>expert</b> 151:15
53:18 190:6	<b>encouraging</b> 123:6	<b>episodes</b> 219:19,20	<b>exactly</b> 7:8 41:11	162:10
<b>Eighteen</b> 56:18	<b>endeavor</b> 241:17	<b>equally</b> 141:18,19	101:12 134:16	<b>experts</b> 185:8
<b>either</b> 59:14 64:5	<b>ended</b> 84:19	<b>erythropoietin</b>	147:16 148:9	<b>expire</b> 17:8
74:3 78:19 92:16	<b>endorse</b> 67:13	177:1	157:17,21 167:18	<b>expired</b> 84:20
156:4,20 193:6	74:14 80:9 123:14	<b>escaping</b> 31:22	184:1 200:12	<b>explain</b> 14:14
202:14 224:3	238:22	<b>esophageal</b> 62:20	207:1 224:20	242:18
241:9	<b>endorsed</b> 7:2 9:13	63:14 66:5 68:11	254:2	<b>explanation</b> 44:9
<b>elaborate</b> 163:9	32:21 35:19,22	72:10 73:7 90:2	<b>examined</b> 150:3	187:21
<b>elective</b> 114:6,7	52:9 65:21 77:12	<b>especially</b> 115:18	<b>example</b> 20:3 42:2	<b>expletive</b> 112:3
218:5	82:8,21 89:2,3	186:20 217:20	49:5 91:12 106:22	<b>explicit</b> 154:14
<b>electronic</b> 188:3	90:10,11,20 91:13	230:17	112:22 156:11	<b>explore</b> 17:21 81:7
232:13 244:16	91:22 104:9 163:5	<b>essentially</b> 50:4	175:12 186:9	119:22
247:3,18	197:2 210:5	90:6 102:11	196:19 197:11,15	<b>explored</b> 21:11
<b>electronically</b>	<b>endorsement</b> 7:18	196:12 256:9	212:15 215:11	<b>express</b> 226:1
175:4 253:6	73:11,13 74:20	<b>established</b> 46:3	224:15	<b>expressing</b> 225:9
<b>element</b> 70:11,15	79:16,18,19 94:9	<b>estimate</b> 65:2	<b>examples</b> 241:22	<b>extend</b> 56:1
<b>elements</b> 8:21	120:21 121:4	<b>estimation</b> 238:12	<b>excellent</b> 63:6	<b>extended</b> 43:1 52:4
117:21 223:8,14	133:15 143:11	<b>et</b> 22:7 105:17	173:3	55:2,18 105:15
<b>elephant</b> 55:18	193:15 195:19	150:18 155:21	<b>exception</b> 102:5	<b>extending</b> 51:9
<b>eligibility</b> 86:5,6	255:16,17 256:11	180:22	196:22	218:7
109:15	<b>endorser</b> 238:14	<b>evaluate</b> 8:9 122:4	<b>excess</b> 10:18	<b>extension</b> 41:15
<b>eliminated</b> 109:16	<b>endorsing</b> 88:6	176:19	221:15	<b>extent</b> 40:17
<b>eliminates</b> 14:6	<b>ends</b> 19:1 66:21	<b>evaluated</b> 35:19	<b>exciting</b> 87:9	248:19
<b>email</b> 97:6 101:16	<b>end-of</b> 69:8 86:15	<b>evaluating</b> 61:16	<b>exclude</b> 10:4 22:2	<b>external</b> 42:10,13
171:15	<b>energy</b> 237:15	<b>event</b> 18:3 69:21	38:12 47:19	<b>extracting</b> 64:11
<b>embarking</b> 253:1	<b>enrich</b> 223:17	197:10 240:9	<b>excluded</b> 14:19	<b>extraction</b> 63:10

67:21 70:4 85:11  
99:8 107:17,22  
108:6 140:19  
**extractions** 70:16  
**extremely** 17:4  
146:22 209:1  
**eye** 156:19 159:3  
**e-measures** 247:5

---

**F**

---

**F** 1:21  
**FAAN** 1:21  
**fabulous** 177:3  
**face** 48:5 218:16  
**face-to-face** 41:6  
47:12  
**FACG** 1:24  
**facilities** 242:17  
**facing** 222:15  
**FACIT** 144:7 170:7  
170:15 182:17,20  
189:17 202:18  
218:18  
**FACIT-B** 205:7  
**FACIT-G** 171:15  
189:12 191:15  
**FACIT-L** 204:6  
**FACIT.org** 201:20  
**FACP** 1:19,24  
**fact** 7:2 17:22  
23:15 39:16 66:12  
69:18 94:10 109:1  
111:12 119:11  
124:8 130:8  
153:17 194:2,11  
198:16 205:8  
208:10 212:16  
238:2 239:19  
240:6  
**factor** 45:16 71:6  
**factors** 46:3,18  
71:16 127:2,9  
129:10 130:1  
131:10 136:18  
137:22 140:19  
160:10 163:13  
**failure** 22:7 23:18

45:11 82:14  
**fair** 61:6 77:20 78:5  
161:3 163:15  
194:16,20,22  
233:10  
**fairly** 8:11 211:7  
**faith** 52:8  
**fall** 73:15 161:3  
204:1 255:19  
**FANTA** 2:19  
**far** 38:10,19 39:11  
54:16 65:12 69:8  
70:15 72:5 73:6  
75:18 83:15 106:9  
115:4 119:17  
140:20 213:18  
229:7 232:22  
236:10  
**fascinated** 160:3  
**FASGE** 1:24  
**fast** 49:21 84:4  
209:3  
**fatigue** 176:22  
177:2 180:10  
204:8,10,12 205:2  
205:10 206:9  
207:12  
**favor** 34:15 56:11  
60:9,17 133:14,17  
134:2,6 135:6  
138:15 143:10,15  
191:14 208:5  
**FDA** 186:9  
**feasibility** 29:22  
34:8 40:11 54:12  
132:22 142:22  
174:17 191:3  
247:10  
**feature** 17:12  
**features** 38:20  
**federal** 246:8,17,22  
**feedback** 11:16  
40:1 42:17 51:10  
65:18 66:3 79:7  
148:7,10 195:15  
231:17 255:5  
**feel** 93:4 97:12

126:20 172:16  
176:17 179:11  
184:22,22 185:4  
185:21,22 187:21  
188:17 208:16  
241:10  
**feeling** 63:20 257:8  
**feels** 187:17 244:2  
**felt** 24:9 37:6,18  
58:4,11 63:1,12  
66:19 67:22  
102:17 104:5  
140:10 174:7,11  
174:17  
**fence** 179:12  
**FHM** 2:4  
**fibrillation** 20:4  
**field** 72:19  
**Fifteen** 132:18  
133:1  
**figure** 33:11 94:21  
120:14 155:10  
168:1 196:5,9  
198:21 219:14  
237:7  
**figured** 159:5  
**files** 112:20  
**fill** 155:22 209:20  
237:10  
**filling** 237:14  
**FILLIPO** 1:19  
236:1  
**final** 186:10 242:22  
255:16  
**finalize** 256:12  
**finalized** 255:8  
**finally** 62:11  
**find** 22:11 35:17  
75:2,6 167:10,11  
218:11,19 226:8  
**finding** 78:1  
**fine** 9:16 33:16  
46:8 61:3 123:3  
225:3  
**finish** 139:6 193:21  
237:20  
**finished** 26:21

**finishing** 171:14  
**firm** 181:3  
**first** 6:8,22 15:1  
35:18 38:7,19  
57:16,18 64:7  
82:22 84:11 87:5  
97:20 114:15  
130:21 131:5  
145:2,20 149:7  
169:9 172:5  
189:16 190:2  
199:19 207:11  
233:9 253:2,3,14  
253:22  
**fiscal** 78:18  
**fit** 229:3  
**five** 170:9 228:9  
233:9 248:2,6  
**flawed** 141:18  
**fledged** 79:17  
**Fleisher** 1:13,17  
5:1,3,7,11 6:7,13  
8:3 10:11 12:4,10  
14:5 15:14 16:14  
17:16 18:18 19:2  
19:18 21:12 24:14  
25:18 26:1 28:10  
29:9 30:11 31:2  
31:13,16 32:5  
33:4,14 34:13,19  
35:9 36:6,13  
37:13,20 38:2,6  
39:5,9 41:12  
43:18 44:1,12,18  
46:5,9 49:16  
51:11 52:18 53:10  
54:2,16,22 55:8  
55:21 56:10,16  
57:1,5 59:13,20  
60:5,10,15 65:15  
69:5,10,17 77:9  
80:4 82:13 84:15  
85:18 91:5 100:9  
113:11 114:19  
117:18 123:9,17  
129:6 130:7  
138:11,17,21

147:6 152:6,21  
160:19 164:12  
166:18 201:12  
212:3 213:14  
214:18 215:17  
216:10 221:1,16  
224:8,10 225:4  
226:19 235:5  
249:12 250:7  
251:13 257:6  
**Fleisher's** 99:22  
**flexibility** 113:9  
**floor** 209:14 215:11  
**flushed** 74:21  
**FM** 39:3  
**focus** 25:2 102:21  
154:1 231:5 242:9  
244:22 245:16  
**focused** 106:20  
237:20  
**focuses** 40:8  
**fold** 91:9  
**folded** 94:16  
**folks** 4:18 6:12  
86:21 87:6 89:18  
105:22 161:9  
165:12,16 255:2  
**follow** 119:11  
**following** 183:6  
**follows** 224:20  
**follow-on** 233:19  
**follow-up** 47:13  
49:20 50:18 156:6  
**foot** 23:9  
**foregoing** 144:1  
170:11  
**forget** 25:2 113:20  
135:17 236:21  
**forgive** 203:17  
**form** 64:11,14,16  
138:12 167:10  
**forma** 98:21  
**formally** 21:17  
**forms** 167:20  
**forth** 66:11 105:12  
212:6  
**forthcoming** 78:18

<b>FORUM</b> 1:1	172:1,7 175:13,14	62:14,17 65:20	193:20 194:8	218:12,14,19
<b>forums</b> 216:8	179:22 180:8	66:2	<b>glean</b> 179:5	222:9 224:2 229:1
<b>forward</b> 9:19 21:14	181:22 191:14	<b>gender</b> 10:20	<b>global</b> 48:14	237:1 239:5 243:5
106:6 123:1,8	192:16 193:17	<b>general</b> 101:13	<b>globally</b> 105:6	244:7 246:10
159:11 177:21	196:17 197:1	145:18 172:2,3,6	<b>go</b> 19:5 21:14 28:19	247:7 252:13,18
229:10 232:22	207:12 226:14	175:8 191:15	33:7 56:11 87:15	252:18 254:1,21
241:5 244:7	<b>functionality</b> 168:2	196:10 198:6,11	92:12 100:1 105:1	256:20,20
252:18 256:4	<b>functioning</b> 197:13	200:7 211:12,19	124:10,17 129:2	<b>gold</b> 48:21 108:2
<b>found</b> 30:19 32:2	<b>fundamentally</b>	251:1	130:22 133:12	202:21 208:13
40:10 48:17	16:3	<b>generally</b> 112:6	140:4 147:13	<b>good</b> 14:7 21:5 29:8
216:16 245:5	<b>funded</b> 199:15,17	153:21 185:5	148:19 149:12	42:1 47:8 52:8
<b>Foundation</b> 168:6	246:17	<b>generated</b> 87:17	162:7 190:10	56:11 85:1 124:21
<b>four</b> 31:7 33:7	<b>funding</b> 246:6,8,17	<b>generation</b> 196:14	191:1 197:22	136:14 137:18
84:18 160:9 172:4	<b>funds</b> 162:14	<b>GERBIG</b> 1:20	198:21 207:17	140:8 148:7,14,21
191:1 203:21	246:22	49:18 93:5,9	212:7,15 214:1	153:7 161:12
218:5 253:3	<b>funny</b> 117:17	239:13	221:5,16,17 228:8	162:5,17 165:7
<b>Fourteen</b> 132:9	<b>further</b> 17:21	<b>Gestalt</b> 21:16	236:12 241:6	169:19 175:13
<b>fourth</b> 93:11	38:18 52:5 98:6	<b>getting</b> 117:16	244:17 250:9	177:21 185:21,22
<b>fracture</b> 22:7 89:21	148:17 160:2	147:17 161:9	252:22 254:9	206:10 213:14
<b>fractures</b> 21:7	166:16 189:11	176:2 211:14	255:10,13 256:4	215:6 217:14
<b>frame</b> 33:2 36:3	215:19 220:9	229:5 237:16	<b>goal</b> 105:21	223:11 226:3
42:11 43:3 51:1,3	<b>future</b> 16:12,15	242:5	<b>godfather</b> 221:12	232:1 233:4
54:18 55:3,14,18	23:10 90:18 96:12	<b>GI</b> 3:6 4:14 57:4,8	<b>goes</b> 58:13 79:13	240:13 241:8
56:2 60:7 77:17	215:19 216:8	58:17,18,22 67:8	96:16 116:12	243:5 244:2
102:5,12 103:5	226:21 229:16	75:21 79:7 81:19	248:7 255:9	257:22
146:6 195:4		89:1,20 90:15	<b>going</b> 4:16 6:3,5,9	<b>goodbye</b> 252:13
<b>frames</b> 42:5 150:6	<b>G</b>	91:16 101:1	18:15 22:14,15,17	<b>gotten</b> 141:12
<b>framework</b> 210:2	<b>G</b> 189:18	102:14 104:13	24:16 27:14 34:22	196:21 203:18
211:17 224:1	<b>gain</b> 124:8	106:13 107:8	38:14 55:9 56:4	<b>grab</b> 97:9 124:22
<b>frankly</b> 94:18	<b>gained</b> 63:4	<b>Gibbons</b> 1:21 4:20	63:4 84:11 91:20	<b>graft</b> 35:12
<b>fraud</b> 27:19,22	<b>gaining</b> 215:14	4:21 10:14 23:11	92:16,19 95:13	<b>granular</b> 75:21
28:7	<b>gallbladder</b> 59:4	23:12 35:2,6 36:8	96:20 108:10	<b>granularity</b> 83:18
<b>fraught</b> 24:8	<b>game</b> 248:15	36:15 37:15,22	114:7,8 120:9	119:18 210:18
<b>free</b> 90:13	<b>gap</b> 40:12 156:13	56:13,14 171:2,4	123:17 128:16	<b>graphically</b> 220:3
<b>frequency</b> 119:14	169:7 185:10	171:5,8 189:5	130:21 131:1	<b>grapple</b> 219:4
<b>frequent</b> 107:14	222:14 225:7	<b>give</b> 11:2 49:4 59:3	134:22 137:16,20	<b>grappled</b> 173:13
<b>frequently</b> 104:18	239:14,18	83:5 108:7 153:6	139:6 145:2,9	<b>great</b> 4:11 5:11
<b>friends</b> 252:14	<b>gaps</b> 3:20 77:21	195:14,18 200:8	152:11 155:20	29:12 33:11 39:7
254:12	155:11 159:2	201:5 204:16	167:19 169:14,19	41:20 69:15 98:1
<b>front</b> 109:17	169:22 209:13,18	210:13 231:14	171:15 174:9	98:2 120:17
<b>fulfill</b> 181:4	209:20 210:20	256:15	188:1 189:16	192:17 200:5
<b>full</b> 62:2,6 66:10	237:6,7 243:22	<b>given</b> 73:13 79:11	200:2,12,18	208:6 210:19
79:7,17 84:1	256:19	155:22 194:16	201:21 204:16	224:1,5 234:8
<b>fully</b> 21:11 99:21	<b>garner</b> 127:8	206:10 234:17	205:19 206:18,20	236:20 246:1
<b>function</b> 188:18	<b>gastroenterologists</b>	236:22,22 237:1	207:9,11 209:11	<b>greater</b> 7:20 40:17
241:10	104:13 107:8	<b>gives</b> 43:2	209:15 211:7	58:2 238:8
<b>functional</b> 169:10	<b>gastrointestinal</b>	<b>giving</b> 21:15 63:6	212:18 215:15	<b>greatest</b> 113:2

<b>grips</b> 181:10	<b>half</b> 109:5	185:20 232:5	187:20 189:6	<b>holes</b> 237:11
<b>GROAH</b> 1:21	<b>halted</b> 204:22	<b>Hawa</b> 2:18 251:10	217:11 219:14	<b>home</b> 75:2,6 78:1
165:21 226:22	<b>hammer</b> 229:3	251:13	229:16 231:14	80:14 83:5,8,8,9
229:11	<b>hand</b> 212:10	<b>head</b> 182:9	256:3	88:2,22 92:3
<b>ground</b> 7:8	<b>handle</b> 73:13	<b>head-to-head</b> 9:8	<b>helpful</b> 82:19	215:20 245:12
<b>group</b> 13:3 22:2	136:15 256:20	9:20 32:22	147:14,21	<b>homogeneity</b> 16:11
51:21 52:4,11,13	<b>handled</b> 81:12	<b>health</b> 53:1 159:9	<b>helping</b> 30:7	<b>homogenous</b> 176:2
53:2 57:10 82:22	<b>handling</b> 207:3	162:18 167:22	231:22	<b>hone</b> 57:13
88:22 93:3 95:18	<b>hands</b> 58:6 96:12	168:4 169:10	<b>helps</b> 29:7	<b>honest</b> 205:20
105:13 128:12,13	<b>happen</b> 20:18	181:16 198:14	<b>hemorrhages</b> 75:22	<b>honey</b> 145:6
147:7,22 149:5	128:7 197:9 241:1	216:3,4,7,21	<b>hemorrhage</b> 62:14	<b>honor</b> 251:5
156:19 164:8	241:14	217:2,19,21 218:2	62:17,20 63:14	<b>hope</b> 247:15
165:17 176:17,18	<b>happened</b> 81:11	226:10 232:13,14	65:20 66:2,5,6	<b>hopefully</b> 107:3
177:20 182:21	126:13 212:22	235:11 236:14,22	67:1,8 73:8 79:8	108:14 166:21
224:17 240:3	<b>happening</b> 19:10	237:18 243:4	81:19 89:1,20	248:2
251:4,20 252:22	188:17 242:17	249:19,21	90:2,15 91:17	<b>hoping</b> 148:2
253:2 256:13	<b>happens</b> 26:16	<b>Healthcare</b> 226:5	100:3 101:1	<b>HOPKINS</b> 1:23
<b>groups</b> 119:20	116:9 117:13	<b>hear</b> 9:11 21:21	<b>HERMAN</b> 1:22	8:16 9:3,10 11:18
157:9 168:13	138:20	46:7,8 80:13	22:9 149:1 167:5	11:22 12:3 21:21
179:1 223:10	<b>happiness</b> 216:17	97:18 98:6 104:22	192:17 227:14	55:22 59:17 61:4
233:5 238:4	216:18 225:3	129:21 139:19	<b>hernia</b> 106:12	61:11 70:22 81:5
246:11	<b>happy</b> 51:8 76:10	154:3 192:3 201:9	<b>hernias</b> 104:14	81:18 82:2 89:13
<b>growing</b> 217:8	108:9 162:3 189:2	<b>heard</b> 44:11 55:14	107:11	121:8,15,20 131:1
244:8	189:8 200:15	79:4,5 127:13,19	<b>Hey</b> 211:21	131:7 137:4,8,11
<b>grown</b> 232:7	216:19 253:20	127:20 129:10,21	<b>Hi</b> 4:22 5:1 6:1	137:15 162:1
<b>growth</b> 118:5	<b>hard</b> 4:5 24:20 28:2	130:20 137:11,18	32:8 44:17,19	163:18 169:6
<b>guarantee</b> 123:20	96:19 168:12	138:2 212:5	102:2	191:20 192:13
<b>guess</b> 19:18 42:21	224:2	222:21	<b>high</b> 19:11 32:3	193:2,19 194:7
58:19 64:11 72:3	<b>harmonization</b> 8:4	<b>hearing</b> 43:20 88:9	112:10 122:4	197:4 198:22
72:21 82:9 89:7	8:7 220:19	120:22 128:19	128:12 248:19	207:4,19 208:1
93:16 95:8 126:19	<b>harmonize</b> 28:18	<b>heart</b> 22:6 215:2	<b>higher</b> 45:17 47:11	225:5,14,18,21
146:21 154:18	43:5 70:4 220:9	224:16	51:5 71:10 175:15	256:6,18 257:4
156:19 208:4	220:12 245:15	<b>heat</b> 241:21 242:4	<b>highlighted</b> 157:7	<b>HOS</b> 181:15
226:16 242:14	<b>harmonized</b> 75:13	242:19,20	<b>highly</b> 127:4	<b>hospice</b> 84:19,22
<b>guessing</b> 238:1	<b>harmonizing</b>	<b>heavy</b> 4:4 115:1	249:11 254:15	85:20 86:3,5
<b>guidance</b> 186:10	220:17	136:1	<b>Hill</b> 1:13	87:13,16 89:12
219:13 229:6	<b>hate</b> 183:17	<b>Heidi</b> 2:14 32:18	<b>hinder</b> 243:16	99:13,15,18
250:1	<b>HAUGEN</b> 1:22	65:3 145:7 177:17	<b>hindered</b> 58:11	<b>hospital</b> 12:18
<b>guide</b> 173:7	17:17 18:13,22	251:9	<b>hip</b> 21:7 22:7 89:21	13:10 15:20 16:5
<b>guidelines</b> 224:22	19:4 115:13	<b>Heidi's</b> 171:14	197:11 218:6	17:8,9 23:4 26:11
<b>gut</b> 19:13 122:2	141:20 146:20	<b>held</b> 85:7	<b>history</b> 46:21 68:10	27:11,19 33:9
<b>guys</b> 153:14 204:9	150:10 151:2,13	<b>Helen</b> 2:17 61:20	105:9	70:7 81:12,13,20
205:19 252:20	152:1 154:18	80:5 88:19 90:1	<b>hit</b> 182:9	84:3,12 85:4
<b>gynecological</b>	159:7 162:8	251:7	<b>hoc</b> 92:13,17	87:21 88:1 92:22
156:9	168:14 176:4	<b>help</b> 23:9 83:12	<b>hold</b> 39:4 46:11	93:1,11 95:4,6,22
	178:5,9,14 179:16	155:10 160:22	<b>holder</b> 202:6	100:2,21 160:14
	180:3,6 183:21	179:11 181:7	<b>holding</b> 189:6	161:1 166:13
<b>H</b>				

197:16,16 240:9 248:5,8,9 <b>hospitalization</b> 35:13 36:3 51:7 67:5 101:1 102:7 102:10 <b>hospitalized</b> 26:9 <b>hospitals</b> 11:16,17 16:7 17:1 19:11 27:21 87:7 160:9 247:2,6,17 248:14 248:16 <b>Hotel</b> 1:12 <b>hotels</b> 251:16 <b>hour</b> 24:4 114:22 <b>hours</b> 19:16 28:4,4 <b>house</b> 45:12 <b>How's</b> 6:3 <b>Hoyt</b> 165:22 <b>HRQ</b> 66:22 <b>huge</b> 29:3 118:5 169:7 182:10 197:5 209:16 239:11 <b>hugely</b> 113:19 <b>hump</b> 196:22 <b>hundred</b> 164:15 <b>hung</b> 56:4 141:11 <b>husband</b> 152:7 <b>hypertension</b> 129:14 220:14	249:15 <b>identical</b> 102:4,11 149:17,18 <b>identifiable</b> 45:16 <b>identification</b> 31:8 <b>identified</b> 103:15 119:6 <b>identifies</b> 41:7 51:18 145:16 <b>identify</b> 4:19 5:12 72:11 87:6 105:21 112:20,22 119:21 170:2 236:6,13,17 238:6 <b>identifying</b> 40:12 111:18 112:18 <b>ignore</b> 138:10 <b>illness</b> 172:10 205:4 207:5,13 <b>illustrated</b> 156:11 246:4 <b>imagine</b> 96:19 168:12 180:13 248:12 <b>imaging</b> 37:6 144:5 145:3,13 149:13 158:4 <b>immediacy</b> 254:7 <b>immediately</b> 212:13 <b>impact</b> 96:20 150:3 234:3,6,7,10 237:17 <b>impacted</b> 146:3 <b>impacts</b> 150:14 <b>implement</b> 175:1 <b>implemented</b> 185:2 <b>implications</b> 185:12 243:1 <b>import</b> 42:13 <b>importance</b> 53:6 74:19 139:12 141:21 145:19 146:8 148:20 150:7 174:1 189:16,20,21 246:5	<b>important</b> 10:15 21:10 30:10 33:9 40:12 46:15 63:2 73:20 74:5,12,17 79:21 84:14 85:12 86:2 93:2 102:15 103:2 108:17 115:17 118:22 120:12 122:18 126:6 127:3,5 128:9 141:19 147:18 153:18 154:20 167:7,9,9 167:11,14,15,17 169:11 176:12 177:8 186:21 187:1 195:14,15 195:22 203:9 209:1 215:9 216:2 219:3 222:12,14 222:20 223:18 227:11,12 228:16 228:21 233:3 236:12 240:18 241:11 250:22 257:15 258:3 <b>importantly</b> 37:1 <b>imposing</b> 243:17 <b>impossible</b> 63:9 67:22 <b>impression</b> 13:20 28:22 180:7 <b>improper</b> 50:18 <b>improve</b> 236:16 <b>improvement</b> 50:10,12 166:21 167:2,3 224:20 <b>improves</b> 38:21 <b>IMRT</b> 165:8 <b>inaccuracies</b> 122:7 <b>inadequate</b> 159:13 <b>Inaudible</b> 45:11,22 46:4 <b>inclined</b> 140:11 <b>include</b> 7:15 10:4 46:19 47:22 54:18 71:14,16 99:4	104:13 106:12 121:5 123:5 136:6 220:22 <b>included</b> 10:1 15:10 36:11 42:14 71:1,1,4,5,6 88:11 90:19 93:1 212:2 <b>includes</b> 7:13 89:19 <b>including</b> 24:13 71:17 147:22 215:22 <b>inclusion</b> 109:10 <b>inclusive</b> 10:16 <b>incomplete</b> 61:18 <b>incompleteness</b> 57:21 <b>incorporate</b> 216:11 223:13 <b>incorrect</b> 27:10 203:18 <b>increase</b> 20:9 100:12 <b>increased</b> 46:1 <b>increasing</b> 111:13 <b>incredible</b> 238:19 <b>incredibly</b> 19:11 74:12 215:10 <b>independent</b> 69:21 <b>independently</b> 35:22 <b>Index</b> 216:18 225:3 <b>indicated</b> 31:10 <b>indicating</b> 71:9 <b>indicator</b> 47:2 205:8,15 <b>indicators</b> 82:7 204:15 236:4,6,7 236:12,13,15,18 <b>indigent</b> 174:22 <b>individual</b> 18:8 47:14 81:9 95:2,3 119:12 180:12 203:3,12 204:13 205:3,8,11,22 206:6,7 243:4 <b>individuals</b> 10:17 23:15 39:14	109:14,14,21 <b>individual's</b> 206:9 <b>industry</b> 232:9 <b>inexcusable</b> 232:10 <b>infantile</b> 231:5 <b>infarction</b> 6:11,19 6:21 23:16 <b>infarctions</b> 24:7 <b>inferring</b> 193:9 <b>infinity</b> 51:18 <b>influence</b> 151:19 250:12 <b>influences</b> 217:4 <b>inform</b> 76:11 174:13 184:7,9 230:13 <b>information</b> 31:4,7 40:20 49:12 50:11 57:22 58:2,4,19 59:16 61:10,19,22 76:9 85:13 94:5 108:18 114:16 115:8,10 124:8 154:22 163:12,22 188:16 194:14 203:2 216:5 228:10,11 230:2,3 230:13 231:4 232:8 233:15,21 234:2,13 239:22 241:11 258:4 <b>informed</b> 150:16 168:6 <b>infrequent</b> 107:9 <b>Ingenix</b> 35:15 38:3 38:9 48:1 53:1 101:20 144:10 <b>ingredient</b> 223:19 <b>inherent</b> 63:14 70:17 74:16 114:8 <b>initial</b> 150:1 152:18 214:13 <b>Initially</b> 105:9 <b>initiative</b> 183:20 <b>innovation</b> 226:9 <b>inordinately</b> 66:20 <b>inpatient</b> 6:17,20
<b>I</b>				
<b>ICD</b> 48:18 <b>ICD-9</b> 66:18 71:19 <b>ICU</b> 75:7,9,17,18 82:20,20 83:1,5,9 84:2,7,10 245:6,8 245:9 <b>idea</b> 14:20 78:3,11 83:7 98:3 101:13 140:8 153:6 185:13 212:6 217:18 242:15 257:22 <b>ideal</b> 117:4,19 <b>ideas</b> 231:14				

7:4 11:17 12:2 18:2 62:19 <b>input</b> 42:10 58:10 94:12 202:2 257:19 258:2 <b>insights</b> 11:3 <b>instance</b> 68:5 217:5 <b>instituted</b> 24:4 217:22 <b>institution</b> 18:5 125:18 <b>institutional</b> 125:11 <b>institutions</b> 160:16 <b>instrument</b> 192:2,4 192:10 <b>instruments</b> 227:7 <b>integrated</b> 250:19 <b>intellectual</b> 199:5 <b>intelligent</b> 249:22 <b>intended</b> 89:11 92:21,22 95:2,4 178:13 184:16 <b>intending</b> 210:1 <b>intense</b> 177:5 <b>intensive</b> 223:4 <b>intent</b> 151:17 152:3 176:6 178:17 180:14 244:19 246:18 <b>intention</b> 118:11 125:15 <b>intentionally</b> 28:6 28:7 <b>interest</b> 163:21 197:17 <b>interested</b> 11:2 44:9 60:3 76:8 115:11 124:18 151:9 182:2 233:20 236:8 239:14 240:1 <b>interesting</b> 16:22 249:10 <b>interim</b> 125:1 <b>intermediate</b> 125:20 236:7	<b>Intermountain</b> 226:5,10 <b>internal</b> 240:11 <b>internist</b> 156:5 <b>interpret</b> 24:20 <b>interpretable</b> 24:13 104:16 <b>interpreting</b> 24:6 192:6 <b>interrupt</b> 23:21 <b>intervention</b> 103:3 104:18 116:3 140:13,21 183:6 187:4 <b>interventions</b> 66:8 106:1 173:8 197:14 214:6 <b>introduced</b> 87:6 <b>introduction</b> 127:14 <b>introductory</b> 200:9 <b>invest</b> 78:22 <b>investigators</b> 246:14 <b>investment</b> 232:13 <b>investments</b> 229:10 <b>involve</b> 66:17 <b>involved</b> 45:2 <b>involvement</b> 168:21,21 <b>involving</b> 76:9 <b>in-hospital</b> 7:19 16:11,16 32:15 <b>in-person</b> 255:22 <b>IOM</b> 164:16 <b>ion</b> 62:14 <b>IQI</b> 89:17 <b>isolation</b> 177:7 <b>issue</b> 9:2 15:1 17:7 17:18 23:13 32:17 37:20 42:19 47:5 67:13 69:6 75:1 77:6 90:22 94:8 96:20 100:6,20 101:2 103:20 110:18 124:7 147:2 157:2	162:15,20 163:2,3 184:1,6,10,18 186:1 194:12 202:22 204:4 209:12 213:12,13 219:2,17 226:17 226:20 227:9,10 232:17 <b>issues</b> 28:12,14 29:11 30:2 33:3 36:19 57:21 93:20 94:6 100:20 104:10 114:17 115:21 125:16 137:21 140:5,10 140:17 164:13 170:1 176:2 183:4 199:6 206:22 207:7 211:12,13 226:21 227:4 232:16 254:5 256:2 <b>item</b> 170:8 <b>iteration</b> 93:2,3 <b>It'd</b> 231:22 <b>it'll</b> 50:6 <b>IV</b> 188:1 <b>Iver</b> 1:25 122:21 138:21 215:17 217:1 <b>i.e</b> 241:7	63:6 192:18 <b>John</b> 5:22 6:1 12:5 12:21 64:1 78:9 78:13 82:1 86:17 90:7 92:4 95:19 99:9 <b>JOHNSON</b> 1:24 41:14 42:20 43:13 54:20 55:2,16 58:8 61:1,15 63:1 64:19 66:19 67:19 68:7,12 69:2,8,11 70:2,10,20 72:2 72:20 74:11 75:5 75:16 77:16 82:18 83:3,10,14 85:3 88:14,16 90:1 97:19 98:20 99:7 101:4 102:15 107:7,21 108:4 112:8 118:10 122:1 125:3,6,9 127:4 130:3,12 136:20 140:3,9 141:5 211:22 213:15 220:8 221:2 242:21 <b>join</b> 200:2 <b>joining</b> 209:5 <b>joint</b> 7:5,12,14,18 7:22 8:1 11:4,7,20 22:1 32:11,14,22 156:18,22 159:3 <b>journal</b> 115:9 <b>journey</b> 241:3 <b>Joyce</b> 1:13,16 44:1 75:5 122:1 200:10 220:8 221:4 253:10 255:13 <b>judged</b> 244:11 <b>July</b> 108:14 <b>jump</b> 183:5 <b>jumping</b> 143:18 <b>jurisdiction</b> 244:4 <b>JUSTER</b> 1:25 26:3 26:17 121:18,22 122:22 215:18	216:15 224:12 <b>justifiably</b> 243:18
<b>K</b>				
<b>K</b> 1:22 2:8,9 <b>Kaiser</b> 235:6 <b>Kaiser's</b> 250:14 <b>Kay</b> 3:5 32:8,8 38:9 47:6 105:2 141:10 144:10 <b>KEALEY</b> 2:4 19:7 20:14 133:18 134:5 241:19 <b>keep</b> 117:16 151:18 156:10 234:8 241:21 242:19 244:5 <b>keeping</b> 231:12 <b>keeps</b> 242:4 <b>key</b> 49:7 140:17,17 157:8 173:9 214:17 <b>kind</b> 20:15 27:16 42:6 45:10 46:15 47:13 55:3 75:10 85:20 102:17 106:19 117:9 119:20 129:4 150:14 156:1 164:10 167:7 171:16 181:2 183:5,6,15,15 184:20 185:9 186:14 187:1,22 196:21 205:21 210:6,20 212:10 219:21 233:16 241:18 251:20 253:15 254:4 256:11 <b>kinds</b> 30:2 168:2 182:14 185:16 198:15,18 211:14 223:10 238:9 <b>knee</b> 218:6 <b>know</b> 9:6 18:16 22:9 23:9 24:22				

27:21 28:4,5	<b>known</b> 106:22	253:10	134:10 135:4	212:22 214:7
29:20 31:16 36:17	<b>knows</b> 92:4	<b>Lee's</b> 53:12 132:14	136:7,12 161:2	220:16 226:4
40:3,21,22 41:21		156:15 208:2	193:15 195:19	241:3,15 252:12
49:8 50:22 51:2,8	<b>L</b>	<b>left</b> 4:13 254:6	<b>Linda</b> 1:20,21	<b>longer</b> 105:20
51:13 52:7 58:17	<b>labor</b> 186:11 223:3	<b>legitimacy</b> 202:11	165:20	116:18 215:21
58:18 65:3 73:3,3	<b>laboratory</b> 23:20	<b>legitimately</b> 61:5	<b>line</b> 5:17,20 22:10	220:6
73:16 78:17 81:15	<b>lack</b> 44:10 67:11	<b>length</b> 42:22	22:16 36:16	<b>longitudinal</b>
83:4 84:4 87:11	155:3 213:11	<b>Lent</b> 182:16 200:4	170:21 171:1	213:22 214:14
90:7,13 92:15	249:18	201:19,19 203:13	182:17 198:1	215:22 219:18
94:2 96:18 98:18	<b>lacking</b> 104:19	203:20 206:14,16	238:21	247:19,22
109:5 115:3,10,20	<b>lagging</b> 253:2	208:15,18	<b>lines</b> 113:13 161:18	<b>longitudinally</b>
116:11 117:10,15	<b>land</b> 5:17,20 36:16	<b>lesion</b> 145:16	<b>link</b> 92:8 145:21	214:10 240:7
119:13 121:15	<b>languages</b> 172:14	<b>let's</b> 60:19 61:11	216:6 222:7,16	<b>longstanding</b> 47:16
122:18 123:3	<b>laparoscopic</b>	122:11 123:4	<b>linkage</b> 230:9	<b>look</b> 10:1 16:16
127:17 128:11	107:12 110:11	130:22 131:4	<b>linked</b> 17:11,14	20:14,22 22:21
136:2,15 138:1,3	118:6,11 125:7	132:16 139:2	<b>linking</b> 224:13	23:1 24:21 25:6
153:14 154:18	<b>laparoscopically</b>	141:22 161:20	<b>list</b> 15:8 92:21	25:20 29:11 43:11
156:17 158:4,21	110:16 118:16	199:22 224:17	106:12 132:13	49:10 59:2 75:7
159:2,17 161:6,10	<b>large</b> 26:21 40:19	234:8 248:4,6	196:12,16	84:11,14 93:3
161:12 162:6,8,16	163:13 249:17	<b>level</b> 173:17 180:12	<b>listed</b> 124:16 231:3	106:10 111:15
163:1 164:18	<b>larger</b> 157:9	181:11,16 184:2	<b>listen</b> 144:19	116:10 117:8,10
165:1,21 167:5	<b>late</b> 59:17,19	195:11 218:22	232:15	119:14 124:11,14
168:6,22 169:18	<b>Laura</b> 32:1	238:11,14,19	<b>literally</b> 95:1	126:6,11 146:4
177:4 178:20	<b>Lauren</b> 170:15	239:8,8 248:12	110:14	154:19 155:2
179:15 180:17	182:16 200:3	<b>levels</b> 36:9	<b>literature</b> 46:17	174:2,5,9 176:5
181:16 182:16	201:19 202:17	<b>life</b> 69:9 86:16	112:6 127:8 197:5	176:21 179:21
185:3 186:2 189:7	206:4	159:22 167:17	222:15	185:14 186:13
193:8 194:20	<b>LAWRENCE</b> 1:18	168:2 169:10	<b>little</b> 12:18 15:16	198:8 210:7 211:1
197:8 199:2,11	<b>lawyers</b> 227:1	172:9 173:5 175:8	19:14 24:22 25:6	211:4 212:21
200:14,20 205:8	<b>lead</b> 126:8 226:3,7	176:11 182:1	64:8 88:7 90:4	217:17 219:7
209:10 213:3	<b>leadership</b> 246:6	197:10 205:5	93:18 105:9	222:11 225:22
215:4 216:16	<b>leads</b> 226:9	214:6	116:15 134:6	235:6 236:10
219:1 220:6	<b>learned</b> 226:4	<b>lifetime</b> 256:16	159:6 169:15,16	239:18 240:7,18
222:22 223:9	251:4	<b>lift</b> 136:1	181:14 192:5	241:20 243:22
224:2,19 226:2,7	<b>leaves</b> 197:16	<b>lifting</b> 4:5	197:13 210:12,15	245:2,4 250:22
230:14 231:1,13	<b>led</b> 251:8	<b>light</b> 106:2	211:17 213:1	252:20 253:16
232:1,7,22 234:1	<b>Lee</b> 1:13,17 2:6	<b>likelihood</b> 46:1	214:3 218:17	257:2
234:12,15,21	14:9 53:3 131:20	47:11 51:6	228:1,2 241:18	<b>looked</b> 9:20 20:1,7
235:13,19 236:18	135:11,12 144:5	<b>limit</b> 73:11	<b>live</b> 9:11 83:13	32:1 37:19 66:21
236:19 239:6	146:11 148:14	<b>limitations</b> 106:7	<b>liver</b> 71:16,17,22	75:9,17 76:8 93:6
241:8,11,15 242:3	149:20 159:15	228:6	72:14,18,18 85:1	95:3,11 116:13
247:1,15 249:14	160:1 161:5 162:2	<b>limited</b> 73:13,18	100:4	129:18 130:10
250:14 252:12	162:22 164:12	79:11,16,19 80:9	<b>logic</b> 66:16	150:1 152:9 245:9
255:3 257:10	175:5 183:7	80:18 88:6 94:15	<b>logistical</b> 65:5	<b>looking</b> 9:5 11:3
<b>knowing</b> 157:10	192:12 200:9	107:11 114:11,13	<b>long</b> 19:5 75:22	25:8 32:3 33:1
<b>knowledge</b> 111:17	201:14 206:17	120:21 121:3	85:7 95:8 150:5	36:3 40:18 43:10
249:18	209:10 230:18	133:19,22 134:7	165:5 185:11,20	46:16 48:5,18,22

49:5 84:1 92:2	128:14 247:11	<b>markets</b> 161:2	<b>meaning</b> 36:22	85:20,22 88:9
98:12,15 108:2	<b>Lucky</b> 253:16	249:11	<b>meaningful</b> 184:2	89:10 90:6,16
150:3,16 151:16	<b>luminaries</b> 159:17	<b>marriage</b> 220:22	247:12	91:2,8 92:9 94:15
154:2 164:21	<b>lunches</b> 170:4	<b>Marriott</b> 1:12	<b>meaningfulness</b>	95:2,3,11 98:11
165:2,8 166:1	<b>lung</b> 204:6,13,19	<b>Mary</b> 5:2,5,6	116:18	99:3 102:8,10,16
175:8 178:2 183:1	204:21 205:10	<b>Maryland</b> 1:13	<b>means</b> 32:20 99:1	105:5,10,11 106:5
183:12 186:18	207:11,12	<b>materials</b> 135:7	114:12 124:12	106:8 107:4,6
189:3 207:16		178:8	187:9 239:7	108:22 109:17
210:20 213:8	<b>M</b>	<b>matter</b> 27:1 41:3	<b>meant</b> 137:1	110:1,12 112:15
217:4,7,18 218:4	<b>M</b> 1:18	44:5 97:7 138:20	<b>measure</b> 6:9,10,16	112:16 113:8,10
218:9 231:1,4	<b>maintain</b> 238:13	144:1 170:11	7:5,6,9,11,14,17	118:2 120:15
243:7 244:12	243:13	200:11	7:18,21,22 8:9,18	121:1 122:5,6
255:18 257:5	<b>maintained</b> 91:20	<b>matters</b> 244:3	9:12 11:1,5,8,10	123:1,5,8,22
<b>looks</b> 163:14 222:7	<b>maintenance</b> 9:18	<b>mature</b> 178:6	11:15,17,19 12:2	124:2,10,19 125:4
233:17 253:7	32:20	<b>Mayo</b> 23:2	12:3 13:5 15:11	126:4 128:4 129:3
<b>loop</b> 255:6	<b>major</b> 82:16 114:1	<b>MBA</b> 1:17 2:14	16:13,15 17:9,13	131:12,14,17,19
<b>lose</b> 157:11 235:3	125:21 160:11	<b>McNULTY</b> 2:5	17:14,21 18:8,14	132:3 133:16
<b>losing</b> 36:13	197:10 221:4	58:16 59:8 166:6	18:16,19,22 21:18	134:3,13 135:3,4
<b>lost</b> 172:12 188:15	225:16	182:4 186:6 188:2	22:19 28:18 29:6	135:7,22 136:2,6
190:19 192:15	<b>majority</b> 15:8	188:8,13 196:2	29:8 30:3,8 32:14	136:10,12 138:5
220:11 245:3	20:12 247:17	198:4 217:15	32:14,21 33:8,9	138:16 139:6,11
<b>lot</b> 8:21 13:11 16:6	<b>making</b> 37:7 78:22	240:17	33:22,22 34:12,15	139:12,15,21,22
16:8 18:7 19:19	88:5 138:15 143:8	<b>MD</b> 1:17,17,18,19	35:3,9,10,11,14	140:11,15,16
22:14,14 60:11	143:15 150:16	1:21,22,24,25 2:4	35:19 36:2,10	141:7,8,18 142:3
64:12 68:14,20	153:9 155:1 168:7	2:6,10,20,22	38:21 40:5,5,6,7,8	143:11 145:13,20
74:2 84:17 120:2	168:9 183:11	<b>mean</b> 19:4 56:2	40:14,16 41:9,22	146:1,17 147:4,4
120:22,22 140:12	203:22 219:6	58:17 74:19 76:15	42:1,6,9 45:5,10	147:10,22 148:3,8
159:19 167:13,15	<b>mammogram</b>	82:14 94:3,16	45:11,18 48:3,11	148:9 149:10,22
197:16 198:17,20	145:16 155:12	95:1 96:6 98:7,15	48:13 50:11 51:18	150:8,12,19
209:9 210:19	156:8	98:16 100:12	51:22 52:2,9,17	151:16 152:2,9,20
216:1 219:20	<b>mammograms</b>	113:14 114:21	53:4,6,16 55:4,10	152:22 153:3,6
227:6,14,20 228:4	145:4 152:8 231:6	116:10 117:7	55:13 56:5,12	154:2 157:6
228:8 229:1,4,5,9	<b>managed</b> 85:6,8	118:2 122:17	57:7,18,19 59:10	158:14 160:5
234:9 236:17,20	<b>management</b> 24:3	123:2 141:17	60:3,4,14,18 61:2	161:17,19 163:2,4
237:2 239:15,16	24:7 59:5 85:5	144:6 150:18	61:6,9 62:6,11,13	163:14,16 167:12
239:17 245:16	103:18 106:2	153:9 154:5	62:14,17,21 63:3	168:1 171:11
249:22 250:19,21	172:10 185:11	162:10,15,20	63:4,6,22 64:11	173:10,16,20
251:4 255:20	220:12 231:2	163:9 164:13	65:17,21,22 66:9	174:10,12 175:8
257:17,19,21	<b>managing</b> 186:2	166:19 176:20	66:22 67:1,3,14	175:18,22 176:16
<b>lots</b> 46:17 147:10	<b>mandate</b> 181:4	177:14 184:15	69:14 70:3 71:2,4	177:11,22 179:14
147:10 187:20	<b>manifest</b> 214:12	185:4 192:15	73:12,20 74:3,5	181:15,19 186:16
218:21,22 255:20	<b>manifestation</b>	194:19 196:20	74:12,16,20 75:22	189:3,10,12
<b>love</b> 168:1 187:18	100:3	199:6,9 218:14	77:20 79:4,8	191:21 192:9,16
236:5	<b>manifestations</b>	219:7 224:9 231:6	80:17,18 81:10,11	193:10,18 194:2
<b>lovely</b> 210:22	214:7	231:7,14 234:7	82:10,12,14 83:2	195:17 197:3
<b>low</b> 124:6	<b>March</b> 83:1	239:11 251:20	83:6,9,16,19,21	199:3,21 200:2
<b>lower</b> 106:14	<b>marital</b> 221:8,14	256:21	84:1,21 85:1,11	203:3,12 206:11

207:6 208:21	200:19 201:2	139:17 142:3,5,14	121:8,12,15,18,20	207:19 208:1,8
209:3,19 210:21	202:18 206:22	142:16,18,22	121:22 122:1,22	211:22 212:4
211:20 227:9	207:16 208:12,13	143:2 189:19	123:15,19 125:3,6	213:15 215:8,18
230:12 231:11	209:7 210:2,4	<b>MEMBER</b> 1:17,18	125:9 126:2 127:4	216:15 217:15
239:7 241:13	211:3,5,14,14	1:18,19,19,20,21	127:6,20 128:2,11	220:8 221:2,11
246:4,8,20 248:8	212:1,7 213:12	1:21,22,22,23,23	129:11,16 130:3	222:1 224:12
253:4 254:4	217:8 218:18	1:25,25 2:4,5,6,8	130:12 131:1,7,11	225:5,14,18,21
<b>measured</b> 18:4	219:5 222:5,8,16	2:9,10 4:20 8:16	131:22 133:18	226:12,22 227:2
159:21	223:13,18,22	9:3,10 10:7,14	134:5,12,17,21	229:11,17 230:16
<b>measurement</b>	226:14 233:4,6,17	11:18,22 12:3,16	135:11 136:20	232:5 233:18
196:14,18 199:12	234:17,19 237:5	13:11 14:3,10	137:4,8,11,15	234:4,7,20 235:8
208:19 219:18	238:9 239:17	17:17 18:6,13,22	138:8 140:3,9	235:17,21 236:1
244:1 246:19	240:7,8,19,22	19:4,7 20:14	141:5,12,17,20	236:20 237:21
<b>measurements</b>	241:20 242:9,12	21:21 22:9 23:11	142:10 146:13,20	239:13 240:17
200:22	243:2,10,20,21	24:15 25:22 26:3	147:9 148:5 149:1	241:19 242:21
<b>measures</b> 2:16 3:3	244:14,18 246:11	26:15,17,20 28:1	149:14,22 150:10	245:22 247:14
3:6,12 4:13,14,15	247:11 253:14,22	28:21 29:15 30:1	151:2,5,13 152:1	249:2,6,13 250:3
6:6 7:1,3 8:5,10	256:7 257:3	30:13 31:21 35:2	152:16 153:2,5	250:5 252:2 256:6
8:19 9:19 10:3	<b>measuring</b> 40:12	35:6 36:8,15	154:4,11,18 155:8	256:15,18 257:4
11:3,12 16:1	151:19 203:10	37:15,22 41:14	156:15 157:16,20	<b>members</b> 1:15 2:2
21:22 22:5,11,22	208:20 242:7	42:20 43:13 44:13	158:3,9,19 159:7	255:9
22:22 23:1,10	<b>mechanism</b> 217:22	46:13 47:20 48:22	159:14 160:4,21	<b>memo</b> 66:15
30:14 32:19 33:1	<b>medical</b> 13:20 15:9	49:7,13,18 51:12	161:8,19 162:1,8	<b>mental</b> 168:4
35:21 41:21 43:5	20:19 21:2 25:9	52:12,21 54:20	162:21 163:6,10	<b>mentality</b> 243:13
45:1,1 48:17 50:4	25:15 29:18 32:3	55:2,16,22 56:7	163:18,20 165:6	244:22 245:13
52:3 57:8 73:19	89:4,16 95:6	56:13 58:8,16	165:21 166:6,12	<b>mention</b> 226:13
73:22 75:11 82:20	98:13 109:5,11	59:8,17 60:19	166:22 167:5,18	257:11
82:21 86:20 87:11	114:2 155:17	61:1,4,11,15 63:1	168:14 169:6	<b>mentioned</b> 41:22
90:9,11,14 92:22	164:3 215:20	64:19 66:19 67:17	171:4,8 175:6	99:10 119:1
96:2,9 101:19	223:2 247:3,18	67:19 68:4,7,8,12	176:4 177:16	253:13
102:4 105:14	<b>Medicare</b> 17:15	68:22 69:2,4,8,11	178:5,9,14,19	<b>mentioning</b> 230:19
106:5 107:1	49:20 86:4,6	70:2,5,10,18,20	179:15,16,18,20	<b>mentor</b> 156:16
112:17,22 118:4	108:21,22 109:6	70:21,22 72:2,20	180:3,4,6,7 182:4	<b>mentoring</b> 151:7
122:5,12 144:6,6	110:2,4 160:19,20	74:11 75:5,16	183:21 184:14	<b>merits</b> 8:10
144:11,20 145:14	161:2	77:16 79:3,20	185:20 186:6	<b>mesh</b> 214:21
147:1 152:17	<b>Medication</b> 231:2	80:1 81:5,18 82:2	187:13 188:2,7,8	<b>message</b> 181:7
153:21 155:5	<b>medicine</b> 219:2	82:18 83:3,10,14	188:12,13,19,22	195:7,21 232:11
156:19 157:2,4	<b>medicines</b> 50:19	83:22 85:3,16	189:5 191:20	233:1
159:1,11 162:18	<b>meet</b> 79:17	88:4,14,15,16	192:13,19 193:2,4	<b>met</b> 1:12 11:6
163:1 164:4,11	<b>meeting</b> 1:6 139:22	89:13 90:1 93:5,9	193:13,19,21	240:2
165:18 169:14,20	148:6 154:13	97:19 98:4,10,20	194:7,10,18 195:2	<b>metabolic</b> 103:11
175:1 180:9,17,19	158:21 169:9	99:2,7,19 101:4	195:13,20 196:2	130:16 214:11
182:10,20 183:8	<b>meetings</b> 255:22	102:15 107:7,21	197:4 198:4,22	220:13
183:13,18 184:19	<b>meets</b> 34:1 53:17	108:4,15 110:3,8	199:16 200:10,15	<b>method</b> 250:12
185:1,9 186:11	53:18,19,21 54:1	111:20 112:8	201:1,7,9,17	<b>methodological</b>
187:19 195:11,12	54:6 119:12 132:5	115:13 116:21	202:12 203:19	222:3
196:7,8,17 197:1	132:6,8,17 139:15	118:10,13 120:1	206:4,15,20 207:4	<b>methodologists</b>

44:4	25:13 111:11	75:18 82:20 83:2	<b>national</b> 1:1,4	201:10 233:16
<b>methodology</b> 8:2	240:4	83:6 84:2,7,10,18	111:11 114:15	240:2 244:5
29:17 38:11 39:13	<b>misunderstand</b>	87:11 89:2,3,16	148:12 160:7	246:14 250:9
39:22 112:18	177:14	89:19,19,20,21,21	216:17 217:21	<b>negative</b> 150:11
113:6 161:22	<b>mixing</b> 116:22	89:22 90:15 91:17	218:2 225:2 246:6	<b>neighborhoods</b>
249:19	<b>MLA</b> 87:16	95:5 146:3 212:21	<b>nationally</b> 40:18	216:6
<b>methods</b> 240:13	<b>MMM</b> 1:19	231:6 245:7,7	<b>natural</b> 68:10	<b>net</b> 102:18
<b>MHA</b> 2:6	<b>modalities</b> 164:16	<b>mother</b> 152:7	<b>nature</b> 119:3	<b>neurologist</b> 37:5
<b>MI</b> 12:17 14:7,7	<b>modality</b> 168:8	<b>motion</b> 60:13	<b>nays</b> 149:11	<b>neurologists</b> 42:15
15:3 16:17 19:17	<b>model</b> 45:13,15,15	157:17,22 206:18	<b>NCQA</b> 181:17	<b>neutrality</b> 238:14
20:5,6,13 21:4	46:19 48:3 49:1,9	<b>motivation</b> 231:15	<b>nearly</b> 160:8	<b>never</b> 65:21 185:22
23:5 24:19 26:8	77:3,5 100:10	<b>move</b> 28:11 58:8	<b>necessarily</b> 86:15	235:17 236:21
26:18 27:19 28:3	199:9	60:10 101:18	128:17 174:5,15	<b>nevertheless</b>
28:5 31:9	<b>modeling</b> 46:16	146:8 157:16,21	181:12 187:15	180:15
<b>mic</b> 157:19	<b>models</b> 8:15 9:6	200:1,8 201:1	216:21	<b>new</b> 7:8 18:22 31:9
<b>mics</b> 201:13	<b>modestly</b> 20:9	206:21 217:9	<b>necessary</b> 216:5	73:17 80:19 93:3
<b>middle</b> 187:17	<b>modification</b> 42:17	229:10 237:2	256:4	94:10 95:14 96:5
<b>million</b> 108:5,12	<b>modified</b> 52:1	241:5 247:16	<b>need</b> 8:9 17:20	96:7 98:11 99:10
126:12	<b>modifies</b> 136:10	249:18 250:1,11	21:13 33:6 50:12	108:11 165:22
<b>mimic</b> 42:6	<b>module</b> 168:7	<b>moved</b> 4:8 146:18	74:21 83:4 88:12	179:3,10,13
<b>mind</b> 194:5	204:6,8,10,12,13	212:10	89:9 94:20 96:18	199:12
<b>minimally</b> 54:6,15	204:19 205:2,7,10	<b>movement</b> 229:13	109:16 111:9	<b>NEWCOMER</b> 2:6
132:8,19 133:2	205:14	<b>moving</b> 94:10	116:4 119:10	14:10 52:21 68:8
142:7,18 143:4	<b>moment</b> 134:19	232:21 237:11	122:5,13 127:22	68:22 69:4 123:19
190:6,16,18,21	156:16 206:10	255:19	128:19 135:20	131:22 142:10
191:5	<b>money</b> 159:8	<b>MPH</b> 2:22	139:4 156:3	146:13 148:5
<b>minimizing</b> 186:3	199:12 228:9	<b>MRI</b> 156:7	168:16 169:16,20	149:14,22 151:5
<b>minimum</b> 53:19	229:4	<b>MSN</b> 1:21 2:14	187:7 195:10	152:16 153:2
256:1	<b>monitored</b> 116:12	<b>MSPH</b> 1:20	196:13 197:20	160:4,21 161:8,19
<b>minute</b> 25:21 76:4	<b>monitoring</b> 115:1	<b>multicomplex</b> 67:9	198:21 200:21	163:6,10,20 165:6
165:13 170:18	<b>month</b> 23:2 77:18	<b>multiple</b> 67:20	210:21 212:1,13	166:12,22 175:6
171:18	93:10 156:6,8	68:17 172:13	213:22 214:16	177:16 179:20
<b>minutes</b> 24:4	164:22	174:19 206:13	219:3,7,13 220:5	180:4 192:19
170:10	<b>months</b> 80:18,19	<b>multiples</b> 172:11	220:21 228:12	193:4 195:2
<b>minutiae</b> 239:17	81:1 94:7	<b>MUP</b> 1:16	237:6,8 238:7,9	200:10,15 201:1,7
<b>MI</b> s 14:12 15:1,6,7	<b>morbid</b> 140:18	<b>music</b> 39:3 46:11	238:12 240:16	201:17 202:12
15:20 19:9,10	<b>morbidity</b> 10:18	<b>myocardial</b> 6:10,19	243:21 244:9	203:19 206:4,15
20:15,18 21:8,9	212:21	6:20 23:16 24:7	248:16 256:3	206:20 208:8
24:10 25:14	<b>morning</b> 6:9	<b>myopic</b> 245:15	<b>needed</b> 42:8 47:18	229:17 234:20
<b>misdirection</b>	<b>mortality</b> 6:11,20		209:20 238:5	<b>nice</b> 4:8 124:1
244:20	7:1,4,6,11,16,19	N	<b>needle</b> 150:1	255:5
<b>missed</b> 46:13 76:5	10:19 11:10 13:5	<b>nail</b> 182:9	152:18 155:12	<b>nically</b> 220:3
88:21 157:18	16:1,17 17:13	<b>name</b> 201:19	158:5	<b>night</b> 35:1
225:15 239:15	18:1,3,10 22:6,7,7	<b>names</b> 161:1	<b>needlessly</b> 100:7	<b>nine</b> 142:6
<b>missing</b> 14:12,18	23:5 24:12 32:16	<b>narrow</b> 29:7,16,20	<b>needs</b> 18:3 80:10	<b>Nineteen</b> 131:20
14:19,21 15:2	33:9 62:15,18	33:2 66:3 73:18	85:13 113:5 116:2	<b>nobody's</b> 168:3
17:4,19 19:14	65:20 73:7 75:7,9	<b>nation</b> 160:4	152:2 195:8 196:1	250:15

242:13	245:5	133:7 144:12	<b>old</b> 93:2 95:14 96:4	150:22 161:17
<b>nonresponsive</b>	<b>numbers</b> 20:10	145:8 157:20	96:7	177:11 194:20
149:2,6	31:14,17 40:19	189:1,20 190:10	<b>older</b> 6:18 11:19	210:16 229:21
<b>nonsmoking</b>	72:3 254:16	204:8	51:19 52:6 110:2	231:8 255:1
231:19	<b>numerous</b> 58:1	<b>Ohio</b> 229:22	<b>omitted</b> 15:22	<b>oppose</b> 56:13
<b>non-Medicare</b>	<b>nursing</b> 88:22	<b>okay</b> 4:17 6:7,8	<b>once</b> 26:9 200:1	<b>opposed</b> 56:12
102:20	216:13 245:12	32:6 33:15,19,20	210:11 237:7,19	74:10 84:19 135:8
<b>non-randomized</b>	<b>nutritional</b> 214:11	34:3,10,20 35:5	256:10	143:12
164:6	<b>O</b>	35:16,17 36:4	<b>oncologist</b> 155:17	<b>opposition</b> 139:2
<b>non-surgeon</b> 213:5	<b>obesity</b> 230:22	38:2,8 39:5,11	156:5	<b>options</b> 77:10
213:5	<b>objection</b> 97:17	44:1 46:9 49:11	<b>oncologists</b> 204:20	94:22 113:4
<b>noon</b> 170:8	<b>obscure</b> 242:16	49:13 52:19,20	<b>oncology</b> 161:10	<b>order</b> 175:20 239:9
<b>norm</b> 103:9	<b>observation</b> 96:22	53:13,20 54:4,10	186:20	<b>organ</b> 68:18
<b>normative</b> 198:6	<b>observational</b>	54:13,15,16 55:1	<b>ones</b> 9:12 21:1	<b>organization</b>
198:10	182:8	56:10,20,21 57:1	118:22 120:3	238:17 244:17
<b>nos</b> 120:22	<b>observations</b> 64:6	57:5,14 59:8,9,12	176:21 232:2,2	248:1
<b>note</b> 27:6	<b>obstacle</b> 15:13	60:4,21 62:9,11	247:7 253:13	<b>organizations</b>
<b>noted</b> 87:21 186:12	<b>obtaining</b> 80:6	62:13 64:22 69:4	<b>one-tenth</b> 110:14	215:20
<b>notice</b> 14:17	<b>obvious</b> 116:19	76:17 79:22,22	<b>one-third</b> 14:12	<b>organize</b> 253:8
<b>notion</b> 181:10	<b>obviously</b> 16:4 22:4	81:1 82:2 88:15	15:1	<b>organized</b> 167:1
<b>novel</b> 216:12	94:4 154:1 247:4	91:1 97:15 101:18	<b>one-time</b> 155:22	<b>oriented</b> 225:20
<b>November</b> 255:18	247:15	102:2 105:8,8	<b>ongoing</b> 210:10	247:8
<b>NPF</b> 167:20	<b>OB/GYN</b> 231:12	117:3 127:21	<b>online</b> 64:16	<b>origin</b> 87:6,10
<b>NPS</b> 65:7	<b>occasional</b> 14:1,4	128:18 129:15,20	<b>open</b> 40:1 42:17	<b>original</b> 41:21
<b>NQF</b> 6:22 9:11	<b>occasioning</b> 13:9	130:6,19 131:6,13	43:15,22 110:11	49:22 50:2,7
22:5,22 29:11	<b>occur</b> 8:5 15:20	131:16 132:2,11	118:14 125:6	51:21 52:2 65:19
35:19 52:9 65:21	16:5 103:7	132:19,20,21	160:17 166:1	106:11 214:22
78:21 80:7 89:3	<b>occurred</b> 26:8	133:4,13 135:14	209:14 254:14	<b>OT1-010-09</b> 3:4
90:9,13,20 91:11	27:11,20 28:3	135:18 138:7	<b>opening</b> 3:2 166:2	<b>OT1-012-09</b> 3:5
91:13,15 92:7	50:15 51:5 64:10	139:1,2 140:9	<b>opens</b> 188:5	35:11
122:3,9 158:20	203:16	142:13,19,20,21	<b>operate</b> 212:17	<b>OT2-008-09</b> 3:9
162:2 180:14	<b>occurring</b> 81:20	143:3,5,7,9,18,19	215:12 221:13	<b>OT2-009-09</b> 3:8
181:9 193:10	<b>occurs</b> 145:17	143:22 144:18,21	<b>operation</b> 128:8	<b>OT2-010-09</b> 3:13
195:10 202:1	169:4	145:1,4,5,11,12	<b>operationalizes</b>	<b>OT2-011-09</b> 3:13
217:6 219:20	<b>October</b> 255:18	148:11,13,18	40:16	<b>OT2-012-09</b> 3:10
237:21 238:13	<b>odds</b> 71:7 101:5	149:8,11,11	<b>operations</b> 125:14	<b>OT2-014-09</b> 3:7,14
244:5 245:14	113:18	158:12,18 170:10	<b>operator</b> 170:16,17	57:18
249:16 250:9	<b>offer</b> 106:1 136:4	171:14 178:17	170:20,21 171:2	<b>OT2-015-09</b> 3:15
251:9 255:9	199:10	189:9 190:5,17,17	<b>opinion</b> 150:6,18	<b>OT2-016-09</b> 3:16
<b>NSQUIP</b> 18:19	<b>offhand</b> 31:20	190:22 191:8,17	152:14 154:22	<b>OT2-017-09</b> 3:18
<b>number</b> 6:16 20:9	<b>offline</b> 94:21 95:17	191:18 200:5	<b>opioids</b> 58:18	<b>OT2-019-09</b> 3:14
24:10 26:21 55:6	97:3 101:11	201:8 206:15,17	<b>opioid-related</b>	171:19
62:18 65:6,8 73:2	<b>of-scope</b> 158:10	207:2,8,8 208:3,3	57:20	<b>ought</b> 21:3 79:8
100:14 113:2	<b>oh</b> 12:3 24:22 25:12	208:6,7 209:4,8,8	<b>opportunities</b>	167:21 183:12
130:10 140:17	25:13 33:11 44:5	209:14 225:18	106:21	224:17 247:5
161:3 163:13	54:4 81:18 132:10	226:6 250:5	<b>opportunity</b> 79:11	<b>outcome</b> 17:11
171:18 189:18		252:11	87:9 136:13	49:10 63:2 67:3

75:9,18 96:15 126:16,22 127:2 145:21,22 147:3 150:4 155:4 158:14,22 159:20 162:17 167:12,20 173:16 176:1,16 176:19 177:2,3 180:19 181:22 182:1 185:9 186:11 187:19 203:3,12 206:11 210:2 211:3,5,20 214:4,9,21 215:5 215:6 216:18,20 220:10,18,20 222:8 223:13 227:9 229:12 231:10 232:1 233:4 234:9 236:7 245:2,5 248:11 257:2	<b>out-of-scope</b> 149:4 149:6,10 158:8,12 <b>overall</b> 23:8 63:20 94:9 146:22 163:15 <b>overlap</b> 22:14 <b>overly</b> 137:5 <b>ownership</b> 232:18 <b>O'Brien</b> 2:20 44:17 44:19,20 45:8 46:8 <b>O-F</b> 3:1	133:1 139:17 140:2 142:5,16 143:2 190:4,15 191:4 <b>PARTICIPANT</b> 139:18 <b>participate</b> 165:19 <b>particular</b> 28:11 38:5 43:6 48:3 67:8 95:5 101:1 103:22 104:12 153:22 157:3 176:13 181:19 184:21 198:7,12 198:14 204:6,20 251:8 252:22 <b>particularly</b> 10:19 15:20 16:7 41:9 63:18 159:1 169:11 175:7 197:22 214:10 215:1 217:2,6 228:13 <b>partner</b> 249:3 <b>parts</b> 235:10 252:17 <b>pass</b> 19:13 146:9 <b>passed</b> 156:4 <b>pass-off</b> 214:8 <b>Pat</b> 115:12 146:19 149:20 150:9 175:5 196:3 <b>Patch</b> 105:1 113:12 127:22 141:15 <b>PATCHEN</b> 1:18 <b>path</b> 215:7 241:5 <b>patient</b> 1:4 13:9,22 17:19,22 25:3 26:6 28:9 43:1 50:17 67:6 69:22 70:14 72:9,13 81:9 82:16 83:15 108:22 109:7 115:16 116:7,11 116:11,13,15 117:12 126:6,13 127:2,8 129:10,17	130:1,17 131:10 140:20 150:12,15 151:18,20 153:10 153:18 154:7,14 154:21 156:4 162:10 168:17,20 173:17 174:16 175:12,18,22 176:4,10 179:11 181:11 182:6 184:2,4,8,9 186:10 187:17,19 188:10 195:11 198:1 203:3,12 204:14,21 205:11 205:22 212:12,20 215:12,13 219:11 220:11 221:5,13 229:12 233:7 239:7 240:19,20 240:21 245:3,4,8 248:7 <b>patients</b> 7:15 16:19 17:7,10 20:1 21:6 29:3 30:18,21 31:17 45:18,19,20 46:20 47:3 48:1 51:19 59:5 66:4,6 68:10 70:6,12 71:11,22 84:4 85:21 99:12 100:1 100:8,20 101:7 102:20 103:12,20 107:10 108:18,21 110:4 113:3,17,17 114:3,13 115:2,20 116:19 117:3 121:21 125:14 128:6 162:13 164:1,9 167:6,10 169:12 172:16 173:6,8 174:7,13 176:3 179:3,6 180:12 183:7 184:20 198:8,9,10 205:3 212:17,18 216:13 218:1	219:6 229:8 232:1 233:8 234:11 239:20 240:3,10 248:1 250:17 <b>patients-center</b> 223:22 <b>patient's</b> 69:20 82:7 172:18 177:9 188:17 217:20 241:7 <b>patient-centered</b> 150:19 152:5 154:1,6 180:18 223:20 <b>patient-centric</b> 157:5 <b>Patricia</b> 1:22 17:16 <b>Patrick</b> 12:8,10 15:15 21:15 25:19 27:5 64:4 65:13 65:15 72:2 76:20 78:3 80:7 85:16 89:18 90:7 <b>Patrick's</b> 125:10 <b>pattern</b> 224:21 <b>patterns</b> 105:19 <b>Patton</b> 5:2,2,5,5,6 <b>Pat's</b> 153:17 154:10 <b>Pauline</b> 2:5 182:3 <b>Pauline's</b> 227:6 <b>paying</b> 231:21 <b>payors</b> 240:1 243:6 <b>PDF</b> 6:15 <b>peer</b> 115:8 <b>peers</b> 166:15 <b>pegs</b> 245:11 <b>penalized</b> 248:18 <b>pending</b> 107:17 108:6,10 140:15 141:7 254:5 <b>people</b> 12:17 19:15 20:16 24:17 26:10 38:12,14 39:16 40:19 42:18 55:6 65:9 87:10,15 107:5 109:11,22
<b>outcomes</b> 1:4 68:9 150:14 155:4 157:4 159:18 160:5 161:11 169:11 175:17 179:8 182:6 198:2 211:13 213:17,20 213:22 214:5,7,15 215:22 217:3 219:12 222:10,11 222:13,17 223:6 223:18 224:6,13 224:16,20 225:22 226:3,6,8 230:8 230:10,12 233:3 234:1 236:8,16,19 245:15 250:19,20 250:21,22	<b>outlined</b> 211:6 <b>outpatient</b> 115:1 <b>output</b> 112:20 <b>outreach</b> 216:14 <b>outstanding</b> 170:1 209:12 <b>out-of</b> 148:22	<b>package</b> 45:21 <b>packet</b> 65:2 <b>page</b> 6:13,14 10:2 14:11 171:20 <b>pages</b> 211:18 <b>paid</b> 162:14 <b>pain</b> 13:13 59:5 <b>pains</b> 38:18 <b>palliative</b> 86:9,12 86:22 87:2 <b>Panlow</b> 42:14 <b>paper</b> 175:3 <b>papers</b> 196:13 <b>paragraphs</b> 31:7 <b>parallel</b> 122:13 125:21 <b>parameter</b> 204:21 <b>parameters</b> 203:21 <b>Pardon</b> 234:4 <b>part</b> 8:11 22:6 35:22 36:20 47:15 49:12 69:15 89:2 92:18 109:9 175:10 180:12 193:9 216:1 217:2 230:18 232:14 234:11 258:1,6 <b>partial</b> 54:9,11 242:2 <b>partially</b> 34:3,6,10 53:18 54:1,14 87:8 132:6,18	<b>outlined</b> 211:6 <b>outpatient</b> 115:1 <b>output</b> 112:20 <b>outreach</b> 216:14 <b>outstanding</b> 170:1 209:12 <b>out-of</b> 148:22	

147:21 159:4,10 167:21 168:18 176:17,18 181:7 187:21 214:3 216:3 221:18 224:14 227:7,15 228:2 231:21 233:12,22 239:6 240:14 241:3,22 242:4,5 256:13	<b>personally</b> 21:6 <b>perspective</b> 10:13 10:15 15:12 17:19 17:22 76:15 88:8 106:4 115:17 116:7 126:6,8 127:18 150:20 176:5 177:9 184:5 217:20 223:21 224:4 226:1 241:7 244:6	<b>pieces</b> 169:15 196:13 237:17 <b>piggyback</b> 196:6 <b>PINDOLIA</b> 2:8 30:13 155:8 178:19 179:15,18 230:16 <b>place</b> 19:6 83:13 113:22 114:9,12 187:10,14 197:22 208:11 228:8	150:8 153:17,19 156:15 157:8 164:5 173:11 176:18 180:2 187:6,6 188:14 192:8 193:8 195:19 196:11 211:10 214:2 215:10 219:9,16 233:2 238:6,20	152:8 <b>possible</b> 5:17 19:8 105:22 118:18 138:5 157:1 163:7 230:4 <b>possibly</b> 95:16 108:20 128:4 256:2
<b>peoples</b> 154:12 <b>percent</b> 15:22 16:19,20 17:6 20:11 25:14 30:17 30:19,20,22 31:1 31:10,11 41:17 45:22 46:13 71:10 100:11 107:20 155:21,21 221:15 224:16 245:8,10 247:2,6,16	<b>pertinent</b> 140:22 <b>Peterson</b> 32:1 <b>petition</b> 65:14 <b>petitioners</b> 41:1 <b>Pfizer</b> 57:12,12,19 59:20 65:8 <b>PHARMD</b> 2:8 <b>phase</b> 87:19 256:11 <b>PHD</b> 1:19,23,23 2:5,9	<b>places</b> 75:6 229:2 <b>plan</b> 156:4 181:16 198:14 <b>planning</b> 113:9 218:15 220:1 <b>plans</b> 177:13 <b>play</b> 68:18 199:7 255:3 <b>plays</b> 253:10 <b>plea</b> 183:11 196:4 214:16	<b>pointed</b> 220:22 <b>points</b> 40:21 61:21 66:14 111:8 118:8 154:19 188:10 191:22 229:17 232:6 236:2 <b>policy</b> 181:20 <b>politically</b> 161:22 <b>polyps</b> 245:5 <b>Pooks</b> 1:13 <b>poor</b> 22:16 150:19 153:9 169:1	<b>posting</b> 89:11 95:4 <b>postoperative</b> 15:21 21:9 27:10 35:12,20 41:18 43:6 50:14 53:5 <b>postoperatively</b> 25:5 104:15 <b>post-bariatric</b> 107:9 <b>potential</b> 70:11 96:12 152:3
<b>perfectly</b> 226:5 <b>perform</b> 78:18 <b>performance</b> 2:16 49:2,2 50:10 77:3 82:21 174:6,7 196:18	<b>Philadelphia</b> 249:12,14 <b>phone</b> 4:18 5:18 12:8 44:5,7,14 46:10 57:8 59:10 64:2,3 65:6 101:20,21 148:4 148:13 170:15,16 170:20 171:2 189:10 199:6 200:3 202:4 203:16 206:1 208:9	<b>please</b> 4:19 5:16 139:9 201:12 203:17 208:13 209:3 252:10 <b>pleased</b> 202:7 240:22 <b>pleasure</b> 121:2 149:5 252:7 <b>plenty</b> 118:14 170:9 <b>plug</b> 210:6 <b>plus</b> 33:15 36:4 56:21 226:11	<b>poorly</b> 85:6,8 <b>populating</b> 210:8 <b>population</b> 49:21 50:5 67:6 103:8 103:10 109:8 110:2 112:11 116:15 119:16 129:18 161:3 169:17 174:16 193:17 198:7,12 198:13 207:7 217:19 218:22 219:2	<b>potentially</b> 16:17 46:4 75:17 122:7 182:11 248:15 <b>pound</b> 113:16,17 114:3 117:12 130:15 215:12,13 <b>pounds</b> 130:17 212:17 215:14 <b>PQLI</b> 52:3
<b>performed</b> 113:1 <b>period</b> 21:19 51:9 62:4 109:12 141:4 146:15 186:14 187:4 204:22 212:22 220:6 241:14 254:10,19 255:17 257:12	<b>PHRs</b> 197:22 <b>physical</b> 180:9 197:2,12 <b>physician</b> 24:16 27:1 50:20 <b>physician's</b> 13:20 <b>pick</b> 41:16 <b>picked</b> 16:4 22:12 <b>picking</b> 29:6 <b>picture</b> 210:21 211:13	<b>pneumonia</b> 20:19 22:6 23:18 24:17 24:18 25:1 89:22 <b>point</b> 8:8 15:18 30:14 42:20 45:14 47:8 51:14 52:14 52:21 55:16 66:20 69:16 77:21 80:16 81:4 85:14 87:5,9 111:6,21 118:3 122:3 124:21 126:19 149:8	<b>populations</b> 63:13 103:19 115:3 203:4,5 217:7,19 <b>population-based</b> 217:7 <b>Pories</b> 221:11 <b>portfolio</b> 74:6 <b>portion</b> 206:5 <b>pose</b> 120:19 <b>posing</b> 93:21 <b>positions</b> 86:22 <b>positive</b> 19:16	<b>precise</b> 42:9 101:14 <b>prediction</b> 73:6 <b>predictor</b> 220:18 <b>predisposing</b> 16:6 <b>predominately</b> 48:18 <b>prefer</b> 80:22 236:17 <b>preference</b> 80:21 <b>perform</b> 227:20 <b>premature</b> 74:14 <b>prepare</b> 202:1
<b>periods</b> 105:20,20 254:9 <b>perioperative</b> 14:6 <b>perioperatively</b> 16:18 <b>peripheral</b> 46:1 <b>peri-procedural</b> 14:7 <b>persist</b> 256:7 <b>person</b> 5:14 12:9 70:8 87:18 117:14 <b>personal</b> 96:21	<b>piece</b> 22:13 146:10 173:12 241:18			

<b>prepared</b> 205:6	146:16	230:8,11 233:5	<b>prostate</b> 164:18	<b>publishing</b> 32:12
<b>preparing</b> 254:1	<b>principals</b> 24:3	236:3,6,12,13,15	165:2,8	<b>pull</b> 39:14 85:20
<b>prescription</b> 239:4	<b>prior</b> 45:19 46:21	243:19 253:1	<b>prostatectomy</b>	228:12
<b>prescriptive</b> 137:5	47:3,19 51:14	258:1,7	165:9	<b>pulled</b> 183:19
137:7 237:8 238:7	<b>priorities</b> 164:17	<b>processes</b> 122:4,13	<b>protocol</b> 186:15	<b>pulling</b> 92:21
<b>presence</b> 51:16	<b>priority</b> 225:12	226:2,7 230:14	188:9	<b>pulmonary</b> 68:15
<b>present</b> 1:15 2:2,12	<b>prize</b> 171:7	236:9 238:18	<b>proton</b> 164:19	129:14 130:18
27:7,20 45:21	<b>pro</b> 98:21 218:1	<b>procure</b> 35:20	165:12,16	<b>purely</b> 50:9
66:22 75:7 85:14	<b>probability</b> 69:20	<b>produce</b> 161:13	<b>prove</b> 28:2	<b>purpose</b> 119:21
91:12 125:22	<b>probably</b> 9:20	247:13	<b>proven</b> 173:2	192:4,21 193:1
233:21 234:16	16:22 25:12 40:18	<b>product</b> 199:10	204:14	<b>purposes</b> 166:19
<b>presentation</b> 24:5	58:20 82:3 87:10	<b>productive</b> 4:4	<b>provide</b> 11:16	181:12 195:16
<b>presented</b> 26:11	125:22 130:9	<b>professional</b>	48:13 61:9 112:19	240:10,11
97:21 102:20	160:5 167:6	238:17	113:3 210:15	<b>pursue</b> 80:17
131:7 134:4	226:17	<b>professionals</b>	229:7 238:14	<b>pursuing</b> 151:9
143:12	<b>problem</b> 56:1	167:22	239:21	<b>purview</b> 73:15
<b>presenting</b> 234:2	81:14 86:2 104:3	<b>profiling</b> 248:12	<b>provided</b> 57:22	<b>push</b> 180:13 240:8
<b>presently</b> 96:8	117:7 212:12	<b>prognostic</b> 160:10	61:16 63:5 146:2	240:14
<b>presiding</b> 1:14	232:8	163:12	161:7	<b>put</b> 11:12 32:21
<b>pressed</b> 28:2	<b>problems</b> 123:10	<b>program</b> 2:22	<b>provider</b> 18:5	43:19 46:10 55:9
<b>pretty</b> 27:2 93:2	212:9 213:9,9,10	87:13 99:18 100:2	206:12	78:13 122:6 123:1
95:7 136:1,14	213:11 218:16	<b>programs</b> 221:8	<b>providers</b> 224:6	123:8 147:6 176:7
205:9 256:14	<b>procedural</b> 214:19	231:20	234:22 236:14	177:20 186:9
<b>prevail</b> 193:12	<b>procedure</b> 35:12	<b>progress</b> 203:6	239:22 240:11	187:2 198:17,22
<b>prevalence</b> 106:15	39:17 42:3 47:10	<b>project</b> 73:17	<b>providing</b> 64:12	214:16 217:14
<b>prevent</b> 106:21	51:7 53:5 110:13	149:10 158:15	<b>proxy</b> 153:9 255:2	243:12 247:14
107:1	110:15 111:7,14	209:18 210:6	<b>PT</b> 1:23	253:15
<b>preventable</b> 84:18	113:1 114:6,7	225:19 252:18	<b>public</b> 3:4 15:7	<b>puts</b> 126:3
<b>preventative</b>	117:9 118:7,7	256:10	32:7 96:16,17	<b>putting</b> 230:1
105:22	119:4,19 121:6,9	<b>projection</b> 108:11	133:12 162:14	237:14 251:15
<b>preview</b> 93:6	124:20 125:5	<b>projects</b> 217:3	166:9,17 176:5,9	<b>P-R-O-C-E-E-D-...</b>
<b>previous</b> 19:22	127:1 129:22	<b>prolonged</b> 161:13	176:15 177:13,14	4:1
39:15 57:10 72:5	136:21 137:1,17	<b>pronounce</b> 144:8	178:3,17 182:13	<b>p.m</b> 170:12,13
94:8 100:15 179:6	150:15 200:11	<b>proof</b> 226:11	184:3 187:9 194:3	258:10
202:4 203:16	242:10,11	<b>properly</b> 31:5	194:12,13 195:17	
207:20,22	<b>procedures</b> 20:17	<b>properties</b> 33:22	209:7 234:2 235:1	<b>Q</b>
<b>previously</b> 5:13 7:2	111:2,16,22	53:16 139:15,22	239:21 249:20	<b>quadrants</b> 84:18
99:14 210:5	119:17,20	<b>property</b> 88:13	250:2,11,13 254:9	<b>qualify</b> 121:13
<b>pre-existing</b> 38:13	<b>proceed</b> 170:6	199:5	254:10 257:11,19	141:6
<b>primarily</b> 87:11	171:13	<b>prophylactic</b> 107:1	258:2	<b>quality</b> 1:1 11:3
145:19 172:14,20	<b>process</b> 48:10	<b>proposal</b> 61:1	<b>publications</b> 167:2	18:5,8 85:2 122:4
173:22 175:2	58:12 63:10 91:11	<b>propose</b> 56:8 77:20	<b>publicly</b> 11:8,11,14	124:6 147:1
<b>primary</b> 24:13,15	122:9 152:12	242:6	125:2 162:12	166:21 167:2,3,17
59:6 70:7 246:18	155:1 169:4	<b>proprietary</b> 162:11	173:21 174:13	168:2 169:5,10
<b>principal</b> 6:18 13:7	175:11,19 184:16	162:19 232:18	209:2 235:15	172:8 173:5
20:2 26:7 27:7,12	222:5,7,16 224:13	<b>prospectively</b>	<b>published</b> 25:11,12	174:10 175:7
27:16 81:22	225:22 229:12	16:21	110:20 115:8	176:11 179:22

182:1 183:19 205:5,14,15 206:6 206:7,11 220:20 227:9 228:17 232:11,12,19 237:18 241:12 244:2,3,3	<b>quite</b> 8:22 24:8 32:3 48:19 74:19 203:5 <b>quote</b> 20:8	<b>reaching</b> 97:8 244:13 <b>read</b> 178:21 <b>Reader's</b> 204:16 <b>readily</b> 78:3 <b>reading</b> 14:11 154:13 155:8 <b>readmission</b> 50:6 248:13,19 <b>readmissions</b> 49:21 <b>ready</b> 33:4 75:3 164:4,8 191:9 <b>real</b> 102:17 177:3 180:15 230:10 233:7 236:12 248:6 249:10 251:5 252:7 <b>reality</b> 242:17 <b>realize</b> 148:1 169:13 221:5 253:21 <b>realized</b> 106:3 <b>really</b> 4:11 15:3 26:17 27:16 29:7 30:8 31:5 37:3 38:21 39:21 40:8 40:11,16,22 41:3 41:8 42:8,13 43:4 45:9 46:18 47:18 50:12 51:2 52:7 58:5 63:22 65:17 67:4,7,12,13,22 70:13 72:22 74:4 74:21 86:6 94:22 100:19 102:17 103:5,17 104:2 106:19 111:11,13 114:11,15 117:6 126:15 127:3 145:20,22 155:16 156:3,12 157:2 159:20 169:11 173:15,16 177:8 177:21 183:12 186:13,17,21,21 187:1,3 188:4,4 190:11 195:14,15	196:5 197:9,11 213:21 214:6,15 214:17 219:17 220:3,19,20 222:9 222:18 223:19 224:19 227:12 229:9,15 231:16 231:20 232:1 233:20 239:16 240:6,9,12,18,22 241:4,10,13,16 242:4,9 243:12,13 243:18,21 244:4,5 244:19,21 245:20 251:19,21 255:2,4 257:14 258:2,5 <b>realm</b> 256:7 <b>reason</b> 9:15,22 26:11,18 51:2,15 64:17 105:15 203:8 <b>reasonable</b> 118:8 195:6 <b>reasons</b> 26:12 47:17 157:1 205:18 <b>rebound</b> 185:6 <b>recall</b> 31:20 <b>receive</b> 26:6 156:18 157:1 <b>received</b> 66:3 <b>receiving</b> 86:22 87:15 <b>recirculated</b> 64:20 <b>recognizably</b> 63:8 102:19 107:7 <b>recognize</b> 213:13 <b>recognized</b> 24:19 100:22 186:8 <b>recognizing</b> 121:14 <b>recommendation</b> 34:11 77:15 143:6 217:12 258:5 <b>recommendations</b> 3:20 47:22 78:21 120:17 131:15 135:22 138:15	143:15 158:20 209:13 211:16,19 213:8 229:2 255:1 255:8 257:17 <b>recommended</b> 210:5 <b>recommending</b> 133:15 143:10 <b>reconciling</b> 94:8 <b>reconstructive</b> 150:17 <b>reconvene</b> 143:20 <b>record</b> 13:20 16:15 25:15 29:18 73:22 98:13 144:2 164:3 170:12 200:21 223:2 <b>recorded</b> 160:8 <b>records</b> 25:9 26:21 32:4 98:16,16 232:14 244:16 247:3,18 <b>recurred</b> 233:9 <b>recurrence</b> 155:21 <b>recuse</b> 52:22 <b>recusing</b> 53:12 131:21 135:13 <b>red</b> 145:11 <b>redefined</b> 91:18 <b>reduce</b> 114:14 <b>reduced</b> 146:5 <b>reducing</b> 40:11 <b>redundant</b> 200:18 <b>reemphasize</b> 208:10 <b>reference</b> 15:18 <b>referenced</b> 156:17 <b>references</b> 59:3 <b>referencing</b> 65:1 <b>referral</b> 70:9,13,17 70:19 101:8,9 103:22 118:15 <b>referred</b> 70:6 252:15 <b>refine</b> 83:18 <b>reflect</b> 15:3 255:4 <b>reflected</b> 138:4
<b>quarter</b> 93:12 <b>question</b> 14:16,17 16:22 17:3 21:5 21:10,17 25:7 26:4 38:7 41:21 49:6,19 51:13 58:17 68:19 72:3 73:10 74:17 76:21 78:1,5 81:6 82:9 85:12 86:2,20 89:7 92:7,16 93:16 94:17 100:10 103:2,14 104:3 110:9 112:9 113:12 115:14 118:2,19 119:7 120:19 124:17 136:3 148:21 159:8 162:22 166:7 174:21 178:12 181:21 182:12 198:5 214:22 227:19 247:9 <b>questionnaires</b> 172:5,9 204:1 205:14 206:3 <b>questions</b> 4:6 26:2 41:14 52:19 58:20 80:5 87:8 88:18 101:12 202:1,7,10 218:12 247:21 256:5 <b>quick</b> 148:1 162:22 <b>quicker</b> 139:7 185:6 <b>quickly</b> 16:5 84:5 135:16 147:16 161:4 237:2 255:19	<b>R</b> <b>r</b> 49:8 <b>racial</b> 231:11 <b>radiation</b> 155:19 175:12,13 179:7 <b>radical</b> 165:9 248:20 <b>radically</b> 111:22 <b>raised</b> 17:3 55:5 70:15 94:7 114:18 184:19 191:22 <b>raises</b> 73:9 <b>raising</b> 52:14 126:18 <b>RAMANO</b> 13:6,16 15:16 17:2 19:22 21:5 22:3 25:20 27:13 31:3,15,18 65:16 71:3 72:8 76:18,20 78:7,20 86:1 90:8 91:4,10 91:15 92:4 94:3 99:11,14,17,21 100:17 <b>randomized</b> 224:15 224:21 <b>Rand-type</b> 215:6 <b>range</b> 15:22 16:20 20:11 51:17 238:3 <b>ranges</b> 123:3 <b>ranked</b> 26:22 <b>rare</b> 17:5 <b>rate</b> 6:11,20 7:16 18:10 62:15,18 128:13,14 155:21 248:14,17,19 <b>rates</b> 103:1 221:15 <b>ratify</b> 208:4 257:20 <b>ratio</b> 71:7 101:5 <b>rationale</b> 16:2 <b>reach</b> 171:11 253:7 256:11			

157:5 203:9	<b>relevance</b> 30:4	240:21 244:15,19	136:9 151:4	<b>re-educate</b> 256:21
<b>reflects</b> 125:11	<b>relevant</b> 141:1	<b>reporter</b> 243:16	177:17 178:8	<b>re-evaluate</b> 138:18
<b>reform</b> 162:18	143:15 227:17	<b>reporting</b> 174:15	<b>responses</b> 166:4	<b>rich</b> 161:10
232:13 236:22	<b>reliabilities</b> 108:1	176:6,9,15 177:13	253:5	<b>right</b> 6:4 8:2 10:5,5
<b>refused</b> 165:19	<b>reliability</b> 30:18	177:15 178:17	<b>responsibility</b>	19:12 27:6 31:5
199:5	32:2 37:7 78:4	182:14 184:3	219:11 242:10	31:22 33:6,12,19
<b>regard</b> 8:4 69:19	98:9,11 107:21	192:9 194:4,12,13	<b>responsible</b> 13:8	33:20 34:11,21
218:18	140:14 141:6	195:17 235:2,15	117:13 248:5	35:4 53:4,15
<b>regarding</b> 31:8	227:8	243:11 249:20	<b>responsive</b> 178:10	54:11 55:8 65:7
72:16	<b>reliable</b> 192:20	250:2,11,16	228:3	69:10 72:8 73:2
<b>regardless</b> 7:20	202:19 204:15	<b>reports</b> 93:6 241:9	<b>responsiveness</b>	74:13 80:3,13
89:8 92:18 96:15	208:12	254:8	180:22	82:4 83:10,21
235:15	<b>rely</b> 224:3	<b>represented</b> 31:17	<b>rest</b> 10:8 25:2	91:10,14 92:1,11
<b>regiments</b> 161:12	<b>remain</b> 108:2	<b>representing</b> 65:14	115:15 184:17	92:12 96:9 99:20
<b>registering</b> 42:21	244:10	<b>require</b> 17:14	<b>resubmission</b> 99:3	111:21 124:3
<b>registries</b> 120:4	<b>remaining</b> 34:6	109:10 249:22	<b>result</b> 66:12	128:21 131:13
160:7 164:1 223:2	124:16	<b>required</b> 72:11	<b>results</b> 255:10	132:4,10,15 133:5
<b>registry</b> 37:11 40:8	<b>remains</b> 243:19	123:2 145:15	<b>resumed</b> 144:2	133:16 134:16
114:21 115:5	<b>remark</b> 229:14	202:13 238:15	170:12	135:3,13 137:10
120:5 123:11	<b>Remarks</b> 3:2	<b>requirement</b> 56:5	<b>retrospective</b> 13:17	137:14,16 138:13
161:20 164:9	<b>remember</b> 25:16	<b>requirements</b>	<b>retrospectively</b>	139:10 140:1
229:22 239:9	70:5 83:1 148:15	119:10,13	23:19	142:1,11 143:20
<b>regular</b> 21:4	177:17 235:14	<b>requires</b> 104:18	<b>return</b> 99:3	146:18 148:17,20
<b>regularly</b> 26:16	<b>remembering</b>	<b>rescue</b> 82:14	<b>returned</b> 50:18	149:16 152:4
<b>Regulations</b> 247:13	20:10	<b>rescued</b> 82:16	<b>Reva</b> 2:22 6:7 8:16	153:1,3,3,4
<b>rehabilitation</b>	<b>remind</b> 131:2	<b>research</b> 94:12	33:5 139:9 199:6	156:13,20 158:7
226:15	<b>reminds</b> 32:18	105:16 111:5	217:15 225:7	158:11 170:4
<b>reimbursed</b> 26:13	<b>remotely</b> 216:13	159:18 166:18,19	230:19 251:8	174:4 180:3
<b>rein</b> 244:5	<b>remove</b> 87:10	176:12 183:3	257:13	182:18 184:15
<b>related</b> 23:16 47:12	<b>renal</b> 23:18	246:16,18 249:19	<b>review</b> 13:19 25:15	191:8 192:2
51:6 66:5 67:7,9	<b>repeat</b> 5:4	<b>researchers</b> 249:21	45:2,9 48:10,15	194:18 195:5
68:20 73:7 76:22	<b>replace</b> 90:6	<b>resend</b> 97:20	48:21 58:9 66:11	197:21 200:14
127:2,9 128:8	<b>replicate</b> 52:8	<b>resolving</b> 33:2	78:2 90:5 92:10	203:11,19 209:14
155:11 184:19	<b>report</b> 7:14 33:10	<b>resource</b> 223:4	92:13,17 93:22	218:4 219:22
185:11	53:6 112:3 117:8	<b>resources</b> 79:1	98:14 108:3 115:8	225:10,17 232:16
<b>relates</b> 10:18 17:7	117:9 131:19,19	<b>respect</b> 40:3 66:14	122:19 154:17	234:6,20 244:13
161:6	139:12 153:19	73:12 77:2,4 94:1	209:6 223:2	249:7,7
<b>relating</b> 112:16	170:2 178:4 245:6	154:22 246:6	<b>reviewed</b> 44:22	<b>rigor</b> 164:10
152:15,19 238:17	253:12,19	<b>respects</b> 7:10	204:11	<b>rise</b> 240:15
<b>relative</b> 17:18	<b>reportable</b> 195:12	<b>respond</b> 64:5 122:5	<b>REVIEWER</b> 2:21	<b>risk</b> 8:14 37:14,16
115:14	209:2	124:4 138:6 192:8	<b>reviewing</b> 203:22	37:21 38:6,10,18
<b>relatively</b> 106:14	<b>reported</b> 7:12 11:8	194:8 201:10,15	204:9	39:12,13,21 44:3
163:17	11:11,14 116:2	254:21	<b>reviews</b> 30:15	44:10 45:13,16,17
<b>release</b> 123:20	118:21 119:3,8	<b>responded</b> 194:14	<b>revised</b> 65:17	45:18 46:3,14,17
160:13,14 161:20	125:2 173:21	<b>responding</b> 254:19	<b>revision</b> 90:16	48:2 51:12,15
166:9	174:13 182:6	<b>response</b> 65:13	131:12	52:16 55:5,9,17
<b>released</b> 246:20	186:10 198:1	104:22 127:19	<b>Revisions</b> 255:7	55:19 63:15 67:18

68:5 69:17 71:7 71:10,14 72:4 73:1 77:3,5 83:16 87:3 100:10,12,13 101:5 103:10 104:1,7 110:9,13 110:14,17 112:10 112:11 113:21 114:4,8 116:22 117:2,7 126:21 127:15 128:4 129:4,13,22 130:15,18 131:9 136:17 137:9 140:18 174:8 220:17 223:16 224:1,5 227:10 228:15,18,22 239:10 242:15 243:11,12 <b>risks</b> 125:21 212:19 220:14 <b>RN</b> 1:19,20,21 <b>road</b> 1:13 19:5 218:20 238:16 <b>robotics</b> 164:17 <b>robustness</b> 84:21 <b>Rochester</b> 249:5 <b>rolled</b> 49:22 181:15 <b>Romano</b> 12:8 <b>room</b> 71:20 162:6 221:17 <b>ROSEN</b> 2:9 31:21 44:13 46:13 47:20 48:22 49:7,13 98:4,10 126:2 127:6,20 195:13 221:11 222:1 <b>roughly</b> 31:19 <b>routinely</b> 129:17 <b>Ruben</b> 1:17 54:19 <b>rule</b> 43:4 104:4,5 <b>rules</b> 13:17 80:19 <b>run</b> 76:6,11 96:9 243:12 <b>rundown</b> 203:15 <b>rural</b> 10:20 84:3	85:4 <b>rush</b> 150:14 152:13 155:3 <b>RWJ</b> 231:9 <hr/> <b>S</b> <hr/> <b>S</b> 1:23 <b>sacrosanct</b> 27:17 <b>safe</b> 258:9 <b>safety</b> 82:7,11 83:15 <b>sake</b> 16:11 89:10 <b>Salon</b> 1:12 <b>sample</b> 164:6 <b>Sarah</b> 2:19 251:11 251:14 <b>satisfied</b> 168:22 169:1 <b>satisfy</b> 124:15 <b>saving</b> 60:11 <b>saw</b> 213:21 242:1 <b>saying</b> 8:17 9:10 22:17 30:9 65:8 83:4 85:9 93:13 120:6 122:11 124:5 134:18 234:8,10 235:10 237:9 238:8 257:2 <b>says</b> 80:8 215:5 <b>scale</b> 57:20 <b>scan</b> 37:6 <b>schedule</b> 254:18 <b>schools</b> 217:5 <b>Schwebke</b> 38:4,8,9 39:7,11 41:20 43:8,14,21 47:6,7 48:8 49:4,11,14 50:22 51:20 101:22 102:1 105:3,8 107:19 108:1,9 109:9 110:6,18 112:5,14 114:5 115:6 119:5 120:16 144:9,10 144:15,21 <b>science</b> 41:18 73:3 74:13 196:18	<b>scientific</b> 14:15 29:19 33:21 53:15 132:3 139:14,21 142:2 174:2 190:2 202:10 <b>scientist</b> 166:3 201:22 202:9,14 204:18 <b>scope</b> 73:18 148:22 158:14 220:7 227:16 <b>score</b> 197:13 205:5 206:9 <b>scores</b> 175:10 <b>screen</b> 125:13 <b>scrutiny</b> 257:18 <b>se</b> 126:16 <b>seal</b> 181:2 <b>Sean</b> 2:20 44:6,13 44:15,18,19 46:6 46:14 165:16 <b>Sean's</b> 44:5 <b>Seattle</b> 171:9 <b>second</b> 17:3 21:1 58:14 77:22 84:13 102:8 126:19 140:4 150:17 171:17 209:17 212:16 213:21 230:6 233:2 244:21 <b>secondary</b> 16:16 20:6,13 23:16 24:7 77:7 <b>section</b> 31:3,5,6 <b>see</b> 11:2 15:12 26:22 29:12 41:8 49:3 50:13,20 62:10 76:10 77:14 85:9 95:9 101:16 119:14 122:1 124:14,18 129:9 129:17 135:21 136:9 138:4 151:10 166:14 171:11 182:18 183:17 185:10	186:18 193:19 194:7 198:12 218:19 221:18 230:10 238:8 239:14 240:4 241:5,13 249:10 250:16 253:9 254:15,20 <b>seeing</b> 55:22 110:22 120:22 124:8 142:15 183:14 <b>seen</b> 115:7 166:22 168:20 234:9 242:8 255:20 <b>SEER</b> 160:20,21 <b>segue</b> 169:19 <b>select</b> 113:5 130:15 <b>selected</b> 89:4,16 95:5 <b>selection</b> 114:13 125:17 140:21 <b>self</b> 240:21 <b>self-report</b> 180:18 <b>self-selected</b> 38:16 39:18 <b>send</b> 34:22 35:6 84:4 118:15 138:9 252:19 253:15,21 <b>senior</b> 2:14 201:20 <b>sense</b> 66:10 98:17 151:21 239:3,15 <b>sensitize</b> 97:21 <b>sent</b> 195:20 <b>separate</b> 36:21 50:2 72:16 100:19 134:10 <b>separately</b> 90:11 112:3 128:20 134:1 <b>sepsis</b> 23:18 <b>September</b> 255:18 <b>sequencing</b> 27:15 <b>series</b> 82:8 115:21 135:21 <b>serious</b> 18:3 116:5 <b>serve</b> 229:16 240:9	<b>Service</b> 217:21 218:2 <b>services</b> 86:14 106:2 249:19,21 <b>session</b> 151:7 156:17 <b>set</b> 16:3 163:14 169:20 206:21 222:13 254:2 <b>sets</b> 45:20 68:10 <b>setting</b> 48:17 <b>settings</b> 15:21 <b>setup</b> 210:12 <b>seven</b> 15:6 34:3 35:13 36:4 41:15 41:16 43:1,10 49:19 142:7 191:4 191:4 <b>Seventeen</b> 34:5,9 54:10,14 56:21 143:3 158:16 <b>severance</b> 47:2 <b>severe</b> 71:17,18 77:21 <b>SF-12</b> 197:17 <b>SF-36</b> 197:5 198:5 198:16 246:12 <b>share</b> 97:13 <b>shared</b> 51:10 168:9 219:10 <b>sharing</b> 246:21 <b>shifted</b> 70:12 <b>shocked</b> 239:18 <b>short</b> 49:21 75:12 75:20 169:12 185:18 195:3 <b>shorten</b> 146:15 <b>shortened</b> 146:6 <b>shorter</b> 4:7 51:3 105:19 141:3 <b>shortly</b> 97:18 <b>show</b> 30:16 55:17 76:15,17 146:15 <b>showed</b> 31:1 165:9 <b>Showing</b> 220:2 <b>shown</b> 161:11 167:3
---	---	--	--	---

<b>sick</b> 101:8	<b>slated</b> 95:6	9:21 100:18 124:3	<b>squared</b> 49:8	237:5
<b>side</b> 58:18 243:15	<b>sleep</b> 129:13	151:15 152:1	<b>staff</b> 2:17,18,19	<b>stated</b> 112:7
<b>sidebar</b> 90:4 221:3	130:16	163:19 233:1	73:10 94:17 97:18	<b>statement</b> 43:12
<b>side-to-side</b> 9:21	<b>slice</b> 28:22 169:16	<b>sources</b> 223:11	101:14 136:14	111:6 194:22
<b>sight</b> 235:4	<b>slices</b> 29:7	<b>space</b> 67:2 122:6	138:3 193:10	205:16
<b>significance</b> 136:5	<b>slide</b> 210:4	125:2	251:9	<b>statements</b> 127:12
<b>significant</b> 15:19	<b>slides</b> 252:16	<b>spaces</b> 210:7,9	<b>staff's</b> 97:1	<b>states</b> 140:18 179:3
17:6 24:10 37:8	<b>slightly</b> 66:16	<b>span</b> 52:15 159:22	<b>stage</b> 8:6 84:22	203:6 231:18,19
42:18 55:13 64:9	113:12 247:11	<b>speak</b> 5:12 18:14	155:14 160:10	<b>static</b> 174:15
104:17 109:7	<b>small</b> 174:21	18:17 50:8 72:19	<b>staging</b> 163:12,22	<b>statin</b> 224:15,18
128:15 131:12	<b>smart</b> 227:14	94:4 204:7 213:4	<b>stakeholders</b> 65:19	<b>statistic</b> 49:8
165:14,14,15	<b>smoking</b> 231:17,20	<b>speaker</b> 5:18	94:13	<b>STATISTICAL</b>
175:14 197:12	<b>snapshot</b> 214:1	<b>speaking</b> 52:3	<b>stand</b> 75:8	2:20
202:2 211:7 221:6	220:15 245:17	157:7 185:5	<b>standard</b> 48:21	<b>statistician</b> 242:14
239:6 248:3	<b>snowing</b> 171:9	<b>speaks</b> 157:8 181:4	99:1 108:2 125:16	<b>statistics</b> 49:1
<b>significantly</b>	<b>somebody</b> 20:18	219:8,17	161:13 186:14	<b>status</b> 11:7 76:10
111:13	84:2 87:12 148:12	<b>Specialist</b> 42:14	202:21 208:13	175:13,15 179:22
<b>silo</b> 244:22 245:13	152:13 170:22	<b>specially</b> 76:22	<b>standardization</b>	180:8 181:22
<b>similar</b> 7:17 8:19	182:17 185:4	<b>specialties</b> 160:6	43:17 103:16	192:16 193:17
30:17 141:2	196:3 197:10,15	<b>specific</b> 37:16	<b>standardized</b>	196:17 197:1
156:12 175:19	212:16	38:11 39:12 48:11	180:16 213:18	226:14
185:16 226:18	<b>someplace</b> 23:4	67:4 71:16 72:21	<b>standardly</b> 98:22	<b>stay</b> 43:1 111:18
<b>simple</b> 161:21,22	<b>somewhat</b> 36:2	76:7 79:6 83:18	<b>standards</b> 1:4	114:22 215:13
163:17 231:13	67:6 226:1	169:3 209:19	98:15 247:10	<b>stayed</b> 189:8
233:8	<b>soon</b> 75:2 80:15	211:1,3,17 228:2	<b>standpoint</b> 14:15	<b>staying</b> 248:2
<b>simpler</b> 233:14	95:7 139:5 209:2	250:22	23:3 45:9 150:13	<b>steering</b> 1:3,12
<b>simply</b> 26:13	<b>sooner</b> 92:19 93:15	<b>specifically</b> 18:11	167:17	12:14 37:18 65:1
100:22 163:11,14	139:7	49:5 58:21 69:12	<b>stands</b> 74:17 174:4	97:22 222:6 231:2
165:19	<b>sorry</b> 36:15 48:9	75:21 76:8 90:2	<b>stand-alone</b> 89:9	256:10 258:11
<b>simultaneously</b>	54:4 88:20 89:14	157:6	90:14	<b>Stella</b> 202:5 206:1
207:17	89:15 134:5 140:9	<b>specifications</b> 9:5	<b>star</b> 250:12	<b>stem</b> 171:16
<b>single</b> 30:3 50:1	144:18 149:16	238:10	<b>start</b> 6:5 39:10	<b>step</b> 76:4 147:18
243:4	157:20 158:3,4	<b>specificity</b> 42:7	114:17 118:11	178:1 222:14
<b>sir</b> 203:13 206:16	160:9 189:20	<b>specifics</b> 67:20	186:18 187:3,11	<b>steps</b> 3:22 252:1,12
208:15	190:19 225:14	116:3	189:13 210:8	<b>stick</b> 43:3
<b>sit</b> 118:15 167:8	<b>sort</b> 20:20 21:15	<b>specified</b> 34:1	220:5 223:12	<b>stipulations</b> 246:9
255:13	80:7 89:8 127:6	88:10 132:4 134:3	228:22 237:10,11	<b>stop</b> 214:3
<b>sits</b> 83:9	181:6 187:10	135:3,5	243:10 248:22	<b>stopped</b> 146:17
<b>situation</b> 20:8	192:14 196:13,15	<b>specify</b> 42:4	249:4	150:8
47:16 72:9 87:17	211:10 212:5	<b>speculate</b> 68:2	<b>started</b> 118:14	<b>storing</b> 166:10
<b>situations</b> 185:2	222:6 223:5 246:5	<b>spend</b> 84:17 159:9	175:16 238:1	<b>story</b> 226:10
<b>six</b> 156:6 161:2	247:7 249:18	227:20 228:4	<b>starter</b> 85:17	<b>straight</b> 133:22
164:22 253:3	254:4,6 256:19	<b>spending</b> 228:8	<b>starting</b> 118:3	<b>straightforward</b>
<b>Sixteen</b> 54:5	<b>sound</b> 29:2 80:13	229:4	124:21 175:3	8:12,13
<b>size</b> 20:10	<b>sounds</b> 118:17,22	<b>sponsoring</b> 158:21	180:1 185:12	<b>strange</b> 89:5
<b>skepticism</b> 108:19	121:2 179:13	<b>spot</b> 12:11	<b>starts</b> 171:20	<b>strategies</b> 241:21
<b>slam</b> 124:12	<b>source</b> 7:21 9:1,16	<b>spreading</b> 50:8	<b>state</b> 181:5 230:3	<b>stratification</b> 70:11

74:15 86:11 117:1 117:18 119:21 122:8 125:3 129:7 129:22 136:16 137:2 228:16 229:7 243:11	219:21 239:12 255:20 <b>subcategories</b> 250:20 <b>subcommittee</b> 148:6 <b>submission</b> 58:1 62:2,6 64:11,14 119:15 <b>submit</b> 61:5 226:16 254:11 <b>submitted</b> 57:19 58:21 90:17 123:13 132:4 147:2 227:15 <b>submitting</b> 164:7 <b>subsequent</b> 78:2 83:8 <b>subset</b> 66:6 206:22 <b>subsets</b> 200:12 <b>subsidiary</b> 53:1 <b>subtraction</b> 191:17 <b>suburban</b> 120:3 <b>suburbs</b> 113:16 114:22 <b>success</b> 220:10,12 220:19 <b>sufficiently</b> 100:11 <b>suggest</b> 24:16 28:15 93:14 120:14 <b>suggested</b> 80:7 <b>suggesting</b> 43:9 235:9 <b>suggestion</b> 155:9 <b>suggestions</b> 40:1 210:17,18 232:4 <b>suggests</b> 16:20 167:1 <b>suite</b> 82:7 <b>sum</b> 201:14 <b>summaries</b> 253:18 <b>summary</b> 43:12 48:14 <b>summer</b> 108:14 <b>super</b> 101:8 130:15 <b>superior</b> 17:12	<b>support</b> 19:8 35:3 69:7,13 70:1 74:22 128:5 187:20 227:5 229:11 <b>supported</b> 41:10 <b>supporting</b> 249:16 <b>suppose</b> 56:1 215:19 216:18 <b>sure</b> 19:5 29:6 32:16 39:2 43:9 47:9 55:6 77:18 96:6 99:7 121:9 126:2 144:16 153:10 156:6 159:15 168:17 187:8 189:15 198:2 201:17 204:9 205:6,19 213:18 225:8 227:18 239:1 240:1 241:20 242:5 244:18 245:19 257:21 <b>surely</b> 105:12 <b>surgeon</b> 37:4 113:1 <b>surgeons</b> 42:15 45:3 114:20 160:12 246:12 <b>surgeries</b> 107:13 218:5 <b>surgery</b> 16:8,9 21:8 38:15 82:3 101:19 102:6,9 107:12 109:6 111:3,12 113:14,21 114:21 119:9,16 120:10 122:15 126:12 131:18 133:16 139:11 142:3 144:11 150:17 155:18 158:5 197:11 214:2,13 221:6 228:14 <b>surgical</b> 18:8 20:17 103:3 118:7 120:10 121:21	122:12 140:12 150:2 164:13 214:5 <b>surprised</b> 231:16 <b>survey</b> 192:2 <b>surveys</b> 172:4,15 173:1 176:11 <b>survivability</b> 167:14 <b>survival</b> 146:3 161:6,20 163:15 164:3 165:4 <b>survivals</b> 160:8,10 161:14 <b>survive</b> 116:14 185:22 <b>survived</b> 241:9 <b>survivorship</b> 186:2 186:3 <b>suspicious</b> 145:16 <b>swingingly</b> 209:11 <b>symptom</b> 57:20 <b>symptoms</b> 13:12 23:21 24:18 172:17 <b>syndrome</b> 103:12 130:17 220:13 <b>synopsis</b> 205:12 <b>synthesized</b> 75:12 <b>system</b> 45:12 71:15 103:21,22 104:2 213:10,11,19 216:1,4,7 218:3 242:12 244:16 <b>systems</b> 103:19 216:5,9 219:10 228:11 232:8 235:11,11 236:14 242:7 243:4,12 250:16	<b>tabulates</b> 76:9 <b>tachycardia</b> 20:3 <b>tad</b> 210:22 <b>take</b> 38:13,17 39:16 44:9 50:19 74:6 95:17 114:9 122:2 124:10,13 170:9 173:14 176:8 178:3,6 182:12 184:1 207:19,21 208:14 210:4 215:11 224:15 239:7 241:15 252:20 253:16 <b>taken</b> 21:6 77:12 116:4 151:5 178:1 <b>takes</b> 40:15 127:17 <b>talk</b> 6:9 120:2 145:9 159:4 170:6 177:11 194:11 200:16 214:10 219:16 228:15,15 234:12 243:10 245:6 <b>talked</b> 97:11 149:19 168:3 182:19 183:7 193:16 210:3 218:17 226:18 230:21 237:13 241:3 <b>talking</b> 29:1,2 75:10 77:17 83:15 83:17 84:17 136:16,18 169:7 172:5 183:16 201:18 218:7 222:11 227:17,21 228:5 234:5,10 <b>tamoxifen</b> 156:1 <b>TAP</b> 11:6 13:2 14:11,14 15:2 23:12,15 36:8,18 58:3,10 59:14,21 62:22 63:1,12,21 64:12,15 65:14 66:19 67:13 69:6
<b>T</b>				
<b>tab</b> 144:5				
<b>table</b> 12:12 60:14 61:14 127:17 167:8 199:1 200:1 208:11				

74:14 76:14 77:13 77:15 83:19 94:6 97:20 102:14,16 103:6 107:18 108:7 112:9 122:2 140:5,10 145:18 156:18 172:22 173:19 177:10 192:8 194:11 203:17 209:22 222:6 <b>TAP's</b> 10:12 64:6 64:10 122:19 156:22 <b>target</b> 159:1 <b>targeted</b> 172:9 <b>targeting</b> 174:20 <b>teach</b> 238:21 <b>team</b> 5:10 76:2 242:11 <b>technical</b> 49:19 105:13 152:17 <b>technically</b> 27:6 91:4 <b>Ted</b> 4:20,22 5:1 10:11 23:11 33:14 33:15 34:22 36:5 36:6,14 37:14 56:14,19,21 171:2 171:5,6 188:22 189:1,2,3 190:9 211:21 <b>teeing</b> 154:9 <b>telephone</b> 15:17 188:21 <b>tell</b> 25:16 27:5 35:3 59:2 94:17 125:9 171:17 193:11 206:8 233:11 255:12 <b>temporally</b> 51:5 <b>ten</b> 25:12 224:18,19 <b>tend</b> 224:2 245:16 <b>tendency</b> 242:8 <b>tenth</b> 17:6 <b>term</b> 75:12,20,22 165:5 185:11,21	215:21 220:16 <b>terminal</b> 86:15 100:1,4,8,22 <b>terms</b> 4:4 24:11 32:11 33:8 86:13 98:5,11 101:12 116:22 122:20 168:8 186:15 199:3 203:6,22 205:4 209:17 217:18 222:3 252:11 257:1 <b>terrific</b> 251:19 <b>tertiary</b> 70:13 101:8 <b>test</b> 164:5 223:6 227:7 <b>tested</b> 48:7 56:6 74:7 95:22 136:7 174:4,5 194:4 202:20 <b>testing</b> 48:11 49:12 56:5 66:10 67:12 68:1 73:22 74:3 88:10 98:6,8,22 107:16,22 109:19 120:20 140:14 141:6 192:9 195:16 <b>thank</b> 25:22 35:8 45:7 46:5 53:3 57:1,5 60:11 70:21 143:22 144:21,22 208:15 209:4 230:19 251:6,7,16,18 252:3,7 <b>thanks</b> 30:11 35:5 39:7,8 49:14 209:10 <b>theirs</b> 32:12 <b>therapeutic</b> 173:8 <b>therapies</b> 177:6 <b>therapy</b> 150:2 155:19 164:19 165:12 172:2,7 173:6 175:13,14	175:20 176:3 179:8 184:20,21 187:15,17 191:15 197:2,18,19 203:7 207:5,10 <b>therapy-fatigue</b> 207:13 <b>thing</b> 9:18 14:8 23:14 32:10 59:1 66:15 73:21 107:15 116:6 117:11 120:1 151:13 168:14 180:5 184:4,16 203:10 209:16 211:18 213:21 216:16 217:15 222:21 223:4,15 224:14 228:17 233:8 242:13 244:1 246:16 250:18 257:11 <b>things</b> 8:13 20:16 23:17 29:20 79:3 84:5,8 104:8 107:5 111:15 115:16 117:17 125:12 126:10,13 128:7 129:17 155:1 167:13,16 168:3,19 182:15 186:12 203:14 212:5 213:16 217:5 219:15 221:3 225:6 227:15,22 228:14 237:3 239:15 242:18 243:3,22 244:14 <b>think</b> 4:9 8:20 9:14 9:17 10:14 12:7 14:5 18:6 21:9 25:14,18 28:1,6 29:10,16,18 30:2 30:9,13 31:21 40:17,21 44:21 45:4 46:14,16	47:21 48:2 51:15 54:2,8 55:5,12,17 55:19 62:3 73:19 73:19 74:1,3,4,18 75:20 76:4,21 77:1,13,19 79:4,8 79:20 80:1,6,16 82:5,6 83:22 84:9 84:13 87:7 88:8 94:4,16,20 95:15 96:11,14 97:10,19 98:20 101:2,10,13 108:5,16 110:12 111:8,16 115:10 115:16,17 116:2,7 116:8,15,17,21 117:6 119:7 120:2 120:11,16,20 121:8 122:6,8,16 122:18,19 124:6 124:21 125:21 126:7,15 127:7 128:14 129:2,19 130:5,22 135:20 136:14 138:6 141:18 143:4 144:14 147:11,14 147:20 148:21 149:18,20 151:10 153:7,8,11,13,14 153:16 154:2,4,6 155:5 157:8,8 159:3 162:4,15 163:10,18 164:20 167:7,11,19 168:12 169:9,18 171:20 175:6,17 177:19,22 178:19 179:19 181:4,8,20 182:4,8 183:10,22 184:14,18 185:6,7 185:14 186:21 187:5 188:5 189:10,19 194:15 194:22 195:6,7,9 195:10,13 196:12 196:15,20,21	198:16,20 200:17 201:3,4,10 202:16 204:4,10 205:22 208:1 209:10,11 210:11 211:9,12 211:22 212:5,11 212:14,20 213:3,6 214:14,16 217:13 218:5,15 219:3,13 219:14,18 220:4 220:15 222:5,12 222:14,20 223:12 223:15,16,17,19 223:21 227:11 228:4,16 229:9,15 230:4,7 232:5,21 233:14,18 234:11 234:14 235:3,14 236:1,9 237:1,21 240:17 241:2,6,16 242:11,16,18 244:4,7 245:14,16 246:3,7 247:1,9 248:10,20 249:8,9 249:15,17,20 250:8,18 256:21 257:6,12,14,16,18 257:22 258:3 <b>thinking</b> 79:9 107:5 156:12 164:17,18 176:7 183:1,4 215:18,21 216:7 218:21 219:19 222:3,5 224:5 237:4 250:1 250:8 254:22 255:5 <b>thinks</b> 167:20 250:13 <b>third</b> 17:18 19:10 129:2,9 130:1 223:15 <b>Thirteen</b> 34:2 <b>thoroughly</b> 64:14 <b>thought</b> 23:22 24:8 42:18 47:18 75:6 81:19 87:14
---	---	--	---	---

114:20 153:3	195:18 220:7	<b>transcribing</b> 5:14	<b>troublesome</b> 103:5	96:9 100:19
157:12 193:22	226:4 227:20	<b>transfer</b> 71:1 72:22	104:12	101:18 102:3
194:3,10 196:13	228:1,5 231:3	76:10 84:7 87:22	<b>true</b> 15:19 22:3	117:4 126:3
198:17 209:1	234:16 235:7	100:13 101:6	90:8 118:14	127:11 190:15
213:16 221:20	238:22 241:14	<b>transferred</b> 17:8	172:16 204:8	191:7 211:11
<b>thoughtful</b> 169:3	245:21 255:17	71:11 73:7 85:5,7	236:6	222:2 229:19
<b>thoughts</b> 87:4 88:3	257:2 258:8	87:18	<b>truly</b> 40:19 47:12	232:6 235:10
160:2 162:6 222:2	<b>timelines</b> 252:17,21	<b>transferring</b>	48:21 136:6 157:5	239:20 242:21
224:7	<b>timeliness</b> 23:22	100:15	157:9 172:18	251:3,15 252:3,17
<b>thousand</b> 160:9	24:6 74:2 144:6	<b>transfers</b> 10:4,6	243:14 244:13	253:18 256:1
<b>three</b> 34:7,10 117:3	145:3,14 149:12	71:3,8 77:6	<b>trust</b> 95:9	<b>type</b> 17:21 99:18
132:7,19 133:2	149:13,15	<b>transition</b> 214:8	<b>try</b> 4:16 20:20 30:1	103:12 111:16
142:18 160:8	<b>times</b> 13:11 87:15	233:6	38:18 42:10 121:9	113:20 116:6
165:17 207:16,18	117:4,5 168:20	<b>translate</b> 86:15	132:16 155:9,10	119:18 124:19
224:7 227:3,3	185:18 216:1	173:11,20 195:11	180:15 202:17	125:5 126:21
<b>threshold</b> 130:13	227:3 254:15	219:4 241:12	211:2 242:9 253:6	127:1 136:20,22
247:10	<b>timing</b> 93:14 96:19	<b>translated</b> 172:13	<b>trying</b> 35:17 38:22	140:21
<b>thrombosis</b> 107:2	150:15 155:3	<b>transplant</b> 116:12	40:19 42:5 52:8	<b>types</b> 66:1 111:2
<b>throw</b> 209:16	<b>today</b> 4:6,12 5:12	<b>trauma</b> 21:7	81:8 88:7 90:5	174:20 176:1
<b>tied</b> 48:11 86:6	51:10 65:7,10	<b>travels</b> 258:9	112:7 117:15	183:3 210:2 211:4
236:7,19	76:12 83:21 90:12	<b>treasure</b> 160:18	136:18 153:12	223:14
<b>tier</b> 96:9	96:12,16 168:4	<b>treat</b> 165:2	159:3 165:2	<b>typical</b> 8:1 107:13
<b>time</b> 6:22 11:10	204:9 223:20	<b>treating</b> 174:7	171:11 186:5	<b>typically</b> 72:10
13:2 22:13 33:2	227:4 237:13	204:21	208:21 213:4	103:11
36:3 42:4,11 43:2	<b>told</b> 108:4 144:16	<b>treatment</b> 100:7	218:10,18 222:7	<b>T-A-B-L-E</b> 3:1
46:11 51:1,3,9	205:17	164:16 165:4	223:10 224:4	
52:10 54:18 55:2	<b>tons</b> 231:8	175:11 185:17	229:3 236:10	<b>U</b>
55:14,18 56:2	<b>tool</b> 59:2 173:11,15	186:19 187:4	239:21 250:15	<b>ultimate</b> 17:10
60:7,12 61:5	174:15 179:19	204:22 206:12	256:15	214:9
68:11 73:11,13,18	181:11,13 192:17	<b>treatments</b> 164:22	<b>tumor</b> 160:7 164:1	<b>ultimately</b> 58:6
77:17 79:11,16,18	192:18,22 193:3,6	165:11	229:22	255:10,15
80:9,11,18 84:17	203:2,11	<b>tremendous</b> 251:2	<b>tumors</b> 179:2	<b>unacceptable</b>
88:6 93:19 94:15	<b>tools</b> 173:3,7 174:3	251:16	<b>Tunis</b> 165:16	110:13
98:8 102:5,12	177:21 180:20	<b>trends</b> 111:1	<b>turn</b> 185:19 242:19	<b>unanimity</b> 62:10
103:5 105:20,20	186:5	<b>trial</b> 173:17 192:11	248:3	<b>unanimously</b>
107:18 108:5,7	<b>top</b> 156:8 164:16	192:21 202:19	<b>turned</b> 61:7	195:21
120:21 121:3	196:16	<b>trials</b> 172:15,21	<b>Turnpike</b> 114:1	<b>uncertainty</b> 95:16
126:9 133:18,21	<b>topic</b> 28:11 168:16	173:3,11 176:13	<b>turns</b> 13:14	<b>unclear</b> 47:3
134:6,10 135:4	210:22 211:6	177:1 182:7,21,22	<b>Twelve</b> 53:19 190:4	174:12
136:7,11 140:15	232:21	183:5 198:19	191:6	<b>uncomfortable</b>
141:4,7 145:14	<b>total</b> 116:13	224:21	<b>Twenty</b> 33:17,18	240:12
146:6 150:1,5	<b>totally</b> 81:5 186:4	<b>tried</b> 65:8 153:7	34:16	<b>undergo</b> 38:14
152:17 159:18	217:13	160:3 165:17	<b>Twenty-two</b> 189:22	<b>undergoing</b> 39:17
170:9 176:18	<b>track</b> 73:22 172:12	194:21 199:4	<b>two</b> 7:2 9:6 10:2	90:16 184:20
179:22 183:7	190:19	<b>troponin</b> 19:15	14:17 20:20 31:9	<b>underlying</b> 13:21
186:14 188:10	<b>traditional</b> 29:10	<b>trouble</b> 15:17	50:4 54:3,12	72:12,14
193:14 195:3,18	<b>train</b> 250:4	104:5 192:5	77:18 90:9,11	<b>undermines</b> 122:8

<b>underscore</b> 229:18 236:3	29:6 87:2	<b>usually</b> 47:8 175:15	<b>variety</b> 16:6 23:17 86:14 183:13 205:3 238:10	<b>vote</b> 3:10,11 21:13 28:13 33:4 54:17 55:15 56:9 58:13 58:13 60:19 61:2 61:17,19 77:12 97:2,5 101:17 122:17 123:18 128:20 130:21 131:14 133:8,12 134:7,10,18 135:19,21 136:13 138:8,13 143:8,8 148:20 149:9 189:14,16 190:9 191:10,11,17 203:9 205:20 207:20,22 224:12
<b>understand</b> 20:21 25:5 28:12 39:3 81:9 82:19 84:13 88:12 90:5 94:1,2 94:15 100:6 111:10 120:6 126:5 159:12 166:2 168:18 176:14 181:6 195:16 197:20 198:3 208:18,19 208:20 213:4 222:10 227:9 228:6,20 236:11	<b>unsure</b> 173:19	<b>U.K</b> 217:21 218:10	<b>various</b> 161:12 191:22 211:4,5	<b>voted</b> 97:5 134:12 134:13,22 203:8
<b>understandable</b> 240:5	<b>update</b> 89:9 107:16 108:8 120:15	<b>U.S</b> 231:4,18 247:2 247:6	<b>vary</b> 24:19	<b>votes</b> 35:1 200:21 201:2 206:21
<b>understanding</b> 15:17 48:4 119:18 157:3 162:9 185:13 197:9,14 238:4,11	<b>updated</b> 91:8 108:11	<hr/> <b>V</b> <hr/>	<b>vascular</b> 46:2	<b>voting</b> 96:18 128:19 132:14 142:9 195:21 255:9,10
<b>understands</b> 138:3	<b>updating</b> 91:11	<b>V</b> 1:23 86:9	<b>vast</b> 247:17	<hr/> <b>W</b> <hr/>
<b>understood</b> 178:16 193:15	<b>urban</b> 10:21	<b>VA</b> 32:3	<b>veins</b> 218:6	<b>wait</b> 165:13 170:18
<b>unfair</b> 242:3	<b>urge</b> 154:13	<b>vacuum</b> 222:12	<b>vendors</b> 86:10	<b>waiting</b> 152:11
<b>unforeseen</b> 243:9	<b>urging</b> 184:3	<b>valid</b> 27:8 29:11 192:20 202:19 208:13	<b>venous</b> 107:2	<b>walk</b> 171:12 189:13
<b>unfortunate</b> 123:13	<b>usability</b> 14:15 23:14 32:11,17 34:5 53:21 81:8 132:16 142:14,15 142:17 174:11 190:13	<b>validate</b> 63:22 119:11 244:18	<b>ventricular</b> 20:3,3	<b>wall</b> 36:17
<b>unfortunately</b> 40:10	<b>usable</b> 177:9 204:13	<b>validated</b> 63:8 72:5 73:5,14 100:19 173:2 192:10	<b>versed</b> 10:16	<b>walleyed</b> 63:10 109:1 120:11 129:13
<b>uniform</b> 125:11 202:18 244:16	<b>use</b> 5:17 11:5 59:7 81:10 84:7 85:19 98:22 107:1 109:19 113:10 127:10 147:11 152:3 166:19 169:19 173:15 174:4 176:8,15,19 180:11 181:3 182:13,14,19 183:15,15 184:4,6 184:11 194:13 196:17 197:21 198:3 206:3,21 210:1 229:20 230:13 233:22 234:18 235:3 241:21 247:13	<b>validating</b> 98:21	<b>verses</b> 117:5	<b>Walter</b> 221:11
<b>uniformity</b> 213:17	<b>useable</b> 239:22	<b>validation</b> 48:10,16 66:11 67:12 68:1 72:16,22 77:19 79:1,12 107:16 244:12	<b>version</b> 94:10 96:1 96:1,7,11,13 172:2 191:15 200:7 204:17	<b>want</b> 10:12 11:12 12:11 19:13 20:22 22:18 27:6 35:16 36:5 52:10 54:18 60:22 61:13 64:5 68:2 77:14 81:3,7 84:3 91:9 98:8 100:7 104:22 105:5 109:18,21 117:2,3,10 124:5 129:3 133:5,7 134:9 135:21
<b>unintended</b> 150:11	<b>useful</b> 147:13 178:20 179:19 183:9 188:4 217:13 231:20	<b>validity</b> 28:16 29:19 48:6 76:22 88:10 94:6 98:12 227:8 244:14	<b>versioning</b> 90:22	
<b>unique</b> 7:10 10:22 38:20 185:15	<b>users</b> 113:5 250:8	<b>value</b> 37:12 38:20 40:4,6 106:4 154:21 179:10 235:1,15	<b>versus</b> 8:18 10:21 25:15 28:5 29:17 47:16 72:18 105:20 110:10,11 113:17 117:4 118:7 125:6 140:6 151:20 152:11 155:21 173:6 206:12,13 212:18 239:10 242:1 250:19 251:1	
<b>unit</b> 164:19	<b>user</b> 113:5 250:8	<b>values</b> 154:20	47:16 72:18 105:20 110:10,11 113:17 117:4 118:7 125:6 140:6 151:20 152:11 155:21 173:6 206:12,13 212:18 239:10 242:1 250:19 251:1	
<b>United</b> 53:1	<b>users</b> 65:18 79:7 94:13 96:8 112:21 113:4,4	<b>Vanita</b> 2:8 30:12 155:7 178:18	<b>veterinary</b> 212:1	
<b>universal</b> 15:5	<b>uses</b> 7:21,22	<b>variability</b> 42:22	<b>VI</b> 67:1	
<b>University</b> 4:21 171:3		<b>variable</b> 47:1 100:18 109:4 246:10	<b>view</b> 18:4 23:9 62:22 87:19 166:3 177:8 223:20	
<b>unknown</b> 17:11		<b>variables</b> 67:10 68:17 77:4 118:20	<b>viewed</b> 162:19	
<b>unlimited</b> 79:18		<b>variation</b> 180:11	<b>vigorously</b> 180:18	
<b>unmet</b> 213:22 214:15		<b>variations</b> 27:14 175:9	<b>viral</b> 68:6 72:18	
<b>unstable</b> 19:9 20:2		<b>variceal</b> 66:5 100:3	<b>virtually</b> 102:4 118:11	
		<b>varices</b> 68:11 72:10 72:12,15	<b>voice</b> 240:20	
		<b>varicose</b> 218:6	<b>volume</b> 255:20	
			<b>volumes</b> 29:3	
			<b>voluminous</b> 254:16	
			<b>VOLUNTARY</b> 1:4	
			<b>volunteering</b> 257:7	
			<b>volunteers</b> 164:7	

136:4 137:8,15,19	121:10,16 123:12	29:1,2 33:1 36:13	<b>widely</b> 11:5 174:18	78:15 121:17
138:9,10 140:3	130:8 136:9,13,19	39:22 42:16 53:7	174:18	124:15 163:17
141:16 147:9	148:1 159:21	53:8,10 57:3	<b>willing</b> 43:19 74:6	165:7 169:8 184:8
152:13 153:5,13	167:11,16 168:11	61:16 77:10,11,17	79:10	190:12 194:3
157:11 160:1	208:16 209:22	78:14 83:4,17	<b>willingness</b> 55:14	196:6 202:20
168:21 170:1	215:1 217:12	86:11 92:16,19	<b>window</b> 116:9,18	209:9 210:14
186:17 189:13	221:19 250:11,15	98:5,12,17 108:10	165:1	211:11 233:20
190:11 191:19	253:7	118:18 123:17	<b>WINKLER</b> 2:22	236:14,21 243:21
192:12 198:2	<b>ways</b> 112:21 127:15	126:8 132:21	4:22 6:8,14 8:8,20	246:13 248:4
200:8,9 202:8	198:19 223:16	136:15,18 137:5,6	9:4,14 10:5,9 11:6	249:10 250:2
208:9 210:13	244:12	137:16,20 141:14	11:20 12:1 33:6	251:20 253:10
216:22 218:21	<b>weakness</b> 103:4	142:1 145:2	33:15,18 34:17,20	255:4 256:6,12
225:22 233:10	<b>wealth</b> 180:21	160:18 164:4,19	35:5,8,10 52:20	257:5 258:6
235:12,20,21	183:12 188:16	171:10 172:5	53:3,8,12 54:4,10	<b>worked</b> 4:10 82:10
236:2 237:5,9	<b>web</b> 216:17	182:1 183:15	55:12 56:15,20	173:18 174:16
240:14,14 241:13	<b>website</b> 11:9	185:12 187:8	57:9,14,17 59:1	<b>working</b> 96:9
241:19 242:14,19	<b>WEDNESDAY</b> 1:9	189:2,3,8,15,17	59:19 60:1 62:1,8	159:10 166:1
251:22 252:3	<b>week</b> 188:15 195:3	191:9 193:19	62:16 82:22 102:3	182:5 232:2
254:12 257:11	<b>weeks</b> 217:17 253:3	194:7 196:15	128:22 131:4,17	<b>works</b> 124:14
<b>wanted</b> 19:7 47:9	<b>weigh</b> 212:17	200:17 206:5	132:2,14 133:9	196:4
51:3 61:5 106:5	<b>weight</b> 17:20 78:21	208:19 212:15	135:9,12,16 139:4	<b>workshops</b> 158:21
119:20 141:5	79:9 116:6 117:4	221:9 222:9,11	139:10,20 142:1	<b>world</b> 84:3 185:4
146:12 219:16	117:22 119:1,2	228:8 229:1 236:8	142:11 143:13,17	230:10
226:13 227:5,19	120:5,13 121:5,10	236:10 237:1	149:17 170:3	<b>worlds</b> 188:5
239:2 244:22	130:3,9 136:19	239:21 240:6	171:6,19 189:22	<b>worried</b> 109:13
251:6	220:11 245:3	248:16 252:13	190:20 191:6,16	137:5
<b>wanting</b> 196:15	<b>weight-based</b>	253:1 254:1,19,21	199:8 209:15	<b>worry</b> 8:17 230:7,8
<b>wants</b> 201:6	113:21	<b>we've</b> 61:17 76:10	216:22 219:22	<b>worse</b> 81:13 184:22
<b>Washington</b> 4:21	<b>welcome</b> 144:19	79:4 82:8 104:8	225:13,16,19	185:5,17
171:3	254:11	115:14 129:10	234:3,5 252:8,11	<b>worth</b> 24:2 217:17
<b>wasn't</b> 18:2 26:18	<b>WellPoint</b> 230:1	140:20 143:19	256:9,17 257:1,8	218:9
47:13 51:1 55:9	<b>went</b> 58:3 144:2	166:4 169:12,13	<b>wish</b> 193:9 258:8	<b>worthwhile</b> 22:10
63:3 64:16 73:4	160:3 170:12	194:21 195:20	<b>withdraw</b> 69:22	241:16
103:17 111:4	221:13 245:10,11	196:11,21 197:2	<b>withdrawal</b> 69:6,12	<b>wouldn't</b> 20:12
144:16 147:3	<b>weren't</b> 21:9 37:16	201:11 209:21	<b>women</b> 231:12	61:6 69:14,18
153:10 225:8	227:17	211:6 212:10	<b>wonder</b> 104:21	82:3 92:8 101:3
235:8,8	<b>we'll</b> 28:19 33:19	226:18 236:15	200:11 256:19	124:12 164:8
<b>watch</b> 152:14,19	50:3,10,13 89:9	237:10,11 239:15	<b>wondered</b> 21:12	206:10 233:12
<b>watching</b> 152:11	108:12 139:8	239:16 242:8	37:11 50:20	<b>wrap</b> 127:22
<b>waterfront</b> 86:13	143:7,20,21	243:22 250:20	178:16	254:20
<b>way</b> 8:14 13:2	171:13 210:14,16	251:21	<b>wonderful</b> 212:11	<b>wrapped</b> 130:6
28:22 55:15 69:21	226:22 229:9	<b>whatsoever</b> 192:22	<b>wondering</b> 36:12	<b>wrapping</b> 253:4
70:3 74:10 75:13	240:15 248:22	<b>whichever</b> 28:22	50:3,9 115:3	<b>wrestle</b> 237:22
82:15 84:14 85:19	249:4 253:6,9,10	<b>white</b> 196:12	192:13	<b>wrestled</b> 112:9
85:21 91:18 92:16	253:21 255:21	<b>wide</b> 51:17 52:15	<b>word</b> 251:22	<b>wrestles</b> 162:16
101:16 110:16	<b>we're</b> 4:16 6:4,9	86:14 175:9 205:3	<b>words</b> 26:12	<b>wrestling</b> 212:6
118:12,21 120:13	19:14 22:17 28:22	238:3	<b>work</b> 14:14 19:22	<b>written</b> 35:7

173:21 174:14 <b>wrong</b> 13:1 65:6 89:18 192:22 <b>wrote</b> 125:15	<b>zone</b> 240:15	<b>170</b> 3:14 <b>171</b> 3:14 <b>18</b> 6:17 7:20 32:15 52:5 56:17 108:12 132:13,21 <b>180</b> 102:7,16 103:4 104:4 105:5,13,16 106:4,8 107:4,17 107:19,22 108:16 108:20 109:2 115:14,17 116:1,8 116:13 126:7,15 128:5,7,20 130:21 131:18 133:15 134:2 140:6 141:1 141:19 158:1 165:1 214:5 <b>19</b> 132:12 171:15 189:18 <b>19.1</b> 107:20	<b>3</b> 20:11 <b>30</b> 7:6,11,16 11:10 11:14 13:4 15:22 16:18 17:13 18:9 18:21 23:4 43:4,7 43:11 50:8 51:9 55:3,15 86:20 102:10 104:5,7 105:11 107:6 116:7 126:17 128:20 139:3,11 139:20 140:5,11 141:7 142:2 157:22 175:15 197:6 198:16 214:4 245:7 254:10 <b>30%</b> 46:2 <b>300</b> 130:15 <b>35</b> 3:5	<b>8:30</b> 1:13
<hr/> <b>X</b> <hr/> <b>X</b> 30:17 245:3,5,8 <b>x-ray</b> 25:1	<hr/> <b>0</b> <hr/> <b>002-010</b> 145:2 <b>009</b> 62:15 <b>010-09</b> 6:10 <b>015</b> 207:14 <b>016</b> 207:10 <b>017</b> 207:9 <b>019</b> 189:12 <b>09</b> 134:12	<hr/> <b>2</b> <hr/> <b>2</b> 7:6 40:9 <b>2b</b> 30:17 <b>2-008</b> 102:5 <b>2-009</b> 62:17 <b>2-012</b> 102:8 <b>2:30</b> 4:17 <b>20</b> 51:18,19 53:7,8 53:14,20 190:7 224:16,19 225:11 226:11 247:16 <b>20,000</b> 23:9 <b>200</b> 98:16 179:10 <b>2004</b> 11:9 <b>2007</b> 11:9 15:5 <b>2009</b> 110:21 <b>2010</b> 1:9 95:7,13 <b>207</b> 3:15,16,18 <b>21</b> 1:9 33:19 53:11 <b>210</b> 3:20 <b>24</b> 80:18 114:22 <b>25</b> 30:18,21 143:22 <b>250</b> 113:16 <b>252</b> 3:22 <b>28</b> 3:5	<hr/> <b>4</b> <hr/> <b>4</b> 3:2 188:15 <b>4.1</b> 96:1 <b>40</b> 221:15 <b>450</b> 125:14	<hr/> <b>9</b> <hr/> <b>9</b> 155:21 <b>9:01</b> 4:2 <b>90</b> 30:19,20 31:1 <b>93</b> 31:10 <b>95</b> 46:13 <b>98</b> 31:11
<hr/> <b>Y</b> <hr/> <b>YAWN</b> 2:10 12:16 13:11 14:3 24:15 25:22 26:15,20 28:1 29:15 70:5 70:18,21 79:3,20 80:1 83:22 85:16 116:21 120:1 121:12 123:15 134:12,17,21 135:11 138:8 141:12 147:9 153:5 154:4,11 157:16,20 158:3,9 158:19 159:14 167:18 187:13 188:7,12,19,22 201:9 212:4 233:18 234:4,7 235:8,17,21 252:2 <b>year</b> 22:21 32:20 78:18 87:5 93:12 96:1 125:14 198:17 <b>years</b> 6:17 25:13 51:18,19 52:5 105:11 165:17 197:6 214:13 226:11 228:9 233:9 248:2,6 <b>yeses</b> 53:6 <b>yesterday</b> 4:4,11 18:19 36:1 156:17 193:16 210:3 <b>younger</b> 109:21	<hr/> <b>1</b> <hr/> <b>1</b> 1:12 7:4 36:10,20 153:20 161:19 <b>1c</b> 31:4,6 <b>1,000</b> 31:19 125:13 <b>1.6</b> 73:1 101:5 <b>1.64</b> 71:8 <b>1.7</b> 247:2,6,16 <b>1:28</b> 258:10 <b>10</b> 28:4 <b>10,000</b> 164:1 <b>100</b> 30:22 62:19 98:16 164:16 179:9 <b>102</b> 3:9,10 <b>11:00</b> 143:22 <b>11:10</b> 143:21 <b>11:13</b> 144:2 <b>11:37</b> 144:3 <b>12</b> 16:20 28:3 80:18 80:22 108:5 156:7 190:4 253:14,22 <b>12:01</b> 170:12 <b>12:07</b> 170:13 <b>13</b> 155:20 <b>130</b> 139:2 <b>131</b> 3:10 <b>133</b> 3:11 <b>14</b> 190:17 <b>145</b> 3:13 <b>147</b> 3:13 <b>149</b> 6:13,14 <b>15</b> 16:20 108:12 <b>164</b> 14:11 <b>165</b> 10:2 171:20 <b>17</b> 25:14 54:8 56:15	<hr/> <b>3</b> <hr/> <b>3</b>	<hr/> <b>5</b> <hr/> <b>5</b> 20:11 <b>50</b> 16:18 45:22 245:9 <b>5151</b> 1:12 <b>57</b> 3:7	
<hr/> <b>Z</b> <hr/> <b>zero</b> 53:17 139:16 140:1 142:4,15		<hr/> <b>6</b> <hr/> <b>6</b> 3:4 <b>600</b> 113:17 114:3 117:11 130:17 212:17 215:12,13 215:14 <b>62</b> 3:8 <b>64</b> 71:9 <b>65</b> 7:15 11:19 50:5 50:5 109:21 110:2 110:5 <b>66</b> 100:11	<hr/> <b>6</b> <hr/> <b>6</b>	
		<hr/> <b>7</b> <hr/> <b>7</b>		
		<hr/> <b>8</b> <hr/> <b>8</b>		