

NATIONAL QUALITY FORUM  
+ + + + +  
NATIONAL VOLUNTARY CONSENSUS STANDARDS  
FOR PATIENT OUTCOMES  
+ + + + +  
CHILD HEALTH STEERING COMMITTEE  
WEDNESDAY,  
MAY 5, 2010  
+ + + + +

The Steering Committee convened at 9:00 a.m. in Suite 600 North of the Homer Building, located at 601 13th Street, N.W., Washington, D.C. Charles Homer and Marina L. Weiss, Co-Chairs, presiding.

PRESENT:

CHARLES HOMER, MD, CO-CHAIR  
MARINA L. WEISS, PhD, CO-CHAIR  
DAVID R. CLARKE, MD, MEMBER  
SHARRON DOCHERTY, PhD, CPNP (AC/PC), MEMBER

NANCY L. FISHER, MD, MPH, MEMBER  
KATHY J. JENKINS, MD, MPH, MEMBER  
PHILLIP KIBORT, MD, MBA, MEMBER  
ALLAN LIEBERTHAL, MD, FAAP, MEMBER  
THOMAS McINERNY, MD, MEMBER  
MARLENE R. MILLER, MD, MSc, MEMBER (via telephone)

LEE PARTRIDGE, MEMBER  
DONNA PERSAUD, MD, MEMBER  
GOUTHAM RAO, MD, MEMBER  
ELLEN SCHWALENSTOCKER, PhD, MBA, MEMBER  
BONNIE ZIMA, MD, MPH, MEMBER  
LISA BERGERSEN, MD  
CHRISTINA BETHELL, PhD, MPH, MBA (via

telephone)  
JOHN BOTT, MSSW, MBA (via telephone)  
NICOLA ELDRED-SKEMP (via telephone)

PRESENT(Cont 'd):

MICHAEL MURPHY, EdD

NINA RAUSCHER, MD, RN

SCOTT STUMBO (via telephone)

NQF STAFF MEMBERS PRESENT:

HEIDI BOSSLEY, MSN, MBA

NICOLE McELVEEN, MPH

ASHLEY MORSELL

NALINI PANDE

SUZANNE THEBERGE

REVA WINKLER, MD, MPH

MEMBERS NOT PRESENT:

FAYE A. GARY, EdD, RN, FAAN, MEMBER

JANE PERKINS, JD, MPH, MEMBER

## C-O-N-T-E-N-T-S

## WELCOME:

Nicole McElveen . . . . .	.4
Charles Homer, Co-Chair . . . . .	.5
Marina Weiss, Co-Chair. . . . .	.6

## PROJECT RECAP:

Nicole McElveen . . . . .	.11/15
Reva Winkler. . . . .	14

## VOTING RESULTS/DISCUSSION ON 4 AHRQ MEASURES:

Nicole McElveen . . . . .	45
Measure 1: Urinary Tract Infection Rate . . .	46
Vote: 14 - Not to Endorse. . . . .	59
Measure 56: Diabetes Complication Rate. . . .	61
Vote: 11 - Not to Endorse . . . . .	66
Measure 55 - Gastroenteritis Rate . . . . .	66
Vote: 15 - In Favor . . . . .	79
Measure: Asthma Admission Rate. . . . .	79
Vote: 10-4 - To Approve . . . . .	85

## QUESTIONNAIRE/SURVEY MEASURES:. . . . . 88

Measure 034: Children w/Special Needs . . . .	89
Table Discussion for Further Review . . . .	.185
Measure 43: Pediatric Symptom Checklist . . .	.113
Vote: 14 - in Favor of Measure. . . . .	.129

Measure: Scientific Acceptability . . . . .	.130
Table Discussion for Further Review . . . .	.149
Measure 33: Children's Health 2007. . . . .	.185
Table Discussion for Further Review . . . .	.185
Measure 35: Medication for ADHD, Emotional or Behavioral Issues. . . . .	.186
Vote: 1-13-1 - Against Measure. . . . .	.196

Measure 37: Children Living w/Illness . . . .	.198
Vote: 14 - In Favor, Meets Criteria . . . .	.208

## Measure Categories:

Scientifically Acceptable . . . . .	.210
Vote: 12 In Favor - Minimally . . . . .	.218
Usability . . . . .	.218
Vote: 14 In Favor - Minimally . . . . .	.223

Feasibility . . . . .	.223
Vote: 10 In Favor - Completely. . . . .	.225

C-O-N-T-E-N-T-S (Cont'd)

Vote: Not to Recommend Measure. . . . .226

Consideration of Candidate Measures:

Clinical-based Measures (Group 1)

Measure OT3-049: Primary Caries Prevention  
 Intervention as Part of Well/Ill Child Care as  
 Offered by Primary Care  
 Medical Providers . . . . .231

Population-based Survey Measures  
 (Group 3)

Measure OT3-032: Number of School Days  
 Children Miss Due to Illness. . . . .269  
 Measure OT3-036: Children who have no  
 problems obtaining referrals when needed. .297  
 Measure OT3-038: Children who receive  
 effective care coordination of health  
 care services when needed . . . . .325  
 Measure OT3-039: Children who live in  
 communities perceived as safe . . . . .365  
 Measure OT3-040: Children who live in  
 neighborhoods with certain essential  
 amenities . . . . .385  
 Adjourn . . . . .393

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

P-R-O-C-E-E-D-I-N-G-S

9:03 a.m.

MS. McELVEEN: Good morning, good morning. Welcome to the Child Health Outcome Steering Committee meeting.

I think we have everyone from the Committee here who will be attending for the exception of one Committee Member, who will actually be attending in person. I think it is Ellen Schwalenstocker. I don't think she is here yet.

We also have a few Members who are going to be calling in and joining us on the phone as well.

My name is Nicole McElveen. It's nice to finally put faces to names here. And I am joined by a few NQF Staff: Reva Winkler, of course, Heidi Bossley, Suzanne -- pronounce your last name for me.

MS. THEBERGE: Theberge.

MS. McELVEEN: Theberge. And Ashley Morsell is also working on a project.

1                   Also, we have Charlie Homer and  
2 Marina Weiss who are our lovely co-chairs on  
3 this. So I'm just going to allow them to make  
4 a few welcome and introduction comments and  
5 then we will go ahead and have the Committee  
6 introduce themselves and move forward from  
7 there. And be sure to use the mic.

8                   CO-CHAIR HOMER: I remind you to  
9 press the button. The main reason I did that  
10 was to demonstrate the inappropriate and then  
11 the appropriate way for speaking.

12                   But, welcome everyone. It's great  
13 to see you. We do have a lot of work to do  
14 over the next two days. I'm very excited  
15 about it. I just want to at least let you --  
16 reemphasize to you my sense of the importance  
17 of the work that we are doing here.

18                   This is one of the key committees  
19 that is looking at outcomes. It's one of the  
20 important committees that is starting to  
21 develop a comprehensive set of measures for  
22 children's health care. So we are really

1 ground-setting, I think, in both of those  
2 areas. So very excited about it.

3 We have got a very diverse set of  
4 measures we are going to be looking at, things  
5 that are from, you know, quite technical,  
6 hospital-based measures to quite less  
7 technical, broad community-based outcome  
8 measures, which is what we asked for when we  
9 first met. So we got what we asked for and  
10 now we have to make decisions about it.

11 So it should be fun. With that,  
12 Marina?

13 CO-CHAIR WEISS: Well, I'm  
14 delighted to welcome all of you to our two  
15 days worth of very intense work on behalf of  
16 NQF and moving these measures forward.

17 I would just say I agree with  
18 everything that Charlie has outlined and the  
19 only thing I would add is that NQF is a really  
20 important player with regard to the consensus  
21 process. And so this is a wonderful  
22 opportunity for us to launch some pediatric

1 measures that, hopefully, will set the base  
2 for future work as well.

3 There is a great deal of interest  
4 in Congress and within the administration and,  
5 hopefully, we will tuck into that agenda and  
6 help set the pace not only for the pediatric  
7 measures, but just for a more robust approach  
8 to quality generally.

9 So it is terrific to be a part of  
10 this NQF effort and thank you so much, Nicole,  
11 for all the prep work that you and Reva did to  
12 get us ready to spend two days working hard.  
13 So thank you.

14 MS. McELVEEN: Okay. Just a few  
15 housekeeping items. The restrooms are, as you  
16 exit these doors to your right. There are two  
17 keys located on that back table, if you need  
18 to use the restroom.

19 Everyone has a thumb drive. That  
20 basically has all of the materials that I have  
21 emailed you over the course of the coming  
22 weeks in one spot. You don't have to use



1       them, but it may make it easier, rather than  
2       kind of fishing through emails and that sort  
3       of thing.

4               The agenda: the copy of the agenda  
5       that I sent you yesterday with the final  
6       materials was an older copy. We have revised  
7       it. So we just made some new copies and  
8       handed that out to you also, you know, just so  
9       you know.

10              And also, be sure to use the  
11       microphones, as Charlie just alluded to, when  
12       you are talking, that's basically so the  
13       transcriber can hear the information and also  
14       so the participants on the conference call  
15       line can also hear as well.

16              So I would like to just have each  
17       Committee Member introduce themselves and also  
18       go through disclosures, if there are any,  
19       amongst the Members.

20              MEMBER PERSAUD: Donna Persaud,  
21       Dallas, Texas. And I have no disclosures.

22              MEMBER McINERNY: Tom McInerny

1 from Rochester, New York. No disclosures.

2 MEMBER KIBORT: Phil Kibort,  
3 Children's Minnesota. No disclosures.

4 MEMBER FISHER: Nancy Fisher,  
5 Seattle, Washington. No disclosures.

6 MEMBER CLARKE: David Clarke,  
7 Denver, Colorado. No disclosures.

8 MEMBER JENKINS: Kathy Jenkins  
9 from the Children's Hospital in Boston. I'm  
10 the Chief Safety and Quality Officer for  
11 Children's Hospital Boston. And we submitted  
12 measures as a steward, the hospital did, and  
13 the program for Patient Safety and Quality,  
14 which I direct.

15 And I had indirect involvement in  
16 all the measures we sent in and, for two of  
17 them, more direct involvement. So I'm going  
18 to abstain from all conversations related to  
19 any of the measures which we stewarded.

20 I don't believe I have any other  
21 conflicts with any of the other measures.

22 MEMBER PARTRIDGE: Lee Partridge,

1 National Partnership for Women and Families.

2 No disclosures.

3 MEMBER ZIMA: Bonnie Zima, UCLA.

4 No disclosures.

5 MEMBER DOCHERTY: Sharron

6 Docherty, Duke University Medical Center

7 representing National Association of Pediatric

8 Nurse Practitioners. No disclosures.

9 MEMBER RAO: Goutham Rao from the

10 University of Pittsburgh. No disclosures.

11 MEMBER LIEBERTHAL: Allan

12 Lieberthal, Kaiser Permanente, Panorama City,

13 California. No disclosures.

14 MS. THEBERGE: Hi, everyone. I'm

15 Suzanne Theberge. I'm a Project Manager here

16 at NQF.

17 MS. BOSSLEY: I'm Heidi Bossley,

18 Senior Director on Performance Measures here

19 at NQF.

20 MS. McELVEEN: Okay. Do we have

21 anyone who has called in? Committee Members?

22 MEMBER MILLER: This is Marlene

1 Miller, Vice Chair at Johns Hopkins Children's  
2 Center.

3 MS. McELVEEN: Great. Thank you,  
4 Marlene, for calling in. Anyone else? Okay.  
5 So what we are going to do for the first 20  
6 minutes or so is, we wanted just to provide a  
7 recap of what we have done to date in the  
8 project, essentially, and start to frame our  
9 discussion over looking at outcomes and  
10 process --

11 Well, we won't be looking at  
12 process measures, but we really want to frame  
13 the discussion when we are looking at outcome  
14 measures and really talk about what an outcome  
15 measure is, what the Committee kind of  
16 discussed at the meeting in November to sort  
17 of frame the call for measures, which  
18 ultimately was the reason why we received some  
19 of the measures we did. So I wanted to take  
20 a few minutes and go through that.

21 Our meeting goals, obviously, are  
22 to evaluate the standards that we receive

1 during our call for measures. The Committee  
2 will be asked to recommend these measures for  
3 endorsement moving forward in our consensus  
4 process.

5 And also, another very important  
6 deliverable is to identify gaps for outcome  
7 measures in children. And we will set aside  
8 time. That type of conversation probably will  
9 come up as we go through each individual  
10 measure, but we have set aside time at the end  
11 of today and also tomorrow to look at gaps and  
12 measurement.

13 So largely, the Outcomes Project  
14 is funded by HHS and, as most of you all know,  
15 there are three phases to the project. Phases  
16 I and II are currently happening now. Phase  
17 III includes child health and also mental  
18 health.

19 Our focus is on cross-cutting and  
20 condition-specific outcome measures. There is  
21 currently limited availability of existing  
22 child health outcome measures and so we are

1 here to expand that horizon a little bit and  
2 also expand NQF's portfolio of measures in  
3 that particular area.

4 To date, we have about 68 NQF-  
5 endorsed measures focused on child health.  
6 Approximately 25 of those are focused solely  
7 on outcomes. So there is definitely some room  
8 for adding to that number.

9 This slide illustrates, really, a  
10 framework when you think about child health  
11 outcomes and some potential domains and ways  
12 of bucketing this information. And also, when  
13 you look at these domains, this also serves as  
14 a frame when you think of gaps as well.

15 So potential domains include age  
16 groups, certainly, you know, adolescents to  
17 neonatals. There is many different age groups  
18 when it comes to children. Health status is  
19 particularly important. Settings of care,  
20 looking at hospitals, outpatients. And level  
21 of analysis is particularly important when we  
22 are looking at these measures.

1                   Most of them are on a population  
2                   level. As you probably have noticed, a lot of  
3                   the survey measures are more on a population  
4                   level, not necessarily on a clinician or  
5                   provider level of analysis when we are looking  
6                   at measurement.

7                   Reva, did you want to add any  
8                   further comments to that?

9                   DR. WINKLER: The only thing I  
10                  would say to that is, NQF and HHS also are  
11                  particularly interested in measures at all  
12                  levels of analysis, not that the individual  
13                  measure could necessarily meet all of them.  
14                  There might be a couple of really good ones  
15                  that could be used at all levels.

16                  But having a mixture of  
17                  population-level measures as well as provider-  
18                  level measures as well as clinician-level  
19                  measures provides NQF with a really robust  
20                  portfolio that can be used in a variety of  
21                  ways by different implementors. So all of  
22                  them are on the table.

1 CO-CHAIR HOMER: I just want to  
2 say my sense is while it is unlikely that any  
3 measure can apply to all of them, it seems  
4 like it is a desirable attribute. That is, if  
5 there were measures that applied to multiple  
6 levels, that would be good, because that would  
7 simplify the field.

8 Just maybe an observation, having  
9 looked at most of the measures, my sense is  
10 that many of the people who submitted measures  
11 did not have a crisp idea of what this  
12 question meant when they described what level  
13 the measure applied to.

14 So I think that's something we, as  
15 Committee Members, are going to have to make  
16 our own judgments about and not necessarily  
17 rely on what many of the stewards suggested.

18 MS. McELVEEN: We then moved to  
19 data sources for outcomes. Patient or care-  
20 provider reported outcome, a clinician-  
21 observed outcome, those sorts of things, vital  
22 signs, lab results.



1                   This highlights what the call for  
2                   measures for the Child Health Outcomes  
3                   Project, what we actually looked for. And we  
4                   tried to bring this to your attention, because  
5                   this is really an output from the November  
6                   meeting where you discussed a lot of this  
7                   information.

8                   So it was things such as: child  
9                   and family functioning, school attendance;  
10                  performance, physical fitness, symptom  
11                  improvement or relief, growth and development,  
12                  that includes cognitive, physical, social,  
13                  emotional growth, parent/patient-reported  
14                  outcomes, intermediate outcomes, such as blood  
15                  pressure or BMI percentile, patient or family  
16                  experience with care, behavioral change.

17                  And we have a second slide here:  
18                  health services utilization, potentially  
19                  preventable adverse social outcomes, health  
20                  care-acquired adverse events, population  
21                  health indicators.

22                  And one type of measure, in

1 particular, that NQF normally doesn't get is  
2 health care sectors that share  
3 responsibilities. And so that's looking at  
4 schools, you know, the juvenile system.

5 I believe that we have a few  
6 measures around schools, so those are fairly  
7 new to our portfolio when we are looking at,  
8 in terms of quality improvement and public  
9 reporting and accountability.

10 Let me just go back a second.

11 Were there any questions so far? Yes?

12 MEMBER PARTRIDGE: Nicole, as you  
13 ran through that list, that page and the page  
14 before, there are some measures in there that  
15 I think we all thought we would get, but we  
16 didn't. And do you have any sense? I mean,  
17 there are three or four that look like no-  
18 brainers. Are they not out there?

19 DR. WINKLER: I think, Lee, that's  
20 the question. Do you know of specific  
21 measures or specific good ideas? And I think  
22 there are a lot of people that would agree

1 there are a lot of great ideas, but no one has  
2 crafted the actual measure yet.

3 Certainly, we used all of your  
4 contacts and everybody you could reach out to  
5 and they were not, you know, submitted. So I  
6 think there is a certain unknown. There may  
7 be measures like that being used at local  
8 levels or within facilities for local quality  
9 improvement, but perhaps that don't rise to  
10 the level that they feel that would be  
11 appropriate for submission to NQF.

12 So I think any number of those  
13 would address or apply to various measures.

14 MEMBER JENKINS: You know, my  
15 sense would be that there is two major  
16 barriers to this process that, if one is  
17 contemplating submitting measures makes it  
18 more challenging. And one is the degree of  
19 burden of the validation and the current use  
20 of the measure. And the second one is the  
21 seemingly strict requirement that it be  
22 available for high-stakes measurement like

1 public reporting or P4P.

2 And depending on people's  
3 interpretation of their measure on those two  
4 axes, it can be a barrier to submission. So  
5 those are very high bars if you take them  
6 literally.

7 CO-CHAIR HOMER: I think there are  
8 more measures. There are more outcome  
9 measures, certainly, in the pediatric research  
10 community that we haven't received. So I  
11 think Kathy's hypotheses sound pretty credible  
12 to me. But we didn't get them, so we will  
13 have to think through afterwards what to do  
14 and how to do that.

15 MS. McELVEEN: Okay.

16 CO-CHAIR HOMER: I just also  
17 wanted to briefly emphasize the importance of  
18 the gaps identification. I mean, all of us  
19 have participated in projects where, you know,  
20 there is always a section that says, "further  
21 research is needed", and you come up with a  
22 list of 20 things and you know deep in your

1 soul that nobody ever looks at that list again  
2 and it's kind of frustrating.

3 I think, in this case, we are in a  
4 better situation. If you look at, for  
5 example, both CHIPRA Legislation and the  
6 Health Reform Legislation, it charges NQF,  
7 specifically the Health Reform Legislation,  
8 for identifying gaps, basically, on an every-  
9 three-year basis, and reporting those to the  
10 Secretary of HHS, for the Secretary, then, to  
11 fund measurement development activities.

12 So there is a pipeline here that  
13 is clearly articulated from the gap  
14 identification to, actually, measurement  
15 development and then measurement use. So I  
16 think it is more than the usual, oh, yes, we  
17 didn't get these measures and buried them at  
18 the bottom of our report.

19 DR. WINKLER: Let me just add one  
20 thing to that. One of the activities that is  
21 going on with the group within NQF that is  
22 actually addressing that Directive from the

1 Secretary on Prioritization is, they actually  
2 have gone back through all of our past reports  
3 and looked at that section that may not have  
4 been previously read and is now being read  
5 quite intently to help formulate some of them.

6 So it may be a little delayed, but  
7 all of that effort was not for naught. And so  
8 we have been told by HHS from the very  
9 beginning that the endorsement of measures is  
10 important, but equally important. It is not  
11 an afterthought. It's not the appendix. It's  
12 not an add-on. It's the gaps analysis.

13 Because they are in a position to  
14 use their resources to develop things  
15 appropriately within all of their various  
16 agencies within HHS. So it's very much an  
17 important outcome of this project.

18 CO-CHAIR WEISS: And let me just  
19 tag on to Reva's point and underscore for you  
20 the fact that there is some overlap between  
21 this Committee, obviously, and the group that  
22 has been working with CMS and AHRQ on the core

1 measure set and then the second wave of  
2 pediatric measures that were called for in  
3 CHIPRA.

4 Now, the reason I raise this is  
5 because it is, as Charlie said, not just a  
6 matter of coming up with a laundry list of  
7 items where further research is needed, but  
8 there is development money also associated  
9 with CHIPRA. The money is already  
10 appropriated; it is available. And HHS is  
11 interested in knowing where to deploy and  
12 allocate these resources.

13 So we do have an opportunity here  
14 not only to identify gaps, but, maybe, to  
15 prioritize and give them some direction on  
16 what we think, based on the expertise around  
17 this table, are the most promising areas in  
18 which to begin work.

19 DR. WINKLER: Which is sort of a  
20 perfect segue to Nicole's next slide.

21 MS. McELVEEN: We will be looking  
22 at CHIPRA measures. Currently, only about, I

1 believe it is, 6 to 10 of the full set of  
2 CHIPRA measures are NQF-endorsed. So we are  
3 being asked to look at the remaining set of  
4 those measures and also additional process  
5 measures for child health and to look at  
6 endorsing those measures.

7 This project, obviously, is  
8 closely related to what we are doing now. We  
9 are looking to move forward with this project,  
10 really simultaneously after we get over the  
11 hurdle of endorsing and recommending our first  
12 set of measures.

13 We are looking to just continue  
14 the stream and to continue work directly into  
15 the CHIPRA Project. Ideally, we would like  
16 all of the current Steering Committee Members  
17 to continue along with that process. That  
18 does mean another in-person meeting and  
19 evaluating another set of measures, but, you  
20 know, we notice very quickly that the group  
21 sitting in front of us is really sort of the  
22 cream of the crop and the people that should



1 be at the table when it comes to child health.

2 And we are hoping, if you are  
3 willing and if you, obviously, have the time,  
4 to continue on with that process. And once we  
5 are done with this meeting, we will follow-up  
6 more definitively on dates and to, you know,  
7 get your feedback on that.

8 The tentative start date was, we  
9 are looking at July of this year. And when we  
10 say "start", that means that we would start  
11 with a call for measures. And, of course, you  
12 all know that's a 30-day process. So  
13 potentially, an in person meeting would be,  
14 probably, about September or October of this  
15 year and that's just kind of off the top of my  
16 head. So I wanted to mention that to the  
17 group.

18 The good thing that most Committee  
19 Members and projects aren't able to do that we  
20 will be is many of the measures that you don't  
21 feel apply to this project and are real  
22 process measures, we then could potentially

1 review those within the contents of the CHIPRA  
2 Project.

3 And I know that's a challenge for  
4 many Committee Members. It's not appropriate  
5 for this project, but you're kind of lost in  
6 limbo and you don't really know what to do  
7 with the measure, because you think it is  
8 valuable, but there is potential for many of  
9 the measures to be passed on and to move on  
10 into this second phase of the project.

11 And so, when we are looking at  
12 next steps, moving from outcomes to CHIPRA,  
13 the gaps that you identify within the contents  
14 of our two days here will be used,  
15 essentially, in our call for measures and also  
16 we will be sure to highlight a specific  
17 section in the report, as we always do, to  
18 highlight those gaps and areas where you think  
19 there is room for improvement.

20 Are there any questions about  
21 that?

22 CO-CHAIR HOMER: Flattery will get

1       you everywhere. The last Committee I think I  
2       was on with Reva was NQF's longest serving  
3       Steering Committee or whatever it is. So I  
4       have been working it for the duration.

5                 DR. WINKLER: Right. It went on  
6       for three and a half years.

7                 CO-CHAIR HOMER: Yes. I do have a  
8       question though. So I'm a little -- I mean,  
9       the CHIPRA measurement set is a defined  
10      measurement set, at this point. They are the  
11      twenty-some odd, 22, 24 measures. So there  
12      will be a call for additional process and  
13      outcome measures beyond the CHIPRA set?

14                MS. McELVEEN: Yes.

15                CO-CHAIR HOMER: To fill in the  
16      gaps for the areas that the Committee  
17      knowledge wasn't there?

18                DR. WINKLER: My understanding is  
19      even though we have got the first year of core  
20      measures, there is an acknowledgement that it  
21      really is -- there is lots of gaps and it just  
22      doesn't meet everyone's needs.

1                   And there is a desire to evolve it  
2                   over the subsequent years and add in better  
3                   measures, remove the ones that are maybe not  
4                   so good or replace them, revise them, update  
5                   them whatever, so that there is an evolution  
6                   of that measure set. And so this will be an  
7                   important input to the next year's set.

8                   CO-CHAIR WEISS: Well, let me just  
9                   say that the first wave of measures called for  
10                  under CHIPRA were selected in part because of  
11                  the speed with which the legislation  
12                  contemplated implementation.

13                  And so a great deal of attention  
14                  was given to what is currently going on in  
15                  states today. What would be relatively easy  
16                  to get up and operational at the  
17                  implementation level? What were the measures  
18                  that were most comfortable for the state  
19                  officials who were sitting around the table  
20                  and so forth?

21                  But there was always an  
22                  expectation that there would be future phases

1 to that project and, as Reva said, correction  
2 and strengthening of the set of measures that  
3 would ultimately be used.

4 Initially, these are to be  
5 voluntary, but over time the direction is to  
6 make them mandatory or at least a subset of  
7 what is available and mandatory.

8 MEMBER PARTRIDGE: I would just  
9 add a postscript to that, because Marina was  
10 on that Committee and I sat in a chair behind  
11 her for several days listening to their  
12 deliberations and I think you had -- I forget  
13 what you called it, the list, putting  
14 everybody on the alert that the Committee was  
15 looking for, hoping to see measures on  
16 something else.

17 I also wanted to share something,  
18 another opportunity for us, and I think this  
19 plays in very nicely, that the CHIPRA  
20 legislation does direct the Secretary to  
21 report to Congress on some areas in which,  
22 perhaps, there are some impediments to

1 effectively getting measures adopted and  
2 satisfactorily used.

3 And CMS is being very open about  
4 saying they would love to have comments in  
5 whatever form that would help inform that  
6 report, which they will be pulling together  
7 some time later this year. So I think  
8 probably things like our reports and  
9 discussions will be useful.

10 MEMBER MILLER: Charlie?

11 CO-CHAIR HOMER: Yes, please.

12 MEMBER MILLER: This is Marlene  
13 and I just want to add that I think if we go  
14 on and I serve on a Committee that may know  
15 the new four measures in the conversation  
16 there and it strikes me that looking at the  
17 materials we have before us that one of the  
18 things that is not explicitly called for, but,  
19 you know, I at least would like to see that we  
20 entertain it, is that for any measure I  
21 applaud the focus on outcomes, that we need to  
22 also ask ourselves are there changed packages

1 that if an institution or a state or a  
2 provider or a health plan is not performing  
3 well, you can direct them on what to do to  
4 actually change that rate.

5 You know, I think a lot of the  
6 measurement work is measurement for  
7 measurement's sake with the hopes that just  
8 measuring will improve care.

9 And what we know is that that's  
10 not necessarily true. That we need an actual  
11 tool kit. When I even look to NQF  
12 evaluations, it is sort of implied there, but  
13 there is no explicit places that say, list the  
14 packages that you know or the efforts that you  
15 know have shown to change someone's rate and  
16 improve it, so that you give people tools.

17 CO-CHAIR HOMER: That sounds like  
18 a great suggestion. It's really NQF criteria.  
19 I mean, again, the definition says the  
20 measures have to be used for both  
21 accountability and improvement, but you're  
22 right, there is not much in there to back that

1 up.

2 That sounds like a very, very good  
3 suggestion, Marlene. Thank you.

4 MEMBER MILLER: Okay.

5 CO-CHAIR HOMER: If I could just--  
6 you know, I want to make sure we stay on  
7 schedule. I think we have had a good, broad,  
8 forward-reaching conversation and I didn't  
9 hear anybody in the room say, "Oh, my God, I  
10 don't want to be on the follow-up Committee,"  
11 but you could certainly talk to Nicole  
12 afterwards, if that's the case.

13 This will be a negative check-off  
14 process. What is that, benign paternalism?  
15 Isn't that what -- anyway, I do want to  
16 reframe us, though, back to outcomes for a  
17 second -- not for a second, for the rest of  
18 the meeting. So while looking forward, we are  
19 going to be discussing process measures once  
20 we get to July.

21 Right now, we are focused on  
22 measures of outcome. And I just want to



1 remind the group that measures of outcome are  
2 selected because they are what is most  
3 meaningful to patients, most understandable to  
4 the public. It is what providers hope they  
5 are influencing.

6           They don't measure everything  
7 upstream that leads to the outcomes, so those  
8 of us in the room who have been involved in --  
9 who either are responsible for hospital or  
10 clinical operations, sometimes get nervous  
11 when we see an outcome measure, because we  
12 think of the 43 other things that could  
13 contribute to those outcomes.

14           And the answer is, kind of, we  
15 know that, but we are supposed to come up with  
16 measures of outcome that can be used together  
17 with other measures of processes and maybe  
18 even structures that could lead to those  
19 outcomes.

20           But I say that, in part, because  
21 my sense on our phone call with some very  
22 complicated measures, which we are about to

1 segue into, there was some discomfort, really,  
2 about looking at outcome measures because it  
3 didn't measure the upstream characteristics.

4 And so I just want to reframe us  
5 back on our particular charge, whether we  
6 agree with it, you know, whether we have  
7 anxiety about it or not, but our particular  
8 charge is to identify and potentially endorse,  
9 if we think they are of credible measures,  
10 measures of child health outcome. Kathy?

11 MEMBER JENKINS: Thanks for saying  
12 that, Charlie, because I think that's helpful  
13 to me. I did have a question though, because  
14 when I looked at some of the ones that were  
15 assigned to me, some of them did feel more  
16 like structural and process measures.

17 And then, I just heard your  
18 comment that, where those come up, perhaps we  
19 could set those aside for Phase II, if they  
20 are not truly outcome measures.

21 I guess I was under the impression  
22 that the NQF staff had screened what we were

1 getting for eligibility for this project, so  
2 that those would have been filtered off. And  
3 at least, the approach I took was to kind of  
4 assume, since you had given them to me, it was  
5 my job to kind of assess them according to the  
6 criteria.

7 But, if that's not the rules, I  
8 would like to hear us, you know, kind of set  
9 all that for the entire discussion, because I  
10 think it may come up in little ways across the  
11 board.

12 DR. WINKLER: And just,  
13 essentially, what we have is a moving target,  
14 because at the time we did the call for  
15 measures, we were intentionally very, very  
16 broad. And our interpretation for them were  
17 really quite broad.

18 The advent of the CHIPRA Project  
19 and approval for going forward with that is a  
20 relatively -- came afterwards. So we are  
21 adjusting, as you will.

22 So if you feel particularly that a

1 measure is strong in and of itself, but isn't  
2 appropriate for outcomes, we do have an avenue  
3 to deal with it.

4 And so we were very loose in terms  
5 of screening anything out prior. Again,  
6 because two slides worth of how you describe  
7 outcomes gave us pretty squishy borders. And  
8 so our default was to keep it, rather than  
9 kick it.

10 CO-CHAIR HOMER: I took your  
11 approach. I had the same impression you did,  
12 Kathy, and I took a different approach, which  
13 is, I thought clearly if it was a process  
14 measure and we were doing outcomes, I felt it  
15 was inappropriate for us to list as an outcome  
16 measure and that we would move it into  
17 whatever other committee's place it was to  
18 deal with. That was my own take.

19 CO-CHAIR WEISS: Let me just  
20 observe, I guess, that the funder for this  
21 project is CMS and they, of course, have  
22 responsibility or HHS and CMS is intensely

1 interested in the outcome of these  
2 discussions.

3 I just raise that because what we  
4 are talking about, when CMS is in the room, is  
5 Medicaid, CHIP and a very large percentage of  
6 children with special health care needs and  
7 also a very large percentage of children with  
8 very good health.

9 So, to the extent that these  
10 measures are going to make their way into use  
11 with those programs, Congress will be very  
12 interested in how well their investment is  
13 being expended.

14 Meaning, therefore, that this  
15 discussion we are having about differentiating  
16 between outcomes measures and process measures  
17 is extremely important. And my own sense is  
18 that if the group around the table begins to  
19 frame up the issues in an appropriate way,  
20 that that will essentially be a teaching tool  
21 for the very policymakers who are making  
22 resources available to continue this project.

1 MS. McELVEEN: One other thing  
2 that I just wanted to mention with the CHIPRA.  
3 Suzanne will be staffing that portion of the  
4 project, so I just wanted to let the Committee  
5 know that. And I also noticed that Ellen has  
6 joined us.

7 Did you want to just quickly  
8 introduce yourself and, if you have any  
9 disclosures?

10 MEMBER SCHWALENSTOCKER: Yes, my  
11 name is Ellen Schwalenstocker. I'm Acting  
12 Vice President for Quality Advocacy and  
13 Measurement at the National Association of  
14 Children's Hospitals and Related Institutions,  
15 which is local, which is why I'm late, because  
16 I was fighting with the D.C. traffic.

17 And the only disclosures are, as  
18 an employee of NACHRI, we do have data  
19 programs that do produce measures. We do not  
20 have any in this particular group of measures.

21 MS. McELVEEN: Thank you. I  
22 wanted to just quickly go through our

1 endorsement criteria. I know you have seen  
2 this plenty of times, but it is worth just  
3 refreshing. And also, I'll review with you  
4 our options for recommending measures for  
5 endorsement.

6 So again, our criteria, we're  
7 going to be looking at importance, which is a  
8 must-pass criterion and that's where a lot of  
9 the discussion will come up is, is this an  
10 outcome, is this a process measure? So that  
11 will really happen in importance.

12 If it is not important to  
13 measurement report and if it is out of scope  
14 for this project, we do not continue with the  
15 rest of the evaluation.

16 We next look at scientific  
17 acceptability and the measure properties,  
18 which covers a lot of the specifications of  
19 the measure, obviously. Usability, a lot of  
20 the discussions in terms of the level of  
21 analysis will probably come out when we get to  
22 usability and also feasibility.

1           The other thing I wanted to  
2           mention is, when you are discussing the  
3           measures, it will be sort of a balance and  
4           tradeoff in terms of identifying why you like  
5           or do not like the measure. So we encourage  
6           the Committee Members to really stick to this  
7           criterion and identify if, at all possible,  
8           within these four criterion what specifically  
9           was it that propelled you to recommend it for  
10          endorsement or to not recommend it for  
11          endorsement.

12                 So again, this goes to those four  
13          criterion again.

14                 The evaluation process, you have  
15          the measures and you have gone through most of  
16          this already. As I mentioned, the measures  
17          have to pass importance to continue remaining  
18          in our process to be fully evaluated. And  
19          what we will do is, we will vote on each of  
20          those four main criteria and also receive a  
21          vote overall on the measure, whether you  
22          recommend it for endorsement or not.



1                   Here are the options. Obviously,  
2 recommend for endorsement, do not recommend  
3 for and also recommend with conditions. That  
4 should be used carefully. Recommending with  
5 conditions means that you have specific things  
6 that you would like the measure developers to  
7 change about the measure.

8                   So if it's a timeframe that is  
9 unclear, if they need to clarify the  
10 timeframe, if the specifications can be  
11 cleaned up a little bit, there are certain  
12 measures in which the Committee can provide  
13 that recommendation. The conditions have to  
14 be clear and we give the measure developer/  
15 steward about two weeks to do that.

16                   So your conditions shouldn't be  
17 something that would completely change the  
18 measure in any way. And they would follow-up  
19 with their feedback and responses to those  
20 conditions. We then would bring that back to  
21 the Committee and you would review them and  
22 decide from there whether you want to

1 recommend it for endorsement.

2           The other thing that may arise is  
3 time-limited endorsement. This only applies  
4 to untested measures. And we do have a small  
5 handful of untested measures in our complete  
6 set.

7           Recently, NQF has updated their  
8 Time Limited Endorsement policy and there are  
9 three specific conditions that must be met in  
10 order for it to qualify for time-limited  
11 endorsement: there cannot be a currently NQF-  
12 endorsed measure that addresses the same topic  
13 of interest, a critical time line must be met,  
14 example, includes a legislative mandate for  
15 this particular measure, and the measure  
16 cannot be complex, so a composite or any  
17 measure requiring risk adjustment would not  
18 apply.

19           Also, there is a time period that  
20 the measure steward must agree with to  
21 complete the testing and that's 12 months.  
22 Previously, it was 24 months. So I just

1 wanted to make the group aware.

2 And once we get to those measures  
3 where this could be a possibility, certainly,  
4 we will bring that to your attention and be  
5 able to answer any questions about that.

6 Lastly, which we may revisit  
7 again, it's just our timeline. Again, after  
8 this meeting, we are scheduled to go out for  
9 public and member comment in June, member  
10 voting followed by that in August/September,  
11 and CSAC review and Board endorsement in  
12 October of this year.

13 So that's our tentative time line  
14 and I just wanted to go through that quickly  
15 with the group. Were there any questions?  
16 Questions may arise as we go through the  
17 measures. Kathy, did you have a question?

18 MEMBER JENKINS: I had a question.  
19 I heard the part earlier about the next phase  
20 of the project. Are you all anticipating  
21 there will be another call for outcome  
22 measures later or is it just the CHIPRA part?

1 DR. WINKLER: Actually, what NQF  
2 is doing is revisiting with our Board of  
3 Directors today actually. Our approach for  
4 looking at measures realizing that trying to  
5 reconcile what we have and new measures that  
6 need to come in is not well-served with sort  
7 of the kind of project focus, whether it's an  
8 outcome with this or that.

9 So what we are hoping to move into  
10 is sort of a rolling, you know, predictable,  
11 every couple of years, there will be a call  
12 for all measures around a certain topic area,  
13 for instance, child health. And it wouldn't  
14 be just outcomes, wouldn't just be process,  
15 wouldn't just be hospital. And so we could  
16 really look at that aspect of the portfolio in  
17 a more comprehensive way.

18 So again, this is sort of an  
19 evolutionary thing. So answering your  
20 question, is it something we are able to do  
21 right now? We are hopeful that it will be  
22 something regular and predictable that will

1 have a stream to be bringing in new measures,  
2 particularly when there is a big push for  
3 measure development to occur.

4 Heidi, did you want to add  
5 anything to that?

6 MS. BOSSLEY: I think we will know  
7 when the Board decides today. But the hope is  
8 to, again, have committees not look at this  
9 one off-piece, here is a few outcome measures  
10 that address one condition. Really perhaps  
11 get our arms around what does care  
12 coordination mean across the board, not only  
13 looking at measures that are appropriate for  
14 nursing homes when you deal with falls, but  
15 also just falls in general across every  
16 setting.

17 So the hope is to be able to  
18 really start looking at comprehensive care  
19 process, outcome structure, whatever, you  
20 know, we can get and again build it into our  
21 measure development and endorsement agendas.  
22 That's the hope.

1 MS. McELVEEN: Okay. We are going  
2 to segue into briefly reviewing the  
3 Committee's discussion and votes on the four  
4 AHRQ measures that were discussed via  
5 conference call.

6 And in your packet of materials,  
7 you will find a folder in there. Actually, it  
8 is a PDF that has the meeting summary from our  
9 April 12th conference call and further down,  
10 it should be about page 5, we have compiled  
11 the results from the Committee's vote on the  
12 four main criterion and also the vote on the  
13 recommendation for endorsement along with  
14 several comments for each measure.

15 Let me see if I can enlarge this.

16 CO-CHAIR HOMER: The name of the  
17 file is?

18 MS. McELVEEN: The name of the  
19 file is CH Vote/Summary AHRQ Measures. So I  
20 have it projected here.

21 CO-CHAIR HOMER: You're on page 5?

22 MS. McELVEEN: Yes, five, yes.

1 Let's see. So we wanted to take some time to  
2 really briefly discuss this again. I know,  
3 based on the results we got so far, we had  
4 about 11 responses.

5 Essentially, the first measure  
6 which was on urinary tract infection admission  
7 rate, that measure and also the diabetes  
8 short-term complication rate, those two  
9 particular measures -- so far the majority was  
10 do not recommend.

11 So I wanted to just kind of get  
12 your thoughts about that and maybe re-vote,  
13 because we only had an 11 vote, we have about  
14 17 on the Steering Committee, and touch base  
15 on those two measures first and then we will  
16 go through the other two, which were more  
17 likely to pass.

18 CO-CHAIR HOMER: Right. And had  
19 fewer votes.

20 MS. McELVEEN: Yes. So this  
21 first, the urinary tract infection admission  
22 rate. The description is just the admission

1 rate for urinary tract infection in children,  
2 ages three months to 17 years-old. Again,  
3 this is a population level measure.

4 It looks like most of the  
5 responses did agree that this was important to  
6 measure and report. But when we looked at  
7 scientific acceptability, usability and  
8 feasibility, moderate was the rating overall  
9 for those. And 8 out of 11 that reviewed this  
10 measure requested not to recommend the  
11 measure.

12 Two, recommend with conditions.  
13 The comments weren't too specific on what  
14 those conditions would be, so we can talk  
15 about that, if that's something that we want  
16 to do.

17 Also, I wanted to find out, do we  
18 have AHRQ on the phone?

19 MR. BOTT: Yes. Hi, this is John  
20 Bott with AHRQ.

21 MS. McELVEEN: Okay. Great. I  
22 just wanted to make sure. I just wanted to



1 open it from the discussion with the group.  
2 If there aren't any follow-up comments about  
3 the measure, we can go right into voting on  
4 the criterion, but I wanted to kind of get  
5 your feedback and allow you to discuss any  
6 concerns you had first.

7 CO-CHAIR HOMER: My recollection  
8 of the major concern was people were not  
9 convinced that there really was a  
10 preventability dimension to the UTI issue.  
11 Although, it is routinely included in the  
12 ambulatory care sensitive conditions, which is  
13 where this comes from, I think my sense of the  
14 discussion was that we were not convinced.  
15 Many of us reviewing this were not convinced  
16 that ambulatory care processes, that there was  
17 a clear link between ambulatory care processes  
18 and this particular outcome.

19 MEMBER RAO: I think the age range  
20 was a real concern for a lot of people, too.  
21 I think that was a big one.

22 CO-CHAIR HOMER: So is there any

1 need to re-vote or do you want to call a  
2 formal --

3 MS. McELVEEN: I would like to.

4 CO-CHAIR HOMER: So why don't we  
5 call a formal vote then on this? If there are  
6 no other questions or discussion of the  
7 measure.

8 MEMBER JENKINS: Charlie, could I  
9 ask in terms of your first comment, back to  
10 our discussion about processes and outcomes  
11 and whether or not all the pathways to the  
12 outcome need to be clear in order for an  
13 outcome to be important. If people are not --  
14 I just want to be sure I understand the ground  
15 rules.

16 If people aren't -- what you said  
17 is that the major discomfort was that people  
18 were not confident that ambulatory care  
19 processes could prevent this outcome. Is that  
20 crucial to the vote or is it really just is  
21 this outcome important for child health? If  
22 I can get that, because I'm struggling over

1 the criteria for what this Committee is about.

2 DR. WINKLER: I think you need to  
3 look within the context of quality, because  
4 these are -- we are looking to endorse  
5 measures that are performance measures, that  
6 are quality measures, so the outcome may have  
7 a whole variety of inputs, but there should  
8 be, at least, some reflection of the quality  
9 of those inputs particularly around the  
10 provision of the care.

11 And that's really the context we  
12 are looking at. So there are a lot of very  
13 important outcomes that may not reflect the  
14 quality of care provided. It may reflect the  
15 nature of the condition itself.

16 So there is a difference between  
17 outcomes for which it is all about the  
18 condition as opposed to outcomes for which we  
19 see a lot of variation in care or variation in  
20 the results that implies something about how  
21 that care is delivered and sort of the large  
22 quality context behind it.

1 CO-CHAIR HOMER: I think it is  
2 tricky. It's a very --

3 MEMBER JENKINS: I would like to  
4 clarify my question.

5 CO-CHAIR HOMER: Okay.

6 MEMBER JENKINS: I think I  
7 understand that when we are living in the  
8 scope of inpatient/outpatient care delivery.  
9 But when we chose the scope of this project,  
10 as a group, we also included these population-  
11 based measures which have poverty and  
12 disparities and parents and IQ and education  
13 and SES and a lot of things that are not in  
14 any way part of the process of care for  
15 practitioners.

16 And so that's where I'm confused.  
17 I understand your point when we are talking  
18 about inpatient care/outpatient care/  
19 ambulatory care, but not when we are in that  
20 other space. And this was presented as a  
21 population health measure, not as an  
22 ambulatory care quality measure.

1 CO-CHAIR HOMER: I think your  
2 point is well-taken. I don't think we are  
3 going to be able to come up with a bright  
4 line. I guess the way I think of it is if you  
5 are looking at something like school days  
6 missed, which is sort of an integrative  
7 measure of a whole variety of things,  
8 including, but not limited to health care, I'm  
9 personally more willing to sort of allow that  
10 kind of broad framing.

11 When you come up with really  
12 something that still sounds like a clinical  
13 measure, admission for UTI, now, that's my  
14 take on it.

15 MEMBER JENKINS: Got it. That's  
16 helpful.

17 CO-CHAIR HOMER: That's my take on  
18 it, I'm not saying that's -- but that's how  
19 I'm kind of differentiating these.

20 I think the other point that you  
21 have raised though that there are other  
22 technical reasons, like the broad age group,

1       which, you know, my wife being a judge reminds  
2       me that sometimes if you can make decisions on  
3       a narrow point, you don't go to the broad  
4       point.

5                   I don't know if we use those same  
6       policies, but in this case, there is a narrow  
7       technical concern about the switch. Maybe we  
8       don't have to make the decision based on the  
9       broader one.

10                   DR. WINKLER: Charlie, let me just  
11       follow-up with one thing. In terms of  
12       population health measures, this actually is  
13       a relatively new area that we are trying to  
14       address. Population health is one of the  
15       national priorities that was established.

16                   We talked a bit about it in our  
17       November meeting when Dr. Bonnie Zell, who  
18       heads our population health efforts, talked  
19       about that. It is slightly different and we  
20       are actually learning and you are helping us  
21       learn how we are going to address some of  
22       these things.

1                   NQF has previously endorsed some  
2                   population-based measures, primarily the  
3                   purpose of which was to provide context for  
4                   the environment in which health care is being  
5                   delivered. That was in our disparity  
6                   sensitive project.

7                   So I think that the issues you are  
8                   bringing up are helping us learn the best  
9                   approach to look at population measures, but  
10                  there certainly is an audience and a demand  
11                  for looking at it that way.

12                  Also, I think that there is a  
13                  sense that whatever happens at the individual  
14                  patient/clinician interface rolled up to  
15                  whatever larger provider group, those can be  
16                  rolled up to what is going on in your local  
17                  community. And rolled up, that would be the  
18                  ultimate ideal way of being able to look at  
19                  different levels of analysis and actually  
20                  inputs and potentially actions at various  
21                  levels.

22                                   MEMBER LIEBERTHAL: When I looked

1 at this measure, I said, it's interesting to  
2 know, but I'm trying to -- and as I looked at  
3 these other measures, especially the more  
4 specific ones, such as this, I tried to look  
5 at what conclusions can be drawn that would  
6 lead to quality improvement.

7 And the implication of this  
8 measure is that lower is better and for making  
9 decisions for the individual child, lower may  
10 not be better. So I think it is so broad that  
11 the measure itself doesn't really add anything  
12 to our ability to improve quality.

13 MEMBER MILLER: Charlie?

14 CO-CHAIR HOMER: Yes?

15 MEMBER MILLER: This is Marlene.

16 CO-CHAIR HOMER: Go ahead.

17 MEMBER MILLER: I would just add  
18 my two cents. I think one of the  
19 presentations I have on any area type level  
20 measure is that, you know, the experience has  
21 shown us that although measures get specified  
22 for one thing, since there is -- once they are



1 approved in whatever group it is, there is no  
2 stewardship, if you will, of maintaining that.

3 And so they have tended to then be  
4 rolled down, despite the fact that though, for  
5 example, if measures say this is not to be  
6 held accountable at an institutional level.  
7 They get rolled down because there is no one  
8 that sort of stewards -- controls, if you  
9 will, how entities use these measures.

10 So even though it's an area level  
11 measure, it always raises concerns when  
12 someone may start applying it at a health plan  
13 level regardless. And those, you know, kind  
14 of things have happened.

15 MEMBER FISHER: Can I add?

16 CO-CHAIR HOMER: Yes, please.

17 MEMBER FISHER: Can I add to what  
18 she was saying?

19 CO-CHAIR HOMER: Of course.

20 MEMBER FISHER: I agree  
21 wholeheartedly. And one of the -- also the  
22 fact is that because this implies that lower

1 is better, if people apply it that way, we may  
2 have an effect on quality that is decreasing  
3 it rather than increasing it.

4 And so it's an unintended  
5 consequence, but that's exactly what would  
6 happen, because it would apply across the  
7 board. And if you did it for younger  
8 children, it would be worse, I'm just  
9 assuming, than for older children.

10 So that's why I think the measure,  
11 you know, isn't a good one for what we want to  
12 do.

13 MEMBER RAO: Just to add, you  
14 know, along that same point, I think the  
15 measure could be acceptable and improved if it  
16 was just a narrow age group and had specific  
17 levels of severity.

18 I mean, obviously, for some kids  
19 admission is appropriate. And if they had  
20 said that they developed their measure around  
21 that criterion, it would have been acceptable,  
22 I think.

1 CO-CHAIR HOMER: Lee, did you also

2 --

3 MS. McELVEEN: Lee, did you have  
4 something?

5 MEMBER PARTRIDGE: I think Dr. Rao  
6 pretty much said what I was going to say. If  
7 this had been focused on teens, for example --

8 MEMBER RAO: Yes.

9 MEMBER PARTRIDGE: -- I would have  
10 had a very different reaction to it.

11 CO-CHAIR HOMER: Right. So I  
12 think just in the interest of keeping us  
13 moving forward, why don't we have a vote on  
14 the measure as is and then we can make  
15 recommendations to the steward if they choose  
16 to -- that our suggestion, whether we want to  
17 -- we can suggest to the steward that they  
18 modify the measure and we would be happy to  
19 see it again in the future, et cetera.

20 So why don't I call for a vote on  
21 it as is. So why don't we start with the  
22 negative, those who are opposed to endorsement

1 of this measure?

2 MEMBER MILLER: Charlie, my hand  
3 is raised if you're raising hands.

4 CO-CHAIR HOMER: Okay. Great.

5 DR. WINKLER: 14.

6 MS. McELVEEN: Is that it? Thank  
7 you.

8 CO-CHAIR HOMER: Any in favor?

9 MEMBER JENKINS: I would recommend  
10 with conditions.

11 CO-CHAIR HOMER: Okay. One  
12 recommend with conditions. Good. And were  
13 there any abstentions? Good. Okay. So,  
14 Kathy, do you want to tell us the conditions  
15 that you think?

16 MEMBER JENKINS: The conditions  
17 are that the measure be limited to population  
18 measurement only and we age and gender  
19 stratify.

20 CO-CHAIR HOMER: Do we want to  
21 hear from the stewards thought on that?

22 MS. McELVEEN: John, did you have

1 any comments about that measure?

2 MR. BOTT: You mean specific to  
3 the age and gender stratification?

4 MS. McELVEEN: Yes.

5 CO-CHAIR HOMER: Yes.

6 MR. BOTT: The measure is adjusted  
7 based on age and gender and stratification is  
8 possible at a number of levels, such as age  
9 and gender and the software.

10 CO-CHAIR HOMER: Okay.

11 MEMBER JENKINS: My understanding  
12 is the way the measure was put forward was  
13 rolled up, but it was commented that it could  
14 be age and gender stratified. So that was the  
15 purpose of my comment, was that it should only  
16 be presented age and gender stratified and not  
17 rolled up.

18 CO-CHAIR HOMER: Sounds like it's  
19 a moot issue. I think that reflects actually  
20 the sense of the Committee is that is how it  
21 should be done.

22 DR. WINKLER: I guess the question

1 is if that were to -- if they were to make  
2 those changes, as Kathy suggested, would that  
3 change the votes of the other Members of the  
4 Committee from no to yes? It doesn't look  
5 like it.

6 MEMBER PERSAUD: I would want to  
7 see the age stratification.

8 MEMBER MILLER: Yes. This is  
9 Marlene. I would want to see some data on  
10 what that shows and the validity of it. I  
11 know it changes the definition significantly.  
12 You can't approve it and assume it still would  
13 work right.

14 MS. McELVEEN: Okay. So we will  
15 move on. I want to go to Measure 56. This is  
16 the diabetes short-term complication rate.  
17 Again, this was another measure where most of  
18 the Members who reviewed and responded to this  
19 survey voted not to recommend this for  
20 endorsement.

21 A short description is just the  
22 admission rate for diabetes short-term

1 complications in children ages 6 to 17 at per  
2 100,000 population.

3 Comments?

4 MEMBER CLARKE: I just have one  
5 comment. The discussion on page 3 of this  
6 measure about the deliberations on the phone  
7 suggest that a Committee Member recommended  
8 adding first time admission for diabetes.  
9 Actually, I was that Committee Member. And I  
10 recommended excluding first time admissions  
11 for diabetes.

12 And I believe Mr. Bott then said  
13 that's really not possible because the coding  
14 does not separate those two. So I just wanted  
15 to clear that up.

16 MR. BOTT: That's a question for  
17 AHRQ. Yes, the code doesn't offer that and  
18 the measures that AHRQ developed, at the  
19 present time anyway, didn't use the admissions  
20 at a point in time. And that we are not yet  
21 taking advantage of links to data sets where  
22 we could perhaps link to previous admissions

1 and factor that into the denominator or  
2 exclusions.

3 The world could change in the  
4 future, but that's presently the data that we  
5 are constrained with.

6 CO-CHAIR HOMER: So are there any  
7 further discussions of this measure? If not,  
8 then we can just call for a vote. Well, I  
9 don't see any questions, so why don't we --  
10 Kathy, comments?

11 MEMBER JENKINS: I guess the  
12 comment I would make is once again at the  
13 population-based level. The assumption would  
14 be that the rate of Type I Diabetes is  
15 relatively stable across population --

16 CO-CHAIR HOMER: Right.

17 MEMBER JENKINS: -- and across  
18 regions. That problem, with the validity of  
19 the measure, although real, may not actually  
20 be influential in understanding variation. I  
21 assume that's what the measure developer was  
22 thinking in terms of that issue.



1 CO-CHAIR HOMER: So following on  
2 that thought, if the prevalence of the disease  
3 and prevalence of new cases, incidents of new  
4 cases is the same across populations, then, in  
5 fact, variation and hospitalization rate would  
6 or could, in fact, be a reflection of care in  
7 the community.

8 MEMBER JENKINS: Yes.

9 CO-CHAIR HOMER: Because if the  
10 policies, in general, are to hospitalize, for  
11 example, first new diagnoses, then that should  
12 be stable across jurisdictions.

13 MEMBER JENKINS: And I would just  
14 add that that's a general principle for risk  
15 adjustment models where you are not always  
16 able to adjust for every important confounder.  
17 If an important confounder is relatively  
18 stable and your unit of measurement is large  
19 enough, that you could actually retain  
20 validity without needing to adjust for that  
21 unmeasurable confounder. And I see this as a  
22 general issue as opposed to a specific issue.

1                   MEMBER McINERNEY: Charlie, is it  
2                   the place of NQF to recommend to the folks  
3                   that are doing ICD-10 that they make two  
4                   different codes? One for first time admission  
5                   and one for subsequent admission for diabetes?  
6                   Because that would be very helpful to us in  
7                   the long run.

8                   CO-CHAIR HOMER: I would let the  
9                   people from NQF tell us that.

10                  DR. WINKLER: We can certainly  
11                  include that as a recommendation, getting that  
12                  to the appropriate audience might be  
13                  challenging, but we can give it a try.

14                  MEMBER McINERNEY: Thank you.

15                  CO-CHAIR HOMER: So why don't we  
16                  call for a vote then on this measure? So  
17                  again, this is the measure of admission rate  
18                  for diabetes in children age 6 to 17 per  
19                  100,000, so it's reported only as a population  
20                  measure, that is what it is specified as.

21                  So all those, we will stay with  
22                  the negative, who recommend not endorsing?

1 MEMBER MILLER: I've got my hand  
2 raised, Charlie.

3 CO-CHAIR HOMER: Okay.

4 DR. WINKLER: 10, 11.

5 CO-CHAIR HOMER: I think I'm going  
6 to vote for this one. All those in favor?

7 DR. WINKLER: One, two, three,  
8 four. Are there any abstentions? Did we  
9 catch everybody? Microphone.

10 CO-CHAIR HOMER: Let's go back.  
11 Let's go back to the --

12 DR. WINKLER: Yes.

13 CO-CHAIR HOMER: -- other  
14 measures. The measure fails.

15 MS. McELVEEN: So we are going  
16 back to Measure 55. This is measure  
17 gastroenteritis admission rate.

18 Again, the age group is 3 months  
19 to 17 years. And based on the Committee  
20 Members who reviewed this measure and provided  
21 their votes, six recommended it for  
22 endorsement, two did not and there were two

1 that recommended it with conditions.

2 So this was more favorable, but I  
3 can open it up for more comments.

4 CO-CHAIR HOMER: Ellen?

5 MEMBER SCHWALENSTOCKER: So my  
6 only concern with this measure, and I like it  
7 better than the other two, but, would be what  
8 Marlene raised earlier, which is the potential  
9 for misuse. So if I could be assured that it  
10 was just going to be used at the population  
11 level, I would feel comfortable with it.

12 But it seems to be a slippery  
13 slope out there that sometimes measures  
14 intended for one thing get used for another.  
15 And my only worry is on unintended  
16 consequences, i.e., keeping kids out of the  
17 emergency room when that may be the only place  
18 they can get care.

19 MEMBER McINERNY: This is one  
20 where I think there is a place where the  
21 measure can be useful in spurring QI  
22 activities, because among the reasons for

1 admission are inadequate use of oral  
2 rehydration, not having short-term holding  
3 areas.

4 And I think that if this did  
5 trickle down to the health plan or hospital  
6 level or even provider level, that perhaps it  
7 would induce some change in behavior that  
8 would be a positive effect. So on that basis,  
9 I think that this is a useful measure.

10 MEMBER MILLER: Charlie?

11 CO-CHAIR HOMER: Yes, go ahead,  
12 Marlene.

13 MEMBER MILLER: This is Marlene.  
14 I need to say my only experience is that I  
15 work in an acute care clinic. I have seen the  
16 exact opposite where it would not be good if  
17 it trickled down, in that often times part of  
18 the admission for this are very complicated  
19 with psychosocial issues and parent  
20 limitations and fragmented care and lack of  
21 consistent caregivers.

22 And in that case, it is the right

1 thing, from a QI purpose, for a hospital to  
2 admit that patient for the gastroenteritis  
3 which comes up a lot and my population is, you  
4 know, 85 percent is not more Medicaid. So at  
5 least in my own experience, I'll say that it  
6 is often times the right thing for the  
7 hospital to actually admit the patient,  
8 because of complicated social circumstances  
9 that have failed out patient care.

10 CO-CHAIR HOMER: Okay.

11 MEMBER JENKINS: I would just  
12 point out that at the population level, that  
13 is still potentially preventable, so this is  
14 a general concept that we have been discussing  
15 in all of these places.

16 MEMBER MILLER: However, I would  
17 say right back when you say that the  
18 population level -- the interventions at hand,  
19 it just get rolled out institutional level.  
20 For me, to impact the psychosocial environment  
21 are just not there. That goes right back to  
22 my beginning point when we say we are going to

1 endorse measures.

2 I would like that all the measures  
3 have a tool kit that you can hand folks. No  
4 one likes to perform bad. People want -- if  
5 they are going to have the condition, give  
6 them the tools so that they know what to do  
7 with it.

8 CO-CHAIR HOMER: But in this case,  
9 there actually are -- I mean, there are --  
10 there actually is a tool kit. Now, again,  
11 part of the tool kit needs to be broader. In  
12 other words, there are tool kits for oral  
13 rehydration and management thereof, you know,  
14 AP Guidelines, et cetera, around that.

15 I think the solutions we are  
16 talking about for this would be also broader,  
17 like some of the community transformation  
18 activities that are written up in health  
19 reform. But I think at least it is within the  
20 range of concept that one can reduce at a  
21 community level hospitalization for  
22 gastroenteritis, you're right.

1                   It would be unfortunate. You  
2 wouldn't want the adverse -- you wouldn't want  
3 a clinician at the front lines dealing with an  
4 individual patient that they are concerned  
5 about to have pressure put on them from  
6 hospital administration, for example, that the  
7 hospital would be dinged if they make the  
8 right decision and admit a patient.

9                   And that's what I hear from your  
10 concern, which, you know, is a reasonable  
11 unintended concern. But at the population  
12 level, if you were comparing, you know, inner  
13 city Baltimore to inner city Harlem, you know,  
14 you would want to be able to compare.

15                   You know, and again, I tried to  
16 pick communities that would be of comparable  
17 demographic status. You would want to be able  
18 to compare how effective one community was  
19 compared to another in their ability to  
20 prevent something like this.

21                   MEMBER MILLER: I totally agree,  
22 Charlie. The problem is always that once it



1 is approved and out there, there is not  
2 stewardship of how it is applied.

3 We may just end up getting rolled  
4 down to a health plan at institutional level.  
5 We may actually have negative impact on  
6 quality of care.

7 CO-CHAIR WEISS: Stepping back  
8 just a minute. I'm wondering if what this is  
9 leading us to is a discussion about  
10 presentation and maybe putting a tag line or  
11 a footnote or some sort of statement together  
12 with these population measures that makes it  
13 abundantly clear that we intend for them to be  
14 used in that way and in that way only?

15 CO-CHAIR HOMER: Yes.

16 DR. WINKLER: That's certainly a  
17 very feasible thing to do when we write the  
18 report, put it in a separate section of its  
19 own labeled population measures and describe  
20 the reasons for which they are approved.

21 Again, we can make that abundantly  
22 clear in terms of the presentation as a

1 reflection of what your recommendation is and  
2 limited to.

3 MEMBER McINERNEY: Two points.  
4 Number one, there is an upstream preventive  
5 measure that would also reduce hospitalization  
6 and that is the use of rotavirus vaccine and  
7 how well that is being used.

8 CO-CHAIR HOMER: Well said.

9 MS. McELVEEN: Yes.

10 MEMBER McINERNEY: Then the other  
11 point though that I -- I think people may be  
12 alluding to, but I want to make sure I  
13 understand it correctly is, is there a concern  
14 that a health insurance company would say,  
15 hey, NQF has said children with vomiting and  
16 diarrhea should not be admitted to the  
17 hospital, therefore we will deny this  
18 admission.

19 Is that sort of where we lose  
20 control?

21 MEMBER MILLER: Yes, that's a  
22 great example.

1                   MEMBER McINERNEY: Insurance  
2 companies have been known to do that.

3                   MEMBER JENKINS: But we are not  
4 going to solve everything here at this  
5 Committee.

6                   MEMBER McINERNEY: Right.

7                   MEMBER JENKINS: I think that the  
8 idea though, and I had asked this on the  
9 phone, Charlie as my specific question to you  
10 about these measures, was if they were being  
11 proposed as population-based measures, would  
12 the endorsement be limited to the use as a  
13 population-based measure?

14                   Because I can think of 1,000  
15 confounders and problems if they get down to  
16 too small buckets of patients. This is only  
17 one.

18                   DR. WINKLER: Yes. The  
19 endorsement can be limited to the mass  
20 population-based measures only certainly. I  
21 mean, we have had other measures that are  
22 appropriate only at certain levels of analysis

1 and that's what the endorsement is limited to.

2 MEMBER SCHWALENSTOCKER: So then  
3 would that be a recommend with condition or is  
4 that just okay?

5 DR. WINKLER: These are specified  
6 as population level measures. So all you are  
7 saying is yes and that's how that should be  
8 used and that's the limit of our  
9 recommendation.

10 CO-CHAIR HOMER: Well, one last  
11 comment, because I'm conscious of the time and  
12 how much we have to do over the next two days.  
13 So go ahead, Lee.

14 MEMBER PARTRIDGE: I don't want to  
15 prolong this discussion, but I did notice the  
16 comments in the materials you sent out talked  
17 a fair bit about the rotavirus and I don't  
18 know that issue. I'm just wondering if it is  
19 worth exploring for half a minute more what  
20 its impact is and how it is mixed in here.

21 Is there a separate measure out  
22 there of the use of rotavirus?

1 MEMBER PERSAUD: There isn't --

2 MEMBER PARTRIDGE: I don't think -

3 -

4 MEMBER PERSAUD: -- a separate  
5 measure that we know of.

6 MEMBER PARTRIDGE: That's what I  
7 thought.

8 MEMBER PERSAUD: What the  
9 literature shows is that since the inception  
10 of the virus now, I think, at least two full  
11 years, that admissions for rotavirus,  
12 gastroenteritis in toddlers, in particular,  
13 has precipitously dropped and that is the  
14 expected effect of the vaccine.

15 MEMBER PARTRIDGE: So presumably,  
16 this is one case in which we might want to  
17 have some recommendations of the Committee  
18 down the line?

19 DR. WINKLER: Actually, the  
20 rotavirus is included in the childhood  
21 immunization NQF measure. I mean, NCQA  
22 measure that is NQF-endorsed. So it has been

1 included since the vaccine has become  
2 available. So it is already included in our  
3 childhood immunization measure.

4 MR. GEORGE: Could I make one last  
5 comment just addressing Tom's point there  
6 about insurance companies using this measure  
7 inappropriately?

8 I mean, do we need a statement  
9 that says, for example, these measures are not  
10 intended to be used as a clinical practice  
11 guideline or as a clinical algorithm or is  
12 there enough in the description of the  
13 measures to counteract that?

14 DR. WINKLER: You're not talking  
15 about something that is specific to these  
16 measures, but is something that is ubiquitous  
17 around performance measures in general. And  
18 for the most part, usually the discussions are  
19 talking about that the targets for any of  
20 these measures are never zero or 100,  
21 whichever, however the measure is crafted,  
22 such that there is an acceptable level.

1                   What we are looking for is  
2           minimizing variation, minimizing extreme  
3           outliers and trying to bring everybody to  
4           whatever that acceptable appropriate level is.  
5           And so it's not a black or white all or none  
6           thing.

7                   And that tends to be the  
8           discussion that pervades all of performance  
9           measurement.

10                   CO-CHAIR HOMER: Did you have a  
11           comment? No, okay. So why don't we vote on  
12           this measure? I'll follow the same process,  
13           just so people don't get confused.

14                   So we will start with the  
15           negative, that is all those opposed to the  
16           endorsement of this measure signify by raising  
17           your hand or saying something on the phone.

18                   MEMBER MILLER: Something on the  
19           phone.

20                   DR. WINKLER: Marlene, your's is a  
21           no?

22                   MEMBER MILLER: Yes. Thank you.

1 CO-CHAIR HOMER: Anyone else no?

2 Okay. All those in favor of the measure?

3 DR. WINKLER: 13, 14, 15.

4 CO-CHAIR HOMER: Good. And no  
5 abstentions then. Good.

6 MS. McELVEEN: Moving on. The  
7 last measure in this set is the asthma  
8 admission rate measure.

9 Again, the response to this was  
10 favorable for endorsement. This is the asthma  
11 admission rate for children ages 2 to 17. And  
12 I'll open it up for comments.

13 CO-CHAIR HOMER: Any comments on  
14 this measure or you feel like we have covered  
15 the general discussion pretty well with the  
16 gastroenteritis framing? Many of the same  
17 concerns and issues.

18 Well, seeing no discussion --

19 MEMBER SCHWALENSTOCKER: Oh, one  
20 thing.

21 CO-CHAIR HOMER: Ellen?

22 MEMBER SCHWALENSTOCKER: The



1 measure harmonization issue, can somebody  
2 recall what that issue is?

3 PARTICIPANT: It's age.

4 MEMBER SCHWALENSTOCKER: It's age?  
5 Oh, beginning at age 2.

6 DR. WINKLER: Yes, most of the  
7 measures that offer asthma begin at age 5,  
8 rather than at age 2.

9 CO-CHAIR HOMER: So is there any  
10 way to address that or are we stuck with what  
11 we have?

12 DR. WINKLER: Yes, let's ask John  
13 Bott from AHRQ. Why was age 2 chosen for this  
14 particular measure when, typically, measures  
15 of asthma begin around age 5?

16 MR. BOTT: Yes. Unfortunately,  
17 I'm not a clinician to be able to really  
18 address that, but that's Patrick Romano's  
19 question. And we didn't think there would be  
20 much discussion today, so I told Patrick he  
21 wouldn't need to participate today. So I'm  
22 sorry, I can't personally answer that

1 question.

2 MEMBER JENKINS: I would imagine  
3 the issue, Charlie, I assume you're going to  
4 say this, is that there is sort of a  
5 conventional wisdom that you can't diagnose  
6 asthma before age 2. That line is often drawn  
7 and I would imagine the people who came down  
8 on the 5 year age range said, well, there is  
9 some wiggle room between 2 and 5, so let's cut  
10 it clean at age 5.

11 And you are just seeing both sides  
12 of that in the older measures versus this  
13 measure.

14 MEMBER FISHER: Or somebody got a  
15 2 mixed up with a 5.

16 CO-CHAIR HOMER: No, I think the  
17 clinical -- so I think in favor of it as  
18 starting at the younger ages. Hospitalization  
19 for asthma is, you know, very high at younger  
20 ages and decreases as you get older. So if  
21 you are trying to capture the largest number  
22 of hospitalizations, you don't want to miss

1 the 2 to 5 year-old slot.

2 On the other hand, the clarity of  
3 the evidence about the efficacy of, for  
4 example, anti-inflammatories, the older ones  
5 you hit the age 5 and up, you are clear you  
6 are dealing with the inflammatory disease.  
7 You are less confused with some of the others,  
8 with small airways, et cetera.

9 So I think, you know, there is an  
10 intersection here between crispness of  
11 diagnosis and efficacy of therapy with the  
12 older kids and the burden of disease which is  
13 in the younger kids. And that's my guess is  
14 why they ended up including the 2 to 5 year-  
15 olds in this and not into the more clinically-  
16 driven measures.

17 Allan, do you want to comment?

18 MEMBER LIEBERTHAL: Yes. Well,  
19 one of the reasons that the NHLBI and most  
20 asthma guidelines start at age 5, one of the  
21 reasons is that's about the earliest that you  
22 can do pulmonary function testing, which the

1 allergists really believe in, whether they  
2 need to assure that or not, I don't know.

3           The other thing is I think that  
4 under age 5, you are opening yourself up to  
5 huge coding errors as to what was the real  
6 reason for admission.

7           So any child who wheezes under age  
8 5 might be diagnosed as asthma, whereas  
9 wheezing is a secondary finding due to another  
10 pulmonary problem under age 5. So I think it  
11 is much -- even though it excludes a whole  
12 large group of children, the convention of  
13 over age 5, I still think should be used.

14           CO-CHAIR HOMER: Kathy?

15           MEMBER JENKINS: I would just  
16 point out that this is again very similar to  
17 the Type I Diabetes issue. I said before when  
18 you are in the population-based arena across  
19 large boxes of patients, that essentially  
20 misclassification bias, which is what you are  
21 alluding to on the 2 to 5 year-olds, if that  
22 was equivalent across the country, for

1 example, or large geographic regions, then the  
2 variation -- you could still understand  
3 variation, despite that limitation, that real  
4 measurement limitation that you are alluding  
5 to.

6 MEMBER LIEBERTHAL: I don't think  
7 that anybody has shown that it is consistent  
8 across the geographic country or in the health  
9 care system. I think that academic centers  
10 may code one way and the community hospital  
11 another way.

12 CO-CHAIR HOMER: It's a population  
13 measure derived from discharge -- hospital  
14 discharge data, right? I mean, that's where  
15 you get it.

16 MEMBER JENKINS: Could we ask AHRQ  
17 what they consider to be the geographic unit  
18 of a population for the purposes of this  
19 measure? Is it a state? Is it a region? I  
20 assume it's not an institution.

21 MR. BOTT: No, it's not an  
22 institution. It's typically a state, county,

1 something else that would end up at the  
2 appropriate levels or, of course, with the  
3 National Health Care Quality Reorganization.

4 CO-CHAIR HOMER: Okay. I think it  
5 has been another excellent discussion, as they  
6 all have been. Why don't we move forward with  
7 the vote?

8 So all those, again, opposed to  
9 endorsing the asthma measure as a population  
10 measure? All those opposed? Three.

11 DR. WINKLER: Marlene?

12 MEMBER MILLER: Oh, sorry, I was  
13 on mute. I oppose.

14 DR. WINKLER: Okay.

15 CO-CHAIR HOMER: Four.

16 DR. WINKLER: Four nos.

17 CO-CHAIR HOMER: All those in  
18 favor of approving, endorsing the measure?

19 DR. WINKLER: One, two, three,  
20 four, five, six, nine, ten. Ten yesses.

21 CO-CHAIR HOMER: So 10 to 4. Any  
22 abstentions?

1 MEMBER SCHWALENSTOCKER: I'm not  
2 abstaining, but I would recommend with the  
3 condition that the age issue be looked at.

4 CO-CHAIR HOMER: All right.

5 MEMBER FISHER: That's a concern I  
6 have, too.

7 CO-CHAIR HOMER: So from a process  
8 perspective, the Committee, at this point, is  
9 recommending that it go forward for  
10 endorsement. The notes are always reflected  
11 when that goes forward, so that both people  
12 will see the vote and they will also see the  
13 concerns that were raised, including the  
14 recommendation. So I think that's good.

15 MS. McELVEEN: Do you want to vote  
16 on that recommendation?

17 CO-CHAIR HOMER: I don't think we  
18 need to. Okay. Well, congratulations. This  
19 is good. We did four. We closed it. The  
20 first are always the hardest.

21 MS. McELVEEN: They are.

22 CO-CHAIR HOMER: So, Nicole,

1       should we move into the clinical measures or  
2       take a break? How do you want to proceed?

3                   MS. McELVEEN: Do people need a  
4       break? Does anyone feel like they need a  
5       break? Okay.

6                   CO-CHAIR HOMER: I would propose a  
7       five minute break.

8                   MS. McELVEEN: Okay. We can take  
9       a five minute break.

10                   (Whereupon, the above-entitled  
11       matter went off the record at 10:24 a.m. and  
12       resumed at 10:35 a.m.)

13                   CO-CHAIR HOMER: Well, why don't  
14       we reconvene? Thank you for allowing me to  
15       replenish my coffee cup. I do, well we all  
16       live on caffeine.

17                   So now, we are going to move from  
18       the population measures to some of the more  
19       clinically oriented measures. And the first  
20       group is the clinically, what is called, the  
21       clinically-based measures. So these are the  
22       ones that were -- whoops, am I --



1 MS. McELVEEN: That's 27, 28 and  
2 29.

3 CO-CHAIR HOMER: 27, 28, 29,  
4 right?

5 MS. McELVEEN: Yes, that's the old  
6 agenda.

7 CO-CHAIR HOMER: Oh, I have the  
8 wrong agenda in front of me.

9 MS. McELVEEN: Sorry.

10 CO-CHAIR HOMER: I'm sorry.

11 MS. McELVEEN: My apologies. I  
12 copied the wrong agenda this morning. The  
13 first set of measures will be Group 2. It's  
14 the Questionnaire Survey Measures.

15 Does everyone have a copy of the  
16 newer agenda, updated?

17 CO-CHAIR WEISS: No, it's not on--

18 MS. McELVEEN: It's not on the  
19 flash drive.

20 CO-CHAIR WEISS: It's right here.

21 MS. McELVEEN: Ashley, do you have  
22 more hard copies?

1 MS. MORSELL: The ones we handed  
2 out are blank.

3 MS. McELVEEN: It was the wrong  
4 one. You can use that.

5 CO-CHAIR HOMER: That's still the  
6 wrong one.

7 MS. McELVEEN: Yes, sorry. That's  
8 my copy. You can use that.

9 CO-CHAIR HOMER: So we are now  
10 going to the group -- I stand corrected, or I  
11 sit corrected. It's Measure 034, which is the  
12 National Survey of Children with Special  
13 Health Care Needs 2005/2006 and the quality  
14 measure component thereof.

15 Do we have the steward on the  
16 phone?

17 MS. McELVEEN: Do we have anyone?

18 CO-CHAIR HOMER: That would be  
19 Christy.

20 MS. McELVEEN: Yes.

21 CO-CHAIR HOMER: Do we have anyone  
22 from CAHMI on the line?

1 CO-CHAIR HOMER: Marlene, are you  
2 still there?

3 MEMBER MILLER: I'm here.

4 CO-CHAIR HOMER: Oh, good.

5 MS. McELVEEN: Any other measure  
6 developers on the line? They have a correct  
7 copy of the agenda, so they are aware. So we  
8 will just go ahead and get started.

9 CO-CHAIR HOMER: Okay.

10 MS. McELVEEN: We will just go  
11 ahead and get started with that, this first  
12 group of measures. Again, this is Group 2.

13 PARTICIPANT: So it's 34?

14 MS. McELVEEN: So we are starting  
15 with Measure 34. The reviewers were Bonnie  
16 Zima, Jane Perkins, Nancy Fisher and Ellen  
17 Schwalenstocker. And just as a separate note,  
18 this first measure that we are looking at,  
19 Measure 34, the National Survey of Children  
20 with Special Health Care Needs Quality  
21 Measures, within that particular larger  
22 survey, there are individual, smaller measures

1 that are comprised of the larger survey  
2 measure.

3 Two of those were also submitted  
4 individually. And those were 35 and 37. So  
5 just so you know as we are reviewing them,  
6 when we get to those, 35 and 37, those  
7 measures are actually a component of that  
8 larger survey measure.

9 Projected on the screen is  
10 feedback from the reviewers, their ratings of  
11 the sub-criteria and also any comments or  
12 concerns that were raised while they were  
13 reviewing this particular measure. And this  
14 information is also on your thumb drive.

15 Let me just quickly read a  
16 description of the measure just to introduce  
17 it a little bit. This is the National Survey  
18 of Children with Special Health Needs. It is  
19 a population-based survey designed to assess  
20 how well the nation and each state meet the  
21 Maternal and Child Health Bureau's strategic  
22 plan goals and the national performance

1       measures specifically for children with  
2       special health care needs.

3               The questions address a variety of  
4       physical, emotional and behavioral health  
5       indicators and measures of children's health  
6       experience with the health care system and ten  
7       of these measures are directly focused on  
8       children's health care quality.

9               Do we have any of the assigned  
10       reviewers who want to take a first stab at  
11       kind of reviewing their initial evaluation of  
12       the measure?

13               CO-CHAIR HOMER: Thank you, Ellen.

14               MEMBER SCHWALENSTOCKER: I have  
15       not had a chance to sort of synthesize the  
16       comments, so these are just sort of my  
17       perceptions.

18               But I really had trouble with this  
19       one, because I was trying to figure out how to  
20       evaluate the overall instrument. You know, it  
21       seemed to me, and I honestly haven't gotten to  
22       look at the details for the subgroups 3 and 4,

1 but it seemed to me throughout, a number of  
2 individual measures were pulled out of this,  
3 so I had trouble, you know, sort of figuring  
4 out what kind of context to put this in.

5 But I did have a couple of bigger  
6 concerns with the survey itself. One is, I  
7 would really like to know more about what we  
8 know about the response rate and potential for  
9 response bias, because it is probably one of  
10 the longest surveys I have ever seen and a  
11 number of quite sensitive questions.

12 So I wondered about the dropout  
13 rate and then I also wondered about how  
14 accurately we know that the family member can  
15 respond to some of these questions. Like how  
16 many hours did you spend caring for your child  
17 over X time period.

18 So I had difficulty trying to  
19 figure out how to assess the questionnaire  
20 overall and found myself wanting a lot more  
21 information over, you know, just what we know  
22 about response rate and accuracy of reports.

1                   MEMBER FISHER: You make me feel  
2                   so much better. I agree with her that I  
3                   thought the survey was quite long and wondered  
4                   if somebody was even using it, whether you  
5                   could get enough people to participate.

6                   But I felt that the survey was not  
7                   what was needed here. What we needed was  
8                   specific measures. This is a good way to, if  
9                   you are using a survey, to gather information.  
10                  And then after you gather the information,  
11                  because of the information you have in the  
12                  survey, you may put out some measures you want  
13                  from your analysis of the survey.

14                  So I didn't think that that really  
15                  fit in with what we were doing.

16                  MEMBER JENKINS: Is it being put  
17                  forward as a population-based measure or  
18                  what's the unit of analysis?

19                  MEMBER SCHWALENSTOCKER: Charlie,  
20                  if I could add one more thing? I mean, it  
21                  looks to me like it is pretty widely used. So  
22                  I guess I would want --

1 CO-CHAIR HOMER: This is --

2 MEMBER SCHWALENSTOCKER: -- more  
3 information about how it has been used and how  
4 useful it is. And I just couldn't assess that  
5 from the materials.

6 CO-CHAIR HOMER: Yes. So I'm  
7 concerned that Christy Bethell, the developer,  
8 isn't on the phone, because this is extremely  
9 widely used. I mean, it is, for example, what  
10 every state at the population health level for  
11 the State Title V Programs, this is how they  
12 are assessed, is they are assessed on what  
13 proportion of children have a medical home?  
14 What proportion of children have access to  
15 health insurance? What proportion -- you  
16 know, how satisfied are parents with the  
17 services they receive?

18 Those are all derived from this  
19 measure.

20 CO-CHAIR WEISS: Let me add that  
21 this survey is an outgrowth of legislation  
22 that was enacted in the late 1980s that



1 imposed upon Title V Programs for the first  
2 time a requirement that they obtain  
3 information on patient care that is delivered  
4 through their program.

5 And the way it is structured is  
6 that there are a series of data elements that  
7 they look at and states have certain items to  
8 which they must respond and others that are  
9 available to them on a voluntary basis.

10 So it is widely used. The data is  
11 being collected. How well or, you know, how  
12 consistently across the country is open to  
13 question. But the data is there and there is  
14 legislation that lies behind that requires it  
15 at this point.

16 Now, it may be -- I mean, this is  
17 an old instrument and an old directive and it  
18 may be that this is one of the areas that we  
19 should point to for HHS to take a fresh look.

20 MEMBER FISHER: You know, but  
21 going along with what you said it is being  
22 used, then to me, like you said, there is

1 certain things you have to answer and you have  
2 to do. What I'm saying is you have this  
3 instrument. It is being used. So you look at  
4 it and you say okay and you look across the  
5 country and you see that children with special  
6 health care needs, they don't have a medical  
7 home.

8 Okay. Then, to me, you put a  
9 measure. So you find out what is going on  
10 with the kids, why don't they have a home?  
11 And so, to me, it's gathering a lot of  
12 information, but, in itself, it has got a lot  
13 of measures in it.

14 CO-CHAIR HOMER: Right.

15 MEMBER FISHER: And it's just too  
16 big, too broad, but it's something that I  
17 think that we can use, because we have  
18 information. To me, it's the information for  
19 gaps or what you want to make improvement on  
20 and what you want to then go down and be  
21 specific and hone in on.

22 So that's why I said I didn't

1 think it fit in with what we were doing. And  
2 they did submit the other measures, see, and  
3 that makes sense to me.

4 MEMBER LIEBERTHAL: I had the  
5 question, because this applies to Group 3 also  
6 that I was on, is why did they submit the  
7 whole survey as a measure and then pick out a  
8 couple of the questions also as measures?

9 It would appear to me that each of  
10 these questions should be evaluated on their  
11 own merits, because some of them may be valid  
12 for quality measures, whereas others may have  
13 problems that would make them not acceptable.

14 So I don't know if we have the  
15 option of separating them all out or just take  
16 the two that they chose to separate out.

17 CO-CHAIR HOMER: Kathy?

18 MEMBER JENKINS: Allan, I agree in  
19 terms of this being exactly the issue in one  
20 of the measures in Group 3, but I don't think  
21 it is the whole survey.

22 CO-CHAIR HOMER: No.

1                   MEMBER JENKINS: I think that they  
2                   have chosen 15 individual items, which they  
3                   regard as the quality measures on the entire  
4                   survey. They are though, to your point,  
5                   proposing all 15 as a group. And when I tried  
6                   to do that on the other survey, I had the  
7                   exact same issue where I had to -- I couldn't  
8                   really consider them as a group, because my  
9                   answer depended very much on which of the 15  
10                  or however many it was in the other survey we  
11                  were referring to.

12                  CO-CHAIR HOMER: So let me ask NQF  
13                  staff. I mean, another survey which is  
14                  broadly endorsed by NQF is the CAHPS Survey.  
15                  The same concept though is that really what  
16                  you use on the CAHPS Survey is not CAHPS, but  
17                  you use the variety of domains and domain  
18                  scores and things like that.

19                  But if I understand correctly,  
20                  CAHPS itself is endorsed rather than the  
21                  specific domains and reports that come out of  
22                  it. Tell us how that was handled.

1 DR. WINKLER: Okay.

2 CO-CHAIR HOMER: Because I would  
3 think this is a pretty similar kind of issue.

4 DR. WINKLER: Yes, yes. The  
5 problem with what you are saying, Charlie, is  
6 the two don't separate very readily, because  
7 the instrument is the tool, the data gathering  
8 tool. And you can't use an alternate tool to  
9 create the summary results of the CAHPS  
10 Survey, and there are several of them.

11 So the measure is actually those  
12 results that are reported, but the tool is an,  
13 you know, inextricable part of the measure in  
14 how to collect the data to create those  
15 summary results that are the things that are  
16 posted and published and all of that.

17 So similarly, I think, you can  
18 look at these as you have a tool versus you  
19 have the information that you would report  
20 about whatever as more of the measures. But  
21 the two have to be related, because it's not  
22 as if you can use another instrument or some

1 alternative data collection method to get the  
2 information to create those measures.

3 MEMBER FISHER: Is the idea to  
4 endorse a tool, so that everything is  
5 consistent? I mean, you know, so that  
6 somebody else doesn't come up with another  
7 tool?

8 DR. WINKLER: Right. I think that  
9 heretofore what NQF has done is endorsed tools  
10 sort of as part of measures that are well-  
11 defined because we want standard measures that  
12 can be used to allow the comparability.

13 When it comes to survey tools, you  
14 pretty much end up endorsing the tool, but  
15 that isn't our primary focus to endorse the  
16 tool. It is to endorse the measures that are  
17 derived from that data collection methodology.  
18 Okay.

19 MEMBER FISHER: Well, I feel like  
20 I sort of screwed up, because I should have  
21 looked at each one of the individual measures  
22 for the endorsement.

1 DR. WINKLER: You know, the  
2 question I would ask on this one is using the  
3 tool as presented here with the multiple  
4 parts, is there a summary result that comes  
5 from it that is the measure?

6 I know, what would you publicly  
7 report from this?

8 MEMBER JENKINS: Well, I think  
9 that's what they have tried to do. They did  
10 it in two ways. For certain individual  
11 components, they created what they are  
12 considering to be a summary. And in this  
13 case, we have to say outcome measure.

14 The one that we are working on  
15 here though, there is no summary measure and  
16 it does appear as if there is 15, some of  
17 which on this instrument and the other one, I  
18 regard as structure process and some I regard  
19 as outcomes and some probably could be either.  
20 So we have that additional problem.

21 MEMBER SCHWALENSTOCKER: You know,  
22 that was actually another point of confusion

1 for me in that they talk about 15, but then  
2 listed are actually 22 measures. So I wasn't  
3 sure. I just wasn't sure how to assess this  
4 tool.

5 MEMBER JENKINS: I personally  
6 would take the position that we cannot endorse  
7 all 15 or 22 or however many it is for Group  
8 3 all as one, that we can only endorse them at  
9 the individual level, that's my personal  
10 recommendation.

11 MEMBER FISHER: I was going to say  
12 the same thing that we would have to take  
13 them, you know, at another session or  
14 something, divide them up and look at them and  
15 endorse certain ones for the survey if that's  
16 what they want.

17 DR. WINKLER: These have been  
18 submitted to us as those individual measures,  
19 however many there are, as well as the tool.  
20 And it is unfortunate. Are we expecting  
21 Christy so she can answer the question of why  
22 the tool is also submitted as well as the



1 measures derived from it? I think that's an  
2 important issue to resolve.

3 MEMBER FISHER: All of the 22  
4 measures have been submitted.

5 MEMBER JENKINS: It isn't  
6 submitted. It's only for -- if you look at  
7 the numerator, that's where you can figure it  
8 out.

9 MEMBER FISHER: Oh.

10 MEMBER JENKINS: So I'm looking on  
11 the Numerators 2-A, 2-1, I think. Well,  
12 that's the algorithm. Numerators comprise,  
13 that's where you can figure out what their  
14 measures are. And to me, it looks like a  
15 group of measures. It doesn't look -- it  
16 looks like 15, but maybe if you counted them  
17 it's 22.

18 CO-CHAIR HOMER: Right.

19 CO-CHAIR WEISS: I think this is a  
20 different population. 22 of all children were  
21 served by time, whereas children with special  
22 health needs in the population here.

1 CO-CHAIR HOMER: No, you turned it  
2 off.

3 CO-CHAIR WEISS: That's where the  
4 15 comes.

5 MEMBER JENKINS: That's true in  
6 the other survey, but this is the special  
7 health care needs survey.

8 CO-CHAIR HOMER: Yes, this is the  
9 National Survey. And then it's this --

10 MEMBER JENKINS: The other one,  
11 there is that issue where it is stratified by  
12 special health care needs versus not.

13 CO-CHAIR WEISS: Right. 33 goes  
14 to the issue of all 22?

15 MEMBER FISHER: Is that 33?

16 CO-CHAIR HOMER: 33 is Group 3.

17 DR. WINKLER: We need Christy,  
18 right?

19 CO-CHAIR HOMER: Yes. I think the  
20 short answer is we need Christy. I mean,  
21 these are 15 more or less performance measures  
22 for children with special health care needs

1 based on items from the National Survey for  
2 Children.

3 MEMBER FISHER: Right.

4 MEMBER JENKINS: Right.

5 CO-CHAIR HOMER: So there is no  
6 way to calculate these without the National  
7 Survey.

8 DR. WINKLER: Right.

9 CO-CHAIR HOMER: But I think what  
10 I'm hearing from the group is (A) a desire to  
11 have more information on the psychometric  
12 properties of the instrument so response  
13 rates, et cetera, et cetera, which I suspect  
14 will be readily available.

15 And then the second is people  
16 really want to know more specifics about each  
17 of these items or each of these. Some of  
18 these measures are from single items. Most of  
19 them are actually composites from several  
20 items together. And that's what you would  
21 like to know.

22 MEMBER ZIMA: There is also kind

1 of a prematurity to thinking about this as an  
2 indicator quality, I think. And maybe this is  
3 something to put on GAP. There is also a  
4 heterogeneity around CSHCN definition. And so  
5 it's sort of you have sort of heterogeneity in  
6 the numerator whether it is type of service,  
7 unmet need or need for service.

8 And then you have this  
9 heterogeneous group and I would like to  
10 propose that, you know, as we think about  
11 future steps, you know, perhaps we need to be  
12 also teasing out what this CSHCN Group really  
13 is.

14 CO-CHAIR HOMER: But I guess I  
15 would contend we could certainly discuss that.  
16 I would contend that this measure reflects 15  
17 years of discussion and, basically, consensus  
18 within the maternal child health community  
19 around what comprises children with special  
20 health care needs and this measure. The  
21 screener was really designed to reflect that  
22 consensus definition.

1                   So for NQF to sort of deconstruct  
2                   that would be difficult.

3                   MEMBER McINERNEY: You don't want  
4                   to go there.

5                   CO-CHAIR HOMER: Yes, I wouldn't  
6                   recommend it.

7                   MEMBER FISHER: I agree with you  
8                   that there is some heterogeneity around it,  
9                   but it has been defined for the people that  
10                  are going out and they are looking at this for  
11                  like your Medicaid population and stuff as the  
12                  person who has done that.

13                  So it has been defined. They have  
14                  a special thing for it, especially the people  
15                  that audit the Medicaid programs and for the  
16                  Balance Budget Act that was passed. They have  
17                  specific things that they put into a group.  
18                  It's still sort of a heterogeneous group, but  
19                  it's defined that way.

20                  CO-CHAIR WEISS: But you are quite  
21                  right, Bonnie. It is a very broad definition.

22                  CO-CHAIR HOMER: Okay, yes.

1                   MEMBER JENKINS: I saw that issue  
2 as affecting the actionability of the  
3 findings.

4                   DR. WINKLER: What I'm hearing  
5 from everybody is this measure actually, you  
6 can call it anything you want, but, is a  
7 compound measure, so that a result from it  
8 would give you 15 separate reports out.

9                   CO-CHAIR HOMER: Right.

10                  DR. WINKLER: But as a single  
11 measure, all 15 would be required. And so  
12 that would be the output. It's not a  
13 composite measure, because those 15 aren't in  
14 some way summed up or aggregated for a single.  
15 So I don't know what you would call it, but it  
16 has these multiple -- it's a multi-part  
17 measure for sure.

18                  And I think the question for the  
19 Committee is starting to address the issues  
20 that Kathy and Ellen and Nancy have brought up  
21 is since you would be obligated to include all  
22 of these parts if we were to endorse this

1 measure, is what you would want to do?

2 Do you have concerns about certain  
3 parts of it versus others? Realizing that  
4 there are other measures that are more singles  
5 that they have also submitted using the same  
6 tool.

7 CO-CHAIR HOMER: Tom?

8 MEMBER McINERNY: Yes. As I look  
9 at these 15 measures, I think, all except  
10 perhaps that last one are really process  
11 measures.

12 DR. WINKLER: Yes.

13 MEMBER McINERNY: And it's only  
14 the last one that says on that health care  
15 needs that is an outcome measure, in my mind,  
16 the rest are all, you know, do you have  
17 insurance? Do you have a physician? Do you  
18 get family-centered care?

19 CO-CHAIR HOMER: But --

20 MEMBER McINERNY: So those aren't  
21 really outcomes.

22 CO-CHAIR HOMER: -- I would beg to

1 differ or, at least, suggest that they could  
2 be viewed either way. Just like one could  
3 say, for example, hospitalization for asthma  
4 is a process really. It's not an outcome.  
5 That is you are doing something to a patient.

6 Having a medical home can be  
7 viewed as, I mean it can be viewed, as an  
8 outcome. Having effective care coordination  
9 can be viewed. I mean, those things we  
10 included in our solicitation health processes  
11 as potential outcomes.

12 So I think these are on the  
13 border. These are -- again, we can challenge  
14 this, the Maternal Child Health Bureau's  
15 Division of Children with Special Health Care  
16 Needs has six aims that they have articulated  
17 through a public process for children with  
18 special health care needs, that is that all  
19 children should have -- all kids with special  
20 health care needs should have insurance, that  
21 they should have a medical home, that care be  
22 accessible, you know, et cetera. And it



1 should be that care be culturally competent.  
2 I'm forgetting two, because of my lack of  
3 sleep.

4 But basically, those the Maternal  
5 Child Health Bureau would consider, quote,  
6 outcomes. This group, if you want, I mean we  
7 can call them processes, but I think these are  
8 on the fence between processes and outcomes.

9 MEMBER DOCHERTY: I think  
10 especially for this population that they are  
11 outcomes.

12 CO-CHAIR HOMER: Yes.

13 MEMBER DOCHERTY: They are  
14 important outcomes.

15 CO-CHAIR HOMER: Okay. I guess I  
16 think -- I hate to disrupt the process because  
17 it looks like survey measures are very  
18 substantial, I think we need the steward to  
19 actually have a fair conversation around this  
20 and just wonder if we can move to some other?  
21 If we could sort of table some of this  
22 conversation until we can get Dr. Bethell on

1 the phone and then come back to a broader  
2 discussion of these? Is the Committee willing  
3 to do that and staff okay with that?

4 DR. WINKLER: What do we know  
5 about her availability?

6 MS. McELVEEN: She will call in.  
7 We are expecting, obviously, for her to call  
8 in. There are several staff Members that are  
9 sort of staggering their time to call in to  
10 try and be here available for us. So we are  
11 trying to get in contact with them. We have  
12 emailed them, so hopefully they will be  
13 calling in shortly.

14 My suggestion was to move onto  
15 Measure 43. The measure developer is here in  
16 person, but I just saw him step out for a  
17 second.

18 CO-CHAIR HOMER: But what usually  
19 happens is we would have one of the reviewers,  
20 right, discuss it and then round him up.

21 MS. McELVEEN: Absolutely. So  
22 what we are going to do, Measure 43 is still

1 a part of Group 2. It is titled the Pediatric  
2 Symptom Checklist. And I'm going to quickly  
3 pull up the feedback from a few of the  
4 reviewers who were able to provide us feedback  
5 on the sub-criteria for this measure.

6 Let's see, all righty. And this  
7 particular measure's description is a brief  
8 parent report questionnaire that is used to  
9 measure overall psychosocial functioning in  
10 children from ages 4 to 16 years of age. It  
11 was, it looks like, originally developed to  
12 allow pediatricians and other health  
13 professions to identify children with poor  
14 overall functioning who are in need of further  
15 evaluation or referrals.

16 In addition to the original 35  
17 item parent report form, there are now other  
18 validated forms and translations, it looks  
19 like, of this particular survey.

20 I would like to just open it up to  
21 Michael Murphy who is part of the Measure  
22 Development Team, if he had any comments,

1 prior to the Committee discussing the measure  
2 or just -- okay. So we will open it up to the  
3 group for further discussion.

4 It looks like again this is, it  
5 looks like, another survey questionnaire type  
6 of measure. So I think it might be worth kind  
7 of going through importance a little bit  
8 first. It looks like the Committee is on the  
9 fence in terms of this.

10 MEMBER JENKINS: Can I ask Michael  
11 a question? If he could just explain why it  
12 is an outcome measure, I think contextually  
13 that would be really helpful to us.

14 DR. MURPHY: I think that --

15 CO-CHAIR HOMER: Just come up and  
16 use the microphone, please.

17 MEMBER JENKINS: There is a seat  
18 right up here, if you would like to come down.  
19 I'm not so sure how you are defining the term  
20 Health Outcome Steering Committee, so I think  
21 we have been discussing a lot of issues around  
22 that definition. So I just wanted to hear

1 your perspective about the checklist as an  
2 outcome measure.

3 CO-CHAIR HOMER: So again, I think  
4 in the context, if it was initially designed  
5 as a screening test, so something that might  
6 indicate there was a problem, now, it is being  
7 proposed in the sense as an outcome measure  
8 that is something that is really reflecting  
9 that there is a problem as opposed to there is  
10 an increased likelihood compared to baseline.

11 So I think to put a finer point on  
12 it, can you talk a little bit more about your  
13 level of confidence and the abnormal screening  
14 on one or more dimensions of the PSC actually  
15 as an outcome measure that would be important  
16 to track on a population basis or to indicate  
17 one population is more healthy or less healthy  
18 than another population?

19 DR. MURPHY: You're speaking so  
20 well, I think I should just have you keep  
21 going, but actually it's a great question,  
22 because it was designed as a screen, but

1       because it is used so much, it is being used  
2       as an outcome measure.

3                       So you know, we don't have any --  
4                       CO-CHAIR HOMER: Do you have any  
5       evidence yet from the literature? I guess  
6       this goes really to the validity more than the  
7       importance character, but the validity that an  
8       abnormal screen is associated? And can you  
9       talk about the predictive value  
10      positive/negative sensitivities, specificity,  
11      you know, or conceptually, but something along  
12      those lines that says your level of  
13      confidence?

14                      People are using it, but just  
15      because people are using it, doesn't  
16      necessarily mean it is the right thing to do.  
17      So just talk a little more about the  
18      scientific basis for that.

19                      DR. MURPHY: Yes. So to go back  
20      and forth, I read some of the comments, I  
21      guess, from last night. Our confidence in it  
22      as you and I discussed on the break, it's

1 being used in Massachusetts and, you know, we  
2 have some data. One is a published study from  
3 Cambridge 2009, a relatively large population  
4 of about 1,000.

5 And then we have data from the  
6 State of Massachusetts, which is, you know,  
7 tens of thousands of cases that we have seen.  
8 So in terms of its validity as something that  
9 can pick up kids -- one of the reviewers asked  
10 what's the evidence that positive screens lead  
11 to referrals?

12 And so now, both in a sample of  
13 1,000 Cambridge and in the State of  
14 Massachusetts as a whole, we have data that  
15 shows that positive screens are referred. So  
16 in terms of the usefulness of it, I mean,  
17 that's sidestepping the issue of validity.

18 So is that a good start or you  
19 want more on the positive predictive validity?

20 CO-CHAIR HOMER: I mean, we need,  
21 I guess, the threshold. Maybe we got out of -  
22 - the threshold question is important and I

1 actually do see that some of the reviewers had  
2 concerns about importance. That is can you  
3 talk a little about, this should be a medium -  
4 - from my perspective, this is a medium speed,  
5 fast ball right down the middle of the plate.

6 It should be able to wallop this  
7 one out there, but editorial judgment.

8 Can you talk a little about what  
9 the evidence is that psychosocial issues are  
10 broadly defined, significant problems in child  
11 health and that this measure -- and that there  
12 is a gap between current practice and what,  
13 you know, is a desired practice? Because that  
14 -- again, I'm seeing the scores on this are  
15 partially and minimally, that is nobody felt  
16 that at least the report that was submitted  
17 clearly indicated that they were convinced  
18 that this is a particular issue, psychosocial  
19 problems, and the current practice is  
20 inadequate to identify it.

21 DR. MURPHY: So yes, I thought --  
22 I was surprised when I talked to Mike Jellinek



1 a little bit about it, you know, from our  
2 point of view. And we think from National  
3 Standards point of view, psychosocial problems  
4 broadly defined are a hugely important issue.

5 So it's an aspect of medical care  
6 for kids and for adults that is left out, has  
7 traditionally been left out and there is tons  
8 of, you know, high level legislation and  
9 Committee recommendations that are being  
10 included as a part of routine health care.

11 So anyway, so there is a large  
12 literature and a long term of literature that  
13 says psychosocial problems broadly defined are  
14 very important, both for physical health and  
15 for life outcomes.

16 CO-CHAIR HOMER: And the current  
17 practice?

18 DR. MURPHY: Thank you. And the  
19 current practice remains. You know, Tom  
20 McInerny is here. He as a part of a study a  
21 decade ago that looked at Jane Costello's data  
22 from two decades earlier and Barbara

1 Starfield's evidence from three decades  
2 earlier that psychosocial problems are  
3 routinely under-identified and under-acted  
4 upon.

5 So it's a continuing problem that  
6 legislation has sought to address and the PSC  
7 is a well-validated way to identify the  
8 problems and now we seek to actually get more  
9 referrals.

10 You know, some of the questions  
11 you were asking before about sensitivity and  
12 specificity and critic to validity, the data  
13 is very strong, I think, that when a kid  
14 screens positive with the PSC, they have a  
15 psychosocial problem of some sort.

16 And we have actually done some  
17 work over the past decade to drill down in  
18 terms of which types of problems, you know,  
19 the subscales show which types of problems.

20 MEMBER PERSAUD: I have a  
21 question. Is there any correlation between  
22 the checklist and school readiness? Is there

1 any information on that?

2 DR. MURPHY: In terms of tests,  
3 academic standardized test scores and stuff?

4 MEMBER PERSAUD: Yes, predicting  
5 bad or poor performance if you have a positive  
6 screen and no intervention?

7 DR. MURPHY: You know, actually,  
8 the country of Chile has implemented this on  
9 a national scale, so we are getting tens of  
10 thousands of cases from them and their  
11 educational system is very much like the U.S.  
12 system. So they have standardized academic  
13 test scores in the fourth grade and they test  
14 the kids in the first grade.

15 And the scores are highly  
16 predictive. So we are working on a couple of  
17 papers to show that a negative screen in the  
18 first grade predicts a poor test performance  
19 in the fourth grade.

20 CO-CHAIR HOMER: Not to be hard-  
21 nosed, but do you actually have numbers you  
22 could share? So typically on other committees

1 I have been on, people would submit  
2 manuscripts and press and things like that, so  
3 we could actually --

4 DR. MURPHY: We have a manuscript  
5 that has been making the rounds of journals  
6 being rejected, but we could send you a couple  
7 different versions, but, basically, it talks  
8 about the association between the PSC score  
9 and the standardized test score. So I could  
10 email that to the Members of the Committee.  
11 It's not quite ready for prime time, but it's  
12 readable and rejectable.

13 MEMBER PARTRIDGE: Charlie, can I  
14 --

15 CO-CHAIR HOMER: Yes, please.

16 MEMBER PARTRIDGE: -- play a  
17 player? I'm putting myself in a user role  
18 here.

19 CO-CHAIR HOMER: Right.

20 MEMBER PARTRIDGE: I think I  
21 understand what has happened in Massachusetts,  
22 but I want you to confirm. This was,

1 obviously, designed as a screening tool, so  
2 that it would help the pediatrician or the  
3 clinic or whoever pick up the fact that this  
4 is a child who seems to have some trouble.

5 CO-CHAIR HOMER: Right.

6 MEMBER PARTRIDGE: And what you  
7 have discovered by its use, widespread use in  
8 Massachusetts, is that, in fact, I, if I were  
9 Judge Bigby, who is the Commissioner of Public  
10 Health in Massachusetts, saw that there were  
11 a lot of these positive scores, somehow that  
12 you have been aggregating them so that the  
13 information is trickling out from the practice  
14 or the clinic, that I have a problem in my  
15 community or in my state.

16 And over time, as I see the  
17 referral rate tracking up, it looks like that  
18 problem has been eased. Is that how you are  
19 proposing we would think of this for an  
20 outcome measure?

21 DR. MURPHY: I think that's a  
22 great summary of the leap to, you know, system

1 use. Heretofore, it has been used again in  
2 the study that Tom was part of, it was -- your  
3 know, are mental health problems increasing in  
4 the United States? So it was used as a part  
5 of some national study.

6 You know, the regional differences  
7 are there is pediatrician experience and  
8 factors. So exactly those ways. So it has  
9 certainly been used on a smaller scale way.  
10 And now it is being used in a population-based  
11 way to do the things you said.

12 You know, I haven't spoken about  
13 the outcome measure issue. So we are using it  
14 at Mass General as a pre-post quarterly  
15 assessment. And so, you know, we finally got  
16 it into our own system. And it works, you  
17 know.

18 CO-CHAIR HOMER: When you say it  
19 works, can you tell me a little more? Like --

20 DR. MURPHY: I'll try not to get  
21 into much trouble here. Let me talk about  
22 Brad Stein in Los Angeles first.

1 CO-CHAIR HOMER: Okay.

2 DR. MURPHY: So it has been used  
3 in a number of studies as a pre-post measure  
4 of, you know, children who are witnesses of  
5 domestic violence and they have a PTSD  
6 prevention curriculum in the Los Angeles  
7 Public Schools and they pre-test them with the  
8 PSC and they post-test them and they use that  
9 as a pre-post measure.

10 So it has certainly been used in  
11 half a dozen studies as a pre-post measure in  
12 small samples.

13 At Mass General, we are just using  
14 it as it's actually in the flow sheets, every  
15 aspect of the flow sheets. We have blood  
16 pressure and height and weight and we have a  
17 psychosocial area now in the flow sheets of  
18 the electronic medical record.

19 And so the idea is you want to see  
20 the PSC scores going down over time. So they  
21 do, but we are just -- you know, it's just in  
22 the last six months that we have got them in

1 there and we're using it.

2 CO-CHAIR HOMER: Tom?

3 MEMBER McINERNEY: Yes. And of  
4 course, Massachusetts has what has been going  
5 on now for two years, I think, to improve how  
6 primary care physicians are able to access  
7 mental health specialists to improve mental  
8 health care for children.

9 So if one were doing the PSC  
10 scores over time, if this program that was  
11 implemented a couple of years ago was working,  
12 you should expect to see the number of  
13 positive PSC scores decrease, because there is  
14 better mental health care. Is that another  
15 way of saying this is a way to use this to  
16 measure population health?

17 DR. MURPHY: Yes, it actually is.  
18 And actually the data actually show that. We  
19 have looked at two years of data, eight  
20 quarters of data and the PSC positive rate has  
21 gone down slightly from 13 percent to 12  
22 percent or something like that.



1           You know, there is a sense that  
2           with continued screening and referral, you can  
3           see the rates go down a little bit.

4           MEMBER McINERNEY:   And  
5           interestingly, this really could also be a  
6           process measure in that one could say just as  
7           we would expect primary care pediatricians to  
8           be doing developmental screening at certain  
9           ages, a lot of people say, Michael especially,  
10          that primary care pediatricians should be  
11          doing PSCs on a regular basis, maybe at every  
12          well child evaluation and we could measure how  
13          many pediatricians are doing that.

14          Just like we are trying to get  
15          pediatricians to do BMI percentiles and see if  
16          that is improving over time.  But that's only  
17          a process measure.  It's not an outcome  
18          measure.  But it could be used in sort of both  
19          ways.

20          CO-CHAIR HOMER:  And so just  
21          proceeding along, it sounds like -- are there  
22          further questions about the impact gap

1 relation to outcomes or that section feels  
2 like we have gotten some good information and  
3 people seem comfortable with this as an  
4 outcome measure?

5 So now, if we could -- I'm sorry?

6 DR. WINKLER: We probably need to  
7 have the Committee vote on that.

8 MS. McELVEEN: Yes.

9 CO-CHAIR HOMER: Because that's a  
10 threshold.

11 DR. WINKLER: Yes, it's a  
12 threshold.

13 CO-CHAIR HOMER: Okay. So how do  
14 you vote on this? Do you say simply vote for  
15 those who feel it is --

16 DR. WINKLER: It's yes/no  
17 criteria.

18 CO-CHAIR HOMER: Yes/no important  
19 enough to -- important to measure? All those  
20 who believe that the PSC indicates something  
21 sufficiently important to measure?

22 DR. WINKLER: 12, 13. Marlene?

1 Marlene?

2 MEMBER MILLER: I vote yes.

3 DR. WINKLER: Okay. So that's 14.

4 I saw one hand who didn't vote. Nancy?

5 CO-CHAIR HOMER: It's like the  
6 hand that didn't --

7 DR. WINKLER: All right. Vote  
8 nos?

9 CO-CHAIR HOMER: Andy, no? One  
10 no.

11 DR. WINKLER: One no, okay, that's  
12 fine. Any abstentions? Okay.

13 CO-CHAIR HOMER: Okay. Let's talk  
14 a little, if we can, about, if you could  
15 scroll down to, the scientific acceptability  
16 component, because that seems a little bit all  
17 over the map. Not many voters.

18 So any comments or concerns about  
19 specifications? Is it well-specified measure  
20 of reliability, test, re-test and reiterated  
21 reliability, validity, which I still didn't  
22 really hear a clear answer for you actually on

1 sort of how well this relates to other  
2 measures of behavioral and mental health. And  
3 how well does it correlate with depression,  
4 ADHD and OCDCL scores, other, you know,  
5 indicators.

6 DR. MURPHY: If I can have that  
7 fast --

8 CO-CHAIR HOMER: I'm now beyond my  
9 competence.

10 DR. MURPHY: So reliability and  
11 validity are not beyond my competence. So  
12 given me that fast ball again, the reliability  
13 and validity have been established in lots of  
14 studies. They are comparable to anything  
15 anybody gets of a brief measure, longer  
16 measures like the Achenbach. Obviously, they  
17 have slightly higher reliability and validity,  
18 but not much.

19 In terms of -- there was just a  
20 study by Bill Gardner and his associates that  
21 had compared the PSC to a bunch of diagnosis-  
22 specific measures like the CDI and RC Mass and

1 anxiety, depression, conduct, the ADD and have  
2 found that the PSC subscales had similar  
3 sensitivity and specificity to those longer  
4 and well-accepted kind of gold standard tests.

5 So again, the PSC is kind of a  
6 front end test that can screen for depression,  
7 anxiety, attention and conduct problems, you  
8 know, with reliability and validity that are  
9 comparable to the other accepted standards.

10 CO-CHAIR HOMER: Okay.

11 DR. BETHELL: Hi, this is Christy.

12 CO-CHAIR HOMER: Oh, good.

13 DR. BETHELL: Sorry to join late.

14 If there are any questions, I just want to  
15 make sure people know I was on the line.

16 CO-CHAIR HOMER: Christy, it's  
17 great you are on the line. Christy, we  
18 actually had started discussing the National  
19 Survey and really deferred. There were so  
20 many questions that we felt you were the best  
21 person to answer, that we deferred  
22 consideration.

1                   We are currently reviewing the  
2                   PSC, Pediatric Symptom Checklist. And as soon  
3                   as we are finished with that, we will come  
4                   back and review. Go back to your measure.  
5                   So, please, do listen in. But now that we are  
6                   deep into this, we should finish consideration  
7                   of PSC and then come back to you.

8                   DR. BETHELL: Great. Just to let  
9                   you know, I'll be in and out, so this is just  
10                  to -- you know, I'm not -- I'll be in and out.  
11                  I will do my best.

12                  CO-CHAIR HOMER: Okay.

13                  MEMBER McINERNY: You know, if you  
14                  look at 2C.1, that sample, there is some  
15                  validation description there. And that, to  
16                  me, looks pretty reasonable, as reasonable as  
17                  most of these kinds of screen tests can be.  
18                  And you know, I'm comfortable that the  
19                  validity is satisfactory.

20                  MEMBER PERSAUD: I would also  
21                  comment that this score goes down to a pretty  
22                  low age, age 4. And I think at that age,

1       there is not or there aren't very many other  
2       validated instruments.

3                   CO-CHAIR HOMER:    Yes.

4                   MEMBER PERSAUD:   And the PSC has  
5       really, I think, been in the forefront for  
6       that young age group.  Actually, in my  
7       practice, we use this as an outcome measure as  
8       well.  We have got an integrated mental health  
9       program as a pilot.  It's a large state  
10      Medicaid grant in Texas.

11                   And interestingly, we are  
12      screening with a battery of other tests,  
13      MCHAT, mental health tools, PEDs developmental  
14      and then if we find something, it goes over to  
15      a mental health therapist that then does a  
16      number of screens.

17                   This is one, but I'm virtually  
18      certain in the research aspect of this  
19      project, this is being used as one of the  
20      outcome measures.  And I think theoretically,  
21      a part of it is if the parent thinks the child  
22      isn't doing well, then that's an outcome.

1 They are not doing well.

2 DR. MURPHY: That's a really  
3 important point. You know, it's a little bit  
4 less sexy, because it's not an MCHAT or it's  
5 not something, but, in fact, that single  
6 domain of the parent not thinking the kids is  
7 doing well is the kind of flag and it actually  
8 can be driven down by a good support of  
9 interventions of a broad -- you know, of many  
10 different scores.

11 CO-CHAIR HOMER: So there is a  
12 category in here that says meaningful  
13 differences. So if this were to be used as a  
14 performance measure, presumably, you would  
15 want to be able to say Program A is different  
16 than Program B or State A is different than  
17 State B.

18 It says you hadn't explored that,  
19 although you do have, you know, mean and  
20 standard deviation measures. Have you really  
21 not explored? In the various studies you have  
22 done, have you looked at how this can be used



1 to compare performance across programs or  
2 sites or things? I was surprised to see --

3 DR. MURPHY: You mean as an  
4 outcome measure?

5 CO-CHAIR HOMER: Yes, yes.

6 DR. MURPHY: You know, you're  
7 looking at the PSC Research Team.

8 CO-CHAIR HOMER: Okay.

9 DR. MURPHY: I'll give you that.  
10 I took a Greyhound bus down here. We don't  
11 have drug company funding. So, you know, we  
12 do --

13 CO-CHAIR HOMER: I hope the Bolt  
14 bus, you know.

15 DR. MURPHY: Yes, right.

16 CO-CHAIR HOMER: It's a little  
17 better.

18 DR. MURPHY: Right. Anyway so,  
19 you know, there are whole areas of this that  
20 we haven't explored. You know, when Chile  
21 starts to use it, we sort of shift our  
22 attention to working with them and the

1 standardized test scores. So some of these  
2 population-based things are really new to me  
3 and I have very little familiarity with some  
4 of the concepts.

5 CO-CHAIR HOMER: Okay.

6 MEMBER JENKINS: Wouldn't the  
7 proposed use of this quality though in that  
8 you are using it to evaluate an intervention?  
9 It could be the interventions Donna is talking  
10 about or within your clinic.

11 CO-CHAIR HOMER: Yes, please.

12 DR. WINKLER: One question. I'm  
13 just looking at the information as submitted.  
14 The way the numerator is stated, it just  
15 describes the survey.

16 DR. MURPHY: Yes.

17 DR. WINKLER: So I'm not sure, how  
18 do I count that numerator?

19 DR. MURPHY: How do you score it?

20 DR. WINKLER: For this measure and  
21 then the denominator is, you know, all  
22 children, I guess, and I'm assuming so --

1 DR. MURPHY: Yes, that's --

2 DR. WINKLER: -- all children in a  
3 population, I assume, are eligible. So is  
4 this the result, the percentage of children  
5 for whom their parents filled out a survey or  
6 is it the percent of children for whom -- that  
7 had the survey done and it was abnormal or  
8 normal or something?

9 This is what I'm unclear about.  
10 And I don't find the --

11 DR. MURPHY: I agree.

12 DR. WINKLER: -- specifications to  
13 be particularly precise. I can certainly see  
14 where from this tool, using this tool, which  
15 seems to be a well-validated at the individual  
16 patient level and has a lot of support, but  
17 using it to understand more about the quality  
18 of care delivered of pre- and post- could be  
19 another type of specification, but that isn't  
20 what is given to us.

21 So I'm trying to get a handle on  
22 what you are proposing is the exact

1 specification. What is it we are going to  
2 compare from, you know, about providers? What  
3 information about the quality of care do we  
4 hope to obtain from this measure?

5 DR. MURPHY: You know, I think  
6 it's a great question. And I think one  
7 problem comes in on two different. I think  
8 you said yourself, you know, the individual  
9 case level is one. On the macro level, one of  
10 the things that is hard to put into words is  
11 that just whether -- I think Tom said this.

12 Whether a screen was given, you  
13 know, in pediatrics. You know, the reason it  
14 has been pushed nationally is that there is a  
15 requirement to use standardized tools to  
16 screen for psychosocial problems in  
17 pediatrics.

18 CO-CHAIR HOMER: Sure.

19 DR. MURPHY: So yes, no. Was the  
20 screening given? What Massachusetts does is  
21 the bill. They have actually tied it to a  
22 billing mechanism, a billing code. You know,

1 did they -- they gave the screen. They billed  
2 for it. And we know what happened.

3 So at that level, I think, we have  
4 done a lot more or we're doing a lot more.

5 CO-CHAIR HOMER: But you are  
6 proposing this as an outcome measure. So as  
7 an outcome measure, I mean, as you heard  
8 earlier in conversation, we will have  
9 opportunity as a Committee to review process  
10 measures and this same set could be a very  
11 good one.

12 But you are proposing it also as  
13 an outcome measure. So how would -- what  
14 would the -- you know, what would that number  
15 be?

16 DR. MURPHY: Well, again, I think  
17 as Tom said, I mean, one idea is the PSC  
18 positive rate going down.

19 CO-CHAIR HOMER: So I'm going to  
20 be like --

21 DR. MURPHY: The mean is going  
22 down.

1 CO-CHAIR HOMER: I'm going to be a  
2 little obnoxious. For us to approve it, we  
3 have to actually have specification. So it  
4 has to be different than we could consider.  
5 It actually has to be the numerator is either  
6 proportion positive, you know, number of  
7 children who are positive and positive is  
8 above the cutoff of yada, yada or the mean  
9 score, you know.

10 But we actually have to have like  
11 written out specifications of numerators and  
12 denominators, so that if Colorado wants to  
13 apply it, they will apply it in the same way  
14 that Massachusetts applies it. So I mean, I  
15 think we are just at the limit of our -- we  
16 know that mean scores go down.

17 You know, at Mass General we got  
18 in the treatment plan updates that we did.  
19 Did the PSC score go down one point in the  
20 last quarter? So is that the gold standard  
21 number? I don't know, but that's what we are  
22 -- so we are just starting to do that.

1                   So we know that mean scores go  
2                   down. So Marina's was resubmitted as a  
3                   process. I guess I'm -- my quick take jumping  
4                   quickly to my global judgment is not quite  
5                   ready, that is the concept is totally right.  
6                   We're really excited about the measure, but  
7                   you haven't presented sufficient  
8                   specifications for us to make a judgment about  
9                   whether it is actually a performance measure  
10                  or not that can be used.

11                  But that's my quick basis, because  
12                  there aren't specifications. That might be  
13                  jumping the gun.

14                  MEMBER JENKINS: Well, I was going  
15                  to say that this is all why I asked my first  
16                  question.

17                  CO-CHAIR HOMER: Yes.

18                  MEMBER JENKINS: And I guess what  
19                  I am hearing is that now that we have  
20                  clarified the question, you may be able to  
21                  recast this as an outcome measure. Perhaps  
22                  not for the full endorsement, but that time

1 limited where you have 12 months to finish up  
2 and fill in the holes of test, retest or  
3 whatever is left.

4 I'm thinking it is possible that,  
5 Charlie, it's not there, it's just not crafted  
6 that way. Obviously, the use of it as a  
7 process measure is easier and I would totally  
8 suggest we put that forward to Part B of this  
9 discussion.

10 CO-CHAIR HOMER: Yes.

11 MEMBER JENKINS: Because as a  
12 process measure, it's easier.

13 CO-CHAIR HOMER: It's easier, yes.

14 MS. BOSSLEY: This is Heidi. I  
15 mean, you can put that as a condition with  
16 your recommendation and they can bring back  
17 something and you will look at it again. So  
18 this isn't the last time you could see it. We  
19 could ask for a little bit more recrafting, a  
20 little more rework and bring it back to you.  
21 If again, you don't feel that it is quite  
22 ready, then you can say that or you can say



1 it's time limited or whatever, all of that.

2 But it sounds like you would like  
3 maybe possibly to entertain looking at this  
4 again, so we can ask Dr. Murphy to go back and  
5 do a little reworking on it, if you would  
6 like.

7 CO-CHAIR HOMER: Yes, I was just  
8 not seeing another meeting as an outcomes  
9 meeting.

10 MS. BOSSLEY: We will give you a  
11 call, if you need it.

12 CO-CHAIR HOMER: Okay.

13 MS. BOSSLEY: We won't give you a  
14 meeting.

15 CO-CHAIR HOMER: So that's very  
16 helpful. So I think actually can I move us  
17 almost towards our vote on this or a  
18 recommendation?

19 MS. McELVEEN: That's fine.

20 CO-CHAIR HOMER: Which is -- and  
21 what I think I hear in the recommendation is  
22 that, Michael that you have come back, you

1 have revised this measure and come back with  
2 more detailed specifications for how this  
3 could be used as an outcome measure.

4 I would suggest that rather than  
5 approving with a conditional approval, because  
6 in part, NQF is sort of trying to move away  
7 from these conditional approvals.

8 MS. BOSSLEY: But I mean, I think  
9 what you can do is table it, the discussion  
10 for now.

11 CO-CHAIR HOMER: Yes, exactly.

12 MS. BOSSLEY: Yes.

13 CO-CHAIR HOMER: Table it, but,  
14 please, come back with more detailed  
15 specifications of how this could be used as an  
16 outcome measure.

17 MEMBER FISHER: Could I ask a  
18 question?

19 CO-CHAIR HOMER: Please.

20 MEMBER FISHER: In the usability -  
21 -

22 COURT REPORTER: Can you turn your

1 mike on, please?

2 MEMBER FISHER: Oh, sorry. In the  
3 usability, it says data has been reported to  
4 a court monitor, so are a matter of public  
5 record, but not yet published.

6 CO-CHAIR HOMER: I hear you.

7 MEMBER FISHER: So you use this  
8 data and then you give it to the --

9 CO-CHAIR HOMER: Well, in  
10 Massachusetts there is a legal settlement  
11 called the Rosie D case.

12 MEMBER FISHER: Oh, okay.

13 CO-CHAIR HOMER: Which the state  
14 was sued for providing inadequate mental  
15 health to children. And as part of the  
16 consent agreement --

17 MEMBER FISHER: Yes.

18 CO-CHAIR HOMER: -- this is part  
19 of the consent agreement.

20 MEMBER FISHER: Okay.

21 CO-CHAIR HOMER: Which is that  
22 every pediatrician has to screen. The

1 agreement was that every pediatrician has to  
2 screen for mental health. It turns out that  
3 they selected the PSC as one of the  
4 instruments.

5 MEMBER FISHER: Oh, okay.

6 CO-CHAIR HOMER: So it's under a  
7 court order.

8 MEMBER FISHER: Okay. Thank you.

9 CO-CHAIR HOMER: But more as a  
10 process measure that they screen and that's  
11 how much they improved.

12 MEMBER FISHER: Thank you.

13 CO-CHAIR HOMER: We're very  
14 provincial in Massachusetts. We think  
15 everybody does everything like us.

16 MS. McELVEEN: I just wanted to  
17 clarify. Are there any other conditions that  
18 you think would be important to be included to  
19 find feedback for the developer? I know  
20 Charlie specified it pretty clearly, but I  
21 just want to make sure everyone --

22 MEMBER SCHWALENSTOCKER: I think

1       it would be helpful, and certainly there has  
2       been some discussion around there, if you do  
3       have data about, you know, what you have found  
4       in terms of significant differences pre- and  
5       post-, I think, that would be really helpful.  
6       Recognizing it is early in its use for that.

7                 DR. MURPHY: Clearly, we have to  
8       go to school and get people that know how to  
9       do this and look at our data.

10                MEMBER ZIMA: Well, I also would  
11       like to think more about this discussion when  
12       we talk about future steps, because this is  
13       kind of the state of the art. And I think  
14       what would be really interesting is, you have  
15       such a heterogeneous symptoms, you know, and  
16       some functioning and it is a little like the  
17       CIS as well.

18                DR. MURPHY: Yes.

19                MEMBER ZIMA: You know, and so  
20       what would be very interesting is the Columbia  
21       Impairment Scale, which is a 13 item developed  
22       by Columbia, and it too kind of combines

1 symptoms and function together.

2 And so it could be very  
3 interesting like with your Chile population,  
4 like where you have an adequate sample size  
5 that we can maybe begin to tease out, you  
6 know, the different domains within this.  
7 Because I think eventually we have got to get  
8 to the point where we are going to be matching  
9 symptoms to recommended treatment. And we are  
10 not there yet.

11 But I think that's something  
12 perhaps again we should say best wishes, good  
13 luck, good cause and how can we continue this  
14 discussion as we think about what the future  
15 steps should be around developing quality  
16 measures for child mental health.

17 DR. MURPHY: Yes, that's a great  
18 question. I mean, that's exactly the work we  
19 are doing now looking at items and clusters  
20 and what changes with intervention. Yes.

21 CO-CHAIR HOMER: So, general  
22 agreement that we can table this with strong

1 encouragement to come back with additional  
2 specifications? Terrific. Thank you. Again,  
3 wonderful conversation.

4 So, Christy, are you still on the  
5 line? I'm sorry, was that a yes? I couldn't  
6 hear. Christy Bethell?

7 DR. BETHELL: Okay. You know, I  
8 got cut off. Actually, believe it or not, it  
9 took me about a half hour to get on the call  
10 this morning.

11 CO-CHAIR HOMER: Oh.

12 DR. BETHELL: I kept getting on  
13 and off and I just got off and I'm back on  
14 again.

15 CO-CHAIR HOMER: Okay. So while  
16 we have you, and I apologize on behalf of our  
17 Committee for hassles you had in joining the  
18 call.

19 DR. BETHELL: Yes.

20 CO-CHAIR HOMER: We had started a  
21 conversation about Measure 34, which is what  
22 we are calling Measure 34, the National Survey

1 of Children with Special Health Care Needs  
2 Quality Measures, which included 15 specific  
3 quality measures under the broad rubric of the  
4 survey.

5 There were some initial questions,  
6 which were just about survey methodology, you  
7 know, response mechanism completion rate, you  
8 know, response rate, completion rate.

9 DR. BETHELL: Yes.

10 CO-CHAIR HOMER: And I just  
11 wondered if you could maybe quickly comment on  
12 those first?

13 DR. BETHELL: Yes.

14 CO-CHAIR HOMER: And --

15 DR. BETHELL: And just as a  
16 caveat, I mean, obviously, with the time that  
17 we had, there is only so much you can provide.  
18 But also so you know, there are incredibly in  
19 depth, hundreds of pages, manual on sampling  
20 and data collection and scoring of everything  
21 that you see.

22 So it was a little but unclear



1        what to provide, so maybe we can, you know,  
2        see what we can do now and then just know  
3        there is more. So we want to serve it up as  
4        it is needed or wanted and in a form that you  
5        can -- that you want to have it in, which is,  
6        of course, always a challenge.

7                    The National Survey of Children  
8        with Special Health Care Needs is a rate and  
9        digit style survey feeding off of the sampling  
10       frame for the National Immunization Survey.  
11       And it is done in a way that yields  
12       representative samples at the state and  
13       national level.

14                   And then the reading is done to  
15       account -- have that be -- all the estimates  
16       be representative of children living in each  
17       state and the nation and also adjusting for  
18       non-response to bias, which is mostly people  
19       without telephones, and after being called 20  
20       times, so there is a lot of detailed  
21       information about response rate.

22                   But depending on how you score it,

1 and there are different ways people score  
2 response rate. It is anywhere from 58 to 61,  
3 I think, percent.

4 CO-CHAIR HOMER: Which again, I  
5 think, in the current year is a pretty high  
6 response rate. So --

7 DR. BETHELL: Yes, but not only  
8 that, there is a lot of adjustments made and  
9 there has been a lot of analyses done to see  
10 whether or not we are really missing groups of  
11 people who don't have phones or who have only  
12 cell phones. And this is an ongoing  
13 discussion with a lot of energy being put in  
14 to try and optimize the sample and otherwise  
15 adjust on the back end for non-response.

16 CO-CHAIR HOMER: That's --

17 DR. BETHELL: There's a lot more  
18 to say about that though, so that's a short  
19 answer.

20 CO-CHAIR HOMER: I think that's  
21 very helpful. Nancy, you, in particular,  
22 raised questions about the sampling issues

1 response rate. Do you feel like your  
2 responses are sufficiently addressed by that  
3 or do you have any further questions around  
4 sort of just the general survey methodology  
5 and response rate and completion and that?  
6 Because there were sort of broad questions  
7 about that survey, since many people on the  
8 Committee aren't familiar with its use.

9 Christy, there was also some  
10 discussion and I know you could talk a long  
11 time about this, but I think you can also  
12 present it concisely, just about the screener  
13 and the coherence of that and the issues  
14 involved of having such a diverse set of  
15 indicators for children with special health  
16 care needs. And maybe a brief comment on the  
17 rationale or the experience or how coherent  
18 that set of children ends up being.

19 DR. BETHELL: Yes. Well, I mean,  
20 if you know, Charlie, the three states on that  
21 page, the definition of children's health  
22 minus the average group, we're trying to

1 actually identify children who currently have  
2 a special health care need, pretty much  
3 defined as having an ongoing condition, at  
4 least one, most of them have two or more. And  
5 that that condition has resulted in above  
6 routine need for health service of a type or  
7 amount that is required by children generally.

8 And the screener again, I know,  
9 Charlie, you will remember this, started out  
10 with about 139 concepts defining consequences  
11 and needs that children with current  
12 conditions have and then through psychometric  
13 testing and medical chart review and  
14 administrative data reviews and comparison,  
15 basically, identifies the five things that all  
16 of them have, all of the children that we want  
17 to include have.

18 So it's not a needs assessment of  
19 everything children need. They share a lot in  
20 common. They are distinctly different from  
21 children who do not meet the screener in every  
22 way that we have seen that pattern and we have

1 a million pieces of data and we always  
2 reanalyze it.

3           Having said that, there is  
4 diversity, even if you have a group of  
5 children without and they follow along a  
6 continuum of need and functioning and other  
7 characteristics and consequences now, hereto,  
8 no different than any single health condition.  
9 And so what we have done is we have created a  
10 way to stratify even the screener for how  
11 children meet the screener to get some  
12 complexity of need and complexity of severity.

13           And so there are several papers  
14 published on that. There is a new paper out  
15 by Adam Carle psychometrics independently  
16 done. So we have a number of papers that we  
17 can present about a variety of issues, whether  
18 it is, you know, how the screener holds  
19 together or who the kids are that are  
20 represented, why they are different from  
21 children who don't meet the screener, what  
22 about missing cases that we would want to

1 include and so on.

2           So if you have more specific  
3 questions, I could come right in and tell you.  
4 But, yes, there is a range of children  
5 represented, but all of them share the  
6 experience of having an ongoing condition and  
7 experiencing consequences of above routine  
8 need or use of services.

9           CO-CHAIR HOMER: That's very  
10 helpful and very concise and I appreciate  
11 that, Christy. Bonnie or anyone else with  
12 sort of questions about the screener per se  
13 and the population that is then reflected in  
14 the survey? And again, its importance or  
15 relevance.

16           Okay. I think another set of  
17 questions then and really where we were kind  
18 of getting a little bollocksed up was the  
19 actual quality indicators themselves.

20           Because one, I guess, one option  
21 would have been to submit each of those as  
22 separate measures, but you submitted them.

1 And again I know time was short and I'm not  
2 sure what other conversations happened in the  
3 background.

4 DR. BETHELL: It was really short.  
5 At the time, when there is a lot of other  
6 things, I think, I was at your meeting on  
7 child measures when they were reviewed. The  
8 college had come out a few days before, so it  
9 was short.

10 But, basically, this is data that  
11 your -- these measures that you see before you  
12 already have data collected on them. And  
13 there is plans to collect that data on a  
14 routine basis to provide data at a population  
15 level, which I understand is an NQF category  
16 or unit of analysis, so they are valuable now  
17 for purposes of looking at state and sub-state  
18 data. Sub-state meaning sub-groups of  
19 children within states.

20 So with that frame, it's not like  
21 we are screening a measure to obtain any --  
22 this is to collect data. This is data that

1 exists that could be enforced, if you will, by  
2 NQF as measures that are meaningful for some  
3 of the categories and unit analysis of  
4 measurement that are priority.

5 So that's one reason to put it  
6 together as a group.

7 Having said that, anybody could  
8 take any single piece of it, like the medical  
9 home module, obviously collecting the  
10 variables that are needed and really just  
11 collect the pieces and call it a measure.

12 Usually when people do a survey,  
13 they try to get the biggest things for the  
14 buck and being able to get a wide range of  
15 information about health, health risks,  
16 analytic variables and risk factors along with  
17 quality measures at the same time.

18 So typically, people take a survey  
19 and give you 15 different measures. Having  
20 said that though, anybody could take it and  
21 just collect the medical home module. You  
22 know, with all the requisite variables that



1 are needed to stratify.

2 But that was the rationale for the  
3 group also because we couldn't unbundle them.  
4 We had a whole website that takes them one by  
5 one and gets the numerator, the denominator  
6 and we just submitted that. But I don't think  
7 it has been reviewed. But I'm not sure what  
8 the format you would want, because it would be  
9 tenable for you, you know, to review.

10 CO-CHAIR HOMER: We are not sure  
11 either, but so we are just working it out  
12 today.

13 DR. BETHELL: But we have a lot,  
14 and then I think that they do vary, the  
15 measures that are within there, in terms of  
16 where they come from. Obviously, the screener  
17 was validated and adopted into the survey  
18 before the survey was placed. The medical  
19 home measure was a specific year long  
20 measurement development and testing for more  
21 than a year, but formally a year.

22 Some of the other ones are items

1 that come from other surveys. Some of them  
2 were developed and tested cognitively and then  
3 subsequently people have published on most of  
4 the measures in a way that has been through  
5 pretty extensive purity process where there  
6 just had to be demonstration of IMs are all  
7 that they say they are. And there is a number  
8 of different ways to conduct the validity  
9 question as well.

10 CO-CHAIR HOMER: Okay. Kathy?

11 MEMBER JENKINS: Could I just ask  
12 my same question that I asked the last measure  
13 developer related to this list in terms of the  
14 use of this information as an outcome measure  
15 as opposed to structural process? Because I'm  
16 not going to what Dr. McInerny said before and  
17 I agree with him. And to me, the list is  
18 variable in that regard.

19 DR. BETHELL: Well, it wasn't  
20 clear to me actually with the call to  
21 measures. I agree with you. What the -- like  
22 with the medical home, what if -- it just

1 wasn't clear to me what that would be  
2 categorized as, if we were generous and  
3 included things.

4 CO-CHAIR HOMER: In other words,  
5 you think this is a mixture of process and  
6 outcome measures?

7 MEMBER JENKINS: As an example,  
8 the one that says proportion who are screened  
9 early and continuously. I assume that since  
10 you have put it forward, you are regarding  
11 variation or cross-set as an outcome measure?

12 DR. BETHELL: You know, again, the  
13 call for measures didn't make it clear to me  
14 where you were. You know, there is a  
15 continuum of what people think of as outcomes.  
16 So if you are speaking health outcomes, then  
17 there is a subset within there. And it's very  
18 tricky to get at health outcomes, but there  
19 are some that are in there like how much the  
20 child is affected.

21 I don't have the list in front of  
22 me, so if you could maybe call one out in

1 specific, I could address that.

2 MEMBER JENKINS: I guess my  
3 general question is, have you developed any of  
4 the evidence that the individual items are  
5 linked to child health outcomes or predictive  
6 of child health outcomes? In a formal way, as  
7 opposed to just on the face of it.

8 DR. BETHELL: Right. Well, keep  
9 in mind that the National Survey is, and this  
10 would be true for state level, a cross-  
11 sectional survey. And so the validation is  
12 really internal to the sampling frame that is  
13 there.

14 And, yes, there are all these  
15 associations you would expect to show up that  
16 children with certain levels of system  
17 performance, if you will, whether it is  
18 inadequate insurance or having a medical home,  
19 vary as expected on the other more outcomes-  
20 oriented frames, adjusting for all other  
21 things that you might want to adjust for that  
22 also might contribute to variations in those

1 outcomes.

2 So that kind of data does exist.

3 And then there are separate studies where  
4 people have used pieces of the survey in  
5 independent studies with independent data  
6 collection where some of that also comes out.  
7 So I think all of that together would be a  
8 task, and I think the question was if there is  
9 enough interest to justify moving forward with  
10 that level of work.

11 CO-CHAIR HOMER: I think actually  
12 we may need to go one-by-one to actually  
13 answer some of those questions, if that's not  
14 too painful, I mean.

15 DR. BETHELL: Yes, it's not too  
16 painful, but it's an extensive process and  
17 that's why I was not clear how we were going  
18 to really proceed with this.

19 CO-CHAIR HOMER: No, but I was  
20 even thinking as a Committee task right now.  
21 I mean, I could say, for example, your first--  
22 I appreciate you don't have the list in front

1 of you.

2 So the first one was the effect of  
3 a child's conditions on their daily life. So  
4 presumably, that's an outcome measure. That  
5 is, there is some indicator of, again, I don't  
6 know what the response categories are and what  
7 that actually means to say, affect on their  
8 daily life, but I'm sure that's something like  
9 impaired or not impaired or interferes or  
10 doesn't interfere or something along those  
11 lines?

12 DR. BETHELL: You know, this may  
13 sound really wild, but because the Committee  
14 is needing this information, it actually is  
15 all up on our website. Like if you went to  
16 the website and clicked on that list over  
17 there, there is a box that pops up that is  
18 numerator/ denominator, if you want to see the  
19 exact questions that are in it, you just click  
20 and they come up.

21 And I am not sure how to be more  
22 efficient than that without giving you a

1 binder that is like 3 inches thick. You know  
2 what I mean?

3 CO-CHAIR HOMER: Yes.

4 DR. BETHELL: So that's just an  
5 idea.

6 CO-CHAIR HOMER: So I can get that  
7 up for our team. It's cshcndata.org, right?

8 DR. BETHELL: Yes. And so you go  
9 to the actual measures. There is a detailed  
10 box at the end that you click on that pops up  
11 a pop-up box and then in that there is  
12 additional things that you can click on.

13 And we have not summarized all the  
14 articles that have been published on these  
15 different measures that are showing. And we  
16 haven't -- I wasn't clear what the context  
17 that you most want to see them for, purposes  
18 of, because you have the population health  
19 area now. It seems to me that they are most  
20 relevant to that, where you are not  
21 necessarily trying to pin down the association  
22 with the delivery system each child is

1 associated with, but rather looking at  
2 population health.

3 So I think that that's the easiest  
4 context in which to endorse these measures, if  
5 you will. Stratification can occur by type of  
6 insurance, by all kinds of other variables,  
7 but it was not going to link it up to a health  
8 plan or something like that, so that's not the  
9 model that would be appropriate to view these  
10 measures through, at this time, unless the  
11 survey will recommend it to be applied, as a  
12 unit of analysis, you know, it's reasonable,  
13 but would be a different specification  
14 altogether in terms of handling and risk  
15 adjustment.

16 CO-CHAIR HOMER: So we are  
17 actually getting your survey up on the screen  
18 here as we go, and so we should presumably  
19 click on the 2005/2006 National Survey, right,  
20 of CSHCN?

21 DR. BETHELL: Yes. And while you  
22 are doing that, Charlie --



1 CO-CHAIR HOMER: Yes?

2 DR. BETHELL: -- I would just say  
3 from a context point of view, all of the  
4 survey -- the survey is designed with the  
5 close involvement of a technical expert panel  
6 sponsored by the Maternal and Child Health  
7 Bureau.

8 CO-CHAIR HOMER: Okay.

9 DR. BETHELL: And various tests  
10 along the way either through our organization  
11 or another organization, often the CDC or the  
12 National Center for Health Statistics. So  
13 before items and measures are on the pinnacle  
14 at all, they go through that process.

15 Not unlike a group like you all, I  
16 mean, in terms of the concept of a technical  
17 expert panel. So if that gives you any  
18 comfort, I want to say you should do that.

19 CO-CHAIR HOMER: We are having a  
20 little trouble getting very quickly to your  
21 numerator/denominator questions. Can you  
22 quickly --

1 DR. BETHELL: Yes. Well, if you  
2 go to the measure, I can presume that you know  
3 how to do that. We're redesigning the site  
4 right now to get a very simple way, but if you  
5 go to the core outcomes, key indicators and  
6 core outcomes and the chartbook measures?

7 CO-CHAIR HOMER: Yes.

8 DR. BETHELL: Yes. And then there  
9 should be a category that you will see,  
10 consistently in affecting children's life, for  
11 example. You should find that measure on the  
12 list.

13 CO-CHAIR HOMER: No, I'm sorry,  
14 I'm going in parallel to the screen which is  
15 not a good thing to be doing, so I should  
16 be --

17 DR. BETHELL: Okay. I wish I was  
18 there to help you. Well, anyway, at the end  
19 of the -- you find the measure and then at the  
20 very end of it, there is a little, I think it  
21 is a globe in parenthesis, it's the word  
22 details. And if you click on that, the pop-up

1 box comes up that basically walks you through  
2 the numerator and the denominator.

3 And keeping in mind that the  
4 denominator is all children for whom this  
5 question was asked at a population basis. And  
6 it is representative of the population of each  
7 state. That's the question actually that is  
8 about all children who qualify as having  
9 special health care needs.

10 So there isn't any exclusion  
11 criteria for that one. Care coordination,  
12 there are exclusion criteria, for example. So  
13 that would be -- that is made as clear as  
14 possible in a summary way in that pop-up box.  
15 And then if you want to see the actual items  
16 that are asked, they are highlighted and you  
17 click on them and then it comes up.

18 But this is one of the simplest  
19 ways to be able to figure out how to run  
20 people without into it literally providing a  
21 hard copy document that is -- that's also  
22 possible though.

1 CO-CHAIR HOMER: But I just don't  
2 see -- while the information is all here and  
3 wonderful, it's probably not an efficient use  
4 of the Committee's time for me to be working  
5 through it. I don't know, Committee, what do  
6 you think here?

7 DR. BETHELL: We talked with Tom  
8 about this and I think that there is a lot  
9 here and it wasn't clear to me exactly how you  
10 would want it. And also the time is not  
11 sufficient or, you know, I wouldn't want to  
12 spend so much time putting it in a format if  
13 that wasn't the one you wanted. And so maybe  
14 this discussion can be, is there interest in  
15 looking at the National Survey, the data  
16 produced for the measures they have produced  
17 at a population health level.

18 And if so, what would you want,  
19 you know, or how would you want to know it?

20 CO-CHAIR HOMER: Kathy?

21 MEMBER JENKINS: It's just going  
22 to be a recurring thing for me. I think that

1 the survey itself is, you know, wonderfully  
2 developed and rich with information.

3 The question at hand is about  
4 child health outcome measures and they have to  
5 be well-specified and that's what we need to  
6 evaluate. We just need to see the information  
7 in sufficient detail that we can do that.

8 I would be willing to allow this  
9 issue, definitional issue, about when  
10 something that may look to others like a  
11 process or structural measure can, in fact, be  
12 construed as an outcome measure, but I would  
13 like to hear the steward articulate the  
14 rationale for that, so that we could all be  
15 sure that we understand that.

16 DR. BETHELL: Well, you know, I  
17 actually would want to hear more from your  
18 guys' angle, because it wasn't clear in the  
19 call for measures where you went down that  
20 concept. So that's why there are some things  
21 in there that I would consider to be -- you  
22 know, it depends on what outcome you are

1 talking about: intermediate outcome, long term  
2 outcome, or system outcome, like having, you  
3 know, experience with the medical home, some  
4 people call that an outcome, some people would  
5 call that a process. So at least it would be  
6 great for us to hear about that.

7 But again, if you are doing to do  
8 a survey or if the survey data already exists  
9 that you want to stick in, the CSHCN survey is  
10 completing good administration, so that you  
11 have nine tenths right now, it's not like you  
12 go out and just collect data on one piece of  
13 it. It's creates a picture of performance for  
14 a population of children across process and  
15 outcomes and so that's one of the reasons for  
16 presenting it as a holistic survey.

17 CO-CHAIR HOMER: Right. So again,  
18 Christy, we had discussion earlier on the  
19 analogy to CAHPS, so, you know, CAHPS is  
20 approved as a tool. And there are a variety  
21 of measures that came out of that. And again,  
22 I think this Committee is comfortable with the

1 validity of this as, you know, a high quality  
2 survey.

3 I think the question that we are  
4 still wrestling with, and again, the question  
5 we are still wrestling with, is the utility  
6 validity -- the validity and utility of some  
7 of the specific measures that are derived from  
8 this.

9 And then secondarily, whether some  
10 of these are best considered processes or  
11 outcomes. What I think is, we can quickly go  
12 through the list that you gave and figure out  
13 which ones are no-brainer outcomes. Like, you  
14 know, missing school or impact on function and  
15 things like that.

16 And the staff, maybe during lunch,  
17 maybe during some other time, we, can pull the  
18 specifications or maybe we will have to have  
19 a conference call or put some of this off  
20 until tomorrow, we can pull the specifications  
21 from that from the survey from your website  
22 pretty easily, we just haven't done that yet.

1                   So I think that's one thing that  
2 we need to do. We can go through this list  
3 and, like I said, some of them are clearly  
4 outcome measures. There are some that are on  
5 the fence, like having a medical home.

6                   And again, I think those are  
7 difficult. I'm not sure how much usefulness  
8 it is for us to really spend a lot of time.

9                   DR. WINKLER: Charlie, can I just  
10 step in?

11                  CO-CHAIR HOMER: Yes.

12                  DR. WINKLER: Christy, it's Reva  
13 Winkler from NQF. Just in other aspects of  
14 the outcomes project, we have looked at other  
15 measures that are composite measures that have  
16 been a mix of process and outcome measures,  
17 because it had an element of the outcome  
18 measure, it was included.

19                  So I don't know that we need to be  
20 quite so black and white. I think the issue  
21 around this is the question of this measure,  
22 as defined and submitted, gives us 15 results.



1 And so I would consider it a multi-part  
2 measure, if you will.

3 There is no summary that would  
4 turn it into a single composite, so it's a  
5 multi-part measure. And I think the question  
6 for the Committee is is this a useful, meet  
7 all the criteria, measure, given it has 15  
8 parts to it? And look at it from that  
9 perspective.

10 The fact that it is a mixture of  
11 process and outcome measures, I don't think  
12 you need to spend a whole lot of time on.

13 CO-CHAIR HOMER: Okay.

14 DR. BETHELL: Yes, I would love to  
15 have the opportunity to come back again, too,  
16 because there is a composite version of the  
17 core outcome for CSHCN, but, you know, how  
18 many of the core outcome children have. And  
19 it's a system outcome performance measure in  
20 that regard.

21 It's really, you know, very  
22 minimal. The bar is very low in terms of

1       these measures.  These are, if anything,  
2       getting positive views of how things are going  
3       just by nature of the fact that they are part  
4       of the report and they can't be specified, you  
5       know, in really, really detailed ways.

6                       But when you have a composite,  
7       which is proportion of children meeting all  
8       five system criteria or 3Q-01, and that is  
9       very aligned with issues like having adequate  
10      insurance or other process measures and so on  
11      and so forth.

12                      So there are some ways to score  
13      them in a composite-like way, but I didn't put  
14      that forward because of time mostly and also  
15      because I wasn't sure what would be of  
16      interest.  But that is possible to do even  
17      more than what you are seeing and has been  
18      done.

19                      CO-CHAIR HOMER:  I see no way for  
20      us to not, basically, have staff and maybe  
21      even some Committee Members working with staff  
22      come back to you and try to get -- I know you

1 have the information and I know a lot of it is  
2 on the website. I think we are going to have  
3 to sort of boil this out, synthesize it, and  
4 come back.

5 DR. BETHELL: Yes.

6 CO-CHAIR HOMER: I can't think of  
7 any other way around it.

8 DR. BETHELL: Yes. I thought that  
9 would happen. I mean, that's sort of -- it  
10 was sort of a stretch, you know, to get it to  
11 you in the level of detail. I mean, it was a  
12 lot of unexpected, you know, and quick  
13 turnaround and we did our best. So with your  
14 conversation and feedback, we should be able  
15 to go to the next step.

16 CO-CHAIR HOMER: This is two in a  
17 row that I'm doing that on, so this may end up  
18 being unsatisfying. So what is --

19 DR. BETHELL: Yes.

20 CO-CHAIR HOMER: -- the  
21 Committee's --

22 DR. BETHELL: Well, that might be

1 reflective of the, you know, quickness and  
2 things. And it may just be a natural part of  
3 working out what you need.

4 DR. WINKLER: Charlie, as  
5 unsatisfying as it might be, if you are not in  
6 a position right now to recommend the measure,  
7 then Plan B is a definite alternative.

8 CO-CHAIR HOMER: Because I mean, I  
9 think, my sense is actually the work group  
10 that reviewed this did not feel comfortable  
11 enough with what they saw to go forward with  
12 it, and I think that's more because the  
13 supporting information simply isn't there and  
14 unfamiliarity with some of the details of the  
15 measure.

16 So I would, rather than have sort  
17 of an up or down vote on kind of what we have  
18 seen now, we do spend the time and go through  
19 that and bring it back.

20 MEMBER PERSAUD: And can I ask in  
21 the sort of the way we're looking at the data  
22 that we do get to look at the composite

1 measure?

2 DR. BETHELL: Yes.

3 CO-CHAIR HOMER: Sure.

4 MEMBER PERSAUD: The details of  
5 that, I would really like to see that.

6 CO-CHAIR HOMER: Okay.

7 DR. BETHELL: Great.

8 MEMBER ZIMA: I would have to say  
9 that these last two we put in promising  
10 practices, you know.

11 CO-CHAIR HOMER: With the  
12 difference between the last one is on this  
13 one, there are specifications, we just haven't  
14 teased them out. So they exist. This has  
15 been used for comparative analysis before.  
16 There is actually a website they even go to  
17 compare Alaska to Montana, if you want on sort  
18 of any one of these metrics and whether there  
19 are significant changes over time, et cetera.  
20 So I think the difference between  
21 this one and the last one is the last group,  
22 great concept is being used for a variety of

1 things, but really hasn't been specified in  
2 frames that we could use. This one has been  
3 specified, but hasn't been presented to us in  
4 a way that we can synthesize.

5 MEMBER PARTRIDGE: I have just a  
6 quick question for Marina. This is Lee,  
7 Christy. You have got a measure with 15 parts  
8 is what we are talking about here, I think  
9 that was how you described it.

10 As we consider some of the 15  
11 parts and will we end up voting? No. I'm  
12 trying to figure out if we say we like this,  
13 but we really don't like Question 714 and 3,  
14 what have we done?

15 DR. WINKLER: This is Reva,  
16 Christy. Essentially, since it was submitted  
17 as a multi-part measure, it's an all or none  
18 from that perspective. However, it could be  
19 conditional with removing 6, 12, 13 or  
20 whatever, but I don't know if that's something  
21 that is, you know, amenable.

22 So it would be part of this

1 discussion back and forth. But given it was  
2 submitted as a multi-part measure, that's what  
3 you are looking at.

4 MEMBER PARTRIDGE: Right.

5 CO-CHAIR HOMER: Tom?

6 MEMBER McINERNY: Tom McInerny.

7 You know, really, when you look at these  
8 measures, there may not be any correlation  
9 between the measures at all. And my argument  
10 would be we should make this 15 different  
11 measures, because someone could have a medical  
12 home and a usual source of care and insurance,  
13 but they may not have family-centered care or  
14 they may not have easy access because, you  
15 know, the practice is overwhelmed because they  
16 do such a good job.

17 I don't know. But so really the  
18 problem is you're going to, as you look at  
19 these, you're going to see some are going to  
20 be high, some are going to be low, some are  
21 going to be in between. And then I don't know  
22 how you put it all together. In my mind, it

1       might be better just to say make it clean,  
2       make it 15 different measures and just look at  
3       each one.

4                   MS. McELVEEN:   The other thing to  
5       keep in mind is they also submitted another  
6       large survey measure that is actually  
7       comprised of 22 individual measures.   And,  
8       Christy, you can correct me if I'm wrong, but  
9       from what I have gathered is that some of  
10      those smaller individual measures do overlap  
11      somewhat between the two surveys.

12                   And I think the one on care  
13      coordination may be a good example of that  
14      overlap.   So once we get to those other  
15      measures, which are all falling under Group 3,  
16      if I'm not mistaken, once the Committee kind  
17      of looks through each of those individual  
18      measures, you may find your ideas and outcomes  
19      or decisions may be a little different  
20      depending on that.

21                   And I also think that it is  
22      important that we look at this composite



1 measure that was not submitted, obviously, for  
2 the group, but it sounds like that would be  
3 valuable for the group to review and possibly  
4 as a component of the larger set of measures.

5 CO-CHAIR HOMER: Kathy?

6 MEMBER JENKINS: Maybe it would be  
7 useful to try to walk through the Committee  
8 process on those item measures because, in a  
9 sense, they have presented some of them from  
10 that framework, right? I think they have for  
11 whatever reason chosen those as probable  
12 outcome measures. And we may find ourselves  
13 able to approve those and it may also be  
14 useful to the group to figure out how to  
15 present the broader group in a way that would  
16 be helpful.

17 CO-CHAIR HOMER: So are you  
18 suggesting that we right now start moving  
19 through some of the individual measures within  
20 those 15?

21 MEMBER JENKINS: The ones they  
22 submitted, yes.

1 CO-CHAIR HOMER: No?

2 MEMBER JENKINS: The ones that  
3 were submitted.

4 CO-CHAIR HOMER: The separate  
5 ones?

6 MEMBER JENKINS: Yes.

7 CO-CHAIR HOMER: Okay, good.

8 MEMBER JENKINS: Yes.

9 MS. McELVEEN: In other words, the  
10 recommendation is tabled for this one.

11 CO-CHAIR HOMER: Okay.

12 MEMBER JENKINS: Right.

13 CO-CHAIR HOMER: Okay. So again,  
14 as a little bit with the previous one, the  
15 motion on the table, basically, is to table  
16 the broad consideration of, actually, both 33  
17 and 34, although we actually haven't reviewed  
18 33 in detail yet.

19 For us, for staff to work with  
20 Christy to come up with a clear presentation  
21 with additional background data for that and  
22 whether we -- clearly, the sense of the group

1 is we want to see the full composite measure.  
2 I think we want some reflection for decision,  
3 again, between the steward and the staff as to  
4 whether it comes back as separate items or  
5 whether it comes back as a multi-part item.

6 I think we are not making that  
7 judgment yet as a Committee. So, okay.

8 And then the next idea on the  
9 table is moving to some of the individual  
10 items.

11 MS. McELVEEN: Would 35 actually  
12 be the next one?

13 CO-CHAIR HOMER: Okay. So do you  
14 want to put up the summary of 35? 35 is  
15 Children who take medication for ADHD,  
16 emotional or behavioral issues. Anyone who  
17 was on that work group want to describe either  
18 their impressions or walk us through the  
19 assembled vote of the Committee, which is  
20 sitting up on the screen?

21 MEMBER SCHWALENSTOCKER: Charlie,  
22 I need to actually pull up the thing on my

1 computer, because I can't see the screen, but  
2 my initial take on this measure was, it seemed  
3 more like a process measure to me than an  
4 outcome measure. So with that brief comment,  
5 I will try to find my document here.

6 MEMBER ZIMA: Oh, we were a small  
7 sample size.

8 MEMBER SCHWALENSTOCKER: Yes.

9 MEMBER ZIMA: And psychiatry gets  
10 even smaller.

11 CO-CHAIR HOMER: Yes.

12 MEMBER ZIMA: But I think that my  
13 initial impression was not -- was lukewarm  
14 only because I looked again at the numerator  
15 details. And what I struggled with most was  
16 that medication is often indicated for ADHD,  
17 but it's not necessarily indicated for this  
18 other broad group, other emotional or  
19 behavioral issues.

20 And so I think again it kind of  
21 highlights taking this issue and putting it on  
22 sort of the next step, future steps, because

1       there is variation and a level of evidence for  
2       treatment for particular psychiatric  
3       disorders.

4                   And I think we are getting caught  
5       up in sort of a dynamic where initially we  
6       were describing mental health problems,  
7       psychosocial problems, you know, mental health  
8       problems, behavioral, emotional or behavioral,  
9       serious emotional behavioral disorders, but  
10      there is now a little bit of a trickling where  
11      maybe we can better specify diagnosis and link  
12      that to a particular recommended treatment.

13                   We are not there, but again when  
14      you lump this together, for me, it makes it  
15      very problematic to make any sort of  
16      assessment of whether that child got good  
17      care.

18                   MEMBER FISHER:  Don't you think --  
19      you said about the controversy is that looking  
20      at the age group, 2 to 17, you started talking  
21      about mental health problems and things.  You  
22      really are going to get into off-label use of

1 medications, and it is hard to second guess.  
2 And so, I mean, that really brings a  
3 controversy when people are trying to deal  
4 with us now what do you do with the children,  
5 say, at three that I know some that really  
6 needed medications, but you don't really want  
7 to put them in this group.

8 They may be getting good care, but  
9 that's just like you said, that is not where  
10 you want to go.

11 MEMBER PERSAUD: Yes, I mean, I'm  
12 really worried about having the 2 to 3 or 4  
13 year-olds mixed up in this group, because I  
14 think the literature is unclear about whether  
15 you should be really calling it ADD. And I  
16 think you are definitely at off-label use of  
17 meds. And I don't agree that medications for  
18 other emotional disorders should be in this  
19 group.

20 This group needs to be clean and I  
21 think the most latest discussions in the ICD-  
22 9, 10, ICD-10 about the elimination of bipolar

1 disorder that became a big group, that is  
2 another group that is going to be mixed up in  
3 here, that people are using medications on  
4 where that practice is really being looked at  
5 right now as to whether that is good practice.

6 MEMBER ZIMA: There is also one  
7 more moving target, I think, when we think  
8 about child mental health. And again, this is  
9 probably for tomorrow's discussion, and that  
10 is the changes in the DSM-V.

11 So you know, this debate about the  
12 age, well, they have thrown out the age of  
13 onset for the ADHD diagnosis. But again, I  
14 don't think the evidence is there for the  
15 younger child.

16 And so then if you are going to be  
17 talking about the controversy of bipolar  
18 disorder, then that's going to also again, I  
19 think, for future steps and probably for many  
20 years, start thinking about, well, how in the  
21 world did they get this dysregulation syndrome  
22 diagnosis that is being proposed?

1                   So just --

2                   MEMBER FISHER: I think there is  
3 also, when you bring that up, if you have a  
4 really clean -- and we are talking about ADHD,  
5 then that's an opportunity to look at to see  
6 about quality care in those kids, because  
7 there is some evidence that kids are being  
8 over-medicated.

9                   And so, you know, you're right.  
10 We need to look at one thing, make it really  
11 clean, define it, so we can do some quality  
12 improvement.

13                  MEMBER RAO: I just want to echo  
14 that a little bit. I mean, I think this is a  
15 measure that is very prone to abuse. I mean,  
16 there is lots of children with ADHD who  
17 probably don't need to be on medicine. So if  
18 this measure goes out and says well, 8 percent  
19 of your kids are treated and 92 percent are  
20 not, is that good or bad is really going to be  
21 difficult to say, at this point.

22                  MEMBER ZIMA: You know, it's



1 interesting, because the data might suggest  
2 sometimes it is over-medicated if you look at  
3 Medicaid claims data, but when you look at  
4 actual treatment adherence, it's incredibly  
5 low.

6 And so, I think, again this goes  
7 to sort of tomorrow's discussion, because  
8 there has to be much more emphasis on the  
9 family-centeredness and the parent preference  
10 for treatment around mental health problems  
11 and how that also changes over time for that  
12 parent and that child.

13 And so I think, you know, on the  
14 table again for maybe future steps is, how do  
15 we also integrate parent preference in  
16 treatment when thinking about scoring the  
17 indicator?

18 CO-CHAIR HOMER: So I think from a  
19 threshold perspective, I mean, no one could  
20 argue that the use of psychotropic drugs in  
21 children is an important issue and there are  
22 many controversial elements to it.

1                   But this measure, that is the  
2                   proportion of children with some form of  
3                   behavioral problem who are on medication, one  
4                   could really argue whether this meets  
5                   threshold criteria for importance, because the  
6                   meaning of the measure is so unclear.

7                   So I would actually propose a  
8                   quick threshold vote on this on the importance  
9                   criteria. And, Reva, would you call it,  
10                  please? Let me know if you think I'm  
11                  misinterpreting importance, but at least from  
12                  my perspective, I'm having a hard time lining  
13                  up this measure with any concerns people might  
14                  have, so I would like to say it doesn't meet  
15                  the criteria for importance and we could not  
16                  even get into the issues of feasibility and  
17                  usability and specifications and all that.

18                  CO-CHAIR WEISS: Maybe this is  
19                  just a different way to ask the same question,  
20                  but as a non-clinician, my question is if we  
21                  know the answer, what do we know? How do we  
22                  deal with it? I mean, maybe it's patient

1 adherence. So I guess I would second the  
2 comments that Charlie has made about maybe  
3 this doesn't meet the most fundamental of all  
4 of our thresholds.

5 MEMBER JENKINS: I just wondered  
6 if the measure developer wanted to respond  
7 before we voted, in case we missed something?

8 CO-CHAIR HOMER: Good. Christy,  
9 are you there? Is the phone still there?  
10 Marlene, are you still there?

11 MEMBER MILLER: I'm still here.

12 CO-CHAIR HOMER: Oh, good. Okay.  
13 Just wanted to make sure the phone was still  
14 working.

15 DR. WINKLER: Christy, said she  
16 would be in and out.

17 MEMBER JENKINS: Yes.

18 CO-CHAIR HOMER: So, okay.

19 MEMBER JENKINS: It doesn't  
20 matter.

21 CO-CHAIR HOMER: So anyhow, I  
22 would propose that we have a vote on

1 importance. All those who would vote that  
2 this is sufficiently -- and I'll do it on the  
3 positive this way. Let's say this is  
4 sufficiently important to go forward and that  
5 it meets the threshold criteria, raise your  
6 hand. Okay.

7 DR. WINKLER: Marlene?

8 MEMBER MILLER: Just to clarify,  
9 does this may mean that more information will  
10 be forthcoming then on the stuff we have  
11 talked about?

12 CO-CHAIR HOMER: This one, no. We  
13 are saying if this is voted no --

14 DR. WINKLER: That's it.

15 CO-CHAIR HOMER: -- then we don't  
16 want to know any more about this question, you  
17 know, about this particular item.

18 MEMBER MILLER: So if we say yes,  
19 then more information will come?

20 CO-CHAIR HOMER: Yes, then we have  
21 to have a broader conversation about this  
22 measure.

1 MEMBER MILLER: All right. Yes,  
2 then I vote yes.

3 DR. WINKLER: Okay. That's one.

4 CO-CHAIR HOMER: Okay. All those  
5 who vote that no, this does not meet the  
6 importance threshold for further  
7 consideration? Allan, are you voting?

8 MEMBER LIEBERTHAL: I'm having  
9 some struggle here.

10 CO-CHAIR HOMER: Okay.

11 DR. WINKLER: Are you abstaining,  
12 Allan?

13 MEMBER LIEBERTHAL: I'm  
14 abstaining.

15 CO-CHAIR HOMER: Okay. All right.

16 MEMBER LIEBERTHAL: I just lost  
17 all my reviewing reports.

18 CO-CHAIR HOMER: Okay. So one in  
19 favor, 13 against, 1 abstained.

20 DR. WINKLER: One abstained.

21 CO-CHAIR HOMER: Okay. So it  
22 doesn't meet. Ellen?

1                   MEMBER SCHWALENSTOCKER: Charlie,  
2                   I'm sorry, not to put too fine a point on it.  
3                   It's not that I would say I don't want to move  
4                   it forward because it's not important. I  
5                   think it's an important issue, but I think --  
6                   so it's not right, in my mind, to say it's not  
7                   important. It just doesn't meet our other  
8                   criteria for what we are trying to measure.  
9                   Is that --

10                  CO-CHAIR HOMER: Right. I knew I  
11                  was stretching the --

12                  MEMBER PERSAUD: I think it is  
13                  important because of the way it is --

14                  MEMBER SCHWALENSTOCKER: Yes.

15                  MEMBER PERSAUD: Okay.

16                  MEMBER SCHWALENSTOCKER: The way  
17                  it's written.

18                  MEMBER PERSAUD: I mean, every  
19                  issue is important. This measure isn't  
20                  constructive in a way that it meets the  
21                  criteria.

22                  So for the record, I think the way

1 this measure is constructed, that's why it is  
2 not important, because the construction  
3 doesn't meet importance, not that the topic  
4 isn't important. And I don't think that's  
5 what our charge is.

6 DR. WINKLER: Also just to  
7 reassure everyone, NQF has already endorsed  
8 several measures, process measures, around  
9 management of children with ADHD and  
10 appropriate follow-up care for those on  
11 medication. And so it's not as if the topic  
12 doesn't have some measures associated with it  
13 already.

14 CO-CHAIR HOMER: Okay. Let's move  
15 on. Hey, we got something done. That's good.  
16 How are we doing for time?

17 MS. McELVEEN: Okay. So we are  
18 about 12:20. I think it would be worth going  
19 through another measure that, again, was  
20 submitted by CAHMI, an individual measure.  
21 And after that, we can go ahead and probably  
22 break for lunch, but if we could get through

1 that last bit of measure, we would have at  
2 least completed what we said we were going to  
3 complete before lunch. So that's good.

4 So this is Measure 37 and this is  
5 a measure, Children Living with Illness: The  
6 Effects of Condition on Daily Life. And this  
7 measures the extent to which conditions of  
8 children with special health care needs  
9 results in limitations of their daily  
10 activities, despite health care services  
11 received.

12 So again, we will open this up to  
13 the group for discussion looking at, of  
14 course, importance and scope as kind of the  
15 first items on there.

16 CO-CHAIR HOMER: So again, could  
17 we hear from the --

18 MEMBER ZIMA: I guess my biggest  
19 concern was I thought maybe this was more of  
20 a severity indicator and that depending on the  
21 type of -- depending on the care, some  
22 children would get better and some people



1 would remain or maintain a certain level of  
2 functioning.

3 And it didn't seem like we could  
4 really -- I could really interpret the meaning  
5 of this indicator the way it was written.

6 MEMBER JENKINS: Are you looking  
7 for risk adjustment?

8 MEMBER ZIMA: No. I was thinking  
9 more in terms of, again, more clinically. And  
10 maybe this is a problem. But some disorders  
11 don't get better and will always have a  
12 certain limitation in functioning. And even  
13 under good care, for example, like an autistic  
14 child, you are going to maintain a certain  
15 level of functioning.

16 And whereas a striking example  
17 would be an ADHD kid with proper medication,  
18 behavioral treatment and special ed, they  
19 might get -- their functioning might improve  
20 dramatically. So I wouldn't be able to tease  
21 that out.

22 MEMBER FISHER: Also, to add to

1 that, there are kids with special health care  
2 needs that are severe who are going to get  
3 worse. And so the idea is that you have to  
4 support them as they get worse, but that's  
5 even harder to evaluate. They need good care,  
6 but they are going to deteriorate.

7 CO-CHAIR HOMER: All that being --  
8 well, okay. Maybe to be more formal, should  
9 we first wrestle with the importance issue?  
10 Do you think this is an important topic? That  
11 is, the problem is prevalent enough, there is  
12 likely to be some variability across  
13 jurisdictions or systems. There may be  
14 disparities. This may reflect disparities in  
15 care. Again, those are the criteria for  
16 importance.

17 Again, first there is the  
18 threshold for then do we go on to some of the  
19 other aspects?

20 MEMBER PARTRIDGE: I don't have  
21 the specs in front of me. Can we answer some  
22 of those questions from the materials

1 submitted?

2 CO-CHAIR HOMER: What it says is,  
3 38.5 percent of children with special health  
4 care needs, health conditions have a moderate  
5 effect on their daily activities.

6 MEMBER PARTRIDGE: Does that  
7 surprise you?

8 CO-CHAIR HOMER: No.

9 MEMBER PARTRIDGE: Okay.

10 CO-CHAIR HOMER: But there is an  
11 indication that this is --

12 MEMBER PARTRIDGE: Right.

13 CO-CHAIR HOMER: -- a big deal as  
14 opposed to a little deal.

15 MEMBER PARTRIDGE: Correct. So  
16 then I think I would want to know, given that,  
17 what more information do we have?

18 CO-CHAIR HOMER: The range across  
19 states is from 18 percent in Iowa to 30  
20 percent in Oregon. Now, so there is  
21 variability across states.

22 MEMBER PARTRIDGE: And we don't

1 know how that correlates with the conditions  
2 we are talking about?

3 CO-CHAIR HOMER: Well, we do know  
4 that poor children have conditions that  
5 consistently affect their daily lives more  
6 than twice as often, 35 to 15 percent. Kids  
7 with a medical home are twice as likely to  
8 have health conditions that consistent, so  
9 that actually -- kids without a medical home  
10 are twice as likely to have a health condition  
11 that consistently affects their lives, 30 to  
12 15 percent. So there is some.

13 MEMBER PARTRIDGE: Some.

14 CO-CHAIR HOMER: If you do have a  
15 medical home --

16 MEMBER PARTRIDGE: Right.

17 CO-CHAIR HOMER: -- you are half  
18 as likely to have a health condition.

19 MEMBER PARTRIDGE: Right. So you  
20 get a -- you can drill down, in other words,  
21 and look at different dimensions based on the  
22 answers to these questions.

1 CO-CHAIR HOMER: Yes.

2 MEMBER LIEBERTHAL: Unfortunately,  
3 my USB port seems to have died or I'm having  
4 trouble, so I'm not able to look at the  
5 specifications very well. But the way I read  
6 just the summary of the question, this refers  
7 to a population of children who are getting  
8 services and are still having their -- their  
9 lives are still affected. Am I reading it  
10 correctly or not?

11 Because if there -- because if I'm  
12 reading it correctly, what you are dealing  
13 with is the base line of their special health  
14 care need and, therefore, all you are  
15 identifying is the floor of what is possible.

16 Now, if I'm not reading it  
17 correctly --

18 CO-CHAIR HOMER: I don't think --

19 MEMBER LIEBERTHAL: -- then I  
20 don't know what the wording is.

21 CO-CHAIR HOMER: I don't think --

22 MEMBER PERSAUD: The denominator

1 is all children ages 0 to 17 who have special  
2 health care needs.

3 CO-CHAIR HOMER: Special health  
4 care needs is either because you have --

5 MEMBER PERSAUD: That's the full -  
6 -

7 CO-CHAIR HOMER: -- the condition  
8 or because you have --

9 MEMBER LIEBERTHAL: Okay.

10 CO-CHAIR HOMER: -- received  
11 services that are more than other children.

12 MEMBER LIEBERTHAL: Okay.

13 CO-CHAIR HOMER: Or that you have  
14 some form of therapy.

15 MEMBER LIEBERTHAL: Okay. And I  
16 can't get mine because I don't have the  
17 specifications in front of me.

18 CO-CHAIR HOMER: No, not risk, but  
19 -- I'm sorry, Allan?

20 MEMBER LIEBERTHAL: I don't have  
21 the specifications in front of me to see  
22 what's going on with it.

1 CO-CHAIR HOMER: Yes, I'm trying  
2 to find it.

3 MEMBER PERSAUD: If you actually  
4 scroll down to the specs, scroll down to 2A or  
5 whatever.

6 MEMBER JENKINS: There you go.

7 CO-CHAIR HOMER: Do you have any--

8 MEMBER PERSAUD: I'm trying to  
9 think about the answer to Bonnie's question  
10 about severity and whether -- and I don't know  
11 how this questionnaire is constructed, but  
12 just trying to think about if the  
13 questionnaire is constructed consistent with  
14 what -- with good care, someone with  
15 disabilities might have, if it's constructed  
16 around -- I mean, I'm thinking except for  
17 maybe ventilated assisted patients, even those  
18 that are near vegetative with tracheostomy  
19 still can be transported to school and spend  
20 the day in school and be cared for.

21 Would that be -- you know, if they  
22 can go to school and be cared for without

1 multiple interventions, would that be regarded  
2 as the acceptable level of daily activity?  
3 And if that's impaired, it is at issue. It's  
4 I think just a matter of whether the question  
5 is asked appropriate to the best possible  
6 outcome from someone with that level of  
7 disability.

8 CO-CHAIR HOMER: I was just trying  
9 to put the actual question up on the screen.

10 MEMBER JENKINS: Yes, I was just  
11 going to say that my take on this is that what  
12 we are struggling with is whether or not this  
13 is all crafted scientifically, so that we  
14 could understand variation as a quality  
15 measure and that those are really kind of part  
16 of the scientific issue here.

17 But that it is meeting my criteria  
18 for importance as crafted, as it is even  
19 meeting my criteria as an outcome measure.

20 CO-CHAIR HOMER: Great.

21 MEMBER JENKINS: And we are  
22 hearing about gaps. You know, it may fall



1 down when we talk about the scientific issues  
2 in terms of Donna's issue around is the  
3 question crafted in a way that all people  
4 would answer it and Bonnie's around what I  
5 would regard as risk adjustment and then the  
6 trajectory of disease and what is preventable.

7 Those are different issues to me,  
8 but I think my answer to you, Charlie, is yes,  
9 it passes the first threshold for getting into  
10 that broader discussion.

11 CO-CHAIR HOMER: Yes, right. So  
12 why don't I call a vote on whether people  
13 think this is sufficiently important to  
14 proceed with the conversation, so that we can  
15 go on. So we will have a vote on that.

16 All who vote yes, this meets the  
17 threshold criteria for importance, so that we  
18 can then go into the more detailed issues  
19 around validity and feasibility, usability, et  
20 cetera. So all those who vote yes?

21 DR. WINKLER: Marlene?

22 MEMBER MILLER: Yes.

1 DR. WINKLER: Thank you.

2 MS. McELVEEN: That's 14 yeses,  
3 and that was everybody.

4 CO-CHAIR HOMER: Okay. Good. Let  
5 me just read the items. Let me just read the  
6 items just because I'm so proud of having  
7 found it.

8 It says during the past 12 months,  
9 how often have blank medical behavioral or  
10 other health conditions, emotional development  
11 or whatever it is, how often has Suzie's  
12 condition affected his or her ability to do  
13 things other children their age does? That's  
14 sort of the question.

15 Okay. So it's a very broad  
16 question.

17 CO-CHAIR WEISS: So affected could  
18 be positive or negative. Either made it  
19 possible or inhibited it.

20 CO-CHAIR HOMER: Yes, at least  
21 that's Question CQ, whatever it is, 2. And it  
22 also says Question 3 is supposed to be in

1 here, right? So anyhow, C3Q, Section 3,  
2 Question 2, that was the item. I can try to  
3 find what Question 3 is as well. But at least  
4 you get some sense. Maybe that helped, maybe  
5 it didn't, but now you know what the question  
6 is that we are dealing with.

7 CO-CHAIR WEISS: We know the  
8 population.

9 CO-CHAIR HOMER: So why don't we  
10 then continue? We have talked about it meets  
11 the importance criteria. What are the next  
12 set of criteria that we should be considering?

13 Scientifically acceptable. So  
14 comments from the reviewers as to its level of  
15 scientific acceptability?

16 MEMBER PERSAUD: So I do see in  
17 here that it specifically says it is not risk  
18 adjusted and so when you gave that opening  
19 question and it's not risk adjusted, I think  
20 it doesn't answer Bonnie and my concern about  
21 appropriateness and being able to assess the  
22 results, because they don't match the

1 expectations, I think, of the need,  
2 especially, you know, their function or what  
3 their true function could be.

4 MEMBER DOCHERTY: Isn't it the  
5 validity question? Is it really measuring  
6 their ability to improve their daily living as  
7 compared to other children their age or  
8 compared to children with similar conditions?

9 MEMBER PERSAUD: Well, I think  
10 that's really --

11 CO-CHAIR HOMER: But if you are --  
12 the next item, by the way, and I'm not quite  
13 sure how different it is, does ask is medical  
14 behavior or other health condition affect his  
15 or her ability to do things a great deal, some  
16 or very little? So I guess that's the -- the  
17 first one is does it affect it and then the  
18 next question is does it affect it a great  
19 deal, you know, somewhat or very little?

20 That's sort of the severity of  
21 impact on function or on daily life.

22 Let's go back to Kathy's earlier

1 observations though. If this is applied at a  
2 population level, so if you are comparing  
3 Alaska and Indiana or Ohio and Oregon, which  
4 is, I guess, where they were comparing, would  
5 you expect that at a large enough population  
6 levels that big a difference in distribution  
7 of health and disease that it would threaten  
8 its validity?

9 I mean, I guess if the sickest  
10 patients in the country moved to Oregon or,  
11 you know, Des Moines or whatever, it might,  
12 but otherwise, it's probably hard to imagine.

13 MEMBER FISHER: I think -- oh, I'm  
14 sorry.

15 CO-CHAIR HOMER: I'm sorry.  
16 Nancy, go ahead.

17 MEMBER FISHER: Go ahead. You  
18 were first.

19 CO-CHAIR HOMER: Tom?

20 MEMBER McINERNY: Well, I think  
21 there is some evidence that certain states  
22 have a richer set of benefits for patients who

1 have special health care needs. And so  
2 patients will preferentially gravitate to  
3 those states. And that could certainly skew  
4 your results.

5 CO-CHAIR HOMER: Okay.

6 MEMBER FISHER: I was going to say  
7 the same thing. And to add to it, you have to  
8 look at whether --

9 CO-CHAIR HOMER: Turn your mic on,  
10 please.

11 MEMBER FISHER: -- you are on the  
12 east coast or the west coast. And the reason  
13 why I say that is about gravitation, because  
14 people forget Wyoming only has a half a  
15 million people.

16 CO-CHAIR HOMER: Right.

17 MEMBER FISHER: And then Montana,  
18 which is huge, has a million. So the  
19 resources there are less and those people will  
20 move to where the resources are.

21 So let me give you an example.  
22 You can move out to Seattle and there are a

1 lot of people there with Huntington's Disease  
2 that have moved from other places because of  
3 the expert that is there, a neurologist who is  
4 also a geneticist, so you get all of these  
5 people that move in.

6 So you know, it depends on what  
7 portion of the country and how big your state  
8 is and how populated.

9 MEMBER PARTRIDGE: Charlie, I  
10 think I would also add there will be  
11 differences in the incidents of certain  
12 conditions based on the population of that  
13 state. In the District of Columbia I had a  
14 fairly significant rate of sickle-cell.

15 CO-CHAIR HOMER: Yes.

16 MEMBER PARTRIDGE: That is not  
17 likely to be true in other parts of the  
18 country.

19 MEMBER FISHER: We don't see  
20 hardly any of that.

21 MEMBER PARTRIDGE: Right. If you  
22 were -- you know, that springs to mind because

1 of my own experience, but I'm sure there are  
2 other characteristics and environmentally-  
3 caused conditions and so on.

4 MEMBER FISHER: One other thing is  
5 I was looking at the citations they gave and  
6 we were talking about risk adjustment, but  
7 it's interesting in the citations that they  
8 gave for the group that they are talking  
9 about, they were dividing them into subgroups  
10 for comparison.

11 CO-CHAIR HOMER: Right. You can  
12 do that.

13 MEMBER FISHER: Yes. And so the  
14 question to me that is very important when you  
15 are looking at this. And they even, you know,  
16 bring it up when you look into the system.

17 CO-CHAIR HOMER: Right. And so I  
18 mean even as we are speaking I'm sitting here  
19 stratifying the national data or the  
20 Mississippi data by raising things like that.

21 MEMBER FISHER: Yes.

22 CO-CHAIR HOMER: So one could



1 certainly do that. Okay. So I'm still  
2 hearing a lot of concern about the  
3 scientific --

4 MEMBER FISHER: That raises  
5 poverty.

6 CO-CHAIR HOMER: -- validity and  
7 the scientific merit of the measure.

8 MEMBER JENKINS: Specifically  
9 around confounding by the individual patients  
10 that are part of the numerator.

11 CO-CHAIR HOMER: Okay. All right.  
12 Any other elements? That's the scientific  
13 credibility. It sounds like we have got a  
14 clear sense.

15 DR. WINKLER: We should vote on  
16 it.

17 CO-CHAIR HOMER: Should we vote on  
18 the whole measure based on that?

19 DR. WINKLER: Each criterion.

20 CO-CHAIR HOMER: Okay. You want  
21 to talk about usability next?

22 DR. WINKLER: We need a vote from

1 the Steering Committee on each of the major  
2 criteria.

3 CO-CHAIR HOMER: Okay.

4 DR. WINKLER: Going out.

5 CO-CHAIR HOMER: We haven't  
6 consistently been doing that.

7 DR. WINKLER: You haven't gone  
8 through them yet. You haven't got that far.

9 CO-CHAIR HOMER: Okay. So then on  
10 the scientific merit, all those who believe it  
11 has sufficient scientific merit to move  
12 forward?

13 DR. WINKLER: Yes, completely.

14 CO-CHAIR HOMER: Completely?

15 MEMBER JENKINS: Does it have to  
16 be completely?

17 DR. WINKLER: No. You have  
18 choices, remember?

19 MEMBER JENKINS: Well, what are we  
20 voting on?

21 CO-CHAIR HOMER: So we are voting  
22 on the scientific merit of this measure and it

1 needs to be at the completely. Does it  
2 completely fulfill the criteria for  
3 scientific?

4 MS. McELVEEN: And the choices are  
5 completely, partially, minimally, not at all  
6 or not applicable.

7 CO-CHAIR HOMER: Right.

8 DR. WINKLER: So I get zero for  
9 completely. How about partially meets the  
10 criteria?

11 CO-CHAIR HOMER: I'd say partially.

12 DR. WINKLER: One, two, three.  
13 Marlene?

14 MEMBER MILLER: I believe  
15 minimally.

16 DR. WINKLER: Okay, fine. So  
17 that's three for partially. How many are  
18 minimally? One, two, three, four, five, six,  
19 seven, eight, nine, ten, eleven, Marlene is  
20 twelve. Okay. That's it.

21 CO-CHAIR HOMER: Moving on to the  
22 next criteria, which is usability. And the

1 subsets for --

2 MS. McELVEEN: We have to go back  
3 to the --

4 CO-CHAIR HOMER: So does it  
5 provide meaningful, understandable and useful  
6 information?

7 DR. WINKLER: Right.

8 CO-CHAIR HOMER: Yes, I mean, the  
9 sub-categories here are meaningful,  
10 understandable and useful information in  
11 relation to other NQF-endorsed measures, level  
12 of harmonization, distinctive and added value,  
13 right, those are the --

14 DR. WINKLER: Yes, those are them.

15 CO-CHAIR HOMER: Those are the  
16 subsets of that.

17 MEMBER CLARKE: I would say that  
18 actually mixing the scientific merit really  
19 kind of makes this sort of moot.

20 CO-CHAIR HOMER: The rest is sort  
21 of moot.

22 MEMBER CLARKE: And it may be that

1 we could have a discussion about is there a  
2 stratification fix for the scientific merit  
3 that we could stipulate.

4 CO-CHAIR HOMER: That's a good  
5 idea.

6 MEMBER JENKINS: I agree that they  
7 are correlated and that, to me, this whole  
8 thing was a lot about usability, which is  
9 about actionability and to the same extent  
10 that we had trouble understanding the  
11 subpopulations of the measure, et cetera, it  
12 fell down to be in the usability action  
13 ability.

14 CO-CHAIR HOMER: But going back to  
15 David's question, are there recommendations  
16 that we could come up with as to how this  
17 measure could be fixed, so that it could, in  
18 fact, be usable and provide what you would  
19 consider valid data?

20 I mean, what kind of  
21 stratification variable, for example, would  
22 you want to know in order to do this?

1                   MEMBER CLARKE:   Diagnosis and  
2                   activity expectations.

3                   MEMBER JENKINS:   And potentially  
4                   the extent to which those are modifiable.  I  
5                   think that there is ways through either  
6                   exclusions or through categorizations that one  
7                   could craft a very interesting outcome measure  
8                   which is much more actionable.  It would be  
9                   some work for them to do it.

10                  CO-CHAIR HOMER:   Yes, I'm  
11                  wrestling a little with, you know, the  
12                  movement in the field for kids with special  
13                  health care needs  has been to the sort of  
14                  non-categorical approach that is that the  
15                  issues around the care and to some extent  
16                  outcomes, but the care of kids with chronic  
17                  illness is more common across conditions.

18                  And the use of diagnostic  
19                  categories is usually pretty poor in  
20                  identifying kids.

21                  MEMBER JENKINS:   If I could say,  
22                  and I'm sure you will see some of this in our

1       measures tomorrow, that you can sometimes  
2       group patients together or children together  
3       in categories for the outcome of interest --

4                   CO-CHAIR HOMER:   Yes.

5                   MEMBER JENKINS:   -- regardless of  
6       diagnosis.  So here the issue has to do with  
7       more of the expectations of the effect of the  
8       condition on the lifestyle and the trajectory  
9       of disease and the ability to impact that by  
10      medical treatment.

11                  So regardless of diagnosis, those  
12      are really the categories that would be  
13      necessary to understand the variation in the  
14      outcome.  They may not be by diagnosis or they  
15      may.

16                  CO-CHAIR HOMER:   Okay.  I guess I  
17      take from that actually that it would be a  
18      quick fix of this measure is not likely, that  
19      that's actually a pretty complicated set of  
20      questions.

21                  DR. WINKLER:    Yes.

22                  CO-CHAIR HOMER:   Okay.  So I think

1 so let's then keep -- so that we can check off  
2 all the check boxes, make sure that we have  
3 gone through the usability criteria.

4 Why don't we call a vote on how  
5 many feel it meets completely usable?

6 DR. WINKLER: Marlene?

7 MEMBER MILLER: Yes?

8 DR. WINKLER: You're not voting  
9 completely, are you?

10 MEMBER MILLER: No, I'm not.

11 DR. WINKLER: Thank you.

12 MS. McELVEEN: Next one?

13 CO-CHAIR HOMER: Partially usable?  
14 Partially usable, anyone?

15 DR. WINKLER: Okay.

16 CO-CHAIR HOMER: Minimally?

17 DR. WINKLER: One, two, three,  
18 four, five, six, seven, eight, nine, ten,  
19 eleven, twelve, thirteen. Marlene?

20 MEMBER MILLER: Yes.

21 DR. WINKLER: Okay. So that's 14.

22 CO-CHAIR HOMER: And not at all.



1 DR. WINKLER: And you're the not  
2 at all. Okay.

3 CO-CHAIR HOMER: All right. And  
4 then the last one is is it feasible? Data  
5 generated by product of care or other  
6 electronic sources, appropriate specifications  
7 of exclusions, susceptible to inaccuracies?

8 And I guess I would argue that if  
9 this is actually just derived from the  
10 national survey, it is actually extremely  
11 feasible, because the federal government  
12 produces the survey on a regular basis and  
13 there is an online data query tool that anyone  
14 who wants to get it at this population level  
15 can get it.

16 So that would be -- so those who  
17 feel it is completely feasible? Completely  
18 feasible?

19 MEMBER FISHER: Okay. All right.

20 MS. McELVEEN: Show of hands?  
21 Those who say completely feasible hands up  
22 high, please? Thanks. All right. Nine, ten.

1 CO-CHAIR HOMER: You should be  
2 strong.

3 MEMBER LIEBERTHAL: Just a  
4 comment. It is feasible to do, but just  
5 because it's feasible, what do you do with it  
6 once you get it?

7 CO-CHAIR HOMER: Well, that's  
8 the --

9 MEMBER LIEBERTHAL: And we are  
10 inundated with data that is feasible, but then  
11 you have to figure out what to do with it.

12 MEMBER FISHER: Yes, but it's  
13 still feasible.

14 CO-CHAIR HOMER: Fair enough. We  
15 are just trying to say that these are somewhat  
16 distinguishable categories. We are trying not  
17 to do the global subjective judgments.

18 MEMBER DOCHERTY: My only problem  
19 with the feasibility category is the  
20 inaccuracy piece of it, that it is hard to say  
21 something that has some potential for  
22 inaccuracy --

1 CO-CHAIR HOMER: Yes.

2 MEMBER DOCHERTY: -- because of  
3 the way the question is worded and the  
4 denominator then can be feasible.

5 CO-CHAIR HOMER: Okay. So we have  
6 how many said --

7 MS. McELVEEN: Ten.

8 CO-CHAIR HOMER: 10. So let's  
9 move on to partially.

10 DR. WINKLER: One, two, three.

11 MEMBER MILLER: I'll vote partial.

12 CO-CHAIR HOMER: Okay. So we have  
13 four, five. We have five. So that means that  
14 no minimally and no -- did I get that right?  
15 No minimally and no not at all.

16 So do we need an overall vote?

17 DR. WINKLER: Yes.

18 CO-CHAIR HOMER: We do need an  
19 overall vote. So how many would vote in favor  
20 of recommending this measure to go forward?

21 It's out of order. No, that's fine. I see  
22 none in favor. How many who vote no, not to

1 recommend it going forward in this current  
2 state?

3 MEMBER MILLER: I'm a no.

4 CO-CHAIR HOMER: Okay.

5 MS. McELVEEN: Okay. We fully got  
6 through one measure, so we are moving along  
7 well.

8 CO-CHAIR HOMER: Moving right  
9 along.

10 MS. McELVEEN: Lunch is set up in  
11 this room exactly where the breakfast was set  
12 up. So we are going to take about a 15 minute  
13 recess. If you could get your lunch and eat,  
14 phone calls or whatever and come back, and we  
15 will reconvene.

16 (Whereupon, the meeting was  
17 recessed at 12:45 p.m. to reconvene at 1:30  
18 p.m.)

19

20

21

22



1 Thanks.

2 DR. DEINARD: I'm Amos Deinard  
3 from the Department of Pediatrics and School  
4 of Public Health at the University of  
5 Minnesota.

6 CO-CHAIR HOMER: That is a perfect  
7 segue. Thank you for being here. The first  
8 measure that we are going to review this  
9 afternoon is the one that you were the --

10 DR. DEINARD: That's why I'm  
11 sitting at the head of the table right now.  
12 It was all scripted.

13 CO-CHAIR HOMER: So this measure  
14 is OT3-049. It goes by the name of Primary  
15 Care Prevention Intervention as Part of Well  
16 Child Care. This measure was reviewed by Work  
17 Group 1. The scores are reflected on the  
18 board. Would anyone in Work Group 1 like to  
19 talk this one through?

20 MEMBER MILLER: Charlie, what's  
21 the number of it again?

22 CO-CHAIR HOMER: Forty-nine.

1                   MEMBER CLARKE: As far as impact  
2 we felt it is a condition that affects a large  
3 number of children. There is pretty good  
4 evidence that fluoride varnish has a very  
5 positive effect in reducing Caries disease.

6                   Obviously this is a significant  
7 problem a lot in low income populations. I  
8 guess one of the reviewers identified the  
9 issue that it's not real clear how available  
10 the fluoride varnish is to PCMPs but it must  
11 be fairly available, I guess.

12                  DR. DEINARD: Would you like me to  
13 answer that? I can provide anyone in this  
14 room who sends me his or her email address  
15 with a list of vendors who will sell to  
16 medical clinics, public health nurse agencies,  
17 etc., without any questions asked. It's  
18 readily available.

19                  The more you buy the lower the  
20 price. It's got a two-year shelf life. You  
21 can buy it in good-size labs for 85 cents a  
22 dose or something like that. It's very

1 inexpensive. It takes less than five minutes  
2 to put on. In many states it can be delegated  
3 to a CMA or an LPN or a MA to actually do the  
4 varnishing.

5 MEMBER CLARKE: Do we have to vote  
6 on impact?

7 CO-CHAIR HOMER: We do. The work  
8 group felt that this was important that there  
9 was a gap in performance, at least either  
10 completely or partially and that the treatment  
11 related to outcome. Are there any questions  
12 from anybody about the importance?

13 MEMBER LIEBERTHAL: Yes, I do.  
14 What was the -- did the ADA have a policy  
15 statement on this? Unfortunately I would have  
16 to go to the AAP site because I don't remember  
17 if the AAP has a policy statement on this.

18 DR. DEINARD: The AAP not only has  
19 a policy statement that physicians should be  
20 doing this but it also has an oral health  
21 initiative group, one of the top three  
22 projects of the AAP this year and I'm a member



1 of that oral health initiative going state to  
2 state to help states get up and running.

3 MEMBER LIEBERTHAL: But AAP is  
4 recommending the fluoride varnish?

5 DR. DEINARD: AAP is recommending  
6 the fluoride varnish, as does AAFP. They are  
7 both referring to the ADA policy which now  
8 says that varnish should be applied quarterly  
9 to the teeth of high-risk children starting at  
10 age one.

11 A high-risk child can be very  
12 simply defined as a Medicaid child or a CHIP  
13 child who does not have a dental home, i.e.,  
14 a home where the child can go for dental care  
15 whenever there's a problem and regardless of  
16 what the problem is.

17 A lot of mothers will say, "I've  
18 got a dentist," but that was to have one tooth  
19 pulled and the dentist won't see the child  
20 again. Biggest problem today is the dentists  
21 generally don't want to see Medicaid or CHIP  
22 children. They will turn their backs on them

1 unlike physicians who must.

2 If I don't want to take care of a  
3 patient, I'm obligated to triage that patient  
4 to somebody else. Dentists seem to have no  
5 compunction whatsoever to turn their backs and  
6 say, sorry, I don't take Medicaid. Good bye,  
7 without any questions asked about triaging and  
8 it's a national crisis. The opening words to  
9 the Surgeon General's Conference in 2000 were  
10 the mouth is part of the body and that was  
11 echoed over and over again for three days.

12 CO-CHAIR HOMER: So I think, just  
13 to stick to the process, outstanding content,  
14 but just to stick to the process just in terms  
15 of a vote on importance. All in favor of  
16 saying this is sufficiently important to go  
17 forward or meets the criteria completely, I  
18 guess, is the question.

19 DR. WINKLER: Is Marlene still  
20 with us?

21 MEMBER MILLER: I'm here. I vote  
22 I guess completely.

1 DR. WINKLER: Okay, yes. All  
2 right. Great. Thanks.

3 CO-CHAIR HOMER: So that is how  
4 many for completely? Everybody. Okay. So we  
5 will dispense with the other categories. All  
6 right. Moving into the scientific  
7 acceptability of the measure. David.

8 MEMBER CLARKE: I think everything  
9 was pretty good with the science of it. I  
10 didn't really come across any problems that I  
11 had. I don't know if any of the other  
12 reviewers did.

13 CO-CHAIR HOMER: I actually did  
14 have a question somewhere between the  
15 scientific and probably on the feasibility  
16 side. You mentioned that the only exclusion  
17 is if the child has a dental home and I  
18 wondered how that could be obtained through  
19 the kinds of data.

20 I saw a mismatch between basically  
21 this could be easily collected in a valid,  
22 reliable way from encounter data and you've

1 got this concept of the dental home which is  
2 do you have access --

3 DR. DEINARD: The primary care of  
4 prevention and intervention in my mind has  
5 five pieces to it; a physician, family  
6 medicine, pediatric, nurse practitioner,  
7 physician assistant, public health nurse. In  
8 Minnesota there are more EPSDT exams done in  
9 greater Minnesota by public health nurses even  
10 than by family medicine docs. They do a lot  
11 of them.

12 So gross examination of the teeth,  
13 eye balling. Not with a probe and x-rays as  
14 the dentist uses but eye balling it. If it  
15 looks like a train wreck, try to find a  
16 dentist who will tell you either it's a  
17 variant of normal or a train wreck. If it's  
18 a train wreck, the dentist will hopefully fix  
19 it.

20 The second is a risk assessment,  
21 paper and pencil, 30 seconds. There are a  
22 number of risk factors: the mother's oral

1 health status, the siblings' oral health  
2 status, do they have a toothbrush, do they use  
3 fluoridated water, fluoridated toothpaste,  
4 etc.

5 But if the child is on Medicaid or  
6 CHIP and has no dental home, that child is  
7 high risk. If you're high risk, according to  
8 the ADA recommendations, you should get the  
9 varnish four times a year.

10 The third part of the intervention  
11 is anticipatory guidance to the care giver  
12 which is every bit as important as the varnish  
13 in telling the care giver (a) the ideology of  
14 caries and then (b) the care giver's role in  
15 prevention of caries.

16 If the mother continues to (a)  
17 lick the pacifier with her own saliva before  
18 she puts it in the child's mouth, and I've  
19 seen that 10,000 times if I've seen it once  
20 when the sink is right at the mother's elbow  
21 and she prefers her saliva, all she's doing is  
22 moving strep mutans from her mouth to the

1 child.

2                   Strep mutans is the principal  
3 organism that metabolizes the sugars that come  
4 in the bottles that the mother is feeding the  
5 child all day and all night long. The bug  
6 digest the sugars for its own metabolic  
7 purposes.

8                   Its excrement is acidic. The  
9 acidic excrement etches the enamel of the  
10 tooth and the caries process is started. The  
11 mothers need to understand the dynamic here.  
12 It's insufficient to say don't put the kid to  
13 bed with a bottle. There's got to be an  
14 explanation why not or the mother will pay no  
15 attention. That's the third part.

16                   The fourth part is putting the  
17 varnish on four times a year. The fifth part,  
18 according to the Academies of Pediatrics and  
19 Pediatric Dentistry every child should have a  
20 dental home by age one so you should still try  
21 to find one even though you know full well  
22 that it happens very infrequently.

1           That is one of my complaints about  
2           the dental element of the EPSDT exam that CMS  
3           has articulated. All you have to do is put in  
4           the chart "made a referral to the dentist."  
5           It will fail a hundred times but you'll pass  
6           audit a hundred times and you've done nothing  
7           for the child as a consequence of telling the  
8           mother to find a dental home.

9           CO-CHAIR HOMER: I couldn't agree  
10          with you more. You weren't here first thing  
11          in the morning. Marlene Miller said we should  
12          have a change package with every measure and  
13          you've articulated the change package. But we  
14          are voting on the measure so I need to know  
15          more about --

16          DR. DEINARD: The measure is if  
17          the child gets varnished, presumptively the  
18          child is high risk, the Medicaid child. From  
19          the claims data, at least in Minnesota, the  
20          claims data I have a report that I get and now  
21          I've gotten two reports, 2008 and 2009, that  
22          shows the billing entity, either the clinic or

1 the doc.

2 It shows three columns: zero to  
3 five years of age, six to 12, 13 to 20, but  
4 that could be subdivided any which way. It  
5 shows duplicated and unduplicated EPSDT exams  
6 by provider type and duplicated and  
7 unduplicated fluoride varnish application by  
8 provider type.

9 This goes across the page so I can  
10 see that Dr. X had three children who got an  
11 EPSDT exam. He did eight in total on those  
12 three children. He put varnish on one child  
13 one time. That's the kind of report that I  
14 can get from the state.

15 CO-CHAIR HOMER: So what is the  
16 measure that you are proposing?

17 DR. DEINARD: The measure that I  
18 would like to track is that physicians have a  
19 primary role in caries prevention by virtue of  
20 the fact that children can't get dental care.  
21 Therefore, one way to try to prevent caries is  
22 to put varnish on and presumptively along with



1 the varnish goes the education, etc.

2 Like anything else in pediatrics  
3 we do anticipatory guidance on a whole range  
4 of topics. We do risk assessments across the  
5 board and we are always giving advice trying  
6 to keep something from happening before it  
7 happens.

8 CO-CHAIR HOMER: I'm trying to  
9 help here. I want this measure -- I  
10 personally sit on the board of an institute  
11 that focuses on improving this kind of work.  
12 I'm trying to help get a measure that we can  
13 decide on its scientific merit and I need a  
14 definition. I'm thinking not as an advocate  
15 and not as a pediatrician who cares about  
16 kids. I'm trying to think as a measurement  
17 sort of person. Tell me what the numerator  
18 is.

19 DR. DEINARD: The numerator is the  
20 number of children who got varnish duplicated.  
21 How many varnishings went on all the children  
22 who had an EPSDT exam. The denominator is the

1 number of EPSDT exams done. If every child  
2 gets a varnish every EPSDT visit, or at least  
3 on a quarterly basis, then the number of  
4 varnishes should equal the number of EPSDT  
5 exams done.

6 CO-CHAIR HOMER: So the numerator  
7 is the number of varnish applications?

8 DR. DEINARD: Yes.

9 CO-CHAIR HOMER: And the  
10 denominator is the number of EPSDT  
11 evaluations.

12 DR. DEINARD: Yes. Ideally it  
13 should be one to one, every time a kid comes  
14 in. Now, for the very young child who may  
15 come in -- what was the question?

16 CO-CHAIR WEISS: Is it varnish  
17 applications or is it children? What's the  
18 numerator?

19 DR. DEINARD: The numerator is the  
20 total -- well, you can look at it two ways.  
21 The number of unduplicated children who had an  
22 EPSDT exam is the denominator and the number

1 of those kids who got one or more varnishings.

2 CO-CHAIR HOMER: That's a  
3 different measure.

4 DR. DEINARD: The other measure is  
5 the total number of varnishes done as a  
6 function of the total number of EPSDT exams  
7 done.

8 CO-CHAIR HOMER: So, again, are  
9 you recommending -- I don't mean to be  
10 grilling and please forgive me.

11 DR. DEINARD: That's okay.

12 CO-CHAIR HOMER: This is a  
13 developmental process for us as a committee  
14 and I think for the people who are proposing  
15 measures to us. What I hear actually are that  
16 you are proposing two measures. One is the  
17 number of varnish applications divided by the  
18 number of EPSDT exams. The other is the  
19 number of children who had one or more varnish  
20 applications over the number of children who  
21 had --

22 DR. DEINARD: Who had one or more

1       EPSDT exams. That's the unduplicated number.

2                   CO-CHAIR HOMER:   Okay.

3                   MEMBER JENKINS:   And where does  
4       the concept of the dental home come in?

5                   DR. DEINARD:   Presumptively if a  
6       child has a dental home and is seeing the  
7       dentist twice a year because dentists get paid  
8       for the procedures they do and not for just  
9       doing an exam. You can be very certain that  
10      that child got varnish at each visit.

11                  MEMBER JENKINS:   Would that be an  
12      exclusion from the denominator or not?

13                  DR. DEINARD:   In a pediatric  
14      practice if I have a patient who is seeing the  
15      dentist regularly, mother says, I go to the  
16      dentist twice a year, then they get varnish  
17      twice a year. Fine.

18                  I would say you're high risk. The  
19      other two times a year I'll do the varnishing  
20      because the dentist won't even get paid if he  
21      does more than two. The physicians will get  
22      paid, at least in Minnesota, for as many

1 applications as they want to do. Each state  
2 is different in that regard.

3 CO-CHAIR HOMER: In Minnesota how  
4 would you know to take those kids out of your  
5 denominator right now or just you just sort of  
6 say it's so small --

7 DR. DEINARD: I would say it is so  
8 small and then I could also by virtue of the  
9 number of kids who got an EPSDT exam go to the  
10 database and ask how many dental visits did  
11 you pay for this year? I mean, it's a very  
12 small number. That's the problem. There are  
13 too few dentists seeing all the kids that  
14 carry the risk.

15 It's just a way of saying you  
16 don't need it four times a year. If you've  
17 got a home, get it at least twice a year at  
18 the dentist and twice at the doc. But if most  
19 of these kids don't have a dental home, you  
20 get it four times a year at the doc.

21 CO-CHAIR WEISS: Given the  
22 population that you've chosen to focus on,

1 Medicaid and CHIP, and the presumption that  
2 very few of these children have a medical  
3 home --

4 DR. DEINARD: Dental home.

5 CO-CHAIR WEISS: Excuse me, a  
6 dental home, the two most important measures  
7 here are the number of applications over the  
8 EPSDT exam number and the number of children  
9 over EPSDT exams. Correct?

10 DR. DEINARD: Yes.

11 CO-CHAIR WEISS: And then we are  
12 working toward the idea of a dental home for  
13 everybody.

14 DR. DEINARD: Yes, but it will be  
15 a lot easier to get the docs to put varnish on  
16 than wait for that dental home to arrive for  
17 everybody. That's a long wait.

18 MEMBER LIEBERTHAL: I have a  
19 question here. I don't know about Minnesota,  
20 but in California EPSDT is not four times a  
21 year. EPSDT exams occur once a year other  
22 than for the children under two who follow the

1 AAP schedule.

2 Without questioning the value of  
3 the fluoride varnish and the number of  
4 fluoride varnishes that is ideal and just  
5 looking at a measure that has to be clearly  
6 defined, it would seem to me that if you are  
7 correlating for a varnish with EPSDT exam,  
8 that should be the measure with the numerator  
9 being fluoride varnish and the denominator  
10 being EPSDT exam.

11 DR. DEINARD: Unduplicated.

12 MEMBER LIEBERTHAL: What do you  
13 mean by duplicated?

14 DR. DEINARD: If one child comes  
15 in and gets the treatment once, it's one and  
16 one, duplicated and unduplicated. If one  
17 child comes in and gets it four times, it's  
18 one child with four applications and the  
19 duplicated part is the four.

20 MEMBER LIEBERTHAL: But if your  
21 recommendation is four applications a year, I  
22 don't see the value of using the duplicated or

1 unduplicated. The relationship is that --  
2 again, it depends on the frequency of the  
3 EPSDT exams, which in California is not four  
4 times a year.

5 DR. DEINARD: You could also since  
6 the children are coming in hopefully to an AAP  
7 schedule that calls for a certain number of  
8 well child exams over the first five years of  
9 life, you could also take CPT code for the  
10 well child exam as well as the CPT code for  
11 the EPSDT exam.

12 MEMBER RAO: That was the question  
13 I had. Why link it to EPSDT exams at all?

14 DR. DEINARD: Because when CMS  
15 comes into audit they take a look at how many  
16 EPSDT exams you do and have you met all 13  
17 expectations of the EPSDT exam. In my view  
18 having been trained in the dark ages an EPSDT  
19 exam is another name for a well child exam

20 Yes, sir.

21 MEMBER McINERNEY: However, in many  
22 states, Medicaid children are not getting



1       EPSDT exams at the recommended intervals and  
2       so you could have a Medicaid patient come in  
3       who is at risk who does not get an EPSDT but  
4       should have a varnish and, therefore, you've  
5       missed that patient because you didn't count  
6       that because they didn't have an EPSDT exam.

7                 DR. DEINARD:  What kind of  
8       encounter are you proposing that child have,  
9       just a well child exam?

10                MEMBER McINERNY:  Well child exam.

11                DR. DEINARD:  Okay.  If that's the  
12       case in that state, I would say look at the  
13       number of well child plus EPSDT exams done and  
14       lump the two together as the denominator.

15                MEMBER McINERNY:  I would exclude  
16       the EPSDT because they may not do the EPSDT  
17       but they might put the varnish on.

18                DR. DEINARD:  Okay.

19                MEMBER McINERNY:  Furthermore,  
20       they come in at a year of age, 15 months, 18  
21       months, two years of age.  The next one isn't  
22       until age three.

1 CO-CHAIR HOMER: If I could  
2 interrupt to take the Chair's prerogative,  
3 this has been a very rich conversation where  
4 we have learned a lot about issues around  
5 varnish, EPSDT, etc. It seems clear to me in  
6 the conversation that we don't actually have  
7 a measure that is highly specified because,  
8 again, as you said, we could define it this  
9 way, we could define it that way.

10 We could have this numerator, we  
11 could have that numerator. All of those may  
12 be useful but we as a committee I would argue  
13 my personal opinion that we actually don't  
14 have a specified measure that we can discuss  
15 and vote on so by this scientific  
16 acceptability the first thing is there is  
17 specifications.

18 I think we are not there yet.  
19 Therefore, it becomes very challenging for us  
20 to even make an assessment against the other  
21 criteria of scientific acceptability such as  
22 reliability, validity.

1           There is confusion here about  
2           exclusions because we don't have a  
3           consistently -- the idea with an NQF measure  
4           is that you have a measure that is highly  
5           specified so that not only in Minnesota but in  
6           Rochester, New York or in Florida or  
7           Washington State that you could take this  
8           measure, follow the numerator, follow the  
9           denominator, and come up with a comparable --

10           DR. DEINARD: But you're making an  
11           assumption that every state behaves like every  
12           other state. In Minnesota they do lots of  
13           EPSDT exams. If in another state they fail to  
14           code it as an EPSDT but code it as a well  
15           child.

16           CO-CHAIR HOMER: Then we would  
17           need to develop specifications, in my view.  
18           Anyhow, that was my assessment and I said that  
19           in part to see if we could accelerate or come  
20           to some closure around this section of the  
21           conversation.

22           Kathy.

1                   MEMBER JENKINS: Charlie, I agree  
2 with you and I'm also noticing, as Ellen is as  
3 well, that it is, in fact, a process measure.  
4 It might be an excellent process measure that  
5 we could craft into that CHIPRA thing that we  
6 talked about at the beginning which might be  
7 a real value in the country for the CMS  
8 population.

9                   I would also note that  
10 organizations like NACHRI can take help with  
11 the gap here to craft a measure that might  
12 work across the country. We could hold the  
13 thought today but get a much better thought  
14 before too long.

15                   MEMBER McINERNEY: That's a good  
16 point. I mean, the outcome measure is the  
17 number of children with caries who are on  
18 Medicaid.

19                   MEMBER JENKINS: I know that we've  
20 tried with our dental group to craft a caries  
21 measure. They are very difficult to do. They  
22 may work at the population level but there is

1 another one that is not going to fold downward  
2 but the process measure here is very, very  
3 important.

4 CO-CHAIR WEISS: Let me also say  
5 that for many years there have been problems  
6 with the content of the EPSDT visits and so  
7 while in Minnesota you appear to be very far  
8 along in terms of the number of visits and so  
9 on that are expected, it would not be a bad  
10 idea to think about correlating a varnish with  
11 an EPSDT visit just to be sure that the  
12 varnish is, in fact, incorporated into that  
13 treatment package.

14 DR. DEINARD: I would be perfectly  
15 content to say that the denominator is a well  
16 child exam. In Minnesota, I know I can get a  
17 report of those who had well child exams and  
18 those who had EPSDT exams. The question is,  
19 state by state, no matter what kind of well  
20 child care, you can put varnish on as part of  
21 an ill child visit. It doesn't matter.

22 By the way, you start putting the

1 varnish on with the eruption of the first  
2 tooth or by age one. Kids come in starting at  
3 two weeks, two months, four months, six  
4 months, nine months, 12 months so there are  
5 lots of opportunities in that first year of  
6 life to put varnish on at least at six and 12  
7 months.

8           You can do it as part of an ill  
9 child visit if you miss the child as part of  
10 a well child visit. Or if the child fails the  
11 well child and comes in ill and it's more than  
12 four months since the last varnish you can put  
13 it on.

14           You could take a look at your  
15 varnishes as broken up in three ways: as a  
16 function of well child care as a denominator,  
17 as a function of ill child care because all  
18 ill child visits have a code. The key thing  
19 is that the code for varnish is a unique code,  
20 D1206 in all the states but three and they are  
21 using -- it's in the materials I presented, a  
22 CPT code with a modifier.

1                   Most of the states that are coming  
2                   on board now, by the way, come July 1 there  
3                   will be 37 states that are reimbursing, not  
4                   35. Progress is being made and Alaska and New  
5                   Hampshire are right on the edge of joining the  
6                   blue states.

7                   You could set three different  
8                   denominators. The question is as part of  
9                   those encounters are the physicians doing what  
10                  they could and perhaps should be doing in the  
11                  way of oral healthcare for that child by  
12                  putting varnish on?

13                  CO-CHAIR HOMER: I would say  
14                  Kathy's point though, one, this is a process  
15                  measure which means we should revisit it when  
16                  we are revisiting process measures. Number  
17                  two is I would say for us to truly consider  
18                  this as a process measure we will need more  
19                  detailed specifications and actually some  
20                  probably greater test data that says this  
21                  actually performed this way in these settings  
22                  so that we could actually get some experience.

1                   That is where I'm thinking going  
2 forward. Why don't we just follow the process  
3 and keep Reva and Nicole happy by making sure  
4 that we vote on the different criteria that we  
5 need to do unless we want to just table it.  
6 I guess that is another option.

7                   DR. WINKLER: Or, Charlie, you  
8 could kind of bypass this and basically say  
9 that being it's a process measure it's sort of  
10 out of scope for this but we really would like  
11 to see a tighter specified version in the next  
12 phase when we are really addressing the CHIPRA  
13 measures. That is an option.

14                  CO-CHAIR HOMER: I'm seeing a lot  
15 of head shaking around the room. I would like  
16 to make sure staff has the opportunity to  
17 speak with our guests and make sure and maybe  
18 give some examples of some other well worked  
19 up process measures so that we can provide  
20 help to make sure that this comes back in a  
21 timely basis so we can consider it effectively  
22 because it is one of the critical health needs



1 of children on Medicaid. Yes, Nancy?

2 MEMBER FISHER: Ideally in the  
3 ideal world what would you want to see about  
4 varnish?

5 DR. DEINARD: In an ideal world  
6 for the Medicaid CHIP child?

7 MEMBER FISHER: For anybody.

8 DR. DEINARD: Well, for those who  
9 can get -- if you take a look today at the  
10 caries, who has caries today? 30 percent of  
11 children. Who are those 30 percent? The  
12 Medicaid CHIP kids.

13 Mexican-Americans have more  
14 disease than the African-Americans who have  
15 more disease than the Caucasians and the  
16 Africans and the Southeast Asians and the  
17 American Indians close to somewhere between  
18 the Mexican-Americans and the African-  
19 Americans. I would like to see medical  
20 providers be putting varnish on according to  
21 the ADA recommendation four times a year for  
22 those populations.

1                   MEMBER FISHER: Okay. What I'm  
2                   trying to get at is, in an ideal situation we  
3                   have standards, like for immunizations you get  
4                   so many immunizations. You are trying to do  
5                   it by a certain time. What I'm saying is that  
6                   ideally would you like all kids that they have  
7                   no fluoride in the water or something is to  
8                   get fluoride varnishes before the age of two?

9                   DR. DEINARD: Starting at six  
10                  months or the eruption of the first tooth.

11                  MEMBER FISHER: Okay. I'm just  
12                  trying to give some space for, you know,  
13                  you've got time to get it in there. Would a  
14                  goal be to start out I would like to make sure  
15                  all kids have four by the age of two, by the  
16                  age of 18 months? Something that was  
17                  realistic. It could be done and it gets us on  
18                  our way.

19                  DR. DEINARD: I would like to see  
20                  every child get four in the first year of life  
21                  starting with age one to age two, another four  
22                  between age two and age three, and all the way

1 up to age 20 because teenagers are rotting  
2 their teeth out drinking Coke all day long.

3 MEMBER FISHER: I understand that  
4 but I'm saying that we've got immunization  
5 things and we know we aren't getting some of  
6 them until they are teenagers. I just want to  
7 get a specific goal. If you tell me you want  
8 two by the age of -- what did you say -- two  
9 or four or something like that?

10 DR. DEINARD: I think four by the  
11 age of two on those who are high risk.  
12 Immunizations go to all children. This is for  
13 the high risk kids who don't have dental care.

14 CO-CHAIR HOMER: So just to wrap  
15 up the conversation, I do think if you think  
16 of the immunization measures, a great example,  
17 children across the country have to meet the  
18 same immunization standards regardless of  
19 whether you have a lot of EPSDT or you don't  
20 have a lot of EPSDT or whatever it is.

21 As we are reworking this measure,  
22 as we are working with staff on this, we want

1 to come up with criteria so that there is a  
2 universal measure against the universal  
3 standard that would apply in every state  
4 because that is really where our charge, NQF's  
5 charge, and also the CHIPRA legislation is  
6 moving to having standard consistent  
7 measurement across states so that we can  
8 compare performance and make sure that kids in  
9 Minnesota are not the only children in the  
10 country protected against dental caries. I  
11 guess I would propose that wrap-up.

12 DR. DEINARD: If what you want to  
13 do is table this today, that is perfectly fine  
14 by me because I can see this is not going  
15 where I was hoping it would go; namely, that  
16 you would say this is really good stuff and  
17 it's important because NCQA will pick up on it  
18 and CMS will pick up on it and there will be  
19 something going forward.

20 CO-CHAIR HOMER: I don't want you  
21 to get --

22 DR. DEINARD: To go two, three,

1 four years on process is two, three, four  
2 years with kids not getting what they should  
3 be getting.

4 CO-CHAIR HOMER: No, I don't want  
5 you to get the message that we don't think  
6 this is critically important because we do.  
7 I think there is a lot of excitement around  
8 the room about the importance of this and  
9 there is the belief that -- our committee is  
10 going to be calling for process measures and  
11 reviewing them or seeing them in July so we  
12 are not talking like six years from now.

13 We are talking about a couple  
14 months from now, but you actually have a fair  
15 amount of work to do to develop greater  
16 specifications for this for it to be able to  
17 pass. I mean, it doesn't go from our mouth to  
18 God's ear.

19 It's got to go through additional  
20 levels of review and commentary so it's really  
21 friendly advice to come back with something  
22 that we know is going to be able to pass

1       muster because this is an important topic that  
2       we want to see.

3                   DR. DEINARD:   What concerns me is  
4       that when you start looking at how states  
5       differ, why should that be any less important  
6       when you look at process, which is what we are  
7       looking at here today.   Someone will say you  
8       do it this way in this state and this way in  
9       another state and we can't compare apples and  
10      oranges.   There is no comparability.

11                   CO-CHAIR HOMER:   You could define  
12      encounters for either well child or EPSDT in  
13      such a way or you could say all children by  
14      the -- we can talk offline.   This isn't the  
15      committee in which we should actually develop  
16      the measure.

17                   MEMBER FISHER:   Can I just make  
18      one more comment?   The reason why I asked for  
19      something that was standard for all kids is  
20      I'm thinking about the practitioner who is out  
21      there.   It's much easier to keep it simple.

22                   I mean, I can't memorize now all

1 the immunizations but when I was really doing  
2 them I knew them and I didn't look at somebody  
3 whether they're poor or rich or Medicaid. You  
4 got an immunization, you know, so that's all  
5 I'm trying to do is keep it simple, get it  
6 into the practitioner's head you need this and  
7 you get it by a certain time.

8 DR. DEINARD: The difference --

9 MEMBER FISHER: And just because  
10 you're rich doesn't mean that you don't get  
11 cavities. It just means you can have them  
12 filled.

13 DR. DEINARD: The difference is  
14 that all medical insurance will pay for  
15 immunizations across the full socioeconomic  
16 spectrum. Medical insurance today will not  
17 pay for this on the commercial side. This is  
18 only the Medicaid program in 37 states that  
19 said they will pay. The others are still in  
20 the works.

21 If you have a lot of kids who are  
22 commercially insured on the medical and you do

1 this and you bill the medical side, you're  
2 going to get denied and then you are going to  
3 have a lot of angry parents saying, you didn't  
4 tell me this was going to cost me money and  
5 not covered by my insurance.

6 Offer it to them and charge them  
7 for what you charge Medicaid because you would  
8 like to offer one thing to everybody. For the  
9 medically insured you offered it at a price  
10 that the mother pays or doesn't pay.

11 To say we are going to give it to  
12 every kid, you are going to have bedlam in the  
13 offices where you've got 50 percent of the  
14 commercially insured and Medicaid insured.  
15 There is going to be a lot of bills and  
16 charges unpaid and parents will be very  
17 unhappy.

18 MEMBER FISHER: Some places people  
19 have dental insurance and then I think the  
20 other thing is that we get a standard and we  
21 have to think of how we can get that paid.  
22 Anyway, that's all I have to say.



1 DR. DEINARD: Dental insurance  
2 will not reimburse the physicians because they  
3 are not credential with the dental insurers.

4 MEMBER LIEBERTHAL: At risk of  
5 prolonging the conversation that's not the  
6 direct topic of this meeting, I think you are  
7 aware of the implications of what you are  
8 saying, four varnishes a year for all children  
9 has huge implications with regard to frequency  
10 of visits and cost of medical care that have  
11 to be addressed.

12 That really isn't the province of  
13 this committee. As you formulate this I think  
14 you have to be very aware that a combination  
15 of four visits a year to a dentist and to a  
16 physician is not the standard of care.

17 DR. DEINARD: Well, from the  
18 medical side when you get over age two and  
19 children only come in for well child care once  
20 a year, just like you run immunization clinics  
21 you can run fluoride varnish clinics. The CMA  
22 puts it on and you're out the door.

1 CO-CHAIR HOMER: Any last  
2 comments?

3 MEMBER PERSAUD: Just two last  
4 comments. One place you might look at when  
5 you are looking at the states I think part of  
6 the opportunity here is to come up with some  
7 reasonable standard that would influence  
8 practice, or rather best practice in states  
9 and performance of Medicaid and CHIP systems  
10 in paying for things that are going to be  
11 ultimately effective.

12 You might look at Texas. We are  
13 rarely ahead on anything but as of two years  
14 ago we began making the referrals to dental  
15 homes at six months and started varnishing at  
16 nine months either by us or by the community  
17 dentist.

18 I believe that the rate of  
19 children reaching the dentist for the first  
20 time between one and two years of life has  
21 proportionately increased since we did that.  
22 I think there are opportunities here for you

1 in developing the measure to come up with what  
2 should guide best practice.

3 CO-CHAIR HOMER: Any other  
4 comments? Okay. Kathy.

5 MEMBER JENKINS: A process  
6 question, Charlie. It seems obvious to me  
7 that -- I'm sorry. I don't know your name but  
8 in order to meet the standard of the process  
9 application in July could use a little help.  
10 How does that work? Who is going to help?

11 MS. McELVEEN: It would  
12 essentially be staff, of course, guided by you  
13 all if you have specific recommendations that  
14 you can provide to him that we can then work  
15 with him to do. You have identified some of  
16 those here throughout your discussions today.

17 CO-CHAIR HOMER: Thank you very  
18 much. I know this was not the result you  
19 wanted but I think we will end up where you  
20 want to be.

21 DR. DEINARD: Well, I hope you're  
22 right.

1 CO-CHAIR HOMER: So let's move on  
2 to the next measure on our list and we are  
3 going to go back to -- oh, is there a public  
4 comment?

5 MS. McELVEEN: We usually allow  
6 the audience to come up but we don't have any  
7 audience.

8 CO-CHAIR HOMER: Okay.

9 MS. McELVEEN: I wanted to get  
10 feedback from the group. The next measure  
11 that is up for discussion is that larger  
12 survey measure that has 22 individual measures  
13 comprised within it.

14 I think based on our previous  
15 conversations it would probably be safe to say  
16 to table that for now and maybe look at the  
17 individual components first. Are there any  
18 objections to doing it that way or does anyone  
19 have any further comments?

20 CO-CHAIR HOMER: Sounds like a  
21 good idea.

22 MS. McELVEEN: Okay. So that

1 takes us to -- sorry. Let me just pull up the  
2 table here. This is Work Group 3 and the  
3 measure we will be discussing first is 32.

4 Measure 32 again was part of a  
5 larger survey measure. This single measure is  
6 the number of school days missed due to  
7 illness and the description measures the  
8 quantitative number of days of school missed  
9 due to illness or condition among children and  
10 adolescents age 6 to 17 years of age. We  
11 should probably start with an importance  
12 discussion as we have been doing with all of  
13 our measures.

14 CO-CHAIR HOMER: So anything from  
15 on the Work Group 3?

16 MS. McELVEEN: Unfortunately we  
17 only have two of the members who were assigned  
18 to this work group present with us today.

19 CO-CHAIR HOMER: Allan?

20 MEMBER JENKINS: This is a measure  
21 from the other major survey, the National  
22 Survey of Children's Health. We alluded to it

1 this morning. This is one question on the  
2 survey, the number of school days missed.

3 In terms of the importance, this  
4 is widely regarded, I believe, as a very  
5 important outcome measure that rolls up a lot  
6 of aspects of child health. It's a relatively  
7 simple measure. It's done by asking the  
8 parent how many school days were missed over  
9 the last 12 months due to illness or  
10 condition.

11 I thought it passed the importance  
12 criteria. There is also some data in the  
13 application about the gap and variation,  
14 although if I remember correctly, that was  
15 presented as more than two weeks of school  
16 missed.

17 Allan, I don't know what else you  
18 would like to say to introduce this in terms  
19 of an importance discussion.

20 MEMBER LIEBERTHAL: I agree with  
21 you completely on importance. I had some  
22 issues with it regarding some of the other

1 criteria but it certainly is an important  
2 measure of public health.

3 CO-CHAIR HOMER: Any other  
4 discussion about the importance?

5 CO-CHAIR WEISS: Charlie tells me  
6 that researchers use this measure. I would  
7 just like to know a little bit more. Who  
8 looks at this and how is it used?

9 MEMBER JENKINS: I can tell you  
10 that we're using it at the Children's Hospital  
11 in Boston as a measure of effectiveness as an  
12 asthma community health program and that all  
13 of the process measures of asthma care every  
14 time we discuss them people reminded everyone  
15 that they were process measures and not  
16 outcome measures and this was the one that  
17 always rises to the top as the outcome measure  
18 of interest.

19 If we could reduce the number of  
20 school days missed from our asthma community  
21 health program that we would be making a  
22 difference in the asthma population.

1                   MEMBER LIEBERTHAL: We use that,  
2                   too. I believe NHLBI in their impairment part  
3                   of the NHLBI guidelines includes days of  
4                   school missed and function when they are not  
5                   having an acute exacerbation.

6                   Also in my general practice when  
7                   I'm dealing with kids with a variety of  
8                   illnesses, and especially children who have  
9                   what turn out to be psychosomatic illness such  
10                  as frequent headaches, frequent abdominal  
11                  pains.

12                  One of the important questions I  
13                  ask is how many days of school are you missing  
14                  because that may give me some idea of how much  
15                  impact these symptoms are having on the  
16                  child's health.

17                  CO-CHAIR WEISS: But in both cases  
18                  you're relating school days missed to a  
19                  particular condition or a particular  
20                  diagnosis. Right? Is that picked up in this  
21                  measure? I don't think that it is.

22                  MEMBER LIEBERTHAL: No. That's



1 one of the problems is we get to scientific  
2 acceptability, usability, and feasibility.

3 CO-CHAIR WEISS: Okay.

4 CO-CHAIR HOMER: So, again, just  
5 from the process perspective does it meet the  
6 threshold for importance criteria? All those  
7 who feel it completely meets the criteria,  
8 please raise your hand.

9 DR. WINKLER: Marlene?

10 MEMBER MILLER: Yes.

11 DR. WINKLER: Thank you.

12 CO-CHAIR HOMER: That's everybody.  
13 Okay. Now, moving onto the scientific  
14 acceptability.

15 MEMBER LIEBERTHAL: I can speak to  
16 that. It's a very imprecise question. The  
17 way it's phrased it just uses the term illness  
18 or injury and parents have different  
19 interpretation.

20 Also, many parents will keep a  
21 child home from school for their own personal  
22 convenience or for other reasons but they

1 attribute it to illness because it's more  
2 socially acceptable, just as many of our  
3 workers attribute their personal days off to  
4 illness.

5           Also, if you ask a parent, and I  
6 run into this a lot, if you extend it to how  
7 many days a child has missed in the past year,  
8 they are going to most likely generalize it  
9 from their most recent experience just as when  
10 they say, they are always sick. When you come  
11 down on it they have been sick every other  
12 month, not always sick.

13           If I get a child, again with  
14 asthma, he might have missed a week of school.  
15 Then I asked them how many days did he miss in  
16 a year. They either don't know or they guess  
17 based on the fact that he just missed a week  
18 so it really doesn't differentiate.

19           Also there is no differentiation  
20 of healthy children from children with special  
21 health care needs. I think there are a lot of  
22 problems that it is such a generalized

1 question.

2 MEMBER JENKINS: I agree. I had  
3 the same thought about the scientific issues  
4 associated with it. They did a good job with  
5 excluding children who were home schooled and  
6 that sort of thing. Exclusions were pretty  
7 well specified.

8 I thought they did actually  
9 stratify or exclude patients with special  
10 health care needs but, again, it's based on  
11 essentially a mother's recollection and  
12 writing down a number over an entire year and  
13 I thought there was very likely to be  
14 respondent bias in that.

15 Interestingly it is a measure that  
16 can be validated. The community medicine  
17 program I was alluding to earlier they  
18 actually use school records to count school  
19 days missed as opposed to mother's  
20 recollection of school days missed. It's not  
21 one of those where it's impossible to actually  
22 count school days missed from a different

1 source.

2 MEMBER McINERNEY: That was my  
3 question. Have people looked at the parents  
4 said the child missed six days of school in a  
5 year and then they go to that child's school  
6 record and find out they missed 3 days of  
7 school or they missed 12 days? Is there good  
8 correlation?

9 MEMBER JENKINS: There's no  
10 validity presented by the measure.

11 MEMBER ZIMA: School record data  
12 is complicated. I'm just going to say one  
13 thing and then say we won't go there. That is  
14 that you also have variation by the length of  
15 time a child's semester is and grading period  
16 and sort of picking what semester or grading  
17 period you are going to do and then adjusting  
18 it to the number of days for that grading  
19 period is a lot of work so that's one issue.

20 Then the other, I've got a  
21 question. I'm not sure because I'm concerned  
22 with the denominator including the word or

1 injury. I was wondering whether those around  
2 the table who have thought about this thought  
3 about having handled that part of the  
4 numerator.

5 MEMBER DOCHERTY: I just wanted to  
6 respond to the question about whether or not  
7 parents can report the number of school days  
8 missed. I general in research literature with  
9 chronically ill or children with special  
10 health care needs, parents are actually found  
11 to be very reliable reporters of the numbers  
12 of days.

13 I can't think of any citations off  
14 the top of my head but we know that parents,  
15 especially mothers, are very good at being  
16 able to recollection symptoms, number of  
17 symptoms, and the variety of repercussions of  
18 the symptoms of their children's chronic  
19 illnesses.

20 While there is absolutely no  
21 perfect measure of this kind of thing,  
22 obviously the school records are difficult to

1 get, I feel that mothers are pretty reliable  
2 in terms of if you ask them to think back  
3 specifically over the last month, and then the  
4 preceding seasons were they any worse than  
5 this past season, that they actually are  
6 pretty reliable reporters of their sick  
7 children.

8 I don't know about healthy  
9 children. This is just research with  
10 chronically ill children or children with  
11 special health care needs.

12 CO-CHAIR HOMER: I guess I also,  
13 again, when I looked at this literature in the  
14 past and I wish, again, more information was  
15 presented, one of the challenges in using  
16 something like school records and one of the  
17 reasons that having the illness or injury  
18 question is useful is that really as, Allan,  
19 you suggested, the major determinant of school  
20 attendance has much more to do with maternal  
21 functioning than it does with child health.

22 Obviously those two are not

1 unrelated. Twenty or thirty years ago Michael  
2 Weitzman did a series of studies looking at  
3 the utility of school days missed as an  
4 indicator of asthma performance and found that  
5 just using it on a global basis it was  
6 overwhelmed, at least at that point, by other  
7 measures of social dysfunction compared to  
8 illness management. I think that is why due  
9 to illness or injury sort of comes in there  
10 rather than just leaving it as a straight  
11 count.

12           And then I think -- I guess this  
13 is a legitimate question. If one is trying to  
14 develop an indicator that you are going to be  
15 able to use in multiple places in a feasible  
16 low cost way and somebody is doing the survey  
17 on a regular basis, it's not a bad way to go.

18           Again, maybe that's more on the  
19 feasibility than the validity because there is  
20 definitely a trade off that you do remember  
21 things better more recent obviously. I love  
22 the way you did that sequential questioning of

1 last season but it doesn't look like that is  
2 how they -- is that how they asked the  
3 question?

4 MEMBER JENKINS: It's consistent  
5 across the whole survey using 12 months.  
6 Presuming that the respondent was in the mind  
7 frame of the survey it's consistent throughout  
8 all the questions.

9 CO-CHAIR HOMER: Any comment on  
10 any of the other elements of scientific  
11 acceptability that are worthy of note? Risk  
12 adjustment is not appropriate. There are  
13 differences that they reported.

14 DR. WINKLER: Just to clarify, you  
15 said risk adjustment is not appropriate?

16 CO-CHAIR HOMER: I was just  
17 looking at the scores that are up on the  
18 board.

19 DR. WINKLER: This just said that  
20 it doesn't meet the criteria.

21 CO-CHAIR HOMER: Oh, I'm sorry.  
22 Thank you. I had a misplaced column there.



1                   MEMBER JENKINS: I think it's back  
2                   to that comment we made at the very beginning  
3                   where across the entire country, across the  
4                   entire survey with a percentage of patients  
5                   with special health care needs missing school  
6                   be different in various states or geographic  
7                   regions. It's a potential confounder that may  
8                   not be especially serious to understanding  
9                   variation.

10                   MS. McELVEEN: Just a  
11                   clarification. This particular survey is not  
12                   a component of the one for children with  
13                   special health care needs.

14                   CO-CHAIR HOMER: This is ultra.

15                   MEMBER JENKINS: Right, it's  
16                   ultra. I just wanted to make that  
17                   clarification.

18                   CO-CHAIR HOMER: Do you know if  
19                   this survey includes the screener, though?  
20                   Can you stratify? I would have to look and  
21                   see and I'm not sure that's critical to  
22                   answering this question. I thought it did.

1 All right. So can I have a vote on those who  
2 feel it completely meets the criteria for  
3 scientific acceptability?

4 Marlene?

5 MEMBER MILLER: Does not.

6 CO-CHAIR HOMER: Okay. Partially  
7 meets the criteria?

8 DR. WINKLER: One, two, three,  
9 four, five, six, seven, eight, nine, and  
10 Marina is not here. Okay.

11 CO-CHAIR HOMER: So we'll get her  
12 when she comes in. Good.

13 DR. WINKLER: I think you need to  
14 put minimally.

15 CO-CHAIR HOMER: Minimally.

16 DR. WINKLER: One, two, three,  
17 four.

18 MEMBER MILLER: I say minimally.

19 DR. WINKLER: Okay. That's five  
20 for minimally with Marlene.

21 CO-CHAIR HOMER: And not at all?  
22 Did you get everyone already?

1 DR. WINKLER: I think so with the  
2 exception of Marina. You're partially,  
3 minimally, not at all?

4 CO-CHAIR WEISS: Partially.

5 DR. WINKLER: Partially. Okay.  
6 So that makes that a 10.

7 CO-CHAIR HOMER: All right. Let's  
8 move on then to the usability section.

9 MEMBER LIEBERTHAL: I just don't  
10 see what you are going to get out of this for  
11 improving care. Not because it's not an  
12 interesting number and not because it's not an  
13 important number. It's just that the measure  
14 is too broad to take any action based on it.  
15 You have to really subdivide it as to causes  
16 for school absence.

17 CO-CHAIR HOMER: Any other  
18 discussion of that?

19 MEMBER PARTRIDGE: I just want to  
20 say 100 percent agreement. I'm looking at  
21 this really from the perspective if I said to  
22 the parents in my community, we have a very

1 high rate of absenteeism in our school, the  
2 next question they would ask is, why? Do we  
3 have an epidemic of measles? What is it?

4 I would love a measure that was  
5 more specific to certain conditions. I would  
6 love a measure of a child that we think is  
7 being treated for asthma, that dimension.  
8 That would be a wonderful outcome measure but  
9 this is too broad.

10 CO-CHAIR HOMER: But didn't we --  
11 I mean, we actually specifically put this on  
12 our list of things. We said we wanted  
13 measures of global outcomes including broad  
14 measures such as school attendance and we got  
15 what we asked for.

16 MEMBER JENKINS: This isn't a  
17 population-based measure. It's a very, very  
18 high-level look.

19 MEMBER PERSAUD: I'm thinking  
20 about things like during the flu season you  
21 may have huge absences but what that's going  
22 to reflect is that your threshold number of

1 children that you are immunizing in schools is  
2 not high enough and you need to do something  
3 about that.

4 I think it's a population measure  
5 and I think as a population measure it can be  
6 useful. It's not useful to the individual  
7 level or for a clinic performance but I think  
8 from a resource perspective and managing that  
9 population in a community, I think it is a  
10 useful measure.

11 MEMBER LIEBERTHAL: But you're  
12 addressing a specific time period. This is a  
13 12-month measure so the fact that there is  
14 high absenteeism during the flu season could  
15 not be identified by this measure.

16 I agree that this measure if it  
17 were worded differently and not as broad would  
18 be excellent. In response to Charlie maybe we  
19 asked the wrong question.

20 CO-CHAIR WEISS: Let me just say  
21 that I'm not sure I'm convinced that as a  
22 population measure it's all that useful but,

1       you know, maybe I'm not thinking broadly  
2       enough.  However, it strikes me that the  
3       providers of care, the clinicians who are  
4       sitting around this table, are almost to a  
5       person saying it is useful.

6                   Sharon's point about parents who  
7       deal with a chronically ill child using it as  
8       a marker for how well their child's disease or  
9       condition is being controlled is very  
10      convincing to me that this is a useful measure  
11      even if it doesn't have utility for the mayor  
12      or the health commissioner generally.

13                   MEMBER PARTRIDGE:  I think I would  
14      feel differently if you said the class we're  
15      defining is children with special health care  
16      needs.  That narrows it enough for me to make  
17      me feel that it has some usability.  I'm not  
18      making Charlie happy.

19                   CO-CHAIR HOMER:  Well, because I'm  
20      doing queries right now and I can actually  
21      query right now for kids who have a medical  
22      home or don't have a medical home in Michigan

1 compared to Minnesota the number of days that  
2 they are missing school. If I were a state  
3 policy maker or whether you have a medical  
4 home or not, you have given me some useful --

5 MEMBER PARTRIDGE: You've linked  
6 it to a medical home.

7 MEMBER JENKINS: That's how people  
8 would use it. If they found they were high on  
9 this measure, they would then ask why and then  
10 they would fall into various interesting  
11 reasons why they were high on this measure.

12 CO-CHAIR WEISS: But as a stand-  
13 alone measure it really isn't telling us a  
14 whole lot at the general population level.

15 MEMBER DOCHERTY: It can be used  
16 in statistical models with other variables to  
17 explain more about what it's more predictive  
18 of or what it's associated with other  
19 variables so collect as a stand-alone measure  
20 but then compared with other measure of that  
21 population it could tell you more.

22 CO-CHAIR WEISS: Don't get me

1 wrong. I'm going to vote for this. I'm going  
2 to vote for it because I think it does have  
3 utility but in and of itself as a stand-alone  
4 measure I honestly don't think that it tells  
5 us very much that can be used on a population-  
6 wide basis.

7           However, as compared to other  
8 measures, the medical home being a perfect  
9 example, and its utility for the practitioner,  
10 and also with a parent with a child whose  
11 function is being measured in part by how well  
12 they are able to meet the scholastic kinds of  
13 expectations, to me it has value.

14           CO-CHAIR HOMER: Nancy.

15           MEMBER FISHER: I was going to  
16 say, though, when we talk about taking a  
17 measure and looking at it at a higher level,  
18 we already have that affirmation. It was  
19 given to us in the evaluation of the measure  
20 telling us how many kids with chronic disease,  
21 I mean with special health care needs and  
22 stuff. To me we have it at a higher level.



1                   What we need is the measure that  
2 goes down deeper to tell us specifically.  
3 Like someone said, I don't know if you call it  
4 healthy kids but you would have something on  
5 kids with special health care needs, something  
6 on kids with chronic disease if we don't have  
7 that, and then the others that are supposed to  
8 be normal or whatever, average I guess you  
9 would say.

10                   And then the kids with chronic  
11 disease what you'd want to know is which  
12 diseases and how it's keeping them out of  
13 school. That to me would be more helpful.  
14 Right now I think we have the information on  
15 a higher level. That's where they are  
16 justifying this measure.

17                   CO-CHAIR HOMER: So help me with  
18 this. Yes, this measure is already available  
19 and you can query it on the website.

20                   MEMBER FISHER: Yes.

21                   CO-CHAIR HOMER: But I thought  
22 that basically NQF needs to certify or as CMS

1 going forward is going to say if you want to  
2 use this measure, for example, within your  
3 state for a variety of purposes; quality,  
4 measurement, and recording, it needs to be an  
5 NQF endorsed measure.

6 MEMBER FISHER: Okay.

7 CO-CHAIR HOMER: Even if we have  
8 it now and it's available and you say you want  
9 to use it or the secretary says she wants to  
10 use this when she's setting up comparisons or  
11 things that are around the national report  
12 card of how Washington State is doing compared  
13 to Oregon, it would need to be NQF endorsed.

14 MEMBER FISHER: Okay. That's  
15 different.

16 CO-CHAIR HOMER: Okay. So now  
17 that we've --

18 MEMBER JENKINS: Feasibility, I  
19 think. Right? Oh, we're going to vote.

20 CO-CHAIR HOMER: So let's vote on  
21 usability. Is it understandable, is it  
22 harmonized, and does it provide added value

1 basically over other things that NQF has  
2 already endorsed presumably.

3 DR. WINKLER: We haven't done  
4 anything like this

5 CO-CHAIR HOMER: There are no  
6 measures then?

7 DR. WINKLER: Nada.

8 CO-CHAIR HOMER: So how many folks  
9 feel this completely meets the criteria for  
10 usability? Shockingly enough. Okay, one.  
11 See, I didn't succumb to peer pressure.  
12 Partially?

13 DR. WINKLER: Marlene?

14 MEMBER MILLER: I'm stuck between  
15 partially and minimally.

16 DR. WINKLER: Okay.

17 CO-CHAIR HOMER: And then  
18 minimally?

19 DR. WINKLER: Have you decided,  
20 Marlene?

21 MEMBER MILLER: I'll go minimal.

22 DR. WINKLER: Okay.

1 CO-CHAIR HOMER: We balanced each  
2 other out.

3 DR. WINKLER: Is there a not at  
4 all?

5 CO-CHAIR HOMER: Okay. Good. Did  
6 we catch everybody?

7 DR. WINKLER: Yes.

8 CO-CHAIR HOMER: Good. Then  
9 feasibility.

10 MEMBER JENKINS: In terms of  
11 feasibility this is coming from the national  
12 survey and as long as there is still money  
13 available to do the national survey, I guess  
14 it's feasible to cut the data this way.

15 DR. WINKLER: I have one question.  
16 How often is this survey administered?

17 MEMBER JENKINS: I think one was  
18 every year and the other one was every four  
19 years. Did I get that right?

20 CO-CHAIR HOMER: I'm not sure.

21 MS. McELVEEN: Yes, we can hear  
22 you.

1 MR. STUMBO: Hi. Okay, sorry.  
2 I've been listening. This is Scott Stumbo.  
3 I work with Dr. Bethell. I've been on the  
4 call for about a half hour. I just hadn't  
5 been able to chime in yet. I wanted you guys  
6 to know that I'm here.

7 This particular survey is  
8 currently conducted every four years and it  
9 alternates every two years with the national  
10 survey of children with cavities.

11 CO-CHAIR HOMER: Which does make  
12 it not ideal from a performance measurement  
13 stand. It's kind of hard to track change.

14 MEMBER LIEBERTHAL: The only thing  
15 on feasibility is the inaccuracies. I just  
16 think that the data that you are going to get  
17 from the parent report is inaccurate,  
18 understanding that children with special  
19 health care needs may be accurate but I think  
20 for healthy children it's inaccurate. I mean,  
21 feasibility is being done so I guess that's  
22 okay but sometimes garbage in --

1 CO-CHAIR HOMER: Garbage out?

2 MEMBER LIEBERTHAL: I didn't say  
3 that. I didn't say the second half.

4 CO-CHAIR HOMER: Okay. So why  
5 don't we vote on the feasibility and then  
6 we'll vote on the overall measure. How many  
7 votes that this completely meets feasibility  
8 criteria? I see none.

9 Marlene.

10 MEMBER MILLER: No, I wouldn't say  
11 completely.

12 CO-CHAIR HOMER: Okay. Partially?

13 MEMBER MILLER: Partially.

14 DR. WINKLER: Fourteen.

15 CO-CHAIR HOMER: Minimally? One.  
16 Okay. Good. All right. So time to call an  
17 overall vote and that's just yes or no, right?  
18 That's just recommend or not recommend. So  
19 would we recommend moving this forward and  
20 endorsing this as a measure? All in favor of  
21 recommending the measure. This one is going  
22 to be tight

1 DR. WINKLER: Twelve. Marlene?

2 MEMBER MILLER: No, I wouldn't.

3 CO-CHAIR HOMER: Nos?

4 DR. WINKLER: One here.

5 CO-CHAIR HOMER: Okay. The  
6 measure carries.

7 MEMBER PARTRIDGE: Could we  
8 consider a recommendation? It's not a  
9 condition.

10 CO-CHAIR HOMER: Of course.

11 MEMBER PARTRIDGE: The discovery  
12 that this is a survey every four years is a  
13 little daunting and I wonder if we could  
14 recommend the measure steward look at the  
15 possibility of this data being gathered other  
16 than through that survey. In other words, is  
17 it feasible to incorporate something else that  
18 is done more frequently. It would just be  
19 useful to know.

20 CO-CHAIR HOMER: Sure. Good.  
21 That would be great. Okay. That was very  
22 productive. Where do we go next?

1 PARTICIPANT: Thirty-six.

2 CO-CHAIR HOMER: Another  
3 straightforward one. Okay.

4 MEMBER McINERNEY: I just want to  
5 answer Lee's question. Certainly school  
6 districts report absentee rates to the state.  
7 Of course, I don't know why but we don't know  
8 exactly why either.

9 MEMBER PARTRIDGE: Actually, this  
10 crosses over into something we haven't talked  
11 about much today at all. Where you have these  
12 growing health information exchange exercises  
13 there are certainly conversations going on  
14 about the extent to which some of the data  
15 that comes from schools can be shared through  
16 that medium. It comes up often in the context  
17 of health. That was part of my reason for  
18 thinking we might explore more frequently.

19 CO-CHAIR HOMER: And the process  
20 would be if someone came forward with that  
21 kind of a measure going forward, then we would  
22 go through some harmonization process at NQF



1 to choose which was the better strategy to go  
2 forward.

3 Okay. So let's move on to measure  
4 36, children who have no problems obtaining  
5 referrals when needed. Could we hear from the  
6 committee.

7 MEMBER LIEBERTHAL: I was on it.  
8 You have to define needed versus wanted.  
9 Again, this is parent opinion but an example  
10 that I put in my comments -- well, if we are  
11 just discussing importance, yes, I think it's  
12 important that referrals be available, but  
13 when we get down to the scientific and  
14 usability, I think there are problems again.  
15 I'll keep my comments at this point to just  
16 yes, it's important.

17 CO-CHAIR HOMER: Any further  
18 discussion since this is important? Good.  
19 Are there disparities? Yes, there is a fairly  
20 broad range it looks like, 9 percent in  
21 Vermont to 29 percent in DC. Okay. Should we  
22 have a vote?

1                   MEMBER SCHWALENSTOCKER: Charlie,  
2                   I don't know if this comes here or not but I  
3                   guess I could use some input on how outcome-y  
4                   this is versus process-y and it's a tough one  
5                   and I just wonder what your's and other's  
6                   thoughts are.

7                   MEMBER JENKINS: This was one of  
8                   the ones I was alluding to at the very  
9                   beginning with my questions, Charlie, about I  
10                  just scored it at face value but you said that  
11                  you hit it on the importance criteria.

12                 CO-CHAIR HOMER: Yes, and how  
13                 close. I also think this is one that is on  
14                 the cusp. It's certainly an outcome of having  
15                 an effective system; that is, if you have  
16                 referrals readily available but it's not a  
17                 health status measure. It's a system  
18                 performance measure. Would you consider this  
19                 a system outcome measure; that is a reasonable  
20                 measure of whether you have an effective  
21                 system of mental health?

22                 MEMBER ZIMA: Yes, particularly

1 for carved-out mental health for kids in  
2 Medicaid. It would be an indicator of the  
3 need to redesign the system and it will raise  
4 a lot of questions about integrating  
5 pediatrics and psychiatry together.

6 CO-CHAIR HOMER: Nancy.

7 MEMBER FISHER: When you do it  
8 that way, what you are really looking at is  
9 the kids that aren't getting the referrals.  
10 That's what you're interested in. If you say  
11 how easy is it to get a referral and so one  
12 person gets a referral, you're not worried  
13 about that person.

14 You're worried about the 99,000  
15 other ones that didn't get a referral so to me  
16 this isn't as important to me as the other  
17 side of it because then you get that data and  
18 then you have to drill down on it.

19 CO-CHAIR HOMER: The data that  
20 they reported here are 20 percent of children  
21 with special health care needs had problems  
22 obtaining referrals so that is how they -- the

1 question is asked in the positive but --

2 MEMBER FISHER: They are answering  
3 it the way that I want the answer.

4 CO-CHAIR HOMER: Exactly.

5 MEMBER FISHER: Yes. They are  
6 asking one question and answering yet another  
7 so that's what I said what --

8 CO-CHAIR HOMER: It depends. It's  
9 actually at the response categories in the  
10 instrument.

11 MEMBER FISHER: You said 20  
12 percent of kids aren't getting it. Right? Is  
13 that what you said?

14 CO-CHAIR HOMER: Yes, children  
15 with special health care needs.

16 MEMBER FISHER: Okay. So 80  
17 percent are getting it.

18 MEMBER LIEBERTHAL: This is a  
19 general -- the denominator is all patients who  
20 need referrals whether they have special  
21 health care needs or not. The problem with  
22 this one in the denominator is how do you

1 define needing a referral because this is the  
2 survey that covers all children.

3 I believe they asked the same  
4 question in the other survey that we tabled  
5 but this is of all children. This may be a  
6 healthy kid and mom comes in and wants a  
7 referral to derm for a mole that a general  
8 pediatrician or a wart or something that the  
9 primary care provider may not only be  
10 perfectly capable of but have additional  
11 expertise.

12 So the mother says, why are you  
13 here? I'm here because I want the referral.  
14 You say, well, you don't need a referral  
15 because I take care of this all the time and  
16 do as good a job as a dermatologist. That's  
17 where you run into the problem with this one.

18 CO-CHAIR HOMER: Okay. So let's --

19 MR. STUMBO: Can I interject here?

20 CO-CHAIR HOMER: Oh, you certainly  
21 may.

22 MR. STUMBO: Okay. Thank you.

1 The question does specifically say need.

2 CO-CHAIR HOMER: Yes.

3 MR. STUMBO: I think if we're  
4 imputing I want from parents which I think is  
5 inappropriate.

6 MEMBER LIEBERTHAL: But you're  
7 asking the question of parents so they don't  
8 discriminate. If they say I want they mean I  
9 need. Since you're asking parents, you're  
10 using the parent's decision as to whether they  
11 need the referral, not whether they truly need  
12 the referral.

13 CO-CHAIR HOMER: In the index of  
14 family centered care and you're talking about  
15 -- I mean, that is the value from which NQF  
16 operates and six aims of the Institute of  
17 Medicine does put family centered care as the  
18 prime value.

19 MEMBER JENKINS: We have to go  
20 back to Ellen's question about process versus  
21 outcome. I do think that issue about the  
22 respondent's bias in the way they might answer

1       this question is an issue. We could ask  
2       perhaps both questions to the measurement  
3       developer, to that extent do you believe this  
4       is an outcome measure? Then also, do you have  
5       any data that would look to the validity of  
6       presumption of difficulty obtaining a referral  
7       when the question is asked in that very  
8       general way?

9                   MR. STUMBO: Someone in our group  
10       has written a paper actually looking at the  
11       need and the follow up of referrals from the  
12       physician's point of view and from the parent  
13       point of view and they don't often jive but  
14       there are, indeed, cases in which the doctor  
15       indicated a need for parent follow up and the  
16       reverse is also true related to a worsened  
17       outcome. Within the survey itself, again,  
18       this is population based health care.

19                   We are able to say that those who  
20       were not able to get the referrals they  
21       needed, you know, did significantly -- of the  
22       child or the rate of the child -- I guess I

1 would say, and I'm not licensed to talk on the  
2 phone, but I would say it's an intermediate  
3 outcome which is a lack of ability to get a  
4 needed referral clearly has fall outcome.

5 CO-CHAIR HOMER: So let's go back.  
6 I'm just going to force us back through the  
7 process a little bit. Did we vote on the  
8 importance criteria? We haven't so I would  
9 like to have us vote on whether this meets the  
10 importance criteria which is threshold for  
11 going forward. Does it completely meet the  
12 importance criteria? Just yes or no. Does  
13 this meet importance criteria?

14 DR. WINKLER: Yes. Marlene?

15 MEMBER MILLER: Yes.

16 DR. WINKLER: Okay. That's 13.

17 CO-CHAIR HOMER: Okay. No? Two.  
18 Okay. All right. So then we need to -- I'm  
19 sorry?

20 MS. McELVEEN: I was going to say  
21 I still think we need to have a scope vote  
22 because there has been some discussion whether



1 this is a process or outcome measure which  
2 also would --

3 CO-CHAIR HOMER: I guess -- well,  
4 how do we want to -- several of us have felt  
5 this is basically an intermediate outcome or  
6 a system outcome measure. It's not a health  
7 status measure but it is an outcome of having  
8 an effect in the delivery system. Therefore,  
9 we would consider it under the current  
10 deliberations rather than putting it off until  
11 July. That would be my suggestion. Should we  
12 put that to a vote?

13 MEMBER PARTRIDGE: Charlie, I want  
14 to be sure I'm voting on the right thing here.  
15 By saying you had no problem obtaining a  
16 referral, I assume what you're talking about  
17 is even if the pediatrician said, yes, here's  
18 a referral to so and so, you couldn't get in.

19 CO-CHAIR HOMER: If you couldn't  
20 get in, exactly. You couldn't get approval  
21 from your insurance company.

22 MEMBER PARTRIDGE: It's

1 essentially if the child needs the services  
2 it's not possible to get them or they don't  
3 get them.

4 CO-CHAIR HOMER: Well, again, it's  
5 the parent's assessment of how big a problem  
6 was it. They said it's either a big problem,  
7 a little problem, or no problem. If it's  
8 anything other than no problem, it's a problem  
9 and it gets scored as a problem.

10 Tom.

11 MEMBER McINERNEY: Actually, this  
12 time I found the question K5Q10. Great.  
13 "During the past 12 months did your child need  
14 a referral to see any doctors or receive any  
15 services?" I would think that would be the  
16 denominator and then the numerator is K5Q11,  
17 was getting referrals a big problem, a small  
18 problem, or not a problem?

19 There's a bunch of answers; big  
20 problem, small problem, not a problem, don't  
21 know, refuse. So that could be the numerator.  
22 Now, the question is do we lump big and small

1 problems and say, yes, that's a problem, or do  
2 we just say big problems?

3 MEMBER JENKINS: Numerators have  
4 no problems.

5 MEMBER McINERNEY: No problems.

6 CO-CHAIR HOMER: Right, it's no  
7 problem. That's exactly right. Again, I  
8 think the question still is are we going to  
9 consider this as an outcome measure, enough of  
10 an outcome measure that we want to continue  
11 with the review at this time. Let me call a  
12 vote on that. All those in favor of including  
13 it within the outcome measure buckets and  
14 continuing the discussion.

15 DR. WINKLER: Marlene?

16 MEMBER MILLER: We are voting to  
17 call it an outcome measure, yes or no?

18 DR. WINKLER: Correct.

19 MEMBER MILLER: I would say no.

20 DR. WINKLER: Okay. So I got  
21 nine.

22 CO-CHAIR HOMER: So all those

1       opposed or who said no, it's a process  
2       measure.

3                   DR. WINKLER:   Marlene will be five  
4       so it's 10 to five.

5                   CO-CHAIR HOMER:   Okay.   So let's  
6       continue with the conversation then.   Thank  
7       you.

8                   MEMBER McINERNY:   Do we have a  
9       representative of the steward on the line?

10                   CO-CHAIR HOMER:   Yes.

11                   MEMBER McINERNY:   I just have a  
12       question about why this measurement was  
13       structured the way it is and what you think  
14       the potential implications are of putting what  
15       would be not traditionally the enumerator as  
16       the enumerator being no problem and that all  
17       needing referral as the denominator.

18                   I mean, obviously those who have  
19       no problems identifies those who had problems  
20       but is there an advantage of looking at it in  
21       this way or is there some data availability  
22       issue here?

1 MR. STUMBO: No. I appreciate  
2 that question. I was actually going to say  
3 something about that. It's funny to think it  
4 works this way and I'm now trying to recollect  
5 why we defined the measure this way. When we  
6 used this, or when others had used this,  
7 because this is a measure that does give you  
8 quite a bit of literature, we almost always  
9 bring that into negative.

10 We do usually talk about the kid's  
11 had a problem. The problem there, as someone  
12 on the panel was just asking, there are  
13 actually three categories; you had no problem  
14 or you had a big or a small problem. We do  
15 tend to combine the big and small together.

16 Basically what we end up with is  
17 yes, you had a problem or, no, you didn't have  
18 a problem. Why the question was originally  
19 not asked that way I don't know. I wasn't  
20 around for the origination on that. We do  
21 tend to break up the negatives.

22 I'm sorry that it appears to be

1       there were -- we are definitely more  
2       interested in the 20 percent who say they have  
3       problems than the ones who don't. Everyone is  
4       reading the denominator properly which is only  
5       if the parent indicated a referral was needed.

6                   MEMBER PERSAUD: In the numerator  
7       details the language to me looks different  
8       than the numerator statement so the numerator  
9       statement is children who need referrals and  
10      have no problems obtaining them. Then the  
11      numerator detail is the numerator describes a  
12      number of children who needed a referral to  
13      see whatever and had problems obtaining those  
14      referrals.

15                   CO-CHAIR HOMER: I think there is  
16      a mistake in the --

17                   MEMBER PERSAUD: Which one of  
18      those things is it and the language should be  
19      the same.

20                   MR. STUMBO: Sorry about that.

21                   MEMBER PERSAUD: So it's had no  
22      problems obtaining them or had? If it's had,

1 then the first statement has to be changed.

2 MEMBER JENKINS: -- is higher  
3 scored so I think the way it works is no  
4 problems.

5 MEMBER PERSAUD: Is no problems so  
6 the numerator detail should say had no  
7 problems obtaining those referrals.

8 MEMBER CLARKE: That's not a big  
9 deal. It's like looking at survival versus  
10 mortality.

11 MEMBER RAO: Just one question for  
12 the measure developer. In developing this  
13 questionnaire what are examples of small and  
14 big problems in getting a referral?

15 MR. STUMBO: That's a great  
16 question. Again, unfortunately I wasn't  
17 around for the development of the actual  
18 measure. It doesn't appear to have any --  
19 there is no sort help screen, you know, if the  
20 parent asks if it means big or small. It's  
21 parent perception and I, unfortunately, don't  
22 know what that is based on.

1                   MEMBER JENKINS: Can I ask a  
2 follow-up question about the intent? Was this  
3 about insurance referrals or did it mean  
4 couldn't get an appointment, couldn't get to  
5 the appoint? Was it everything about  
6 accomplishing the referral?

7                   When you chose the word referral  
8 did you mean that literal piece of paper that  
9 is the insurance referral? To me in the  
10 validity part of this question I didn't think  
11 that was completely clear. And then, of  
12 course, my follow up question did parents  
13 really understand it. Before I get that far  
14 what was the intent?

15                  MR. STUMBO: I do believe the  
16 intent, as someone said earlier, was  
17 originally developed for children who need  
18 help so that is sort of where it originally  
19 comes from. They are using this survey as  
20 part of sort of the composite medical whole  
21 measure to sort of assist in the performance  
22 measure in this regard.



1                   Originally what I think it is  
2           trying to effect is for kids who have chronic  
3           issues with multiple providers and navigating  
4           the system which requires often multiple  
5           referrals. To what extent at a population  
6           level can we measure that. I'm not sure if I  
7           answered your question.

8                   MEMBER PARTRIDGE: By navigating  
9           the system so you mean being able to get an  
10          appointment or having somebody help you get an  
11          appointment? We have system navigators and we  
12          have just plain access problems. I can tell  
13          you there are no child psychiatrists in  
14          southern Texas or something like that. Which  
15          are we talking about?

16                  MR. STUMBO: The intent was  
17          access.

18                  MEMBER LIEBERTHAL: Taking the  
19          wording literally it's whatever the parent  
20          perceives with the outcome seeing the  
21          specialist who they were referred to. What  
22          they went through and what the system went

1 through to get them there is immaterial to the  
2 parent.

3 If you take this literally, it's  
4 what they perceive as the problem. If they  
5 want the referral and the PCP says, oh, no,  
6 you don't need a referral. That presents a  
7 problem. If the system makes it difficult for  
8 the PCP to fill out the paper or the insurance  
9 company refuses it or there is no access or  
10 there are no providers, those are all problems  
11 and it's what the parent perceives. Am I  
12 reading that correctly?

13 MR. STUMBO: I would agree with  
14 that. You would not necessarily be able with  
15 these two items to discern the difference  
16 between those two.

17 CO-CHAIR HOMER: I think the  
18 analogy here is the measure we just had on  
19 school days. In other words, this is kind of  
20 a global outcome. There could be a variety.

21 I think the lineage of this  
22 question is old enough that it probably was

1 during the heyday of gate keeping when the  
2 issue may have been issues around is your  
3 doctor going to actually write the referral.  
4 Now it could be more about shortage of  
5 pediatric subspecialists or access to mental  
6 health or Medicaid restrictions and benefit  
7 levels and things like that, payment levels.

8 MEMBER LIEBERTHAL: Or the child  
9 may be -- everything may go smoothly and they  
10 may get to the specialist and the specialist  
11 is an adult specialist who knows squat about  
12 children.

13 CO-CHAIR HOMER: This really is  
14 just kind of a yellow flag that says there's  
15 a problem and one would need more detailed  
16 measurement to find out what it is.

17 DR. WINKLER: Charlie, based on  
18 this question and the previous discussion, it  
19 sounds like one of our gaps we might want to  
20 explore in some detail is while these present  
21 global issues, there is a desire for having  
22 measures that are a little bit more targeted

1 to answer the questions why that could be  
2 looked at the provider level or plan level or  
3 system level or something that would be a  
4 little more specific to understanding what all  
5 the inputs are that this global measure  
6 reflects.

7 CO-CHAIR HOMER: I think that's  
8 great.

9 DR. WINKLER: Okay. That's a gap.

10 CO-CHAIR HOMER: Okay. So are we  
11 at a place where we can vote on the scientific  
12 acceptability that specifications,  
13 reliability, validity, exclusions, risk  
14 adjustment, etc., meaningful differences,  
15 comparability, and disparities?

16 PARTICIPANT: Sure.

17 CO-CHAIR HOMER: Sure. I think  
18 we're wearing them down. So I'll say to what  
19 extent does this completely meet the criteria  
20 for scientific acceptability? I see none. To  
21 what extent does this partially meet?

22 DR. WINKLER: Marlene.

1 MEMBER MILLER: Yes, I'll say  
2 partially.

3 DR. WINKLER: Okay.

4 CO-CHAIR HOMER: And then  
5 minimally. We have two.

6 DR. WINKLER: Marlene, I didn't  
7 mean to railroad you.

8 CO-CHAIR HOMER: Okay. So do we  
9 have everybody then?

10 DR. WINKLER: Yes.

11 CO-CHAIR HOMER: Okay. Good. Why  
12 don't we move then onto usability. Is this  
13 understandable? Is it harmonized? Are there  
14 any other measures around referral management  
15 in NQF at all? None? I'm shocked.

16 DR. WINKLER: Except which might  
17 be embedded in something like CAHPS or some of  
18 the other survey pools.

19 CO-CHAIR HOMER: Does CAHPS -- do  
20 we know if CAHPS has anything on --

21 DR. WINKLER: It's not just CAHPS  
22 actually around children because there are

1 several other survey instruments and I can't  
2 remember the questions on them now.

3 CO-CHAIR HOMER: Is this in the  
4 group CAHPS?

5 DR. WINKLER: Well, there is the  
6 clinician group CAHPS. Remember we have also  
7 done several others that are focused around  
8 adolescents, YAHCS, and I forget the other  
9 one.

10 CO-CHAIR HOMER: I don't think  
11 it's in YAHCS or PHDS but I do think it might  
12 be in CAHPS.

13 Kathy?

14 MEMBER JENKINS: It would  
15 certainly be the --

16 CO-CHAIR HOMER: Yes, supplement.

17 DR. WINKLER: The pediatric module  
18 with the chronic? Yes, and we've endorsed  
19 that one, too.

20 MEMBER JENKINS: I was going to  
21 ask the measure developer about usability.

22 MR. STUMBO: I actually don't

1 know. I'm sorry. I don't recall.

2 CO-CHAIR HOMER: You're living  
3 with the illness survey basically. I think  
4 maybe that's a note to both the developer and  
5 also to --

6 MEMBER JENKINS: Charlie, I will  
7 say that this measure, and then the next one  
8 we're going to discuss, is around effective  
9 care coordination coming out of this group.  
10 I think both of these in my mind are speaking  
11 towards the new initiatives around development  
12 of medical home. To that extent they are very  
13 important nationally.

14 CO-CHAIR HOMER: I am struck on  
15 the understandability. On one hand it sort of  
16 makes sense that you have problems or not but  
17 just the debt of conversation around the room  
18 suggested that this group, at least, maybe  
19 because we have such a glandular knowledge of  
20 the health system had a hard time really  
21 understanding what having a problem with the  
22 referral meant.

1                   So if I were reporting to the  
2 public that whether they would share that or  
3 whether they would get it, I do have a little  
4 concern given how much trouble we had as a  
5 group getting out head around what this  
6 exactly meant. Okay.

7                   Why don't we call for a vote. How  
8 many feel this completely meets the usability  
9 criteria? Zero.

10                  Marlene?

11                  MEMBER MILLER: No.

12                  CO-CHAIR HOMER: No. Okay. How  
13 many this partially meets the usability  
14 criteria?

15                  DR. WINKLER: Ten.

16                  CO-CHAIR HOMER: How many feel it  
17 minimally meets the usability criteria?

18                  DR. WINKLER: Four. Marlene?

19                  MEMBER MILLER: I'm a no.

20                  CO-CHAIR HOMER: Okay. Did that  
21 get everyone?

22                  DR. WINKLER: That's it.



1 CO-CHAIR HOMER: All right. The  
2 last one is the feasibility. I guess this  
3 probably is going to echo the last one which  
4 comes from the survey which happens only every  
5 four years. How many feel this is completely  
6 feasible? How many feel this is partially  
7 feasible?

8 DR. WINKLER: Nine.

9 CO-CHAIR HOMER: And how many feel  
10 this is minimally?

11 MEMBER MILLER: I'll vote partial.

12 DR. WINKLER: Okay. Thanks.

13 CO-CHAIR HOMER: Now the global  
14 recommendation. Do we recommend this measure  
15 to go forward for approval or not? All those  
16 in favor -- oh, please.

17 MEMBER CLARKE: I think we  
18 absolutely need to stipulate that there is no  
19 way it can go forward without resolution of  
20 which way are they going to look at it and  
21 have it consistent throughout the application.

22 CO-CHAIR HOMER: And clean up the

1 specification.

2 MEMBER CLARKE: It has to be  
3 changed no matter what the outcome of this  
4 vote is.

5 DR. WINKLER: Right. Yes.

6 CO-CHAIR HOMER: Very good. So  
7 assuming, again, which I think is pretty  
8 technical, pretty straightforward, that they  
9 are just not consistent in the definitions  
10 throughout.

11 So all those in favor of approving  
12 this or recommending it move forward for  
13 endorsement to be precise. Okay.

14 Marlene, did you vote one way or  
15 the other?

16 CO-CHAIR HOMER: All those  
17 opposed?

18 DR. WINKLER: Marlene?

19 MEMBER MILLER: That was a no.

20 CO-CHAIR HOMER: That's a tough  
21 one.

22 DR. WINKLER: Nine to six. It's

1 still yes.

2 CO-CHAIR HOMER: It's still yes.

3 All right. Any strong arguments for  
4 reconsideration? I guess not. We can reflect  
5 on it overnight and if people have second  
6 thoughts, we can discuss it tomorrow.

7 MS. McELVEEN: So we can take a  
8 short break if you'd like for about 10 minutes  
9 and reconvene and wrap up three more measures  
10 for the rest of the day. Is that okay with  
11 everyone?

12 CO-CHAIR HOMER: I think we're  
13 doing great. Terrific. Thank you.

14 (Whereupon, the above-entitled  
15 matter went off the record at 3:08 p.m. and  
16 resumed at 3:23 p.m.)

17 CO-CHAIR HOMER: Why don't we get  
18 started. If we could reconvene. I just want  
19 to get started.

20 MEMBER McINERNY: Some of you may  
21 realize, may or may not realize, but the  
22 American Family of Pediatric Legislative

1 Office is two floors below. I popped down and  
2 Bob Hall from there said he wanted to come up.  
3 Bob was single handedly the person who got  
4 health care reform passed.

5 CO-CHAIR HOMER: I would say it  
6 was Marina and Bob together.

7 MEMBER McINERNY: Marina and Bob.

8 CO-CHAIR WEISS: I don't think so.  
9 I'll give him credit.

10 MEMBER McINERNY: And, of course,  
11 the AAP Legislative Office will be watching  
12 closely what happens and making sure that some  
13 of the very important things for children that  
14 had been promised will actually take place.  
15 He's very interested in this process and was  
16 happy to hear that it looks like we are going  
17 to be looking to CHIPRA measures as well. He  
18 just wanted to say hello to everybody.

19 CO-CHAIR HOMER: Thanks for coming  
20 up. It's a public meeting. You can make  
21 public comments.

22 MS. McELVEEN: Alright. Moving

1 along with the CAHMI survey measures. We are  
2 onto the next one. We are on measure No. 38.  
3 The title of this measure is Children who  
4 receive effective care coordination of health  
5 care services when needed.

6 This is a composite measure used  
7 to assess the need and receipt of care  
8 coordination services for children who  
9 required care from at least two types of  
10 health care services which may require  
11 communication between the health care  
12 providers or with others involved in the  
13 child's care.

14 We will get started with  
15 importance.

16 MEMBER JENKINS: I don't know if  
17 you want to say anything, Allan.

18 MEMBER LIEBERTHAL: Kathy, why  
19 don't you start.

20 MEMBER JENKINS: In terms of  
21 importance, I think similar to the last  
22 measure that we looked at this is coming from

1 the National Survey of Children's Health and  
2 is clearly square in the national conversation  
3 about medical home and coordination of care.

4 What the measure is doing, I  
5 think, as we've just heard, is attempting to  
6 use a composite of answers from the National  
7 Survey of Children's Health to assess  
8 effective care coordination.

9 I don't know if people can  
10 understand the importance without knowing just  
11 a little bit more about the numerator and the  
12 denominator so I think I'll just say something  
13 about that and then I have a question for the  
14 measurement developer.

15 This one is a little bit more  
16 complicated than the others in that the  
17 numerator -- let me start with the  
18 denominator which is a little simpler.

19 The denominator are all  
20 respondents did a survey of children zero to  
21 17 years who needed care coordination and  
22 needed care coordination is defined as needing

1 two or more of the following services; a  
2 personal doctor or nurse, a mental health  
3 professional, a specialist, or the child's  
4 doctor felt that the child needed to see a  
5 specialist. All the children who meet those  
6 criteria are the denominator.

7 The numerator is a composite and  
8 the and/or's are a little confusing to me so  
9 I think I might ask the measurement developer  
10 to clarify them. Parent report. Someone  
11 helping to arrange or coordinate child care  
12 among the different doctors and services.

13 And then, and I think this is an  
14 "and" statement, either the parent reports  
15 they have not felt they could have used extra  
16 help arranging or coordinating child's care  
17 among the different health care providers or  
18 services, or the parent reports that they have  
19 felt they could have used help and they got as  
20 much help as they wanted with arranging or  
21 coordinating the child's care.

22 Then I think it's an "and"

1 statement, the parent reports satisfaction  
2 with communication among doctors or other  
3 providers. It's a little bit confusing and I  
4 think what their intent is to ask for when the  
5 parent thought there was a need and then that  
6 they thought the need was fulfilled.

7 It was a little confusing to me in  
8 terms of parent report someone helping to  
9 arrange without the criteria about whether or  
10 not the family was satisfied. It's a hard  
11 sentence to say so I'm not sure if everyone  
12 followed that. If we could ask the  
13 measurement developer to help clarify the and  
14 and the or's in the numerator statement, I  
15 think that would be helpful.

16 MR. STUMBO: Sure. I would be  
17 happy to do that. Let me first say I think it  
18 would be better to think of me as the steward  
19 of the steward rather than the measure  
20 developer.

21 MEMBER JENKINS: I'm sorry. I  
22 misspoke.



1 MR. STUMBO: All these items were  
2 developed by the expert panel for the Paternal  
3 Child Health Bureau to do both of the national  
4 surveys. There were 15 experts across field  
5 who came up with these items. That being  
6 said, I will do my best to explain and I have  
7 actually worked quite a bit with the data.  
8 Actually there might be a slightly simpler  
9 way. I could discard the denominator and I  
10 think it's best to take the denominator at the  
11 moment as all children under 17 who use two or  
12 more of those technical services that you were  
13 describing. There was one that got left off  
14 in our office but it's online.

15 The mission is dental care as  
16 well. Presumably any child who has used two  
17 or more services, has a primary care  
18 physician, special care, and mental health  
19 professional care are potentially are eligible  
20 for some sort of care coordination services.

21 MEMBER JENKINS: So the survey  
22 distinguishes between used the services versus

1 needed the services. Is that correct?

2 MR. STUMBO: That's correct. The  
3 actual count is the use of those services.  
4 For instance, if the child went to their  
5 primary care physician during the past 12  
6 months but did not see a mental health  
7 professional specialist, or even a dentist  
8 they would not be the denominator. If someone  
9 went to their primary care physician and  
10 dentist they could actually be the  
11 denominator. They are the denominator I  
12 should say.

13 If I can just go ahead, the  
14 numerator I think I can maybe make it a little  
15 clearer. I'm hoping I can. Really there are  
16 two ways of getting into the numerator. I  
17 very much understand the confusion.

18 This is something that we worked  
19 on quite a bit with the National Health  
20 Statistics, Paternal Child Health Bureau and  
21 numerous Title 5 groups across the country who  
22 really understand the care coordination

1 component because it's not captured elsewhere  
2 and we believe this is at least a good turning  
3 point although it's probably not the perfect  
4 measure.

5 To get into the numerator you  
6 could either have fed directly to a series of  
7 questions that someone did indeed help arrange  
8 care for you based on the fact that you had  
9 two or more services. Following on that you  
10 either said you got all the help you needed or  
11 you didn't.

12 If you said you didn't, then you  
13 actually did not receive enough care  
14 coordination which you would not be the  
15 numerator of the care coordination. You can  
16 ask me questions if that's not clear.

17 The second way of getting into the  
18 numerator because the technical expert panel  
19 determined that term in and of itself often  
20 does not make sense to parents. Anyone who  
21 used two or more services and reported that  
22 they were highly satisfied with the

1 communication between their doctors also could  
2 be refused care coordination.

3 It's possible to say, "No, I did  
4 not get any help." The thinking from the  
5 technical expert panel is that often parents  
6 (a) don't know what that means and (b) don't  
7 seem to know that they need help or that they  
8 could get help. If they had multiple service  
9 use and reported that they were highly  
10 satisfied with the communication among  
11 providers, that also qualified them for care  
12 coordination.

13 MEMBER JENKINS: There is a series  
14 of "or" statements. Any of the above makes  
15 you eligible for the numerator?

16 MR. STUMBO: That could be  
17 correct. Right. You could have gotten care  
18 coordination and --

19 MEMBER JENKINS: I thought they  
20 were "and" statements.

21 MR. STUMBO: Okay. I'm going to  
22 look at this while you guys discuss the other

1 thing.

2 MEMBER LIEBERTHAL: I didn't get  
3 into the wording as carefully but overall I  
4 actually liked this measure for all of the  
5 rating factors because it really comes down to  
6 whether the parent was satisfied or not and  
7 that is really the outcome you're looking for,  
8 parent satisfaction. With that as the  
9 outcome, I thought it was a good measure.

10 MEMBER McINERNY: Would it be  
11 helpful -- again, I found these exact  
12 questions. Would it be helpful to read them,  
13 Charlie?

14 CO-CHAIR HOMER: Yes, fine.

15 MEMBER McINERNY: "During the past  
16 12 months have you felt that you could have  
17 used extra help arranging or coordinating your  
18 child's care among the different health care  
19 provider or services? Yes or no."

20 "During the past 12 months how  
21 often did you get as much help as you wanted  
22 with arranging or coordinating the care?"

1 Never, sometimes, usually."

2 Then, "Overall were you very  
3 satisfied, somewhat satisfied, somewhat  
4 dissatisfied, or very dissatisfied with the  
5 communication among the child's doctor and  
6 other health care providers? Very satisfied,  
7 somewhat satisfied, somewhat dissatisfied,  
8 very dissatisfied." Then there's a thing, "No  
9 communication needed or wanted."

10 When you start to try and put all  
11 those together it gets a little confusing.

12 MEMBER PERSAUD: So the question  
13 is which of those responses, what combination  
14 of those constitute the numerator patient  
15 perceived got coordinated care. Is that  
16 right?

17 MEMBER JENKINS: That's the  
18 question I was asking the measurement  
19 developer. Then if we have clarity about  
20 that, I guess we can vote on importance.

21 MR. STUMBO: Let me take the  
22 negative numerator first because I think it's

1 a little bit easier to explain. There are  
2 ands and or's when you get into the positive  
3 numerator. Did not qualify as having  
4 effective care you did not get all the help  
5 you needed for care coordination, or you were  
6 not satisfied with the care of one provider.

7 MEMBER JENKINS: You mean with the  
8 patient?

9 MR. STUMBO: Yes. To get into the  
10 numerator you basically had to have received  
11 all the care coordination you thought you  
12 needed and been happy with communication  
13 between providers and been happy. The third  
14 one kind of relates to the second one which is  
15 if communication is needed between provider  
16 and school, coordinated care with school.  
17 Again, it's a satisfied or not satisfied  
18 question.

19 If you felt you got all the care  
20 coordination that you needed, and you were  
21 happy with the communication among providers  
22 and happy with the communication between

1 providers and the school, then you had care  
2 coordination but there is "and" between each  
3 of those three things.

4 Now, there are lots of legitimate  
5 skips out of all these questions. If you did  
6 not need care coordination or didn't need  
7 communication, you can still get into the  
8 numerator. You were just legitimately out of  
9 that component of the measure.

10 MEMBER LIEBERTHAL: So for your  
11 numerator if the parent reports satisfaction  
12 with communication among doctors or other  
13 providers, if the parent answers yes that they  
14 were satisfied, do any of the other bullet  
15 points mean anything?

16 MR. STUMBO: Well, you do have to  
17 have all three components and so if you are  
18 satisfied with the communication, that you  
19 reported you did not get all the care  
20 coordination help that you needed, you would  
21 not be in the numerator so it is not helpful  
22 to qualify just by saying you were happy with



1 the way your doctor and dentist talked to each  
2 other.

3 You could have said you didn't  
4 need any care coordination help. This may or  
5 may not be clear. It's a huge tree diagram  
6 that we try to diagram it out for people.  
7 You could literally say you didn't get any  
8 care coordination help and didn't need any  
9 because you either didn't know you needed any  
10 and said you were satisfied with the  
11 communication between all your providers in  
12 which case you would be a numerator.

13 You could not qualify as a  
14 numerator if you said you needed help and  
15 didn't get it but were satisfied with  
16 communication between your providers.

17 MEMBER LIEBERTHAL: It's getting  
18 more confusing.

19 CO-CHAIR HOMER: So let's ask the  
20 first question. To the extent we understand  
21 what this measure is measuring is it  
22 important. We know the concept of

1 coordination is very important but I guess the  
2 next question is as measured is this something  
3 -- is it measuring something that's important?  
4 Do we have indication that this is a big  
5 problem or that there is meaningful variation  
6 across sites? There's a gap in performance?

7 MEMBER JENKINS: The application  
8 does show variation with various levels of  
9 other responses in the survey like they have  
10 for other measures coming out of the survey  
11 which is a little different but I do think  
12 they are trained to measure a construct that  
13 there is no gold standard for.

14 CO-CHAIR HOMER: So let me just  
15 again just to sort of push the process forward  
16 a little bit, it seems like why don't we vote  
17 then on importance. It sounds like it does  
18 meet those criteria but that is a threshold  
19 question, yes or no.

20 Is this measure sufficiently  
21 important that we want to go through and  
22 consider whether it's actually scientifically

1 credible and useful and feasible and all that  
2 other good stuff. All who believe it is  
3 sufficiently important raise your hand. That  
4 looks pretty universal.

5 DR. WINKLER: Marlene, are you  
6 still there?

7 MEMBER SCHWALENSTOCKER: I think  
8 she may have had to get off.

9 DR. WINKLER: Okay.

10 CO-CHAIR HOMER: Marina is out for  
11 a minute.

12 DR. WINKLER: We'll vote her  
13 proxy.

14 CO-CHAIR HOMER: Okay. All right.  
15 Then let's look at the scientific  
16 acceptability dimension and see how we are  
17 feeling about those elements again.

18 DR. WINKLER: Just one thing to  
19 ask the measure developer. You mentioned that  
20 you drew out a tree diagram. Is that  
21 something you could share with us?

22 MR. STUMBO: Yes, certainly.

1 DR. WINKLER: Super. For anybody  
2 who is going to view this measure going  
3 forward that would be very, very helpful. We  
4 may not have it right now but I think that is  
5 something we do need.

6 MEMBER ZIMA: Just one more  
7 question. You had mentioned schools but I  
8 noticed in the denominator it says health  
9 services. Are you thinking services broadly  
10 or within just health care?

11 MR. STUMBO: Yes. That's a good  
12 question. Right. These are health services  
13 broadly. For instance, there was a mental  
14 health or emotional behavioral issue which  
15 went through IDT at school it required  
16 communication between the school and any  
17 number of providers. That's what it's  
18 referring to.

19 MEMBER ZIMA: Would it go so far  
20 as foster care to put a kid on a home  
21 placement risk?

22 MR. STUMBO: No, the question is

1 very specific; does your child require  
2 communication between any of the providers and  
3 the school.

4 CO-CHAIR HOMER: So parental  
5 judgment. If the foster parent felt that was  
6 important, they could say yes. It's parental  
7 judgment about whether they think that there  
8 needs to be communication between that health  
9 care provider and the school.

10 Tom.

11 MEMBER McINERNY: Yes. Would this  
12 include therapists such as physical  
13 therapists, occupational therapists, speech  
14 therapists also?

15 MR. STUMBO: That's correct.

16 MEMBER McINERNY: Okay.

17 MEMBER LIEBERTHAL: Getting back  
18 to that last line, parent reports  
19 satisfaction. It would seem to me that if the  
20 responses were negative on the previous three,  
21 then the parent would not be satisfied with  
22 communication.

1                   If the answers were positive to  
2 all three, the parent would be satisfied.  
3 Since we are really dealing with parent  
4 satisfaction, I think this would have been  
5 much simpler had you only asked the fourth  
6 question or the measure was only based on the  
7 fourth question and that's whether they were  
8 satisfied or not.

9                   CO-CHAIR HOMER: Okay. At this  
10 point, again, we have a measure before us. I  
11 guess we could recommend they revise it.

12                  MEMBER LIEBERTHAL: Yes, that's  
13 what I'm getting at is that we could recommend  
14 that. It depends on how we choose to  
15 interpret those four questions.

16                  CO-CHAIR HOMER: So you're  
17 suggesting that you would prefer something  
18 that simply looks at parent reported  
19 satisfaction with communication among doctors?

20                  MEMBER LIEBERTHAL: I see this as  
21 a patient satisfaction issue and whether the  
22 doctors and the other providers thought they

1 had communicated. If they hadn't conveyed it  
2 to the parent and the parent wasn't satisfied  
3 with the communication, then the system failed  
4 the parent.

5 CO-CHAIR HOMER: But communication  
6 and coordination are not the same.

7 MEMBER RAO: I thought it was the  
8 issue of our they satisfied with the  
9 coordination itself, not the actual  
10 communication.

11 MEMBER JENKINS: It's actually  
12 both. There is another statement of the  
13 algorithm under 2(a).21.

14 MEMBER RAO: Right. That's what I  
15 was looking at.

16 MEMBER JENKINS: After the  
17 beginning with the ands and the ors it says,  
18 "Parent reports that they got as much help as  
19 they wanted with arranging or coordinating  
20 care." That's a parent satisfaction report.

21 Then there is also in addition  
22 parent report satisfaction with communication

1 with doctors when needed and further  
2 satisfaction with communication between  
3 doctors and others involved, e.g., schools.

4 Back to Allan's point, if you just  
5 combine those last three without the beginning  
6 part, you would have a pure composite parent  
7 satisfaction report on both care,  
8 coordination, and communication among  
9 providers and with schools.

10 It will also solve one of my other  
11 validity issues which had to do with would the  
12 family necessarily know and identify that  
13 someone had helped coordinate care or who that  
14 was. I think a lot happens behind the scenes  
15 sometimes that parents are oblivious to.

16 MEMBER PARTRIDGE: I did  
17 understand this to mean that they were  
18 measuring two separate dimensions. What I  
19 would call the case management kind of aspect,  
20 somebody who facilitates getting records  
21 forward and helps you make the appointment and  
22 identifies the proper specialist, etc., which



1 is one function.

2 And then there's another dimension  
3 we're looking at and that is the dimension  
4 around my child saw the specialist. Did  
5 everybody talk to everybody else and did I  
6 think it all worked out well. That's the  
7 communication and coordination of care aspect.  
8 Those are two distinct things in my mind.

9 I think Allan is suggesting that  
10 we drop the first. Am I right? You're saying  
11 that you're satisfied with -- it doesn't say  
12 did you have trouble getting to the specialist  
13 in the first place. We aren't asking about  
14 that anymore.

15 MEMBER LIEBERTHAL: I'm suggesting  
16 that it be simplified into one, that the  
17 numerator have one statement whether it is a  
18 composite statement that includes the others  
19 but it not be ands and ors.

20 MEMBER PARTRIDGE: Right, but in  
21 crafting that new numerator you would lose, I  
22 think, the answer to the question about did

1 you need help essentially arranging to get to  
2 the specialist and did you get it.

3 CO-CHAIR HOMER: I think that is  
4 almost an impure question. Again, I would ask  
5 the steward whether you have done analyses to  
6 see how closely correlated are these. Are  
7 they measuring the same thing or are you  
8 actually commishing two different -- that's a  
9 technical term -- two different concepts into  
10 the same measure?

11 MR. STUMBO: We have looked at  
12 that and I'm not going to argue with what  
13 anybody is saying. I happen to agree that  
14 they are sort of one is satisfaction of  
15 communication and another one is a more direct  
16 measure of coordination.

17 The original thinking behind the  
18 item again by the technical expert panel is  
19 that the component about satisfaction of  
20 communication was meant to broaden the  
21 numerator indicator and denominator because a  
22 vast number of parents who need care

1 coordination actually say they don't know  
2 where to get it.

3 When we ask directly did someone  
4 help coordinate your care, what they have  
5 found among the children with special health  
6 care need in the community is that people  
7 don't know that's available. They don't  
8 really know what it is. The communication  
9 needs to be added in to try to cast a slightly  
10 wider net. I might agree that these are two  
11 slightly different things now.

12 CO-CHAIR HOMER: So I think this  
13 is influencing at least my judgment on  
14 scientific acceptability of the measure. I  
15 don't know what other people are thinking.  
16 Also as I'm thinking of this if you look  
17 through the elements here it seems like it's  
18 well specified but if it took us 45 minutes to  
19 kind of understand those specifications, I  
20 guess sometimes things need to be complicated.  
21 Just because it's hard doesn't mean it's wrong  
22 but maybe it's either not sufficiently clear

1 or --

2 DR. WINKLER: I think one of the  
3 issues is always conveying the information.  
4 I think some of the measures we see with  
5 complex risk adjustment methodologies can be  
6 quite dauntingly complex to grasp all of the  
7 details. To the degree that we can explain it  
8 in a straightforward fashion as possible for  
9 a wide audience will be important.

10 I think if a tree diagram explains  
11 the numerator better than a series of  
12 statements that you get lost in, let's go with  
13 the tree and whatever works to communicate how  
14 the measure is constructed most effectively.

15 CO-CHAIR HOMER: There also sounds  
16 like there are some meaningful questions about  
17 the validity of this measure because it is  
18 taking two different constructs, one being  
19 this coordination facilitation which we are  
20 not sure parents can record on accurately.  
21 Then we've got this thing on satisfaction with  
22 communication which is easier to understand

1 but not necessarily the same construct.

2 MEMBER ZIMA: One more issue I  
3 think we just need to say for the future and  
4 that is that one time contact with another  
5 sector doesn't necessarily mean it's  
6 coordinated.

7 DR. WINKLER: One other question  
8 to the developer. You have mentioned with  
9 some of the other measures that you have done  
10 some publications looking at the results of  
11 some of these. Has there been any work or  
12 publication around this particular measure for  
13 care coordination?

14 MR. STUMBO: I would need to get  
15 that to someone after the fact with the tree  
16 diagram let's say.

17 DR. WINKLER: Okay.

18 MR. STUMBO: I don't know off the  
19 top of my head.

20 DR. WINKLER: That might be  
21 helpful, too.

22 MEMBER JENKINS: I guess what I'm

1 hearing is that it's a very important  
2 construct. There is certainly part of it  
3 here. It feels a little underdeveloped to me.  
4 I mean, at the end of it what I would love to  
5 see is however it's measured in the survey  
6 that it sort is evaluated in terms of its  
7 validity with something else that is  
8 reflective of care coordination.

9 I'm just not seeing that quite yet  
10 here. That doesn't mean that descriptively  
11 looking at the measure this way might not be  
12 interesting and it might not be valid as  
13 written. I'm just not sure that I can see  
14 that right now.

15 CO-CHAIR HOMER: So I guess the  
16 question is do we have enough information that  
17 we should continue with the voting or do we  
18 need to look at some more background  
19 materials? My inclination is we probably have  
20 enough but I don't know. What does the  
21 committee think? Do we want to get more  
22 materials from CAHMI or do we want to sort of

1 proceed?

2 The importance we voted on. We're  
3 really talking about scientific acceptability.  
4 That's where we were right now. I think some  
5 people are not even certain about the  
6 validity. Are they measuring, what are they  
7 really measuring, what evidence do they have,  
8 does this correlate with other indicators of  
9 good coordination if there are any.

10 MEMBER CLARKE: I get the  
11 impression that the committee doesn't really  
12 understand it that well right now. There's  
13 not sufficient detail in that. We need the  
14 tree.

15 CO-CHAIR HOMER: So should we  
16 table this then and ask for the tree and some  
17 other data before --

18 MEMBER JENKINS: Maybe we could go  
19 through the process and we could end up with  
20 one of those to be avoided recommendations  
21 with conditions or something. I'm back to  
22 Allan's point that he liked a lot of it.

1 CO-CHAIR HOMER: Why don't we do  
2 the voting then on the scientific  
3 acceptability. How many feel this completely  
4 fulfills the acceptability criteria? I see  
5 none. How many feel it partially meets the  
6 scientific acceptability criteria?

7 DR. WINKLER: Four. Okay.

8 CO-CHAIR HOMER: And how many feel  
9 it minimally meets the criteria?

10 DR. WINKLER: Marlene? She's  
11 gone.

12 CO-CHAIR HOMER: Did we get  
13 everybody?

14 DR. WINKLER: Marina and Marlene  
15 are gone so now there are 13.

16 CO-CHAIR HOMER: Okay. Usability.  
17 That is how understandable this is. Is it  
18 harmonized with other measures and does it  
19 provide added value. We've had a long  
20 discussion about understandability and  
21 harmonization. Again, there may be some  
22 indicators in CAHPS that gets vaguely at this



1 issue of communication so we are going to need  
2 to talk about the added value of this compared  
3 to the CAHPS measure.

4 MEMBER PARTRIDGE: Right. I was  
5 going to ask if we could -- I'm pretty sure  
6 that HCAHPS, the health plan, not hospital  
7 CAHPS.

8 CO-CHAIR HOMER: The original.

9 MEMBER PARTRIDGE: Health plan  
10 CAHPS has some questions of the beneficiary,  
11 of the member, about care coordination.

12 MEMBER ZIMA: And I believe the  
13 consumer measures got four items or so  
14 specifically asking whether the doctor  
15 listened to and understood and appreciated  
16 their cultural values and things like that.

17 CO-CHAIR HOMER: Yes, but that  
18 doesn't necessarily deal with the issue of  
19 communication with other providers which this  
20 is at and coordination amongst multiple  
21 sectors. This is more about --

22 DR. WINKLER: We can pull the

1 survey tonight.

2 MEMBER PARTRIDGE: I've been  
3 reading it so much the last two weeks you  
4 would think I'd have it engraved in my head  
5 but I'm almost certain there are very similar  
6 questions in it.

7 CO-CHAIR HOMER: My recollection  
8 was they tried and that they really had a hard  
9 time with these. That was when I was a  
10 developer which was a long time ago.

11 Okay. So votes then on the  
12 usability. How many feel this completely  
13 meets the usability criteria? I see none.  
14 How many feels this partially meets the  
15 usability criteria? I see two. How many feel  
16 this minimally meets the usability criteria?  
17 I see a bunch.

18 Now the feasibility criteria, data  
19 by product of care, electronic, exclusions,  
20 inaccuracies, and implementation. Again, this  
21 is the survey, another survey, two per year.

22 MEMBER JENKINS: I think the only

1 issue here is the fact there are inaccuracies  
2 based on interpretability to the respondent.

3 CO-CHAIR HOMER: So how many feel  
4 this completely meets the feasibility  
5 criteria? How many feel this partially --  
6 right? Isn't that the next one? Okay,  
7 partially. Minimally? All right. Now a  
8 global vote on: do we want to recommend this  
9 go forward? Again, there are  
10 different ways we can recommend to go forward.  
11 We can recommend it go forward as endorsement.  
12 We can recommend a conditional endorsement,  
13 that is, with criteria for some modification  
14 or testing or clarification, a time-limited --

15 DR. WINKLER: This really wouldn't  
16 be.

17 CO-CHAIR HOMER: This wouldn't be?

18 DR. WINKLER: No. It's been  
19 tested. Time-limited is available for  
20 measures that have never been tested.

21 CO-CHAIR HOMER: So this is either  
22 endorsed or recommend for revision and

1 clarification, to give us more information and  
2 come back.

3 MEMBER JENKINS: We've recommended  
4 with conditions before.

5 CO-CHAIR HOMER: Okay.

6 MEMBER LIEBERTHAL: When you say  
7 this has been used, has it been used in this  
8 form, or the individual questions have been  
9 used?

10 CO-CHAIR HOMER: I mean, this  
11 aggregate -- again, you can go to the website  
12 and find out how your state does compared to  
13 another state, so that is the use in that  
14 context.

15 MEMBER JENKINS: In the composite?  
16 I don't think that's right. I thought it was  
17 just in the individual component.

18 CO-CHAIR HOMER: No. You can go  
19 on the composite. You can compare right now,  
20 for example, children who did or didn't need.  
21 You can compare it by state -- again,  
22 nationally, it says 58 percent did not need

1 care coordination; 28 percent received all the  
2 care coordination they needed; and 12.9  
3 percent did not receive care coordination as  
4 needed which is the --

5 MEMBER LIEBERTHAL: But those are  
6 different.

7 MEMBER JENKINS: Those are  
8 questions.

9 MEMBER LIEBERTHAL: Those are the  
10 individual questions, not the composite.

11 MEMBER JENKINS: Not the  
12 composite. That was my understanding, is that  
13 the composite was new.

14 MR. STUMBO: It's there. It is  
15 there.

16 CO-CHAIR HOMER: The composite  
17 using this --

18 MR. STUMBO: In addition to all  
19 the individual items, so you can see sort of  
20 how many said yes or no to each of the six  
21 items total.

22 CO-CHAIR HOMER: Yes, I mean, the

1 question I just gave says it's from question  
2 22, 24, 30, 31, 32, etc. It's a component of  
3 the medical home composite. Isn't it?

4 MR. STUMBO: That is correct.

5 CO-CHAIR HOMER: So it is widely  
6 reported and actually fairly widely published.  
7 Okay.

8 MEMBER LIEBERTHAL: Before we  
9 vote, can I ask you a question then?

10 CO-CHAIR HOMER: Sure.

11 MEMBER LIEBERTHAL: If they are  
12 using it widely in the format it's in, is  
13 there any point in our either recommending  
14 with conditions, versus not recommending?

15 CO-CHAIR HOMER: Again, the reason  
16 that it would be important for NQF either to  
17 recommend or not is the use in Medicaid and by  
18 CMS.

19 MEMBER LIEBERTHAL: I'm not saying  
20 recommending or not recommending, but having  
21 the third alternative -- meaning with  
22 conditions. Seeing that they are already

1 using it in its current form, is there any  
2 point in our asking for conditions?

3 CO-CHAIR HOMER: Yes, because if  
4 we recommend it, if the group is fine, then we  
5 can go ahead. If we don't recommend it, then  
6 it's not going to be eligible for use in these  
7 variety of contexts. If we recommend it with  
8 conditions, then they could modify it and it  
9 could still be then used in another testing.  
10 Is that right?

11 DR. WINKLER: I'll split the  
12 difference with you. The fact is, you can  
13 make the conditions, but it's a no vote unless  
14 they do make the changes. For a measure that  
15 is well established and well in use, you know,  
16 the likelihood of rapid modifications doesn't  
17 seem very great. I would be more than happy  
18 if the measure developer would jump in and  
19 respond as well.

20 MR. STUMBO: I'm sorry. I missed  
21 the last question. I apologize. What was the  
22 question?

1 DR. WINKLER: The committee  
2 members have had some recommendations on the  
3 various components of the measures, and if  
4 they were to recommend this measure with --  
5 conditioned on adjusting the measure  
6 somewhat, how likely would it be that would  
7 even be possible from the measure developer's  
8 perspective?

9 MR. STUMBO: From the discussion  
10 I've understood so far, it would be possible  
11 for us to calculate two different -- kind of  
12 separate out those two components we were  
13 talking about, which is the more direct  
14 measure of care coordination versus  
15 communication satisfaction among service  
16 providers, and that is feasible. It's not  
17 currently how we think about it, but it is  
18 something we've talked about doing, given the  
19 items that are surveyed.

20 MEMBER PARTRIDGE: And am I right,  
21 that this is one of the components of the big  
22 survey that is administered once every four



1 years?

2 MR. STUMBO: Correct. It's  
3 actually every two years from the fact that  
4 the exact same measure is included in the  
5 national survey for children with special  
6 health care needs.

7 MEMBER PARTRIDGE: Right.

8 MR. STUMBO: So every two years  
9 these exact same items are being asked, either  
10 of all children or children with special  
11 health care needs.

12 CO-CHAIR HOMER: So if we were to  
13 put conditions, what would the conditions be  
14 that we would like to add, before calling for  
15 a vote?

16 MEMBER PARTRIDGE: I would be  
17 interested in seeing it coming back as two  
18 separate measures.

19 MEMBER RAO: One for satisfaction  
20 and one for coordination.

21 CO-CHAIR HOMER: So I see that  
22 then that we're tabling it, or we are not

1 approving it as is, and inviting them to come  
2 back rather than approving with conditions.

3 MEMBER JENKINS: I need  
4 clarification about what it is, and then my  
5 question is not about use but about  
6 validation, in terms of what it means. If I  
7 understood the algorithm better and it had  
8 been validated, then it may be approvable in  
9 my mind the way it's written. I just can't  
10 get clarity around that. I understand it's  
11 being used. It's in the survey and there are  
12 answers within the survey, but to me that is  
13 very different than being validated for any  
14 external standard. That's where I'm not  
15 understanding if it's in one concept or two.

16 CO-CHAIR HOMER: So, Reva, what is  
17 your sense then of what would be better here?  
18 My sense is if we were to just vote it up or  
19 down as it is now, probably people -- my guess  
20 is we're not going to approve it, but there  
21 seems to be interest, and some of that is  
22 based on not being able to understand it as it

1 is and wanting to see more analyses.

2 DR. WINKLER: I think that one  
3 option is that you could say that you just  
4 don't have enough understanding of the measure  
5 at this point to vote on it. And perhaps with  
6 the additional information that we've  
7 discussed with the measure developer -- the  
8 tree, any publications, things like that --  
9 perhaps that would be the sufficient  
10 information you would need, and then you can  
11 go ahead and make a judgment. I'm seeing  
12 nodding heads.

13 CO-CHAIR HOMER: I think so. I  
14 was in a side bar. I'm sorry. I was being  
15 bad.

16 DR. WINKLER: I know you were in a  
17 side bar.

18 CO-CHAIR HOMER: You solved the  
19 problem. This is it.

20 DR. WINKLER: They all decided  
21 they prefer not to vote until they have the  
22 additional information.

1 CO-CHAIR HOMER: That's good.

2 MEMBER JENKINS: For that last  
3 point, please bring the information about the  
4 individual components, because we are hearing  
5 a call for that information.

6 CO-CHAIR HOMER: Individual  
7 components, the coordination and the  
8 communication as two separate items. We want  
9 separate and together, and we want to see the  
10 algorithm as well.

11 DR. WINKLER: Tomorrow?

12 CO-CHAIR HOMER: Well, tomorrow if  
13 they have them. The other would be if there  
14 is any validation data that cross-matches this  
15 with any concurrent kinds of information. If  
16 it's been used in programs, for example, where  
17 they have online communication between  
18 providers -- do you see different kinds of  
19 responses in the survey or something like  
20 that.

21 DR. WINKLER: Charlie, let's ask  
22 the measure developer. Is any of that

1 information something you could email to us  
2 now or this evening so, that we can share  
3 them?

4 MR. STUMBO: I can try.

5 DR. WINKLER: Okay. We'll be  
6 looking for it and we'll share it with the  
7 committee as soon as we see it. Thank you.

8 MS. McELVEEN: All right. Moving  
9 on to the next measure. It's Measure 39. The  
10 title is "Children who live in communities  
11 perceived as safe." This measure ascertains:  
12 Do parents perceive safety as the child's  
13 community or neighborhood? Comments and then  
14 discussion.

15 MEMBER LIEBERTHAL: This was,  
16 again, Group 3. They don't define the word  
17 "safe." Depending on who you are, where you  
18 live, safety can have a very different  
19 meanings. It's important to know if  
20 communities are safe but again -- very similar  
21 to others -- we are dealing with patient  
22 perceptions, and without more understanding of

1       what is meant by safe, I don't know how people  
2       would respond to it.

3                   MEMBER DOCHERTY:   Family A or  
4       family B -- you know -- have different  
5       definitions of what safe is, in whatever way  
6       they define that to be.

7                   MEMBER LIEBERTHAL:   The problem I  
8       have is, again, if we're trying -- this is  
9       solely for calculations.  It depends on how  
10      you analyze it.  In Los Angeles parents who  
11      live in South Central may consider safety that  
12      they can go a month without a drive-by  
13      shooting, whereas a family in the suburb think  
14      the neighborhood isn't safe unless they can  
15      walk unaccompanied down the street at night at  
16      2:00 in the morning without fear of being  
17      splashed by a car going through a puddle.  
18      Very different worlds.  In this case, I don't  
19      accept the perception of safety as being true  
20      safety.

21                   MEMBER JENKINS:   I also was in  
22      group 3, and I struggled exactly over this

1 dynamic and came down, I think, a little bit  
2 to the idea that perception of safety is  
3 probably an outcome measure all by itself,  
4 regardless of safety for sure are defined  
5 differently.

6 I then struggled a little bit  
7 about scope for the committee and this work.  
8 It was fairly far from sort of health  
9 outcomes, so I know we did cast a very broad  
10 population-based net, and this was sort of  
11 pushing my boundaries there.

12 Then we have the whole issue of  
13 the survey methodology and all the rest of it,  
14 so I was really curious what other people on  
15 the committee thought about scope for this  
16 question.

17 MEMBER RAO: I just want to point  
18 out -- the questions themselves are actually  
19 frequency-type questions; how often does your  
20 child feel unsafe or safe in their  
21 neighborhood. They are not about how do you  
22 perceive the neighborhood in general. I think

1 that makes it a little better indicator.

2 MEMBER FISHER: I do see how this  
3 relates to health, because if you perceive  
4 yourself as not being safe, that's a stressor.  
5 And then the other thing is if it's not safe  
6 and you are trying to keep your child from  
7 danger, like you said, drive-by shootings, and  
8 you stay in the house and you sit in front of  
9 the TV more and you play video games more and  
10 you put on more weight and you don't do things  
11 that keep you quite as healthy. So I do see  
12 this as, what do you call it, one of the  
13 social determinants of health and a very  
14 important one.

15 I do understand what they are  
16 saying about the perception, so it may be that  
17 if you took this and you got people's  
18 perception, maybe the comparison would be some  
19 national standard that says whether it's safe  
20 or not. But, you know, it depends on how you  
21 look at it. But it's important.

22 It's the same thing as, you know,



1 if your doctor comes in and asks you on a  
2 scale of one to 10 what your pain is, and they  
3 are trying to find out, they need to ask you  
4 what is the worst pain you felt, because the  
5 worst pain you felt may have been being hit by  
6 a car, versus someone who fell down the  
7 stairs. I understand the problem  
8 with that, but if you're just trying to sort  
9 of give how it affects people and their  
10 perception and maybe the quality improvement,  
11 then you don't want to put out the people that  
12 are not safe. I don't know.

13 CO-CHAIR HOMER: I want to  
14 reinforce, I think, both of your comments. I  
15 think it was a great question, Kathy, in terms  
16 of business and scope, but I do think you  
17 pointed out the exact two reasons that it is.  
18 The whole life course work on the impact of  
19 stress and now the static load and all the  
20 jargon that our colleagues talk about.

21 This definitely relates, and it's  
22 not exactly the same the other indices of

1 stress. Safety has its own slightly unique  
2 dimension to some of the other areas, so I  
3 think from that perspective as well as the  
4 physical activity one it makes sense.

5           Again, Allan, to your point -- it  
6 isn't precise and it varies, that's true. To  
7 my point that's not the same as SES, which is  
8 true but it certainly correlates. Again,  
9 while we are talking I looked it up. For  
10 parents who report their child is never safe -  
11 - using the frequency -- if you are less than  
12 the poverty level: 6 percent, 5.9 percent.

13           If you are 100 to 200 percent, 3  
14 percent. It's not quite monotonic because the  
15 200 to 300 percent is 1.7 percent, and 400  
16 percent or more is 0.8 percent. So if you are  
17 less than 100 percent of poverty, 6 percent  
18 say you're never safe, and if you are over 400  
19 percent less than 1 percent say never safe.

20           So it's not perfect, so obviously  
21 there are some people in wealthy neighborhoods  
22 or who are wealthy report that, but the odds

1 ratio is six. The relative risk is six, and  
2 that's a pretty powerful effect.

3 MEMBER LIEBERTHAL: When I was  
4 looking at this, I wasn't thinking in terms of  
5 looking at subsets of the population. I was  
6 looking at it as an evaluation of the overall  
7 population. So if you are looking at subsets  
8 as you just reported, then it makes a lot more  
9 sense to me.

10 CO-CHAIR HOMER: I used that more  
11 as a psychologist, you know, it's really just  
12 a validation. You would predict that feeling  
13 unsafe would be correlated with poverty, and  
14 it is in a pretty strong way, so it's really  
15 just an indicator that it's not -- even though  
16 there is individual variability on perception  
17 of safety, this at least provides some  
18 concurrent or convergent validity.

19 MEMBER LIEBERTHAL: But in  
20 response to my issue of improving quality --  
21 because now you could say if you are below the  
22 poverty level and last year six percent felt

1 safe and next year 15 percent felt safe --  
2 then you've had improvement.

3 MEMBER RAO: I just want to get  
4 back to the issue of frequency, and I think of  
5 safety more broadly. One of the things is  
6 bullying, which is a big issue. That wouldn't  
7 necessarily be neighborhood-dependent. It's  
8 how often your particular child is bullied.

9 CO-CHAIR HOMER: Which is a very  
10 good point.

11 MEMBER FISHER: You know, the  
12 other thing is that I know that we say safety  
13 is a matter of poverty, but I'm beginning to  
14 think that's changing. We need to measure it  
15 over time.

16 I mean, the thing I was thinking  
17 about is the more time you spend inside and  
18 the more time you play those video games, the  
19 more desensitized you get to reality and guns  
20 and what they do, and then we see this in  
21 schools that you would not consider poor  
22 neighborhoods. To me I think that's changing,

1 especially if you're not safe and you put in  
2 drugs.

3 CO-CHAIR WEISS: I just wonder. I  
4 would like to put the question, I suppose, to  
5 the clinicians in the room, and that is: how  
6 do you use the results from this kind of a  
7 population-wide measure in your practice in  
8 dealing with the individual child, if you  
9 know, for example, that the child comes from  
10 a particular part of the community or the  
11 state or whatever -- where maybe the incidence  
12 of responding to this question in more urgent  
13 terms is higher? What do you do with that  
14 information?

15 CO-CHAIR HOMER: I think there are  
16 several things you do. One is, as a health  
17 professional, get involved in advocacy around  
18 your community. No. 2 -- really going to  
19 Goutham's point. You would also explore -- I  
20 mean, if somebody would report this to you on  
21 a clinical level and they said they were  
22 unsafe and you could then explore issues

1 around bullying, for example, and strategies  
2 for dealing with peer interactions and how you  
3 might approach that. So I think there are a  
4 variety of things you could do.

5 CO-CHAIR WEISS: And this would be  
6 equally good or better measure than a straight  
7 patient history?

8 MEMBER LIEBERTHAL: I don't see  
9 this in the clinical office on a patient-by-  
10 patient basis. Again, it depends on where  
11 your practice is and the neighborhood that  
12 your patients come from. It may color your  
13 history taking globally, but I don't know that  
14 it does on a patient-by-patient basis.

15 CO-CHAIR WEISS: Okay. Just in  
16 the interest of disclosure, my sense is this  
17 is an important question to ask, to get kind  
18 of a complete picture of how children are  
19 growing up in America. My sense also is that  
20 it has greater application in the juvenile  
21 justice arena, or perhaps in housing or areas  
22 outside of medical care.

1 CO-CHAIR HOMER: Tom.

2 MEMBER McINERNEY: Yes. I think  
3 some of the recommendations you would make  
4 would be if they don't feel a neighborhood is  
5 safe and you say your child needs some  
6 exercise and why don't you have your child  
7 walk around the block five times, that's not  
8 going to be a very good recommendation in a  
9 nonsafe neighborhood.

10 You are going to have to figure  
11 out something else, and maybe get a treadmill  
12 downstairs or something so that they can  
13 exercise within the home or wherever they are  
14 as a safe place, those kinds of things. This  
15 does get to the millennial morbidity and it  
16 does get to the Academy of Pediatrics as  
17 having the residents understand sort of the  
18 pediatric links with the community type of  
19 thing, understanding the environment your  
20 patient comes from. It's very important for  
21 you to understand a whole bunch of things  
22 about them, and how you recommend that they

1 provide care.

2 CO-CHAIR HOMER: Sharon.

3 MEMBER DOCHERTY: That just made  
4 me think about high-risk behavior, and  
5 understanding what potential high-risk  
6 behavior they may be involved in, based on the  
7 community they live in.

8 MEMBER ZIMA: I was thinking in  
9 that line as well and also the higher risk of  
10 exposure to things like drugs and guns. Then  
11 I think clinically, even though this doesn't  
12 relate to the indicator, you are always  
13 wondering about violence in the home as well  
14 and that would probably come up clinically if  
15 this indicator came up positive.

16 MEMBER JENKINS: The other thing  
17 on my mind, and I'm struggling with this  
18 obviously, that's why I asked all of you, is  
19 Nancy's comment about scope and what's  
20 changing and thinking about terrorism,  
21 thinking about 9/11, thinking about the  
22 reaction of the pediatric community, the



1 children and their reactions to 9/11.

2 I guess maybe from that  
3 perspective if we're going to incorporate it  
4 as a child health indicator at the population  
5 level, it's relevant. If so, I would suggest  
6 that this is a very good way to ascertain it  
7 at least every four years. It's a direct  
8 question, it's about perception. It's from a  
9 broad-based survey across the country.

10 MS. McELVEEN: I think it might be  
11 worth having our vote on importance and then  
12 also scope.

13 CO-CHAIR HOMER: All right. So  
14 let's vote on the importance. That is either  
15 an up or down vote. How many are in favor of  
16 -- view this as sufficiently important to pass  
17 our threshold for subsequent consideration?

18 DR. WINKLER: All but one.

19 CO-CHAIR HOMER: How many do not  
20 feel it is sufficiently important? All right.  
21 So let's move on then to the scientific --

22 MS. McELVEEN: Do you want to do

1 scope?

2 CO-CHAIR HOMER: Scope is a  
3 separate question?

4 MS. McELVEEN: It can be  
5 sometimes.

6 CO-CHAIR HOMER: Well, okay.

7 DR. WINKLER: I think only because  
8 members have raised the issue.

9 CO-CHAIR HOMER: Okay. So, again,  
10 I think we've had a pretty robust conversation  
11 as to whether it's in scope or not as a broad  
12 outcome measure. How many believe it is  
13 within scope for our consideration? About the  
14 same.

15 And how many believe it is not  
16 within the scope? One. All right. Good.  
17 Okay. So now let's move on to the scientific  
18 credibility or acceptability, that's the word,  
19 of the measure. Seems like a straightforward  
20 question.

21 MEMBER JENKINS: It's well  
22 specified. It's a single question off the

1 survey about perception as we discussed.

2 CO-CHAIR HOMER: Let's see, my  
3 little thing says it's really one question.  
4 It's section K10, question 40. Right?  
5 Children whose parents report their  
6 neighborhood or community is never safe for  
7 children, sometimes safe.

8 DR. WINKLER: It's defined as  
9 usually and always safe.

10 MS. BOSSLEY: It's right under  
11 your numerator details on 2a.3 as projected.  
12 It is split into two. You're right, they've  
13 got two questions: how often do you feel the  
14 child is safe in the community or neighborhood  
15 and would you say never, sometimes. They just  
16 get to the ranking of it.

17 CO-CHAIR HOMER: Okay. So it's no  
18 and then how bad it is. So do we feel  
19 comfortable making votes about the scientific  
20 acceptability? How many feel it completely  
21 fulfills the criteria for scientific  
22 acceptability?

1 DR. WINKLER: Ten.

2 CO-CHAIR HOMER: How many feel it  
3 partially meets the criteria? Okay. Is that  
4 everybody?

5 DR. WINKLER: Yes, it is.

6 CO-CHAIR HOMER: Okay. Good.  
7 Okay. And then moving onto the usability.  
8 Any discussion? So on the general population  
9 every four years because of special health  
10 care needs would presumably get this question.  
11 I don't know. Can we ask our steward?

12 MR. STUMBO: It's actually not in  
13 the other survey.

14 CO-CHAIR HOMER: Okay. So this is  
15 every four years. Harmonization, again, there  
16 are lots of other measures of community  
17 wellness and exposure to safety and violence.  
18 I just did a session on the EDI which is being  
19 used across Canada and Australia and Orange  
20 County and a bunch of other places like that.  
21 I guess none of those have been submitted so  
22 we don't have to worry about them.

1                   MEMBER FISHER: I think what I see  
2                   about this is that this has been used before  
3                   and I think it would be important to keep  
4                   using it and it may be that we need it more  
5                   frequently just to see how things are changing  
6                   over time. The fact is -- and also to get rid  
7                   of some of our perceptions because I think  
8                   that about feeling safe it is changing.

9                   As Kathy brought up, I have to say  
10                  that when I thought about someone setting that  
11                  bomb off in New York, I was thinking, oh my  
12                  God, this is going to be like when I went to  
13                  England and I didn't go to Harrod's and I  
14                  didn't go to some stores at Christmas time  
15                  because I'm worried about the IRA because  
16                  that's a reality. To me it's a big  
17                  difference.

18                  The other thing is even in  
19                  neighborhoods, I had a friend in Detroit that  
20                  I was talking to on the phone, on my cell  
21                  phone, as I was going to the ATM at 10:00 at  
22                  night yelling at me about going to the ATM at

1 10:00 at night.

2 I was trying to tell them that I  
3 was not in Detroit and where I lived I could  
4 have even jogged to the ATM at 10:00, but I  
5 couldn't jog. I just had knee surgery. The  
6 person was really screaming at me and I'm  
7 thinking, no, no, no, I don't live there. As  
8 I travel across the country, I perceive myself  
9 as safer in some places than in others. I  
10 just think this is a really important thing.  
11 I think it affects your life and it's going to  
12 affect our health. It's not just poor people  
13 that have guns, you know. There are a lot of  
14 wealthy people with lots of guns.

15 CO-CHAIR HOMER: All right. Did  
16 we already vote on usability then?

17 MEMBER FISHER: No.

18 CO-CHAIR HOMER: So let's vote on  
19 usability. Does it completely fulfill the  
20 criteria for usability? Does it partially fit  
21 the criteria for usability?

22 MS. WAUGH: It's everybody at 14

1 for partially.

2 CO-CHAIR HOMER: All right. So  
3 let's then take an overall.

4 DR. WINKLER: You need to vote on  
5 feasibility.

6 CO-CHAIR HOMER: Ah, sorry. How  
7 could I forget that? We're doing all right.  
8 Feasibility. So this is a survey measure.  
9 Just like all the other survey measures this  
10 is every four years. Presumably there is some  
11 level of inaccuracy, as Allan has mentioned.  
12 Okay. How many feel this completely fulfills  
13 the feasibility criteria?

14 DR. WINKLER: One.

15 CO-CHAIR HOMER: How many feel it  
16 partially fulfills it?

17 DR. WINKLER: Everybody else.

18 CO-CHAIR HOMER: Okay. All right.  
19 Now we can move to the global recommendation.

20 MEMBER McINERNY: One quick --  
21 what's to stop a pediatrician when they are  
22 doing their annual health assessment to ask

1 the parent, do you feel your child is safe in  
2 your neighborhood?

3 CO-CHAIR HOMER: I believe there  
4 is a whole kit that the academy has put out  
5 about violence prevention and all that from  
6 Bob Sege and company. Does it include this  
7 question or not? Do you know?

8 MEMBER McINERNY: I don't know.

9 MEMBER LIEBERTHAL: There is  
10 nothing to stop a pediatrician from asking the  
11 question about the safe neighborhood just by  
12 looking at where they live already should know  
13 whether it's a safe neighborhood. Whether  
14 they are safe in their home is a different  
15 question.

16 MEMBER McINERNY: Or did they feel  
17 safe is the question.

18 MEMBER LIEBERTHAL: Or safe in the  
19 school.

20 CO-CHAIR HOMER: Let me go back  
21 and call for a vote for all those in favor of  
22 recommending endorsement of this measure. The



1 short answer is you measure late in the day.

2 How many opposed? One. Good. Okay.

3 So moving on then to our very last  
4 measure: children who live in neighborhoods  
5 with certain essential amenities.

6 MS. McELVEEN: So it sounds like  
7 some of the same discussion.

8 CO-CHAIR HOMER: Similar but not  
9 the same.

10 MS. McELVEEN: Some of the same  
11 discussion points may come up. This measure  
12 creates a count or a composite measure  
13 designed to assess whether or not children  
14 live in neighborhoods which contain elements  
15 that are known to have an impact on health,  
16 status, and functioning.

17 CO-CHAIR HOMER: And what are  
18 those measures?

19 MEMBER RAO: Sidewalks.

20 MEMBER JENKINS: This says you  
21 need to have all and all includes -- sorry.

22 Give me a sec. Sidewalks. Go ahead. If you

1 have them you can read them.

2 MEMBER McINERNEY: Sidewalks,  
3 walking paths, a park or playground area, a  
4 recreation center or community center and a  
5 library or a bookmobile.

6 MEMBER JENKINS: To me, I guess, I  
7 have my same question in terms of importance  
8 as before. They all sounded great. All  
9 children should have them. On the other hand,  
10 was this a child health outcome measure I  
11 wasn't sure and was going to ask the  
12 measurement developer and panel about scope  
13 and about the link to the kind of rationale  
14 for this being a child health outcome measure.

15 CO-CHAIR HOMER: I might comment  
16 this one sounds more structure and processy.  
17 The other one was a perception of safety which  
18 is an experience which can have a pretty clear  
19 biologic correlate. Well, this one is  
20 basically do various services and programs  
21 exist in your community which may be  
22 correlated, but in that sense it's more like

1       either a structural measure, you know, what is  
2       the nursing ratio in your hospital, or a  
3       process. More structure than anything else.

4                   MEMBER FISHER: I don't think a  
5       sidewalk is an essential amenity. I'll just  
6       say, okay, there are some very, very nice  
7       neighborhoods that do not have sidewalks.  
8       There is one here because I think I was on  
9       Wisconsin in Georgetown and I can't even tell  
10      you where people took me. I didn't even know  
11      this neighborhood existed where I could  
12      probably afford a quarter of their driveway.  
13      Is it this Fox something?

14                   Foxhall, yes. They don't have  
15      sidewalks and there are suburbs that don't  
16      have sidewalks.

17                   CO-CHAIR HOMER: But they are  
18      not -- this is on the structural thing so this  
19      is part of the issue of creating health,  
20      promoting healthy eating and active living and  
21      all that sort of stuff.

22                   MEMBER JENKINS: The developer

1 leads it in the same way as they did with safe  
2 neighborhoods to physical fitness.

3 CO-CHAIR WEISS: Let me also  
4 mention as the mother of an architect who does  
5 a lot of city planning and so forth, there is  
6 a bit of a difference of opinion within that  
7 community. There are those who say that  
8 greenery is more important than pavement.  
9 Whereas it's important to have a safe place  
10 for children to play, the tradeoff between  
11 whether it should be a paved environment  
12 versus grass and such is a real debate.

13 CO-CHAIR HOMER: You campaign  
14 against sidewalks?

15 MEMBER LIEBERTHAL: Parks and  
16 playgrounds may be among the most dangerous  
17 places in the city.

18 DR. WINKLER: I would like to ask  
19 the measure developer what the evidence --

20 CO-CHAIR HOMER: I'm sorry. Let's  
21 ask the measure developer.

22 DR. WINKLER: Could we ask the

1 measure developer what the evidence is that  
2 this measure is based on for both the  
3 sidewalks and the playgrounds in terms of the  
4 relationship to health outcomes for children?

5 MS. ELDRED-SKEMP: I'm not exactly  
6 sure, but the measure is new, it was just in  
7 the 2007 report, but I know that the technical  
8 expert was involved in this issue. But I'm  
9 not sure about this particular measure.

10 MEMBER RAO: These are all  
11 measures of what is called a built environment  
12 which is associated with rates of obesity and  
13 other health-related behaviors. They are  
14 legitimate measures. Most of the data comes  
15 from epidemiological type studies that  
16 correlate these characteristics with better  
17 health and it's a pretty strong correlation.

18 There are actually some, a very  
19 small number of studies, that show a  
20 transition that when you improve the built  
21 environment, children's health does improve  
22 within the following years so very legitimate

1 question.

2 MEMBER JENKINS: The libraries are  
3 included and is that true in the composite  
4 format as written with all four?

5 MEMBER RAO: The libraries I don't  
6 know about.

7 CO-CHAIR HOMER: I mean, my sense  
8 more we're talking about environments to  
9 promote physical activity which are related to  
10 obesity. A library I think is just another  
11 important element of intellectual development.  
12 I think we have actually done -- I think from  
13 an importance perspective I guess I would say  
14 either importance or scope I think we are  
15 having a hard time getting our heads around  
16 this.

17 Why don't I call for a vote on  
18 whether this meets the scope? Should I do  
19 scope first or importance? Anyone care? My  
20 call? I'm going to say scope first because,  
21 again, I think these are more structural  
22 measures that are not as clearly linked to

1 outcomes as some of the other ones.

2 How many believe this falls within  
3 our scope of work? Raise your hand. I see  
4 none. How many feel this does not fall within  
5 our scope? There you go. I think that's  
6 basically a threshold measure.

7 I think we can take this one off  
8 and feel good that we actually not only tabled  
9 a measure but actually turned one down that  
10 won't be coming back to us until we get  
11 constituted as a structured committee as well  
12 as a process committee.

13 MS. McELVEEN: Okay. That does  
14 conclude our measures that we were assigned to  
15 review today which only sets us up for much  
16 more fun tomorrow. We have our plate full.  
17 I quickly just wanted to mention to the group  
18 and I will also remind everyone tomorrow that  
19 we will follow up with everyone regarding your  
20 availability and willingness to serve on this  
21 phase two to look at the CHIPRA measures.  
22 Also we will be scheduling a conference call

1 fairly soon.

2 I want to say within the next two  
3 weeks hopefully to look at some of these  
4 measures that we have tabled. I just wanted  
5 to kind of put that in your ear now. We will  
6 try our best to get something out as soon as  
7 possible in terms of nailing down your  
8 availability for that. I just wanted to  
9 mention that to the group tonight.

10 Preparing for tomorrow, one other  
11 thing. We are reviewing a measure in the  
12 morning. It's a measure called healthy term  
13 newborn. The measure developer did send me a  
14 sort of visual schematic of that measure that  
15 may help understand it. I may just forward  
16 that to the group tonight just so you can look  
17 at it and have it for tomorrow.

18 Are there any questions from  
19 anyone about anything?

20 MEMBER PERSAUD: Are we starting  
21 earlier tomorrow?

22 MS. McELVEEN: We are. Thank you.



1 Yes. We are starting at 8:30 tomorrow. 8:00  
2 breakfast, 8:30 we'll be ready to start up  
3 again. Any other questions?

4 CO-CHAIR HOMER: Are there any  
5 members of the public?

6 MS. McELVEEN: Are there any  
7 comments from the public or audience? You'll  
8 have all the comments tomorrow, right?

9 CO-CHAIR HOMER: Okay. Good.

10 MS. McELVEEN: Okay.

11 CO-CHAIR HOMER: Thank you all.

12 MS. McELVEEN: Thank you, guys.

13 Have a good night.

14 (Whereupon, at 4:39 p.m. the  
15 meeting was adjourned.)

16

17

18

19

20

21

22

<b>A</b>				
<b>AAFP</b> 233:6	<b>academic</b> 85:9 123:3,12	<b>actions</b> 55:20	285:12	<b>advantage</b> 63:21 308:20
<b>AAP</b> 232:16,17,18 232:22 233:3,5 247:1 248:6 324:11	<b>Academies</b> 238:18	<b>active</b> 387:20	<b>add-on</b> 22:12	<b>advent</b> 35:18
<b>abdominal</b> 272:10	<b>academy</b> 375:16 384:4	<b>activities</b> 21:11,20 68:22 71:18 200:10 203:5	<b>adequate</b> 150:4 178:9	<b>adverse</b> 17:19,20 72:2
<b>ability</b> 56:12 72:19 210:12 212:6,15 221:13 223:9 304:3	<b>accelerate</b> 251:19	<b>activity</b> 208:2 222:2 370:4 390:9	<b>ADHD</b> 3:18 132:4 187:15 188:16 191:13 192:4,16 199:9 201:17	<b>advice</b> 241:5 261:21
<b>able</b> 25:19 43:5 44:20 45:17 53:3 55:18 65:16 72:14 72:17 81:17 115:4 120:6 128:6 136:15 143:20 160:14 171:19 179:14 185:13 201:20 205:4 211:21 261:16,22 277:16 279:15 288:12 293:5 303:19,20 313:9 314:14 362:22	<b>accept</b> 366:19	<b>actual</b> 19:2 31:10 158:19 167:9 171:15 193:4 208:9 311:17 330:3 343:9	<b>adherence</b> 193:4 195:1	<b>advocacy</b> 38:12 373:17
<b>abnormal</b> 117:13 118:8 139:7	<b>acceptability</b> 3:16 39:17 48:7 131:15 211:15 235:7 250:16,21 273:2 273:14 280:11 282:3 316:12,20 339:16 347:14 351:3 352:3,4,6 378:18 379:20,22	<b>acute</b> 69:15 272:5	<b>Adjourn</b> 4:22	<b>advocate</b> 241:14
<b>above-entitled</b> 88:10 323:14	<b>acceptable</b> 3:22 58:15,21 78:22 79:4 99:13 208:2 211:13 274:2	<b>AC/PC</b> 1:15	<b>adjourned</b> 393:15	<b>affect</b> 166:7 204:5 212:14,17,18 382:12
<b>absence</b> 283:16	<b>accepted</b> 133:9	<b>ADA</b> 232:14 233:7 237:8 257:21	<b>adjust</b> 65:16,20 154:15 164:21	<b>affirmation</b> 288:18
<b>absences</b> 284:21	<b>access</b> 96:14 128:6 183:14 236:2 313:12,17 314:9 315:5	<b>Adam</b> 157:15	<b>adjusted</b> 61:6 211:18,19	<b>afford</b> 387:12
<b>absentee</b> 296:6	<b>accessible</b> 112:22	<b>add</b> 7:19 15:7 21:19 28:2 29:9 30:13 45:4 56:11 56:17 57:15,17 58:13 65:14 95:20 96:20 133:1 190:15 201:22 214:7 215:10 361:14	<b>adjusting</b> 35:21 153:17 164:20 276:17 360:5	<b>African</b> 257:18
<b>absenteeism</b> 284:1 285:14	<b>accomplishing</b> 312:6	<b>added</b> 220:12 290:22 347:9 352:19 353:2	<b>adjustment</b> 42:17 65:15 168:15 201:7 209:5 216:6 280:12,15 316:14 348:5	<b>African-America...</b> 257:14
<b>absolutely</b> 114:21 277:20 321:18	<b>account</b> 153:15	<b>adding</b> 14:8 63:8	<b>adjustments</b> 154:8	<b>afternoon</b> 230:9
<b>abstain</b> 10:18	<b>accountability</b> 18:9 31:21	<b>addition</b> 115:16 343:21 357:18	<b>administered</b> 292:16 360:22	<b>afterthought</b> 22:11
<b>abstained</b> 197:19 197:20	<b>accuracy</b> 94:22	<b>additional</b> 24:4 27:12 103:20 151:1 167:12 186:21 261:19 301:10 363:6,22	<b>administration</b> 8:4 72:6 174:10	<b>age</b> 14:15,17 49:19 53:22 58:16 60:18 61:3,7,8,14,16 62:7 66:18 67:18 81:3,4,5,7,8,13,15 82:6,8,10 83:5,20 84:4,7,10,13 87:3 115:10 134:22,22 134:22 135:6 189:20 191:12,12 210:13 212:7 233:10 238:20 240:3 249:20,21 249:22 254:2 258:8,15,16,21,21 258:22,22 259:1,8 259:11 265:18 269:10,10
<b>abstaining</b> 87:2 197:11,14	<b>accurately</b> 94:14 348:20	<b>address</b> 19:13 45:10 54:14,21 81:10,18 93:3 110:19 122:6 164:1 231:14	<b>administrative</b> 156:14	<b>agencies</b> 22:16 231:16
<b>abstentions</b> 60:13 67:8 80:5 86:22 131:12	<b>Achenbach</b> 132:16	<b>addressed</b> 155:2 265:11	<b>admission</b> 3:12 47:6,21,22 53:13 58:19 62:22 63:8 66:4,5,17 67:17 69:1,18 74:18 80:8,11 84:6	<b>agenda</b> 8:5 9:4,4 89:6,8,12,16 91:7
<b>abundantly</b> 73:13 73:21	<b>acidic</b> 238:8,9	<b>addresses</b> 42:12	<b>admissions</b> 63:10 63:19,22 77:11	<b>agendas</b> 45:21
<b>abuse</b> 192:15	<b>acknowledgement</b> 27:20	<b>addressing</b> 21:22 78:5 256:12	<b>admit</b> 70:2,7 72:8	<b>ages</b> 48:2 63:1 80:11 82:18,20 115:10 129:9
	<b>Act</b> 109:16		<b>admitted</b> 74:16	
	<b>Acting</b> 38:11		<b>adolescents</b> 14:16 269:10 318:8	
	<b>action</b> 221:12 283:14		<b>adopted</b> 30:1 161:17	
	<b>actionability</b> 110:2 221:9		<b>adult</b> 315:11	
	<b>actionable</b> 222:8		<b>adults</b> 121:6	

206:1 248:18	<b>Allan's</b> 344:4 351:22	<b>Andy</b> 131:9	<b>appears</b> 309:22	146:5 305:20 321:15
<b>aggregate</b> 356:11	<b>allergists</b> 84:1	<b>and/or's</b> 327:8	<b>appendix</b> 22:11	<b>approvals</b> 146:7
<b>aggregated</b> 110:14	<b>allocate</b> 23:12	<b>Angeles</b> 126:22 127:6 366:10	<b>applaud</b> 30:21	<b>approve</b> 3:12 62:12 142:2 185:13 362:20
<b>aggregating</b> 125:12	<b>allow</b> 6:3 49:5 53:9 102:12 115:12 173:8 268:5	<b>angle</b> 173:18	<b>apples</b> 262:9	<b>approved</b> 57:1 73:1 73:20 174:20
<b>ago</b> 121:21 128:11 266:14 279:1 354:10	<b>allowing</b> 88:14	<b>angry</b> 264:3	<b>applicable</b> 219:6	<b>approving</b> 86:18
<b>agree</b> 7:17 18:22 34:6 42:20 48:5 57:20 72:21 95:2 99:18 109:7 139:11 162:17,21 190:17 221:6 239:9 252:1 270:20 275:2 285:16 314:13 346:13 347:10	<b>alluded</b> 9:11 269:22	<b>annual</b> 383:22	<b>application</b> 240:7 267:9 270:13 321:21 338:7 374:20	<b>architect</b> 388:4
<b>agreement</b> 147:16 147:19 148:1 150:22 283:20	<b>alluding</b> 74:12 84:21 85:4 275:17 298:8	<b>answer</b> 33:14 43:5 81:22 98:1 100:9 104:21 106:20 131:22 133:21 154:19 165:13 194:21 202:21 207:9 209:4,8 211:20 231:13 296:5 300:3 302:22 316:1 345:22 385:1	<b>applications</b> 242:7 242:17 243:17,20 245:1 246:7 247:18,21	<b>area</b> 14:3 44:12 54:13 56:19 57:10 127:17 167:19 386:3
<b>Ah</b> 383:6	<b>Alright</b> 324:22	<b>answered</b> 313:7	<b>applied</b> 16:5,13 73:2 168:11 213:1 233:8	<b>Approximately</b> 14:6
<b>ahead</b> 6:5 56:16 69:11 76:13 91:8 91:11 199:21 213:16,17 266:13 330:13 359:5 363:11 385:22	<b>alternate</b> 101:8	<b>answering</b> 44:19 281:22 300:2,6	<b>applies</b> 42:3 99:5 142:14	<b>April</b> 46:9
<b>aims</b> 112:16 302:16	<b>alternates</b> 293:9	<b>answers</b> 204:22 306:19 326:6 336:13 342:1 362:12	<b>apply</b> 16:3 19:13 25:21 42:18 58:1 58:6 142:13,13 260:3	<b>areas</b> 7:2 23:17 26:18 27:16 29:21 69:3 97:18 137:19 370:2 374:21
<b>airways</b> 83:8	<b>alternative</b> 102:1 180:7 358:21	<b>answered</b> 313:7	<b>applying</b> 57:12	<b>arena</b> 84:18 374:21
<b>Alaska</b> 181:17 213:3 255:4	<b>altogether</b> 168:14	<b>answering</b> 44:19 281:22 300:2,6	<b>appoint</b> 312:5	<b>argue</b> 193:20 194:4 225:8 250:12 346:12
<b>alert</b> 29:14	<b>ambulatory</b> 49:12 49:16,17 50:18 52:19,22	<b>answers</b> 204:22 306:19 326:6 336:13 342:1 362:12	<b>appointment</b> 312:4 313:10,11 344:21	<b>argument</b> 183:9
<b>algorithm</b> 78:11 105:12 343:13 362:7 364:10	<b>amenability</b> 182:21	<b>anticipating</b> 43:20	<b>appreciate</b> 158:10 165:22 309:1	<b>arguments</b> 323:3
<b>aligned</b> 178:9	<b>amenities</b> 4:20 385:5	<b>anticipatory</b> 237:11 241:3	<b>appreciated</b> 353:15	<b>arms</b> 45:11
<b>Allan</b> 1:18 11:11 83:17 99:18 197:7 197:12 206:19 269:19 270:17 278:18 325:17 345:9 370:5 383:11	<b>amenity</b> 387:5	<b>anti-inflammato...</b> 83:4	<b>approach</b> 8:7 35:3 36:11,12 44:3 55:9 222:14 374:3	<b>arrange</b> 327:11 328:9 331:7
	<b>America</b> 374:19	<b>anxiety</b> 34:7 133:1 133:7	<b>appropriate</b> 6:11 19:11 26:4 36:2 37:19 45:13 58:19 66:12 75:22 79:4 86:2 168:9 199:10 208:5 225:6 280:12,15	<b>arranging</b> 327:16 327:20 333:17,22 343:19 346:1
	<b>American</b> 257:17 323:22	<b>anybody</b> 32:9 85:7 132:15 160:7,20 232:12 257:7 340:1 346:13	<b>appropriated</b> 23:10	<b>arrive</b> 246:16
	<b>Americans</b> 257:19	<b>anymore</b> 345:14	<b>appropriately</b> 22:15	<b>art</b> 149:13
	<b>Amos</b> 230:2	<b>anyway</b> 32:15 63:19 121:11 137:18 170:18 264:22	<b>appropriateness</b> 211:21	<b>articles</b> 167:14
	<b>amount</b> 156:7 261:15	<b>appear</b> 99:9 103:16 253:7 311:18	<b>approvable</b> 362:8	<b>articulated</b> 21:13 112:16 239:3,13
	<b>analogy</b> 174:19 314:18		<b>approval</b> 35:19	<b>ascertain</b> 377:6
	<b>analyses</b> 154:9 346:5 363:1			<b>ascertains</b> 365:11
	<b>analysis</b> 14:21 15:5 15:12 22:12 39:21 55:19 75:22 95:13 95:18 159:16 160:3 168:12 181:15			<b>Ashley</b> 2:14 5:22 89:21
	<b>analytic</b> 160:16			<b>Asians</b> 257:16
	<b>analyze</b> 366:10			<b>aside</b> 13:7,10 34:19
	<b>ands</b> 335:2 343:17 345:19			

<b>asked</b> 7:8,9 13:2 24:3 75:8 119:9 143:15 162:12 171:5,16 208:5 231:17 234:7 262:18 274:15 280:2 284:15 285:19 300:1 301:3 303:7 309:19 342:5 361:9 376:18	38:13 124:8 167:21 <b>associations</b> 164:15 <b>assume</b> 35:4 62:12 64:21 82:3 85:20 139:3 163:9 305:16 <b>assuming</b> 58:9 138:22 322:7 <b>assumption</b> 64:13 251:11 <b>assure</b> 84:2 <b>assured</b> 68:9 <b>asthma</b> 3:12 80:7 80:10 81:7,15 82:6,19 83:20 84:8 86:9 112:3 271:12,13,20,22 274:14 279:4 284:7	231:18 289:18 290:8 292:13 297:12 298:16 347:7 355:19 <b>avenue</b> 36:2 <b>average</b> 155:22 289:8 <b>avoided</b> 351:20 <b>aware</b> 43:1 91:7 265:7,14 <b>axes</b> 20:4 <b>A-F-T-E-R-N-O-...</b> 229:1 <b>a.m</b> 1:10 5:2 88:11 88:12	279:17 363:15 379:18 <b>balance</b> 40:3 109:16 <b>balanced</b> 292:1 <b>ball</b> 120:5 132:12 <b>balling</b> 236:13,14 <b>Baltimore</b> 72:13 <b>bar</b> 177:22 363:14 363:17 <b>Barbara</b> 121:22 <b>barrier</b> 20:4 <b>barriers</b> 19:16 <b>bars</b> 20:5 <b>base</b> 8:1 47:14 205:13 <b>based</b> 23:16 47:3 52:11 54:8 61:7 67:19 107:1 204:21 215:12 217:18 268:14 274:17 275:10 283:14 303:18 311:22 315:17 331:8 342:6 355:2 362:22 376:6 389:2 <b>baseline</b> 117:10 <b>basically</b> 8:20 9:12 21:8 108:17 113:4 124:7 156:15 159:10 171:1 178:20 186:15 235:20 256:8 289:22 291:1 305:5 309:16 319:3 335:10 386:20 391:6 <b>basis</b> 21:9 69:8 97:9 117:16 118:18 129:11 143:11 159:14 171:5 225:12 242:3 256:21 279:5,17 288:6 374:10,14 <b>battery</b> 135:12	<b>bed</b> 238:13 <b>bedlam</b> 264:12 <b>beg</b> 111:22 <b>began</b> 266:14 <b>beginning</b> 22:9 70:22 81:5 252:6 281:2 298:9 343:17 344:5 372:13 <b>begins</b> 37:18 <b>behalf</b> 7:15 151:16 <b>behaves</b> 251:11 <b>behavior</b> 69:7 212:14 376:4,6 <b>behavioral</b> 3:19 17:16 93:4 132:2 187:16 188:19 189:8,8,9 194:3 201:18 210:9 340:14 <b>behaviors</b> 389:13 <b>belief</b> 261:9 <b>believe</b> 10:20 18:5 24:1 63:12 84:1 130:20 151:8 218:10 219:14 266:18 270:4 272:2 301:3 303:3 312:15 331:2 339:2 353:12 378:12,15 384:3 391:2 <b>beneficiary</b> 353:10 <b>benefit</b> 315:6 <b>benefits</b> 213:22 <b>benign</b> 32:14 <b>Bergersen</b> 1:23 229:13,14 <b>best</b> 55:8 133:20 134:11 150:12 175:10 179:13 208:5 266:8 267:2 329:6,10 392:6 <b>Bethell</b> 1:23 96:7 113:22 133:11,13 134:8 151:6,7,12 151:19 152:9,13
<b>asking</b> 122:11 270:7 300:6 302:7 302:9 309:12 334:18 345:13 353:14 359:2 384:10	<b>ATM</b> 381:21,22 382:4 <b>attempting</b> 326:5 <b>attendance</b> 17:9 278:20 284:14 <b>attending</b> 5:7,9 <b>attention</b> 17:4 28:13 43:4 133:7 137:22 238:15 <b>attribute</b> 16:4 274:1,3 <b>audience</b> 55:10 66:12 268:6,7 348:9 393:7 <b>audit</b> 109:15 239:6 248:15 <b>August/September</b> 43:10 <b>Australia</b> 380:19 <b>autistic</b> 201:13 <b>availability</b> 13:21 114:5 308:21 391:20 392:8 <b>available</b> 19:22 23:10 29:7 37:22 78:2 97:9 107:14 114:10 231:9,11	<b>back</b> 8:17 18:10 22:2 31:22 32:16 34:5 41:20 50:9 67:10,11,16 70:17 70:21 73:7 114:1 118:19 134:4,4,7 144:16,20 145:4 145:22 146:1,14 151:1,13 154:15 177:15 178:22 179:4 180:19 183:1 187:4,5 212:22 220:2 221:14 228:14 256:20 261:21 268:3 278:2 281:1 302:20 304:5,6 341:17 344:4 351:21 356:2 361:17 362:2 372:4 384:20 391:10 <b>background</b> 159:3 186:21 350:18 <b>backs</b> 233:22 234:5 <b>bad</b> 71:4 123:5 192:20 253:9	<b>B</b> <b>b</b> 136:16,17 144:8 180:7 237:14 332:6 366:4 <b>back</b> 8:17 18:10 22:2 31:22 32:16 34:5 41:20 50:9 67:10,11,16 70:17 70:21 73:7 114:1 118:19 134:4,4,7 144:16,20 145:4 145:22 146:1,14 151:1,13 154:15 177:15 178:22 179:4 180:19 183:1 187:4,5 212:22 220:2 221:14 228:14 256:20 261:21 268:3 278:2 281:1 302:20 304:5,6 341:17 344:4 351:21 356:2 361:17 362:2 372:4 384:20 391:10 <b>background</b> 159:3 186:21 350:18 <b>backs</b> 233:22 234:5 <b>bad</b> 71:4 123:5 192:20 253:9	<b>base</b> 8:1 47:14 205:13 <b>based</b> 23:16 47:3 52:11 54:8 61:7 67:19 107:1 204:21 215:12 217:18 268:14 274:17 275:10 283:14 303:18 311:22 315:17 331:8 342:6 355:2 362:22 376:6 389:2 <b>baseline</b> 117:10 <b>basically</b> 8:20 9:12 21:8 108:17 113:4 124:7 156:15 159:10 171:1 178:20 186:15 235:20 256:8 289:22 291:1 305:5 309:16 319:3 335:10 386:20 391:6 <b>basis</b> 21:9 69:8 97:9 117:16 118:18 129:11 143:11 159:14 171:5 225:12 242:3 256:21 279:5,17 288:6 374:10,14 <b>battery</b> 135:12

152:15 154:7,17 155:19 159:4 161:13 162:19 163:12 164:8 165:15 166:12 167:4,8 168:21 169:2,9 170:1,8 170:17 172:7 173:16 177:14 179:5,8,19,22 181:2,7 293:3 <b>better</b> 21:4 28:2 56:8,10 58:1 68:7 95:2 128:14 137:17 184:1 189:11 200:22 201:11 252:13 279:21 297:1 328:18 348:11 362:7,17 368:1 374:6 389:16 <b>beyond</b> 27:13 132:8,11 <b>bias</b> 84:20 94:9 153:18 275:14 302:22 <b>big</b> 45:2 49:21 98:16 191:1 203:13 213:6 215:7 306:5,6,17 306:19,22 307:2 309:14,15 311:8 311:14,20 338:4 360:21 372:6 381:16 <b>Bigby</b> 125:9 <b>bigger</b> 94:5 <b>biggest</b> 160:13 200:18 233:20 <b>bill</b> 132:20 140:21 264:1 <b>billed</b> 141:1 <b>billing</b> 140:22,22 239:22 <b>bills</b> 264:15 <b>binder</b> 167:1 <b>biologic</b> 386:19	<b>bipolar</b> 190:22 191:17 <b>bit</b> 14:1 41:11 54:16 76:17 92:17 116:7 117:12 121:1 129:3 131:16 136:3 144:19 186:14 189:10 192:14 200:1 237:12 271:7 304:7 309:8 315:22 326:11,15 328:3 329:7 330:19 335:1 338:16 367:1,6 388:6 <b>black</b> 79:5 176:20 <b>blank</b> 90:2 210:9 <b>block</b> 375:7 <b>blood</b> 17:14 127:15 <b>blue</b> 255:6 <b>BMI</b> 17:15 129:15 <b>board</b> 35:11 43:11 44:2 45:7,12 58:7 230:18 241:5,10 255:2 280:18 <b>Bob</b> 324:2,3,6,7 384:6 <b>body</b> 234:10 <b>boil</b> 179:3 <b>bollocks</b> 158:18 <b>Bolt</b> 137:13 <b>bomb</b> 381:11 <b>Bonnie</b> 1:22 11:3 54:17 91:15 109:21 158:11 211:20 <b>Bonnie's</b> 207:9 209:4 <b>bookmobile</b> 386:5 <b>border</b> 112:13 <b>borders</b> 36:7 <b>Bossley</b> 2:12 5:18 11:17,17 45:6 144:14 145:10,13 146:8,12 379:10 <b>Boston</b> 10:9,11	229:16,20 271:11 <b>Bott</b> 1:25 48:19,20 61:2,6 63:12,16 81:13,16 85:21 <b>bottle</b> 238:13 <b>bottles</b> 238:4 <b>bottom</b> 21:18 <b>boundaries</b> 367:11 <b>box</b> 166:17 167:10 167:11 171:1,14 <b>boxes</b> 84:19 224:2 <b>Brad</b> 126:22 <b>brainers</b> 18:18 <b>break</b> 88:2,4,5,7,9 118:22 199:22 309:21 323:8 <b>breakfast</b> 228:11 393:2 <b>brief</b> 115:7 132:15 155:16 188:4 <b>briefly</b> 20:17 46:2 47:2 <b>bright</b> 53:3 <b>bring</b> 17:4 41:20 43:4 79:3 144:16 144:20 180:19 192:3 216:16 309:9 364:3 <b>bringing</b> 45:1 55:8 <b>brings</b> 190:2 <b>broad</b> 7:7 32:7 35:16,17 53:10,22 54:3 56:10 98:16 109:21 136:9 152:3 155:6 186:16 188:18 210:15 283:14 284:9,13 285:17 297:20 367:9 378:11 <b>broaden</b> 346:20 <b>broader</b> 54:9 71:11 71:16 114:1 185:15 196:21 209:10 <b>broadly</b> 100:14 120:10 121:4,13	286:1 340:9,13 372:5 <b>broad-based</b> 377:9 <b>broken</b> 254:15 <b>brought</b> 110:20 381:9 <b>buck</b> 160:14 <b>bucketing</b> 14:12 <b>buckets</b> 75:16 307:13 <b>Budget</b> 109:16 <b>bug</b> 238:5 <b>build</b> 45:20 <b>Building</b> 1:11 <b>built</b> 389:11,20 <b>bullet</b> 336:14 <b>bullied</b> 372:8 <b>bullying</b> 372:6 374:1 <b>bunch</b> 132:21 306:19 354:17 375:21 380:20 <b>burden</b> 19:19 83:12 <b>Bureau</b> 113:5 169:7 329:3 330:20 <b>Bureau's</b> 92:21 112:14 <b>buried</b> 21:17 <b>bus</b> 137:10,14 <b>business</b> 369:16 <b>button</b> 6:9 <b>buy</b> 231:19,21 <b>bye</b> 234:6 <b>bypass</b> 256:8 <hr/> <b>C</b> <hr/> <b>caffeine</b> 88:16 <b>CAHMI</b> 90:22 199:20 325:1 350:22 <b>CAHPS</b> 100:14,16 100:16,20 101:9 174:19,19 317:17 317:19,20,21 318:4,6,12 352:22	353:3,7,10 <b>calculate</b> 107:6 360:11 <b>calculations</b> 366:9 <b>California</b> 11:13 246:20 248:3 <b>call</b> 9:14 12:17 13:1 17:1 25:11 26:15 27:12 33:21 35:14 43:21 44:11 46:5 46:9 50:1,5 59:20 64:8 66:16 110:6 110:15 113:7 114:6,7,9 145:11 151:9,18 160:11 162:20 163:13,22 173:19 174:4,5 175:19 194:9 209:12 224:4 289:3 293:4 294:16 307:11,17 320:7 344:19 364:5 368:12 384:21 390:17,20 391:22 <b>called</b> 11:21 23:2 28:9 29:13 30:18 88:20 147:11 153:19 389:11 392:12 <b>calling</b> 5:13 12:4 114:13 151:22 190:15 261:10 361:14 <b>calls</b> 228:14 248:7 <b>Cambridge</b> 119:3 119:13 <b>campaign</b> 388:13 <b>Canada</b> 380:19 <b>Candidate</b> 4:2 <b>capable</b> 301:10 <b>capture</b> 82:21 <b>captured</b> 331:1 <b>car</b> 366:17 369:6 <b>card</b> 290:12 <b>cardiologist</b> 229:15 <b>care</b> 4:5,5,13,15
---	---	--	--	---

6:22 14:19 16:19 17:16 18:2 31:8 37:6 45:11,18 49:12,16,17 50:18 51:10,14,19,21 52:8,14,18,19,22 53:8 55:4 65:6 68:18 69:15,20 70:9 73:6 85:9 86:3 90:13 91:20 93:2,6,8 97:3 98:6 106:7,12,22 108:20 111:14,18 112:8,15,18,20,21 113:1 121:5,10 128:6,8,14 129:7 129:10 139:18 140:3 152:1 153:8 155:16 156:2 171:9,11 183:12 183:13 184:12 189:17 190:8 192:6 199:10 200:8,10,21 201:13 202:1,5,15 203:4 205:14 206:2,4 207:14 214:1 222:13,15 222:16 225:5 230:15,16 233:14 234:2 236:3 237:11,13,14 240:20 253:20 254:16,17 259:13 265:10,16,19 271:13 274:21 275:10 277:10 278:11 281:5,13 283:11 286:3,15 288:21 289:5 293:19 299:21 300:15,21 301:9 301:15 302:14,17 303:18 319:9 324:4 325:4,5,7,9 325:10,11,13 326:3,8,21,22	327:11,16,17,21 329:15,17,18,19 329:20 330:5,9,22 331:8,13,15 332:2 332:11,17 333:18 333:18,22 334:6 334:15 335:4,5,6 335:11,16,19 336:1,6,19 337:4 337:8 340:10,20 341:9 343:20 344:7,13 345:7 346:22 347:4,6 349:13 350:8 353:11 354:19 357:1,2,3 360:14 361:6,11 374:22 376:1 380:10 390:19 <b>cared</b> 207:20,22 <b>carefully</b> 41:4 333:3 <b>caregivers</b> 69:21 <b>cares</b> 241:15 <b>care-acquired</b> 17:20 <b>care/outpatient</b> 52:18 <b>caries</b> 4:4 231:5 237:14,15 238:10 240:19,21 252:17 252:20 257:10,10 260:10 <b>caring</b> 94:16 <b>Carle</b> 157:15 <b>carries</b> 295:6 <b>carry</b> 245:14 <b>carved-out</b> 299:1 <b>case</b> 21:3 32:12 54:6 69:22 71:8 77:16 103:13 140:9 147:11 195:7 249:12 337:12 344:19 366:18 <b>cases</b> 65:3,4 119:7 123:10 157:22	272:17 303:14 <b>cast</b> 347:9 367:9 <b>catch</b> 67:9 292:6 <b>categories</b> 3:21 160:3 166:6 222:19 223:3,12 226:16 235:5 300:9 309:13 <b>categorizations</b> 222:6 <b>categorized</b> 163:2 <b>category</b> 136:12 159:15 170:9 226:19 <b>Caucasians</b> 257:15 <b>caught</b> 189:4 <b>cause</b> 150:13 <b>caused</b> 216:3 <b>causes</b> 283:15 <b>caveat</b> 152:16 <b>cavities</b> 263:11 293:10 <b>CDC</b> 169:11 <b>CDI</b> 132:22 <b>cell</b> 154:12 381:20 <b>center</b> 11:6 12:2 169:12 386:4,4 <b>centered</b> 302:14,17 <b>centers</b> 85:9 <b>Central</b> 366:11 <b>cents</b> 56:18 231:21 <b>certain</b> 4:19 19:6 41:11 44:12 75:22 97:7 98:1 103:10 104:15 111:2 129:8 135:18 164:16 201:1,12 201:14 213:21 215:11 244:9 248:7 258:5 263:7 284:5 351:5 354:5 385:5 <b>certainly</b> 14:16 19:3 20:9 32:11 43:3 55:10 66:10 73:16 75:20 108:15 126:9	127:10 139:13 149:1 214:3 217:1 271:1 296:5,13 298:14 301:20 318:15 339:22 350:2 370:8 <b>certify</b> 289:22 <b>cetera</b> 59:19 71:14 83:8 107:13,13 112:22 181:19 209:20 221:11 <b>CH</b> 46:19 <b>chair</b> 12:1 29:10 <b>Chair's</b> 250:2 <b>challenge</b> 26:3 112:13 153:6 <b>challenges</b> 278:15 <b>challenging</b> 19:18 66:13 250:19 <b>chance</b> 93:15 229:5 229:9 <b>change</b> 17:16 31:4 31:15 41:7,17 62:3 64:3 69:7 239:12,13 293:13 <b>changed</b> 30:22 311:1 322:3 <b>changes</b> 62:2,11 150:20 181:19 191:10 193:11 359:14 <b>changing</b> 372:14 372:22 376:20 381:5,8 <b>character</b> 118:7 <b>characteristics</b> 34:3 157:7 216:2 389:16 <b>charge</b> 34:5,8 199:5 260:4,5 264:6,7 <b>charges</b> 21:6 264:16 <b>Charles</b> 1:12,14 3:3 <b>Charlie</b> 6:1 7:18 9:11 23:5 30:10 34:12 50:8 54:10	56:13 60:2 66:1 67:2 69:10 72:22 75:9 82:3 95:19 101:5 124:13 144:5 148:20 155:20 156:9 168:22 176:9 180:4 187:21 195:2 198:1 209:8 215:9 230:20 252:1 256:7 267:6 271:5 285:18 286:18 298:1,9 305:13 315:17 319:6 333:13 364:21 <b>chart</b> 156:13 239:4 <b>chartbook</b> 170:6 <b>chat</b> 229:6 <b>check</b> 224:1,2 <b>checklist</b> 3:15 115:2 117:1 122:22 134:2 <b>check-off</b> 32:13 <b>Chief</b> 10:10 <b>child</b> 1:6 4:5 5:4 13:17,22 14:5,10 17:2,8 24:5 25:1 34:10 44:13 50:21 56:9 84:7 92:21 94:16 108:18 112:14 113:5 120:10 125:4 129:12 135:21 150:16 159:7 163:20 164:5,6 167:22 169:6 173:4 189:16 191:8,15 193:12 201:14 230:16 233:11,12,13,14 233:19 235:17 237:5,6 238:1,5 238:19 239:7,17 239:18,18 240:12 242:1,14 244:6,10 247:14,17,18
--	---	---	---	---

248:8,10,19 249:8 249:9,10,13 251:15 253:16,17 253:20,21 254:9,9 254:10,10,11,16 254:17,18 255:11 257:6 258:20 262:12 265:19 270:6 273:21 274:7,13 276:4 278:21 284:6 286:7 288:10 303:22,22 306:1 306:13 313:13 315:8 327:4,11 329:3,16 330:4,20 341:1 345:4 367:20 368:6 370:10 372:8 373:8,9 375:5,6 377:4 379:14 384:1 386:10,14 <b>childhood</b> 77:20 78:3 <b>children</b> 3:14,20 4:9,10,12,16,18 13:7 14:18 37:6,7 48:1 58:8,9 63:1 66:18 74:15 80:11 84:12 90:12 91:19 92:18 93:1 96:13 96:14 98:5 105:20 105:21 106:22 107:2 108:19 112:15,17,19 115:10,13 127:4 128:8 138:22 139:2,4,6 142:7 147:15 152:1 153:7,16 155:15 155:18 156:1,7,11 156:16,19,21 157:5,11,21 158:4 159:19 164:16 171:4,8 174:14 177:18 178:7 187:15 190:4	192:16 193:21 194:2 199:9 200:5 200:8,22 203:3 204:4 205:7 206:1 206:11 210:13 212:7,8 223:2 231:3 233:9,22 240:10,12,20 241:20,21 242:17 242:21 243:19,20 246:2,8,22 248:6 248:22 252:17 257:1,11 259:12 259:17 260:9 262:13 265:8,19 266:19 269:9 272:8 274:20,20 275:5 277:9 278:7 278:9,10,10 281:12 285:1 286:15 293:10,18 293:20 297:4 299:20 300:14 301:2,5 310:9,12 312:17 315:12 317:22 324:13 325:3,8 326:20 327:5 329:11 347:5 356:20 361:5,10,10 365:10 374:18 377:1 379:5,7 385:4,13 386:9 388:10 389:4 <b>children's</b> 3:17 6:22 10:3,9,11 12:1 38:14 93:5,8 155:21 170:10 229:15,20 269:22 271:10 277:18 326:1,7 389:21 <b>child's</b> 166:3 237:18 272:16 276:5,15 286:8 325:13 327:3,16 327:21 333:18 334:5 365:12	<b>Chile</b> 123:8 137:20 150:3 <b>chime</b> 293:5 <b>CHIP</b> 37:5 233:12 233:21 237:6 246:1 257:6,12 266:9 <b>CHIPRA</b> 21:5 23:3 23:9,22 24:2,15 26:1,12 27:9,13 28:10 29:19 35:18 38:2 43:22 252:5 256:12 260:5 324:17 391:21 <b>choices</b> 218:18 219:4 <b>choose</b> 59:15 297:1 342:14 <b>chose</b> 52:9 99:16 312:7 <b>chosen</b> 81:13 100:2 185:11 245:22 <b>CHRISTINA</b> 1:23 <b>Christmas</b> 381:14 <b>Christy</b> 90:19 96:7 104:21 106:17,20 133:11,16,17 151:4,6 155:9 158:11 174:18 176:12 182:7,16 184:8 186:20 195:8,15 <b>chronic</b> 222:16 277:18 288:20 289:6,10 313:2 318:18 <b>chronically</b> 277:9 278:10 286:7 <b>circumstances</b> 70:8 <b>CIS</b> 149:17 <b>citations</b> 216:5,7 277:13 <b>city</b> 11:12 72:13,13 388:5,17 <b>claims</b> 193:3 239:19,20 <b>clarification</b>	281:11,17 355:14 356:1 362:4 <b>clarified</b> 143:20 <b>clarify</b> 41:9 52:4 148:17 196:8 280:14 327:10 328:13 <b>clarity</b> 83:2 334:19 362:10 <b>Clarke</b> 1:15 10:6,6 63:4 220:17,22 222:1 231:1 232:5 235:8 311:8 321:17 322:2 351:10 <b>class</b> 286:14 <b>clean</b> 82:10 184:1 190:20 192:4,11 321:22 <b>cleaned</b> 41:11 <b>clear</b> 41:14 49:17 50:12 63:15 73:13 73:22 83:5 131:22 162:20 163:1,13 165:17 167:16 171:13 172:9 173:18 186:20 217:14 231:9 250:5 312:11 331:16 337:5 347:22 386:18 <b>clearer</b> 330:15 <b>clearly</b> 21:13 36:13 120:17 148:20 149:7 176:3 186:22 247:5 304:4 326:2 390:22 <b>click</b> 166:19 167:10 167:12 168:19 170:22 171:17 <b>clicked</b> 166:16 <b>clinic</b> 69:15 125:3 125:14 138:10 239:22 285:7 <b>clinical</b> 33:10 53:12 78:10,11 82:17	88:1 373:21 374:9 <b>clinically</b> 83:15 88:19,20 201:9 376:11,14 <b>clinically-based</b> 88:21 <b>Clinical-based</b> 4:4 <b>clinician</b> 15:4 16:20 72:3 81:17 318:6 <b>clinicians</b> 286:3 373:5 <b>clinician-level</b> 15:18 <b>clinics</b> 231:16 265:20,21 <b>close</b> 169:5 257:17 298:13 <b>closed</b> 87:19 <b>closely</b> 24:8 324:12 346:6 <b>closure</b> 251:20 <b>clusters</b> 150:19 <b>CMA</b> 232:3 265:21 <b>CMS</b> 22:22 30:3 36:21,22 37:4 239:2 248:14 252:7 260:18 289:22 358:18 <b>coast</b> 214:12,12 <b>code</b> 63:17 85:10 140:22 248:9,10 251:14,14 254:18 254:19,19,22 <b>codes</b> 66:4 <b>coding</b> 63:13 84:5 <b>coffee</b> 88:15 <b>cognitive</b> 17:12 <b>cognitively</b> 162:2 <b>coherence</b> 155:13 <b>coherent</b> 155:17 <b>Coke</b> 259:2 <b>colleagues</b> 369:20 <b>collect</b> 101:14 159:13,22 160:11 160:21 174:12 287:19
--	---	--	--	---

<b>collected</b> 97:11 159:12 235:21	254:11 256:20 279:9 282:12	125:9 286:12	344:2,8 345:7 346:15,20 347:8	290:10
<b>collecting</b> 160:9	296:15,16 298:2	<b>committee</b> 1:6,10 5:5,7,8 6:5 9:17	348:22 353:1,19 360:15 364:8,17	<b>competence</b> 132:9 132:11
<b>collection</b> 102:1,17 152:20 165:6	301:6 312:19 321:4 333:5 369:1	11:21 12:15 13:1 16:15 22:21 24:16	<b>communities</b> 4:17 72:16 365:10,20	<b>competent</b> 113:1
<b>college</b> 159:8	373:9 375:20 389:14	25:18 26:4 27:1,3 27:16 29:10,14	<b>community</b> 20:10 55:17 65:7 71:17	<b>compiled</b> 46:10
<b>color</b> 374:12	<b>comfort</b> 169:18	30:14 32:10 38:4 40:6 41:12,21	71:21 72:18 85:10 108:18 125:15	<b>complaints</b> 239:1
<b>Colorado</b> 10:7 142:12	<b>comfortable</b> 28:18 68:11 130:3	47:14 51:1 61:20 62:4 63:7,9 67:19	266:16 271:12,20 275:16 283:22	<b>complete</b> 42:5,21 200:3 374:18
<b>Columbia</b> 149:20 149:22 215:13	134:18 174:22 180:10 379:19	75:5 77:17 87:8 110:19 114:2	285:9 347:6 365:13 373:10,18	<b>completed</b> 200:2
<b>column</b> 280:22	<b>coming</b> 8:21 23:6 248:6 255:1	116:1,8,20 121:9 124:10 130:7	375:18 376:7,22 379:6,14 380:16	<b>completely</b> 3:25 41:17 218:13,14
<b>columns</b> 240:2	292:11 319:9 324:19 325:22	141:9 151:17 155:8 165:20	386:4,21 388:7	218:16 219:1,2,5 219:9 224:5,9
<b>combination</b> 265:14 334:13	338:10 361:17 391:10	166:13 172:5 174:22 177:6	<b>community-based</b> 7:7	225:17,17,21 232:10 234:17,22
<b>combine</b> 309:15 344:5	<b>comment</b> 34:18 43:9 50:9 61:15	178:21 184:16 185:7 187:7,19	<b>companies</b> 75:2 78:6	235:4 270:21 273:7 282:2 291:9
<b>combines</b> 149:22	63:5 64:12 76:11 78:5 79:11 83:17	218:1 243:13 250:12 261:9	<b>company</b> 74:14 137:11 305:21	294:7,11 304:11 312:11 316:19
<b>come</b> 13:9 20:21 33:15 34:18 35:10	134:21 152:11 155:16 188:4	262:15 265:13 297:6 350:21	314:9 384:6	320:8 321:5 352:3 354:12 355:4
39:9,21 44:6 53:3 53:11 100:21	226:4 262:18 268:4 280:9 281:2	351:11 360:1 365:7 367:7,15	<b>comparability</b> 102:12 262:10	379:20 382:19 383:12
102:6 114:1 116:15,18 134:3,7	376:19 386:15	391:11,12	316:15	<b>completing</b> 174:10
145:22 146:1,14 151:1 158:3 159:8	<b>commentary</b> 261:20	<b>committees</b> 6:18,20 45:8 123:22	<b>comparable</b> 72:16 132:14 133:9	<b>completion</b> 152:7,8 155:5
161:16 162:1 166:20 177:15	<b>commented</b> 61:13	46:3,11 172:4 179:21	251:9	155:5
178:22 179:4 186:20 196:19	<b>comments</b> 6:4 15:8 30:4 46:14 48:13	<b>committee's</b> 36:17 46:3,11 172:4	<b>comparative</b> 181:15	<b>complex</b> 42:16 348:5,6
221:16 228:14 235:10 238:3	49:2 61:1 63:3 64:10 68:3 76:16	179:21	<b>compare</b> 72:14,18 137:1 140:2	<b>complexity</b> 157:12 157:12
242:15 244:4 249:2,20 251:9,19	80:12,13 92:11 93:16 115:22	<b>common</b> 156:20 222:17	181:17 260:8 262:9 356:19,21	<b>complicated</b> 33:22 69:18 70:8 223:19
254:2 255:2 260:1 261:21 265:19	118:20 131:18 195:2 211:14	<b>communicate</b> 348:13	<b>compared</b> 72:19 117:10 132:21	276:12 326:16 347:20
266:6 267:1 268:6 274:10 324:2	266:2,4 267:4 268:19 297:10,15	<b>communicated</b> 343:1	212:7,8 279:7 287:1,20 288:7	<b>complication</b> 3:9 47:8 62:16
356:2 362:1 374:12 376:14	324:21 365:13 369:14 393:7,8	<b>communication</b> 325:11 328:2	290:12 353:2 356:12	<b>complications</b> 63:1
385:11	<b>commercial</b> 263:17	332:1,10 334:5,9 335:12,15,21,22	<b>comparing</b> 72:12 213:2,4	<b>component</b> 90:14 92:7 131:16 185:4
<b>comes</b> 14:18 25:1 49:13 70:3 102:13	<b>commercially</b> 263:22 264:14	336:7,12,18 337:11,16 340:16	<b>comparison</b> 156:14 216:10 368:18	281:12 331:1 336:9 346:19
103:4 106:4 140:7 165:6 171:1,17	<b>commishing</b> 346:8	341:2,8,22 342:19 343:3,5,10,22	<b>comparisons</b>	356:17 358:2
187:4,5 242:13 247:14,17 248:15	<b>commissioner</b>			<b>components</b> 103:11 268:17 336:17



110:13 176:15 177:4,16 178:6 180:22 184:22 187:1 312:20 325:6 326:6 327:7 344:6 345:18 356:15,19 357:10 357:12,13,16 358:3 385:12 390:3 <b>composites</b> 107:19 <b>composite-like</b> 178:13 <b>compound</b> 110:7 <b>comprehensive</b> 6:21 44:17 45:18 <b>comprise</b> 105:12 <b>comprised</b> 92:1 184:7 268:13 <b>comprises</b> 108:19 <b>compunction</b> 234:5 <b>computer</b> 188:1 <b>concept</b> 70:14 71:20 100:15 143:5 169:16 173:20 181:22 236:1 244:4 337:22 362:15 <b>concepts</b> 138:4 156:10 346:9 <b>conceptually</b> 118:11 <b>concern</b> 49:8,20 54:7 68:6 72:10 72:11 74:13 87:5 200:19 211:20 217:2 320:4 <b>concerned</b> 72:4 96:7 276:21 <b>concerns</b> 49:6 57:11 80:17 87:13 92:12 94:6 111:2 120:2 131:18 194:13 262:3 <b>concise</b> 158:10 <b>concisely</b> 155:12 <b>conclude</b> 391:14	<b>conclusions</b> 56:5 <b>concurrent</b> 364:15 371:18 <b>condition</b> 45:10 51:15,18 71:5 76:3 87:3 144:15 156:3,5 157:8 158:6 200:6 204:10,18 206:7 210:12 212:14 223:8 231:2 269:9 270:10 272:19 286:9 295:9 <b>conditional</b> 146:5,7 182:19 355:12 <b>conditioned</b> 360:5 <b>conditions</b> 41:3,5 41:13,16,20 42:9 48:12,14 49:12 60:10,12,14,16 68:1 148:17 156:12 166:3 200:7 203:4 204:1 204:4,8 210:10 212:8 215:12 216:3 222:17 284:5 351:21 356:4 358:14,22 359:2,8,13 361:13 361:13 362:2 <b>condition-specific</b> 13:20 <b>conduct</b> 133:1,7 162:8 <b>conducted</b> 293:8 <b>conference</b> 9:14 46:5,9 175:19 234:9 391:22 <b>confidence</b> 117:13 118:13,21 <b>confident</b> 50:18 <b>confirm</b> 124:22 <b>conflicts</b> 10:21 <b>confounder</b> 65:16 65:17,21 281:7 <b>confounders</b> 75:15 <b>confounding</b> 217:9	<b>confused</b> 52:16 79:13 83:7 <b>confusing</b> 327:8 328:3,7 334:11 337:18 <b>confusion</b> 103:22 251:1 330:17 <b>congratulations</b> 87:18 <b>Congress</b> 8:4 29:21 37:11 <b>conscious</b> 76:11 <b>consensus</b> 1:3 7:20 13:3 108:17,22 <b>consent</b> 147:16,19 <b>consequence</b> 58:5 239:7 <b>consequences</b> 68:16 156:10 157:7 158:7 <b>consider</b> 85:17 100:8 113:5 142:4 173:21 177:1 182:10 221:19 255:17 256:21 295:8 298:18 305:9 307:9 338:22 366:11 372:21 <b>consideration</b> 4:2 133:22 134:6 186:16 197:7 377:17 378:13 <b>considered</b> 175:10 <b>considering</b> 103:12 211:12 <b>consistent</b> 69:21 85:7 102:5 204:8 207:13 260:6 280:4,7 321:21 322:9 <b>consistently</b> 97:12 170:10 204:5,11 218:6 251:3 <b>constitute</b> 334:14 <b>constituted</b> 391:11 <b>constrained</b> 64:5	<b>construct</b> 338:12 349:1 350:2 <b>constructed</b> 199:1 207:11,13,15 348:14 <b>construction</b> 199:2 <b>constructive</b> 198:20 <b>constructs</b> 348:18 <b>construed</b> 173:12 <b>consumer</b> 353:13 <b>contact</b> 114:11 349:4 <b>contacts</b> 19:4 <b>contain</b> 385:14 <b>contemplated</b> 28:12 <b>contemplating</b> 19:17 <b>contend</b> 108:15,16 <b>content</b> 234:13 253:6,15 <b>contents</b> 26:1,13 <b>context</b> 51:3,11,22 55:3 94:4 117:4 167:16 168:4 169:3 296:16 356:14 <b>contexts</b> 359:7 <b>contextually</b> 116:12 <b>continue</b> 24:13,14 24:17 25:4 37:22 39:14 40:17 150:13 211:10 307:10 308:6 350:17 <b>continued</b> 129:2 <b>continues</b> 237:16 <b>continuing</b> 122:5 307:14 <b>continuously</b> 163:9 <b>continuum</b> 157:6 163:15 <b>contribute</b> 33:13 164:22 <b>control</b> 74:20	<b>controlled</b> 286:9 <b>controls</b> 57:8 <b>controversial</b> 193:22 <b>controversy</b> 189:19 190:3 191:17 <b>Cont'd</b> 4:1 <b>convened</b> 1:10 <b>convenience</b> 273:22 <b>convention</b> 84:12 <b>conventional</b> 82:5 <b>convergent</b> 371:18 <b>conversation</b> 13:8 30:15 32:8 113:19 113:22 141:8 151:3,21 179:14 196:21 209:14 250:3,6 251:21 259:15 265:5 308:6 319:17 326:2 378:10 <b>conversations</b> 10:18 159:2 268:15 296:13 <b>conveyed</b> 343:1 <b>conveying</b> 348:3 <b>convinced</b> 49:9,14 49:15 120:17 285:21 <b>convincing</b> 286:10 <b>coordinate</b> 327:11 344:13 347:4 <b>coordinated</b> 334:15 335:16 349:6 <b>coordinating</b> 327:16,21 333:17 333:22 343:19 <b>coordination</b> 4:13 45:12 112:8 171:11 184:13 319:9 325:4,8 326:3,8,21,22 329:20 330:22 331:14,15 332:2 332:12,18 335:5 335:11,20 336:2,6
--	---	---	---	---

336:20 337:4,8 338:1 343:6,9 344:8 345:7 346:16 347:1 348:19 349:13 350:8 351:9 353:11,20 357:1,2 357:3 360:14 361:20 364:7 <b>copied</b> 89:12 <b>copies</b> 9:7 89:22 <b>copy</b> 9:4,6 89:15 90:8 91:7 171:21 <b>core</b> 22:22 27:19 170:5,6 177:17,18 <b>correct</b> 91:6 184:8 203:15 246:9 307:18 330:1,2 332:17 341:15 358:4 361:2 <b>corrected</b> 90:10,11 <b>correction</b> 29:1 <b>correctly</b> 74:13 100:19 205:10,12 205:17 270:14 314:12 <b>correlate</b> 132:3 351:8 386:19 389:16 <b>correlated</b> 221:7 346:6 371:13 386:22 <b>correlates</b> 204:1 370:8 <b>correlating</b> 247:7 253:10 <b>correlation</b> 122:21 183:8 276:8 389:17 <b>cost</b> 264:4 265:10 279:16 <b>Costello's</b> 121:21 <b>count</b> 138:18 249:5 275:18,22 279:11 330:3 385:12 <b>counted</b> 105:16 <b>counteract</b> 78:13	<b>country</b> 84:22 85:8 97:12 98:5 123:8 213:10 215:7,18 252:7,12 259:17 260:10 281:3 330:21 377:9 382:8 <b>county</b> 85:22 380:20 <b>couple</b> 15:14 44:11 94:5 99:8 123:16 124:6 128:11 261:13 <b>course</b> 5:18 8:21 25:11 36:21 57:19 86:2 128:4 153:6 200:14 267:12 295:10 296:7 312:12 324:10 369:18 <b>court</b> 146:22 147:4 148:7 <b>covered</b> 80:14 264:5 <b>covers</b> 39:18 301:2 <b>Co-Chair</b> 1:14,14 3:3,4 6:8 7:13 16:1 20:7,16 22:18 26:22 27:7 27:15 28:8 30:11 31:17 32:5 36:10 36:19 46:16,21 47:18 49:7,22 50:4 52:1,5 53:1 53:17 56:14,16 57:16,19 59:1,11 60:4,8,11,20 61:5 61:10,18 64:6,16 65:1,9 66:8,15 67:3,5,10,13 68:4 69:11 70:10 71:8 73:7,15 74:8 76:10 79:10 80:1 80:4,13,21 81:9 82:16 84:14 85:12 86:4,15,17,21 87:4,7,17,22 88:6	88:13 89:3,7,10 89:17,20 90:5,9 90:18,21 91:1,4,9 93:13 96:1,6,20 98:14 99:17,22 100:12 101:2 105:18,19 106:1,3 106:8,13,16,19 107:5,9 108:14 109:5,20,22 110:9 111:7,19,22 113:12,15 114:18 116:15 117:3 118:4 119:20 121:16 123:20 124:15,19 125:5 126:18 127:1 128:2 129:20 130:9,13,18 131:5 131:9,13 132:8 133:10,12,16 134:12 135:3 136:11 137:5,8,13 137:16 138:5,11 140:18 141:5,19 142:1 143:17 144:10,13 145:7 145:12,15,20 146:11,13,19 147:6,9,13,18,21 148:6,9,13 150:21 151:11,15,20 152:10,14 154:4 154:16,20 158:9 161:10 162:10 163:4 165:11,19 167:3,6 168:16 169:1,8,19 170:7 170:13 172:1,20 174:17 176:11 177:13 178:19 179:6,16,20 180:8 181:3,6,11 183:5 185:5,17 186:1,4 186:7,11,13 187:13 188:11 193:18 194:18	195:8,12,18,21 196:12,15,20 197:4,10,15,18,21 198:10 199:14 200:16 202:7 203:2,8,10,13,18 204:3,14,17 205:1 205:18,21 206:3,7 206:10,13,18 207:1,7 208:8,20 209:11 210:4,17 210:20 211:7,9 212:11 213:15,19 214:5,9,16 215:15 216:11,17,22 217:6,11,17,20 218:3,5,9,14,21 219:7,11,21 220:4 220:8,15,20 221:4 221:14 222:10 223:4,16,22 224:13,16,22 225:3 226:1,7,14 227:1,5,8,12,18 228:4,8 229:3,17 230:6,13,22 232:7 234:12 235:3,13 239:9 240:15 241:8 242:6,9,16 243:2,8,12 244:2 245:3,21 246:5,11 250:1 251:16 253:4 255:13 256:14 259:14 260:20 261:4 262:11 266:1 267:3,17 268:1,8 268:20 269:14,19 271:3,5 272:17 273:3,4,12 278:12 280:9,16,21 281:14,18 282:6 282:11,15,21 283:4,7,17 284:10 285:20 286:19 287:12,22 288:14 289:17,21 290:7	290:16,20 291:5,8 291:17 292:1,5,8 292:20 293:11 294:1,4,12,15 295:3,5,10,20 296:2,19 297:17 298:12 299:6,19 300:4,8,14 301:18 301:20 302:2,13 304:5,17 305:3,19 306:4 307:6,22 308:5,10 310:15 314:17 315:13 316:7,10,17 317:4 317:8,11,19 318:3 318:10,16 319:2 319:14 320:12,16 320:20 321:1,9,13 321:22 322:6,16 322:20 323:2,12 323:17 324:5,8,19 333:14 337:19 338:14 339:10,14 341:4 342:9,16 343:5 346:3 347:12 348:15 350:15 351:15 352:1,8,12,16 353:8,17 354:7 355:3,17,21 356:5 356:10,18 357:16 357:22 358:5,10 358:15 359:3 361:12,21 362:16 363:13,18 364:1,6 364:12 369:13 371:10 372:9 373:3,15 374:5,15 375:1 376:2 377:13,19 378:2,6 378:9 379:2,17 380:2,6,14 382:15 382:18 383:2,6,15 383:18 384:3,20 385:8,17 386:15 387:17 388:3,13 388:20 390:7
---	--	--	--	---

393:4,9,11	328:9 338:18	<b>C3Q</b> 211:1	<b>days</b> 4:8 6:14 7:15	250:8,9 262:11
<b>co-chairs</b> 1:12 6:2	352:4,6,9 354:13		8:12 26:14 29:11	297:8 301:1
<b>CPNP</b> 1:15	354:15,16,18	<b>D</b>	53:5 76:12 159:8	365:16 366:6
<b>CPT</b> 248:9,10	355:5,13 379:21	<b>D</b> 147:11	234:11 269:6,8	<b>defined</b> 27:9
254:22	380:3 382:20,21	<b>daily</b> 166:3,8 200:6	270:2,8 271:20	102:11 109:9,13
<b>CQ</b> 210:21	383:13	200:9 203:5 204:5	272:3,13,18 274:3	109:19 120:10
<b>craft</b> 222:7 252:5	<b>criterion</b> 39:8 40:7	208:2 212:6,21	274:7,15 275:19	121:4,13 156:3
252:11,20	40:8,13 46:12	<b>Dallas</b> 9:21	275:20,22 276:4,6	176:22 233:12
<b>crafted</b> 19:2 78:21	49:4 58:21 217:19	<b>danger</b> 368:7	276:7,18 277:7,12	247:6 309:5
144:5 208:13,18	<b>critic</b> 122:12	<b>dangerous</b> 388:16	279:3 287:1	326:22 367:4
209:3	<b>critical</b> 42:13	<b>dark</b> 248:18	314:19	379:8
<b>crafting</b> 345:21	256:22 281:21	<b>data</b> 16:19 38:18	<b>DC</b> 297:21	<b>defining</b> 116:19
<b>cream</b> 24:22	<b>critically</b> 261:6	62:9 63:21 64:4	<b>deal</b> 8:3 28:13 36:3	156:10 286:15
<b>create</b> 101:9,14	<b>crop</b> 24:22	85:14 97:6,10,13	36:18 45:14 190:3	<b>definite</b> 180:7
102:2	<b>cross</b> 164:10	101:7,14 102:1,17	194:22 203:13,14	<b>definitely</b> 14:7
<b>created</b> 103:11	<b>crosses</b> 296:10	119:2,5,14 121:21	212:15,19 286:7	190:16 279:20
157:9	<b>cross-cutting</b> 13:19	122:12 128:18,19	311:9 353:18	310:1 369:21
<b>creates</b> 174:13	<b>cross-matches</b>	128:20 147:3,8	<b>dealing</b> 72:3 83:6	<b>definition</b> 31:19
385:12	364:14	149:3,9 152:20	205:12 211:6	62:11 108:4,22
<b>creating</b> 387:19	<b>cross-set</b> 163:11	156:14 157:1	272:7 342:3	109:21 116:22
<b>credential</b> 265:3	<b>crucial</b> 50:20	159:10,12,13,14	365:21 373:8	155:21 241:14
<b>credibility</b> 217:13	<b>CSAC</b> 43:11	159:18,22,22	374:2	<b>definitional</b> 173:9
378:18	<b>CSHCN</b> 108:4,12	165:2,5 172:15	<b>debate</b> 191:11	<b>definitions</b> 322:9
<b>credible</b> 20:11 34:9	168:20 174:9	174:8,12 180:21	388:12	366:5
339:1	177:17	186:21 193:1,3	<b>debt</b> 319:17	<b>definitively</b> 25:6
<b>credit</b> 324:9	<b>cshtdata.org</b>	216:19,20 221:19	<b>decade</b> 121:21	<b>degree</b> 19:18 348:7
<b>crisis</b> 234:8	167:7	225:4,13 226:10	122:17	<b>Deinard</b> 230:2,2,10
<b>crisp</b> 16:11	<b>cultural</b> 353:16	235:19,22 239:19	<b>decades</b> 121:22	231:12 232:18
<b>crispness</b> 83:10	<b>culturally</b> 113:1	239:20 255:20	122:1	233:5 236:3
<b>criteria</b> 3:21 31:18	<b>cup</b> 88:15	270:12 276:11	<b>decide</b> 41:22	239:16 240:17
35:6 39:1,6 40:20	<b>curious</b> 367:14	292:14 293:16	241:13	241:19 242:8,12
51:1 130:17	<b>current</b> 19:19	295:15 296:14	<b>decided</b> 291:19	242:19 243:4,11
171:11,12 177:7	24:16 120:12,19	299:17,19 303:5	363:20	243:22 244:5,13
178:8 194:5,9,15	121:16,19 154:5	308:21 329:7	<b>decides</b> 45:7	245:7 246:4,10,14
196:5 198:8,21	156:11 228:1	351:17 354:18	<b>decision</b> 54:8 72:8	247:11,14 248:5
202:15 208:17,19	305:9 359:1	364:14 389:14	187:2 302:10	248:14 249:7,11
209:17 211:11,12	<b>currently</b> 13:16,21	<b>database</b> 245:10	<b>decisions</b> 7:10 54:2	249:18 251:10
218:2 219:2,10,22	23:22 28:14 42:11	<b>date</b> 12:7 14:4 25:8	56:9 184:19	253:14 257:5,8
224:3 234:17	134:1 156:1 293:8	<b>dates</b> 25:6	<b>deconstruct</b> 109:1	258:9,19 259:10
250:21 256:4	360:17	<b>daunting</b> 295:13	<b>decrease</b> 128:13	260:12,22 262:3
260:1 270:12	<b>curriculum</b> 127:6	<b>dauntingly</b> 348:6	<b>decreases</b> 82:20	263:8,13 265:1,17
271:1 273:6,7	<b>cup</b> 298:14	<b>David</b> 1:15 10:6	<b>decreasing</b> 58:2	267:21
280:20 282:2,7	<b>cut</b> 82:9 151:8	235:7	<b>deep</b> 20:22 134:6	<b>delayed</b> 22:6
291:9 294:8	292:14	<b>David's</b> 221:15	<b>deeper</b> 289:2	<b>delegated</b> 232:2
298:11 304:8,10	<b>cutoff</b> 142:8	<b>day</b> 207:20 238:5	<b>default</b> 36:8	<b>deliberations</b> 29:12
304:12,13 316:19	<b>C-O-N-T-E-N-T-S</b>	259:2 323:10	<b>deferred</b> 133:19,21	63:6 305:10
320:9,14,17 327:6	3:1 4:1	385:1	<b>define</b> 192:11	<b>delicious</b> 229:5

<b>delighted</b> 7:14	234:4 244:7	<b>despite</b> 57:4 85:3	<b>developing</b> 150:15	157:8,20 160:19
<b>deliverable</b> 13:6	245:13	200:10	267:1 311:12	162:8 167:15
<b>delivered</b> 51:21	<b>Denver</b> 10:7	<b>detail</b> 173:7 179:11	<b>development</b> 17:11	168:13 183:10
55:5 97:3 139:18	<b>deny</b> 74:17	186:18 310:11	21:11,15 23:8	184:2,19 194:19
<b>delivery</b> 52:8	<b>Department</b> 230:3	311:6 315:20	45:3,21 115:22	204:21 209:7
167:22 305:8	<b>depended</b> 100:9	351:13	161:20 210:10	212:13 243:3
<b>demand</b> 55:10	<b>depending</b> 20:2	<b>detailed</b> 146:2,14	311:17 319:11	245:2 255:7 256:4
<b>demographic</b> 72:17	153:22 184:20	153:20 167:9	390:11	273:18 275:22
<b>demonstrate</b> 6:10	200:20,21 365:17	178:5 209:18	<b>developmental</b>	281:6 290:15
<b>demonstration</b>	<b>depends</b> 173:22	255:19 315:15	129:8 135:13	310:7 327:12,17
162:6	215:6 248:2 300:8	<b>details</b> 93:22	243:13	333:18 338:11
<b>denied</b> 264:2	342:14 366:9	170:22 180:14	<b>deviation</b> 136:20	346:8,9 347:11
<b>denominator</b> 64:1	368:20 374:10	181:4 188:15	<b>diabetes</b> 3:9 47:7	348:18 355:10
138:21 161:5	<b>deploy</b> 23:11	310:7 348:7	62:16,22 63:8,11	357:6 360:11
166:18 171:2,4	<b>depression</b> 132:3	379:11	64:14 66:5,18	362:13 364:18
205:22 227:4	133:1,6	<b>deteriorate</b> 202:6	84:17	365:18 366:4,18
241:22 242:10,22	<b>depth</b> 152:19	<b>determinant</b>	<b>diagnose</b> 82:5	384:14
244:12 245:5	<b>derived</b> 85:13	278:19	<b>diagnosed</b> 84:8	<b>differentiate</b>
247:9 249:14	96:18 102:17	<b>determinants</b>	<b>diagnoses</b> 65:11	274:18
251:9 253:15	105:1 175:7 225:9	368:13	<b>diagnosis</b> 83:11	<b>differentiating</b>
254:16 276:22	<b>derm</b> 301:7	<b>determined</b> 331:19	132:21 189:11	37:15 53:19
300:19,22 306:16	<b>dermatologist</b>	<b>Detroit</b> 381:19	191:13,22 222:1	<b>differentiation</b>
308:17 310:4	301:16	382:3	223:6,11,14	274:19
326:12,18,19	<b>Des</b> 213:11	<b>develop</b> 6:21 22:14	272:20	<b>differently</b> 285:17
327:6 329:9,10	<b>describe</b> 36:6 73:19	251:17 261:15	<b>diagnostic</b> 222:18	286:14 367:5
330:8,11,11 340:8	187:17	262:15 279:14	<b>diagram</b> 337:5,6	<b>difficult</b> 109:2
346:21	<b>described</b> 16:12	<b>developed</b> 58:20	339:20 348:10	176:7 192:21
<b>denominators</b>	182:9	63:18 115:11	349:16	252:21 277:22
142:12 255:8	<b>describes</b> 138:15	149:21 162:2	<b>diarrhea</b> 74:16	314:7
<b>dental</b> 233:13,14	310:11	164:3 173:2	<b>died</b> 205:3	<b>difficulty</b> 94:18
235:17 236:1	<b>describing</b> 189:6	312:17 329:2	<b>differ</b> 112:1 262:5	303:6
237:6 238:20	329:13	<b>developer</b> 41:14	<b>difference</b> 51:16	<b>digest</b> 238:6
239:2,8 240:20	<b>description</b> 47:22	64:21 96:7 114:15	181:12,20 213:6	<b>digit</b> 153:9
244:4,6 245:10,19	62:21 78:12 92:16	148:19 162:13	263:8,13 271:22	<b>dimension</b> 49:10
246:4,6,12,16	115:7 134:15	195:6 303:3	314:15 359:12	284:7 339:16
252:20 259:13	269:7	311:12 318:21	381:17 388:6	345:2,3 370:2
260:10 264:19	<b>descriptively</b>	319:4 326:14	<b>differences</b> 126:6	<b>dimensions</b> 117:14
265:1,3 266:14	350:10	327:9 328:13,20	136:13 149:4	204:21 344:18
329:15	<b>desensitized</b> 372:19	334:19 339:19	215:11 280:13	<b>dinged</b> 72:7
<b>dentist</b> 233:18,19	<b>designed</b> 92:19	349:8 354:10	316:14	<b>direct</b> 10:14,17
236:14,16,18	108:21 117:4,22	359:18 363:7	<b>different</b> 14:17	29:20 31:3 265:6
239:4 244:7,15,16	125:1 169:4	364:22 386:12	15:21 36:12 54:19	346:15 360:13
244:20 245:18	385:13	387:22 388:19,21	55:19 59:10 66:4	377:7
265:15 266:17,19	<b>desirable</b> 16:4	389:1 392:13	105:20 124:7	<b>direction</b> 23:15
330:7,10 337:1	<b>desire</b> 28:1 107:10	<b>developers</b> 41:6	136:10,15,16	29:5
<b>Dentistry</b> 238:19	315:21	91:6	140:7 142:4 150:6	<b>directive</b> 21:22
<b>dentists</b> 233:20	<b>desired</b> 120:13	<b>developer's</b> 360:7	154:1 156:20	97:17

<b>directly</b> 24:14 93:7 331:6 347:3	271:4 283:18 297:18 304:22	212:4 226:18 227:2 277:5	21:19 23:19 27:5 27:18 35:12 44:1	218:4,7,13,17 219:8,12,16 220:7
<b>Director</b> 11:18	307:14 315:18	287:15 366:3	51:2 54:10,17	220:14 223:21
<b>Directors</b> 44:3	352:20 360:9	376:3	59:5 60:5 61:22	224:6,8,11,15,17
<b>disabilities</b> 207:15	365:14 380:8	<b>docs</b> 236:10 246:15	66:10 67:4,7,12	224:21 225:1
<b>disability</b> 208:7	385:7,11	<b>doctor</b> 303:14	73:16 75:18 76:5	227:10,17 229:13
<b>discard</b> 329:9	<b>discussions</b> 30:9	315:3 327:2,4	77:19 78:14 79:20	229:19 230:2,10
<b>discern</b> 314:15	37:2 39:20 64:7	334:5 337:1	80:3 81:6,12	231:12 232:18
<b>discharge</b> 85:13,14	78:18 190:21	353:14 369:1	86:11,14,16,19	233:5 234:19
<b>disclosure</b> 374:16	267:16	<b>doctors</b> 306:14	101:1,4 102:8	235:1 236:3
<b>disclosures</b> 9:18,21	<b>disease</b> 65:2 83:6	327:12 328:2	103:1 104:17	239:16 240:10,17
10:1,3,5,7 11:2,4	83:12 209:6 213:7	332:1 336:12	106:17 107:8	241:19 242:8,12
11:8,10,13 38:9	215:1 223:9 231:5	342:19,22 344:1,3	110:4,10 111:12	242:19 243:4,11
38:17	257:14,15 286:8	<b>document</b> 171:21	113:22 114:4	243:22 244:5,13
<b>discomfort</b> 34:1	288:20 289:6,11	188:5	116:14 117:19	245:7 246:4,10,14
50:17	<b>diseases</b> 289:12	<b>doing</b> 6:17 24:8	118:19 120:21	247:11,14 248:5
<b>discovered</b> 125:7	<b>disorder</b> 191:1,18	36:14 44:2 66:3	121:18 123:2,7	248:14 249:7,11
<b>discovery</b> 295:11	<b>disorders</b> 189:3,9	95:15 99:1 112:5	124:4 125:21	249:18 251:10
<b>discriminate</b> 302:8	190:18 201:10	128:9 129:8,11,13	126:20 127:2	253:14 256:7
<b>discuss</b> 47:2 49:5	<b>disparities</b> 52:12	135:22 136:1,7	128:17 130:6,11	257:5,8 258:9,19
108:15 114:20	202:14,14 297:19	141:4 150:19	130:16,22 131:3,7	259:10 260:12,22
250:14 271:14	316:15	168:22 170:15	131:11 132:6,10	262:3 263:8,13
319:8 323:6	<b>disparity</b> 55:5	174:7 179:17	133:11,13 134:8	265:1,17 267:21
332:22	<b>dispense</b> 235:5	199:16 218:6	136:2 137:3,6,9	273:9,11 280:14
<b>discussed</b> 12:16	<b>disrupt</b> 113:16	232:20 237:21	137:15,18 138:12	280:19 282:8,13
17:6 46:4 118:22	<b>dissatisfied</b> 334:4,4	244:9 255:9,10	138:16,17,19,20	282:16,19 283:1,5
363:7 379:1	334:7,8	263:1 268:18	139:1,2,11,12	291:3,7,13,16,19
<b>discussing</b> 32:19	<b>distinct</b> 345:8	269:12 279:16	140:5,19 141:16	291:22 292:3,7,15
40:2 70:14 116:1	<b>distinctive</b> 220:12	286:20 290:12	141:21 145:4	293:3 294:14
116:21 133:18	<b>distinctly</b> 156:20	323:13 326:4	149:7,18 150:17	295:1,4 304:14,16
269:3 297:11	<b>distinguishable</b>	360:18 383:7,22	151:7,12,19 152:9	307:15,18,20
<b>discussion</b> 3:14,17	226:16	<b>domain</b> 100:17	152:13,15 154:7	308:3 315:17
3:18 12:9,13 35:9	<b>distinguishes</b>	136:6	154:17 155:19	316:9,22 317:3,6
37:15 39:9 46:3	329:22	<b>domains</b> 14:11,13	159:4 161:13	317:10,16,21
49:1,14 50:6,10	<b>distribution</b> 213:6	14:15 100:17,21	162:16,19 163:12	318:5,17 320:15
63:5 73:9 76:15	<b>District</b> 215:13	150:6	164:8 165:15	320:18,22 321:8
79:8 80:15,18	<b>districts</b> 296:6	<b>domestic</b> 127:5	166:12 167:4,8	321:12 322:5,18
81:20 86:5 108:17	<b>diverse</b> 7:3 155:14	<b>Donna</b> 1:21 9:20	168:21 169:2,9	322:22 339:5,9,12
114:2 116:3 144:9	<b>diversity</b> 157:4	138:9	170:1,8,17 172:7	339:18 340:1
146:9 149:2,11	<b>divide</b> 104:14	<b>Donna's</b> 209:2	173:16 176:9,12	348:2 349:7,17,20
150:14 154:13	<b>divided</b> 243:17	<b>door</b> 265:22	177:14 179:5,8,19	352:7,10,14
155:10 172:14	<b>dividing</b> 216:9	<b>doors</b> 8:16	179:22 180:4	353:22 355:15,18
174:18 183:1	<b>Division</b> 112:15	<b>dose</b> 231:22	181:2,7 182:15	359:11 360:1
191:9 193:7	<b>doc</b> 240:1 245:18	<b>downstairs</b> 375:12	195:15 196:7,14	363:2,16,20
200:13 209:10	245:20	<b>downward</b> 253:1	197:3,11,20 199:6	364:11,21 365:5
221:1 268:11	<b>Docherty</b> 1:15 11:5	<b>dozen</b> 127:11	209:21 210:1	377:18 378:7
269:12 270:19	11:6 113:9,13	<b>Dr</b> 15:9 18:19	217:15,19,22	379:8 380:1,5

383:4,14,17 388:18,22 <b>dramatically</b> 201:20 <b>drawn</b> 56:5 82:6 <b>drew</b> 339:20 <b>drill</b> 122:17 204:20 299:18 <b>drinking</b> 259:2 <b>drive</b> 8:19 89:19 92:14 <b>driven</b> 83:16 136:8 <b>driveway</b> 387:12 <b>drive-by</b> 366:12 368:7 <b>drop</b> 345:10 <b>dropout</b> 94:12 <b>dropped</b> 77:13 <b>drug</b> 137:11 <b>drugs</b> 193:20 373:2 376:10 <b>DSM-V</b> 191:10 <b>due</b> 4:9 84:9 269:6 269:9 270:9 279:8 <b>Duke</b> 11:6 <b>duplicated</b> 240:5,6 241:20 247:13,16 247:19,22 <b>duration</b> 27:4 <b>dynamic</b> 189:5 238:11 367:1 <b>dysfunction</b> 279:7 <b>dysregulation</b> 191:21 <b>D.C</b> 1:12 38:16 <b>D1206</b> 254:20	<b>easier</b> 9:1 144:7,12 144:13 246:15 262:21 335:1 348:22 <b>easiest</b> 168:3 <b>easily</b> 175:22 235:21 <b>east</b> 214:12 <b>easy</b> 28:15 183:14 299:11 <b>eat</b> 228:13 <b>eating</b> 387:20 <b>echo</b> 192:13 321:3 <b>echoed</b> 234:11 <b>ed</b> 201:18 <b>Edd</b> 2:5,21 <b>edge</b> 255:5 <b>EDI</b> 380:18 <b>editorial</b> 120:7 <b>education</b> 52:12 241:1 <b>educational</b> 123:11 <b>effect</b> 58:2 69:8 77:14 166:2 203:5 223:7 231:5 305:8 313:2 371:2 <b>effective</b> 4:13 72:18 112:8 266:11 298:15,20 319:8 325:4 326:8 335:4 <b>effectively</b> 30:1 256:21 348:14 <b>effectiveness</b> 271:11 <b>Effects</b> 200:6 <b>efficacy</b> 83:3,11 <b>efficient</b> 166:22 172:3 <b>effort</b> 8:10 22:7 <b>efforts</b> 31:14 54:18 <b>eight</b> 128:19 219:19 224:18 240:11 282:9 <b>either</b> 33:9 103:19 112:2 142:5 161:11 169:10 187:17 206:4	210:18 222:5 232:9 236:16 239:22 262:12 266:16 274:16 296:8 306:6 327:14 331:6,10 337:9 347:22 355:21 358:13,16 361:9 377:14 387:1 390:14 <b>elbow</b> 237:20 <b>ELDRED-SKEMP</b> 1:25 389:5 <b>electronic</b> 127:18 225:6 354:19 <b>element</b> 176:17 239:2 390:11 <b>elements</b> 97:6 193:22 217:12 280:10 339:17 347:17 385:14 <b>eleven</b> 219:19 224:19 <b>eligibility</b> 35:1 <b>eligible</b> 139:3 329:19 332:15 359:6 <b>elimination</b> 190:22 <b>Ellen</b> 1:22 5:10 38:5,11 68:4 80:21 91:16 93:13 110:20 197:22 252:2 <b>Ellen's</b> 302:20 <b>email</b> 124:10 231:14 365:1 <b>emailed</b> 8:21 114:12 <b>emails</b> 9:2 <b>embedded</b> 317:17 <b>emergency</b> 68:17 <b>emotional</b> 3:18 17:13 93:4 187:16 188:18 189:8,9 190:18 210:10 340:14 <b>emphasis</b> 193:8	<b>emphasize</b> 20:17 <b>employee</b> 38:18 <b>enacted</b> 96:22 <b>enamel</b> 238:9 <b>encounter</b> 235:22 249:8 <b>encounters</b> 255:9 262:12 <b>encourage</b> 40:5 <b>encouragement</b> 151:1 <b>ended</b> 83:14 <b>endorse</b> 3:9,10 34:8 51:4 71:1 102:4 102:15,16 104:6,8 104:15 110:22 168:4 <b>endorsed</b> 14:5 42:12 55:1 100:14 100:20 102:9 199:7 290:5,13 291:2 318:18 355:22 <b>endorsement</b> 13:3 22:9 39:1,5 40:10 40:11,22 41:2 42:1,3,8,11 43:11 45:21 46:13 59:22 62:20 67:22 75:12 75:19 76:1 79:16 80:10 87:10 102:22 143:22 322:13 355:11,12 384:22 <b>endorsing</b> 24:6,11 66:22 86:9,18 102:14 294:20 <b>ends</b> 155:18 <b>energy</b> 154:13 <b>enforced</b> 160:1 <b>England</b> 381:13 <b>engraved</b> 354:4 <b>enjoyed</b> 229:5 <b>enlarge</b> 46:15 <b>entertain</b> 30:20 145:3 <b>entire</b> 35:9 100:3	275:12 281:3,4 <b>entities</b> 57:9 <b>entity</b> 239:22 <b>enumerator</b> 308:15 308:16 326:11,17 <b>environment</b> 55:4 70:20 375:19 388:11 389:11,21 <b>environmentally</b> 216:2 <b>environments</b> 390:8 <b>epidemic</b> 284:3 <b>epidemiological</b> 389:15 <b>EPSDT</b> 236:8 239:2 240:5,11 241:22 242:1,2,4 242:10,22 243:6 243:18 244:1 245:9 246:8,9,20 246:21 247:7,10 248:3,11,13,16,17 248:18 249:1,3,6 249:13,16,16 250:5 251:13,14 253:6,11,18 259:19,20 262:12 <b>equal</b> 242:4 <b>equally</b> 22:10 374:6 <b>equivalent</b> 84:22 <b>errors</b> 84:5 <b>eruption</b> 254:1 258:10 <b>especially</b> 56:3 109:14 113:10 129:9 212:2 272:8 277:15 281:8 373:1 <b>essential</b> 4:19 385:5 387:5 <b>essentially</b> 12:8 26:15 35:13 37:20 47:5 84:19 182:16 267:12 275:11 306:1 346:1
<b>E</b>				
<b>ear</b> 261:18 392:5 <b>earlier</b> 43:19 68:8 121:22 122:2 141:8 174:18 212:22 275:17 312:16 392:21 <b>earliest</b> 83:21 <b>early</b> 149:6 163:9 <b>eased</b> 125:18				

<b>established</b> 54:15 132:13 359:15	333:11 361:4,9 369:17	<b>excludes</b> 84:11	<b>explain</b> 116:11 287:17 329:6 335:1 348:7	355:1 359:12 361:3 381:6
<b>estimates</b> 153:15	<b>exactly</b> 58:5 99:19	<b>excluding</b> 63:10 275:5	<b>explains</b> 348:10	<b>factor</b> 64:1
<b>et</b> 59:19 71:14 83:8 107:13,13 112:22 181:19 209:19 221:11	126:8 146:11 150:18 172:9 228:11 296:8 300:4 305:20 307:7 320:6 366:22 369:22 389:5	<b>exclusion</b> 171:10 171:12 235:16 244:12	<b>explanation</b> 238:14	<b>factors</b> 126:8 160:16 236:22 333:5
<b>etches</b> 238:9	<b>exam</b> 239:2 240:11	<b>exclusions</b> 64:2 222:6 225:7 251:2 275:6 316:13 354:19	<b>explicit</b> 31:13	<b>fail</b> 239:5 251:13
<b>evaluate</b> 12:22 93:20 138:8 173:6 202:5	241:22 242:22 244:9 245:9 246:8 247:7,10 248:10 248:11,17,19,19 249:6,9,10 253:16	<b>excrement</b> 238:8,9	<b>explicitly</b> 30:18	<b>failed</b> 70:9 343:3
<b>evaluated</b> 40:18 99:10 350:6	<b>examination</b> 236:12	<b>Excuse</b> 246:5	<b>explore</b> 296:18 315:20 373:19,22	<b>fails</b> 67:14 254:10
<b>evaluating</b> 24:19	<b>example</b> 21:5 42:14	<b>exercise</b> 375:6,13	<b>explored</b> 136:18,21 137:20	<b>fair</b> 76:17 113:19 226:14 261:14
<b>evaluation</b> 39:15 40:14 93:11 115:15 129:12 288:19 371:6	57:5 59:7 65:11 72:6 74:22 78:9 83:4 85:1 96:9 112:3 163:7 165:21 170:11 171:12 184:13 201:13,16 214:21 221:21 259:16 288:9 290:2 297:9 356:20 364:16 373:9 374:1	<b>exercises</b> 296:12	<b>exploring</b> 76:19	<b>fairly</b> 18:6 215:14 231:11 297:19 358:6 367:8 392:1
<b>evaluations</b> 31:12 242:11	<b>examines</b> 256:18 311:13	<b>exist</b> 165:2 181:14 386:21	<b>exposure</b> 376:10 380:17	<b>fall</b> 208:22 287:10 304:4 391:4
<b>evening</b> 365:2	<b>exams</b> 236:8 240:5	<b>existed</b> 387:11	<b>extend</b> 274:6	<b>falling</b> 184:15
<b>events</b> 17:20	242:1,5 243:6,18 244:1 246:9,21 248:3,8,13,16 249:1,13 251:13 253:17,18	<b>existing</b> 13:21	<b>extensive</b> 162:5 165:16	<b>falls</b> 45:14,15 391:2
<b>eventually</b> 150:7	<b>excellent</b> 86:5 252:4 285:18	<b>exists</b> 160:1 174:8	<b>extent</b> 37:9 200:7 221:9 222:4,15 296:14 303:3 313:5 316:19,21 319:12 337:20	<b>familiar</b> 155:8
<b>everybody</b> 19:4 29:14 67:9 79:3 110:5 148:15 210:3 235:4 246:13,17 264:8 273:12 292:6 317:9 324:18 345:5,5 352:13 380:4 382:22 383:17	<b>exception</b> 5:8 283:2	<b>exit</b> 8:16	<b>external</b> 362:14	<b>familiarity</b> 138:3
<b>everyone's</b> 27:22	<b>exchange</b> 296:12	<b>expand</b> 14:1,2	<b>extra</b> 327:15 333:17	<b>Families</b> 11:1
<b>evidence</b> 83:3 118:5 119:10 120:9 122:1 164:4 189:1 191:14 192:7 213:21 231:4 351:7 388:19 389:1	<b>excited</b> 6:14 7:2 143:6	<b>expect</b> 128:12 129:7 164:15 213:5	<b>extreme</b> 79:2	<b>family</b> 17:9,15 94:14 236:5,10 302:14,17 323:22 328:10 344:12 366:3,4,13
<b>evolution</b> 28:5	<b>excitement</b> 261:7	<b>expectation</b> 28:22	<b>extremely</b> 37:17 96:8 225:10	<b>family-centered</b> 111:18 183:13
<b>evolutionary</b> 44:19	<b>exclude</b> 249:15 275:9	<b>expectations</b> 212:1 222:2 223:7 248:17 288:13	<b>eye</b> 236:13,14	<b>family-centeredn...</b> 193:9
<b>evolve</b> 28:1		<b>expected</b> 77:14 164:19 253:9	<b>e.g</b> 344:3	<b>far</b> 18:11 47:3,9 218:8 231:1 253:7 312:13 340:19 360:10 367:8
<b>exacerbation</b> 272:5		<b>expecting</b> 104:20 114:7	<hr/> <b>F</b> <hr/>	<b>fashion</b> 348:8
<b>exact</b> 69:16 100:7 139:22 166:19		<b>expended</b> 37:13	<b>FAAN</b> 2:21	<b>fast</b> 120:5 132:7,12
		<b>experience</b> 17:16 56:20 69:14 70:5 93:6 126:7 155:17 158:6 174:3 216:1 255:22 274:9 386:18	<b>FAAP</b> 1:18	<b>favor</b> 3:11,15,21,22 3:23,25 60:8 67:6 80:2 82:17 86:18 197:19 227:19,22 234:15 294:20 307:12 321:16 322:11 377:15 384:21
		<b>experiencing</b> 158:7	<b>face</b> 164:7 298:10	<b>favorable</b> 68:2 80:10
		<b>expert</b> 169:5,17 215:3 329:2 331:18 332:5 346:18 389:8	<b>faces</b> 5:16	<b>FAYE</b> 2:21
		<b>expertise</b> 23:16 301:11	<b>facilitates</b> 344:20	
		<b>experts</b> 329:4	<b>facilitation</b> 348:19	
			<b>facilities</b> 19:8	
			<b>fact</b> 22:20 57:4,22 65:5,6 125:3,8 136:5 173:11 177:10 178:3 221:18 240:20 252:3 253:12 274:17 285:13 331:8 349:15	

<b>fear</b> 366:16	<b>felt</b> 36:14 95:6	<b>first</b> 7:9 12:5 24:11	382:20	54:11 199:10
<b>feasibility</b> 3:24	120:15 133:20	27:19 28:9 47:5	<b>fitness</b> 17:10 388:2	312:2
39:22 48:8 194:16	231:2 232:8 305:4	47:15,21 49:6	<b>five</b> 46:22 86:20	<b>food</b> 229:6
209:19 226:19	327:4,15,19	50:9 63:8,10	88:7,9 156:15	<b>footnote</b> 73:11
235:15 273:2	333:16 335:19	65:11 66:4 87:20	178:8 219:18	<b>force</b> 304:6
279:19 290:18	341:5 369:4,5	88:19 89:13 91:11	224:18 227:13,13	<b>forefront</b> 135:5
292:9,11 293:15	371:22 372:1	91:18 93:10 97:1	232:1 236:5 240:3	<b>forget</b> 29:12 214:14
293:21 294:5,7	<b>fence</b> 113:8 116:9	116:8 123:14,18	248:8 282:9,19	318:8 383:7
321:2 354:18	176:5	126:22 143:15	308:3,4 375:7	<b>forgetting</b> 113:2
355:4 383:5,8,13	<b>fewer</b> 47:19	152:12 165:21	<b>fix</b> 221:2 223:18	<b>forgive</b> 243:10
<b>feasible</b> 73:17	<b>field</b> 16:7 222:12	166:2 200:15	236:18	<b>form</b> 30:5 115:17
225:4,11,17,18,21	329:4	202:9,17 209:9	<b>fixed</b> 221:17	153:4 194:2
226:4,5,10,13	<b>fifth</b> 238:17	212:17 213:18	<b>flag</b> 136:7 315:14	206:14 356:8
227:4 279:15	<b>fighting</b> 38:16	230:7 239:10	<b>flash</b> 89:19	359:1
292:14 295:17	<b>figure</b> 93:19 94:19	248:8 250:16	<b>Flattery</b> 26:22	<b>formal</b> 50:2,5
321:6,7 339:1	105:7,13 171:19	254:1,5 258:10,20	<b>floor</b> 205:15	164:6 202:8
360:16	175:12 182:12	266:19 268:17	<b>floors</b> 324:1	<b>formally</b> 161:21
<b>fed</b> 331:6	185:14 226:11	269:3 311:1	<b>Florida</b> 251:6	<b>format</b> 161:8
<b>federal</b> 225:11	375:10	328:17 334:22	<b>flow</b> 127:14,15,17	172:12 358:12
<b>feedback</b> 25:7	<b>figuring</b> 94:3	337:20 345:10,13	<b>flu</b> 284:20 285:14	390:4
41:19 49:5 92:10	<b>file</b> 46:17,19	390:19,20	<b>fluoridated</b> 237:3,3	<b>forms</b> 115:18
115:3,4 148:19	<b>fill</b> 27:15 144:2	<b>Fisher</b> 1:16 10:4,4	<b>fluoride</b> 231:4,10	<b>formulate</b> 22:5
179:14 268:10	314:8	57:15,17,20 82:14	233:4,6 240:7	265:13
<b>feeding</b> 153:9	<b>filled</b> 139:5 263:12	87:5 91:16 95:1	247:3,4,9 258:7,8	<b>forth</b> 28:20 118:20
238:4	<b>filtered</b> 35:2	97:20 98:15 102:3	265:21	178:11 183:1
<b>feel</b> 19:10 25:21	<b>final</b> 9:5	102:19 104:11	<b>focus</b> 13:19 30:21	388:5
34:15 35:22 68:11	<b>finally</b> 5:16 126:15	105:3,9 106:15	44:7 102:15	<b>forthcoming</b>
80:14 88:4 95:1	<b>find</b> 46:7 48:17	107:3 109:7	245:22	196:10
102:19 130:15	98:9 135:14	146:17,20 147:2,7	<b>focused</b> 14:5,6	<b>Forty-nine</b> 230:22
144:21 155:1	139:10 148:19	147:12,17,20	32:21 59:7 93:7	<b>FORUM</b> 1:1
180:10 224:5	170:11,19 184:18	148:5,8,12 189:18	318:7	<b>forward</b> 6:6 7:16
225:17 273:7	185:12 188:5	192:2 201:22	<b>focuses</b> 241:11	13:3 24:9 32:18
278:1 282:2	207:2 211:3	213:13,17 214:6	<b>fold</b> 253:1	35:19 59:13 61:12
286:14,17 291:9	236:15 238:21	214:11,17 215:19	<b>folder</b> 46:7	86:6 87:9,11
320:8,16 321:5,6	239:8 276:6	216:4,13,21 217:4	<b>folks</b> 66:2 71:3	95:17 144:8
321:9 352:3,5,8	315:16 356:12	225:19 226:12	291:8	163:10 165:9
354:12,15 355:3,5	369:3	257:2,7 258:1,11	<b>follow</b> 79:12 157:5	178:14 180:11
367:20 375:4	<b>finding</b> 84:9	259:3 262:17	246:22 251:8,8	196:4 198:4
377:20 379:13,18	<b>findings</b> 110:3	263:9 264:18	256:2 303:11,15	218:12 227:20
379:20 380:2	<b>fine</b> 131:12 145:19	288:15 289:20	312:12 391:19	228:1 234:17
383:12,15 384:1	198:2 219:16	290:6,14 299:7	<b>followed</b> 43:10	256:2 260:19
384:16 391:4,8	227:21 244:17	300:2,5,11,16	328:12	290:1 294:19
<b>feeling</b> 339:17	260:13 333:14	368:2 372:11	<b>following</b> 65:1	296:20,21 297:2
371:12 381:8	359:4	381:1 382:17	327:1 331:9	304:11 321:15,19
<b>feels</b> 130:1 350:3	<b>finer</b> 117:11	387:4	389:22	322:12 338:15
354:14	<b>finish</b> 134:6 144:1	<b>fishing</b> 9:2	<b>follow-up</b> 25:5	340:3 344:21
<b>fell</b> 221:12 369:6	<b>finished</b> 134:3	<b>fit</b> 95:15 99:1	32:10 41:18 49:2	355:9,10,11



392:15	372:4	<b>Furthermore</b>	<b>generalized</b> 274:22	<b>glandular</b> 319:19
<b>forward-reaching</b>	<b>frequency-type</b>	249:19	<b>generally</b> 8:8 156:7	<b>global</b> 143:4
32:8	367:19	<b>future</b> 8:2 28:22	233:21 286:12	226:17 279:5
<b>foster</b> 340:20 341:5	<b>frequent</b> 272:10,10	59:19 64:4 108:11	<b>General's</b> 234:9	284:13 314:20
<b>found</b> 94:20 133:2	<b>frequently</b> 295:18	149:12 150:14	<b>generated</b> 225:5	315:21 316:5
149:3 210:7	296:18 381:5	188:22 191:19	<b>generous</b> 163:2	321:13 355:8
277:10 279:4	<b>fresh</b> 97:19	193:14 349:3	<b>geneticist</b> 215:4	383:19
287:8 306:12	<b>friend</b> 381:19		<b>geographic</b> 85:1,8	<b>globally</b> 374:13
333:11 347:5	<b>friendly</b> 261:21	<b>G</b>	85:17 281:6	<b>globe</b> 170:21
<b>four</b> 18:17 30:15	<b>front</b> 24:21 72:3	<b>games</b> 368:9	<b>GEORGE</b> 78:4	<b>go</b> 6:5 9:18 12:20
40:8,12,20 46:3	89:8 133:6 163:21	372:18	<b>Georgetown</b> 387:9	13:9 18:10 30:13
46:12 67:8 86:15	165:22 202:21	<b>gap</b> 21:13 108:3	<b>getting</b> 30:1 35:1	38:22 43:8,14,16
86:16,20 87:19	206:17,21 368:8	120:12 129:22	66:11 73:3 123:9	47:16 49:3 54:3
219:18 224:18	<b>frustrating</b> 21:2	232:9 252:11	151:12 158:18	56:16 62:15 67:10
227:13 229:21	<b>fulfill</b> 219:2 382:19	270:13 316:9	168:17 169:20	67:11 69:11 76:13
237:9 238:17	<b>fulfilled</b> 328:6	338:6	178:2 189:4 190:8	87:9 91:8,10
245:16,20 246:20	<b>fulfills</b> 352:4	<b>gaps</b> 13:6,11 14:14	205:7 209:9	98:20 109:4
247:17,18,19,21	379:21 383:12,16	20:18 21:8 22:12	248:22 259:5	118:19 129:3
248:3 254:3,12	<b>full</b> 24:1 77:10	23:14 26:13,18	261:2,3 299:9	134:4 142:16,19
257:21 258:15,20	143:22 187:1	27:16,21 98:19	300:12,17 306:17	143:1 145:4 149:8
258:21 259:9,10	206:5 238:21	208:22 315:19	311:14 320:5	165:12 167:8
261:1,1 265:8,15	263:15 391:16	<b>garbage</b> 293:22	330:16 331:17	168:18 169:14
282:9,17 292:18	<b>fully</b> 40:18 228:5	294:1	337:17 341:17	170:2,5 174:12
293:8 295:12	<b>fun</b> 7:11 391:16	<b>Gardner</b> 132:20	342:13 344:20	175:11 176:2
320:18 321:5	<b>function</b> 83:22	<b>GARY</b> 2:21	345:12 390:15	179:15 180:11,18
342:15 352:7	150:1 175:14	<b>gastroenteritis</b>	<b>give</b> 23:15 31:16	181:16 190:10
353:13 360:22	212:2,3,21 243:6	3:10 67:17 70:2	41:14 66:13 71:5	196:4 199:21
377:7 380:9,15	254:16,17 272:4	71:22 77:12 80:16	110:8 137:9	202:18 207:6,22
383:10 390:4	288:11 345:1	<b>gate</b> 315:1	145:10,13 147:8	209:15,18 212:22
<b>Fourteen</b> 294:14	<b>functioning</b> 17:9	<b>gather</b> 95:9,10	160:19 214:21	213:16,17 220:2
<b>fourth</b> 123:13,19	115:9,14 149:16	<b>gathered</b> 184:9	256:18 258:12	227:20 232:16
238:16 342:5,7	157:6 201:2,12,15	295:15	264:11 272:14	233:14 234:16
<b>Fox</b> 387:13	201:19 278:21	<b>gathering</b> 98:11	309:7 324:9 356:1	244:15 245:9
<b>Foxhall</b> 387:14	385:16	101:7	369:9 385:22	259:12 260:15,22
<b>fragmented</b> 69:20	<b>fund</b> 21:11	<b>gender</b> 60:18 61:3	<b>given</b> 28:14 35:4	261:17,19 268:3
<b>frame</b> 12:8,12,17	<b>fundamental</b> 195:3	61:7,9,14,16	132:12 139:20	276:5,13 279:17
14:14 37:19	<b>funded</b> 13:14	<b>general</b> 45:15	140:12,20 177:7	291:21 295:22
153:10 159:20	<b>funder</b> 36:20	65:10,14,22 70:14	183:1 203:16	296:22 297:1
164:12 280:7	<b>funding</b> 137:11	78:17 80:15	245:21 287:4	302:19 304:5
<b>frames</b> 164:20	<b>funny</b> 309:3	126:14 127:13	288:19 320:4	315:9 321:15,19
182:2	<b>further</b> 3:14,17,18	142:17 150:21	360:18	330:13 338:21
<b>framework</b> 14:10	15:8 20:20 23:7	155:4 164:3 272:6	<b>giver</b> 237:11,13	340:19 348:12
185:10	46:9 64:7 115:14	277:8 287:14	<b>giver's</b> 237:14	351:18 355:9,10
<b>framing</b> 53:10	116:3 129:22	300:19 301:7	<b>gives</b> 169:17	355:11 356:11,18
80:16	155:3 197:6	303:8 367:22	176:22	359:5 363:11
<b>frequency</b> 248:2	268:19 297:17	380:8	<b>giving</b> 166:22	366:12 381:13,14
265:9 370:11	344:1	<b>generalize</b> 274:8	241:5	384:20 385:22

391:5	283:10 284:21	374:6 375:8 377:6	84:12 88:20 89:13	303:22 305:3
<b>goal</b> 258:14 259:7	288:1,1,15 290:1	378:16 380:6	90:10 91:12,12	321:2 323:4
<b>goals</b> 12:21 92:22	290:1,19 293:16	385:2 391:8 393:9	99:5,20 100:5,8	334:20 338:1
<b>God</b> 32:9 381:12	294:21 296:13,21	393:13	104:7 105:15	342:11 347:20
<b>God's</b> 261:18	304:6,11,20 307:8	<b>good-size</b> 231:21	106:16 107:10	349:22 350:15
<b>goes</b> 40:12 70:21	309:2 315:3	<b>gotten</b> 93:21 130:2	108:9,12 109:17	362:19 377:2
87:11 106:13	318:20 319:8	239:21 332:17	109:18 113:6	380:21 386:6
118:6 134:21	321:3,20 324:16	<b>Goutham</b> 1:21 11:9	115:1 116:3 135:6	390:13
135:14 192:18	332:21 340:2,2	<b>Goutham's</b> 373:19	155:22 157:4	<b>guests</b> 229:8,10
193:6 230:14	346:12 353:1,5	<b>government</b> 225:11	160:6 161:3	256:17
240:9 241:1 289:2	359:6 362:20	<b>grade</b> 123:13,14,18	169:15 180:9	<b>guidance</b> 237:11
<b>going</b> 5:13 6:3 7:4	366:17 373:18	123:19	181:21 184:15	241:3
10:17 12:5 16:15	375:8,10 377:3	<b>grading</b> 276:15,16	185:2,3,14,15	<b>guide</b> 267:2
21:21 28:14 32:19	381:12,21,22	276:18	186:22 187:17	<b>guided</b> 267:12
35:19 37:10 39:7	382:11 386:11	<b>grant</b> 135:10	188:18 189:20	<b>guideline</b> 78:11
46:1 53:3 54:21	390:20	<b>grasp</b> 348:6	190:7,13,19,20	<b>guidelines</b> 71:14
55:16 59:6 67:5	<b>gold</b> 133:4 142:20	<b>grass</b> 388:12	191:1,2 200:13	83:20 272:3
67:15 68:10 70:22	338:13	<b>gravitate</b> 214:2	216:8 223:2	<b>gun</b> 143:13
71:5 75:4 82:3	<b>good</b> 5:3,3 15:14	<b>gravitation</b> 214:13	230:17,18 232:8	<b>guns</b> 372:19 376:10
88:17 90:10 97:21	16:6 18:21 25:18	<b>great</b> 6:12 8:3 12:3	232:21 252:20	382:13,14
98:9 104:11	28:4 32:2,7 37:8	19:1 28:13 31:18	268:10 269:2,15	<b>guys</b> 173:18 293:5
109:10 114:22	58:11 60:12,13	48:21 60:4 74:22	269:18 303:9	332:22 393:12
115:2 116:7	69:16 80:4,5	117:21 125:22	318:4,6 319:9,18	
117:21 127:20	87:14,19 91:4	133:17 134:8	320:5 359:4	<b>H</b>
128:4 140:1	95:8 119:18 130:2	140:6 150:17	365:16 366:22	<b>half</b> 27:6 76:19
141:18,19,21	133:12 136:8	174:6 181:7,22	391:17 392:9,16	127:11 151:9
142:1 143:14	141:11 150:12,13	208:20 212:15,18	<b>groups</b> 14:16,17	204:17 214:14
150:8 162:16	170:15 174:10	235:2 259:16	154:10 330:21	293:4 294:3
165:17 168:7	183:16 184:13	295:21 306:12	<b>growing</b> 296:12	<b>Hall</b> 324:2
170:14 172:21	186:7 189:16	311:15 316:8	374:19	<b>Hampshire</b> 255:5
178:2 179:2	190:8 191:5	323:13 359:17	<b>growth</b> 17:11,13	<b>hand</b> 60:2 67:1
183:18,19,19,20	192:20 195:8,12	369:15 386:8	<b>guess</b> 34:21 36:20	70:18 71:3 79:17
183:21 189:22	199:15 200:3	<b>greater</b> 236:9	53:4 61:22 64:11	83:2 131:4,6
191:2,16,18	201:13 202:5	255:20 261:15	83:13 95:22	173:3 196:6 273:8
192:20 199:18	207:14 210:4	374:20	108:14 113:15	319:15 339:3
200:2 201:14	221:4 229:7 231:3	<b>greenery</b> 388:8	118:5,21 119:21	386:9 391:3
202:2,6 206:22	234:6 235:9	<b>Greyhound</b> 137:10	138:22 143:3,18	<b>handed</b> 9:8 90:1
208:11 214:6	252:15 260:16	<b>grilling</b> 243:10	158:20 164:2	<b>handedly</b> 324:3
218:4 221:14	268:21 275:4	<b>gross</b> 236:12	190:1 195:1	<b>handful</b> 42:5
228:1,12 229:22	276:7 277:15	<b>ground</b> 50:14	200:18 212:16	<b>handle</b> 139:21
230:8 233:1 253:1	282:12 292:5,8	<b>ground-setting</b> 7:1	213:4,9 223:16	<b>handled</b> 100:22
256:1 260:14,19	294:16 295:20	<b>group</b> 4:4,8 21:21	225:8 231:8,11	277:3
261:10,22 264:2,2	297:18 301:16	22:21 24:20 25:17	234:18,22 256:6	<b>handling</b> 168:14
264:4,11,12,15	317:11 322:6	33:1 37:18 38:20	260:11 274:16	<b>hands</b> 60:3 225:20
266:10 267:10	331:2 333:9 339:2	43:1,15 49:1	278:12 279:12	225:21
268:3 274:8	340:11 351:9	52:10 53:22 55:15	289:8 292:13	<b>happen</b> 39:11 58:6
276:12,17 279:14	364:1 372:10	57:1 58:16 67:18	293:21 298:3	179:9 346:13

<b>happened</b> 57:14 124:21 141:2 159:2	21:6,7 24:5 25:1 31:2 34:10 37:6,8 44:13 50:21 52:21	298:17,21 299:1 299:21 300:15,21 303:18 305:6 315:6 319:20 324:4 325:4,10,11 326:1,7 327:2,17 329:3,18 330:6,19 330:20 333:18 334:6 340:8,10,12 340:14 341:8 347:5 353:6,9 361:6,11 367:8 368:3,13 373:16 377:4 380:9 382:12 383:22 385:15 386:10,14 387:19 389:4,17 389:21	233:2 241:9,12 252:10 256:20 267:9,10 289:17 311:19 312:18 313:10 327:16,19 327:20 328:13 331:7,10 332:4,7 332:8 333:17,21 335:4 336:20 337:4,8,14 343:18 346:1 347:4 392:15	287:8,11 <b>higher</b> 132:17 288:17,22 289:15 311:2 373:13 376:9 <b>highlight</b> 26:16,18 <b>highlighted</b> 171:16 <b>highlights</b> 17:1 188:21 <b>highly</b> 123:15 250:7 251:4 331:22 332:9 <b>high-level</b> 284:18 <b>high-risk</b> 233:9,11 376:4,5 <b>high-stakes</b> 19:22 <b>history</b> 374:7,13 <b>hit</b> 83:5 298:11 369:5 <b>hold</b> 252:12 <b>holding</b> 69:2 <b>holds</b> 157:18 <b>holes</b> 144:2 <b>holistic</b> 174:16 <b>home</b> 96:13 98:7,10 112:6,21 160:9,21 161:19 162:22 164:18 174:3 176:5 183:12 204:7,9,15 233:13 233:14 235:17 236:1 237:6 238:20 239:8 244:4,6 245:17,19 246:3,4,6,12,16 273:21 275:5 286:22,22 287:4,6 288:8 319:12 326:3 340:20 358:3 375:13 376:13 384:14 <b>Homer</b> 1:10,12,14 3:3 6:1,8 16:1 20:7,16 26:22 27:7,15 30:11 31:17 32:5 36:10 46:16,21 47:18
<b>happy</b> 59:18 256:3 286:18 324:16 328:17 335:12,13 335:21,22 336:22 359:17	53:8 54:12,14,18 55:4 57:12 69:5 71:18 73:4 74:14 85:8 86:3 90:13 91:20 92:18,21 93:2,4,5,6,8 96:10 96:15 98:6 105:22 106:7,12,22 108:18,20 111:14 112:10,14,15,18 112:20 113:5 115:12 116:20 120:11 121:10,14 125:10 126:3 128:7,8,14,16 132:2 135:8,13,15 147:15 148:2 150:16 152:1 153:8 155:15,21 156:2,6 157:8 160:15,15 163:16 163:18 164:5,6 167:18 168:2,7 169:6,12 171:9 172:17 173:4 189:6,7,21 191:8 193:10 200:8,10 202:1 203:3,4 204:8,10,18 205:13 206:2,3 210:10 212:14 213:7 214:1 222:13 230:4 231:16 232:20 233:1 236:7,9 237:1,1 256:22 269:22 270:6 271:2,12,21 272:16 274:21 275:10 277:10 278:11,21 281:5 281:13 286:12,15 288:21 289:5 293:19 296:12,17	<b>healthcare</b> 255:11 <b>healthy</b> 117:17,17 274:20 278:8 289:4 293:20 301:6 368:11 387:20 392:12 <b>health-related</b> 389:13 <b>hear</b> 9:13,15 32:9 35:8 60:21 72:9 116:22 131:22 145:21 147:6 151:6 173:13,17 174:6 200:17 243:15 292:21 297:5 324:16 <b>heard</b> 34:17 43:19 141:7 326:5 <b>hearing</b> 107:10 110:4 143:19 208:22 217:2 350:1 364:4 <b>Heidi</b> 2:12 5:18 11:17 45:4 144:14 <b>height</b> 127:16 <b>held</b> 57:6 <b>hello</b> 229:9 324:18 <b>help</b> 8:6 22:5 30:5 125:2 170:18	<b>helped</b> 211:4 344:13 <b>helpful</b> 34:12 53:16 66:6 116:13 145:16 149:1,5 154:21 158:10 185:16 289:13 328:15 333:11,12 336:21 340:3 349:21 <b>helping</b> 54:20 55:8 327:11 328:8 <b>helps</b> 344:21 <b>hereto</b> 157:7 <b>heretofore</b> 102:9 126:1 <b>heterogeneity</b> 108:4,5 109:8 <b>heterogeneous</b> 108:9 109:18 149:15 <b>hey</b> 74:15 199:15 <b>heyday</b> 315:1 <b>HHS</b> 13:14 15:10 21:10 22:8,16 23:10 36:22 97:19 <b>Hi</b> 11:14 48:19 133:11 229:19 293:1 <b>high</b> 20:5 82:19 121:8 154:5 175:1 183:20 225:22 237:7,7 239:18 244:18 259:11,13 284:1 285:2,14	

49:7,22 50:4 52:1 52:5 53:1,17 56:14,16 57:16,19 59:1,11 60:4,8,11 60:20 61:5,10,18 64:6,16 65:1,9 66:8,15 67:3,5,10 67:13 68:4 69:11 70:10 71:8 73:15 74:8 76:10 79:10 80:1,4,13,21 81:9 82:16 84:14 85:12 86:4,15,17,21 87:4,7,17,22 88:6 88:13 89:3,7,10 90:5,9,18,21 91:1 91:4,9 93:13 96:1 96:6 98:14 99:17 99:22 100:12 101:2 105:18 106:1,8,16,19 107:5,9 108:14 109:5,22 110:9 111:7,19,22 113:12,15 114:18 116:15 117:3 118:4 119:20 121:16 123:20 124:15,19 125:5 126:18 127:1 128:2 129:20 130:9,13,18 131:5 131:9,13 132:8 133:10,12,16 134:12 135:3 136:11 137:5,8,13 137:16 138:5,11 140:18 141:5,19 142:1 143:17 144:10,13 145:7 145:12,15,20 146:11,13,19 147:6,9,13,18,21 148:6,9,13 150:21 151:11,15,20 152:10,14 154:4 154:16,20 158:9	161:10 162:10 163:4 165:11,19 167:3,6 168:16 169:1,8,19 170:7 170:13 172:1,20 174:17 176:11 177:13 178:19 179:6,16,20 180:8 181:3,6,11 183:5 185:5,17 186:1,4 186:7,11,13 187:13 188:11 193:18 195:8,12 195:18,21 196:12 196:15,20 197:4 197:10,15,18,21 198:10 199:14 200:16 202:7 203:2,8,10,13,18 204:3,14,17 205:1 205:18,21 206:3,7 206:10,13,18 207:1,7 208:8,20 209:11 210:4,20 211:9 212:11 213:15,19 214:5,9 214:16 215:15 216:11,17,22 217:6,11,17,20 218:3,5,9,14,21 219:7,11,21 220:4 220:8,15,20 221:4 221:14 222:10 223:4,16,22 224:13,16,22 225:3 226:1,7,14 227:1,5,8,12,18 228:4,8 229:3,17 230:6,13,22 232:7 234:12 235:3,13 239:9 240:15 241:8 242:6,9 243:2,8,12 244:2 245:3 250:1 251:16 255:13 256:14 259:14 260:20 261:4	262:11 266:1 267:3,17 268:1,8 268:20 269:14,19 271:3 273:4,12 278:12 280:9,16 280:21 281:14,18 282:6,11,15,21 283:7,17 284:10 286:19 288:14 289:17,21 290:7 290:16,20 291:5,8 291:17 292:1,5,8 292:20 293:11 294:1,4,12,15 295:3,5,10,20 296:2,19 297:17 298:12 299:6,19 300:4,8,14 301:18 301:20 302:2,13 304:5,17 305:3,19 306:4 307:6,22 308:5,10 310:15 314:17 315:13 316:7,10,17 317:4 317:8,11,19 318:3 318:10,16 319:2 319:14 320:12,16 320:20 321:1,9,13 321:22 322:6,16 322:20 323:2,12 323:17 324:5,19 333:14 337:19 338:14 339:10,14 341:4 342:9,16 343:5 346:3 347:12 348:15 350:15 351:15 352:1,8,12,16 353:8,17 354:7 355:3,17,21 356:5 356:10,18 357:16 357:22 358:5,10 358:15 359:3 361:12,21 362:16 363:13,18 364:1,6 364:12 369:13 371:10 372:9	373:15 375:1 376:2 377:13,19 378:2,6,9 379:2 379:17 380:2,6,14 382:15,18 383:2,6 383:15,18 384:3 384:20 385:8,17 386:15 387:17 388:13,20 390:7 393:4,9,11 <b>homes</b> 45:14 266:15 <b>hone</b> 98:21 <b>honestly</b> 93:21 288:4 <b>hope</b> 33:4 45:7,17 45:22 137:13 140:4 229:4 267:21 <b>hopeful</b> 44:21 <b>hopefully</b> 8:1,5 114:12 236:18 248:6 392:3 <b>hopes</b> 31:7 <b>hoping</b> 25:2 29:15 44:9 260:15 330:15 <b>Hopkins</b> 12:1 <b>horizon</b> 14:1 <b>hospital</b> 10:9,11,12 33:9 44:15 69:5 70:1,7 72:6,7 74:17 85:10,13 229:15,20 271:10 353:6 387:2 <b>hospitalization</b> 65:5 71:21 74:5 82:18 112:3 <b>hospitalizations</b> 82:22 <b>hospitalize</b> 65:10 <b>hospitals</b> 14:20 38:14 <b>hospital-based</b> 7:6 <b>hour</b> 151:9 293:4 <b>hours</b> 94:16 <b>house</b> 368:8	<b>housekeeping</b> 8:15 <b>housing</b> 374:21 <b>huge</b> 84:5 214:18 265:9 284:21 337:5 <b>hugely</b> 121:4 <b>hundred</b> 239:5,6 <b>hundreds</b> 152:19 <b>Huntington's</b> 215:1 <b>hurdle</b> 24:11 <b>hypotheses</b> 20:11 <hr/> <b>I</b> <hr/> <b>ICD</b> 190:21 <b>ICD-10</b> 66:3 190:22 <b>idea</b> 16:11 75:8 102:3 127:19 141:17 167:5 187:8 202:3 221:5 246:12 251:3 253:10 268:21 272:14 367:2 <b>ideal</b> 55:18 247:4 257:3,5 258:2 293:12 <b>ideally</b> 24:15 242:12 257:2 258:6 <b>ideas</b> 18:21 19:1 184:18 <b>identification</b> 20:18 21:14 <b>identified</b> 231:8 267:15 285:15 <b>identifies</b> 156:15 308:19 344:22 <b>identify</b> 13:6 23:14 26:13 34:8 40:7 115:13 120:20 122:7 156:1 344:12 <b>identifying</b> 21:8 40:4 205:15 222:20 <b>ideology</b> 237:13 <b>IDT</b> 340:15
---	---	---	--	---

<b>II</b> 13:16 34:19	<b>importance</b> 6:16	368:14,21 374:17	<b>inclination</b> 350:19	200:20 201:5
<b>III</b> 13:17	20:17 39:7,11	375:20 377:16,20	<b>include</b> 14:15	279:4,14 299:2
<b>ill</b> 253:21 254:8,11	40:17 116:7 118:7	381:3 382:10	66:11 110:21	346:21 368:1
254:17,18 277:9	120:2 158:14	388:8,9 390:11	156:17 158:1	371:15 376:12,15
278:10 286:7	194:5,8,11,15	<b>imposed</b> 97:1	341:12 384:6	377:4
<b>illness</b> 4:9 200:5	196:1 197:6 199:3	<b>impossible</b> 275:21	<b>included</b> 49:11	<b>indicators</b> 17:21
222:17 269:7,9	200:14 202:9,16	<b>imprecise</b> 273:16	52:10 77:20 78:1	93:5 132:5 155:15
270:9 272:9	208:18 209:17	<b>impression</b> 34:21	78:2 112:10	158:19 170:5
273:17 274:1,4	211:11 232:12	36:11 188:13	121:10 148:18	351:8 352:22
278:17 279:8,9	234:15 261:8	351:11	152:2 163:3	<b>indices</b> 369:22
319:3	269:11 270:3,11	<b>impressions</b> 187:18	176:18 361:4	<b>indirect</b> 10:15
<b>illnesses</b> 272:8	270:19,21 271:4	<b>improve</b> 31:8,16	390:3	<b>individual</b> 13:9
277:19	273:6 297:11	56:12 128:5,7	<b>includes</b> 13:17	15:12 55:13 56:9
<b>illustrates</b> 14:9	298:11 304:8,10	201:19 212:6	17:12 42:14 272:3	72:4 91:22 94:2
<b>imagine</b> 82:2,7	304:12,13 325:15	389:20,21	281:19 345:18	100:2 102:21
213:12	325:21 326:10	<b>improved</b> 58:15	385:21	103:10 104:9,18
<b>immaterial</b> 314:1	334:20 338:17	148:11	<b>including</b> 53:8	139:15 140:8
<b>immunization</b>	351:2 377:11,14	<b>improvement</b>	83:14 87:13	164:4 184:7,10,17
77:21 78:3 153:10	386:7 390:13,14	17:11 18:8 19:9	276:22 284:13	185:19 187:9
259:4,16,18 263:4	390:19	26:19 31:21 56:6	307:12	199:20 217:9
265:20	<b>important</b> 6:20	98:19 192:12	<b>income</b> 231:7	268:12,17 285:6
<b>immunizations</b>	7:20 13:5 14:19	369:10 372:2	<b>incorporate</b> 295:17	356:8,17 357:10
258:3,4 259:12	14:21 22:10,10,17	<b>improving</b> 129:16	377:3	357:19 364:4,6
263:1,15	28:7 37:17 39:12	241:11 283:11	<b>incorporated</b>	371:16 373:8
<b>immunizing</b> 285:1	48:5 50:13,21	371:20	253:12	<b>individually</b> 92:4
<b>impact</b> 70:20 73:5	51:13 65:16,17	<b>impure</b> 346:4	<b>increased</b> 117:10	<b>induce</b> 69:7
76:20 129:22	105:2 113:14	<b>imputing</b> 302:4	266:21	<b>inexpensive</b> 232:1
175:14 212:21	117:15 119:22	<b>IMs</b> 162:6	<b>increasing</b> 58:3	<b>inextricable</b> 101:13
223:9 231:1 232:6	121:4,14 130:18	<b>inaccuracies</b> 225:7	126:3	<b>infection</b> 3:8 47:6
272:15 369:18	130:19,21 136:3	293:15 354:20	<b>incredibly</b> 152:18	47:21 48:1
385:15	148:18 184:22	355:1	193:4	<b>inflammatory</b> 83:6
<b>impaired</b> 166:9,9	193:21 196:4	<b>inaccuracy</b> 226:20	<b>independent</b> 165:5	<b>influence</b> 266:7
208:3	198:4,5,7,13,19	226:22 383:11	165:5	<b>influencing</b> 33:5
<b>impairment</b> 149:21	199:2,4 202:10	<b>inaccurate</b> 293:17	<b>independently</b>	347:13
272:2	209:13 216:14	293:20	157:15	<b>influential</b> 64:20
<b>impediments</b> 29:22	232:8 234:16	<b>inadequate</b> 69:1	<b>index</b> 302:13	<b>inform</b> 30:5
<b>implementation</b>	237:12 246:6	120:20 147:14	<b>Indiana</b> 213:3	<b>information</b> 9:13
28:12,17 354:20	253:3 260:17	164:18	<b>Indians</b> 257:17	14:12 17:7 92:14
<b>implemented</b> 123:8	261:6 262:1,5	<b>inappropriate</b> 6:10	<b>indicate</b> 117:6,16	94:21 95:9,10,11
128:11	270:5 271:1	36:15 302:5	<b>indicated</b> 120:17	96:3 97:3 98:12
<b>implementors</b>	272:12 283:13	<b>inappropriately</b>	188:16,17 303:15	98:18,18 101:19
15:21	297:12,16,18	78:7	310:5	102:2 107:11
<b>implication</b> 56:7	299:16 319:13	<b>inception</b> 77:9	<b>indicates</b> 130:20	123:1 125:13
<b>implications</b> 265:7	324:13 337:22	<b>inches</b> 167:1	<b>indication</b> 203:11	130:2 138:13
265:9 308:14	338:1,3,21 339:3	<b>incidence</b> 373:11	338:4	140:3 153:21
<b>implied</b> 31:12	341:6 348:9 350:1	<b>incidents</b> 65:3	<b>indicator</b> 108:2	160:15 162:14
<b>implies</b> 51:20 57:22	358:16 365:19	215:11	166:5 193:17	166:14 172:2

173:2,6 179:1	75:1 78:6 96:15	174:1 304:2 305:5	208:3,16 209:2	<b>Jenkins</b> 1:17 10:8,8
180:13 196:9,19	111:17 112:20	<b>internal</b> 164:12	223:6 231:9	19:14 34:11 43:18
203:17 220:6,10	164:18 168:6	<b>interpret</b> 201:4	276:19 302:21	50:8 52:3,6 53:15
278:14 289:14	178:10 183:12	342:15	303:1 308:22	60:9,16 61:11
296:12 348:3	263:14,16 264:5	<b>interpretability</b>	315:2 340:14	64:11,17 65:8,13
350:16 356:1	264:19 265:1	355:2	342:21 343:8	70:11 75:3,7 82:2
363:6,10,22 364:3	305:21 312:3,9	<b>interpretation</b> 20:3	349:2 353:1,18	84:15 85:16 95:16
364:5,15 365:1	314:8	35:16 273:19	355:1 367:12	99:18 100:1 103:8
373:14	<b>insured</b> 263:22	<b>interrupt</b> 250:2	371:20 372:4,6	104:5 105:5,10
<b>infrequently</b>	264:9,14,14	<b>intersection</b> 83:10	378:8 387:19	106:5,10 107:4
238:22	<b>insurers</b> 265:3	<b>intervals</b> 249:1	389:8	110:1 116:10,17
<b>inhibited</b> 210:19	<b>integrate</b> 193:15	<b>intervention</b> 4:5	<b>issues</b> 3:19 37:19	138:6 143:14,18
<b>initial</b> 93:11 152:5	<b>integrated</b> 135:8	123:6 138:8	55:7 69:19 80:17	144:11 162:11
188:2,13	<b>integrating</b> 299:4	150:20 230:15	110:19 116:21	163:7 164:2
<b>initially</b> 29:4 117:4	<b>integrative</b> 53:6	236:4 237:10	120:9 154:22	172:21 185:6,21
189:5	<b>intellectual</b> 390:11	<b>interventional</b>	155:13 157:17	186:2,6,8,12
<b>initiative</b> 232:21	<b>intend</b> 73:13	229:14	178:9 187:16	195:5,17,19 201:6
233:1	<b>intended</b> 68:14	<b>interventions</b> 70:18	188:19 194:16	207:6 208:10,21
<b>initiatives</b> 319:11	78:10	136:9 138:9 208:1	209:1,7,18 222:15	217:8 218:15,19
<b>injury</b> 273:18	<b>intense</b> 7:15	<b>introduce</b> 6:6 9:17	250:4 270:22	221:6 222:3,21
277:1 278:17	<b>intensely</b> 36:22	38:8 92:16 229:11	275:3 313:3 315:2	223:5 244:3,11
279:9	<b>intent</b> 312:2,14,16	270:18	315:21 344:11	252:1,19 267:5
<b>inner</b> 72:12,13	313:16 328:4	<b>introduction</b> 6:4	348:3 373:22	269:20 271:9
<b>inpatient</b> 52:18	<b>intentionally</b> 35:15	<b>inundated</b> 226:10	<b>item</b> 115:17 149:21	275:2 276:9 280:4
<b>inpatient/outpati...</b>	<b>intently</b> 22:5	<b>investment</b> 37:12	185:8 187:5	281:1,15 284:16
52:8	<b>interactions</b> 374:2	<b>inviting</b> 362:1	196:17 211:2	287:7 290:18
<b>input</b> 28:7 298:3	<b>interest</b> 8:3 42:13	<b>involved</b> 33:8	212:12 346:18	292:10,17 298:7
<b>inputs</b> 51:7,9 55:20	59:12 165:9	155:14 325:12	<b>items</b> 8:15 23:7	302:19 307:3
316:5	172:14 178:16	344:3 373:17	97:7 100:2 107:1	311:2 312:1
<b>inside</b> 372:17	223:3 271:18	376:6 389:8	107:17,18,20	318:14,20 319:6
<b>instance</b> 44:13	362:21 374:16	<b>involvement</b> 10:15	150:19 161:22	325:16,20 328:21
330:4 340:13	<b>interested</b> 15:11	10:17 169:5	164:4 169:13	329:21 332:13,19
<b>institute</b> 241:10	23:11 37:1,12	<b>in-person</b> 24:18	171:15 187:4,10	334:17 335:7
302:16	299:10 310:2	<b>Iowa</b> 203:19	200:15 210:5,6	338:7 343:11,16
<b>institution</b> 31:1	324:15 361:17	<b>IQ</b> 52:12	314:15 329:1,5	349:22 351:18
85:20,22	<b>interesting</b> 56:1	<b>IRA</b> 381:15	353:13 357:19,21	354:22 356:3,15
<b>institutional</b> 57:6	149:14,20 150:3	<b>issue</b> 49:10 61:19	360:19 361:9	357:7,11 362:3
70:19 73:4	193:1 216:7 222:7	64:22 65:22,22	364:8	364:2 366:21
<b>Institutions</b> 38:14	283:12 287:10	76:18 81:1,2 82:3	<b>i.e</b> 68:16 233:13	376:16 378:21
<b>instrument</b> 93:20	350:12	84:17 87:3 99:19		385:20 386:6
97:17 98:3 101:7	<b>interestingly</b> 129:5	100:7 101:3 105:2	<b>J</b>	387:22 390:2
101:22 103:17	135:11 275:15	106:11,14 110:1	<b>J</b> 1:17	<b>jive</b> 303:13
107:12 300:10	<b>interface</b> 55:14	119:17 120:18	<b>Jane</b> 2:22 91:16	<b>job</b> 35:5 183:16
<b>instruments</b> 135:2	<b>interfere</b> 166:10	121:4 126:13	121:21	275:4 301:16
148:4 318:1	<b>interferes</b> 166:9	173:9,9 176:20	<b>jargon</b> 369:20	<b>jog</b> 382:5
<b>insufficient</b> 238:12	<b>interject</b> 301:19	188:21 193:21	<b>JD</b> 2:22	<b>jogged</b> 382:4
<b>insurance</b> 74:14	<b>intermediate</b> 17:14	198:5,19 202:9	<b>Jellinek</b> 120:22	<b>John</b> 1:25 48:19

60:22 81:12  
**Johns** 12:1  
**join** 133:13  
**joined** 5:17 38:6  
**joining** 5:13 151:17  
 255:5  
**journals** 124:5  
**judge** 54:1 125:9  
**judgment** 120:7  
 143:4,8 187:7  
 341:5,7 347:13  
 363:11  
**judgments** 16:16  
 226:17  
**July** 25:9 32:20  
 255:2 261:11  
 267:9 305:11  
**jump** 359:18  
**jumping** 143:3,13  
**June** 43:9  
**jurisdictions** 65:12  
 202:13  
**justice** 374:21  
**justify** 165:9  
**justifying** 289:16  
**juvenile** 18:4  
 374:20

---

**K**


---

**Kaiser** 11:12  
**Kathy** 1:17 10:8  
 34:10 36:12 43:17  
 60:14 62:2 64:10  
 84:14 99:17  
 110:20 162:10  
 172:20 185:5  
 251:22 267:4  
 318:13 325:18  
 369:15 381:9  
**Kathy's** 20:11  
 212:22 255:14  
**keep** 36:8 117:20  
 164:8 184:5 224:1  
 241:6 256:3  
 262:21 263:5  
 273:20 297:15  
 368:6,11 381:3

**keeping** 59:12  
 68:16 171:3  
 289:12 315:1  
**kept** 151:12  
**key** 6:18 170:5  
 254:18  
**keys** 8:17  
**Kibort** 1:17 10:2,2  
**kick** 36:9  
**kid** 122:13 201:17  
 238:12 242:13  
 264:12 301:6  
 340:20  
**kids** 58:18 68:16  
 83:12,13 98:10  
 112:19 119:9  
 121:6 123:14  
 136:6 157:19  
 192:6,7,19 202:1  
 204:6,9 222:12,16  
 222:20 241:16  
 243:1 245:4,9,13  
 245:19 254:2  
 257:12 258:6,15  
 259:13 260:8  
 261:2 262:19  
 263:21 272:7  
 286:21 288:20  
 289:4,5,6,10  
 299:1,9 300:12  
 313:2  
**kid's** 309:10  
**kind** 9:2 12:15 21:2  
 25:15 26:5 33:14  
 35:3,5,8 44:7  
 47:11 49:4 53:10  
 53:19 57:13 93:11  
 94:4 101:3 107:22  
 116:6 133:4,5  
 136:7 149:13,22  
 158:17 165:2  
 180:17 184:16  
 188:20 200:14  
 208:15 220:19  
 221:20 240:13  
 241:11 249:7  
 253:19 256:8

277:21 293:13  
 296:21 314:19  
 315:14 335:14  
 344:19 347:19  
 360:11 373:6  
 374:17 386:13  
 392:5  
**kinds** 134:17 168:6  
 235:19 288:12  
 364:15,18 375:14  
**kit** 31:11 71:3,10  
 71:11 384:4  
**kits** 71:12  
**knee** 382:5  
**knew** 198:10 263:2  
**know** 7:5 9:8,9  
 13:14 14:16 18:4  
 18:20 19:5,14  
 20:19,22 24:20  
 25:6,12 26:3,6  
 30:14,19 31:5,9  
 31:14,15 32:6  
 33:15 34:6 35:8  
 38:5 39:1 44:10  
 45:6,20 47:2 54:1  
 54:5 56:2,20  
 57:13 58:11,14  
 62:11 70:4 71:6  
 71:13 72:10,12,13  
 72:15 76:18 77:5  
 82:19 83:9 84:2  
 92:5 93:20 94:3,7  
 94:8,14,21,21  
 96:16 97:11,20  
 99:14 101:13  
 102:5 103:1,6,21  
 104:13 107:16,21  
 108:10,11 110:15  
 111:16 112:22  
 114:4 118:3,11  
 119:1,6 120:13  
 121:1,8,19 122:10  
 122:18 123:7  
 125:22 126:3,6,12  
 126:15,17 127:4  
 127:21 129:1  
 132:4 133:8,15

134:9,10,13,18  
 136:3,9,19 137:6  
 137:11,14,19,20  
 138:21 140:2,5,8  
 140:13,13,22  
 141:2,14 142:6,9  
 142:16,17,21  
 143:1 148:19  
 149:3,8,15,19  
 150:6 151:7 152:7  
 152:8,18 153:1,2  
 155:10,20 156:8  
 157:18 159:1  
 160:22 161:9  
 163:12,14 166:6  
 166:12 167:1  
 168:12 170:2  
 172:5,11,19,19  
 173:1,16,22 174:3  
 174:19 175:1,14  
 176:19 177:17,21  
 178:5,22 179:1,10  
 179:12 180:1  
 181:10 182:20,21  
 183:7,15,17,21  
 189:7 190:5  
 191:11 192:9,22  
 193:13 194:10,21  
 194:21 196:16,17  
 203:16 204:1,3  
 205:20 207:10,21  
 208:22 211:5,7  
 212:2,19 213:11  
 215:6,22 216:15  
 221:22 222:11  
 235:11 238:21  
 239:14 245:4  
 246:19 252:19  
 253:16 258:12  
 259:5 261:22  
 263:4 267:7,18  
 270:17 271:7  
 274:16 277:14  
 278:8 281:18  
 286:1 289:3,11  
 293:6 295:19  
 296:7,7 298:2

303:21 306:21  
 309:19 311:19,22  
 317:20 319:1  
 325:16 326:9  
 332:6,7 337:9,22  
 344:12 347:1,7,8  
 347:15 349:18  
 350:20 359:15  
 363:16 365:19  
 366:1,4 367:9  
 368:20,22 369:12  
 371:11 372:11,12  
 373:9 374:13  
 380:11 382:13  
 384:7,8,12 387:1  
 387:10 389:7  
 390:6  
**knowing** 23:11  
 326:10  
**knowledge** 27:17  
 319:19  
**known** 75:2 385:15  
**knows** 315:11  
**K10** 379:4  
**K5Q10** 306:12  
**K5Q11** 306:16

---

**L**


---

**L** 1:12,14,16  
**lab** 16:22  
**labeled** 73:19  
**labs** 231:21  
**lack** 69:20 113:2  
 304:3  
**language** 310:7,18  
**large** 37:5,7 51:21  
 65:18 84:12,19  
 85:1 119:3 121:11  
 135:9 184:6 213:5  
 231:2  
**largely** 13:13  
**larger** 55:15 91:21  
 92:1,8 185:4  
 268:11 269:5  
**largest** 82:21  
**Lastly** 43:6  
**late** 38:15 96:22

133:13 385:1	56:19 57:6,10,13	315:8 325:18	<b>links</b> 63:21 375:18	368:1 379:3
<b>latest</b> 190:21	64:13 68:11 69:6	333:2 336:10	<b>Lisa</b> 1:23 229:13	<b>live</b> 4:16,18 88:16
<b>launch</b> 7:22	69:6 70:12,18,19	337:17 341:17	<b>list</b> 18:13 20:22	365:10,18 366:11
<b>laundry</b> 23:6	71:21 72:12 73:4	342:12,20 345:15	21:1 23:6 29:13	376:7 382:7
<b>lead</b> 33:18 56:6	76:6 78:22 79:4	356:6 357:5,9	31:13 36:15	384:12 385:4,14
119:10	96:10 104:9	358:8,11,19	162:13,17 163:21	<b>lived</b> 382:3
<b>leading</b> 73:9	117:13 118:12	365:15 366:7	165:22 166:16	<b>lives</b> 204:5,11
<b>leads</b> 33:7 388:1	121:8 139:16	371:3,19 374:8	170:12 175:12	205:9
<b>leap</b> 125:22	140:9,9 141:3	384:9,18 388:15	176:2 231:15	<b>living</b> 3:20 52:7
<b>learn</b> 54:21 55:8	153:13 159:15	<b>lies</b> 97:14	268:2 284:12	153:16 200:5
<b>learned</b> 250:4	164:10 165:10	<b>life</b> 121:15 166:3,8	<b>listed</b> 104:2	212:6 319:2
<b>learning</b> 54:20	172:17 179:11	170:10 200:6	<b>listen</b> 134:5	387:20
<b>leaving</b> 279:10	189:1 201:1,15	212:21 231:20	<b>listened</b> 353:15	<b>load</b> 369:19
<b>Lee</b> 1:20 10:22	208:2,6 211:14	248:9 254:6	<b>listening</b> 29:11	<b>local</b> 19:7,8 38:15
18:19 59:1,3	213:2 220:11	258:20 266:20	293:2	55:16
76:13 182:6	225:14 252:22	369:18 382:11	<b>literal</b> 312:8	<b>located</b> 1:11 8:17
<b>Lee's</b> 296:5	285:7 287:14	<b>lifestyle</b> 223:8	<b>literally</b> 20:6	<b>long</b> 66:7 95:3
<b>left</b> 121:6,7 144:3	288:17,22 289:15	<b>liked</b> 333:4 351:22	171:20 313:19	121:12 155:10
329:13	313:6 316:2,2,3	<b>likelihood</b> 117:10	314:3 337:7	161:19 174:1
<b>legal</b> 147:10	370:12 371:22	359:16	<b>literature</b> 77:9	238:5 246:17
<b>legislation</b> 21:5,6,7	373:21 377:5	<b>likes</b> 71:4	118:5 121:12,12	252:14 259:2
28:11 29:20 96:21	383:11	<b>limbo</b> 26:6	190:14 277:8	292:12 352:19
97:14 121:8 122:6	<b>levels</b> 15:12,15 16:6	<b>limit</b> 76:8 142:15	278:13 309:8	354:10
260:5	19:8 55:19,21	<b>limitation</b> 85:3,4	<b>little</b> 14:1 22:6 27:8	<b>longer</b> 132:15
<b>legislative</b> 42:14	58:17 61:8 75:22	201:12	35:10 41:11 92:17	133:3
323:22 324:11	86:2 164:16 213:6	<b>limitations</b> 69:20	116:7 117:12	<b>longest</b> 27:2 94:10
<b>legitimate</b> 279:13	261:20 315:7,7	200:9	118:17 120:3,8	<b>look</b> 13:11 14:13
336:4 389:14,22	338:8	<b>limited</b> 13:21 42:8	121:1 126:19	18:17 21:4 24:3,5
<b>legitimately</b> 336:8	<b>libraries</b> 390:2,5	53:8 60:17 74:2	129:3 131:14,16	31:11 39:16 44:16
<b>length</b> 276:14	<b>library</b> 386:5	75:12,19 76:1	136:3 137:16	45:8 51:3 55:9,18
<b>let's</b> 47:1 67:10,11	390:10	144:1 145:1	138:3 142:2	56:4 62:4 93:22
81:12 82:9 115:6	<b>licensed</b> 304:1	<b>line</b> 9:15 42:13	144:19,20 145:5	97:7,19 98:3,4
131:13 196:3	<b>lick</b> 237:17	43:13 53:4 73:10	149:16 152:22	101:18 104:14
199:14 212:22	<b>Lieberthal</b> 1:18	77:18 82:6 90:22	158:18 169:20	105:6,15 111:8
224:1 227:8 268:1	11:11,12 55:22	91:6 133:15,17	170:20 184:19	134:14 144:17
283:7 290:20	83:18 85:6 99:4	151:5 205:13	186:14 189:10	149:9 173:10
297:3 301:18	197:8,13,16 205:2	308:9 341:18	192:14 203:14	177:8 180:22
304:5 308:5	205:19 206:9,12	376:9	212:16,19 222:11	183:7,18 184:2,22
337:19 339:15	206:15,20 226:3,9	<b>lineage</b> 314:21	267:9 271:7	192:5,10 193:2,3
348:12 349:16	232:13 233:3	<b>lines</b> 72:3 118:12	295:13 304:7	204:21 205:4
364:21 377:14,21	246:18 247:12,20	166:11	306:7 315:22	214:8 216:16
378:17 379:2	265:4 270:20	<b>lining</b> 194:12	316:4 320:3	242:20 248:15
382:18 383:3	272:1,22 273:15	<b>link</b> 49:17 63:22	326:11,15,18	249:12 254:14
388:20	283:9 285:11	168:7 189:11	327:8 328:3,7	257:9 262:6 263:2
<b>level</b> 14:20 15:2,4,5	293:14 294:2	248:13 386:13	330:14 334:11	266:4,12 268:16
15:18 16:12 19:10	297:7 300:18	<b>linked</b> 164:5 287:5	335:1 338:11,16	280:1 281:20
28:17 39:20 48:3	302:6 313:18	390:22	350:3 367:1,6	284:18 295:14



303:5 321:20	134:16 184:17	231:19	<b>Marlene</b> 1:19	16:18 20:15 23:21
332:22 339:15	236:15 271:8	<b>LPN</b> 232:3	11:22 12:4 30:12	27:14 38:1,21
347:16 350:18	297:20 310:7	<b>luck</b> 150:13	32:3 56:15 62:9	46:1,18,22 47:20
368:21 391:21	324:16 339:4	<b>lukewarm</b> 188:13	68:8 69:12,13	48:21 50:3 59:3
392:3,16	342:18	<b>lump</b> 189:14	79:20 86:11 91:1	60:6,22 61:4
<b>looked</b> 16:9 17:3	<b>loose</b> 36:4	249:14 306:22	130:22 131:1	62:14 67:15 74:9
22:3 34:14 48:6	<b>Los</b> 126:22 127:6	<b>lunch</b> 175:16	195:10 196:7	80:6 87:15,21
55:22 56:2 87:3	366:10	199:22 200:3	209:21 219:13,19	88:3,8 89:1,5,9,11
102:21 121:21	<b>lose</b> 74:19 345:21	228:10,13 229:5	224:6,19 234:19	89:18,21 90:3,7
128:19 136:22	<b>lost</b> 26:5 197:16	229:11	239:11 273:9	90:17,20 91:5,10
176:14 188:14	348:12		282:4,20 291:13	91:14 114:6,21
191:4 276:3	<b>lot</b> 6:13 15:2 17:6	<b>M</b>	291:20 294:9	130:8 145:19
278:13 316:2	18:22 19:1 31:5	<b>MA</b> 232:3	295:1 304:14	148:16 184:4
325:22 346:11	39:8,18,19 49:20	<b>macro</b> 140:9	307:15 308:3	186:9 187:11
370:9	51:12,19 52:13	<b>main</b> 6:9 40:20	316:22 317:6	199:17 210:2
<b>looking</b> 6:19 7:4	70:3 94:20 98:11	46:12	320:10,18 322:14	219:4 220:2
12:9,11,13 14:20	98:12 116:21	<b>maintain</b> 201:1,14	322:18 339:5	224:12 225:20
14:22 15:5 18:3,7	125:11 129:9	<b>maintaining</b> 57:2	352:10,14	227:7 228:5,10
23:21 24:9,13	139:16 141:4,4	<b>major</b> 19:15 49:8	<b>mass</b> 75:19 126:14	267:11 268:5,9,22
25:9 26:11 29:15	153:20 154:8,9,13	50:17 218:1	127:13 132:22	269:16 281:10
30:16 32:18 34:2	154:17 156:19	269:21 278:19	142:17	292:21 304:20
39:7 44:4 45:13	159:5 161:13	<b>majority</b> 47:9	<b>Massachusetts</b>	323:7 324:22
45:18 51:4,12	172:8 176:8	<b>maker</b> 287:3	119:1,6,14 124:21	365:8 377:10,22
53:5 55:11 79:1	177:12 179:1,12	<b>making</b> 37:21 56:8	125:8,10 128:4	378:4 385:6,10
91:18 105:10	215:1 217:2 221:8	124:5 187:6	140:20 142:14	391:13 392:22
109:10 137:7	231:7 233:17	251:10 256:3	147:10 148:14	393:6,10,12
138:13 145:3	236:10 246:15	266:14 271:21	<b>match</b> 211:22	<b>MCHAT</b> 135:13
150:19 159:17	250:4 256:14	286:18 324:12	<b>matching</b> 150:8	136:4
168:1 172:15	259:19,20 261:7	379:19	<b>materials</b> 8:20 9:6	<b>McINERNY</b> 1:18
180:21 183:3	263:21 264:3,15	<b>management</b> 71:13	30:17 46:6 76:16	9:22,22 66:1,14
189:19 200:13	270:5 274:6,21	199:9 279:8	96:5 202:22	68:19 74:3,10
201:6 216:5,15	276:19 287:14	317:14 344:19	254:21 350:19,22	75:1,6 109:3
247:5 262:4,7	299:4 344:14	<b>Manager</b> 11:15	<b>maternal</b> 92:21	111:8,13,20
266:5 279:2	351:22 371:8	<b>managing</b> 285:8	108:18 112:14	121:20 128:3
280:17 283:20	382:13 388:5	<b>mandate</b> 42:14	113:4 169:6	129:4 134:13
288:17 299:8	<b>lots</b> 27:21 132:13	<b>mandatory</b> 29:6,7	278:20	162:16 183:6,6
303:10 308:20	192:16 251:12	<b>manual</b> 152:19	<b>matter</b> 23:6 88:11	213:20 248:21
311:9 324:17	254:5 336:4	<b>manuscript</b> 124:4	147:4 195:20	249:10,15,19
333:7 343:15	380:16 382:14	<b>manuscripts</b> 124:2	208:4 253:19,21	252:15 276:2
345:3 349:10	<b>love</b> 30:4 177:14	<b>map</b> 131:17	322:3 323:15	296:4 306:11
350:11 365:6	279:21 284:4,6	<b>Marina</b> 1:12,14 3:4	372:13	307:5 308:8,11
371:4,5,6,7	350:4	6:2 7:12 29:9	<b>mayor</b> 286:11	323:20 324:7,10
384:12	<b>lovely</b> 6:2	182:6 282:10	<b>MBA</b> 1:17,22,23,25	333:10,15 341:11
<b>looks</b> 21:1 48:4	<b>low</b> 134:22 177:22	283:2 324:6,7	2:12	341:16 375:2
95:21 105:14,16	183:20 193:5	339:10 352:14	<b>McELVEEN</b> 2:13	383:20 384:8,16
113:17 115:11,18	231:7 279:16	<b>Marina's</b> 143:2	3:2,5,8 5:3,15,21	386:2
116:4,5,8 125:17	<b>lower</b> 56:8,9 57:22	<b>marker</b> 286:8	8:14 11:20 12:3	<b>MD</b> 1:14,15,16,17

1:17,18,18,19,21	166:7 227:13	110:5,7,11,13,17	269:3,4,5,5,20	13:12 15:6 19:22
1:21,22,23 2:6,18	255:15 263:11	111:1,15 114:15	270:5,7 271:2,6	21:11,14,15 27:9
<b>mean</b> 18:16 20:18	311:20 332:6	114:15,22 115:5,9	271:11,17 272:21	27:10 31:6,6
24:18 27:8 31:19	362:6	115:21 116:1,6,12	275:15 276:10	38:13 39:13 60:18
45:12 58:18 61:2	<b>meant</b> 16:12	117:2,7,15 118:2	277:21 283:13	65:18 79:9 85:4
71:9 75:21 77:21	319:22 320:6	120:11 125:20	284:4,6,8,17	160:4 161:20
78:8 85:14 95:20	346:20 366:1	126:13 127:3,9,11	285:4,5,10,13,15	241:16 260:7
96:9 97:16 100:13	<b>measles</b> 284:3	128:16 129:6,12	285:16,22 286:10	290:4 293:12
102:5 106:20	<b>measure</b> 3:8,9,10	129:17,18 130:4	287:9,11,13,19,20	303:2 308:12
112:7,9 113:6	3:12,14,15,15,16	130:19,21 131:19	288:4,17,19 289:1	315:16 326:14
118:16 119:16,20	3:17,18,19,20,21	132:15 134:4	289:16,18 290:2,5	327:9 328:13
136:19 137:3	4:2,4,8,10,12,16	135:7 136:14	294:6,20,21 295:6	334:18 386:12
141:7,17,21 142:8	4:18 12:15 13:10	137:4 138:20	295:14 296:21	<b>measurement's</b>
142:14,16 143:1	15:13 16:3,13	140:4 141:6,7,13	297:3 298:17,18	31:7
144:15 146:8	17:22 19:2,20	143:6,9,21 144:7	298:19,20 303:4	<b>measures</b> 3:7,13
150:18 152:16	20:3 23:1 26:7	144:12 146:1,3,16	305:1,6,7 307:9	4:2,4,7 6:21 7:4,6
155:19 165:14,21	28:6 30:20 33:6	148:10 151:21,22	307:10,13,17	7:8,16 8:1,7 10:12
167:2 169:16	33:11 34:3 36:1	159:21 160:11	308:2 309:5,7	10:16,19,21 11:18
179:9,11 180:8	36:14,16 39:10,17	161:19 162:12,14	311:12,18 312:21	12:12,14,17,19
190:2,11 192:14	39:19 40:5,21	163:11 166:4	312:22 313:6	13:1,2,7,20,22
192:15 193:19	41:6,7,14,18	170:2,11,19	314:18 316:5	14:2,5,22 15:3,11
194:22 196:9	42:12,15,15,17,20	173:11,12 176:18	318:21 319:7	15:17,18,19 16:5
198:18 207:16	45:3,21 46:14	176:21 177:2,5,7	321:14 325:2,3,6	16:9,10 17:2 18:6
213:9 216:18	47:5,7 48:3,6,10	177:19 180:6,15	325:22 326:4	18:14,21 19:7,13
220:8 221:20	48:11 49:3 50:7	181:1 182:7,17	328:19 331:4	19:17 20:8,9
243:9 245:11	52:21,22 53:7,13	183:2 184:6 185:1	333:4,9 336:9	21:17 22:9 23:2
247:13 252:16	56:1,8,11,20	187:1 188:2,3,4	337:21 338:12,20	23:22 24:2,4,5,6
261:17 262:22	57:11 58:10,15,20	192:15,18 194:1,6	339:19 340:2	24:12,19 25:11,20
263:10 284:11	59:14,18 60:1,17	194:13 195:6	342:6,10 346:10	25:22 26:9,15
288:21 293:20	61:1,6,12 62:15	196:22 198:8,19	346:16 347:14	27:11,13,20 28:3
302:8,15 308:18	62:17 63:6 64:7	199:1,19,20 200:1	348:14,17 349:12	28:9,17 29:2,15
312:3,8 313:9	64:19,21 66:16,17	200:4,5 208:15,19	350:11 353:3	30:1,15 31:20
317:7 335:7	66:20 67:14,16,16	217:7,18 218:22	359:14,18 360:4,5	32:19,22 33:1,16
336:15 344:17	67:20 68:6,21	221:11,17 222:7	360:7,14 361:4	33:17,22 34:2,9
347:21 349:5	69:9 74:5 75:13	223:18 227:20	363:4,7 364:22	34:10,16,20 35:15
350:4,10 356:10	76:21 77:5,21,22	228:6 229:21	365:9,9,11 367:3	37:10,16,16 38:19
357:22 372:16	78:3,6,21 79:12	230:8,13,16 235:7	372:14 373:7	38:20 39:4 40:3
373:20 390:7	79:16 80:2,7,8,14	239:12,14,16	374:6 378:12,19	40:15,16 41:12
<b>meaning</b> 37:14	81:1,14 82:13	240:16,17 241:9	383:8 384:22	42:4,5 43:2,17,22
159:18 194:6	85:13,19 86:9,10	241:12 243:3,4	385:1,4,11,12	44:4,5,12 45:1,9
201:4 358:21	86:18 90:11,14	247:5,8 250:7,14	386:10,14 387:1	45:13 46:4,19
<b>meaningful</b> 33:3	91:5,15,18,19	251:3,4,8 252:3,4	388:19,21 389:1,2	47:9,15 51:5,5,6
136:12 160:2	92:2,8,13,16	252:11,16,21	389:6,9 391:6,9	52:11 54:12 55:2
220:5,9 316:14	93:12 95:17 96:19	253:2 255:15,18	392:11,12,13,14	55:9 56:3,21 57:5
338:5 348:16	98:9 99:7 101:11	256:9 259:21	<b>measured</b> 288:11	57:9 63:18 67:14
<b>meanings</b> 365:19	101:13 103:5,13	260:2 262:16	338:2 350:5	68:13 71:1,2
<b>means</b> 25:10 41:5	103:15 108:16,20	267:1 268:2,10,12	<b>measurement</b>	73:12,19 75:10,11

75:20,21 76:6	380:16 383:9	302:17	59:5,8,9 60:2,9,16	198:18 200:18
78:9,13,16,17,20	385:18 389:11,14	<b>medium</b> 120:3,4	61:11 62:6,8 63:4	201:6,8,22 202:20
81:7,14 82:12	390:22 391:14,21	296:16	63:7,9 64:11,17	203:6,9,12,15,22
83:16 88:1,18,19	392:4	<b>meds</b> 190:17	65:8,13 66:1,14	204:13,16,19
88:21 89:13,14	<b>measure's</b> 115:7	<b>meet</b> 15:13 27:22	67:1 68:5,19	205:2,19,22 206:5
91:12,21,22 92:7	<b>measuring</b> 31:8	92:20 156:21	69:10,13 70:11,16	206:9,12,15,20
93:1,5,7 94:2 95:8	212:5 337:21	157:11,21 177:6	72:21 74:3,10,21	207:3,6,8 208:10
95:12 98:13 99:2	338:3 344:18	194:14 195:3	75:1,3,6,7 76:2,14	208:21 209:22
99:8,12,20 100:3	346:7 351:6,7	197:5,22 198:7	77:1,2,4,6,8,15	211:16 212:4,9
101:20 102:2,10	<b>mechanism</b> 140:22	199:3 259:17	79:18,22 80:19,22	213:13,17,20
102:11,16,21	152:7	267:8 273:5	81:4 82:2,14	214:6,11,17 215:9
104:2,18 105:1,4	<b>Medicaid</b> 37:5 70:4	280:20 288:12	83:18 84:15 85:6	215:16,19,21
105:14,15 106:21	109:11,15 135:10	304:11,13 316:19	85:16 86:12 87:1	216:4,13,21 217:4
107:18 111:4,9,11	193:3 233:12,21	316:21 327:5	87:5 91:3 93:14	217:8 218:15,19
113:17 132:2,16	234:6 237:5	338:18	94:14 95:1,16,19	219:14 220:17,22
132:22 135:20	239:18 246:1	<b>meeting</b> 5:5 12:16	96:2 97:20 98:15	221:6 222:1,3,21
136:20 141:10	248:22 249:2	12:21 17:6 24:18	99:4,18 100:1	223:5 224:7,10,20
150:16 152:2,3	252:18 257:1,6,12	25:5,13 32:18	102:3,19 103:8,21	225:19 226:3,9,12
158:22 159:7,11	263:3,18 264:7,14	43:8 46:8 54:17	104:5,11 105:3,5	226:18 227:2,11
160:2,17,19	266:9 299:2 315:6	145:8,9,14 159:6	105:9,10 106:5,10	228:3 230:20
161:15 162:4,21	358:17	178:7 208:17,19	106:15 107:3,4,22	231:1 232:5,13,22
163:6,13 167:9,15	<b>medical</b> 4:6 11:6	228:16 265:6	109:3,7 110:1	233:3 234:21
168:4,10 169:13	96:13 98:6 112:6	324:20 393:15	111:8,13,20 113:9	235:8 244:3,11
170:6 172:16	112:21 121:5	<b>meets</b> 3:21 194:4	113:13 116:10,17	246:18 247:12,20
173:4,19 174:21	127:18 156:13	196:5 198:20	122:20 123:4	248:12,21 249:10
175:7 176:4,15,15	160:8,21 161:18	209:16 211:10	124:13,16,20	249:15,19 252:1
176:16 177:11	162:22 164:18	219:9 224:5	125:6 128:3 129:4	252:15,19 257:2,7
178:1,10 183:8,9	174:3 176:5	234:17 273:7	131:2 134:13,20	258:1,11 259:3
183:11 184:2,7,10	183:11 204:7,9,15	282:2,7 291:9	135:4 138:6	262:17 263:9
184:15,18 185:4,8	210:9 212:13	294:7 304:9 320:8	143:14,18 144:11	264:18 265:4
185:12,19 199:8,8	223:10 231:16	320:13,17 352:5,9	146:17,20 147:2,7	266:3 267:5
199:12 200:7	246:2 257:19	354:13,14,16	147:12,17,20	269:20 270:20
220:11 223:1	263:14,16,22	355:4 380:3	148:5,8,12,22	271:9 272:1,22
229:21 243:15,16	264:1 265:10,18	390:18	149:10,19 162:11	273:10,15 275:2
246:6 255:16	286:21,22 287:3,6	<b>member</b> 1:15,15,16	163:7 164:2	276:2,9,11 277:5
256:13,19 259:16	288:8 312:20	1:17,17,18,18,19	172:21 180:20	280:4 281:1,15
261:10 268:12	319:12 326:3	1:20,21,21,22,22	181:4,8 182:5	282:5,18 283:9,19
269:7,13 271:13	358:3 374:22	2:21,22 5:8 9:17	183:4,6 185:6,21	284:16,19 285:11
271:15,16 279:7	<b>medically</b> 264:9	9:20,22 10:2,4,6,8	186:2,6,8,12	286:13 287:5,7,15
284:13,14 288:8	<b>medication</b> 3:18	10:22 11:3,5,9,11	187:21 188:6,8,9	288:15 289:20
291:6 315:22	187:15 188:16	11:22 18:12 19:14	188:12 189:18	290:6,14,18
317:14 323:9	194:3 199:11	29:8 30:10,12	190:11 191:6	291:14,21 292:10
324:17 325:1	201:17	32:4 34:11 38:10	192:2,13,22 195:5	292:17 293:14
338:10 348:4	<b>medications</b> 190:1	43:9,9,18 49:19	195:11,17,19	294:2,10,13 295:2
349:9 352:18	190:6,17 191:3	50:8 52:3,6 53:15	196:8,18 197:1,8	295:7,11 296:4,9
353:13 355:20	<b>medicine</b> 192:17	55:22 56:13,15,17	197:13,16 198:1	297:7 298:1,7,22
360:3 361:18	236:6,10 275:16	57:15,17,20 58:13	198:12,14,15,16	299:7 300:2,5,11

300:16,18 302:6	124:10 178:21	<b>middle</b> 120:5	230:5 236:8,9	<b>modifiable</b> 222:4
302:19 304:15	269:17 360:2	<b>mike</b> 120:22 147:1	239:19 244:22	<b>modification</b>
305:13,22 306:11	378:8 393:5	<b>millennial</b> 375:15	245:3 246:19	355:13
307:3,5,16,19	<b>memorize</b> 262:22	<b>Miller</b> 1:19 11:22	251:5,12 253:7,16	<b>modifications</b>
308:8,11 310:6,17	<b>mental</b> 13:17 126:3	12:1 30:10,12	260:9 287:1	359:16
310:21 311:2,5,8	128:7,7,14 132:2	32:4 56:13,15,17	<b>minus</b> 155:22	<b>modifier</b> 254:22
311:11 312:1	135:8,13,15	60:2 62:8 67:1	<b>minute</b> 73:8 76:19	<b>modify</b> 59:18 359:8
313:8,18 315:8	147:14 148:2	69:10,13 70:16	88:7,9 228:12	<b>module</b> 160:9,21
317:1 318:14,20	150:16 189:6,7,21	72:21 74:21 79:18	339:11	318:17
319:6 320:11,19	191:8 193:10	79:22 86:12 91:3	<b>minutes</b> 12:6,20	<b>Moines</b> 213:11
321:11,17 322:2	298:21 299:1	131:2 195:11	232:1 323:8	<b>mole</b> 301:7
322:19 323:20	315:5 327:2	196:8,18 197:1	347:18	<b>mom</b> 301:6
324:7,10 325:16	329:18 330:6	209:22 219:14	<b>misclassification</b>	<b>moment</b> 329:11
325:18,20 328:21	340:13	224:7,10,20	84:20	<b>money</b> 23:8,9 264:4
329:21 332:13,19	<b>mention</b> 25:16 38:2	227:11 228:3	<b>misinterpreting</b>	292:12
333:2,10,15	40:2 388:4 391:17	230:20 234:21	194:11	<b>monitor</b> 147:4
334:12,17 335:7	392:9	239:11 273:10	<b>mismatch</b> 235:20	<b>monotonic</b> 370:14
336:10 337:17	<b>mentioned</b> 40:16	282:5,18 291:14	<b>misplaced</b> 280:22	<b>Montana</b> 181:17
338:7 339:7 340:6	235:16 339:19	291:21 294:10,13	<b>missed</b> 53:6 195:7	214:17
340:19 341:11,16	340:7 349:8	295:2 304:15	249:5 269:6,8	<b>month</b> 274:12
341:17 342:12,20	383:11	307:16,19 317:1	270:2,8,16 271:20	278:3 366:12
343:7,11,14,16	<b>merit</b> 217:7 218:10	320:11,19 321:11	272:4,18 274:7,14	<b>months</b> 42:21,22
344:16 345:15,20	218:11,22 220:18	322:19	274:17 275:19,20	48:2 67:18 127:22
349:2,22 351:10	221:2 241:13	<b>million</b> 157:1	275:22 276:4,6,7	144:1 210:8
351:18 353:4,9,11	<b>merits</b> 99:11	214:15,18	277:8 279:3	249:20,21 254:3,3
353:12 354:2,22	<b>message</b> 261:5	<b>mind</b> 111:15 164:9	359:20	254:4,4,4,7,12
356:3,6,15 357:5	<b>met</b> 7:9 42:9,13	171:3 183:22	<b>missing</b> 154:10	258:10,16 261:14
357:7,9,11 358:8	229:11 248:16	184:5 198:6	157:22 175:14	266:15,16 270:9
358:11,19 360:20	<b>metabolic</b> 238:6	215:22 236:4	272:13 281:5	280:5 306:13
361:7,16,19 362:3	<b>metabolizes</b> 238:3	280:6 319:10	287:2	330:6 333:16,20
364:2 365:15	<b>method</b> 102:1	345:8 362:9	<b>mission</b> 329:15	<b>moot</b> 61:19 220:19
366:3,7,21 367:17	<b>methodologies</b>	376:17	<b>Mississippi</b> 216:20	220:21
368:2 371:3,19	348:5	<b>mine</b> 206:16	<b>misspoke</b> 328:22	<b>morbidity</b> 375:15
372:3,11 374:8	<b>methodology</b>	<b>minimal</b> 177:22	<b>mistake</b> 310:16	<b>morning</b> 5:3,4
375:2 376:3,8,16	102:17 152:6	291:21	<b>mistaken</b> 184:16	89:12 151:10
378:21 381:1	155:4 367:13	<b>minimally</b> 3:22,23	<b>misuse</b> 68:9	239:11 270:1
382:17 383:20	<b>metrics</b> 181:18	120:15 219:5,15	<b>mix</b> 176:16	366:16 392:12
384:8,9,16,18	<b>Mexican-American...</b>	219:18 224:16	<b>mixed</b> 76:20 82:15	<b>Morsell</b> 2:14 5:22
385:19,20 386:2,6	257:13,18	227:14,15 282:14	190:13 191:2	90:1
387:4,22 388:15	<b>mic</b> 6:7 214:9	282:15,18,20	<b>mixing</b> 220:18	<b>mortality</b> 311:10
389:10 390:2,5	<b>Michael</b> 2:5 115:21	283:3 291:15,18	<b>mixture</b> 15:16	<b>mother</b> 237:16
392:20	116:10 129:9	294:15 317:5	163:5 177:10	238:4,14 239:8
<b>members</b> 2:10,20	145:22 279:1	320:17 321:10	<b>model</b> 168:9	244:15 264:10
5:12 9:19 11:21	<b>Michigan</b> 286:22	352:9 354:16	<b>models</b> 65:15	301:12 388:4
16:15 24:16 25:19	<b>microphone</b> 67:9	355:7	287:16	<b>mothers</b> 233:17
26:4 40:6 62:3,18	116:16	<b>minimizing</b> 79:2,2	<b>moderate</b> 48:8	238:11 277:15
67:20 114:8	<b>microphones</b> 9:11	<b>Minnesota</b> 10:3	203:4	278:1

<b>mother's</b> 236:22 237:20 275:11,19	128:17 132:6,10 136:2 137:3,6,9	292:11,13 293:9 326:1,2,6 329:3	304:18,21 306:13 310:9 312:17	299:21 300:15,21 306:1 341:8 347:9
<b>motion</b> 186:15	137:15,18 138:16	330:19 361:5	314:6 315:15	361:6,11 375:5
<b>mouth</b> 234:10	138:19 139:1,11	368:19	321:18 325:7	380:10
237:18,22 261:17	140:5,19 141:16	<b>nationally</b> 140:14	328:5,6 332:7	<b>negative</b> 32:13
<b>move</b> 6:6 24:9 26:9	141:21 145:4	319:13 356:22	336:6,6 337:4,8	59:22 66:22 73:5
36:16 44:9 62:15	149:7,18 150:17	<b>natural</b> 180:2	340:5 346:1,22	79:15 123:17
86:6 88:1,17	<b>muster</b> 262:1	<b>nature</b> 51:15 178:3	347:6,20 349:3,14	210:18 309:9
113:20 114:14	<b>must-pass</b> 39:8	<b>naught</b> 22:7	350:18 351:13	334:22 341:20
145:16 146:6	<b>mutans</b> 237:22	<b>navigating</b> 313:3,8	353:1 356:20,22	<b>negatives</b> 309:21
198:3 199:14	238:2	<b>navigators</b> 313:11	362:3 363:10	<b>neighborhood</b>
214:20,22 215:5	<b>mute</b> 86:13	<b>NCQA</b> 77:21	369:3 372:14	365:13 366:14
218:11 227:9		260:17	381:4 383:4	367:21,22 374:11
268:1 283:8 297:3	<b>N</b>	<b>near</b> 207:18	385:21	375:4,9 379:6,14
317:12 322:12	<b>NACHRI</b> 38:18	<b>necessarily</b> 15:4,13	<b>needed</b> 4:11,15	384:2,11,13
377:21 378:17	252:10	16:16 31:10	20:21 23:7 95:7,7	387:11
383:19	<b>Nada</b> 291:7	118:16 167:21	153:4 160:10	<b>neighborhoods</b>
<b>moved</b> 16:18	<b>nailing</b> 392:7	188:17 314:14	161:1 190:6 297:5	4:19 370:21
213:10 215:2	<b>NALINI</b> 2:15	344:12 349:1,5	297:8 303:21	372:22 381:19
<b>movement</b> 222:12	<b>name</b> 5:15,19 38:11	353:18 372:7	304:4 310:5,12	385:4,14 387:7
<b>moving</b> 7:16 13:3	46:16,18 229:13	<b>necessary</b> 223:13	325:5 326:21,22	388:2
26:12 35:13 59:13	230:14 248:19	<b>need</b> 8:17 30:21	327:4 330:1	<b>neighborhood-de...</b>
80:6 165:9 185:18	267:7	31:10 41:9 44:6	331:10 334:9	372:7
187:9 191:7	<b>names</b> 5:16	50:1,12 51:2	335:5,12,15,20	<b>neonatal</b> 14:17
219:21 228:6,8	<b>Nancy</b> 1:16 10:4	69:14 78:8 81:21	336:20 337:9,14	<b>nervous</b> 33:10
235:6 237:22	91:16 110:20	84:2 87:18 88:3,4	344:1 357:2,4	<b>net</b> 347:10 367:10
260:6 273:13	131:4 154:21	106:17,20 108:7,7	<b>needing</b> 65:20	<b>neurologist</b> 215:3
294:19 324:22	213:16 257:1	108:11 113:18	166:14 301:1	<b>never</b> 78:20 334:1
365:8 380:7 385:3	288:14 299:6	115:14 119:20	308:17 326:22	355:20 370:10,18
<b>MPH</b> 1:16,17,22,23	<b>Nancy's</b> 376:19	130:6 145:11	<b>needs</b> 3:14 27:22	370:19 379:6,15
2:13,18,22	<b>narrow</b> 54:3,6	156:2,6,19 157:6	37:6 71:11 90:13	<b>new</b> 9:7 10:1 18:7
<b>MSc</b> 1:19	58:16	157:12 158:8	91:20 92:18 93:2	30:15 44:5 45:1
<b>MSN</b> 2:12	<b>narrows</b> 286:16	165:12 173:5,6	98:6 105:22 106:7	54:13 65:3,3,11
<b>MSSW</b> 1:25	<b>nation</b> 92:20	176:2,19 177:12	106:12,22 108:20	138:2 157:14
<b>multiple</b> 16:5 103:3	153:17	180:3 187:22	111:15 112:16,18	251:6 255:4
110:16 208:1	<b>national</b> 1:1,3 11:1	192:10,17 202:5	112:20 152:1	319:11 345:21
279:15 313:3,4	11:7 38:13 54:15	205:14 212:1	153:8 155:16	357:13 381:11
332:8 353:20	86:3 90:12 91:19	217:22 227:16,18	156:11,18 171:9	389:6
<b>multi-part</b> 110:16	92:17,22 106:9	238:11 239:14	190:20 200:8	<b>newborn</b> 392:13
177:1,5 182:17	107:1,6 121:2	241:13 245:16	202:2 203:4 206:2	<b>newer</b> 89:16
183:2 187:5	123:9 126:5	251:17 255:18	206:4 214:1 219:1	<b>NHLBI</b> 83:19
<b>Murphy</b> 2:5 115:21	133:18 151:22	256:5 263:6	222:13 256:22	272:2,3
116:14 117:19	153:7,10,13 164:9	282:13 285:2	274:21 275:10	<b>nice</b> 5:16 229:6
118:19 120:21	168:19 169:12	289:1 290:13	277:10 278:11	387:6
121:18 123:2,7	172:15 216:19	299:3 300:20	281:5,13 286:16	<b>nicely</b> 29:19
124:4 125:21	225:10 234:8	301:14 302:1,9,11	288:21 289:5,22	<b>NICOLA</b> 1:25
126:20 127:2	269:21 290:11	302:11 303:11,15	290:4 293:19	<b>Nicole</b> 2:13 3:2,5,8

5:15 8:10 18:12 32:11 87:22 256:3 <b>Nicole's</b> 23:20 <b>night</b> 118:21 238:5 366:15 381:22 382:1 393:13 <b>Nina</b> 2:6 229:19 <b>nine</b> 86:20 174:11 219:19 224:18 225:22 254:4 266:16 282:9 307:21 321:8 322:22 <b>nodding</b> 363:12 <b>nonsafe</b> 375:9 <b>non-categorical</b> 222:14 <b>non-clinician</b> 194:20 <b>non-response</b> 153:18 154:15 <b>normal</b> 139:8 236:17 289:8 <b>normally</b> 18:1 <b>North</b> 1:10 <b>nos</b> 86:16 131:8 295:3 <b>nosed</b> 123:21 <b>note</b> 91:17 252:9 280:11 319:4 <b>notes</b> 87:10 <b>notice</b> 24:20 76:15 <b>noticed</b> 15:2 38:5 340:8 <b>noticing</b> 252:2 <b>November</b> 12:16 17:5 54:17 <b>no-brainer</b> 175:13 <b>NQF</b> 2:10 5:17 7:16,19 8:10 11:16,19 14:4 15:10,19 18:1 19:11 21:6,21 31:11,18 34:22 42:7,11 44:1 55:1 66:2,9 74:15 77:21 100:12,14	102:9 109:1 146:6 159:15 160:2 176:13 199:7 251:3 289:22 290:5,13 291:1 296:22 302:15 317:15 358:16 <b>NQF's</b> 14:2 27:2 260:4 <b>NQF-endorsed</b> 24:2 77:22 220:11 <b>number</b> 4:8 14:8 19:12 61:8 74:4 82:21 94:1,11 127:3 128:12 135:16 141:14 142:6,21 157:16 162:7 229:8 230:21 231:3 236:22 241:20 242:1,3,4,7,10,21 242:22 243:5,6,17 243:18,19,20 244:1 245:9,12 246:7,8,8 247:3 248:7 249:13 252:17 253:8 255:16 269:6,8 270:2 271:19 275:12 276:18 277:7,16 283:12 283:13 284:22 287:1 310:12 340:17 346:22 389:19 <b>numbers</b> 123:21 277:11 <b>numerator</b> 105:7 108:6 138:14,18 142:5 161:5 166:18 171:2 188:14 217:10 241:17,19 242:6 242:18,19 247:8 250:10,11 251:8 277:4 306:16,21 310:6,8,8,11,11	311:6 327:7 328:14 330:14,16 331:5,15,18 332:15 334:14,22 335:3,10 336:8,11 336:21 337:12,14 345:17,21 346:21 348:11 379:11 <b>numerators</b> 105:11 105:12 142:11 307:3 <b>numerator/deno...</b> 169:21 <b>numerous</b> 330:21 <b>nurse</b> 11:8 231:16 236:6,7 327:2 <b>nurses</b> 236:9 <b>nursing</b> 45:14 387:2 <b>N.W</b> 1:11 <hr/> <b>O</b> <hr/> <b>obesity</b> 389:12 390:10 <b>objections</b> 268:18 <b>obligated</b> 110:21 234:3 <b>oblivious</b> 344:15 <b>obnoxious</b> 142:2 <b>observation</b> 16:8 <b>observations</b> 213:1 <b>observe</b> 36:20 <b>observed</b> 16:21 <b>obtain</b> 97:2 140:4 159:21 <b>obtained</b> 235:18 <b>obtaining</b> 4:11 297:4 299:22 303:6 305:15 310:10,13,22 311:7 <b>obvious</b> 267:6 <b>obviously</b> 12:21 22:21 24:7 25:3 39:19 41:1 58:18 114:7 125:1 132:16 144:6	152:16 160:9 161:16 185:1 231:6 277:22 278:22 279:21 308:18 370:20 376:18 <b>occupational</b> 341:13 <b>occur</b> 45:3 168:5 246:21 <b>OCDC</b> 132:4 <b>October</b> 25:14 43:12 <b>odd</b> 27:11 <b>odds</b> 370:22 <b>offer</b> 63:17 81:7 264:6,8 <b>offered</b> 4:5 264:9 <b>office</b> 324:1,11 329:14 374:9 <b>Officer</b> 10:10 <b>offices</b> 264:13 <b>officials</b> 28:19 <b>offline</b> 262:14 <b>off-label</b> 189:22 190:16 <b>off-piece</b> 45:9 <b>oh</b> 21:16 32:9 80:19 81:5 86:12 89:7 91:4 105:9 133:12 147:2,12 148:5 151:11 188:6 195:12 213:13 268:3 280:21 290:19 301:20 314:5 321:16 381:11 <b>Ohio</b> 213:3 <b>okay</b> 8:14 11:20 12:4 20:15 32:4 46:1 48:21 52:5 60:4,11,13 61:10 62:14 67:3 70:10 76:4 79:11 80:2 86:4,14 87:18 88:5,8 91:9 98:4,8 101:1 102:18	109:22 113:15 114:3 116:2 127:1 130:13 131:3,11 131:12,13 133:10 134:12 137:8 138:5 145:12 147:12,20 148:5,8 151:7,15 158:16 162:10 169:8 170:17 177:13 181:6 186:7,11,13 187:7,13 195:12 195:18 196:6 197:3,4,10,15,18 197:21 198:15 199:14,17 202:8 203:9 206:9,12,15 210:4,15 214:5 217:1,11,20 218:3 218:9 219:16,20 223:16,22 224:15 224:21 225:2,19 227:5,12 228:4,5 235:1,4 243:11 244:2 249:11,18 258:1,11 267:4 268:8,22 273:3,13 282:6,10,19 283:5 290:6,14,16 291:10,16,22 292:5 293:1,22 294:4,12,16 295:5 295:21 296:3 297:3,21 300:16 301:18,22 304:16 304:17,18 307:20 308:5 316:9,10 317:3,8,11 320:6 320:12,20 321:12 322:13 323:10 332:21 339:9,14 341:16 342:9 349:17 352:7,16 354:11 355:6 356:5 358:7 365:5 374:15 378:6,9,17 379:17 380:3,6,7
---	--	--	---	---

380:14 383:12,18 385:2 387:6 391:13 393:9,10 <b>old</b> 89:5 97:17,17 314:22 <b>older</b> 9:6 58:9 82:12,20 83:4,12 <b>olds</b> 83:15 <b>once</b> 25:4 32:19 43:2 56:22 64:12 72:22 184:14,16 226:6 237:19 246:21 247:15 265:19 360:22 <b>ones</b> 15:14 28:3 34:14 56:4 83:4 88:22 90:1 104:15 161:22 175:13 185:21 186:2,5 298:8 299:15 310:3 391:1 <b>one-by-one</b> 165:12 <b>ongoing</b> 154:12 156:3 158:6 <b>online</b> 225:13 329:14 364:17 <b>onset</b> 191:13 <b>open</b> 30:3 49:1 68:3 80:12 97:12 115:20 116:2 200:12 <b>opening</b> 84:4 211:18 234:8 <b>operates</b> 302:16 <b>operational</b> 28:16 <b>operations</b> 33:10 <b>opinion</b> 250:13 297:9 388:6 <b>opportunities</b> 254:5 266:22 <b>opportunity</b> 7:22 23:13 29:18 141:9 177:15 192:5 256:16 266:6 <b>oppose</b> 86:13 <b>opposed</b> 51:18 59:22 65:22 79:15	86:8,10 117:9 162:15 164:7 203:14 275:19 308:1 322:17 385:2 <b>opposite</b> 69:16 <b>optimize</b> 154:14 <b>option</b> 99:15 158:20 256:6,13 363:3 <b>options</b> 39:4 41:1 <b>oral</b> 69:1 71:12 232:20 233:1 236:22 237:1 255:11 <b>Orange</b> 380:19 <b>oranges</b> 262:10 <b>order</b> 42:10 50:12 148:7 221:22 227:21 267:8 <b>Oregon</b> 203:20 213:3,10 290:13 <b>organism</b> 238:3 <b>organization</b> 169:10,11 <b>organizations</b> 252:10 <b>oriented</b> 88:19 164:20 <b>original</b> 115:16 346:17 353:8 <b>originally</b> 115:11 309:18 312:17,18 313:1 <b>origination</b> 309:20 <b>ors</b> 343:17 345:19 <b>or's</b> 328:14 335:2 <b>other's</b> 298:5 <b>OT3-032</b> 4:8 <b>OT3-036</b> 4:10 <b>OT3-038</b> 4:12 <b>OT3-039</b> 4:16 <b>OT3-040</b> 4:18 <b>OT3-049</b> 4:4 230:14 <b>outcome</b> 5:4 7:7 12:13,14 13:6,20	13:22 16:20,21 20:8 22:17 27:13 32:22 33:1,11,16 34:2,10,20 36:15 37:1 39:10 43:21 44:8 45:9,19 49:18 50:12,13,19 50:21 51:6 103:13 111:15 112:4,8 116:12,20 117:2,7 117:15 118:2 125:20 126:13 129:17 130:4 135:7,20,22 137:4 141:6,7,13 143:21 146:3,16 162:14 163:6,11 166:4 173:4,12,22 174:1 174:2,2,4 176:4 176:16,17 177:11 177:17,18,19 185:12 188:4 208:6,19 222:7 223:3,14 232:11 252:16 270:5 271:16,17 284:8 298:14,19 302:21 303:4,17 304:3,4 305:1,5,6,7 307:9 307:10,13,17 313:20 314:20 322:3 333:7,9 367:3 378:12 386:10,14 <b>outcomes</b> 1:4 6:19 12:9 13:13 14:7 14:11 16:19 17:2 17:14,14,19 26:12 30:21 32:16 33:7 33:13,19 36:2,7 36:14 37:16 44:14 50:10 51:13,17,18 103:19 111:21 112:11 113:6,8,11 113:14 121:15 130:1 145:8 163:15,16,18	164:5,6,19 165:1 170:5,6 174:15 175:11,13 176:14 184:18 222:16 284:13 367:9 389:4 391:1 <b>outcome-y</b> 298:3 <b>outgrowth</b> 96:21 <b>outliers</b> 79:3 <b>outlined</b> 7:18 <b>outpatients</b> 14:20 <b>output</b> 17:5 110:12 <b>outside</b> 374:22 <b>outstanding</b> 234:13 <b>overall</b> 40:21 48:8 93:20 94:20 115:9 115:14 227:16,19 294:6,17 333:3 334:2 371:6 383:3 <b>overlap</b> 22:20 184:10,14 <b>overnight</b> 323:5 <b>overwhelmed</b> 183:15 279:6 <b>over-medicated</b> 192:8 193:2	331:18 332:5 346:18 386:12 <b>Panorama</b> 11:12 <b>paper</b> 157:14 236:21 303:10 312:8 314:8 <b>papers</b> 123:17 157:13,16 <b>parallel</b> 170:14 <b>parent</b> 69:19 115:8 115:17 135:21 136:6 193:9,12,15 270:8 274:5 288:10 293:17 297:9 303:12,15 310:5 311:20,21 313:19 314:2,11 327:10,14,18 328:1,5,8 333:6,8 336:11,13 341:5 341:18,21 342:2,3 342:18 343:2,2,4 343:18,20,22 344:6 384:1 <b>parental</b> 341:4,6 <b>parenthesis</b> 170:21 <b>parents</b> 52:12 96:16 139:5 264:3 264:16 273:18,20 276:3 277:7,10,14 283:22 286:6 302:4,7,9 312:12 331:20 332:5 344:15 346:22 348:20 365:12 366:10 370:10 379:5 <b>parent's</b> 302:10 306:5 <b>parent/patient-re...</b> 17:13 <b>park</b> 386:3 <b>Parks</b> 388:15 <b>part</b> 4:5 8:9 28:10 33:20 43:19,22 52:14 69:17 71:11 78:18 101:13
<b>P</b>				
			<b>pace</b> 8:6 <b>pacifier</b> 237:17 <b>package</b> 239:12,13 253:13 <b>packages</b> 30:22 31:14 <b>packet</b> 46:6 <b>page</b> 18:13,13 46:10,21 63:5 155:21 240:9 <b>pages</b> 152:19 <b>paid</b> 244:7,20,22 264:21 <b>pain</b> 369:2,4,5 <b>painful</b> 165:14,16 <b>pains</b> 272:11 <b>PANDE</b> 2:15 <b>panel</b> 169:5,17 309:12 329:2	

102:10 115:1,21 121:10,20 126:2,4 135:21 144:8 146:6 147:15,18 178:3 180:2 182:22 208:15 217:10 230:15 234:10 237:10 238:15,16,17 247:19 251:19 253:20 254:8,9 255:8 266:5 269:4 272:2 277:3 288:11 296:17 312:10,20 344:6 350:2 373:10 387:19	389:9 <b>particularly</b> 14:19 14:21 15:11 35:22 45:2 51:9 139:13 298:22 <b>Partnership</b> 11:1 <b>Partridge</b> 1:20 10:22,22 18:12 29:8 59:5,9 76:14 77:2,6,15 124:13 124:16,20 125:6 182:5 183:4 202:20 203:6,9,12 203:15,22 204:13 204:16,19 215:9 215:16,21 283:19 286:13 287:5 295:7,11 296:9 305:13,22 313:8 344:16 345:20 353:4,9 354:2 360:20 361:7,16 <b>parts</b> 103:4 110:22 111:3 177:8 182:7 182:11 215:17 <b>pass</b> 40:17 47:17 239:5 261:17,22 377:16 <b>passed</b> 26:9 109:16 270:11 324:4 <b>passes</b> 209:9 <b>Paternal</b> 329:2 330:20 <b>paternalism</b> 32:14 <b>paths</b> 386:3 <b>pathways</b> 50:11 <b>patient</b> 1:4 10:13 16:19 17:15 70:2 70:7,9 72:4,8 97:3 112:5 139:16 194:22 234:3,3 244:14 249:2,5 334:14 335:8 342:21 365:21 374:7,10 375:20 <b>patients</b> 33:3 75:16 84:19 207:17	213:10,22 214:2 217:9 223:2 275:9 281:4 300:19 374:12 <b>patient-by</b> 374:9 <b>patient-by-patient</b> 374:14 <b>patient/clinician</b> 55:14 <b>Patrick</b> 81:18,20 <b>pattern</b> 156:22 <b>paved</b> 388:11 <b>pavement</b> 388:8 <b>pay</b> 238:14 245:11 263:14,17,19 264:10 <b>paying</b> 266:10 <b>payment</b> 315:7 <b>pays</b> 264:10 <b>PCMPs</b> 231:10 <b>PCP</b> 314:5,8 <b>PDF</b> 46:8 <b>pediatric</b> 3:15 7:22 8:6 11:7 20:9 23:2 115:1 134:2 229:14 236:6 238:19 244:13 315:5 318:17 323:22 375:18 376:22 <b>pediatrician</b> 125:2 126:7 147:22 148:1 241:15 301:8 305:17 383:21 384:10 <b>pediatricians</b> 115:12 129:7,10 129:13,15 <b>pediatrics</b> 140:13 140:17 230:3 238:18 241:2 299:5 375:16 <b>PEDs</b> 135:13 <b>peer</b> 291:11 374:2 <b>pencil</b> 236:21 <b>people</b> 16:10 18:22 24:22 31:16 49:8	49:20 50:13,16,17 58:1 66:9 71:4 74:11 79:13 82:7 87:11 88:3 95:5 107:15 109:9,14 118:14,15 124:1 129:9 130:3 133:15 149:8 153:18 154:1,11 155:7 160:12,18 162:3 163:15 165:4 171:20 174:4,4 190:3 191:3 194:13 200:22 209:3,12 214:14,15,19 215:1,5 243:14 264:18 271:14 276:3 287:7 323:5 326:9 337:6 347:6 347:15 351:5 362:19 366:1 367:14 369:9,11 370:21 382:12,14 387:10 <b>people's</b> 20:2 368:17 <b>perceive</b> 314:4 365:12 367:22 368:3 382:8 <b>perceived</b> 4:17 334:15 365:11 <b>perceives</b> 313:20 314:11 <b>percent</b> 70:4 128:21,22 139:6 154:3 192:18,19 203:3,19,20 204:6 204:12 257:10,11 264:13 283:20 297:20,21 299:20 300:12,17 310:2 356:22 357:1,3 370:12,12,13,14 370:15,15,16,16 370:17,17,19,19 371:22 372:1	<b>percentage</b> 37:5,7 139:4 281:4 <b>percentile</b> 17:15 <b>percentiles</b> 129:15 <b>perception</b> 311:21 366:19 367:2 368:16,18 369:10 371:16 377:8 379:1 386:17 <b>perceptions</b> 93:17 365:22 381:7 <b>perfect</b> 23:20 230:6 277:21 288:8 331:3 370:20 <b>perfectly</b> 253:14 260:13 301:10 <b>perform</b> 71:4 <b>performance</b> 11:18 17:10 51:5 78:17 79:8 92:22 106:21 123:5,18 136:14 137:1 143:9 164:17 174:13 177:19 232:9 260:8 266:9 279:4 285:7 293:12 298:18 312:21 338:6 <b>performed</b> 255:21 <b>performing</b> 31:2 <b>period</b> 42:19 94:17 276:15,17,19 285:12 <b>Perkins</b> 2:22 91:16 <b>Permanente</b> 11:12 <b>Persaud</b> 1:21 9:20 9:20 62:6 77:1,4,8 122:20 123:4 134:20 135:4 180:20 181:4 190:11 198:12,15 198:18 205:22 206:5 207:3,8 211:16 212:9 266:3 284:19 310:6,17,21 311:5 334:12 392:20
--	---	---	--	---



<b>person</b> 5:9 25:13 109:12 114:16 133:21 241:17 286:5 299:12,13 324:3 382:6	<b>physician's</b> 303:12 <b>pick</b> 72:16 99:7 119:9 125:3 260:17,18 <b>picked</b> 272:20 <b>picking</b> 276:16 <b>picture</b> 174:13 374:18 <b>piece</b> 160:8 174:12 226:20 312:8 <b>pieces</b> 157:1 160:11 165:4 236:5 <b>pilot</b> 135:9 <b>pin</b> 167:21 <b>pinnacle</b> 169:13 <b>pipeline</b> 21:12 <b>Pittsburgh</b> 11:10 <b>place</b> 36:17 66:2 68:17,20 266:4 316:11 324:14 345:13 375:14 388:9 <b>placed</b> 161:18 <b>placement</b> 340:21 <b>places</b> 31:13 70:15 215:2 264:18 279:15 380:20 382:9 388:17 <b>plain</b> 313:12 <b>plan</b> 31:2 57:12 69:5 73:4 92:22 142:18 168:8 180:7 316:2 353:6 353:9 <b>planning</b> 388:5 <b>plans</b> 159:13 <b>plate</b> 120:5 391:16 <b>play</b> 124:16 368:9 372:18 388:10 <b>player</b> 7:20 124:17 <b>playground</b> 386:3 <b>playgrounds</b> 388:16 389:3 <b>plays</b> 29:19 <b>please</b> 30:11 57:16 116:16 124:15 134:5 138:11	146:14,19 147:1 194:10 214:10 225:22 243:10 273:8 321:16 364:3 <b>plenty</b> 39:2 <b>plus</b> 249:13 <b>point</b> 22:19 27:10 52:17 53:2,20 54:3,4 58:14 63:20 70:12,22 74:11 78:5 84:16 87:8 97:15,19 100:4 103:22 117:11 121:2,3 136:3 142:19 150:8 169:3 192:21 198:2 252:16 255:14 279:6 286:6 297:15 303:12,13 331:3 342:10 344:4 351:22 358:13 359:2 363:5 364:3 367:17 370:5,7 372:10 373:19 <b>pointed</b> 369:17 <b>points</b> 74:3 336:15 385:11 <b>policies</b> 54:6 65:10 <b>policy</b> 42:8 232:14 232:17,19 233:7 287:3 <b>policymakers</b> 37:21 <b>pools</b> 317:18 <b>poor</b> 115:13 123:5 123:18 204:4 222:19 263:3 372:21 382:12 <b>popped</b> 324:1 <b>pops</b> 166:17 167:10 <b>populated</b> 215:8 <b>population</b> 15:1,3 17:20 48:3 52:10 52:21 54:12,14,18	55:9 60:17 63:2 64:15 66:19 68:10 70:3,12,18 72:11 73:12,19 76:6 85:12,18 86:9 88:18 96:10 105:20,22 109:11 113:10 117:16,17 117:18 119:3 128:16 139:3 150:3 158:13 159:14 167:18 168:2 171:5,6 172:17 174:14 205:7 211:8 213:2 213:5 215:12 225:14 245:22 252:8,22 271:22 285:4,5,9,22 287:14,21 288:5 303:18 313:5 371:5,7 377:4 380:8 <b>populations</b> 65:4 231:7 257:22 <b>population-based</b> 4:7 55:2 64:13 75:11,13,20 84:18 92:19 95:17 126:10 138:2 284:17 367:10 <b>population-level</b> 15:17 <b>population-wide</b> 373:7 <b>pop-up</b> 167:11 170:22 171:14 <b>port</b> 205:3 <b>portfolio</b> 14:2 15:20 18:7 44:16 <b>portion</b> 38:3 215:7 <b>position</b> 22:13 104:6 180:6 <b>positive</b> 69:8 119:10,15,19 122:14 123:5 125:11 128:13,20	141:18 142:6,7,7 178:2 196:3 210:18 231:5 300:1 335:2 342:1 376:15 <b>positive/negative</b> 118:10 <b>possibility</b> 43:3 295:15 <b>possible</b> 40:7 61:8 63:13 144:4 171:14,22 178:16 205:15 208:5 210:19 306:2 332:3 348:8 360:7 360:10 392:7 <b>possibly</b> 145:3 185:3 <b>post</b> 139:18 149:5 <b>posted</b> 101:16 <b>postscript</b> 29:9 <b>post-test</b> 127:8 <b>potential</b> 14:11,15 26:8 68:8 94:8 112:11 226:21 281:7 308:14 376:5 <b>potentially</b> 17:18 25:13,22 34:8 55:20 70:13 222:3 329:19 <b>poverty</b> 52:11 217:5 370:12,17 371:13,22 372:13 <b>powerful</b> 371:2 <b>practice</b> 78:10 120:12,13,19 121:17,19 125:13 135:7 183:15 191:4,5 244:14 266:8,8 267:2 272:6 373:7 374:11 <b>practices</b> 181:10 <b>practitioner</b> 236:6 262:20 288:9 <b>practitioners</b> 11:8
---	---	---	--	---

52:15	<b>presiding</b> 1:12	<b>pre-post</b> 126:14	306:20,20 307:1,7	185:8 188:3 199:8
<b>practitioner's</b>	<b>press</b> 6:9 124:2	127:3,9,11	308:16 309:11,11	234:13,14 238:10
263:6	<b>pressure</b> 17:15	<b>pre-test</b> 127:7	309:13,14,17,18	243:13 252:3,4
<b>pre</b> 139:18 149:4	72:5 127:16	<b>price</b> 231:20 264:9	314:4,7 315:15	253:2 255:14,16
<b>preceding</b> 278:4	291:11	<b>primarily</b> 55:2	319:21 338:5	255:18 256:2,9,19
<b>precipitously</b> 77:13	<b>presumably</b> 77:15	<b>primary</b> 4:4,5	363:19 366:7	261:1,10 262:6
<b>precise</b> 139:13	136:14 166:4	102:15 128:6	369:7	267:5,8 271:13,15
322:13 370:6	168:18 291:2	129:7,10 230:14	<b>problematic</b>	273:5 296:19,22
<b>predict</b> 371:12	329:16 380:10	236:3 240:19	189:15	302:20 304:7
<b>predictable</b> 44:10	383:10	301:9 329:17	<b>problems</b> 4:11	305:1 308:1
44:22	<b>presume</b> 170:2	330:5,9	75:15 99:13	324:15 338:15
<b>predicting</b> 123:4	<b>Presuming</b> 280:6	<b>prime</b> 124:11	120:10,19 121:3	351:19 387:3
<b>predictive</b> 118:9	<b>presumption</b> 246:1	302:18	121:13 122:2,8,18	391:12
119:19 123:16	303:6	<b>principal</b> 238:2	122:19 126:3	<b>processes</b> 33:17
164:5 287:17	<b>presumptively</b>	<b>principle</b> 65:14	133:7 140:16	49:16,17 50:10,19
<b>predicts</b> 123:18	239:17 240:22	<b>prior</b> 36:5 116:1	189:6,7,8,21	112:10 113:7,8
<b>prefer</b> 342:17	244:5	<b>priorities</b> 54:15	193:10 235:10	175:10
363:21	<b>pretty</b> 20:11 36:7	<b>Prioritization</b> 22:1	253:5 273:1	<b>processy</b> 386:16
<b>preference</b> 193:9	59:6 80:15 95:21	<b>prioritize</b> 23:15	274:22 297:4,14	<b>process-y</b> 298:4
193:15	101:3 102:14	<b>priority</b> 160:4	299:21 307:1,2,4	<b>produce</b> 38:19
<b>preferentially</b>	134:16,21 148:20	<b>probable</b> 185:11	307:5 308:19,19	<b>produced</b> 172:16
214:2	154:5 156:2 162:5	<b>probably</b> 13:8 15:2	310:3,10,13,22	172:16
<b>prefers</b> 237:21	175:22 222:19	25:14 30:8 39:21	311:4,5,7,14	<b>produces</b> 225:12
<b>prematurity</b> 108:1	223:19 231:3	94:9 103:19 130:6	313:12 314:10	<b>product</b> 225:5
<b>prep</b> 8:11	235:9 275:6 278:1	172:3 191:9,19	319:16	354:19
<b>Preparing</b> 392:10	278:6 322:7,8	192:17 199:21	<b>procedures</b> 244:8	<b>productive</b> 295:22
<b>prerogative</b> 250:2	339:4 353:5 371:2	213:12 235:15	<b>proceed</b> 88:2	<b>professional</b> 327:3
<b>present</b> 1:13 2:10	371:14 378:10	255:20 268:15	165:18 209:14	329:19 330:7
2:20 63:19 155:12	386:18 389:17	269:11 314:22	351:1	373:17
157:17 185:15	<b>prevalence</b> 65:2,3	321:3 331:3	<b>proceeding</b> 129:21	<b>professions</b> 115:13
269:18 315:20	<b>prevalent</b> 202:11	350:19 362:19	<b>process</b> 7:21 12:10	<b>program</b> 10:13
<b>presentation</b> 73:10	<b>prevent</b> 50:19	367:3 376:14	12:12 13:4 19:16	97:4 128:10 135:9
73:22 186:20	72:20 240:21	387:12	24:4,17 25:4,12	136:15,16 263:18
<b>presentations</b>	<b>preventability</b>	<b>probe</b> 236:13	25:22 27:12 32:14	271:12,21 275:17
56:19	49:10	<b>problem</b> 64:18	32:19 34:16 36:13	<b>programs</b> 37:11
<b>presented</b> 52:20	<b>preventable</b> 17:19	72:22 84:10 101:5	37:16 39:10 40:14	38:19 96:11 97:1
61:16 103:3 143:7	70:13 209:6	103:20 117:6,9	40:18 44:14 45:19	109:15 137:1
182:3 185:9	<b>prevention</b> 4:4	122:5,15 125:14	52:14 79:12 87:7	364:16 386:20
229:22 254:21	127:6 230:15	125:18 140:7	103:18 111:10	<b>Progress</b> 255:4
270:15 276:10	236:4 237:15	183:18 194:3	112:4,17 113:16	<b>project</b> 3:5 5:22
278:15	240:19 384:5	201:10 202:11	129:6,17 141:9	11:15 12:8 13:13
<b>presenting</b> 174:16	<b>preventive</b> 74:4	226:18 231:7	143:3 144:7,12	13:15 17:3 22:17
<b>presently</b> 64:4	<b>previous</b> 63:22	233:15,16,20	148:10 162:5,15	24:7,9,15 25:21
<b>presents</b> 314:6	186:14 268:14	245:12 300:21	163:5 165:16	26:2,5,10 29:1
<b>PRESENT(Cont'...</b>	315:18 341:20	301:17 305:15	169:14 173:11	35:1,18 36:21
2:4	<b>previously</b> 22:4	306:5,6,7,7,8,8,9	174:5,14 176:16	37:22 38:4 39:14
<b>President</b> 38:12	42:22 55:1	306:17,18,18,20	177:11 178:10	43:20 44:7 52:9

55:6 135:19 176:14 <b>projected</b> 46:20 92:9 379:11 <b>projects</b> 20:19 25:19 232:22 <b>prolong</b> 76:15 <b>prolonging</b> 265:5 <b>promised</b> 324:14 <b>promising</b> 23:17 181:9 <b>promote</b> 390:9 <b>promoting</b> 387:20 <b>prone</b> 192:15 <b>pronounce</b> 5:18 <b>propelled</b> 40:9 <b>proper</b> 201:17 344:22 <b>properly</b> 310:4 <b>properties</b> 39:17 107:12 <b>proportion</b> 96:13 96:14,15 142:6 163:8 178:7 194:2 <b>proportionately</b> 266:21 <b>propose</b> 88:6 108:10 194:7 195:22 260:11 <b>proposed</b> 75:11 117:7 138:7 191:22 <b>proposing</b> 100:5 125:19 139:22 141:6,12 240:16 243:14,16 249:8 <b>protected</b> 260:10 <b>proud</b> 210:6 <b>provide</b> 12:6 41:12 55:3 115:4 152:17 153:1 159:14 220:5 221:18 231:13 256:19 267:14 290:22 352:19 376:1 <b>provided</b> 51:14 67:20	<b>provider</b> 15:5,17 16:20 31:2 55:15 69:6 240:6,8 301:9 316:2 333:19 335:6,15 341:9 <b>providers</b> 4:6 33:4 140:2 257:20 286:3 313:3 314:10 325:12 327:17 328:3 332:11 334:6 335:13,21 336:1 336:13 337:11,16 340:17 341:2 342:22 344:9 353:19 360:16 364:18 <b>provides</b> 15:19 371:17 <b>providing</b> 147:14 171:20 <b>province</b> 265:12 <b>provincial</b> 148:14 <b>provision</b> 51:10 <b>proxy</b> 339:13 <b>PSC</b> 117:14 122:6 122:14 124:8 127:8,20 128:9,13 128:20 130:20 132:21 133:2,5 134:2,7 135:4 137:7 141:17 142:19 148:3 <b>PSCs</b> 129:11 <b>psychiatric</b> 189:2 <b>psychiatrists</b> 313:13 <b>psychiatry</b> 188:9 299:5 <b>psychologist</b> 371:11 <b>psychometric</b> 107:11 156:12 <b>psychometrics</b> 157:15 <b>psychosocial</b> 69:19	70:20 115:9 120:9 120:18 121:3,13 122:2,15 127:17 140:16 189:7 <b>psychosomatic</b> 272:9 <b>psychotropic</b> 193:20 <b>PTSD</b> 127:5 <b>public</b> 18:8 20:1 33:4 43:9 112:17 125:9 127:7 147:4 230:4 231:16 236:7,9 268:3 271:2 320:2 324:20,21 393:5,7 <b>publication</b> 349:12 <b>publications</b> 349:10 363:8 <b>publicly</b> 103:6 <b>published</b> 101:16 119:2 147:5 157:14 162:3 167:14 358:6 <b>puddle</b> 366:17 <b>pull</b> 115:3 175:17 175:20 187:22 269:1 353:22 <b>pulled</b> 94:2 233:19 <b>pulling</b> 30:6 <b>pulmonary</b> 83:22 84:10 <b>pure</b> 344:6 <b>purity</b> 162:5 <b>purpose</b> 55:3 61:15 70:1 <b>purposes</b> 85:18 159:17 167:17 238:7 290:3 <b>push</b> 45:2 338:15 <b>pushed</b> 140:14 <b>pushing</b> 367:11 <b>put</b> 5:16 61:12 72:5 73:18 94:4 95:12 95:16 98:8 108:3 109:17 117:11 140:10 144:8,15	154:13 160:5 163:10 175:19 178:13 181:9 183:22 187:14 190:7 198:2 208:9 232:2 238:12 239:3 240:12,22 246:15 249:17 253:20 254:6,12 282:14 284:11 297:10 302:17 305:12 334:10 340:20 361:13 368:10 369:11 373:1,4 384:4 392:5 <b>puts</b> 237:18 265:22 <b>putting</b> 29:13 73:10 124:17 172:12 188:21 238:16 253:22 255:12 257:20 305:10 308:14 <b>P-R-O-C-E-E-D-...</b> 5:1 <b>p.m</b> 228:17,18 229:2 323:15,16 393:14 <b>P4P</b> 20:1	175:1 192:6,11 208:14 290:3 369:10 371:20 <b>quantitative</b> 269:8 <b>quarter</b> 142:20 387:12 <b>quarterly</b> 126:14 233:8 242:3 <b>quarters</b> 128:20 <b>queries</b> 286:20 <b>query</b> 225:13 286:21 289:19 <b>question</b> 16:12 18:20 27:8 34:13 43:17,18 44:20 52:4 61:22 63:16 75:9 81:19 82:1 97:13 99:5 103:2 104:21 110:18 116:11 117:21 119:22 122:21 138:12 140:6 143:16,20 146:18 150:18 162:9,12 164:3 165:8 171:5 171:7 173:3 175:3 175:4 176:21 177:5 182:6,13 194:19,20 196:16 205:6 207:9 208:4 208:9 209:3 210:14,16,21,22 211:2,3,5,19 212:5,18 216:14 221:15 227:3 234:18 235:14 242:15 246:19 248:12 253:18 255:8 267:6 270:1 273:16 275:1 276:3,21 277:6 278:18 279:13 280:3 281:22 284:2 285:19 292:15 296:5 300:1,6 301:4 302:1,7,20 303:1
---	--	--	---	--

303:7 306:12,22	357:10 367:18,19	343:7,14 361:19	143:5 144:22	274:18 278:18
307:8 308:12	379:13 392:18	367:17 372:3	393:2	283:15,21 287:13
309:2,18 311:11	393:3	385:19 389:10	<b>real</b> 25:21 49:20	299:8 312:13
311:16 312:2,10	<b>quick</b> 143:3,11	390:5	64:19 84:5 85:3	315:13 319:20
312:12 313:7	179:12 182:6	<b>rapid</b> 359:16	231:9 252:7	330:15,22 333:5,7
314:22 315:18	194:8 223:18	<b>rarely</b> 266:13	388:12	342:3 347:8 351:3
326:13 334:12,18	383:20	<b>rate</b> 3:8,9,10,12	<b>realistic</b> 258:17	351:7,11 354:8
335:18 337:20	<b>quickly</b> 24:20 38:7	31:4,15 47:7,8,22	<b>reality</b> 372:19	355:15 367:14
338:2,19 340:7,12	38:22 43:14 92:15	48:1 62:16,22	381:16	371:11,14 373:18
340:22 342:6,7	115:2 143:4	64:14 65:5 66:17	<b>realize</b> 323:21,21	379:3 382:6,10
345:22 346:4	152:11 169:20,22	67:17 80:8,11	<b>realizing</b> 44:4	<b>reanalyze</b> 157:2
349:7 350:16	175:11 391:17	94:8,13,22 125:17	111:3	<b>reason</b> 6:9 12:18
358:1,1,9 359:21	<b>quickness</b> 180:1	128:20 141:18	<b>really</b> 6:22 7:19	23:4 84:6 140:13
359:22 362:5	<b>quite</b> 7:5,6 22:5	152:7,8,8 153:8	12:12,14 14:9	160:5 185:11
367:16 369:15	35:17 94:11 95:3	153:21 154:2,6	15:14,19 17:5	214:12 262:18
373:4,12 374:17	109:20 124:11	155:1,5 215:14	24:10,21 26:6	296:17 358:15
377:8 378:3,20,22	143:4 144:21	266:18 284:1	27:21 31:18 34:1	<b>reasonable</b> 72:10
379:3,4 380:10	176:20 212:12	303:22	35:17 39:11 40:6	134:16,16 168:12
384:7,11,15,17	309:8 329:7	<b>rates</b> 107:13 129:3	44:16 45:10,18	266:7 298:19
386:7 390:1	330:19 348:6	296:6 389:12	47:2 49:9 50:20	<b>reasons</b> 53:22
<b>questioning</b> 247:2	350:9 368:11	<b>rating</b> 48:8 333:5	51:11 53:11 56:11	68:22 73:20 83:19
279:22	370:14	<b>ratings</b> 92:10	63:13 81:17 84:1	83:21 174:15
<b>questionnaire</b>	<b>quote</b> 113:5	<b>ratio</b> 371:1 387:2	93:18 94:7 95:14	273:22 278:17
89:14 94:19 115:8		<b>rational</b> 155:17	100:8,15 107:16	287:11 369:17
116:5 207:11,13		161:2 173:14	108:12,21 111:10	<b>reassure</b> 199:7
311:13	<b>R</b>	386:13	111:21 112:4	<b>recall</b> 81:2 319:1
<b>QUESTIONNAI...</b>	<b>R</b> 1:15,19	<b>Rauscher</b> 2:6	116:13 117:8	<b>recap</b> 3:5 12:7
3:13	<b>railroad</b> 317:7	229:19,19	118:6 129:5	<b>recast</b> 143:21
<b>questions</b> 18:11	<b>raise</b> 23:4 37:3	<b>RC</b> 132:22	131:22 133:19	<b>receipt</b> 325:7
26:20 43:5,15,16	196:5 273:8 299:3	<b>reach</b> 19:4	135:5 136:2,20	<b>receive</b> 4:12 12:22
50:6 64:9 93:3	339:3 391:3	<b>reaching</b> 266:19	138:2 143:6 149:5	40:20 96:17
94:11,15 99:8,10	<b>raised</b> 53:21 60:3	<b>reaction</b> 59:10	149:14 154:10	306:14 325:4
122:10 129:22	67:2 68:8 87:13	376:22	158:17 159:4	331:13 357:3
133:14,20 152:5	92:12 154:22	<b>reactions</b> 377:1	160:10 164:12	<b>received</b> 12:18
154:22 155:3,6	378:8	<b>read</b> 22:4,4 92:15	165:18 166:13	20:10 200:11
158:3,12,17	<b>raises</b> 57:11 217:4	118:20 205:5	176:8 177:21	206:10 335:10
165:13 166:19	<b>raising</b> 60:3 79:16	210:5,5 333:12	178:5,5 181:5	357:1
169:21 202:22	216:20	386:1	182:1,13 183:7,17	<b>recess</b> 228:13
204:22 223:20	<b>ran</b> 18:13	<b>readable</b> 124:12	189:22 190:2,5,6	<b>recessed</b> 228:17
231:17 232:11	<b>range</b> 49:19 71:20	<b>readily</b> 101:6	190:12,15 191:4	<b>Recognizing</b> 149:6
234:7 272:12	82:8 158:4 160:14	107:14 231:18	192:4,10,20 194:4	<b>recollect</b> 309:4
280:8 298:9 299:4	203:18 241:3	298:16	201:4,4 208:15	<b>recollection</b> 49:7
303:2 316:1 318:2	297:20	<b>readiness</b> 122:22	212:5,10 220:18	275:11,20 277:16
331:7,16 333:12	<b>ranking</b> 379:16	<b>reading</b> 153:14	223:12 235:10	354:7
336:5 342:15	<b>Rao</b> 1:21 11:9,9	205:9,12,16 310:4	256:10,12 260:4	<b>recommend</b> 4:2
348:16 353:10	49:19 58:13 59:5	314:12 354:3	260:16 261:20	13:2 40:9,10,22
354:6 356:8 357:8	59:8 192:13	<b>ready</b> 8:12 124:11	263:1 265:12	41:2,2,3 42:1

47:10 48:10,12 60:9,12 62:19 66:2,22 76:3 87:2 109:6 168:11 180:6 228:1 294:18,18,19 295:14 321:14 342:11,13 355:8 355:10,11,12,22 358:17 359:4,5,7 360:4 375:22	<b>records</b> 275:18 277:22 278:16 344:20 <b>recrafting</b> 144:19 <b>recreation</b> 386:4 <b>recurring</b> 172:22 <b>redesign</b> 299:3 <b>redesigning</b> 170:3 <b>reduce</b> 71:20 74:5 271:19 <b>reducing</b> 231:5 <b>reemphasize</b> 6:16 <b>referral</b> 125:17 129:2 239:4 299:11,12,15 301:1,7,13,14 302:11,12 303:6 304:4 305:16,18 306:14 308:17 310:5,12 311:14 312:6,7,9 314:5,6 315:3 317:14 319:22	108:16 316:6 <b>reform</b> 21:6,7 71:19 324:4 <b>reframe</b> 32:16 34:4 <b>refreshing</b> 39:3 <b>refuse</b> 306:21 <b>refused</b> 332:2 <b>refuses</b> 314:9 <b>regard</b> 7:20 100:3 103:18,18 162:18 177:20 209:5 245:2 265:9 312:22 <b>regarded</b> 208:1 270:4 <b>regarding</b> 163:10 270:22 391:19 <b>regardless</b> 57:13 223:5,11 233:15 259:18 367:4 <b>region</b> 85:19 <b>regional</b> 126:6 <b>regions</b> 64:18 85:1 281:7 <b>regular</b> 44:22 129:11 225:12 279:17 <b>regularly</b> 244:15 <b>rehydration</b> 69:2 71:13 <b>reimburse</b> 265:2 <b>reimbursing</b> 255:3 <b>reinforce</b> 369:14 <b>reiterated</b> 131:20 <b>rejectable</b> 124:12 <b>rejected</b> 124:6 <b>relate</b> 376:12 <b>related</b> 10:18 24:8 38:14 101:21 162:13 232:11 303:16 390:9 <b>relates</b> 132:1 335:14 368:3 369:21 <b>relating</b> 272:18 <b>relation</b> 130:1 220:11	<b>relationship</b> 248:1 389:4 <b>relative</b> 371:1 <b>relatively</b> 28:15 35:20 54:13 64:15 65:17 119:3 270:6 <b>relevance</b> 158:15 <b>relevant</b> 167:20 377:5 <b>reliability</b> 131:20 131:21 132:10,12 132:17 133:8 250:22 316:13 <b>reliable</b> 235:22 277:11 278:1,6 <b>relief</b> 17:11 <b>rely</b> 16:17 <b>remain</b> 201:1 <b>remaining</b> 24:3 40:17 <b>remains</b> 121:19 <b>remember</b> 156:9 218:18 232:16 270:14 279:20 318:2,6 <b>remind</b> 6:8 33:1 391:18 <b>reminded</b> 271:14 <b>reminds</b> 54:1 <b>remove</b> 28:3 <b>removing</b> 182:19 <b>Reorganization</b> 86:3 <b>repercussions</b> 277:17 <b>replace</b> 28:4 <b>replenish</b> 88:15 <b>report</b> 21:18 26:17 29:21 30:6 39:13 48:6 73:18 101:19 103:7 115:8,17 120:16 178:4 239:20 240:13 253:17 277:7 290:11 293:17 296:6 327:10 328:8 343:20,22	344:7 370:10,22 373:20 379:5 389:7 <b>reported</b> 16:20 66:19 101:12 147:3 280:13 299:20 331:21 332:9 336:19 342:18 358:6 371:8 <b>REPORTER</b> 146:22 <b>reporters</b> 277:11 278:6 <b>reporting</b> 18:9 20:1 21:9 320:1 <b>reports</b> 22:2 30:8 94:22 100:21 110:8 197:17 239:21 327:14,18 328:1 336:11 341:18 343:18 <b>representative</b> 153:12,16 171:6 308:9 <b>represented</b> 157:20 158:5 <b>representing</b> 11:7 <b>requested</b> 48:10 <b>require</b> 325:10 341:1 <b>required</b> 110:11 156:7 325:9 340:15 <b>requirement</b> 19:21 97:2 140:15 <b>requires</b> 97:14 313:4 <b>requiring</b> 42:17 <b>requisite</b> 160:22 <b>research</b> 20:9,21 23:7 135:18 137:7 277:8 278:9 <b>researchers</b> 271:6 <b>residents</b> 375:17 <b>resolution</b> 321:19 <b>resolve</b> 105:2
--	--	--	--	---

<b>resource</b> 285:8	3:7	263:3,10	350:14 351:4,12	<b>round</b> 114:20
<b>resources</b> 22:14	<b>resumed</b> 88:12	<b>richer</b> 213:22	353:4 355:6,7	<b>rounds</b> 124:5
23:12 37:22	323:16	<b>rid</b> 381:6	356:16,19 359:10	<b>routine</b> 121:10
214:19,20	<b>retain</b> 65:19	<b>right</b> 8:16 27:5	360:20 361:7	156:6 158:7
<b>respond</b> 94:15 97:8	<b>retest</b> 144:2	31:22 32:21 44:21	365:8 377:13,20	159:14
195:6 277:6	<b>Reva</b> 2:18 3:6 5:17	47:18 49:3 59:11	378:16 379:4,10	<b>routinely</b> 49:11
359:19 366:2	8:11 15:7 27:2	62:13 64:16 69:22	379:12 382:15	122:3
<b>responded</b> 62:18	29:1 176:12	70:6,17,21 71:22	383:2,7,18 393:8	<b>row</b> 179:17
<b>respondent</b> 275:14	182:15 194:9	72:8 75:6 85:14	<b>righty</b> 115:6	<b>rubric</b> 152:3
280:6 355:2	256:3 362:16	87:4 89:4,20	<b>rise</b> 19:9	<b>rules</b> 35:7 50:15
<b>respondents</b>	<b>Reva's</b> 22:19	98:14 102:8	<b>rises</b> 271:17	<b>run</b> 66:7 171:19
326:20	<b>reverse</b> 303:16	105:18 106:13,18	<b>risk</b> 42:17 65:14	265:20,21 274:6
<b>respondent's</b>	<b>review</b> 3:14,17,18	107:3,4,8 109:21	160:16 168:14	301:17
302:22	26:1 39:3 41:21	110:9 114:20	201:7 206:18	<b>running</b> 233:2
<b>responding</b> 373:12	43:11 134:4 141:9	116:18 118:16	209:5 211:17,19	
<b>response</b> 80:9 94:8	156:13 161:9	120:5 124:19	216:6 236:20,22	<b>S</b>
94:9,22 107:12	185:3 230:8	125:5 131:7	237:7,7 239:18	<b>safe</b> 4:17 268:15
152:7,8 153:21	261:20 307:11	137:15,18 143:5	241:4 244:18	365:11,17,20
154:2,6 155:1,5	391:15	158:3 164:8	245:14 249:3	366:1,5,14 367:20
166:6 285:18	<b>reviewed</b> 48:9	165:20 167:7	259:11,13 265:4	368:4,5,19 369:12
300:9 371:20	62:18 67:20 159:7	168:19 170:4	280:11,15 316:13	370:10,18,19
<b>responses</b> 41:19	161:7 180:10	174:11,17 180:6	340:21 348:5	372:1,1 373:1
47:4 48:5 155:2	186:17 230:16	183:4 185:10,18	371:1 376:9	375:5,14 379:6,7
334:13 338:9	<b>reviewers</b> 91:15	186:12 191:5	<b>risks</b> 160:15	379:9,14 381:8
341:20 364:19	92:10 93:10	192:9 197:1,15	<b>RN</b> 2:6,21	384:1,11,13,14,17
<b>responsibilities</b>	114:19 115:4	198:6,10 203:12	<b>robust</b> 8:7 15:19	384:18 388:1,9
18:3	119:9 120:1	204:16,19 209:11	378:10	<b>safer</b> 382:9
<b>responsibility</b>	211:14 231:8	211:1 214:16	<b>Rochester</b> 10:1	<b>safety</b> 10:10,13
36:22	235:12	215:21 216:11,17	251:6	365:12,18 366:11
<b>responsible</b> 33:9	<b>reviewing</b> 46:2	217:11 219:7	<b>role</b> 124:17 237:14	366:19,20 367:2,4
<b>rest</b> 32:17 39:15	49:15 92:5,13	220:7,13 225:3,19	240:19	370:1 371:17
111:16 220:20	93:11 134:1	225:22 227:14	<b>rolled</b> 55:14,16,17	372:5,12 380:17
323:10 367:13	197:17 261:11	228:8 229:3	57:4,7 61:13,17	386:17
<b>restrictions</b> 315:6	392:11	230:11 235:2,6	70:19 73:3	<b>sake</b> 31:7
<b>restroom</b> 8:18	<b>reviews</b> 156:14	237:20 245:5	<b>rolling</b> 44:10	<b>saliva</b> 237:17,21
<b>restrooms</b> 8:15	<b>revise</b> 28:4 342:11	255:5 267:22	<b>rolls</b> 270:5	<b>sample</b> 119:12
<b>resubmitted</b> 143:2	<b>revised</b> 9:6 146:1	272:20 281:15	<b>Romano's</b> 81:18	134:14 150:4
<b>result</b> 103:4 110:7	<b>revision</b> 355:22	282:1 283:7	<b>room</b> 14:7 26:19	154:14 188:7
139:4 267:18	<b>revisit</b> 43:6 255:15	286:20,21 289:14	32:9 33:8 37:4	<b>samples</b> 127:12
<b>resulted</b> 156:5	<b>revisiting</b> 44:2	290:19 292:19	68:17 82:9 228:11	153:12
<b>results</b> 16:22 46:11	255:16	294:16,17 300:12	231:14 256:15	<b>sampling</b> 152:19
47:3 51:20 101:9	<b>rework</b> 144:20	304:18 305:14	261:8 319:17	153:9 154:22
101:12,15 176:22	<b>reworking</b> 145:5	307:6,7 321:1	373:5	164:12
200:9 211:22	259:21	322:5 323:3	<b>Rosie</b> 147:11	<b>sat</b> 29:10
214:4 349:10	<b>re-test</b> 131:20	332:17 334:16	<b>rotavirus</b> 74:6	<b>satisfaction</b> 328:1
373:6	<b>re-vote</b> 47:12 50:1	339:14 340:4,12	76:17,22 77:11,20	333:8 336:11
<b>RESULTS/DISC...</b>	<b>rich</b> 173:2 250:3	343:14 345:10,20	<b>rotting</b> 259:1	341:19 342:4,19

342:21 343:20,22	<b>scheduled</b> 43:8	280:10 282:3	157:10,11,18,21	137:2 139:13
344:2,7 346:14,19	<b>scheduling</b> 391:22	297:13 316:11,20	158:12 161:16	144:18 152:21
348:21 360:15	<b>schematic</b> 392:14	339:15 347:14	281:19	153:2 154:9
361:19	<b>scholastic</b> 288:12	351:3 352:2,6	<b>screening</b> 36:5	159:11 166:18
<b>satisfactorily</b> 30:2	<b>school</b> 4:8 17:9	377:21 378:17	117:5,13 125:1	167:17 170:9
<b>satisfactory</b> 134:19	53:5 122:22 149:8	379:19,21	129:2,8 135:12	171:15 172:2
<b>satisfied</b> 96:16	175:14 207:19,20	<b>scientifically</b> 3:22	140:20 159:21	173:6 178:19
328:10 331:22	207:22 230:3	208:13 211:13	<b>screens</b> 119:10,15	181:5 183:19
332:10 333:6	269:6,8 270:2,8	338:22	122:14 135:16	187:1 188:1 192:5
334:3,3,6,7 335:6	270:15 271:20	<b>scope</b> 39:13 52:8,9	<b>screwed</b> 102:20	206:21 211:16
335:17,17 336:14	272:4,13,18	200:14 256:10	<b>scripted</b> 230:12	215:19 222:22
336:18 337:10,15	273:21 274:14	304:21 367:7,15	<b>scroll</b> 131:15 207:4	227:21 233:19,21
341:21 342:2,8	275:18,18,20,22	369:16 376:19	207:4	240:10 247:22
343:2,8 345:11	276:4,5,7,11	377:12 378:1,2,11	<b>se</b> 158:12	251:19 256:11
<b>saw</b> 110:1 114:16	277:7,22 278:16	378:13,16 386:12	<b>season</b> 278:5 280:1	257:3,19 258:19
125:10 131:4	278:19 279:3	390:14,18,19,20	284:20 285:14	260:14 262:2
180:11 235:20	281:5 283:16	391:3,5	<b>seasons</b> 278:4	281:21 283:10
345:4	284:1,14 287:2	<b>score</b> 124:8,9	<b>seat</b> 116:17	291:11 294:8
<b>saying</b> 30:4 34:11	289:13 296:5	134:21 138:19	<b>Seattle</b> 10:5 214:22	306:14 310:13
53:18 57:18 76:7	314:19 335:16,16	142:9,19 153:22	<b>sec</b> 385:22	316:20 327:4
79:17 98:2 101:5	336:1 340:15,16	154:1 178:12	<b>second</b> 17:17 18:10	330:6 339:16
128:15 196:13	341:3,9 384:19	<b>scored</b> 298:10	19:20 23:1 26:10	342:20 346:6
234:16 245:15	<b>schooled</b> 275:5	306:9 311:3	32:17,17 107:15	348:4 350:5,13
258:5 259:4 264:3	<b>schools</b> 18:4,6	<b>scores</b> 100:18	114:17 190:1	352:4 354:13,15
265:8 286:5	127:7 285:1	120:14 123:3,13	195:1 236:20	354:17 357:19
305:15 336:22	296:15 340:7	123:15 125:11	294:3 323:5	361:21 363:1
345:10 346:13	344:3,9 372:21	127:20 128:10,13	331:17 335:14	364:9,18 365:7
358:19 368:16	<b>Schwalenstocker</b>	132:4 136:10	<b>secondarily</b> 175:9	368:2,11 372:20
<b>says</b> 20:20 31:19	1:22 5:10 38:10	138:1 142:16	<b>secondary</b> 84:9	374:8 379:2 381:1
78:9 111:14	38:11 68:5 76:2	143:1 230:17	<b>seconds</b> 236:21	381:5 391:3
118:12 121:13	80:19,22 81:4	280:17	<b>secretary</b> 21:10,10	<b>seeing</b> 80:18 82:11
136:12,18 147:3	87:1 91:17 93:14	<b>scoring</b> 152:20	22:1 29:20 290:9	120:14 145:8
163:8 192:18	95:19 96:2 103:21	193:16	<b>section</b> 20:20 22:3	178:17 244:6,14
203:2 210:8,22	148:22 187:21	<b>Scott</b> 2:7 293:2	26:17 73:18 130:1	245:13 256:14
211:17 233:8	188:8 198:1,14,16	<b>screaming</b> 382:6	211:1 251:20	261:11 313:20
244:15 255:20	298:1 339:7	<b>screen</b> 92:9 117:22	283:8 379:4	350:9 358:22
290:9 301:12	<b>science</b> 235:9	118:8 123:6,17	<b>sectional</b> 164:11	361:17 363:11
314:5 315:14	<b>scientific</b> 3:16	133:6 134:17	<b>sector</b> 349:5	<b>seek</b> 122:8
340:8 343:17	39:16 48:7 118:18	140:12,16 141:1	<b>sectors</b> 18:2 353:21	<b>seemingly</b> 19:21
356:22 358:1	131:15 208:16	147:22 148:2,10	<b>see</b> 6:13 29:15	<b>seen</b> 39:1 69:15
368:19 379:3	209:1 211:15	168:17 170:14	30:19 33:11 46:15	94:10 119:7
385:20	217:3,7,12 218:10	187:20 188:1	47:1 51:19 59:19	156:22 180:18
<b>scale</b> 123:9 126:9	218:11,22 219:3	208:9 311:19	62:7,9 64:9 65:21	237:19,19
149:21 369:2	220:18 221:2	<b>screened</b> 34:22	87:12,12 98:5	<b>Sege</b> 384:6
<b>scenes</b> 344:14	235:6,15 241:13	163:8	99:2 115:6 120:1	<b>segue</b> 23:20 34:1
<b>schedule</b> 32:7	250:15,21 273:1	<b>screeener</b> 108:21	125:16 127:19	46:2 230:7
247:1 248:7	273:13 275:3	155:12 156:8,21	128:12 129:3,15	<b>selected</b> 28:10 33:2

148:3	<b>services</b> 4:15 17:18	<b>sheets</b> 127:14,15,17	<b>signs</b> 16:22	<b>skips</b> 336:5
<b>sell</b> 231:15	96:17 158:8	<b>shelf</b> 231:20	<b>similar</b> 84:16 101:3	<b>sleep</b> 113:3
<b>semester</b> 276:15,16	200:10 205:8	<b>shift</b> 137:21	133:2 212:8	<b>slide</b> 14:9 17:17
<b>send</b> 124:6 392:13	206:11 306:1,15	<b>shining</b> 229:7	325:21 354:5	23:20
<b>sends</b> 231:14	325:5,8,10 327:1	<b>shocked</b> 317:15	365:20 385:8	<b>slides</b> 36:6
<b>Senior</b> 11:18	327:12,18 329:12	<b>Shockingly</b> 291:10	<b>similarly</b> 101:17	<b>slightly</b> 54:19
<b>sense</b> 6:16 16:2,9	329:17,20,22	<b>shooting</b> 366:13	<b>simple</b> 170:4	128:21 132:17
18:16 19:15 33:21	330:1,3 331:9,21	<b>shootings</b> 368:7	262:21 263:5	329:8 347:9,11
37:17 49:13 55:13	333:19 340:9,9,12	<b>short</b> 62:21 106:20	270:7	370:1
61:20 99:3 117:7	386:20	154:18 159:1,4,9	<b>simpler</b> 326:18	<b>slippery</b> 68:12
129:1 180:9 185:9	<b>serving</b> 27:2	323:8 385:1	329:8 342:5	<b>slope</b> 68:13
186:22 211:4	<b>SES</b> 52:13 370:7	<b>shortage</b> 315:4	<b>simplest</b> 171:18	<b>slot</b> 83:1
217:14 319:16	<b>session</b> 104:13	<b>shortly</b> 114:13	<b>simplified</b> 345:16	<b>small</b> 42:4 75:16
331:20 362:17,18	380:18	<b>short-term</b> 47:8	<b>simplify</b> 16:7	83:8 127:12 188:6
370:4 371:9	<b>set</b> 6:21 7:3 8:1,6	62:16,22 69:2	<b>simply</b> 130:14	245:6,8,12 306:17
374:16,19 386:22	13:7,10 23:1 24:1	<b>show</b> 122:19	180:13 233:12	306:20,22 309:14
390:7	24:3,12,19 27:9	123:17 128:18	342:18	309:15 311:13,20
<b>sensitive</b> 49:12	27:10,13 28:6,7	164:15 225:20	<b>simultaneously</b>	389:19
55:6 94:11	29:2 34:19 35:8	338:8 389:19	24:10	<b>smaller</b> 91:22
<b>sensitivities</b> 118:10	42:6 80:7 89:13	<b>showing</b> 167:15	<b>single</b> 107:18	126:9 184:10
<b>sensitivity</b> 122:11	141:10 155:14,18	<b>shown</b> 31:15 56:21	110:10,14 136:5	188:10
133:3	158:16 185:4	85:7	157:8 160:8 177:4	<b>smoothly</b> 315:9
<b>sent</b> 9:5 10:16	211:12 213:22	<b>shows</b> 62:10 77:9	269:5 324:3	<b>social</b> 17:12,19
76:16	223:19 228:10,11	119:15 239:22	378:22	70:8 279:7 368:13
<b>sentence</b> 328:11	255:7	240:2,5	<b>singles</b> 111:4	<b>socially</b> 274:2
<b>separate</b> 63:14	<b>sets</b> 63:21 391:15	<b>siblings</b> 237:1	<b>sink</b> 237:20	<b>socioeconomic</b>
73:18 76:21 77:4	<b>setting</b> 45:16	<b>sick</b> 274:10,11,12	<b>sir</b> 248:20	263:15
91:17 99:16 101:6	290:10 381:10	278:6	<b>sit</b> 90:11 241:10	<b>software</b> 61:9
110:8 158:22	<b>settings</b> 14:19	<b>sickest</b> 213:9	368:8	<b>solely</b> 14:6 366:9
165:3 186:4 187:4	255:21	<b>sickle-cell</b> 215:14	<b>site</b> 170:3 232:16	<b>solicitation</b> 112:10
344:18 360:12	<b>settlement</b> 147:10	<b>side</b> 235:16 263:17	<b>sites</b> 137:2 338:6	<b>solutions</b> 71:15
361:18 364:8,9	<b>seven</b> 219:19	264:1 265:18	<b>sitting</b> 24:21 28:19	<b>solve</b> 75:4 344:10
378:3	224:18 282:9	299:17 363:14,17	187:20 216:18	<b>solved</b> 363:18
<b>separating</b> 99:15	<b>severe</b> 202:2	<b>sides</b> 82:11	230:11 286:4	<b>somebody</b> 81:1
<b>September</b> 25:14	<b>severity</b> 58:17	<b>sidestepping</b>	<b>situation</b> 21:4	82:14 95:4 102:6
<b>sequential</b> 279:22	157:12 200:20	119:17	258:2	234:4 263:2
<b>series</b> 97:6 279:2	207:10 212:20	<b>sidewalk</b> 387:5	<b>six</b> 67:21 86:20	279:16 313:10
331:6 332:13	<b>sexy</b> 136:4	<b>sidewalks</b> 385:19	112:16 127:22	344:20 373:20
348:11	<b>shaking</b> 256:15	385:22 386:2	219:18 224:18	<b>someone's</b> 31:15
<b>serious</b> 189:9 281:8	<b>share</b> 18:2 29:17	387:7,15,16	240:3 254:3,6	<b>somewhat</b> 184:11
<b>serve</b> 30:14 153:3	123:22 156:19	388:14 389:3	258:9 261:12	212:19 226:15
391:20	158:5 320:2	<b>significant</b> 120:10	266:15 276:4	334:3,3,7,7 360:6
<b>served</b> 105:21	339:21 365:2,6	149:4 181:19	282:9 302:16	<b>soon</b> 134:2 365:7
<b>serves</b> 14:13	<b>shared</b> 296:15	215:14 231:6	322:22 357:20	392:1,6
<b>service</b> 108:6,7	<b>Sharon</b> 376:2	<b>significantly</b> 62:11	371:1,1,22	<b>sorry</b> 81:22 86:12
156:6 332:8	<b>Sharon's</b> 286:6	303:21	<b>size</b> 150:4 188:7	89:9,10 90:7
360:15	<b>Sharron</b> 1:15 11:5	<b>signify</b> 79:16	<b>skew</b> 214:3	130:5 133:13



147:2 151:5	338:17 348:15	158:2 161:19	<b>spot</b> 8:22	156:9 189:20
170:13 198:2	385:6 386:16	164:1 175:7 259:7	<b>springs</b> 215:22	238:10 266:15
206:19 213:14,15	<b>source</b> 183:12	267:13 284:5	<b>spurring</b> 68:21	323:18,19 325:14
234:6 267:7 269:1	276:1	285:12 316:4	<b>square</b> 326:2	<b>starting</b> 6:20 82:18
280:21 293:1	<b>sources</b> 16:19	341:1	<b>squat</b> 315:11	91:14 110:19
304:19 309:22	225:6	<b>specifically</b> 21:7	<b>squishy</b> 36:7	142:22 233:9
310:20 319:1	<b>South</b> 366:11	40:8 93:1 211:17	<b>stab</b> 93:10	254:2 258:9,21
328:21 359:20	<b>Southeast</b> 257:16	217:8 278:3	<b>stable</b> 64:15 65:12	392:20 393:1
363:14 383:6	<b>southern</b> 313:14	284:11 289:2	65:18	<b>starts</b> 137:21
385:21 388:20	<b>space</b> 52:20 258:12	302:1 353:14	<b>staff</b> 2:10 5:17	<b>state</b> 28:18 31:1
<b>sort</b> 9:2 12:16	<b>speak</b> 256:17	<b>specification</b>	34:22 100:13	85:19,22 92:20
23:19 24:21 31:12	273:15	139:19 140:1	114:3,8 175:16	96:10,11 119:6,13
40:3 44:6,10,18	<b>speaking</b> 6:11	142:3 168:13	178:20,21 186:19	125:15 135:9
51:21 53:6,9 57:8	117:19 163:16	322:1	187:3 256:16	136:16,17 147:13
73:11 74:19 82:4	216:18 319:10	<b>specifications</b>	259:22 267:12	149:13 153:12,17
93:15,16 94:3	<b>special</b> 37:6 90:12	39:18 41:10	<b>staffing</b> 38:3	159:17 164:10
102:10,20 108:5,5	91:20 92:18 93:2	131:19 139:12	<b>staggering</b> 114:9	171:7 215:7,13
109:1,18 113:21	98:5 105:21 106:6	142:11 143:8,12	<b>stairs</b> 369:7	228:2 233:1,2
114:9 122:15	106:12,22 108:19	146:2,15 151:2	<b>stand</b> 90:10 287:12	240:14 245:1
129:18 132:1	109:14 112:15,18	175:18,20 181:13	293:13	249:12 251:7,11
137:21 146:6	112:19 152:1	194:17 205:5	<b>standard</b> 102:11	251:12,13 253:19
155:4,6 158:12	153:8 155:15	206:17,21 225:6	133:4 136:20	253:19 260:3
179:3,9,10 180:16	156:2 171:9 200:8	250:17 251:17	142:20 260:3,6	262:8,9 287:2
180:21 181:17	201:18 202:1	255:19 261:16	262:19 264:20	290:3,12 296:6
188:22 189:5,15	203:3 205:13	316:12 347:19	265:16 266:7	356:12,13,21
193:7 210:14	206:1,3 214:1	<b>specificity</b> 118:10	267:8 338:13	373:11
212:20 220:19,20	222:12 274:20	122:12 133:3	362:14 368:19	<b>stated</b> 138:14
222:13 241:17	275:9 277:9	<b>specifics</b> 107:16	<b>standardized</b> 123:3	<b>statement</b> 73:11
245:5 256:9 275:6	278:11 281:5,13	<b>specified</b> 56:21	123:12 124:9	78:8 232:15,17,19
276:16 279:9	286:15 288:21	66:20 76:5 148:20	138:1 140:15	310:8,9 311:1
311:19 312:18,20	289:5 293:18	178:4 182:1,3	<b>standards</b> 1:3	327:14 328:1,14
312:21 319:15	299:21 300:15,20	250:7,14 251:5	12:22 121:3 133:9	343:12 345:17,18
329:20 338:15	329:18 347:5	256:11 275:7	258:3 259:18	<b>statements</b> 332:14
346:14 350:6,22	361:5,10 380:9	347:18 378:22	<b>stand-alone</b> 287:19	332:20 348:12
357:19 367:8,10	<b>specialist</b> 313:21	<b>specify</b> 189:11	288:3	<b>states</b> 28:15 97:7
369:8 375:17	315:10,10,11	<b>specs</b> 202:21 207:4	<b>Starfield's</b> 122:1	126:4 155:20
387:21 392:14	327:3,5 330:7	<b>spectrum</b> 263:16	<b>start</b> 12:8 25:8,10	159:19 203:19,21
<b>sorts</b> 16:21	344:22 345:4,12	<b>speech</b> 341:13	25:10 45:18 57:12	213:21 214:3
<b>sought</b> 122:6	346:2	<b>speed</b> 28:11 120:4	59:21 79:14 83:20	232:2 233:2
<b>soul</b> 21:1	<b>specialists</b> 128:7	<b>spend</b> 8:12 94:16	119:18 185:18	248:22 254:20
<b>sound</b> 20:11 166:13	<b>specific</b> 18:20,21	172:12 176:8	191:20 253:22	255:1,3,6 260:7
<b>sounded</b> 386:8	26:16 41:5 42:9	177:12 180:18	258:14 262:4	262:4 263:18
<b>sounds</b> 31:17 32:2	48:13 56:4 58:16	207:19 372:17	269:11 325:19	266:5,8 281:6
53:12 61:18	61:2 65:22 75:9	<b>splashed</b> 366:17	326:17 334:10	<b>static</b> 369:19
129:21 145:2	78:15 95:8 98:21	<b>split</b> 359:11 379:12	393:2	<b>statistical</b> 287:16
185:2 217:13	100:21 109:17	<b>spoken</b> 126:12	<b>started</b> 91:8,11	<b>Statistics</b> 169:12
268:20 315:19	132:22 152:2	<b>sponsored</b> 169:6	133:18 151:20	330:20

<b>status</b> 14:18 72:17 237:1,2 298:17 305:7 385:16	106:11 <b>stratify</b> 60:19 157:10 161:1 275:9 281:20	301:19,22 302:3 303:9 309:1 310:20 311:15 312:15 313:16 314:13 318:22 328:16 329:1 330:2 332:16,21 334:21 335:9 336:16 339:22 340:11,22 341:15 346:11 349:14,18 357:14,18 358:4 359:20 360:9 361:2,8 365:4 380:12	315:5 <b>substantial</b> 113:18 <b>suburb</b> 366:13 <b>suburbs</b> 387:15 <b>sub-categories</b> 220:9 <b>sub-criteria</b> 92:11 115:5 <b>sub-groups</b> 159:18 <b>sub-state</b> 159:17,18 <b>succumb</b> 291:11 <b>sued</b> 147:14 <b>sufficient</b> 143:7 172:11 173:7 218:11 351:13 363:9 <b>sufficiently</b> 130:21 155:2 196:2,4 209:13 234:16 338:20 339:3 347:22 377:16,20 <b>sugars</b> 238:3,6 <b>suggest</b> 59:17 63:7 112:1 144:8 146:4 193:1 377:5 <b>suggested</b> 16:17 62:2 278:19 319:18 <b>suggesting</b> 185:18 342:17 345:9,15 <b>suggestion</b> 31:18 32:3 59:16 114:14 305:11 <b>Suite</b> 1:10 <b>summarized</b> 167:13 <b>summary</b> 46:8 101:9,15 103:4,12 103:15 125:22 171:14 177:3 187:14 205:6 <b>summed</b> 110:14 <b>sun</b> 229:6 <b>Super</b> 340:1 <b>supplement</b> 318:16 <b>support</b> 136:8 139:16 202:4	<b>supporting</b> 180:13 <b>suppose</b> 373:4 <b>supposed</b> 33:15 210:22 289:7 <b>sure</b> 6:7 9:10 26:16 32:6 48:22 50:14 74:12 104:3,3 110:17 116:19 133:15 138:17 140:18 148:21 159:2 161:7,10 166:8,21 173:15 176:7 178:15 181:3 195:13 212:13 216:1 222:22 224:2 253:11 256:3,16 256:17,20 258:14 260:8 276:21 281:21 285:21 292:20 295:20 305:14 313:6 316:16,17 324:12 328:11,16 348:20 350:13 353:5 358:10 367:4 386:11 389:6,9 <b>Surgeon</b> 234:9 <b>surgery</b> 382:5 <b>surprise</b> 203:7 <b>surprised</b> 120:22 137:2 <b>survey</b> 4:7 15:3 62:19 89:14 90:12 91:19,22 92:1,8 92:17,19 94:6 95:3,6,9,12,13 96:21 99:7,21 100:4,6,10,13,14 100:16 101:10 102:13 104:15 106:6,7,9 107:1,7 113:17 115:19 116:5 133:19 138:15 139:5,7 151:22 152:4,6 153:7,9,10 155:4
<b>stay</b> 32:6 66:21 368:8 <b>Steering</b> 1:6,10 5:5 24:16 27:3 47:14 116:20 218:1 <b>Stein</b> 126:22 <b>step</b> 114:16 176:10 179:15 188:22 <b>Stepping</b> 73:7 <b>steps</b> 26:12 108:11 149:12 150:15 188:22 191:19 193:14 <b>steward</b> 10:12 41:15 42:20 59:15 59:17 90:15 113:18 173:13 187:3 229:21 295:14 308:9 328:18,19 346:5 380:11 <b>stewarded</b> 10:19 <b>stewards</b> 16:17 57:8 60:21 <b>stewardship</b> 57:2 73:2 <b>stick</b> 40:6 174:9 234:13,14 <b>stipulate</b> 221:3 321:18 <b>stop</b> 383:21 384:10 <b>stores</b> 381:14 <b>straight</b> 279:10 374:6 <b>straightforward</b> 296:3 322:8 348:8 378:19 <b>strategic</b> 92:21 <b>strategies</b> 374:1 <b>strategy</b> 297:1 <b>stratification</b> 61:3 61:7 62:7 168:5 221:2,21 <b>stratified</b> 61:14,16	<b>stream</b> 24:14 45:1 <b>street</b> 1:11 366:15 <b>strengthening</b> 29:2 <b>strep</b> 237:22 238:2 <b>stress</b> 369:19 370:1 <b>stressor</b> 368:4 <b>stretch</b> 179:10 <b>stretching</b> 198:11 <b>strict</b> 19:21 <b>strikes</b> 30:16 286:2 <b>striking</b> 201:16 <b>strong</b> 36:1 122:13 150:22 226:2 323:3 371:14 389:17 <b>struck</b> 319:14 <b>structural</b> 34:16 162:15 173:11 387:1,18 390:21 <b>structure</b> 45:19 103:18 386:16 387:3 <b>structured</b> 97:5 308:13 391:11 <b>structures</b> 33:18 <b>struggle</b> 197:9 <b>struggled</b> 188:15 366:22 367:6 <b>struggling</b> 50:22 208:12 376:17 <b>stuck</b> 81:10 291:14 <b>studies</b> 127:3,11 132:14 136:21 165:3,5 279:2 389:15,19 <b>study</b> 119:2 121:20 126:2,5 132:20 <b>stuff</b> 109:11 123:3 196:10 260:16 288:22 339:2 387:21 <b>Stumbo</b> 2:7 293:1,2	<b>style</b> 153:9 <b>subdivide</b> 283:15 <b>subdivided</b> 240:4 <b>subgroups</b> 93:22 216:9 <b>subjective</b> 226:17 <b>submission</b> 19:11 20:4 <b>submit</b> 99:2,6 124:1 158:21 <b>submitted</b> 10:11 16:10 19:5 92:3 104:18,22 105:4,6 111:5 120:16 138:13 158:22 161:6 176:22 182:16 183:2 184:5 185:1,22 186:3 199:20 203:1 380:21 <b>submitting</b> 19:17 <b>subpopulations</b> 221:11 <b>subscales</b> 122:19 133:2 <b>subsequent</b> 28:2 66:5 377:17 <b>subsequently</b> 162:3 <b>subset</b> 29:6 163:17 <b>subsets</b> 220:1,16 371:5,7 <b>subspecialists</b>		

155:7 158:14	125:22 126:16	269:1	<b>teens</b> 59:7	328:8 350:6 362:6
160:12,18 161:17	164:16 167:22	<b>talk</b> 12:14 32:11	<b>teeth</b> 233:9 236:12	369:15 371:4
161:18 164:9,11	174:2 177:19	48:14 104:1	259:2	373:13 386:7
165:4 168:11,17	178:8 216:16	117:12 118:9,17	<b>telephone</b> 1:19,24	389:3 392:7
168:19 169:4,4	298:15,17,19,21	120:3,8 126:21	1:25,25 2:7	<b>terrific</b> 8:9 151:2
172:15 173:1	299:3 305:6,8	131:13 149:12	<b>telephones</b> 153:19	323:13
174:8,8,9,16	313:4,9,11,22	155:10 209:1	<b>tell</b> 60:14 66:9	<b>terrorism</b> 376:20
175:2,21 184:6	314:7 316:3	217:21 230:19	100:22 126:19	<b>test</b> 117:5 123:3,13
225:10,12 268:12	319:20 343:3	262:14 288:16	158:3 236:16	123:13,18 124:9
269:5,21,22 270:2	<b>systems</b> 202:13	304:1 309:10	241:17 259:7	131:20 133:6
279:16 280:5,7	266:9	345:5 353:2	264:4 271:9	138:1 144:2
281:4,11,19	<b>S-E-S-S-I-O-N</b>	369:20	287:21 289:2	255:20
292:12,13,16	229:1	<b>talked</b> 54:16,18	313:12 382:2	<b>tested</b> 162:2 355:19
293:7,10 295:12		76:16 120:22	387:9	355:20
295:16 301:2,4	<b>T</b>	172:7 196:11	<b>telling</b> 237:13	<b>testing</b> 42:21 83:22
303:17 312:19	<b>table</b> 3:14,17,18	211:10 252:6	239:7 287:13	156:13 161:20
317:18 318:1	8:17 15:22 23:17	296:10 337:1	288:20	355:14 359:9
319:3 321:4 325:1	25:1 28:19 37:18	360:18	<b>tells</b> 271:5 288:4	<b>tests</b> 123:2 133:4
326:1,7,20 329:21	113:21 146:9,13	<b>talking</b> 9:12 37:4	<b>ten</b> 86:20,20 93:6	134:17 135:12
338:9,10 350:5	150:22 186:15,15	52:17 71:16 78:14	219:19 224:18	169:9
354:1,21,21	187:9 193:14	78:19 138:9 174:1	225:22 227:7	<b>Texas</b> 9:21 135:10
360:22 361:5	230:11 256:5	182:8 189:20	320:15 380:1	266:12 313:14
362:11,12 364:19	260:13 268:16	191:17 192:4	<b>tenable</b> 161:9	<b>thank</b> 8:10,13 12:3
367:13 377:9	269:2 277:2 286:4	204:2 216:6,8	<b>tend</b> 309:15,21	32:3 38:21 60:6
379:1 380:13	351:16	261:12,13 302:14	<b>tended</b> 57:3	66:14 79:22 88:14
383:8,9	<b>tabled</b> 186:10	305:16 313:15	<b>tends</b> 79:7	93:13 121:18
<b>surveyed</b> 360:19	301:4 391:8 392:4	351:3 360:13	<b>tens</b> 119:7 123:9	148:8,12 151:2
<b>surveys</b> 94:10	<b>tabling</b> 361:22	370:9 381:20	<b>tentative</b> 25:8	210:1 224:11
162:1 184:11	<b>tag</b> 22:19 73:10	390:8	43:13	229:17 230:7
329:4	<b>take</b> 12:19 20:5	<b>talks</b> 124:7	<b>tenths</b> 174:11	267:17 273:11
<b>survival</b> 311:9	36:18 47:1 53:14	<b>target</b> 35:13 191:7	<b>term</b> 116:19 121:12	280:22 301:22
<b>susceptible</b> 225:7	53:17 88:2,8	<b>targeted</b> 315:22	174:1 273:17	308:6 323:13
<b>suspect</b> 107:13	93:10 97:19 99:15	<b>targets</b> 78:19	331:19 346:9	365:7 392:22
<b>Suzanne</b> 2:16 5:18	104:6,12 143:3	<b>task</b> 165:8,20	392:12	393:11,12
11:15 38:3	160:8,18,20	<b>teaching</b> 37:20	<b>terms</b> 18:8 36:4	<b>Thanks</b> 34:11
<b>Suzie's</b> 210:11	187:15 188:2	<b>team</b> 115:22 137:7	39:20 40:4 50:9	225:22 230:1
<b>switch</b> 54:7	208:11 223:17	167:7	54:11 64:22 73:22	235:2 321:12
<b>symptom</b> 3:15	228:12 234:2,6	<b>tease</b> 150:5 201:20	99:19 116:9 119:8	324:19
17:10 115:2 134:2	245:4 248:9,15	<b>teased</b> 181:14	119:16 122:18	<b>Theberge</b> 2:16 5:20
<b>symptoms</b> 149:15	250:2 251:7	<b>teasing</b> 108:12	123:2 132:19	5:20,21 11:14,15
150:1,9 272:15	252:10 254:14	<b>technical</b> 7:5,7	149:4 161:15	<b>theoretically</b>
277:16,17,18	257:9 283:14	53:22 54:7 169:5	162:13 168:14	135:20
<b>syndrome</b> 191:21	301:15 314:3	169:16 322:8	169:16 177:22	<b>therapist</b> 135:15
<b>synthesize</b> 93:15	323:7 324:14	329:12 331:18	201:9 209:2	<b>therapists</b> 341:12
179:3 182:4	329:10 334:21	332:5 346:9,18	234:14 253:8	341:13,13,14
<b>system</b> 18:4 85:9	383:3 391:7	389:7	270:3,18 278:2	<b>therapy</b> 83:11
93:6 123:11,12	<b>takes</b> 161:4 232:1	<b>teenagers</b> 259:1,6	292:10 325:20	206:14

<b>thereof</b> 71:13 90:14	324:13 336:3	154:20 155:11	316:17 318:10,11	<b>thought</b> 18:15
<b>thick</b> 167:1	345:8 347:11,20	158:16 159:6	319:3,10 321:17	36:13 60:21 65:2
<b>thing</b> 7:19 9:3 15:9	353:16 363:8	161:6,14 163:5,15	322:7 323:12	77:7 95:3 120:21
21:20 25:18 38:1	368:10 372:5	165:7,8,11 168:3	324:8 325:21	179:8 200:19
40:1 42:2 44:19	373:16 374:4	170:20 172:6,8,22	326:5,12 327:9,13	252:13,13 270:11
54:11 56:22 68:14	375:14,21 376:10	174:22 175:3,11	327:22 328:4,15	275:3,8,13 277:2
70:1,6 73:17 79:6	381:5	176:1,6,20 177:5	328:17,18 329:10	277:2 281:22
80:20 84:3 95:20	<b>think</b> 5:6,9,10 7:1	177:11 179:2,6	330:14 334:22	289:21 328:5,6
104:12 109:14	14:10,14 16:14	180:9,12 181:20	338:11 339:7	332:19 333:9
118:16 170:15	18:15,19,21 19:6	182:8 184:12,21	340:4 341:7 342:4	335:11 342:22
172:22 176:1	19:12 20:7,11,13	185:10 187:2,6	344:14 345:6,9,22	343:7 356:16
184:4 187:22	21:3,16 23:16	188:12,20 189:4	346:3 347:12	367:15 381:10
192:10 214:7	26:7,18 27:1	189:18 190:14,16	348:2,4,10 349:3	<b>thoughts</b> 47:12
216:4 221:8	29:12,18 30:7,13	190:21 191:7,7,14	350:21 351:4	298:6 323:6
239:10 250:16	31:5 32:7 33:12	191:19 192:2,14	354:4,22 356:16	<b>thousands</b> 119:7
252:5 254:18	34:9,12 35:10	193:6,13,18	360:17 363:2,13	123:10
264:8,20 275:6	45:6 49:13,19,21	194:10 198:5,5,12	366:13 367:1,22	<b>threaten</b> 213:7
276:13 277:21	51:2 52:1,6 53:1,2	198:22 199:4,18	369:14,15,16	<b>three</b> 13:15 18:17
293:14 305:14	53:4,20 55:7,12	202:10 203:16	370:3 372:4,14,22	27:6 42:9 48:2
333:1 334:8	56:10,18 58:10,14	205:18,21 207:9	373:15 374:3	67:7 86:10,19
339:18 346:7	58:22 59:5,12	207:12 208:4	375:2 376:4,11	122:1 155:20
348:21 368:5,22	60:15 61:19 67:5	209:8,13 211:19	377:10 378:7,10	190:5 219:12,17
372:12,16 375:19	68:20 69:4,9	212:1,9 213:13,20	381:1,3,7 382:10	219:18 224:17
376:16 379:3	71:15,19 74:11	215:10 222:5	382:11 387:4,8	227:10 232:21
381:18 382:10	75:7,14 77:2,10	223:22 234:12	390:10,12,12,14	234:11 240:2,10
387:18 392:11	81:19 82:16,17	235:8 241:16	390:21 391:5,7	240:12 249:22
<b>things</b> 7:4 16:21	83:9 84:3,10,13	243:14 250:18	<b>thinking</b> 64:22	254:15,20 255:7
17:8 20:22 22:14	85:6,9 86:4 87:14	253:10 259:10,15	108:1 136:6 144:4	258:22 260:22
30:8,18 33:12	87:17 95:14 98:17	259:15 261:5,7	165:20 191:20	261:1 282:8,16
41:5 52:13 53:7	99:1,20 100:1	264:19,21 265:6	193:16 201:8	309:13 323:9
54:22 57:14 98:1	101:3,17 102:8	265:13 266:5,22	207:16 241:14	336:3,17 341:20
100:18 101:15	103:8 105:1,11,19	267:19 268:14	256:1 262:20	342:2 344:5
109:17 112:9	106:19 107:9	272:21 274:21	284:19 286:1	<b>three-year</b> 21:9
124:2 126:11	108:2,10 110:18	277:13 278:2	296:18 332:4	<b>threshold</b> 119:21
137:2 138:2	111:9 112:12	279:8,12 281:1	340:9 346:17	119:22 130:10,12
140:10 156:15	113:7,9,16,18	282:13 283:1	347:15,16 371:4	193:19 194:5,8
159:6 160:13	116:6,12,14,20	284:6 285:4,5,7,9	372:16 376:8,20	196:5 197:6
163:3 164:21	117:3,11,20 121:2	286:13 288:2,4	376:21,21 381:11	202:18 209:9,17
167:12 173:20	122:13 124:20	289:14 290:19	382:7	273:6 284:22
175:15 178:2	125:19,21 128:5	292:17 293:16,19	<b>thinks</b> 135:21	304:10 338:18
180:2 182:1	134:22 135:5,20	297:11,14 298:13	<b>third</b> 237:10	377:17 391:6
189:21 210:13	140:5,6,7,11	302:3,4,21 304:21	238:15 335:13	<b>thresholds</b> 195:4
212:15 216:20	141:3,16 142:15	306:15 307:8	358:21	<b>thrown</b> 191:12
259:5 266:10	145:16,21 146:8	308:13 309:3	<b>thirteen</b> 224:19	<b>thumb</b> 8:19 92:14
279:21 284:12,20	148:14,18,22	310:15 311:3	<b>thirty</b> 279:1	<b>tied</b> 140:21
290:11 291:1	149:5,11,13 150:7	312:10 313:1	<b>Thirty-six</b> 296:1	<b>tight</b> 294:22
310:18 315:7	150:11,14 154:3,5	314:17,21 316:7	<b>THOMAS</b> 1:18	<b>tighter</b> 256:11

<b>time</b> 13:8,10 25:3 29:5 30:7 35:14 42:8,13,19 43:13 47:1 63:8,10,19 63:20 66:4 76:11 94:17 97:2 105:21 114:9 124:11 125:16 127:20 128:10 129:16 143:22 144:18 145:1 152:16 155:11 159:1,5 160:17 168:10 172:4,10,12 175:17 176:8 177:12 178:14 180:18 181:19 193:11 194:12 199:16 240:13 242:13 258:5,13 263:7 266:20 271:14 276:15 285:12 294:16 301:15 306:12 307:11 319:20 349:4 354:9,10 372:15,17,18 381:6,14 390:15	233:20 252:13 257:9,10 260:13 262:7 263:16 267:16 269:18 296:11 391:15 <b>toddlers</b> 77:12 <b>told</b> 22:8 81:20 <b>Tom</b> 9:22 111:7 121:19 126:2 128:2 140:11 141:17 172:7 183:5,6 213:19 306:10 341:10 375:1 <b>tomorrow</b> 13:11 175:20 223:1 229:22 323:6 364:11,12 391:16 391:18 392:10,17 392:21 393:1,8 <b>tomorrow's</b> 191:9 193:7 <b>Tom's</b> 78:5 <b>tonight</b> 354:1 392:9 392:16 <b>tons</b> 121:7 <b>tool</b> 31:11 37:20 71:3,10,11,12 101:7,8,8,12,18 102:4,7,14,16 103:3 104:4,19,22 111:6 125:1 139:14,14 174:20 225:13 <b>tools</b> 31:16 71:6 102:9,13 135:13 140:15 <b>tooth</b> 233:18 238:10 254:2 258:10 <b>toothbrush</b> 237:2 <b>toothpaste</b> 237:3 <b>top</b> 25:15 232:21 271:17 277:14 349:19 <b>topic</b> 42:12 44:12 199:3,11 202:10	262:1 265:6 <b>topics</b> 241:4 <b>total</b> 240:11 242:20 243:5,6 357:21 <b>totally</b> 72:21 143:5 144:7 <b>touch</b> 47:14 <b>tough</b> 298:4 322:20 <b>tracheostomy</b> 207:18 <b>track</b> 117:16 240:18 293:13 <b>tracking</b> 125:17 <b>tract</b> 3:8 47:6,21 48:1 <b>trade</b> 279:20 <b>tradeoff</b> 40:4 388:10 <b>traditionally</b> 121:7 308:15 <b>traffic</b> 38:16 <b>train</b> 236:15,17,18 <b>trained</b> 248:18 338:12 <b>trajectory</b> 209:6 223:8 <b>transcriber</b> 9:13 <b>transformation</b> 71:17 <b>transition</b> 389:20 <b>translations</b> 115:18 <b>transported</b> 207:19 <b>travel</b> 382:8 <b>treadmill</b> 375:11 <b>treated</b> 192:19 284:7 <b>treatment</b> 142:18 150:9 189:2,12 193:4,10,16 201:18 223:10 232:10 247:15 253:13 <b>tree</b> 337:5 339:20 348:10,13 349:15 351:14,16 363:8 <b>triage</b> 234:3 <b>triaging</b> 234:7	<b>trickle</b> 69:5 <b>trickled</b> 69:17 <b>trickling</b> 125:13 189:10 <b>tricky</b> 52:2 163:18 <b>tried</b> 17:4 56:4 72:15 100:5 103:9 252:20 354:8 <b>trouble</b> 93:18 94:3 125:4 126:21 169:20 205:4 221:10 320:4 345:12 <b>true</b> 31:10 106:5 164:10 212:3 215:17 303:16 366:19 370:6,8 390:3 <b>truly</b> 34:20 255:17 302:11 <b>try</b> 66:13 114:10 126:20 154:14 160:13 178:22 185:7 188:5 211:2 236:15 238:20 240:21 334:10 337:6 347:9 365:4 392:6 <b>trying</b> 44:4 54:13 56:2 79:3 82:21 93:19 94:18 114:11 129:14 139:21 146:6 155:22 167:21 182:12 190:3 198:8 207:1,8,12 208:8 226:15,16 241:5,8,12,16 258:2,4,12 263:5 279:13 309:4 313:2 366:8 368:6 369:3,8 382:2 <b>tuck</b> 8:5 <b>turn</b> 146:22 177:4 214:9 233:22 234:5 272:9 <b>turnaround</b> 179:13	<b>turned</b> 106:1 391:9 <b>turning</b> 331:2 <b>turns</b> 148:2 <b>TV</b> 368:9 <b>twelve</b> 219:20 224:19 295:1 <b>Twenty</b> 279:1 <b>twenty-some</b> 27:11 <b>twice</b> 204:6,7,10 244:7,16,17 245:17,18 <b>two</b> 6:14 7:14 8:12 8:16 10:16 19:15 20:3 26:14 36:6 41:15 47:8,15,16 48:12 56:18 63:14 66:3 67:7,22,22 68:7 74:3 76:12 77:10 86:19 92:3 99:16 101:6,21 103:10 113:2 121:22 128:5,19 140:7 156:4 179:16 181:9 184:11 219:12,18 224:17 227:10 239:21 242:20 243:16 244:19,21 246:6,22 249:14 249:21 254:3,3 255:17 258:8,15 258:21,22 259:8,8 259:11 260:22 261:1 265:18 266:3,13,20 269:17 270:15 278:22 282:8,16 293:9 304:17 314:15,16 317:5 324:1 325:9 327:1 329:11,16 330:16 331:9,21 344:18 345:8 346:8,9 347:10 348:18 354:3,15,21 360:11,12 361:3,8 361:17 362:15
--	---	---	--	--

364:8 369:17 379:12,13 391:21 392:2 <b>two-year</b> 231:20 <b>type</b> 13:8 17:22 56:19 64:14 84:17 108:6 116:5 139:19 156:6 168:5 200:21 240:6,8 375:18 389:15 <b>types</b> 122:18,19 325:9 <b>typically</b> 81:14 85:22 123:22 160:18	<b>understandability</b> 319:15 352:20 <b>understandable</b> 33:3 220:5,10 290:21 317:13 352:17 <b>understanding</b> 27:18 61:11 64:20 221:10 281:8 293:18 316:4 319:21 357:12 362:15 363:4 365:22 375:19 376:5 <b>understood</b> 353:15 360:10 362:7 <b>under-acted</b> 122:3 <b>under-identified</b> 122:3 <b>unduplicated</b> 240:5 240:7 242:21 244:1 247:11,16 248:1 <b>unexpected</b> 179:12 <b>unfamiliarity</b> 180:14 <b>unfortunate</b> 72:1 104:20 <b>unfortunately</b> 81:16 205:2 232:15 269:16 311:16,21 <b>unhappy</b> 264:17 <b>unintended</b> 58:4 68:15 72:11 <b>unique</b> 254:19 370:1 <b>unit</b> 65:18 85:17 95:18 159:16 160:3 168:12 <b>United</b> 126:4 <b>universal</b> 260:2,2 339:4 <b>University</b> 11:6,10 230:4 <b>unknown</b> 19:6 <b>unmeasurable</b>	65:21 <b>unmet</b> 108:7 <b>unpaid</b> 264:16 <b>unrelated</b> 279:1 <b>unsafe</b> 367:20 371:13 373:22 <b>unsatisfying</b> 179:18 180:5 <b>untested</b> 42:4,5 <b>update</b> 28:4 <b>updated</b> 42:7 89:16 <b>updates</b> 142:18 <b>upstream</b> 33:7 34:3 74:4 <b>urgent</b> 373:12 <b>urinary</b> 3:8 47:6,21 48:1 <b>usability</b> 3:23 39:19,22 48:7 146:20 147:3 194:17 209:19 217:21 219:22 221:8,12 224:3 273:2 283:8 286:17 290:21 291:10 297:14 317:12 318:21 320:8,13,17 352:16 354:12,13 354:15,16 380:7 382:16,19,20,21 <b>usable</b> 221:18 224:5,13,14 <b>USB</b> 205:3 <b>use</b> 6:7 8:18,22 9:10 19:19 21:15 22:14 37:10 54:5 57:9 63:19 69:1 74:6 75:12 76:22 90:4,8 98:17 100:16,17 101:8 101:22 116:16 125:7,7 126:1 127:8 128:15 135:7 137:21 138:7 140:15 144:6 147:7 149:6	155:8 158:8 162:14 172:3 182:2 189:22 190:16 193:20 222:18 237:2 267:9 271:6 272:1 275:18 279:15 287:8 290:2,9,10 298:3 326:6 329:11 330:3 332:9 356:13 358:17 359:6,15 362:5 373:6 <b>useful</b> 30:9 68:21 69:9 96:4 177:6 185:7,14 220:5,10 250:12 278:18 285:6,6,10,22 286:5,10 287:4 295:19 339:1 <b>usefulness</b> 119:16 176:7 <b>user</b> 124:17 <b>uses</b> 236:14 273:17 <b>usual</b> 21:16 183:12 <b>usually</b> 78:18 114:18 160:12 222:19 268:5 309:10 334:1 379:9 <b>UTI</b> 49:10 53:13 <b>utility</b> 175:5,6 279:3 286:11 288:3,9 <b>utilization</b> 17:18 <b>U.S</b> 123:11	<b>validation</b> 19:19 134:15 164:11 362:6 364:14 371:12 <b>validity</b> 62:10 64:18 65:20 118:6 118:7 119:8,17,19 122:12 131:21 132:11,13,17 133:8 134:19 162:8 175:1,6,6 209:19 212:5 213:8 217:6 250:22 276:10 279:19 303:5 312:10 316:13 344:11 348:17 350:7 351:6 371:18 <b>valuable</b> 26:8 159:16 185:3 <b>value</b> 118:9 220:12 247:2,22 252:7 288:13 290:22 298:10 302:15,18 352:19 353:2 <b>values</b> 353:16 <b>variability</b> 202:12 203:21 371:16 <b>variable</b> 162:18 221:21 <b>variables</b> 160:10 160:16,22 168:6 287:16,19 <b>variant</b> 236:17 <b>variation</b> 51:19,19 64:20 65:5 79:2 85:2,3 163:11 189:1 208:14 223:13 270:13 276:14 281:9 338:5,8 <b>variations</b> 164:22 <b>varies</b> 370:6 <b>variety</b> 15:20 51:7 53:7 93:3 100:17 157:17 174:20	
<hr/> <b>U</b> <hr/>					
<b>ubiquitous</b> 78:16 <b>UCLA</b> 11:3 <b>ultimate</b> 55:18 <b>ultimately</b> 12:18 29:3 266:11 <b>ultra</b> 281:14,16 <b>unaccompanied</b> 366:15 <b>unbundle</b> 161:3 <b>unclear</b> 41:9 139:9 152:22 190:14 194:6 <b>underdeveloped</b> 350:3 <b>underscore</b> 22:19 <b>understand</b> 50:14 52:7,17 74:13 85:2 100:19 124:21 139:17 159:15 173:15 208:14 223:13 238:11 259:3 312:13 326:10 330:17,22 337:20 344:17 347:19 348:22 351:12 362:10,22 368:15 369:7 375:17,21 392:15					
<hr/> <b>V</b> <hr/>					
			<b>V</b> 96:11 97:1 <b>vaccine</b> 74:6 77:14 78:1 <b>vaguely</b> 352:22 <b>valid</b> 99:11 221:19 235:21 350:12 <b>validated</b> 115:18 135:2 161:17 275:16 362:8,13		

181:22 272:7 277:17 290:3 314:20 359:7 374:4 <b>various</b> 19:13 22:15 55:20 136:21 169:9 281:6 287:10 338:8 360:3 386:20 <b>varnish</b> 231:4,10 233:4,6,8 237:9 237:12 238:17 240:7,12,22 241:1 241:20 242:2,7,16 243:17,19 244:10 244:16 246:15 247:3,7,9 249:4 249:17 250:5 253:10,12,20 254:1,6,12,19 255:12 257:4,20 265:21 <b>varnished</b> 239:17 <b>varnishes</b> 242:4 243:5 247:4 254:15 258:8 265:8 <b>varnishing</b> 232:4 244:19 266:15 <b>varnishings</b> 241:21 243:1 <b>vary</b> 161:14 164:19 <b>vast</b> 346:22 <b>vegetative</b> 207:18 <b>vendors</b> 231:15 <b>ventilated</b> 207:17 <b>Vermont</b> 297:21 <b>version</b> 177:16 256:11 <b>versions</b> 124:7 <b>versus</b> 82:12 101:18 106:12 111:3 297:8 298:4 302:20 311:9 329:22 358:14 360:14 369:6	388:12 <b>Vice</b> 12:1 38:12 <b>video</b> 368:9 372:18 <b>view</b> 121:2,3 168:9 169:3 248:17 251:17 303:12,13 340:2 377:16 <b>viewed</b> 112:2,7,7,9 <b>views</b> 178:2 <b>violence</b> 127:5 376:13 380:17 384:5 <b>virtually</b> 135:17 <b>virtue</b> 240:19 245:8 <b>virus</b> 77:10 <b>visit</b> 242:2 244:10 253:11,21 254:9 254:10 <b>visits</b> 245:10 253:6 253:8 254:18 265:10,15 <b>visual</b> 392:14 <b>vital</b> 16:21 <b>voluntary</b> 1:3 29:5 97:9 <b>vomiting</b> 74:15 <b>vote</b> 3:9,10,11,12 3:15,19,21,22,23 3:25 4:2 40:19,21 46:11,12 47:13 50:5,20 59:13,20 64:8 66:16 67:6 79:11 86:7 87:12 87:15 130:7,14,14 131:2,4,7 145:17 180:17 187:19 194:8 195:22 196:1 197:2,5 209:12,15,16,20 217:15,17,22 224:4 227:11,16 227:19,19,22 232:5 234:15,21 250:15 256:4 282:1 288:1,2 290:19,20 294:5,6 294:17 297:22	304:7,9,21 305:12 307:12 316:11 320:7 321:11 322:4,14 334:20 338:16 339:12 355:8 358:9 359:13 361:15 362:18 363:5,21 377:11,14,15 382:16,18 383:4 384:21 390:17 <b>voted</b> 62:19 195:7 196:13 351:2 <b>voters</b> 131:17 <b>votes</b> 46:3 47:19 62:3 67:21 294:7 354:11 379:19 <b>Vote/Summary</b> 46:19 <b>voting</b> 3:7 43:10 49:3 182:11 197:7 218:20,21 224:8 239:14 305:14 307:16 350:17 352:2	109:3 110:6 111:1 113:6 119:19 124:22 127:19 133:14 136:15 148:21 153:3,5 156:16 157:22 161:8 164:21 166:18 167:17 169:18 171:15 172:10,11,18,19 173:17 174:9 181:17 187:1,2,14 187:17 190:6,10 192:13 196:16 198:3 203:16 217:20 221:22 233:21 234:2 241:9 245:1 256:5 257:3 259:6,7,22 260:12,20 261:4 262:2 267:20 283:19 289:11 290:1,8 296:4 300:3 301:13 302:4,8 305:4,13 307:10 314:5 315:19 323:18 325:17 338:21 350:21,22 355:8 364:8,9 367:17 369:11,13 372:3 377:22 392:2 <b>wanted</b> 12:6,19 20:17 25:16 29:17 38:2,4,22 40:1 43:1,14 47:1,11 48:17,22,22 49:4 63:14 116:22 148:16 153:4 172:13 195:6,13 267:19 268:9 277:5 281:16 284:12 293:5 297:8 324:2,18 327:20 333:21 334:9 343:19 391:17 392:4,8	<b>wanting</b> 94:20 363:1 <b>wants</b> 142:12 225:14 290:9 301:6 <b>wart</b> 301:8 <b>Washington</b> 1:12 10:5 251:7 290:12 <b>wasn't</b> 27:17 104:2 104:3 162:19 163:1 167:16 172:9,13 173:18 178:15 309:19 311:16 343:2 371:4 386:11 <b>watching</b> 324:11 <b>water</b> 237:3 258:7 <b>WAUGH</b> 382:22 <b>wave</b> 23:1 28:9 <b>way</b> 6:11 37:10,19 41:18 44:17 52:14 53:4 55:11,18 58:1 61:12 73:14 73:14 81:10 85:10 85:11 95:8 97:5 107:6 109:19 110:14 112:2 122:7 126:9,11 128:15,15 138:14 142:13 144:6 153:11 156:22 157:10 162:4 164:6 169:10 170:4 171:14 178:13,19 179:7 180:21 182:4 185:15 194:19 196:3 198:13,16 198:20,22 201:5 205:5 209:3 212:12 227:3 235:22 240:4,21 245:15 250:9,9 253:22 255:2,11 255:21 258:18,22 262:8,8,13 268:18 273:17 279:16,17
--	---	---	--	---

---

**W**


---

**wait** 246:16,17  
**walk** 185:7 187:18  
366:15 375:7  
**walking** 386:3  
**walks** 171:1  
**wallop** 120:6  
**want** 6:15 12:12  
15:7 16:1 30:13  
32:6,10,15,22  
34:4 38:7 41:22  
45:4 48:15 50:1  
50:14 58:11 59:16  
60:14,20 62:6,9  
62:15 71:4 72:2,2  
72:14,17 74:12  
76:14 77:16 82:22  
83:17 87:15 88:2  
93:10 95:12,22  
98:19,20 102:11  
104:16 107:16

279:22 292:14 299:8 300:3 302:22 303:8 308:13,21 309:4,5 309:19 311:3 321:19,20 322:14 329:9 331:17 337:1 350:11 362:9 366:5 371:14 377:6 388:1 <b>ways</b> 14:11 15:21 35:10 103:10 126:8 129:19 154:1 162:8 171:19 178:5,12 222:5 242:20 254:15 330:16 355:10 <b>wealthy</b> 370:21,22 382:14 <b>wearing</b> 316:18 <b>website</b> 161:4 166:15,16 175:21 179:2 181:16 289:19 356:11 <b>WEDNESDAY</b> 1:7 <b>week</b> 274:14,17 <b>weeks</b> 8:22 41:15 254:3 270:15 354:3 392:3 <b>weight</b> 127:16 368:10 <b>Weiss</b> 1:12,14 3:4 6:2 7:13 22:18 28:8 36:19 73:7 89:17,20 96:20 105:19 106:3,13 109:20 194:18 210:17 211:7 242:16 245:21 246:5,11 253:4 271:5 272:17 273:3 283:4 285:20 287:12,22 324:8 373:3 374:5 374:15 388:3	<b>Weitzman</b> 279:2 <b>welcome</b> 3:2 5:4 6:4,12 7:14 <b>wellness</b> 380:17 <b>well-accepted</b> 133:4 <b>well-served</b> 44:6 <b>well-specified</b> 131:19 173:5 <b>well-taken</b> 53:2 <b>well-validated</b> 122:7 139:15 <b>Well/ill</b> 4:5 <b>went</b> 27:5 88:11 166:15 173:19 241:21 313:22,22 323:15 330:4,9 340:15 381:12 <b>weren't</b> 48:13 239:10 <b>west</b> 214:12 <b>we'll</b> 282:11 294:6 339:12 365:5,6 393:2 <b>we're</b> 39:6 128:1 141:4 143:6 148:13 155:22 170:3 180:21 271:10 286:14 290:19 302:3 316:18 319:8 323:12 345:3 351:2 361:22 362:20 366:8 377:3 383:7 390:8 <b>we've</b> 252:19 259:4 290:17 318:18 326:5 348:21 352:19 356:3 360:18 363:6 378:10 <b>whatsoever</b> 234:5 <b>wheezes</b> 84:7 <b>wheezing</b> 84:9 <b>whichever</b> 78:21 <b>white</b> 79:5 176:20 <b>wholeheartedly</b>	57:21 <b>whoops</b> 88:22 <b>wide</b> 160:14 288:6 348:9 <b>widely</b> 95:21 96:9 97:10 270:4 358:5 358:6,12 <b>wider</b> 347:10 <b>widespread</b> 125:7 <b>wife</b> 54:1 <b>wiggle</b> 82:9 <b>wild</b> 166:13 <b>willing</b> 25:3 53:9 114:2 173:8 <b>willingness</b> 391:20 <b>Winkler</b> 2:18 3:6 5:17 15:9 18:19 21:19 23:19 27:5 27:18 35:12 44:1 51:2 54:10 60:5 61:22 66:10 67:4 67:7,12 73:16 75:18 76:5 77:19 78:14 79:20 80:3 81:6,12 86:11,14 86:16,19 101:1,4 102:8 103:1 104:17 106:17 107:8 110:4,10 111:12 114:4 130:6,11,16,22 131:3,7,11 138:12 138:17,20 139:2 139:12 176:9,12 176:13 180:4 182:15 195:15 196:7,14 197:3,11 197:20 199:6 209:21 210:1 217:15,19,22 218:4,7,13,17 219:8,12,16 220:7 220:14 223:21 224:6,8,11,15,17 224:21 225:1 227:10,17 234:19 235:1 256:7 273:9	273:11 280:14,19 282:8,13,16,19 283:1,5 291:3,7 291:13,16,19,22 292:3,7,15 294:14 295:1,4 304:14,16 307:15,18,20 308:3 315:17 316:9,22 317:3,6 317:10,16,21 318:5,17 320:15 320:18,22 321:8 321:12 322:5,18 322:22 339:5,9,12 339:18 340:1 348:2 349:7,17,20 352:7,10,14 353:22 355:15,18 359:11 360:1 363:2,16,20 364:11,21 365:5 377:18 378:7 379:8 380:1,5 383:4,14,17 388:18,22 <b>Wisconsin</b> 387:9 <b>wisdom</b> 82:5 <b>wish</b> 170:17 278:14 <b>wishes</b> 150:12 <b>witnesses</b> 127:4 <b>Women</b> 11:1 <b>wonder</b> 113:20 229:10 295:13 298:5 373:3 <b>wondered</b> 94:12,13 95:3 152:11 195:5 235:18 <b>wonderful</b> 7:21 151:3 172:3 284:8 <b>wonderfully</b> 173:1 <b>wondering</b> 73:8 76:18 277:1 376:13 <b>word</b> 170:21 276:22 312:7 365:16 378:18 <b>worded</b> 227:3	285:17 <b>wording</b> 205:20 313:19 333:3 <b>words</b> 71:12 140:10 163:4 186:9 204:20 234:8 295:16 314:19 <b>work</b> 6:13,17 7:15 8:2,11 23:18 24:14 31:6 62:13 69:15 122:17 150:18 165:10 180:9 186:19 187:17 222:9 230:16,18 232:7 241:11 252:12,22 261:15 267:10,14 269:2,15,18 276:19 293:3 349:11 367:7 369:18 391:3 <b>worked</b> 256:18 329:7 330:18 345:6 <b>workers</b> 274:3 <b>working</b> 5:22 8:12 22:22 27:4 103:14 123:16 128:11 137:22 161:11 172:4 178:21 180:3 195:14 246:12 259:22 <b>works</b> 126:16,19 263:20 309:4 311:3 348:13 <b>world</b> 64:3 191:21 257:3,5 <b>worlds</b> 366:18 <b>worried</b> 190:12 299:12,14 381:15 <b>worry</b> 68:15 380:22 <b>worse</b> 58:8 202:3,4 278:4 <b>worsened</b> 303:16 <b>worst</b> 369:4,5 <b>worth</b> 7:15 36:6 39:2 76:19 116:6
--	---	---	--	---



199:18 377:11 <b>worthy</b> 280:11 <b>wouldn't</b> 44:13,14 44:15 72:2,2 81:21 109:5 138:6 172:11 201:20 294:10 295:2 355:15,17 372:6 <b>wrap</b> 259:14 323:9 <b>wrap-up</b> 260:11 <b>wreck</b> 236:15,17,18 <b>wrestle</b> 202:9 <b>wrestling</b> 175:4,5 222:11 <b>write</b> 73:17 315:3 <b>writing</b> 275:12 <b>written</b> 71:18 142:11 198:17 201:5 303:10 350:13 362:9 390:4 <b>wrong</b> 89:8,12 90:3 90:6 184:8 285:19 288:1 347:21 <b>Wyoming</b> 214:14 <b>w/illness</b> 3:20 <b>w/Special</b> 3:14	275:12 276:5 292:18 354:21 371:22 372:1 <b>years</b> 27:6 28:2 44:11 67:19 77:11 108:17 115:10 128:5,11,19 191:20 240:3 248:8 249:21 253:5 261:1,2,12 266:13,20 269:10 279:1 292:19 293:8,9 295:12 321:5 326:21 361:1,3,8 377:7 380:9,15 383:10 389:22 <b>years-old</b> 48:2 <b>year's</b> 28:7 <b>year-old</b> 83:1 <b>year-olds</b> 84:21 190:13 <b>yelling</b> 381:22 <b>yellow</b> 315:14 <b>yeses</b> 210:2 <b>yesses</b> 86:20 <b>yesterday</b> 9:5 <b>yes/no</b> 130:16,18 <b>yields</b> 153:11 <b>York</b> 10:1 251:6 381:11 <b>young</b> 135:6 242:14 <b>younger</b> 58:7 82:18 82:19 83:13 191:15 <b>your's</b> 79:20 298:5	192:22 200:18 201:8 276:11 298:22 340:6,19 349:2 353:12 376:8	<b>12:45</b> 228:17 <b>129</b> 3:15 <b>13</b> 80:3 128:21 130:22 149:21 182:19 197:19 240:3 248:16 304:16 352:15 <b>13th</b> 1:11 <b>130</b> 3:16 <b>139</b> 156:10 <b>14</b> 3:6,9,15,21,23 60:5 80:3 131:3 210:2 224:21 382:22 <b>149</b> 3:17 <b>15</b> 3:11 80:3 100:2 100:5,9 103:16 104:1,7 105:16 106:4,21 108:16 110:8,11,13 111:9 152:2 160:19 176:22 177:7 182:7,10 183:10 184:2 185:20 204:6,12 228:12 249:20 329:4 372:1 <b>16</b> 115:10 <b>17</b> 47:14 48:2 63:1 66:18 67:19 80:11 189:20 206:1 269:10 326:21 329:11 <b>18</b> 203:19 249:20 258:16 <b>185</b> 3:14,17,18 <b>186</b> 3:19 <b>196</b> 3:19 <b>198</b> 3:20 <b>1980s</b> 96:22	211:2 373:18 <b>2A</b> 207:4 <b>2a.3</b> 379:11 <b>2C.1</b> 134:14 <b>2(a).21</b> 343:13 <b>2-A</b> 105:11 <b>2-1</b> 105:11 <b>2:00</b> 366:16 <b>20</b> 12:5 20:22 153:19 240:3 259:1 299:20 300:11 310:2 <b>200</b> 370:13,15 <b>2000</b> 234:9 <b>2005/2006</b> 90:13 168:19 <b>2007</b> 3:17 389:7 <b>2008</b> 239:21 <b>2009</b> 119:3 239:21 <b>2010</b> 1:8 <b>208</b> 3:21 <b>210</b> 3:22 <b>218</b> 3:22,23 <b>22</b> 27:11 104:2,7 105:3,17,20 106:14 184:7 268:12 358:2 <b>223</b> 3:23,24 <b>225</b> 3:25 <b>226</b> 4:2 <b>231</b> 4:6 <b>24</b> 27:11 42:22 358:2 <b>25</b> 14:6 <b>269</b> 4:9 <b>27</b> 89:1,3 <b>28</b> 89:1,3 357:1 <b>29</b> 89:2,3 297:21 <b>297</b> 4:11
<hr/> <b>X</b> <hr/> <b>X</b> 94:17 240:10 <b>x-rays</b> 236:13			<hr/> <b>0</b> <hr/> <b>0</b> 206:1 <b>0.8</b> 370:16 <b>034</b> 3:14 90:11	
<hr/> <b>Y</b> <hr/> <b>yada</b> 142:8,8 <b>YAHCS</b> 318:8,11 <b>year</b> 25:9,15 27:19 30:7 43:12 82:8 83:14 154:5 161:19,21,21 232:22 237:9 238:17 244:7,16 244:17,19 245:11 245:16,17,20 246:21,21 247:21 248:4 249:20 254:5 257:21 258:20 265:8,15 265:20 274:7,16	<hr/> <b>Z</b> <hr/> <b>Zell</b> 54:17 <b>zero</b> 78:20 219:8 240:2 320:9 326:20 <b>Zima</b> 1:22 11:3,3 91:16 107:22 149:10,19 181:8 188:6,9,12 191:6	<hr/> <b>1</b> <hr/> <b>1</b> 3:8 4:4 197:19 230:17,18 255:2 370:19 <b>1,000</b> 75:14 119:4 119:13 <b>1-13-1</b> 3:19 <b>1.7</b> 370:15 <b>1:30</b> 228:17 229:2 <b>10</b> 3:25 24:1 67:4 86:21 190:22 227:8 283:6 308:4 323:8 369:2 <b>10,000</b> 237:19 <b>10-4</b> 3:12 <b>10:00</b> 381:21 382:1 382:4 <b>10:24</b> 88:11 <b>10:35</b> 88:12 <b>100</b> 78:20 283:20 370:13,17 <b>100,000</b> 63:2 66:19 <b>11</b> 3:10 47:4,13 48:9 67:4 <b>11/15</b> 3:5 <b>113</b> 3:15 <b>12</b> 3:22 42:21 128:21 130:22 144:1 182:19 210:8 240:3 254:4 254:6 270:9 276:7 280:5 306:13 330:5 333:16,20 <b>12th</b> 46:9 <b>12-month</b> 285:13 <b>12.9</b> 357:2 <b>12:20</b> 199:18	<hr/> <b>2</b> <hr/> <b>2</b> 80:11 81:5,8,13 82:6,9,15 83:1,14 84:21 89:13 91:12 115:1 189:20 190:12 210:21	<hr/> <b>3</b> <hr/> <b>3</b> 4:8 63:5 67:18 93:22 99:5,20 104:8 106:16 167:1 182:13 184:15 190:12 210:22 211:1,3

269:2,15 276:6	82:15 83:1,5,14			
365:16 370:13	83:20 84:4,8,10			
<b>3Q-01</b> 178:8	84:13,21 330:21			
<b>3, and</b> 366:22	<b>5.9</b> 370:12			
<b>3:08</b> 323:15	<b>50</b> 264:13			
<b>3:23</b> 323:16	<b>55</b> 3:10 67:16			
<b>30</b> 203:19 204:11	<b>56</b> 3:9 62:15			
236:21 257:10,11	<b>58</b> 154:2 356:22			
358:2	<b>59</b> 3:9			
<b>30-day</b> 25:12				
<b>300</b> 370:15	<hr/> <b>6</b> <hr/>			
<b>31</b> 358:2	<b>6</b> 3:4 24:1 63:1			
<b>32</b> 269:3,4 358:2	66:18 182:19			
<b>325</b> 4:15	269:10 370:12,17			
<b>33</b> 3:17 106:13,15	<b>600</b> 1:10			
106:16 186:16,18	<b>601</b> 1:11			
<b>34</b> 91:13,15,19	<b>61</b> 3:9 154:2			
151:21,22 186:17	<b>66</b> 3:10,10			
<b>35</b> 3:18 92:4,6	<b>68</b> 14:4			
115:16 187:11,14				
187:14 204:6	<hr/> <b>7</b> <hr/>			
255:4	<b>714</b> 182:13			
<b>36</b> 297:4	<b>79</b> 3:11,12			
<b>365</b> 4:17				
<b>37</b> 3:20 92:4,6	<hr/> <b>8</b> <hr/>			
200:4 255:3	<b>8</b> 48:9 192:18			
263:18	<b>8:00</b> 393:1			
<b>38</b> 325:2	<b>8:30</b> 393:1,2			
<b>38.5</b> 203:3	<b>80</b> 300:16			
<b>385</b> 4:20	<b>85</b> 3:12 70:4 231:21			
<b>39</b> 365:9	<b>88</b> 3:13			
<b>393</b> 4:22	<b>89</b> 3:14			
<hr/> <b>4</b> <hr/>	<hr/> <b>9</b> <hr/>			
<b>4</b> 3:2,7 86:21 93:22	<b>9</b> 190:22 297:20			
115:10 134:22	<b>9/11</b> 376:21 377:1			
190:12	<b>9:00</b> 1:10			
<b>4:39</b> 393:14	<b>9:03</b> 5:2			
<b>40</b> 379:4	<b>92</b> 192:19			
<b>400</b> 370:15,18	<b>99,000</b> 299:14			
<b>43</b> 3:15 33:12				
114:15,22				
<b>45</b> 3:8 347:18				
<b>46</b> 3:8				
<hr/> <b>5</b> <hr/>				
<b>5</b> 1:8 3:3 46:10,21				
81:7,15 82:8,9,10				