

NATIONAL QUALITY FORUM

+ + + + +

PATIENT SAFETY 2015-2017
STEERING COMMITTEE

+ + + + +

WEDNESDAY
JULY 27, 2016

+ + + + +

The Steering Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 9:00 a.m., Ed Septimus and Iona Thraen, Co-Chairs, presiding.

PRESENT:

ED SEPTIMUS, MD, Texas A&M University Health
Science Center; Hospital Corporation of
America; Co-Chair

IONA THRAEN, PhD, ACSW, Utah Department of
Health; Co-Chair

JASON ADELMAN, MD, MS, Montefiore Medical Center

CHARLOTTE ALEXANDER, MD, Memorial Hermann
Medical System

KIMBERLY APPLGATE, MD, MS, FACR, Emory
University

LAURA ARDIZZONE, BSN, MS, DNP, CRNA, Memorial
Sloan Kettering Cancer Center

CHRISTOPHER COOK, PharmD, PhD, bioMerieux

MELISSA DANFORTH, The Leapfrog Group

MARTHA DEED, PhD, Patient Safety Advocate

THERESA EDELSTEIN, MPH, LNHA, New Jersey
Hospital Association

LILLEE GELINAS, MSN, RN, FAAN, CHRISTUS Health

STEPHEN LAWLESS, MD, MBA, FAAP, FCCM, Nemours

LISA MCGIFFERT, Consumers Union

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

SUSAN MOFFATT-BRUCE, MD, PhD, The Ohio State
University
PATRICIA QUIGLEY, PhD, MPH, ARNP, CRRN, FAAN,
FAANP, Nurse Consultant
MICHELLE SCHREIBER, MD, Henry Ford Health
System*
LESLIE SCHULTZ, PhD, RN, NEA-BC, CPHQ, Premier,
Inc.
LYNDA SMIRZ, MD, MBA, Universal Health Systems
of Delaware
TRACY WANG, MPH, Anthem
KENDALL WEBB, MD, FACEP, University of Florida
Health Systems
ALBERT WU, MD, MPH, FACP, Johns Hopkins
University
YANLING YU, PhD, Patient Safety Advocate

NQF STAFF:

ANDREW ANDERSON, MHA, Senior Project Manager
KAREN JOHNSON, MS, Senior Director
ANDREW LYZENGA, MPP, Senior Director
ELISA MUNTHALI, MPH, Vice President, Quality
Measurement
JESSE PINES, MD, Senior Director
DESMIRRA QUINNONEZ, Project Analyst
MARCIA WILSON, PhD, MBA, Senior Vice President,
Quality Measurement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

ALSO PRESENT:

KRISTEN BUTTERFIELD, MPH, Pharmacy Quality Alliance (PQA)
DEL CONYERS, National PACE Association
NANCY DUNTON, PhD, FAAN, University of Kansas School of Nursing
WOODY EISENBERG, MD, Pharmacy Quality Alliance (PQA)
ERIN GIAVONETTI, PhD, National Committee for Quality Assurance (NCQA)
TAMIKA GLADNEY, Centers for Medicare and Medicaid Services (CMS)
LISA HINES, PharmD, Pharmacy Quality Alliance (PQA)*
ROBYN MCGONIGAL, MD, MPH, Kidney Care Quality Alliance (KCQA)
EMILY MORDEN, MSW, National Committee for Quality Assurance (NCQA)*
ROBYN NISHIMI, PhD, Kidney Care Quality Alliance (KCQA)
LYNN PEZZULLO, RPh, CPEHR, Pharmacy Quality Alliance (PQA)
BOB REHM, MBA, National Committee for Quality Assurance (NCQA)
MARK STEWART, MPH, Econometrica, Inc.

* present by teleconference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

C-O-N-T-E-N-T-S

Welcome	5
Introductions and Disclosure of Interest	8
Project Introduction and Overview of Evaluation Process	27
Patient Safety Measures Portfolio Review	31
<u>Consideration of Candidate Measures</u>	
0022: Use of High-Risk Medications in the Elderly (DAE)(National Committee on Quality Assurance)	37
2993: Potentially Harmful Drug-Disease Interactions in the Elderly (DDE) (National Committee on Quality Assurance)	44
2988: Medication Reconciliation for Patients Receiving Care at Dialysis Facilities (Kidney Care Quality Alliance)	118
NQF Member and Public Comment	183
3000: PACE-Acquired Pressure Ulcer-Injury Prevalence Rate (Econometrica, Inc.)	214
3001: PACE Participant Fall Rate (Econometrica, Inc.)	305
3003: PACE Participants Falls with Injury (Econometrica, Inc.)	371

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

Consideration of Candidate Measures (cont.)

2940: Use of Opioids at high Dosage
in Persons without Cancer (Pharmacy
Quality Alliance) 383

2950: Use of Opioids from Multiple
Providers in Persons without Cancer
Pharmacy Quality Alliance) 432

2951: Use of Opioids from Multiple Providers
and at High Dosage in Persons without Cancer
(Pharmacy Quality Alliance) 455

NQF Member and Public Comment 492

Adjourn

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:00 a.m.)

3 CO-CHAIR SEPTIMUS: Okay. We're
4 going to go ahead and get started. So we want to
5 start on time. We want to be focused and
6 efficient. Those of you who have looked at the
7 agenda and have seen the number of measures that
8 we're considering will know that this is
9 considerably shorter than last year. And there's
10 no major controversial issues like sepsis and PSI
11 90.

12 CO-CHAIR THRAEN: So we need to have
13 some fun as well.

14 CO-CHAIR SEPTIMUS: We need to have
15 some fun, right. But nonetheless, we really have
16 the opportunity that when we finish here tomorrow
17 at 3 o'clock to be finished and not have to have
18 a follow up call if we stay focused.

19 You'll also notice on the agenda that
20 there are probably more new measures than there are
21 maintenance measures. So that's another sort of
22 challenge that we have. And we have some great new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measures to discuss, so I hope we'll stay focused.

2 The other thing I noticed last night as
3 I passed through the lobby several times is seeing
4 some of you there. And one of the wonderful things
5 about being a member of this committee is getting
6 to know each and every one of you and knowing the
7 incredible wealth of knowledge and wisdom that you
8 have brought to this process. And I'm sure Iona
9 will also say this, but not only --

10 CO-CHAIR THRAEN: So don't say it.

11 CO-CHAIR SEPTIMUS: No, wait a minute.
12 Not knowing we come together to do the work that
13 we think is very important, but I think we've
14 actually bonded as a team. And I think that's an
15 incredibly wonderful experience beyond the
16 satisfaction of the work that we do.

17 So I think we all can say we learn a
18 tremendous amount from each other and we come away
19 actually being better for it. So we thank you
20 because we know this is an incredible amount of
21 work.

22 And last year, as you know, we did a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 record number of measures. CSAC actually approved
2 our agenda with no comment and it passed through.
3 Now, I don't know if that's ever happened before.

4 PARTICIPANT: It may not have.

5 CO-CHAIR SEPTIMUS: I don't think
6 that's ever happened before, so the credit for that
7 goes to all of you for the incredible amount of hard
8 work we put in last year.

9 And it was, make no mistakes about it,
10 it was a lot of work. The year before was a lot
11 of work, but I think last year was especially
12 challenging because of the number of measures that
13 we had.

14 So this year we have a very manageable
15 number, but a lot of new measures to consider and
16 a number of eMeasures as well. We sort of got
17 introduced to eMeasures last year for the first
18 time.

19 So we're looking forward to a great day.
20 Stay focused. Let's stay on time. And we have
21 dinner tonight so we can -- and as has been our
22 tradition, the chair will buy wine for everybody,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 so it's something to think about. But the
2 condition is we have to finish the agenda for today.
3 Okay? With that I'm going to turn it over to Iona.

4 CO-CHAIR THRAEN: I don't have
5 anything else to say other than welcome and,
6 hopefully, we'll have some fun along the way. I
7 know that you guys put a lot of work into this and
8 so thank you. That's it.

9 DR. WILSON: Good morning. My name's
10 Marcia Wilson. I'm senior vice president here at
11 the Quality Measurement Department and I am going
12 to fill in for our legal counsel, Ann Hammersmith,
13 and do the disclosures of interest.

14 So you all know when you were appointed
15 to this committee you filled out a form where we
16 asked you a lot of questions. And today we do an
17 oral disclosure of interest and we combine it with
18 the introductions.

19 So when you do your disclosure it is not
20 necessary to summarize your resume. We already
21 know what an incredible group of people you are,
22 and as Ed said you bring a wealth of experience to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the table.

2 But we are interested in your
3 disclosing any work that is directly related to the
4 issues and the measures before the committee today.

5 This could be grants for research and
6 it's not limited to activities where you get paid
7 because you may serve on a board. That is
8 something also that you would like to disclose.
9 And again, the activities that you need to disclose
10 are those related to this subject matter.

11 Just a couple of reminders, you do sit
12 on this committee as an individual even though you
13 all come from organizations, and you don't
14 represent the interests of your employer. And
15 just because you disclose, it does not mean you have
16 a conflict of interest. But we do these verbal
17 disclosures in the spirit of transparency because,
18 of course, we're all about transparency here at
19 NQF.

20 So we'll go around the room, first of
21 all, and then I'll turn to some of the committee
22 members who are on the phone today, and if you would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 state your name, who you're with and if you have
2 any activities that you need to disclose.

3 And I think we've already -- are aware
4 of a couple of conflicts where we have recusals,
5 so we've made note of those, but you can state those
6 again.

7 And I'll go ahead and start with our
8 co-chairs, Iona?

9 CO-CHAIR THRAEN: Iona Thraen. I'm
10 the director of patient safety for the Utah
11 Department of Health. I have not participated in
12 any measure development.

13 CO-CHAIR SEPTIMUS: Ed Septimus,
14 medical director of infection prevention and
15 epidemiology at HCA in Houston and also a professor
16 of internal medicine at Texas A&M College of
17 Medicine. And I'm obligated to say howdy.

18 DR. WILSON: And we can turn to Lisa
19 McGiffert, if you want to go ahead.

20 MEMBER MCGIFFERT: That was fast. I'm
21 Lisa McGiffert with Consumer's Unions Safety
22 Patient Project and I don't have any conflicts to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disclose.

2 MEMBER APPLGATE: Kimberly Applegate
3 and I'm a professor of pediatric radiology at Emory
4 University and I have no conflict of interest.

5 MEMBER SCHULTZ: Leslie Schultz. I'm
6 with Premier, Inc. I'm with the Safety Institute
7 and I have nothing to disclose.

8 MEMBER DANFORTH: Missy Danforth, I'm
9 the vice president for hospital ratings at The
10 Leapfrog Group and I have nothing to disclose.

11 MEMBER COOK: Hi, I'm Chris Cook.
12 With bioMerieux and I have no conflicts to
13 disclose.

14 MEMBER YU: I'm Yanling Yu. I'm a
15 research scientist and then also a patient
16 advocate. I have no conflict of interest to
17 disclose.

18 CO-CHAIR SEPTIMUS: I'm sorry. I
19 forgot to mention I have no conflicts. I'm sorry.

20 MEMBER WU: We were wondering. Albert
21 Wu, I'm an internist and professor at the Johns
22 Hopkins Bloomberg School of Public Health and part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of the Armstrong Institute for Patient Safety, no
2 conflicts.

3 MEMBER DEED: I'm -- oh, that's
4 helpful. Yes. Lesson learned, maybe. I'm
5 Martha Deed. I'm a patient advocate and I'm here
6 on behalf of having been nominated by name. And
7 I have no conflicts of interest.

8 MEMBER WANG: Hi. Good morning. I'm
9 Tracy Wang, program director of community health
10 initiatives with Anthem and I have no disclosures.

11 MEMBER EDELSTEIN: Good morning,
12 Theresa Edelstein. I'm vice president of
13 post-acute care policy at the New Jersey Hospital
14 Association. My conflict to disclose is I'm a
15 member of the PACE technical expert panel.

16 MEMBER ARDIZZONE: I'm Laura Ardizzone
17 from the American Association of Nurse
18 Anesthetists. I'm also the director of nurse
19 anesthesia services at Memorial Sloan Kettering
20 Cancer Center and I have no disclosures.

21 MEMBER GELINAS: Good morning,
22 everyone. I'm Lillee Gelinas with CHRISTUS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Health. I'm also editor-in-chief of American
2 Nurse Today.

3 I have two major disclosures. First is
4 that I co-chair with Dr. Mary Naylor, the NQF
5 Nursing-Sensitive Measures Committee which
6 developed several of the nursing-sensitive
7 measures now in use in the U.S.

8 And secondly, I'm a member of the ANA
9 Tipping Point Committee charged with eMeasure
10 development beginning with pressure ulcers. And
11 therefore I'm a primary investigator for the
12 eMeasure pressure ulcer work across all of CHRISTUS
13 Health.

14 MEMBER QUIGLEY: Good morning. I'm
15 Pat Quigley and I come to you as Patricia A.
16 Quigley, nurse consultant. So that's who I am with
17 because I retired from the Department of Veterans
18 Affairs February 1. So I'll have to update my bio.
19 And colleagues, I have nothing to disclose.

20 MEMBER ALEXANDER: I'm Charlotte
21 Alexander with Memorial Hermann and I have nothing
22 to disclose.

1 MEMBER WEBB: I am Kendall Webb. I
2 work at the UF Health Jacksonville facility as the
3 CMIO. And I'm here actually with American College
4 of Emergency Physicians out of their Quality and
5 Performance Committee. I have nothing to
6 disclose.

7 MEMBER LAWLESS: I'm Dr. Steve
8 Lawless. I'm the senior vice president and chief
9 clinical officer for the Nemours System and I have
10 nothing to disclose.

11 DR. WILSON: Thank you. And now we'll
12 go to any of the committee members who are on the
13 phone. I think Michelle Schreiber is on the phone.

14 MEMBER SCHREIBER: Yes. Thank you.
15 Good morning, I'm Michelle Schreiber. I'm the
16 chief quality officer of the Henry Ford Health
17 System in Detroit and I have nothing to disclose.

18 DR. WILSON: Thank you. Are any other
19 committee members on the phone with us at this time?
20 Okay. Thank you. We may have a committee member
21 joining us a little later on and when they do, they
22 can do the disclosure when they come in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thank you all for that information.
2 And I'd like to remind you that if you believe at
3 any time during this discussion today or tomorrow
4 either you think of something that might be a
5 conflict or someone else says something that you
6 think might be a conflict, please don't remain
7 silent. You can approach either your co-chairs or
8 any of the NQF staff if you have concerns.

9 We would much rather have you bring
10 something forward so we could discuss it rather
11 than sit and be concerned that there was any type
12 of conflict going on.

13 Based on what you've heard from your
14 colleagues around the committee, do you have any
15 questions at this time for me?

16 CO-CHAIR THRAEN: I have one.

17 DR. WILSON: Yes, Iona.

18 CO-CHAIR THRAEN: Okay. So Victoria
19 Rich was intending to be a presenter on the
20 PACE-acquired pressure ulcer injury prevent
21 prevalence rate.

22 DR. WILSON: Uh-huh.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: She's had a family
2 emergency.

3 DR. WILSON: Right.

4 CO-CHAIR THRAEN: She's not going to be
5 here. We were going to ask Susan Moffatt. She's
6 not joined us quite yet. And then Chris is the back
7 up. And Lillee had volunteered to present, but
8 because she was on the PACE group is that a conflict
9 of interest?

10 DR. WILSON: Yes, it would.

11 CO-CHAIR THRAEN: Okay.

12 DR. WILSON: We would not have her
13 present.

14 CO-CHAIR THRAEN: So Chris, you're
15 going to be on board to do the presentation on that
16 particular measure?

17 DR. WILSON: So Chris is on deck for
18 that one.

19 CO-CHAIR THRAEN: Okay. Thank you.

20 DR. WILSON: Yes. Any other
21 questions? Yes.

22 MEMBER GELINAS: First of all, thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you to the NQF staff for individual microphones.
2 I guess many of us who have been a part of NQF for
3 some time remember sharing them, so appreciate
4 that.

5 As a part of protocol would you remind
6 us if we do have to recuse ourselves limitations
7 on discussion versus limitations on actually
8 voting?

9 DR. WILSON: Thank you for that
10 question. If you are recused you may not join in
11 the discussion. You do not have to leave the room,
12 but may not participate in the discussion nor can
13 you vote. So that's our policy any time someone
14 has a recusal. You can listen, but you may not
15 speak or vote.

16 CO-CHAIR SEPTIMUS: Thanks. Thanks
17 for that clarification. Appreciate that. Any
18 other questions of Marsha? Okay. Well, that's
19 great. So we have Andrew and Andrew here, so.

20 CO-CHAIR THRAEN: Andrew squared.

21 CO-CHAIR SEPTIMUS: Yes. Andrew
22 Anderson, have you been to one of our meetings

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 before?

2 MR. ANDERSON: Yes, I was.

3 CO-CHAIR THRAEN: Yes, he was here last
4 one.

5 CO-CHAIR SEPTIMUS: Okay. I'm sorry.
6 I didn't -- okay. So, what --

7 CO-CHAIR THRAEN: One's Drew and one's
8 Andrew. He's Drew.

9 CO-CHAIR SEPTIMUS: I told you we're
10 going to have fun, right? So we're ahead of
11 schedule, so that's good. So I'm going to turn it
12 over to Drew and Andrew. Get that right now? See,
13 I am a learner.

14 Talk about product introduction and
15 overview of the evaluation process and then, I
16 think we'll just go right into talking about the
17 patient's safety measure portfolio that we're
18 going to review. And I know that we're going to
19 mention about certain new processes in terms of how
20 we can discuss maintenance measures. So I'll turn
21 it over to both of you.

22 MR. ANDERSON: Sure. So I'll get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 started just with some housekeeping items. Let's
2 see. So just as a reminder, we're going to have
3 two breaks today, one for lunch and then one at
4 3:30. Depending on how we move through the
5 measures we might adjust that a little bit.

6 And then we've been streaming the web
7 information if you want to log in for wi-fi. We
8 have a couple documents on SharePoint that you
9 might want to pull up if you have your laptop with
10 you.

11 We posted last night a related and
12 competing comparison table. It's now on the main
13 part of the committee SharePoint, so if you could
14 pull that up once we get to that section, you can
15 follow along. But we'll also be pulling it up here
16 as we discuss the measures. And as you know the
17 bathrooms are out and you can leave at any time,
18 outside.

19 I'm just going to skip -- how does this
20 work? Okay. So as you all are familiar these are
21 just some ground rules for the meeting. You all
22 have reviewed the measures beforehand already. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 want to make sure that we're basing all of your
2 evaluations on the measure criteria.

3 We've including all of the measure
4 evaluation forms with the folders that we passed
5 out. So if you didn't get a chance to look at
6 those, those should be at your place setting and
7 we can get you that if you haven't been given it.

8 The other thing is if you can make sure
9 that you stay in the room at all times unless you're
10 at the restroom, keeping your comments concise and
11 focused, try not to repeat too many things if you
12 can avoid it, and then also allowing everyone to
13 contribute. As you remember from last year, if you
14 want to speak just put up your card and one of the
15 co-chairs will call on you. Okay.

16 You all are already familiar with this,
17 but we have a lot of public participants in the room
18 and some on the line. Where we are in the
19 eight-step consensus development process is the
20 standards review.

21 And here, the measure evaluation
22 criteria that you all are already familiar with,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for each measure we'll be walking through the
2 importance to measure and report, talking about
3 performance gaps.

4 We'll look at the scientific
5 acceptability of the measures and measure
6 properties, so the reliability and validity of the
7 measures, assessing whether or not the measures are
8 feasible, usable. And then we'll also be looking
9 at that related and competing table to make sure
10 that we choose the best in class if there are any
11 measures that are competing with each other.

12 So just a quick overview of what we're
13 looking at today. I'm just going to quickly go
14 through some of our previous work that we've done
15 in this area for patient safety. This is the third
16 cycle of this project. So you all are very
17 familiar, but, again, for public attendees. And
18 then I'll turn it over to Andrew in a moment to go
19 over the portfolio.

20 We've almost gotten to the point where
21 we've reviewed almost all of our maintenance
22 measures in this project, so later in this meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we'll be talking about gaps in measurements. And
2 we hope to spend a little bit of time talking about
3 where we can advance measurement in this area.

4 I know last year, of course, we were
5 always talking about developing more outcome
6 measures, but getting more detail around that and
7 then having a Q and A discussion.

8 So like I said, this is the third cycle
9 of patient safety. This is one of our longest
10 consensus development projects. We've been doing
11 patient safety projects almost since the beginning
12 since NQF started its work.

13 We have a number of other projects that
14 this patient safety overlaps with because it's a
15 cross cutting area. And some of them are safe and
16 better practices for better healthcare that came
17 out in 2010, our report on serious reportable
18 events and our common formats project that's been
19 going on for some time.

20 And just as a reminder NQF has our
21 measure applications partnership and that's why
22 NQF decides while we have a number of workgroups,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clinician workgroups and a few others, that come
2 up with recommendations for measures for federal
3 programs. And we've been having a lot of
4 conversations about intended use.

5 Patient safety is among one of MAP's
6 family of measure projects and it was one of the
7 frameworks that was originally developed, like I
8 mentioned earlier.

9 And then we also have the National
10 Quality Partners which convenes action teams, some
11 of them around maternity care, re-admissions,
12 patients and family engagement. NQP is also
13 looking at an action team around shared
14 decision-making. So a number of other things that
15 go in and tie into this work. Okay.

16 So I'm going to turn it over to Andrew
17 to go over the measure portfolio.

18 CO-CHAIR SEPTIMUS: Just one quick --
19 I may have missed it, but did you mention the
20 measurement incubator work? If any of you want to
21 make mention, not because I sit on that group, but
22 I just think it's an important activity that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 committee may want to hear about.

2 MR. LYZENGA: Yes, that's a good point,
3 Ed. And the last couple years we've been working
4 to sort of develop a program that we're calling the
5 Measure Incubator.

6 NQF hasn't previously been involved at
7 all in the development of measures and we're really
8 still not involved in development, but we're sort
9 of working to create an environment wherein, you
10 know, measured development can be advanced and
11 incubated, so to speak.

12 What we're doing is trying to serve as
13 a matchmaker of sorts, bringing together the folks
14 who have a good measure concept or idea, people or
15 groups who have expertise in measure development,
16 maybe groups that have funding and have an interest
17 in advancing measurement in a particular area, and
18 just any sort of group or person or people who can
19 contribute to development of new and innovative
20 measures in a particular area.

21 We're going to try to bring them
22 together and help, again, incubate and sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accelerate the development of those measures in
2 some key gap areas where we're really in need of
3 measures.

4 So any questions about that would be
5 welcomed as well, but that's a pretty exciting new
6 area we're getting into. I don't know if you want
7 to add anything to that, Ed.

8 CO-CHAIR SEPTIMUS: No, that we're
9 just really exploring where this should go.
10 Anyone who's ever been involved in measure
11 development, you can see the amount of work it takes
12 to bring a measure forward to go through the
13 rigorous process.

14 There's also a fair amount of expense
15 involved in doing it. So this is a way to help
16 facilitate new measures that we think, or not we,
17 but the community think should be developed and how
18 can they get them developed in a way that meets the
19 rigorous standards that it needs to make to get
20 through the NQF process.

21 So I think it's a really important step
22 forward. It's a baby step, but it's an important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 step forward. Before I forget, we need to
2 congratulate this young man, right? How old is the
3 baby?

4 MR. LYZENGA: Twelve weeks.

5 CO-CHAIR SEPTIMUS: Twelve weeks old.
6 And he's still awake.

7 MR. LYZENGA: Thanks, Ed. A little
8 tired, but, you know, hanging in there. Yes, go
9 for it, Lisa.

10 MEMBER MCGIFFERT: Are these slides
11 somewhere where we can download them? Are they on
12 the page and then I can't see to find the big
13 document you sent yesterday. I don't know who it
14 came from, but I'm not -- yes, but who did the email
15 come from because it's not from --

16 MR. ANDERSON: Yes, it came from me.

17 MEMBER MCGIFFERT: From you? Okay.

18 MR. ANDERSON: Yes, Drew.

19 MEMBER MCGIFFERT: It's not showing
20 up.

21 MR. ANDERSON: And then it's also
22 posted under the general documents section on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 committee SharePoint.

2 MEMBER MCGIFFERT: So what is it
3 called?

4 MR. ANDERSON: It's called the Later
5 than Competing.

6 MEMBER MCGIFFERT: The later --

7 MR. ANDERSON: Oh, the worksheets.

8 MEMBER MCGIFFERT: Oh, this is a later
9 than --

10 MR. ANDERSON: It was an attachment.

11 MEMBER MCGIFFERT: It didn't --

12 MR. ANDERSON: Yes, I didn't. It's --

13 MS. QUINNONEZ: So --

14 MR. ANDERSON: But you --

15 MS. QUINNONEZ: -- if you go to the home
16 page, it repeats.

17 MEMBER MCGIFFERT: Yes, the one that
18 you -- the big document that has everybody's --

19 MR. ANDERSON: Oh, okay.

20 MEMBER MCGIFFERT: -- comments on it.

21 MR. ANDERSON: Yes, I can go ahead and
22 upload it. I just sent it out as an attachment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I didn't --

2 MEMBER MCGIFFERT: As an attachment
3 from you.

4 MR. ANDERSON: Yes, look back at the
5 email that was sent late.

6 MEMBER MCGIFFERT: Okay. So I just
7 did a search for you and I'll do it again.

8 CO-CHAIR SEPTIMUS: Look back at the
9 email late yesterday afternoon --

10 MR. ANDERSON: Oh, that one came from
11 Patient Safety.

12 CO-CHAIR SEPTIMUS: -- and it's got two
13 attachments in it.

14 MEMBER MCGIFFERT: Okay. It's just --

15 CO-CHAIR SEPTIMUS: Did you not get it,
16 Lisa? I can send it to you real quick if you don't
17 have it. It should be late yesterday afternoon.

18 MEMBER MCGIFFERT: Well, I'll check it
19 now.

20 CO-CHAIR SEPTIMUS: Isn't that right,
21 Andrew?

22 MR. ANDERSON: Yes, it was.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Yes.

2 MR. ANDERSON: It was around 4
3 yesterday.

4 MEMBER MCGIFFERT: Yes, it's -- great.

5 MR. LYZENGA: So --

6 MEMBER MCGIFFERT: Maybe you could see
7 if you could send it to us maybe from your email?

8 CO-CHAIR SEPTIMUS: I'm going to send
9 it to you, Lisa.

10 MEMBER MCGIFFERT: That's not --

11 MR. LYZENGA: Okay. So I was just
12 going to take a quick moment to give you a refresher
13 on our portfolio. You guys are again a pretty
14 experienced committee. I think you're pretty
15 familiar with our portfolio, so I won't spend too
16 much time on this.

17 Just to note that we have had a little
18 bit of attrition in the portfolio, some measures
19 that have had endorsement removed, a few measures
20 that have been withdrawn from consideration.

21 One notable area is the VTE area. We
22 used to have a set of measures from the joint

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 commission around VTE, prophylaxis. They've
2 decided that those are topped out, really getting
3 high performance in those. So they've elected not
4 to resubmit those for endorsement. So we've kind
5 of had some shrinkage in that particular area, in
6 a number of other areas as well.

7 Actually, if you look in the bottom
8 corner there, this is a portfolio that actually is
9 pretty heavy on outcomes compared to some of our
10 others. It's not to say that we don't need more,
11 but interesting to see that many of the other topic
12 areas, portfolios of measures that NQF are not
13 quite so heavy on the outcomes so.

14 I've got some slides here that walk
15 through each of the topic areas and I'll probably
16 just kind of skip over that in the interest of time.

17 We can get into our actual measure
18 evaluation, but we can maybe return to this
19 tomorrow when we get into our gap discussion, if
20 you'd like, so we can look through how all of the
21 measures that are actually in each of these
22 particular topic areas. I won't belabor it at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 moment.

2 We've got some newly submitted
3 measures. Most of the measures in this cycle of
4 review are newly submitted, a bunch in that
5 medication safety category, a couple of pressure
6 ulcer measures, a couple fall measures, some
7 HAI-related measures. Actually one of those is
8 mis-categorized I think there, but. And then a few
9 other sort of general or miscellaneous measures
10 here. Do you have a comment, Ed?

11 CO-CHAIR SEPTIMUS: No, but I think if
12 you go back and look at our portfolio --

13 MR. LYZENGA: Yes.

14 CO-CHAIR SEPTIMUS: -- I mean, look at
15 the work that we've done over the last two/three
16 years. It's a very impressive list of topics. So
17 pat yourselves on the back.

18 MR. LYZENGA: So, yes, we can jump into
19 the evaluation portion now, but before we get
20 there, I did want to remind you we talked a little
21 bit about this in our Q and A call, in our
22 orientation call.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we do have a new maintenance process
2 in place wherein for maintenance measures we can,
3 if the committee elects to do so, sort of skip over
4 a couple of these or give a little bit less emphasis
5 to a couple of these criteria. In particular, the
6 sub-criteria under importance to measure and
7 report of evidence, and then the scientific
8 acceptability criterion.

9 And the idea is that once a measure has
10 been endorsed, and for many of these measures has
11 been endorsed multiple times, the evidence is
12 unlikely to change a lot and nor is the testing.
13 We've sort of already given that our okay through
14 a series of committee reviews.

15 So we are allowing our committees to
16 kind of skip over that portion without a vote. You
17 may discuss it if you'd like and you may also vote
18 if you decide that that would be appropriate.

19 In some instances there has been some,
20 you know -- sometimes the evidence changes for
21 something and in that case we would want to vote
22 on evidence again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Sometimes there's been updates in
2 testing or updates to evidence, but often those
3 updates to evidence and testing really only, sort
4 of, add to the support for the measure or serve to
5 strengthen the measure.

6 So in those cases even though if there's
7 new information we don't necessarily need to vote
8 again on those criteria. So we've done this in
9 different ways across different committees.

10 We've only got two maintenance measures
11 here, so maybe when we get to those we can just sort
12 of do an informal hand vote on whether you want to
13 maybe by exception, if you do want to vote on
14 evidence for those measures maybe raise your hand.
15 And if you do want to vote on scientific
16 acceptability, raise your hand, but otherwise we
17 can kind of pass over those criteria without much
18 discussion.

19 CO-CHAIR THRAEN: So does the
20 documentation have to demonstrate that there
21 continues to be a gap in performance? I mean how
22 is that evaluated in like with what you just said?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. LYZENGA: Yes, I should've
2 clarified that. It's not in the entire importance
3 to measure and report criteria just the evidence
4 criteria and the sub criterion. We actually want
5 to put more emphasis on the gap and the opportunity
6 for improvement.

7 That is something we want to definitely
8 do want to talk about and take a vote on for the
9 maintenance measures and actually place a little
10 bit more emphasis on that area. Any question on
11 that from the committee?

12 MEMBER WEBB: I just need a voting
13 device.

14 CO-CHAIR SEPTIMUS: You're very
15 important. Any other questions?

16 MR. LYZENGA: Jason, you came in a
17 little late. Did you get a voting device?

18 CO-CHAIR SEPTIMUS: Yes, Jason, will
19 you, yes, introduce yourself --

20 MR. LYZENGA: Yes.

21 CO-CHAIR SEPTIMUS: -- and state any
22 conflicts please, Jason?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ADELMAN: Yes, I'm Jason
2 Adelman. I'm the chief patient safety officer at
3 Columbia University Medical Center and I don't have
4 any questions. Oh, and I have no conflicts either.

5 CO-CHAIR SEPTIMUS: Is there anybody
6 else who joined on the phone?

7 CO-CHAIR THRAEN: Do you have a voting
8 device?

9 MEMBER ADELMAN: Yes, I'll vote.

10 CO-CHAIR SEPTIMUS: Did anybody else
11 join on the phone that's a committee member? Okay.
12 So I hope we do this all day, but we are, I almost
13 hate to say it, but we're 20 minutes ahead of
14 schedule.

15 But the first section this morning up
16 until lunch Iona will be the moderator. So take
17 it away, Iona.

18 CO-CHAIR THRAEN: Hey. So we're going
19 to start with the consideration of candidate
20 measures, 0022, use of high risk medications in the
21 elderly, National Committee in Quality Assurance.
22 Do we have a presenter from NCQA today for that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then Michelle -- where's Michelle?

2 MEMBER SCHREIBER: I'm here.

3 CO-CHAIR THRAEN: You are the
4 presenter from the team after the NCQA presents.
5 Thank you.

6 CO-CHAIR SEPTIMUS: Those chairs are
7 wired. No, I'm kidding.

8 DR. GIAVONETTI: So, hi, my name is
9 Erin Giavonetti. I'm a senior research scientist
10 with the National Committee for Quality Assurance.
11 I'm joined by Bob Rehm, an AVP in our performance
12 measurement department. And on the phone we have
13 Emily Morden who is the measure lead for this
14 measure. Emily, you want to say hi?

15 MS. MORDEN: Hello. My name's Emily
16 Morden. I'm a senior research associate with our
17 performance measurement department as well.

18 DR. GIAVONETTI: So this measure is Use
19 of High-Risk Medications in elderly is a
20 maintenance measure. It is a long-standing HEDIS
21 measure that was recently updated to match the
22 updated American Geriatric Society Beers Criteria.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So the measure assesses whether or not
2 older adults were dispensed a high-risk
3 medication. There is extensive evidence showing
4 that certain medications in older adults can be
5 very harmful. They can result in adverse drug
6 offence, falls, confusion, hospitalization, and
7 even death.

8 The American Geriatric Society
9 convened a panel of experts in geriatrics and
10 pharmacology to review the evidence for
11 medications which are harmful in the elderly and
12 to create the Beers criteria which is a list of
13 medications to be avoided.

14 The National Committee for Quality
15 Assurance and the Pharmacy Quality Alliance, MCMS,
16 were ex-officio members of that panel, non-voting
17 members, so we were able to actually listen to the
18 discussion of the evidence guideline developers.

19 We then took the Beers criteria and
20 adapted it for use in a performance measure. The
21 one that I have presented to you today is used in
22 Medicare Advantage plans. It is completely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 aligned with a parallel measure that is owned by
2 the Pharmacy Quality Alliance that is used in
3 Medicare Part D.

4 The measure has recently been updated
5 by NCQA and went through review by our geriatric
6 measurement advisory panel and our committee on
7 performance measurement and was voted on by the
8 board of directors at NCQA.

9 The updates we made to the measure,
10 which you have in your materials is updated
11 medication lists as well as an update to Rate 2 of
12 the measure, which changed Rate 2 from looking at
13 dispensing of two different high risk medications
14 to be dispensing of two dispensing events for the
15 same high risk medication. And this brings the
16 measure in better alignment with the Pharmacy
17 Quality Alliance measure.

18 And with that I will pass it back to the
19 chairs.

20 CO-CHAIR THRAEN: Michelle?

21 MEMBER SCHREIBER: Okay. Well, thank
22 you. And first of all I'm sorry I can't be with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all of you today. I've looked forward to being
2 there, but I also had a family emergency, so thank
3 you for allowing me to do this by phone.

4 I think we heard from NCQA that this is,
5 indeed, a maintenance measure assessing the use of
6 high risk medications in the elderly by the Beers
7 criteria that has been in use really, for quite some
8 time.

9 So I want to talk a little bit about the
10 importance to measure first. And that's, you
11 know, none of us actually would disagree that
12 medication errors are really important and are
13 among the top patient safety issues.

14 This measure assesses whether or not
15 patients who are greater than age 65 and who are
16 not in hospice, that's the one exclusion criteria,
17 have been prescribed one or more potentially
18 inappropriate medications.

19 The list is a very well-developed and
20 well-referenced list called the Beers criteria.
21 And as you heard they're based on recommendations
22 from the American Geriatric Society, NCQA, CMS sat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in, pharmacology, and the medications that are
2 associated really with numerous clinical trials
3 and publications.

4 I believe the importance to measure is
5 high because it represents potentially harmful
6 medications to the elderly. But I do want to take
7 a moment to comment on the public comment from CDC
8 that came later with this measure.

9 Who, although they agree that
10 medications errors are an important safety issue,
11 they describe that this particular measure may not
12 be best in identifying medications that lead to an
13 adverse drug event in the elderly.

14 And their comment was that the majority
15 of adverse drug events occur from warfarin,
16 anti-diabetics or oral anti-platelets. My
17 comment would be that I believe the importance for
18 this measure is still high.

19 Warfarin is something that we prescribe
20 because frankly you have to. And it's always given
21 for a specific reason and it requires monitoring
22 and that's the safety, I think, of warfarin.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This list does include key
2 anti-diabetics such as glyburide, many of the CNS
3 depressants such as barbiturates, sleeping
4 medications and others. So they're included
5 appropriately in this list and I believe the list
6 still represents an important high-risk medication
7 list and it's important to measure.

8 I'd also note that this harmonizes
9 nicely with our next measure to be evaluated, 2993,
10 which looks at specific diseases or risks. This
11 measure is actually broader.

12 As we discuss this though I would maybe
13 ask the committee that we not look as closely or
14 specifically vote on reliability or validity
15 because that has been tested over and over again
16 and I think that's an opportunity for us to bypass
17 that.

18 CO-CHAIR THRAEN: Ed has a comment.

19 CO-CHAIR SEPTIMUS: No, I was just
20 clarifying. I'm sorry.

21 CO-CHAIR THRAEN: Thank you, Michelle.

22 MEMBER SCHREIBER: So --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: NCQA, do you want to
2 comment on the 0022 versus 2993 and clarify the
3 differences between those two measures?

4 DR. GIAVONETTI: Sure. So in the
5 Beers criteria there are multiple tables of
6 medication. There is one table of medication, I
7 know it's Table 2, that is the list of medications
8 to be avoided regardless of condition.

9 There is an additional table that says
10 if you have a specific condition these medications
11 should be avoided because they either exacerbate
12 the condition or can cause other problems. So an
13 example there would be SSRIs and falls.

14 There's evidence that use of SSRIs
15 leads to increased falls in the elderly. And so
16 if you have a history of falls that should
17 potentially be avoided. You would not necessarily
18 say that would be something to be avoided for the
19 whole population. So the measures are
20 complementary, but they look at different aspects.

21 CO-CHAIR THRAEN: And then Steve.

22 MEMBER LAWLESS: A question actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on Table 1A and you can interpret it for me. When
2 you talk about the correlations and you said Rate
3 1, one high risk medication and Rate 2, two high
4 risk medications, I would think that if you had one
5 high risk medication you'd have a correlate.

6 And the correlation would be stronger
7 if you had two and then sometimes the correlation
8 gets, no, it's probably not significant, but it
9 doesn't really change. So does this impact at all
10 why one versus two?

11 DR. GIAVONETTI: So this is actually
12 looking at one high risk medication and this is
13 based off of the data we had available at the time
14 that looked at two different high risk medications.

15 MEMBER LAWLESS: Got you.

16 DR. GIAVONETTI: So you are correct.
17 They should be highly correlated. It was mostly
18 a check on the validity. But if we did not see them
19 to be highly correlated, that would suggest that
20 there was a problem with the measure.

21 MEMBER LAWLESS: But I mean, it's
22 almost like a tolerance thing has developed in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms of one is more, but I don't see the -- even
2 if you had two separate ones --

3 DR. GIAVONETTI: Uh-huh.

4 MEMBER LAWLESS: -- would you expect
5 still to be more of a -- I mean, they're adding on
6 to each other.

7 DR. GIAVONETTI: Yes, but this is
8 looking at the health plan level rates, so you would
9 expect to see that the rate of people who had one,
10 right, that's going to be included then in the rate
11 of people that had two. So they're overlapping
12 measures. But the correlation therefore, they're
13 not entirely independent rates.

14 So you are correct that a correlation
15 is perhaps a little bit, you know, kind of fuzzy
16 there which is why we've included the correlation
17 with the other measures that use of high risk
18 medications and specific conditions which are
19 different high risk medications.

20 CO-CHAIR THRAEN: Would you also
21 comment about performance gaps or performance?
22 You said this is a maintenance stage. Has there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been much change over the last several years and
2 if so, what direction?

3 DR. GIAVONETTI: So there has been
4 change. It is a little bit challenging to look at
5 a trend because the medication list is being
6 updated. So there was actually a very big update
7 to the measure in, I believe, 2012 where a good
8 portion of the medications changed and we saw a big
9 change in the rates. This time we've had another
10 medication update, so it's hard, we'll not actually
11 compare directly the two.

12 One thing we have noticed is that the
13 second rate, which looked at the use of two
14 different high-risk medications, we saw that
15 decrease dramatically.

16 And we, therefore, felt that that
17 measure was bottomed out in terms that there
18 couldn't be much lower that they could go. And
19 that's why we've revised it to look at the
20 prescription of two dispensing events for the same
21 high risk medication.

22 And that is aligning with the Pharmacy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Quality Alliance, but it's also because you may get
2 somebody where they get the first prescription and
3 that maybe was not completely avoidable, but when
4 you are then giving them a second prescription for
5 the same high-risk medication, particularly for
6 things like sleeping agents, you are starting them
7 down a pathway that can be very dangerous.

8 MEMBER SCHREIBER: It's Michelle.
9 I'd also like to comment. I was going to talk about
10 this when we voted about the performance gap, that
11 there's actually a very nice table included in the
12 measures that does show that there has been
13 improvement over time.

14 So from 2012 to 2014, prescribing at
15 least one high risk medication has fallen actually
16 from a mean of 21 to 13.2. But if you look at the
17 worst performers and the best performers, so the
18 tenth percentile, in other words the top ten
19 percentile, in terms of performance, that
20 currently sits at 7.6, but the bottom tenth
21 percentile is 21.7.

22 So I think there's still an opportunity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and a gap between the best performers and the worst
2 performers in this measure.

3 CO-CHAIR THRAEN: Thanks, Michelle.
4 So, Albert, then Charlotte.

5 MEMBER WU: Yes, hi. I just had, sort
6 of, a little detail question about the Table 2,
7 which actually, I think, here is shown for us as
8 Table 1C.16.

9 There's a note that only prescription
10 medications are to be included in the list. But
11 in that table the first box includes
12 anticholinergics and a lot of them are not
13 prescription medications. So should those
14 medications be included or not included?

15 DR. GIAVONETTI: Emily, you're closer
16 to the actual individual medications. Can you
17 clarify what out of that list? Are all of those
18 included or is this just from the Beers, everything
19 they listed as anticholinergics?

20 MS. MORDEN: So if you're referencing
21 the table of medications that we included in the
22 evidence for, these are all the medications we do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 include in the measure. So the anticholinergics,
2 for example, these first generation
3 antihistamines, these are included in the measure.

4 And you're right that some of them are
5 available over the counter, but some of them are
6 also provided via prescription and so they are
7 included. So we wouldn't include a medication, I
8 guess, that wouldn't be dispensed via a
9 prescription ever, if that makes sense.

10 CO-CHAIR THRAEN: So I guess the
11 question is in the counting are you including
12 non-prescribed medications because you have them
13 listed or you're not including them because they're
14 not prescribed? I think that's the question
15 Albert's asking.

16 MEMBER WU: And to go a little further,
17 since in electronic records medications are often
18 listed even if they're not medications at all, are
19 those then going to count against you or not, and
20 are you going to have to discriminate whether or
21 not they were prescribed or recommended or someone
22 just said go to the pharmacy and get some of this,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's cheaper because it's over the counter?

2 DR. GIAVONETTI: So let me clarify that
3 this is not about prescriptions, this is about
4 dispensing events. So if a medication was
5 prescribed, but never filled, it would not be in
6 the measure. If a medication was bought over the
7 counter it would not be in the measure because it
8 is based off of pharmacy claims data. Right now
9 that is the data that most health plans have
10 reliable access to.

11 I think it's an excellent point about
12 the future of this measure and basing this measure
13 off of data that is available in electronic health
14 records that might include medications that
15 somebody is on that were not prescribed, including
16 the OTCs. And that will be certainly something we
17 will investigate as we think about moving this
18 measure towards using data from the EHR.

19 I think the other caveat to that is that
20 we also know that there are sometimes medications
21 missing from the medication list and the EHR that
22 may be captured in the pharmacy data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we certainly would not want to
2 create the unintended consequence of providers not
3 listing a medication they're providing because
4 they would be dinged on a quality measure.

5 So for example, I'm going to give you
6 some antipsychotics, but I don't want to be dinged
7 on the quality measures so I won't list it in the
8 EHR. That would be the worst possible outcome, so.

9 MEMBER WU: There is a funny bias
10 potentially that some people's insurance plans
11 that are very generous will cover, free, a
12 prescription of Benadryl, for example. And so
13 people will ask to have a prescription written for
14 a medication which might otherwise not be covered
15 and, therefore, be bought over the counter.

16 So some people may be dinged for this
17 and others not and that is unlikely to be in a random
18 way.

19 CO-CHAIR THRAEN: Charlotte, then
20 Yanling, then Ed, then Lisa.

21 MEMBER ALEXANDER: Thank you. Just a
22 comment, I noticed in your Table 2, which is also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 our 1C.16, that on a number of the medications the
2 evidence is marked as low, but the recommendation
3 was strong. And that seems a dichotomy to me, so
4 could you speak to that, please?

5 DR. GIAVONETTI: So I'm going to try to
6 -- they used the IOM criteria for grading evidence.
7 And there is criteria that I think someone who's
8 more of an expert in this could probably speak to
9 it, but I believe, in order to rate something high
10 or moderate there had to be a certain amount of
11 randomized clinical trials or a certain type of
12 trial that the evidence was based off of.

13 And there are some medications where
14 there just isn't that evidence, but what the
15 evidence that there is showed that the medication
16 could have such a negative effect that there would
17 not be any additional studies of that medication.

18 And so that's where the AGS came on.
19 Even though the evidence was low, you know, in terms
20 of the design of the studies, the actual impact of
21 the medication was so high that they felt it was
22 a strong recommendation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER YU: Thank you. My question's
2 for the developer. I think this is very important
3 measure and definitely that shows gaps to improve,
4 and also it shows the improvement from 2012 to 2014.

5 My question to you, there are two
6 questions, first one, in your analysis what is the
7 most critical if you could list one or two factors
8 that contributed to this improvement during this
9 time window? That's the first question.

10 DR. GIAVONETTI: So I think the most
11 critical factor was the inclusion of PQAs parallel
12 measure of use of high risk medication in the
13 elderly in the CMS Part D stars rating program.

14 And that is a program whereby plans
15 received financial benefit for improvement on
16 quality measures. And as we saw this measure
17 implemented in that, we saw the rates go down
18 significantly. So I think that's why we are seeing
19 to purely this measure going down.

20 Did you want to know what,
21 specifically, our health plan's doing to reduce the
22 use of this medication?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER YU: That actually is my second
2 question.

3 DR. GIAVONETTI: Yes. Uh-huh.

4 MEMBER YU: What is the adaptation rate
5 for, you know, the implementation and adaptation
6 for this measure for, you know, CMS, you know, that
7 we all know they have lots of -- I'm more
8 interesting about the commercial, the other type
9 of health care plan and also in term of
10 traditionally in for physician, was it called the
11 physician reporting system?

12 DR. GIAVONETTI: Uh-huh.

13 MEMBER YU: So I'm interesting to know
14 particularly on that.

15 DR. GIAVONETTI: So this is a measure
16 that is reported by all Medicare Advantage plans
17 that have a pharmacy benefit to them. It is
18 required by CMS that they all report on that.

19 In terms of the adaptation by
20 physicians, there is a version of this measure that
21 is in the physician level version. It's not the
22 one that we're bringing to you today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I can't speak to all the details of it
2 right now, but it is a measure that is being
3 collected through EHRs and is slightly different,
4 but I don't have the numbers in front of me in terms
5 of how many providers are actually using that
6 measure.

7 And there are a different set of issues
8 associated with that measure, but what we're
9 focused on today is the health plan level measure.

10 MR. REHM: And if I can add a little bit
11 to that. The HES when it first came out with its
12 revised guidance in 2011 really upped the ante by
13 providing a whole variety of clinician tools and
14 explanatory articles in addition to the guidance.

15 And I think they also, under our
16 recommendation, had a public comment period which
17 I think helped pollinate the guidance. And then
18 when that was repeated in 2015 again, stepped up
19 their communication strategy around this.

20 So this was an example of where the AGS
21 went really out of its way to provide a variety of
22 tools that both patients and providers can use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so if you're thinking about kind of
2 a trickle down from health plan, which is a fairly
3 large population-based measure to getting traction
4 in the clinical community, I really do think that
5 there's a trend here and I think stars is important.
6 But I also think clinician engagement is important
7 as well as patient understanding and appreciation.

8 MEMBER YU: So in other words, you
9 anticipate there would be increased adaptation of
10 this measure at the healthcare system level?

11 MR. REHM: I think it's kind of like,
12 you know, do you usually run out and buy the first
13 year model of a car or do you go for the second year.
14 And as these things develop, I think the traction
15 just builds. It builds on itself.

16 MEMBER YU: Yes, I was just --

17 MEMBER SCHREIBER: Actually, this is
18 Michelle, I'm sorry because I can't see you to know
19 who's commenting and talking.

20 But I would add that as an integrated
21 care delivery system, which we are, although
22 currently this affects our health plan, all of our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 physicians have been included in this and in the
2 prescribing parameters on what to avoid in the
3 elderly. So I do think that from a personal
4 practicing point of view that's true.

5 In addition, this is being used as a
6 PQRS measure and as a meaningful use measure and
7 so I think it will affect and certainly trickle down
8 to individual providers.

9 MEMBER YU: Okay. Thank you.

10 CO-CHAIR THRAEN: Ed and then, Lisa,
11 and then Kendall, right?

12 CO-CHAIR SEPTIMUS: Yanling actually
13 asked almost all of my questions, so that's scary.
14 But one question for my own information. Is 65 the
15 right age?

16 CO-CHAIR THRAEN: For what?

17 CO-CHAIR SEPTIMUS: Now, part of this
18 is personal guys.

19 MR. REHM: It's very personal to some
20 of us.

21 CO-CHAIR SEPTIMUS: But, you know, 65
22 today is a little different than it used to be. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 my question is, is 65 the right cutoff for this?
2 But all my other questions were asked by Yanling.

3 DR. GIAVONETTI: Thank you. You raise
4 a really good point that I will bring back to the
5 American Geriatric Society, who, you know, they're
6 also experiencing the same aging.

7 Is it the right cut off? It is in some
8 ways, 65 is an arbitrary cut off that is used
9 commonly. And I think that's where we see the
10 research focus on population 65 and older.

11 I think as we see this silver tsunami,
12 you know, we're going to see, probably, more
13 research saying 65 isn't the same as it used to be.
14 And maybe there might be some, but we don't want
15 to get ahead of the evidence.

16 CO-CHAIR SEPTIMUS: No.

17 DR. GIAVONETTI: Uh-huh. Yes.

18 CO-CHAIR SEPTIMUS: It's not the
19 question's too big.

20 DR. GIAVONETTI: Yes.

21 MEMBER MCGIFFERT: It's also related
22 to the Medicare age, so that probably has something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to do with it.

2 I had a couple of questions, and maybe
3 you've already answered it, but I see that denied
4 claims are excluded and I wonder if that is missing
5 a lot of inappropriate prescriptions.

6 Maybe it's more appropriate to include
7 denied claims when you're looking at it from the
8 physician perspective, but also if you had in a plan
9 it seems if you had a lot of physicians prescribing
10 it and then it was denied that that's an issue to
11 consider.

12 DR. GIAVONETTI: So I'm going to invite
13 Bob and Emily to speak on this. I think one of the
14 things that we are aware of is that one of the tools
15 that health plans have to influence provider
16 behavior is denial or asking for preauthorization
17 for certain medications. That is one of the ways
18 that they can push providers to say don't prescribe
19 this. You need to justify why you're doing this.

20 That's why if a health plan is doing all
21 of that and providers are still, you know, filling
22 a prescription, that's where we kind of have said

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at the health plan level, they have met the intent
2 of not covering this medication.

3 At a provider level I do agree that a
4 denied, you know, claim should not be excluded.
5 But I would ask Bob or Emily if they want to add
6 anything.

7 MR. REHM: No, I think you've captured
8 it. It's the famous tools in your tool chest.
9 What can you do? I think that just the idea that
10 they can do has an influence over behaviors, not
11 that they do do. And it's not that it's a common
12 event.

13 And if you've seen a health plan and
14 you've seen the appeals process for a variety of
15 things, most appeals are overturned in the long
16 run. They just don't really want to work it out.
17 But we want to make sure that we give credit where
18 credit's due.

19 But totally true from the optics of a
20 clinician level measure, which this is not, we're
21 not seeking endorsement at that level. Your point
22 is well-taken. From a health plan perspective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's the right thing to do.

2 MEMBER McGIFFERT: Many years ago
3 consumers union, we did a little study in Texas on
4 the appeals process there after it was in place for
5 a few years. And it was interesting that the kind
6 of denials that were not overturned were on the
7 prescription drugs, you know, that seemed when an
8 independent person evaluated it, those were less
9 frequently overturned.

10 I had another question about the
11 disparities issues. And I may have missed the
12 details, but it looked like you were saying that
13 there is a way to determine disparities, but that
14 you hadn't done it, or am I reading that wrong?

15 DR. GIAVONETTI: So, as a HEDIS
16 measure, all HEDIS measures are reported at the
17 health plan level and are not currently reported
18 out by race or ethnicity.

19 This is certainly something that we are
20 looking towards finding better ways to have these
21 types of data reported in the future. It is
22 dependent in a large way on health plans having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accurate data about race and ethnicity, which is
2 a little bit better in Medicare than it is in
3 commercial and Medicaid plans.

4 MEMBER WEBB: So my question was also
5 along the lines of disparities, if you will, but
6 not necessarily on race, just plain socioeconomic
7 status. I see a lot of places in the chart where
8 it says there are other alternatives available.

9 And was there anything done to, for
10 instance, I work in a safety net hospital and there
11 are a lot of times we have to give a patient Benadryl
12 instead of hydroxyzine because they can't afford
13 the hydroxyzine, although both of those are on the
14 list.

15 But is there any method of determining,
16 for instance, or risk stratifying somehow? You
17 know, not all health plans are the same, so not all
18 health plans are going to cover the alternative
19 medication that you guys are talking about. And
20 how is that considered as part of this measure?

21 DR. GIAVONETTI: So as a health plan
22 level measure, we see this is something that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 within the health plan's control to cover the
2 medications that are the alternatives or to make
3 those medications more affordable to the patients
4 so that the Benadryl is not the most affordable
5 option.

6 So that's something where we actually
7 see the health plan could play a role in trying to
8 decrease disparities in the receipt of these
9 medications by helping to make these options more
10 affordable.

11 MEMBER WEBB: And how would this
12 measure help the health plan understand that that's
13 what they need to do?

14 DR. GIAVONETTI: So health plans often
15 look at their own rates stratified by a million
16 different things. They do their own investigation
17 in why is my rate on this measure so high. And that
18 is something we know health plans are doing.

19 It is not something that we get from
20 HEDIS data because there are so many different
21 issues which this committee is probably well-aware
22 of, NQF is very well-aware of, of how do you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 actually define lower income from administrative
2 claims data that we have available. How do you not
3 mis-classify people?

4 And, then, is that a potential that
5 you're setting to different standards if you have
6 two different rates, saying it's okay, you know,
7 for this population to have more use of high-risk
8 medication.

9 So this is continuing work that's going
10 on. There's been lots of exploration into this,
11 this measure included in that work. But what we're
12 bringing to you today is the measure as it is used
13 in HEDIS right now which is not reported by
14 separately in this.

15 MEMBER QUIGLEY: Thank you, Madam
16 Chair. Pat Quigley for those on the phone to know
17 who's speaking. And, Michelle, thank you for your
18 comments.

19 And my comments in relationship to this
20 measure that has been around for some time as a
21 process measure is really to help think about how
22 this measure is going to go forward because there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is a difference between polymedicine and
2 polypharmacy. And having one versus two
3 medications is not necessarily an indicator of
4 quality when you think about polymedicine versus
5 polypharmacy.

6 In polypharmacy many medications -- an
7 older person can be taking because they're going
8 to different providers. But in polymedicine you
9 have to have the right medication to treat the right
10 comorbidity and then consider the interaction of
11 those medications with those other medications to
12 treat a patient. So I think that there is work to
13 be done to really move this measure to quality.

14 And the other comment that I would like
15 to make is in relationship to the population that
16 has been excluded from this measure, and that is
17 the hospice patient population.

18 That now, starts moving into a
19 population-based approach to looking at quality
20 measures. And there are other patient populations
21 in the aging population that this measure is not
22 relevant for.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If you think about the older patient who
2 falls and has a spinal cord injury, spinal cord
3 injury in old people, as a new diagnosis the number
4 one cause is a fall. They are going to be on these
5 medications, spinal cord injury patients.

6 If you think of the next population that
7 I'd like to mention and I could continue to go on,
8 is the aging mental health patient, geriatric
9 psychiatry patients. So sometimes with these
10 patients just to lower a dose or to change a
11 medication and a class is a quality measure.

12 So I just want to make that comment
13 publicly that this has been around for some time,
14 but to just continue to still focus on one versus
15 two medications really is more on the polypharmacy
16 versus the polymedicine side. So those are the
17 comments that I'd like to make. Thank you very
18 much.

19 CO-CHAIR THRAEN: Any other comments
20 or questions? I think we have to vote next, right?
21 Is that the next process?

22 MR. LYZENGA: Yes, so we're starting to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 veer a little bit into some of the specifications
2 issues. So maybe we should just ask if do we want
3 to vote on evidence as a committee or are we
4 comfortable accepting the previous committee's
5 acceptance of the evidence on this measure?

6 Is there anybody that does want to vote
7 on evidence? All right. Seeing none, I believe
8 we do want to vote on opportunity for improvement
9 in gap and care. So we can do that and then we'll
10 move on to the reliability section.

11 CO-CHAIR THRAEN: So I think we need a
12 refresher course on how to use the gizmo.

13 MR. LYZENGA: Yes, good call.

14 MS. QUINNONEZ: Okay. I'll just
15 provide you with a few instructions for your voting
16 clicker, just a few reminders. So when it's time
17 to vote, if you would pick up your clicker and point
18 it directly towards me or to this laptop down this
19 way.

20 Okay. Now, each individual click,
21 each one of you, each clicker, will hold one vote.
22 You can click it as many times as you'd like to,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but the computer will calculate and capture the
2 final click that you do, okay?

3 And also, we may have to click multiple
4 times, but just bear with us, it's a part of the
5 fun process. Okay. All right. Let's see.

6 PARTICIPANT: Wait a minute. Which do
7 we vote for, this one now?

8 MS. QUINNONEZ: No, we're not voting
9 yet. I'll tell you when we're ready to vote. I'm
10 sorry.

11 MEMBER SCHREIBER: And are you going to
12 put on the screen what we're voting on? Because
13 otherwise I can't see it.

14 MS. QUINNONEZ: Yes, Michelle.
15 Sorry. I will read it out to you because we can't
16 share that screen particularly. But I will read
17 out what we'll be voting on, okay?

18 MEMBER SCHREIBER: Great. Thanks.

19 MS. QUINNONEZ: And you can actually
20 submit your vote through the chat box.

21 MEMBER SCHREIBER: Yes, that's what I
22 was going to do. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. QUINNONEZ: Okay. Perfect.
2 Okay. So we are now going to be voting on the
3 importance to measure and report for Measure 0022.
4 Voting criteria is 1 will be high, 2 will be
5 moderate, 3 will be low, and 4 will be insufficient.

6 Voting is now open and you can vote on
7 the importance to measure and report requirement
8 gaps for Measure 0022. Now, we believe we're
9 looking for 20 votes, so we need just a few more.
10 They're not in order.

11 CO-CHAIR SEPTIMUS: Still don't have
12 all?

13 MS. QUINNONEZ: We're looking for one
14 more vote. And you did Michelle's?

15 CO-CHAIR SEPTIMUS: The light's got to
16 light up for it.

17 MS. QUINNONEZ: Can you do roll one
18 more time just to make sure? I don't have 20 in
19 house right? 19 of those. Okay. All right.
20 Voting is now closed. We have 63 percent voted
21 high, 37 percent voted moderate, 0 percent for low
22 and 0 percent for insufficient. All right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. LYZENGA: Okay. So we can move on

2 --

3 MS. QUINNONEZ: I think that's a
4 composite.

5 CO-CHAIR THRAEN: No, we'll skip that
6 slide.

7 MR. LYZENGA: Right. So we're going
8 to move onto scientific acceptability. Michelle?

9 MEMBER SCHREIBER: Okay. I didn't
10 know if we were going to skip this part or not.

11 MR. LYZENGA: Oh.

12 MEMBER SCHREIBER: Under scientific
13 acceptability reliability the measure is currently
14 used in HEDIS and a review from HEDIS from 2012 to
15 2014 was used to calculate reliability with the
16 binomial method.

17 And it demonstrates reliability
18 measure for one prescription at .99882 and for two
19 or more is .99819, so reliability appears high. Do
20 you want me to do validity at the same time?

21 MR. LYZENGA: Sure.

22 MEMBER SCHREIBER: Okay. So --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. LYZENGA: Oh, do you want them
2 separately? Sorry, no. One at a time.

3 MEMBER SCHREIBER: Okay. Sorry.

4 MS. QUINNONEZ: Okay. We will now be
5 opening voting for reliability of Measure 0022.
6 Voting is now open.

7 PARTICIPANT: It's not working.

8 MS. QUINNONEZ: Okay. Okay. I see
9 votes coming in.

10 MR. LYZENGA: So we actually have the
11 option, I just informed, we have the option of
12 deciding not to vote on this as well. Sorry.

13 MS. MUNTHALI: And just to clarify, the
14 reason we're giving you that option is because
15 testing hasn't changed since the measure was last
16 reviewed. And as you remember, Drew and Andrew
17 were telling you we have a new maintenance process.
18 And you can opt as a committee on whether or not
19 you want to vote on this criteria, so just a yes
20 or no.

21 CO-CHAIR THRAEN: Okay. So does
22 anybody want to review reliability and validity?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We'll start opposite? Okay. We'll move forward
2 then.

3 MR. ANDERSON: Yes, so you can discuss
4 feasibility.

5 MEMBER SCHREIBER: Okay. So we've
6 moved past reliability and validity. Feasibility
7 is also high. This is already being done. And as
8 pointed out, it uses administrative claims data
9 that is widely available. So are we then voting
10 on feasibility?

11 CO-CHAIR THRAEN: Yes, we will.

12 MEMBER SCHREIBER: Okay.

13 MS. QUINNONEZ: Okay. Voting is now
14 open for the feasibility of Measure 0022. Option
15 number 1 is high, option number 2 is moderate,
16 option number 3 is low, and option number 4 is
17 insufficient. Okay. All votes are in and voting
18 is now closed. We have 100 percent voted high.

19 MEMBER SCHREIBER: Do you want me to
20 speak next to usability?

21 MR. ANDERSON: Yes, please.

22 MEMBER SCHREIBER: Okay. Sorry, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just hard not seeing all of you. So usability is
2 also high. The measure's really already used in
3 plans for NCQA, for health plan report cards, for
4 the Medicare CMS star rating for health plans, for
5 ACO accreditation, for PQRS, and for meaningful
6 use.

7 MS. QUINNONEZ: Okay. Voting is now
8 open for usability and use. Option number 1 is
9 high, option number 2 is moderate, option number
10 3 low, and option number 4 insufficient
11 information.

12 Looking for two more votes. All votes
13 are in and voting is now closed. The vote reads
14 85 percent high, 15 percent moderate, 0 percent low
15 and 0 percent for insufficient.

16 MR. LYZENGA: If I could just jump in,
17 we sort of made a mistake. We can skip over the
18 validity testing or the validity vote if you want
19 to. There are some things that we could discuss
20 around risk adjustment exclusions.

21 We talked about that a little bit
22 already, SDS adjustment which is maybe not as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 applicable for this as a process measure, arguably.
2 But I just wanted to check if there's any of those
3 issues that anybody wants to discuss and revisit
4 the validity criteria. And if not, we can move on,
5 but I just wanted to raise that as a possibility.
6 Pat?

7 MEMBER QUIGLEY: Thank you. Pat
8 Quigley. My comments were about increasing the
9 exclusion criteria, was part of what my discussion
10 was. So just that does include in that topic area.
11 There's more than just the hospice patient part of
12 this.

13 MR. LYZENGA: Is there anybody that
14 wants to hold a vote on validity? I think we did
15 hear the comments on the exclusions? No. Okay.
16 Seeing none, we can go on to overall suitability.

17 MEMBER SCHREIBER: Overall
18 suitability I believe is --

19 CO-CHAIR THRAEN: Michelle, just a
20 minute. Just a minute.

21 MEMBER SCHREIBER: I'm sorry.

22 CO-CHAIR THRAEN: I just want to make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a note in the minutes that this won't come around
2 for another three years. And we may not be the same
3 people sitting at the table.

4 So I just wanted to make a note in the
5 minutes that those issues that were identified, the
6 demographic issues, the health disparities issues,
7 and the patient population issues be addressed by
8 in the next iteration of this process, in the
9 minutes. Thank you.

10 MEMBER LAWLESS: You guys, just some
11 more clarification for me on what are the
12 exclusions in hospice, is there an exclusion with
13 palliative care?

14 DR. GIAVONETTI: No, there is not an
15 exclusion for palliative care. The reasons for
16 this are twofold. One is that this measure is
17 because we are trying to align with the pharmacy
18 quality alliance measure which is for Part D plans,
19 it only is based off of Medicare enrollment data
20 and pharmacy claim data. We don't use medical
21 claim data. So it's not feasible if we want the
22 measures to remain aligned.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The other issue, and we have been
2 discussing this with our expert panels, is
3 palliative care is difficult to define as a
4 population because there may be some people who are
5 receiving some type of palliative care, but they
6 would not necessarily be someone you'd want to
7 exclude from this measure. There are different
8 degrees.

9 It's an ongoing effort we actually have
10 across all of our HEDIS measures to evaluate what
11 types of advanced illnesses that are not hospice
12 should be excluded from HEDIS measures.

13 It's really challenging because claims
14 data just don't include that information. But I
15 do hope that the next time this comes around we may
16 be able to talk to you more about some exclusions
17 for people that are clearly near the end of life,
18 but may not be in hospice yet.

19 MR. REHM: And if I can just add, Pat,
20 if you said you had a long list of conditions, like
21 spinal cord injury, but similar ones, to the extent
22 you want to share that with AGS, I'm sure they'd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appreciate it because I think that's perspective.
2 You know, it's a small population, but that doesn't
3 mean it's not an unimportant population, so.

4 CO-CHAIR THRAEN: Yanling?

5 MEMBER YU: Just quick comments to echo
6 Pat about the exclusion of the hospice patient. I
7 did make notes too. I'm a little concerned about
8 the exclusion of hospice patients also as well
9 because overall the goal is to improve the quality
10 of care.

11 And for hospice patients they have
12 their special need. The quality may be different,
13 but the thing is still to this population patient
14 is to reduce unnecessary complication and, you
15 know, the harm also for this vulnerable population.

16 Some people can live on hospice for over
17 years if you have a good quality care. Therefore,
18 I think it's still an important thing to, sometime
19 down the road, to think about it.

20 DR. GIAVONETTI: So the reason we have
21 a hospice exclusion is twofold. One, is that the
22 AGS in the Beers criteria actually stipulate that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this evidence does not apply to individuals that
2 are in hospice or end of life.

3 So we didn't feel that we had the
4 sufficient evidence to say that the risks of these
5 medications in that population outweighed the
6 benefits.

7 The other reason has to do with just the
8 feasibility of individuals who are in hospice often
9 are no longer under the control of the Medicare
10 Advantage plan. They're receiving their hospice
11 benefit through a Part A.

12 They may stay in the Medicare Advantage
13 plan for supplemental benefits, but the plan is not
14 responsible for their medication. So those are
15 the two reasons why we exclude hospice.

16 CO-CHAIR THRAEN: Okay. Shall we
17 vote?

18 MEMBER SCHREIBER: I'm sorry, can you
19 reiterate what we're voting on this time?

20 MS. QUINNONEZ: Absolutely. Voting
21 is now open for the overall suitability
22 recommendation for endorsement for Measure 0022.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And Option Number 1 is yes, Option Number 2 is no.
2 Okay. All votes are in and voting is now closed.
3 The vote reads 100 percent for yes, 0 percent for
4 no.

5 CO-CHAIR THRAEN: Albert.

6 MEMBER WU: This is just a comment for,
7 you know, sort of thinking about ways if it's
8 feasible for exclusions. I have one patient
9 offhand who has intractable seizures. He's been
10 on everything and he takes phenobarbital. And
11 it's the thing that, you know, it keeps him from
12 having seizures and falling down.

13 So, you know, he is someone who I'm
14 going to continue to prescribe for and will get
15 dinged for him, but it doesn't seem quite right.
16 So just sort of thinking about ways to opt people
17 out would be something to think on.

18 DR. GIAVONETTI: And that's also one
19 reason why we never would want the rate on this to
20 be zero. There will always be situations where
21 these medications are appropriate. I think what
22 we can say though is that 20 percent is not good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: All right. No
2 one? Okay. We're ahead of schedule and for those
3 who want to take a bio-break this is a good time
4 to do it, but you guys have great bladders. We'll
5 keep going.

6 CO-CHAIR THRAEN: All right. So we
7 have NQF at the table so we need to torture them
8 a -- not NQF, NQCA, we need to torture them a little
9 bit more for 2993, potential harmful drug disease
10 interactions in the elderly. And Theresa is the
11 lead on this. And you want to go ahead and do your
12 presentation and then we'll turn it over to
13 Theresa?

14 DR. GIAVONETTI: So I'll keep this
15 pretty short. And most of the things we said in
16 the previous measure apply to this one. The one
17 difference here is that this a measure that is only
18 reported by Medicare Advantage Part C plus D plans.
19 So this is not a Part D measure.

20 Medicare Advantage Part C plus D, so it
21 only includes people who have a pharmacy benefit
22 and a medical benefit from the health plan. So if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you have a --

2 PARTICIPANT: If you have a managed
3 plan.

4 DR. GIAVONETTI: Yes, in managed care.

5 CO-CHAIR SEPTIMUS: So can I have the
6 mic?

7 DR. GIAVONETTI: Yes.

8 CO-CHAIR SEPTIMUS: One more on
9 education, are there Medicare Advantage plans that
10 don't have that C and D also, just for my own
11 information.

12 DR. GIAVONETTI: There are a few, yes.

13 CO-CHAIR SEPTIMUS: Okay.

14 MR. REHM: But this excludes just Part
15 D only.

16 DR. GIAVONETTI: So this measure is
17 based off of the same evidence the Beers criteria.
18 It looks specifically at there's four rates.

19 It looks for the people who have a
20 history of falls and received a high risk
21 medication, those with dementia and received a
22 potentially harmful medication, and those with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 chronic kidney disease and received medication.
2 And then there is a total rate that combines all
3 three rates.

4 This measure, in particular, the one
5 thing I would like to highlight about this is that
6 I think the performance rates for this show a real
7 gap in performance and a need for improvement.

8 We see particularly high rates of
9 inappropriate medication for those with a history
10 of falls and dementia, which I'm trying to get to,
11 with 48 percent of people with a history of falls
12 getting a potentially inappropriate medication and
13 48 percent of those with dementia, so. Sure.

14 CO-CHAIR THRAEN: Theresa.

15 MEMBER EDELSTEIN: Okay. I don't want
16 to repeat everything she just said in the first
17 section, so as you know this is a process measure.
18 The evidence is the same as the measure we just
19 discussed. So if there are questions about the
20 evidence that we haven't covered we should talk
21 about those.

22 As was noted, the opportunity for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 improvement is significant. It is more compelling
2 for people with history of falls and fracture as
3 well as people with cognitive impairment or
4 dementia, less so for those with chronic kidney
5 disease.

6 Do you want to stop there or should I
7 go forward?

8 CO-CHAIR THRAEN: Albert, you have
9 your sign up. Did you have a question?

10 MEMBER WU: I have a questions about
11 sort of temporal relationship. So I have someone
12 who falls down, who sustains a spinal cord injury
13 and is put on muscle relaxants. Do I get dinged
14 for that patient?

15 DR. GIAVONETTI: Emily, please correct
16 me if I'm wrong, but if there is a fall and it's
17 documented in the claims for accidental fall, we
18 do not have an exclusion for spinal cord injury in
19 that particular measure. Emily, am I correct in
20 that?

21 MEMBER WU: And I don't just -- spinal
22 cord injury, I mean has a seizure, has something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which then might lead to a potentially legitimate
2 prescription of one of the medicines on the Beers
3 list.

4 DR. GIAVONETTI: I believe seizures is
5 an exclusion from that particular rate because
6 anticonvulsants are on that and that would be an
7 appropriate medication where the risks of not being
8 on anticonvulsant if you have seizures would
9 outweigh the risks of the falls.

10 But no, there is no analysis of the
11 temporal sequence of things. It looks for if in
12 the measurement year you had this condition and you
13 received this medication.

14 CO-CHAIR SEPTIMUS: Quick question.
15 Is this not a new measure?

16 DR. GIAVONETTI: This is new measure to
17 NQF. This is a long standing measure in HEDIS,
18 yes.

19 CO-CHAIR SEPTIMUS: No, but, you know,
20 this is new to NQF. I just wanted to make --

21 DR. GIAVONETTI: Yes, this is new to
22 NQF.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: -- that
2 distinction.

3 CO-CHAIR THRAEN: Lisa.

4 MEMBER MCGIFFERT: How do you define
5 history of falls?

6 DR. GIAVONETTI: Wow. I so wish we
7 could define it better. This measure is based off
8 of administrative claims. So we look for a claim
9 for an accidental fall or a hip fracture because
10 as a proxy that most hip fractures are the result
11 of a fall.

12 We know that we're probably
13 undercounting falls because people show up and they
14 have a history of falls and it's not going to show
15 up in an ICD-10 or ICD-9 code. But one thing we
16 do think is that those people with falls that result
17 in injuries are more likely to be captured in this
18 measure than those people who have a history of
19 falls that maybe not resulted in injuries.

20 So it's not perfect. It is what we
21 think is the best we can do with the administrative
22 claims data that we are using.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Yanling.

2 MEMBER YU: Thank you. First of all
3 it's a technical question. It's on Page 4. Maybe
4 I just don't understand the stated part is the
5 reliability testing and it shows a rate of Rate 1,
6 Rate 2, Rate 3, Rate 4. Rate 4 is total. And then
7 you have beta-binomial rates. And the rate for the
8 total, the rate is 98.9857 which is higher than any
9 individual ones. So --

10 CO-CHAIR SEPTIMUS: Sorry, I don't
11 want to cut you off. We probably want to cover
12 evidence and opportunity for improvement first and
13 then get into --

14 MEMBER YU: Oh, I'm sorry.

15 CO-CHAIR SEPTIMUS: -- the reliability
16 thing.

17 MEMBER YU: That's a totally different
18 --

19 CO-CHAIR SEPTIMUS: Yes.

20 MEMBER YU: Okay. I'm sorry.

21 CO-CHAIR SEPTIMUS: No problem.

22 MEMBER YU: I jumped my -- I take back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the question.

2 CO-CHAIR THRAEN: Steve?

3 CO-CHAIR SEPTIMUS: Well, we can --

4 MEMBER LAWLESS: Does everybody,
5 because it sounds like we're confused here, do we
6 need a quick review how we review this or is
7 everybody -- so can we just -- is it okay? Just
8 let's find because it sounds like we've sort of
9 forgotten --

10 MR. LYZENGA: Yes. Yes.

11 MEMBER LAWLESS: -- which is
12 understandable.

13 MR. LYZENGA: That's okay. Yes.

14 MEMBER LAWLESS: If we did that it may
15 help the discussion later.

16 MR. LYZENGA: Yes. Apologies for not
17 doing that before. We try to, as much as possible,
18 walk through each of the criteria sequentially.

19 So we'll want to talk about evidence
20 first, have a discussion about evidence, vote on
21 the evidence, talk about opportunity for
22 improvement, take a vote on it and then move to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reliability, take a vote and then so no throughout
2 the criteria until we get to the overall vote.

3 CO-CHAIR THRAEN: Steve.

4 MEMBER LAWLESS: Yes, question, this
5 is on where the gap was there a problem. It may
6 be the wording. I says 48 percent of individuals
7 with a history of falls have high risk. What's the
8 rate of falls in people with none of these
9 conditions? Does the rate of falls of 52 percent
10 have a history of falls who have none of this? So
11 there's almost like an equal rate?

12 DR. GIAVONETTI: Right. So that half
13 of people with a history of falls are receiving one
14 of these medications.

15 MEMBER LAWLESS: And half are not?

16 DR. GIAVONETTI: And half are not.

17 MEMBER LAWLESS: And what is the rate
18 of falls in people who are not -- have any of these
19 conditions?

20 DR. GIAVONETTI: So you mean what is
21 the rate of people with a history of falls in the
22 plan?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER LAWLESS: Right. With none of
2 these conditions or just almost like is there a gap?
3 So if somebody does not have dementia or kidney
4 disease, in this population what percent of those
5 patients have falls?

6 MR. REHM: So falls is the condition
7 we're looking at, if you will. Dementia is a
8 separate condition. Kidney disease is another
9 condition.

10 So there's a population of people who
11 have not fallen, they're not in the measure. And
12 there's a population of people that have fallen
13 that are in the measure on this indicator. So it's
14 -- we're not combining falls with anything else
15 right now. Does that help?

16 MEMBER LAWLESS: I'm trying to look at
17 the gap and so you qualified it.

18 MR. REHM: Okay.

19 MEMBER LAWLESS: So if falls is the
20 thing, going back to the question that was asked,
21 the interval of the fall documentation versus the
22 medication documentation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. REHM: Right.

2 DR. GIAVONETTI: So we look for --

3 MS. MORDEN: Erin, I can clarify that
4 if it would be helpful.

5 DR. GIAVONETTI: Thank you, Emily.

6 MS. MORDEN: So for the fall we are
7 looking for anyone that had a fall between
8 basically, January 1st of the prior year up through
9 December 1st of the measurement year. So we have
10 a window there where we're looking for did a fall
11 occur.

12 Then for the potentially harmful
13 medication, we're looking to see if that was
14 dispensed after the date of that fall up through
15 the end of the measurement year. So we are looking
16 for the dispensing of that medication to occur
17 after the fall.

18 CO-CHAIR THRAEN: Steve's shaking his
19 head.

20 MEMBER LAWLESS: So therefore, but if
21 the medication was prescribed after the fall, this
22 measure kind of implies that the medication caused

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the fall.

2 DR. GIAVONETTI: No. So just to
3 clarify, this medication, this is based off of the
4 evidence that shows people with a history of falls
5 should not be prescribed one of these medications.

6 Now, one of the reasons they should not
7 be prescribed this medication is because it would
8 cause more falls. So that's where we start with
9 people with a fall and look to see that they get
10 one of these medications.

11 CO-CHAIR THRAEN: Pat and then,
12 Yanling.

13 MEMBER QUIGLEY: Thank you. Pat
14 Quigley. And my comments are related to this
15 really being grounded in the AGS guidelines again.

16 And it's in the algorithm of the AGS
17 guidelines, and we discussed this at the last time
18 we had talked about a community-based fall risk
19 assessment measure, is that the guidelines ask if
20 a patient's had a fall in the last year.

21 But the patient's who actually get
22 worked up for evaluation are patient's who have had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more than one fall in the last year or an injurious
2 fall. And that's the more vulnerable side of the
3 AGS guidelines.

4 And last year the United States
5 Preventative Services Task Force recommended that
6 those that do get worked up are those that have had
7 more than one fall or an injurious fall, not just
8 one fall in the last year because there was no
9 evidence to support the burden of evaluating
10 patients.

11 So I would just say certainly this is
12 an important indicator, but the measure would have
13 had more opportunity to improve quality if it was
14 focused on those who are more vulnerable, those who
15 are falling more than once or had an injurious fall.

16 And that hip fractures are not the only
17 injury. It's head injuries. Older people who
18 fall and have head injuries is just as debilitating
19 if not, in terms of mortality and morbidity, as hip
20 fractures. So that would be the comment I would
21 make in relationship to the target population.

22 CO-CHAIR THRAEN: Yanling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER YU: Thank you. Now I'm back on
2 track. The question is, you know, there's a
3 condition on the list as dementia diagnosis. So
4 I was just wondering, you know, from what I know
5 there are lots of elderly, especially in a
6 long-term facility, they are on the boundary of,
7 you know, dementia or just because aging confused
8 the health condition.

9 They're described a loss with those
10 improper drugs, like a psych drugs. So how do you
11 think this would be captured as a -- I think it's
12 quite common in the facilities, especially long
13 term. How do we capture this type of thing, rather
14 than just a diagnosis of, you know, dementia?

15 CO-CHAIR SEPTIMUS: Turn yours up.
16 You're good. You're good. It just came up red.

17 DR. GIAVONETTI: Oh great. You're
18 correct. We are not capturing the people that
19 there is no diagnosis and claims for dementia. And
20 then we know that dementia is under-reported in
21 claims or under-diagnosed.

22 I think as we look towards the future

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of this measure that could be based on either claims
2 data or EHR data where we may have a diagnosis in
3 a list of problems in an EHR that includes dementia,
4 that that's a possibility for this measure.

5 Right now, we don't have as clear
6 evidence from the American Geriatric Society that
7 pre-dementia, mild cognitive impairment is ---
8 there's evidence of the risks of these medications.
9 So they focus strictly on those people with a
10 dementia diagnosis in their evidence review.

11 We may start to see more evidence come
12 out on the risks of these medications and those
13 people before they have a diagnosis of dementia.
14 Does that answer your question?

15 MEMBER YU: Yes.

16 CO-CHAIR THRAEN: Kimberly.

17 MEMBER APPLGATE: Just a quick
18 reminder that we reviewed another measure last year
19 that addressed some of the concern about
20 psychometric medication and restraints. And I
21 know it doesn't exactly address this, but in
22 long-term care facilities.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So it gets at some of this issue around
2 inappropriate use of psychiatric medications for
3 sedation of patients. So it may not address this
4 metric, but it does --- there's another metric out
5 there that is getting at this issue. Thanks.

6 CO-CHAIR THRAEN: Any other questions
7 before we call for the vote? All right. Should
8 we vote on evidence?

9 MS. QUINNONEZ: Voting is now open for
10 Measure 2993. And we're voting on the importance
11 to measure and report. Voting Option Number 1 is
12 yes, voting Option Number 2 is no. We should be
13 on the one that --

14 MR. LYZENGA: We should be on the
15 process --

16 MS. QUINNONEZ: Got it.

17 MR. LYZENGA: -- measure, which has a
18 few different options actually.

19 MS. QUINNONEZ: Yes, sorry. Okay.
20 Give me one second. Hold on here. I'm going to
21 get -- okay. We're going to vote now on the
22 importance to measure and report for evidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 structure, process, and immediate and outcome
2 measures.

3 So we're voting on the evidence of
4 Measure 2993. Voting Option Number 1 is high,
5 voting Option Number 2 is moderate, voting Option
6 Number 3 is low, and voting Option Number 4 is
7 insufficient. You may place your votes.

8 Okay. All votes are in and voting is
9 now closed. Vote for evidence of Measure 2993, it
10 reads 65 percent voted high, 35 percent voted
11 moderate, 0 for low percentage, and 0 percent for
12 insufficient.

13 CO-CHAIR THRAEN: All right.
14 Theresa, you want to cover validity and reliability
15 or is -- this is a new measure, correct?

16 MR. LYZENGA: Yes.

17 CO-CHAIR THRAEN: So we need to go
18 through that.

19 MR. LYZENGA: Yes, performance gaps.

20 CO-CHAIR THRAEN: I'm sorry, what?
21 Performance gaps, sorry.

22 MEMBER EDELSTEIN: Okay. Performance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 gap it is. Okay. So as we already spoke of, in
2 the 2014 data was shown that there is a sizable gap
3 between health plans at the 10th percentile versus
4 the 90th percentile, so the opportunity for
5 improvement appears to be high.

6 CO-CHAIR THRAEN: Are there any
7 questions on performance gap? Shall we vote?

8 MS. QUINNONEZ: Voting is now open for
9 the importance to measure and report performance
10 gaps for Measure 2993. Voting Option Number 1 is
11 high, voting Option Number 2 is moderate, Option
12 Number 3 is low, and voting Option Number 4 is
13 insufficient.

14 We're looking for two more votes. All
15 votes are in and voting is now closed. The vote
16 for performance gaps of Measure 2993 reads 85
17 percent voted high, 15 percent voted moderate, 0
18 for low and 0 for insufficient.

19 CO-CHAIR THRAEN: So, reliability.

20 MEMBER EDELSTEIN: Okay. I just want
21 to clarify on the sheet there's a section on
22 priority, is there a separate vote for priority?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 No, okay. Making sure.

2 Okay. So under reliability, the
3 measure --- reliability was done at the measure
4 score level using a beta-binomial testing. They
5 used 2014 health plan data that covered 412 health
6 plans. The level of reliability based on the
7 results appears to be high.

8 CO-CHAIR THRAEN: Anybody have any
9 questions or comments? Yanling, go ahead. You
10 had a technical question earlier, you want to --

11 MEMBER YU: Yes, that's my question
12 about, so I don't need it.

13 DR. GIAVONETTI: So, the reason that
14 the reliability for the total rate is higher is
15 because the denominator for that rate is larger
16 than the denominators for the other rates. So
17 you've got more population and that influences the
18 rate of the calculation of reliability --

19 MEMBER YU: Okay.

20 DR. GIAVONETTI: -- to the mix. Does
21 that answer your question?

22 MEMBER YU: Yes, that's fine. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you.

2 CO-CHAIR THRAEN: Albert?

3 MEMBER WU: And as far -- I heard of
4 reliability of lots of different components. What
5 is the reliability for the ascertainment of a fall?

6 DR. GIAVONETTI: So we don't have an
7 assessment of that. Our reliability is at the
8 score level not at the individual item level. So
9 we do not go back and, I can't recall if we did in
10 the long ago metric testing of this, look at the
11 claims of falls versus falls in the medical
12 records. But for right now, we're in the --

13 MEMBER WU: So to validity then, did we
14 --- were there tests of the validity of the
15 ascertainment of a fall?

16 DR. GIAVONETTI: No, the validity is
17 also at the measure performance level. So we
18 looked at construct validity of the performance of
19 the measure compared to other measures.

20 MEMBER WU: Are you satisfied with
21 that?

22 DR. GIAVONETTI: Well, having been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 taught by one of the experts in measure testing on
2 testing of validity and reliability, I will say
3 that I don't think -- no names. Do I think that
4 the claims for falls is the best measure of falls,
5 no. But, it is what we have available to us.

6 So having showing that people fall more
7 than is documented in claims is probably happening
8 quite a bit. We probably are not seeing people who
9 did not fall and having a claim for falls.

10 So what I think we're dealing with here
11 is that a measure that's under-capturing the falls
12 population and as we look to other data sources,
13 we hopefully will be able to capture more of that
14 population.

15 MEMBER WU: So, final question, do we
16 think that there's variability in the ability of
17 different organizations to capture falls?
18 Because if it's really differential then we're
19 going to have very unfair assessment.

20 DR. GIAVONETTI: I don't think there's
21 differentiation at the health plan level. I think
22 that the providers within the health plan are mixed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enough that there are some that are going to use
2 the ICD codes for falls versus not using the ICD
3 codes for falls.

4 Actually, what we can, and I don't have
5 the numbers right in front of me, but I know we've
6 looked at what the percentage of the population and
7 the health plan fall into the denominator for this,
8 the rate of falls, to see if we're seeing major
9 variation in some plans identifying a lot more
10 people with falls than other plans.

11 It's going to be influenced by the age
12 of the people in the plans as well. And I can look
13 at that and if you give me a little bit of time,
14 I can go back and look at that. But that's also
15 one indicator if we're seeing very different use
16 of those codes across plans.

17 CO-CHAIR THRAEN: Any other questions
18 for reliability? Shall we vote?

19 MS. QUINNONEZ: Voting is now open for
20 the scientific acceptability of measure properties
21 for reliability for Measure 2993. Option Number
22 1 is high, Option Number 2 is moderate, Option

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Number 3 is low, and Option Number 4 is
2 insufficient.

3 Okay. We're looking for two more
4 votes. All votes are in and voting is now closed
5 on reliability. The vote reads 45 percent voted
6 high, 40 percent voted moderate, 15 percent voted
7 low and zero for insufficient.

8 CO-CHAIR THRAEN: And just as a
9 refresher, it's 65 percent I believe. Wasn't that
10 -- 60 percent -- 60 percent.

11 MR. LYZENGA: So 60 percent, but which
12 needs to be the combination of both high and
13 moderate, those two. Yes. CO-CHAIR THRAEN:
14 Just as a refresher, so.

15 MR. LYZENGA: If we do not reach the
16 total of 60 percent in those two higher categories
17 we're in the area of consensus not reached. That
18 is if you don't get 60 percent in the lower
19 categories. If you get somewhere in that gray area
20 we're at consensus not reached status. And then
21 we have a process for that as well, but I think we're
22 in the clear on this one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR THRAEN: All right.
2 Validity, Theresa?

3 MEMBER EDELSTEIN: Okay. Validity
4 was tested at both the measure score and data
5 element levels. Base validity and construct
6 validity were both done.

7 They measured correlations with other
8 measures of medication safety. They found
9 moderate to high correlation between all rates
10 except history of falls and chronic kidney disease
11 with other medication safety measures.

12 The measure was deemed to have the
13 attributes of a HEDIS measure. The geriatric
14 measurement advisory panel at NCQA, its own
15 committee on performance measurement, were both
16 used in this process.

17 CO-CHAIR THRAEN: Albert? No.
18 Questions? Shall we vote?

19 MS. QUINNONEZ: Voting is now open for
20 the validity and scientific acceptability of
21 measurement properties for Measure 2993. Option
22 Number 1 is high, Option Number 2, moderate, Option

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Number 3, low, and Option Number 4, insufficient.

2 We're looking for -- here we are. We
3 have all votes. All votes are in and voting is now
4 closed. The voting for validity of Measure 2993
5 reads 35 percent voted high, 45 percent voted
6 moderate, 20 percent voted low, and 0 for
7 insufficient.

8 CO-CHAIR THRAEN: Thank you.

9 MEMBER EDELSTEIN: Okay. Similar to
10 the previous measure, administrative claims data
11 are used for this measure. It's highly feasible.

12 CO-CHAIR THRAEN: Questions? Dr.
13 Septimus?

14 CO-CHAIR SEPTIMUS: I've just been
15 sitting here reflecting on this, this has got,
16 what, four numerators? And we're not really sure
17 about documenting falls and things, so I guess as
18 I'm sort of sitting here is what's the feasibility
19 of being able to capture all that with
20 administrative data? It's a complex measure.

21 I'm not saying it's not important, but
22 my question is I mean, based on your prior

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experience of other plans, is this really easy and
2 is it reliable and valid given all the shortcomings
3 that other people on this committee have mentioned?

4 Now, maybe I'm misreading this, but
5 that was my concern when I read this before I came
6 up here.

7 MEMBER EDELSTEIN: So --

8 CO-CHAIR SEPTIMUS: Notice I didn't
9 ask about age. But there is some differences in
10 ages, by the way, based on the measure.

11 DR. GIAVONETTI: So, we think that in
12 terms of feasibility, can a plan calculate this
13 easily from their data, yes. They have all the
14 data at their disposal around pharmacy claims and
15 medical claims.

16 Going back to the reliability and
17 validity of the falls indicator specifically, are
18 we capturing everybody who had a fall? No.
19 However, the population we are capturing we're very
20 sure did have a fall and are receiving a medication.
21 About half of them are receiving a medication that
22 they should not be receiving.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So if the --- faced with the, either we
2 don't measure it at all because we don't have a good
3 way to get at falls, or we measure what we can which
4 is those people where there is a claim for falls
5 and half are getting a high-risk medication, we
6 have chosen to measure what we can measure
7 acknowledging that there needs to be improvement
8 in the way falls are documented.

9 And we have other measures, that this
10 panel has endorsed, that look at documentation of
11 a history of falls, specifically two or more falls
12 or fall with injury as recommended by the HES.

13 CO-CHAIR SEPTIMUS: Yeah, we had some
14 misprint. So are there other measures around
15 high-risk medications, and you'll have to
16 education me on this, that get you the same
17 information?

18 DR. GIAVONETTI: To our knowledge
19 there is no measure that looks specifically at
20 falls and the use of high-risk medication. There
21 is a measure that looks at individuals with
22 dementia in long term care facilities and their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 receipt of antipsychotics.

2 Our measure looks at dementia across
3 the entire plan population and looks at more than
4 just antipsychotics. It also looks at
5 benzodiazepines. So we are not aware of any
6 measure that is getting at this particular package.

7 CO-CHAIR THRAEN: So, I'll get to you
8 in a minute. So just to probably not stir the pot,
9 or I probably shouldn't stir the pot, but I'm going
10 to stir it anyway, is there any conversation about
11 moving these cluster of measures towards a
12 composite approach of any kind?

13 DR. GIAVONETTI: So that was a question
14 that came to us from NQF about is this a composite
15 measure or not. This measure was developed long
16 before my time at NCQA, so I cannot speak to the
17 people developing it. Did they think about
18 composite measures?

19 It certainly was not tested as a
20 composite measure. It was tested as, these are
21 three populations where this is really important
22 and we should look at the rate of -- and then,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wouldn't it be great if we just had a total that
2 kind of gave you an overall sense.

3 So that's the way the measure is right
4 now so that CMS or other programs can choose which
5 rate they want to focus on. If they want one rate,
6 they look at the total rate. If they want to look
7 individually at the conditions, they look at those
8 conditions.

9 It is a possibility that we could go
10 back and look at our data and do some additional
11 analysis to kind of understand more of the
12 composite pieces and is there a different way to
13 construct the composite, but we're not hearing
14 particular feedback from any stakeholders that
15 they'd like a different rate for this measure.

16 CO-CHAIR THRAEN: Lisa?

17 MEMBER MCGIFFERT: I may be sort of
18 asking the same question that you were, but you said
19 that there's a measure that looks at the accuracy
20 of documenting falls, and -- no. No.

21 DR. GIAVONETTI: There is a measure
22 that looks at whether or not individuals were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 screened for a history of falls. And it says did
2 you document in the medical record whether or not
3 someone had a history of falls. It does not look
4 specifically to say did you have a claims code then
5 if they did have a fall.

6 CO-CHAIR THRAEN: So one of the things
7 that occurs to me as we're talking about this, we're
8 talking about fidelity as opposed to reliability
9 and validity when you do an assessment, how well
10 those assessments are being done. And that's a
11 different kind of approach.

12 It's a fidelity question as opposed to
13 a validity or reliability type of question I think,
14 in the traditional sense of claims. You're
15 looking at the process by which folks were being
16 assessed and the data was being captured.

17 Other questions? Shall we vote?

18 MS. QUINNONEZ: Voting is now open for
19 the feasibility of Measure 2993. Option Number 1
20 is high, Option Number 2 is moderate, Option Number
21 3 is low, and Option Number 4 is insufficient.

22 Okay. All votes are in and voting is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now closed. For the feasibility of Measure 2993,
2 the vote reads 60 percent voted high, 25 percent
3 for moderate, 15 percent low, and 0 for
4 insufficient.

5 CO-CHAIR THRAEN: Next phase after
6 feasibility is usability. Thank you.

7 MEMBER EDELSTEIN: Okay. This
8 measure is already publically reported and used in
9 accountability programs such as the health plan
10 report cards, the health care annual report and the
11 accreditation process for health plans as well as
12 ACOs. So it's already in use in several ways for
13 accountability and accreditation.

14 CO-CHAIR THRAEN: Ed?

15 CO-CHAIR SEPTIMUS: Quick question.
16 If it's already being reported, and maybe I missed
17 it, has it had an impact in terms of this measure?
18 As the previous measure where we really have seen
19 an impact, what about this measure that's being
20 reported?

21 DR. GIAVONETTI: So I'm just looking.
22 So this measure was updated in 2013, so we only have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two years of data. We did see a decrease in the
2 dementia rate, looking at --- a small decrease.
3 And I'm trying to find the chronic kidney disease.
4 And also a small decrease in the chronic kidney
5 disease rate.

6 MR. REHM: Yeah, I mean, I think in
7 context, in our whole core of about 80 measures that
8 we report through HEDIS, a half point or a
9 three-quarters of a point is actually significant
10 for measures that have played for a long time
11 because, you know, the initial step is the first
12 two years is great and then things get harder.

13 So I think the dementia rate actually
14 decreased by a point and 1.2 percent at the mean.
15 And so, that's a trend that we like. That's a good
16 trend.

17 DR. GIAVONETTI: I'll also say this
18 measure is not part of the financial stars
19 calculation. So this one, it perhaps doesn't get
20 as much attention by health plans, but we do hope
21 that we are seeing trend in the right direction
22 through its use in accreditation of their programs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Any other questions?

2 Pat, sorry.

3 MEMBER QUIGLEY: Thank you. Pat
4 Quigley. My comment related to usability is still
5 the focus on someone who has had one fall in the
6 last year.

7 That fall could have been an accidental
8 fall that happened because someone was walking and
9 looking at the their phone, on their cell phone and
10 had a distracted fall, the new type of fall, or it
11 could've been those who have more than one fall,
12 two falls, which then becomes a biological marker
13 of maybe some underlying pathology.

14 I think the usability would have been
15 better had this indicator coming forward as a new
16 measure focused on those with more vulnerability
17 as was the recommendation of the United States
18 Preventive Services Task Force. Thank you.

19 CO-CHAIR THRAEN: Any other comments
20 or questions? Vote, please.

21 MS. QUINNONEZ: Voting is now open for
22 the usability and use of Measure 2993. Option

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Number 1 is high, Option Number 2 moderate, Option
2 Number 3 low, and Option Number 4 insufficient
3 information.

4 All votes are in and voting is now
5 closed. For the usability and use of Measure 2993
6 the vote reads 55 percent voted high, 35 percent
7 voted moderate, 10 percent voted low, and 0 for
8 insufficient information.

9 CO-CHAIR THRAEN: Next phase I think is
10 endorsement overall. Uh-huh.

11 MS. QUINNONEZ: If there are no
12 questions we'll move on to overall suitability for
13 endorsement of Measure 2993. Option Number 1 is
14 yes, Option Number 2 is no.

15 Okay. We're looking for one more vote.
16 All votes are in. Voting is now closed. For the
17 overall suitability and a recommendation for
18 endorsement the vote reads 85 percent voted yes and
19 15 percent voted no.

20 CO-CHAIR THRAEN: All right. Hold on.
21 Next one is 2988 Medication --

22 CO-CHAIR SEPTIMUS: Thank you, by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 way.

2 CO-CHAIR THRAEN: Thank you. And --
3 I'm sorry. Thank you.

4 UNIDENTIFIED SPEAKER: Thank you very
5 much, folks.

6 CO-CHAIR THRAEN: I'm being rude. I'm
7 being task oriented.

8 And we need to invite the Kidney Care
9 Quality Alliance to the Table.

10 MR. LYZENGA: So, before we have our
11 developers introduce this measure, I should
12 mention we actually took this measure to our Renal
13 Standing Committee, because it deals with patients
14 who are in dialysis facilities. We figured we
15 didn't think we had renal expertise on this
16 committee. So -- and we had that committee seated
17 already. So we just thought we would go and get
18 a little bit of general input from them. They
19 didn't vote on the measure or anything like that,
20 just kind of gave us their thoughts and general
21 feedback.

22 So just a few items that I pulled out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of that discussion and I'll allow our developers
2 to sort of give their impression of that feedback
3 as well. In general, the committee members were
4 very supportive of the measure. They acknowledged
5 that medication reconciliation is a very important
6 issue for patients with ESRD. They -- those
7 patients are often on multiple medications, ten or
8 more, or -- have -- frequently have various
9 comorbidities, diabetes, cardiovascular disease,
10 and are seeing different providers who are giving
11 them different medications and undergo frequent
12 changes in their medication regimes. So
13 reconciliation is a pretty important issue for this
14 particular population.

15 Some sort of ideas that -- or things
16 that they brought up about the measure themselves:
17 some expressed some concern that it could be seen
18 as a sort of check-box measure, given that the
19 quality of reconciliation, itself, is not
20 necessarily validated against the medications
21 patients are actually taking.

22 The developer did note in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conversation that this measure serves as more of
2 a first step and that there are more comprehensive
3 medication-review measures under development that
4 take that more into account.

5 The committee members also talked about
6 the fact that it can be find -- difficult to find
7 a single source of proof when it comes to patients'
8 medications. Those medications, again, because
9 these patients are seeing different providers,
10 those records can be disbursed across different
11 sites and providers. The committee suggested that
12 the patients, themselves, can often be the best
13 source of information and suggested that future
14 medication-reconciliation measures should
15 incorporate some element of patient engagement,
16 talking to the patients, themselves, or doing some
17 sort of survey or something like that.

18 So that was -- that was generally the
19 feedback from the Renal Committee and I'll turn it
20 over to our developers to talk a little bit more
21 about the measure and their thoughts on it.

22 DR. NISHIMI: Thanks, Andrew. I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wanted, before Lisa summarizes the measure to you,
2 to let you know that the Renal Committee, you know,
3 gave us the feedback, obviously, on the measures.
4 But I just wanted to let you know that this is
5 actually one of three measures that Kidney Care
6 Quality Alliance looked at.

7 We looked at a review measure -- sorry
8 -- we looked at a review measure, a reconciliation
9 measure and then a documentation measure. So this
10 is -- this is kind of the middle step and it was
11 felt by KCQA that it was the appropriate place to
12 start and that the review measure, where there
13 should and is more patient engagement, is
14 definitely something that we're still looking at
15 and developing. But to at least get something out
16 on the table for this vulnerable population was
17 important at this time.

18 CO-CHAIR THRAEN: Ed's asked that you
19 all introduce yourselves to start, please.

20 DR. MCGONIGAL: Okay. I'm Lisa
21 McGonigal. I'm a consultant to the Kidney Care
22 Quality Alliance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NISHIMI: And I'm Robyn Nishimi,
2 also a consultant to KCQA.

3 DR. MCGONIGAL: Okay. So, first, we
4 wanted to thank you for taking the time to consider
5 our measure here today. This is NQF2988,
6 Medication Reconciliation for Patients Receiving
7 Care at Dialysis Facilities, again, developed by
8 the KCQA.

9 So the measure is specified at the level
10 of the dialysis facility. It's applicable to all
11 patients who are permanently assigned to a
12 facility, this includes in-center patients, home
13 patients, hemodialysis and peritoneal dialysis
14 patients. It assesses the percentage of patient
15 months for which medication reconciliation was
16 performed and documented by an eligible
17 professional.

18 In regards to importance, as Andrew
19 just noted, medication management is a critical
20 safety issue for all patients but is especially so
21 for patients with end-stage renal disease. These
22 individuals often require ten or more medications

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and take an average of 17 to 25 doses per day. They
2 usually have numerous comorbid conditions,
3 multiple healthcare providers and prescribers, and
4 they undergo frequent medication regimen changes.

5 Also, medication-related problems
6 contribute significantly to the approximately 40
7 billion dollars in public and private funds that
8 are spent annually on ESRD care in the United
9 States.

10 So the measure is structured such that,
11 rather than seeking a single yes or no check box
12 that medication reconciliation was performed for
13 a given patient in a given month, we require
14 multiple elements must be met to be counted as a
15 success on the measure. In addition to requiring
16 that all known medications be reconciled by an
17 eligible professional and the date of the
18 reconciliation must be indicated, we also require
19 that the identity of the eligible professional must
20 be indicated and we specifically defined what must
21 be addressed during the reconciliation process.

22 The measure was tested using data from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 three KCQA member dialysis organizations, each
2 with the capacity to provide retrospective
3 analysis from a data warehouse or repository drawn
4 directly from their electronic medical records.
5 Testing involved approximately 5,292 facilities
6 and this varied a little bit depending on the month.
7 There were approximately 328,000 patients in each
8 of the six months of the study, which was conducted
9 from April through September of 2015.

10 The mean performance score during
11 testing was 52.62 percent with a range of 0 to 100,
12 meaning that some facilities did not perform
13 medication reconciliation as defined by the
14 measure for any patients. So there is significant
15 room for improvement and a substantial gap on this.

16 Empiric testing was done using the
17 beta-binomial method, which, again, is at the
18 measure score level, and this demonstrated that the
19 measure is highly reliable with a mean reliability
20 of 0.9935 and that the measure components can be
21 feasibly collected.

22 So I wanted to clarify two things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 related to feasibility in our submission. First,
2 as we noted in the submission documents, we
3 identified a definition discrepancy among the
4 three dialysis organizations as we developed the
5 measure specifications. Specifically, while all
6 three organizations that participated in testing
7 identify and engage in the same three components
8 of medication management, which is documentation,
9 reconciliation and review, one organization
10 flipped the definitions of reconciliation and
11 review and put these in reverse to those detailed
12 in the measure specifications. We'd note that
13 this discrepancy was identified prior to measure
14 testing, so that all three organizations used the
15 same definition when they were testing the measure.

16 We also wanted to discuss unknown being
17 an allowable response for some of the data elements
18 required in the measure. So, as we indicated in
19 the submission documents, depending on the
20 electronic data system being used by a dialysis
21 organization, some data elements can only be
22 recorded in a free text field, which may or may not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have been completed. And, even when the data
2 system does have a fixed field for a given element,
3 the field may have been left blank. Thus, not all
4 information sought in the measure is consistently
5 readily available to the individual performing the
6 reconciliation.

7 Our measure development work group
8 noted, for example, that a dialysis facility
9 personnel might have no way of knowing the precise
10 start date or the particular clinical indication
11 for a medication prescribed by another provider.
12 So this issue necessitated that unknown be an
13 allowable response for such irretrievable data
14 elements so that, while facilities are expected to
15 create the most accurate and complete reconciled
16 list of a patient's medications possible, they are
17 not unfairly penalized for not having access to
18 information that they cannot reasonably be
19 expected to have.

20 And here, again, I want to emphasize
21 that this matter was identified prior to testing,
22 so the testing organizations all approached the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specifications uniformly. And, with that, I would
2 like to turn the floor over to the committee.

3 CO-CHAIR THRAEN: So the lead on this
4 is -- hold on -- Missy. That's correct.

5 MEMBER DANFORTH: Thank you. So,
6 first, thank you for the -- to the measure
7 developers for doing a fantastic job describing the
8 measure. So our first job as a committee,
9 actually, is to review the evidence. I did have
10 some concerns that I wanted to discuss. So first
11 is a reminder this is a process measure. And, if
12 you look at the measure framework for process
13 measures, if a systematic review isn't included --
14 and in this case it was not -- I believe the measure
15 can't be rated as a 1 for evidence. Can you confirm
16 that, someone?

17 MR. LYZENGA: Yeah. That's -- yes.

18 MEMBER DANFORTH: Okay. So what the
19 measure developer did do is actually provide a
20 large body of evidence about the importance of
21 medication reconciliation and reducing
22 medication-related problems in ESRD patients.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, I did actually review several
2 of the studies that they included and almost all
3 of them, actually, mentioned three components to
4 really be effective in reducing medication-related
5 problems in this particular group of patients and
6 that was the reconciliation, the review, and then
7 the management, not the reconciliation alone.

8 In addition, several of the articles
9 and other articles related to this topic that
10 weren't included do seem to suggest that the gold
11 standard for med rec is -- that it's done by a
12 pharmacist. And this measure actually includes a
13 variety of eligible clinicians, including medical
14 assistants and others.

15 And so I have -- I have, personally,
16 just some concerns that the evidence that was
17 provided doesn't really support the fact that this
18 alone is going to have an impact on reducing
19 medication-related problems in this group of
20 patients, first, because it's a stand-alone
21 reconciliation measure and the evidence really
22 suggests that you need the reconciliation, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 review, and the management. And, second, because,
2 again, the gold standard is that the med rec is
3 performed by a pharmacist and not the other
4 eligible clinicians that are included in the
5 measure.

6 CO-CHAIR THRAEN: Response?

7 DR. MCGONIGAL: Okay. First, I wanted
8 to address -- and thank you for your comments. I
9 wanted to address the gold standard. A gold
10 standard, per se, has not been established and we
11 wanted to point out that CMS specifically indicates
12 for its Part D Medication Therapy Management
13 Program that MTM services may be furnished by
14 pharmacists or other qualified professionals.

15 CO-CHAIR THRAEN: Before you get off of
16 that -- your list of qualified professionals so you
17 -- CMS defines what those qualified professionals
18 are. Is it the same list that you have that CMS
19 defines?

20 DR. NISHIMI: I'm not sure about the
21 CMS list. Our qualified professionals -- and it
22 did vary depending on documentation,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconciliation, and review, so, for this measure:
2 physician, RN, an advanced practice RN, a PA, a
3 pharmacist, or a pharmacy technician.

4 CO-CHAIR THRAEN: What's your
5 understanding of the other issues?

6 DR. NISHIMI: The other issue for
7 dialysis facilities is --

8 CO-CHAIR THRAEN: No pharmacists.

9 DR. NISHIMI: Yeah. The headquarters
10 have --

11 CO-CHAIR THRAEN: There are none.

12 DR. NISHIMI: -- have pharmacy, you
13 know, expertise at the sort of corporate level and
14 we're aware that some are developing, you know,
15 sort of teleconsultation services. But, in terms
16 of doing a monthly reconciliation, there's just
17 purely not enough people.

18 CO-CHAIR THRAEN: Did you have another
19 -- or go ahead, Chris.

20 MEMBER COOK: As the lone pharmacist in
21 the group, I would like to speak up on this. Missy,
22 absolutely what you bring forth in the fact of med

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconciliation, it is the bare-bones minimum. And
2 so, from a qualification standpoint, I think it's
3 far more important that you're actually doing
4 something on that. That's where even looking at
5 a pharmacy technician or, you know, even an LPN or
6 someone else who's actually looking because a med
7 reconciliation is simply that you've got the list
8 and you're making sure that it's the right drug,
9 the right dose, and the right sig that's being
10 associated with it.

11 You are not getting into the more
12 cognitive points of ---

13 DR. NISHIMI: Management. Right.

14 MEMBER COOK: -- the management within
15 that. Absolutely, that is what we need to go and
16 I think, as a society and our system, we are headed
17 in that direction but we are not there yet. And
18 one of the great limiting factors is who is actually
19 available to be there. And so, as a first start
20 line of where we need to go to start raising that
21 bar within that, I do support the fact of having
22 med reconciliation because, as we see, so many of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the med error problems are in transitions of care.

2 And, when you have a highly vulnerable
3 population, this is something that needs to be
4 evaluated on a very regular basis because they see
5 so many different doctors, such a large interval
6 and they are taking so many medications. So it --
7 this is just the bare-bones minimum first start
8 line for us to pass.

9 CO-CHAIR THRAEN: Lisa?

10 MEMBER MCGIFFERT: I just had a quick
11 question about the description of the measure. So
12 the measure is counting how many months in a year
13 the patient got this reconciliation. So the
14 expectation is that reconciliation happens every
15 month. Is that correct?

16 DR. NISHIMI: Yes. So it's a
17 patient-month construction and so, in month one,
18 let's say a facility has a hundred patients. Did
19 all 100 patients get a med rec? And so, if so, then
20 they were 100 percent for that month. If, in month
21 two they didn't -- none of them got it, then that
22 would be zero percent. So, for a two-month period,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your score would be 50 percent.

2 It's a patient-month construction, not
3 a patient because these patients are seen,
4 generally, three times a week in center. And so,
5 to do it on a percent-patient basis just didn't
6 construct well.

7 CO-CHAIR THRAEN: And I actually, when
8 I was reading this, made that thought to myself --
9 note to myself that the reason why there has to be
10 a specific measure for kidney dialysis is actually
11 the counting of the measurement itself, what you're
12 going to count as opposed to length of stay or, you
13 know, encounters. This is sort of a different
14 animal and so it does need a little bit of a
15 different methodology for counting.

16 Steve then Charlotte?

17 MEMBER LAWLESS: In terms of the
18 importance of this, I was shocked by the gap.
19 Fifty-two percent is just ungodly. But I also
20 think that, to the point, this is a really raising
21 of the bar. If you do medication reconciliation
22 in a hospital, it is literally right now just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 someone saying, do you take this, take this, take
2 this? Ideally, it's all those elements that
3 you've mentioned and they're -- most people are not
4 doing it. And so this is really raising the bar,
5 which creates some feasibility issues but I applaud
6 this because of that.

7 The other aspect is the
8 adverse-drug-reaction component of it. That's a
9 bigger one. That takes a decision-making
10 discussion about what that is and some evidence is
11 about 22 percent of people have adverse drug
12 reactions. So I -- that piece -- again, this is
13 really raising the bar high. This may be raising
14 the bar too, too high.

15 DR. NISHIMI: We looked at ADEs and
16 thought about trying to construct the measure.
17 But that was sort of beyond where we felt we could
18 go because we were focused on even just
19 documentation, reconciliation and review. So
20 that's why we didn't march down the ADE path.

21 MEMBER LAWLESS: ADE's in there.

22 DR. NISHIMI: No. But a specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measure only directed to ADEs, we decided not to
2 do that.

3 MEMBER ALEXANDER: Well, I really
4 appreciate the effort to address this population.
5 It's one that I see and they're complicated and have
6 so many doctors prescribing so many medicines with
7 potential for problems. My concern is that this
8 is not going deeply enough.

9 I do think that, when I see physicians
10 and hospitals doing med rec, it is a mechanical
11 matching. There's not the thought process that
12 goes in: is there a duplication of medications that
13 one doctor gave an anti-hypertensive, another
14 doctor gave an anti-hypertensive?

15 So, unless you have the pharmacist or
16 someone really critically looking to be sure that
17 there's not a duplication, that there's not an
18 inappropriate one, whether it's because of age or
19 because of disease process, that it really misses
20 where we want to go. And so I have a concern it's
21 not going deeply enough.

22 CO-CHAIR SEPTIMUS: Getting back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Steve's comment, I think we do need to raise the
2 bar. Med rec is difficult enough, as we know, in
3 the inpatient side and somewhat in the outpatient
4 side, especially with the number of prescribers
5 that are involved in the care of a dialysis patient.

6 The issue of lack of pharmacy support
7 -- is that what you said there? If we were to pass
8 this measure --

9 CO-CHAIR THRAEN: It would change.

10 CO-CHAIR SEPTIMUS: -- and we raise the
11 bar and we provide safer care for dialysis
12 patients, isn't that what we're trying to do? I
13 hate --

14 I'm going to get a little bit on my
15 soapbox and say that the regulatory lever over and
16 over and over again drives care in a positive
17 direction. There are sometimes some unintended
18 consequences but, in a positive direction, because
19 we don't do it ourselves. So, if it does push
20 people to get the right people involved in these
21 complicated patients, so be it.

22 CO-CHAIR THRAEN: The master has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 spoken.

2 Yanling, go ahead.

3 MEMBER YU: Yeah, I definitely think
4 that, just by one eligible profession to do the
5 reconciliation, you know, sign up is a little
6 problematic. I think, whether there should be a
7 team to really have someone is lead pharmacist and
8 then have, you know, cosigner, so that would make
9 sure that, you know --

10 And, also, I have a -- I have a question
11 about how do you -- how do you do it, this -- the
12 feasibility of that. Is that later? How --

13 CO-CHAIR THRAEN: Okay. Pat?

14 And that's my phone. Ignore it.

15 MEMBER QUIGLEY: Thank you. And I
16 appreciate all the comments, too, Pat Quigley --
17 related to the professional -- who is included in
18 the professional. And, maybe, the consideration
19 should be -- to the developer is that the pharmacy
20 technician be excluded from the group who could do
21 that or even an RN.

22 The person that would be doing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medication reconciliation should be someone who
2 has prescribing authority and understands the
3 medications that someone is getting, rather than
4 having the all-inclusive list, but to reduce the
5 list of those who are able to complete this process
6 so that it has a quality component, not just a
7 check-box component.

8 CO-CHAIR THRAEN: So I don't disagree
9 with anything that's been said. So I -- in my
10 former life, I as in the regulatory end of the
11 Department of Health and, you know, I'm from the
12 government. I'm here to help.

13 And, currently, the -- and I want to
14 verify this with you guys. Currently, the
15 dialysis centers are wild, wild west. They
16 operate pretty independently. They're not
17 usually owned by the hospitals and we have
18 challenges associated with regulating them because
19 they are so independent. And, as a result, the
20 criteria for the kind of staff you have to have is
21 pretty minimal is my understanding. I mean we're
22 talking about, basically, nursing staff.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so that team that you're asking for
2 I think is a great idea but it's not the reality,
3 the current reality of how those systems are
4 working today. And I also agree with the pharmacy
5 but that's now the reality of how they're working
6 today. So I think that you're coming up against
7 the current regulatory environment in terms of what
8 we allow for renal dialysis centers and how they
9 operate.

10 Lisa and then -- and then Laura.

11 MEMBER MCGIFFERT: I think Yanling
12 might have asked this but I'm also fairly familiar
13 with the environment of a dialysis center and the
14 limitations that is offered. My understanding is
15 this is sitting down with the patient and going over
16 what their understanding is of what they're taking,
17 maybe bringing their meds in, in a bag or whatever
18 you want to call it.

19 But I kind of like that about this
20 measure because it is -- that's the patient's
21 reality. And, yes, that patient might leave
22 something off but, if they left it off, they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 leaving it off, probably. And that could be a
2 significant issue. And so, you know, I -- what
3 everybody said about then what happens is what we
4 need to address next.

5 But it seems -- am I understanding
6 correctly every month you'd sit down and go through
7 with the patient what they're taking, correct?

8 DR. NISHIMI: Right. So you would --
9 that would be one component. You would also have,
10 obviously, prescription that you may have
11 prescribed. But, if the patient then brings --
12 doesn't bring them in, that raises a flag.

13 So to go to your point and the point
14 that's made by others, the downstream sort of team
15 environment review, that's the medication review
16 measure that we did not bring to you and that has
17 a smaller sphere of eligible professionals.

18 MEMBER ARDIZZONE: Thank you. Lisa,
19 that was a great comment. I just wanted to
20 respectfully disagree with Pat. I think it's well
21 within a RN's scope of practice to understand what
22 medications their patient is on and to reconcile

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them. I can't speak to the pharmacy tech but I
2 would assume they have some knowledge in
3 pharmacology, so that they can review medication.

4 So I think those are important,
5 eligible professionals, especially since we're
6 talking about an environment where you -- it's not
7 an acute care patient institution. You don't have
8 six to seven providers for every patient, so you
9 have to work with what you have.

10 MEMBER DANFORTH: Yes. Just to
11 respond to the developer and everyone's comments.
12 I definitely understand the importance of having
13 medication safety measures for this group of
14 patients and, certainly, that this setting can play
15 an important role with that.

16 But, in sort of looking at the evidence
17 that links this particular process to the stated
18 outcome, which is reducing medication-related
19 problems in this population of patients, the
20 distance is further than other measures we have
21 looked at. And so that's -- I think that's part
22 of what we need to discuss is sort of how close is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the process to the outcome.

2 And, in this case, based on the evidence that
3 was presented, it is to your point that combination
4 of reconciliation, review, and management. And
5 so, certainly, I understand the many challenges
6 because I was here last year bringing composite
7 measures to this committee. But, truly, I mean,
8 this does seem like an opportunity to bring forward
9 like a really strong composite measure that
10 includes the three components that will actually
11 then result in the strongest evidence that the
12 measure will link to the outcome.

13 I'm just a little bit concerned that,
14 you know, the lift of this measure is a little bit
15 high because of the different -- it's a "and" and
16 "and" and "and" in all these components. And so,
17 to have, you know, people -- whatever the
18 professionals are -- nurses at these centers doing
19 this documentation, I'm not entirely convinced
20 based on the evidence that you're going to see a
21 reduction in medication-related problems is my
22 point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Charlotte, did you
2 have another comment?

3 MEMBER GELINAS: Thank you. And I
4 agree about the types of providers that can do
5 medication reconciliation. I just -- when I read
6 this measure, I want to applaud that we're even
7 discussing it. When we get to the other -- the
8 ambulatory SSI measure, I'll bet we have just as
9 robust a conversation.

10 But the entire field of ambulatory care
11 is, as you say, a bunch of cowboys. And we have
12 to start somewhere. But we're talking
13 accountability here and this will at least -- and
14 Steve I agree with you a hundred percent -- you
15 know, this is going to raise the bar.

16 But, at the end of the day, we are the
17 Patient Safety Standing Committee. Our charge is
18 to assure the public that we are doing our very best
19 to improve patient safety. So I think it's
20 exciting that we're even talking about this field
21 of ambulatory. Let's not let the good be driven
22 out by perfect and let's just hope that, in a few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 years, that this measure will be sunsetted because
2 it will have been so robust and we'll have reached
3 a hundred percent, we've moved on to other
4 measures.

5 But I do agree there are a number of
6 providers that can do med rec. I would tell you
7 that med rec, in general, is a wreck in healthcare
8 today. The EHR providers, no matter where they
9 are, inpatient or outpatient, certainly aren't
10 helping this field. So, to whatever degree we can
11 help clarify and amplify the importance, I think
12 all of us around this table can offer expertise in
13 that regard. But I do want to say bravo we're even
14 discussing this whole realm of ambulatory. Thank
15 you.

16 CO-CHAIR THRAEN: So we have Steve and
17 then Kimberly.

18 MEMBER LAWLESS: Missy, actually to
19 answer your point, if you look at the measure we
20 just approved, the risk factor was kidney disease
21 and falls in medications in one or two. That
22 measure -- with medication reconciliation, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measure would be cured because then we would say,
2 you're on this measure and this measure, that
3 caused a adverse drug reaction, which is a fall.

4 So, if you look at most of the measures
5 we're reviewing today, anytime they mention kidney
6 disease as a risk factor, most of this medication
7 this is it. So this would be the process and those
8 would be the outcome we would see. They would turn
9 them into outcome measures and improvements. So
10 just trying to connect the dots how crucial this
11 could be.

12 MEMBER APPLEGATE: Yes. I just wanted
13 to ask the group or the developers, if this measure
14 overlaps at all with the last measure we voted on,
15 2993? There was some component about potentially
16 harmful drug use in the elderly, at least with
17 chronic renal disease. So we looked at potential
18 opportunities for significant harm -- or harmful
19 medication use.

20 And, when we looked at one of the
21 components, the lowest use was less than ten
22 percent of patients with chronic renal failure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 received at least one harmful med. So that was the
2 lowest group rate. And I just want to make sure
3 that we're not doing any extra work or we're asking
4 healthcare systems to do extra work with that
5 metric -- overlap metric.

6 And, also, the other thing that I wanted
7 to bring up was that we're asking healthcare
8 systems to be accountable but we're also asking
9 them to fix their electronic medical records and
10 do things that I'm constantly asking them to do in
11 the name of safety but without funding, so it's an
12 unfunded mandate. You know? So just to address
13 that. Thanks.

14 DR. NISHIMI: Do you want me to address
15 that?

16 The measure is -- was feasible, highly
17 feasible in the three dialysis organizations,
18 large dialysis organizations that we tested in.
19 It's -- they cover probably 70/75 percent of
20 patients. So they have existing electronic
21 clinical data streams in their facilities that feed
22 into a corporate data warehouse. So they may have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to -- and some of the smaller ones may have to adjust
2 some, no question.

3 But they don't have -- they collect this
4 kind of data. As we said, some of it might be in
5 free-text form that they may have to convert but,
6 overall, we didn't have any complaints and they're
7 not shy about expressing them in terms of that kind
8 of burden now.

9 CO-CHAIR THRAEN: -- on. There.
10 Some -- there are some substantial differences
11 between this one and the last one. The last one
12 was really aimed and very specific medication types
13 and the relationship between that and age and
14 disease state. And I think what your -- this
15 measure's trying to get at is simply identifying
16 what medications the patient's on, regardless of
17 type. I don't think you're recommending that
18 there by a judgment made on the type of medication
19 that they're taking. I think there are some
20 differences there.

21 Yanling?

22 MEMBER YU: Thank you. Just added to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what you said. I think that there -- personally,
2 I do see the differences between this one and the
3 last one. For one thing, the last one you --
4 basically, you looked up a pre-fixed table of
5 medication -- what could be harmful medication; you
6 identify them; and you score them.

7 But this one for dialysis patients, the
8 reconciliation of medication also, hopefully,
9 would help improve. You know, the medication
10 could be duplicates, could be improper dosage and,
11 you know, those type of things can cause harmful,
12 you know, to the patient. And so I do see the
13 difference.

14 Another thing I just -- I just wondering
15 whether you would consider, because I really like
16 with this you incorporate some patients'
17 perspective or their knowledge into this whole
18 process. I wish every medication reconciliation
19 would do that but have you thought about to include
20 documentation on whether risk and benefits has been
21 clearly communicated with patients and the
22 caregiver into this -- as a part of a medication

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconciliation?

2 DR. NISHIMI: We didn't. Everyone in
3 the work group recognized that education was an
4 important component. I think that we thought
5 about it more in the context of the medication
6 review measure, which is a little bit further
7 downstream, more engagement with the patient
8 trying to gauge whether the patient understood the
9 fact that the reconciliation found differences.
10 That's why it becomes a much more complicated
11 measure and that's why, frankly, it's not before
12 you right now.

13 This was a middle ground and, when we
14 got the testing results and saw how poorly some
15 facilities were doing, frankly, it seemed like we
16 struck the right balance.

17 MEMBER YU: Okay. So, for your -- you
18 have a list of allergies and all the, you know,
19 adverse drug events experienced by the patient,
20 does that mean patient reported or is that
21 documented by a healthcare professional?

22 DR. NISHIMI: Both.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER YU: Both. Okay. So can be
2 patient reported events. Okay. Thank you.

3 MEMBER COOK: Yes. I just want to
4 point out -- and not to -- more for clarification
5 because I think we've got to look at this and the
6 expectations of it. And coming back to saying this
7 truly is a first step. The reason that the list
8 is broader of who can do this as a med
9 reconciliation is literally just that you are
10 looking at what drug and what drug. It's a listing
11 and making sure it matches up.

12 It does not get to the cognitive
13 component piece of managing the therapy or truly
14 doing the review that gets into more of what you
15 would have an advanced-practice nurse or a
16 physician or a pharmacist truly go to do. So
17 they're not probably going to -- may not catch those
18 things that are Beers list. They're not going to
19 catch those type of things.

20 It literally is patient comes in.
21 They've got Zantac 75 and they've got Zantac 150.
22 Why are you taking two drugs with two different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses? Oh, we shouldn't do that. What should you
2 be doing or -- you know, you're saying you're taking
3 this once but, on the bottle, it says you should
4 be taking this three times a day. It is literally
5 at that form just of -- not from that therapeutic
6 sense but just the operational sense of what's the
7 list they should be taking and how they should be
8 taking it and reconciling that to make sure it
9 matches up.

10 CO-CHAIR THRAEN: So I see --

11 MEMBER COOK: It includes the adverse
12 drug reactions, too.

13 MEMBER YU: Okay.

14 MEMBER COOK: Okay.

15 CO-CHAIR THRAEN: I see Kim's -- do you
16 still -- did you have a question? No?

17 MEMBER APPLGATE: No. I'm fine.

18 CO-CHAIR THRAEN: Ed? Come on, guys.

19 CO-CHAIR SEPTIMUS: Quick question.

20 And, by the way, medical literacy is very important
21 in all of this. I just want to follow up on Chris's
22 comment. What's the age group that you were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talking about?

2 DR. NISHIMI: It was all patients.

3 CO-CHAIR SEPTIMUS: Okay. I just want
4 -- I wanted to emphasize that. Previous measures
5 were talking about us old folks. And, although,
6 hemodialysis --

7 CO-CHAIR THRAEN: Speak for yourself.

8 CO-CHAIR SEPTIMUS: I am talking about
9 myself.

10 But the reality is that, of course, some
11 of these physiologically are much older than their
12 stated age. But, nonetheless, we're talking about
13 a much greater number of populations at a variety
14 of different age and we're not excluding people
15 because of their age. So this is a pretty broad
16 measure, which I think is a good thing.

17 CO-CHAIR THRAEN: So I'm going to call
18 for the vote.

19 MEMBER DANFORTH: Can I just ask a
20 clarifying question?

21 CO-CHAIR THRAEN: Go ahead. Sure.

22 MEMBER DANFORTH: Actually, based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people's comments I think I'm confused or maybe my
2 initial understanding of the measure was not
3 correct.

4 CO-CHAIR THRAEN: No. I think you did
5 a critical review.

6 MEMBER DANFORTH: But my understanding
7 of the measure is that you pass the measure if: you
8 include the name of the eligible professional; the
9 date of the reconciliation; address all known
10 medications that are administered; for each of the
11 medications, you have the name; and then you list
12 any allergies, intolerance or list any adverse drug
13 events.

14 There's no discussion of the adverse
15 drug event. There's no discussion of why are you
16 on two Zantacs; you should only be on one. Can you
17 please clarify that? Maybe I misunderstood it.

18 DR. NISHIMI: No. That's -- the
19 discussion measure is the review measure.

20 MEMBER DANFORTH: It's literally a
21 list: I had an adverse drug reaction --

22 DR. NISHIMI: The medical record may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 list it but, for purposes of the measure, it's,
2 there was an adverse drug event.

3 CO-CHAIR THRAEN: So the challenge we
4 have before us is the fact that I think there's
5 consensus that this an important measure and an
6 important first step. But the question is, does
7 the evidence support that?

8 MR. LYZENGA: And, just to reiterate
9 what Missy mentioned, because there wasn't a
10 quality, quantity, and consistency explicitly
11 stated in the -- of a systematic review, as stated
12 in the submission, this is actually only eligible
13 for moderate, at the highest rating. So start at
14 2.

15 MS. QUINNONEZ: Voting is now open for
16 Measure 2988, Medication Reconciliation for
17 Patients Receiving Care at Dialysis Facilities.
18 We're now voting on evidence. Option Number 1 is
19 -- Option Number 2 will be moderate, Option Number
20 3 will be low, and Option Number 4 will be
21 insufficient. So your choices are: Option Number
22 2, moderate; Option Number 3, low; and Option

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Number 4, insufficient.

2 We're looking for one more vote.

3 Okay. All votes are in and voting is
4 now closed.

5 For the evidence of Measure 2988 -- we
6 still had someone to vote for 1, for high. The vote
7 reads 55 percent voted moderate, 35 percent voted
8 low, and 5 percent voted insufficient.

9 Would you like to re-vote?

10 MR. LYZENGA: Can we recall the vote?

11 CO-CHAIR THRAEN: So a reminder you
12 cannot vote for high in this situation. Your
13 choices are moderate, low, and insufficient.

14 MR. LYZENGA: The next time we'll
15 remove that from the voting slide, just to -- for
16 clarification.

17 MS. QUINNONEZ: Okay. We're
18 re-voting on Measure 2988 for evidence: Option
19 Number 2, moderate; Option Number 3, low; and
20 Option Number 4, insufficient. Click 2 for
21 moderate, 3 for low, and 4 for insufficient.

22 All votes are in. Voting is now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 closed. The vote reads 55 percent moderate, 40
2 percent low, 5 percent insufficient.

3 CO-CHAIR SEPTIMUS: That doesn't make
4 any sense. If someone voted high, then you'd
5 figure they would vote moderate.

6 (Off microphone comments.)

7 CO-CHAIR THRAEN: So we don't have
8 consensus, so, therefore --

9 MR. LYZENGA: So we are at consensus
10 not reached but we will move forward onto the
11 remaining criteria, discuss all of those. We will
12 revisit the measure after the comment period.
13 We'll put the measure out for comment, see what kind
14 of comments we get, revisit it in a vote and what
15 we won't do is take an overall vote on this measure,
16 an endorsement vote. We will vote on each of the
17 subcriteria remaining and then we'll -- this will
18 be consensus-not-reached status and then we'll see
19 what happens during the comment period. We'll
20 revisit it and then we have a process that goes
21 forward from there.

22 CO-CHAIR SEPTIMUS: If you'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 remember, we had several measures that we've gone
2 through this over the years. So --

3 MEMBER DANFORTH: So the developers,
4 as they mentioned, did test the measure in three
5 member organizations and there actually was a
6 significant performance gap in the reconciliation,
7 which they mentioned. I think the mean was 52
8 percent -- around 52 percent. I don't know if
9 there's any other comments.

10 DR. NISHIMI: Yes, that's correct, and
11 the range was 0 to 100.

12 CO-CHAIR THRAEN: I was a little bit
13 confused about the standard deviation. Wide
14 variability on that one. What are your thoughts?

15 DR. NISHIMI: Yes. Unfortunately,
16 our methodologist isn't here. Is there a -- I'd
17 have to --

18 CO-CHAIR THRAEN: When I was looking at
19 the 95 percent confidence interval, for example --
20 and maybe this is my lack of statistical knowledge
21 -- we're in the point something range for
22 confidence but we have a standard deviation of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 32.83. That didn't make sense to me. Am I not
2 understanding?

3 MEMBER COOK: No, it doesn't make
4 sense.

5 CO-CHAIR THRAEN: Thank you.

6 I went on to look at it and it was
7 repeated in a couple of different areas. So,
8 again, it just -- I was not making sense out of the
9 -- out of the statistics.

10 DR. NISHIMI: Yes. We'd have to check
11 because, as I said, the methodologist isn't here.
12 But I see what you're saying. I think it's
13 probably a typo.

14 MEMBER WU: So the comment is, if the
15 standard deviation is really big, it's going to be
16 very hard to detect changes, differences, or
17 anything else using the measure.

18 CO-CHAIR THRAEN: -- other comments or
19 questions about this?

20 Missy, did you have anything else you
21 wanted to say about that?

22 MEMBER DANFORTH: The specifications,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 themselves, were clear. The list of elements that
2 had to be included were clear. There was a comment
3 by one of the committee members about a lack of
4 specificity around the definition for adverse drug
5 reaction. I don't know if that individual wants
6 to comment but, in general, the specifications,
7 themselves, were clear and they did demonstrate a
8 gap in performance.

9 CO-CHAIR THRAEN: Shall we vote?

10 MS. QUINNONEZ: Voting is now open for
11 the importance to measure and report performance
12 gaps for Measure 2988. Option Number 1 is high;
13 Option Number 2, moderate; Option Number 3, low;
14 and Option Number 4, insufficient.

15 Okay. We're looking for two more
16 votes.

17 MR. LYZENGA: Michelle, could you vote
18 for a performance gap? It looks like you voted for
19 importance to measure.

20 MEMBER SCHREIBER: Okay. Sure.

21 MS. QUINNONEZ: We're looking for one
22 more vote. Has everyone clicked?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 All votes are in. Voting is now
2 closed. For performance gaps of Measure 2988, the
3 vote reads 35 percent high, 50 percent moderate,
4 5 percent low, and 10 percent insufficient.

5 CO-CHAIR THRAEN: Reliability.

6 MEMBER DANFORTH: So the developer
7 included -- they did reliability testing at their
8 performance score level and they actually had
9 really significant results. The mean reliability
10 score is a .99, which is extremely high.

11 They did not and I think someone else
12 mentioned it that, if the measure got rolled out
13 to a larger set of dialysis patients and dialysis
14 centers than those that were tested, there would
15 need to be some upgrade, probably, to the systems
16 that the smaller dialysis centers were using that
17 could impact the reliability.

18 All of these centers were members of
19 this particular -- your collaborative. So I
20 assume they have sort of a homogeneous level of --

21 DR. NISHIMI: No.

22 MEMBER DANFORTH: No? Can you speak

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to that a little bit?

2 DR. NISHIMI: The -- there are three
3 large dialysis organizations who own a range of
4 types of facility and, as part of our membership
5 KCQA and KCP's membership, we do have small
6 independent dialysis facilities. It's just that,
7 for testing purposes, because we could get a big
8 population by using just these three -- and,
9 frankly, others don't have as much bandwidth to
10 help us out with testing because they're -- you
11 know, they're just not as big corporately. So
12 that's why we tested it there.

13 But we do have members, most of whom now
14 have electronic systems. We just feel that,
15 probably, their level of sophistication isn't as
16 high, although they are electronic.

17 CO-CHAIR THRAEN: Yanling and then
18 Albert.

19 MEMBER YU: Thank you. The question
20 about the documentation identified for each
21 medication -- there's a -- you can either document
22 it or mark it as unknown, such as reason for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medication to -- stopped or discontinued. someone
2 can put in "unknown." Is that a black mark or
3 having anything to do with the overall score? I
4 mean, if you stop medication, there have got to be
5 some reasons. Could it -- it has to be documented.
6 It seems like that.

7 DR. NISHIMI: If the prescriber was
8 someone other than the dialysis facility, so if it
9 was one of their physicians, the facility might not
10 know when, exactly, it was stopped or why exactly
11 it was stopped.

12 MEMBER YU: Then maybe somewhere
13 should have a -- when items you have marked unknown,
14 particularly like those type of situation it's
15 important, and it should say, going to follow up
16 or there -- something -- someone going to say
17 something rather than just check it and say unknown
18 and then that's it. That's sound like a little --

19 DR. NISHIMI: I think, frankly, the
20 burden associated with following up every month for
21 all patients, if you don't have an indication,
22 would just be unreasonable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Okay. So she's
2 dumbfounded. We'll let you think about that a
3 minute.

4 Albert?

5 MEMBER WU: -- a little more clarity on
6 what test was done for testing reliability. The
7 -- sort of the level of reliability that was cited
8 was -- it was so high that it almost seems like,
9 you know, simply if you turned your head and drank
10 a cup of coffee, you know, you would make that level
11 of -- create that level of unreliability. Can you
12 just explain a little bit more? It seems almost
13 too high to me.

14 DR. NISHIMI: It was a standard
15 beta-binomial such as the one, you know, you just
16 heard NCQA use, PCPI uses, signal-to-noise ratio.
17 That's the way it came out.

18 CO-CHAIR THRAEN: So there's some
19 concern about -- I think, because of the standard
20 deviation question I had earlier and now you're
21 kind of raising this other question statistically,
22 I think this needs to be reconsidered or reviewed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to make sure that the numbers are correct.

2 CO-CHAIR SEPTIMUS: Can you -- let me
3 ask you a question.

4 DR. NISHIMI: I know that reliability
5 numbers are correct.

6 CO-CHAIR SEPTIMUS: Can you get that
7 individual on the phone after lunch to respond or,
8 otherwise, I can tell you this measure is in
9 trouble?

10 DR. NISHIMI: I'll see what I can do.

11 CO-CHAIR SEPTIMUS: I mean would that
12 be okay? I mean we can come back to it but I think,
13 unless some of these other questions are answered,
14 I think we're -- the Committee's having -- we're
15 struggling here.

16 DR. NISHIMI: Yes. No. I know the
17 reliability numbers are, because then we did a
18 series of facility size, which is why we got to
19 excluding less than 11. So those data I've looked
20 at six ways and the reliability clearly goes down
21 based on facility size.

22 CO-CHAIR SEPTIMUS: That's typical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 overall then.

2 DR. NISHIMI: Right.

3 CO-CHAIR THRAEN: Kendall then
4 Charlotte.

5 MEMBER WEBB: Did all three of the
6 facilities you used have the same EMR?

7 DR. NISHIMI: No. And it's not three
8 facilities, it's three dialysis organizations.

9 MEMBER WEBB: Okay. Do they use -- do
10 they use similar EMRs. Because I know, like, in
11 oncology, the same EMR is used across -- because
12 it's just easier to collect data.

13 DR. NISHIMI: Not all -- well, the
14 three large dialysis organizations have their own
15 systems each. So then their facilities under the
16 umbrella organizations would have the same.

17 MEMBER WEBB: Right. So did they have
18 to put in something special in order to be able to
19 say that they did these med recs?

20 DR. NISHIMI: No. They -- they are
21 already collecting these datas.

22 MEMBER WEBB: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NISHIMI: They have their own
2 internal.

3 MEMBER WEBB: But they -- it's their
4 own internal EMR, it's not the big five?

5 DR. NISHIMI: Correct.

6 MEMBER ALEXANDER: Did you look at the
7 number of unknowns that were recorded and -- to see
8 what size or percentage it was of all the data that
9 was reported?

10 DR. NISHIMI: No.

11 CO-CHAIR THRAEN: Any other questions?

12 MEMBER WU: While we're on that
13 question -- and, perhaps, questioning a, you know,
14 sort of consultant-backed -- wherever that person
15 is sitting -- I'm curious of whether or not unknown
16 -- an unknown was classified as being agreement or
17 how that was handled?

18 DR. NISHIMI: I'm not sure.

19 MEMBER WU: If two pieces of
20 information were classified as unknown, were they
21 -- were they thought to be in agreement with each
22 other? Was that scored? How was that handled?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NISHIMI: So, if one list had
2 "unknown" for the indication and the other had
3 "unknown," are they considered --

4 MEMBER WU: Is that considered perfect
5 agreement?

6 DR. NISHIMI: All they're doing is,
7 yes, reconciling the two. So, yes, it's a match.
8 The source of the unknown would be handled under
9 the review measure.

10 CO-CHAIR THRAEN: It's back to how they
11 judge, that, right?

12 DR. NISHIMI: Yes.

13 CO-CHAIR THRAEN: As opposed to, in the
14 data field, you have data field 1 has "unknown" and
15 data field 2 has "unknown" --

16 DR. NISHIMI: Correct.

17 CO-CHAIR THRAEN: -- there's no way of
18 -- other than what's in the data field.

19 How much of what you -- what was
20 analyzed was text base?

21 DR. NISHIMI: We didn't analyze the
22 actual text base.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Other questions or
2 concerns about this? Shall we vote on
3 reliability?

4 MS. QUINNONEZ: Voting is now open for
5 reliability of Measure 2988: Option Number 1, high;
6 Option Number 2, moderate; Option Number 3, low;
7 and Option Number 4, insufficient.

8 All votes are in and voting is now
9 closed. For reliability of Measure 2988, 15
10 percent voted high, 35 percent voted moderate, 30
11 percent voted low and 20 percent insufficient.

12 CO-CHAIR THRAEN: This does not pass.

13 MR. LYZENGA: Yes. So we're in the
14 consensus-not-reached area again, so we will
15 continue moving forward again. This will be
16 another one that we'll revisit after the comment
17 period.

18 MEMBER WU: Yes. I would comment that
19 my vote was -- should really have been "unknown."
20 And that's not --

21 CO-CHAIR THRAEN: Albert.

22 MEMBER WU: No. But that's not a --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's not a strike against the proposal. I, you
2 know, would be able to make a better judgment and,
3 perhaps, a more favorable judgment if I had a bit
4 more information.

5 CO-CHAIR THRAEN: Validity, Missy?

6 MEMBER DANFORTH: Okay. So, for this
7 measure, the measure developer did phase validity
8 only. They basically brought together two
9 different sets of experts. One was a set of
10 experts from the ER -- the end-stage-renal-disease
11 field. The other was a set of experts -- I'm sorry.
12 I'm just trying to scan this quickly.

13 CO-CHAIR THRAEN: There were two
14 groups?

15 MEMBER DANFORTH: Yes, there were two
16 groups and they basically asked two questions: 1)
17 how likely is the measure score -- how likely is
18 it that the measure score provides an accurate
19 reflection of medication reconciliation; 2) what
20 is the likelihood that the measure can be used to
21 distinguish good from poor quality? Both groups
22 said likely or highly likely at least 77 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the time.

2 So the KCQA member organization group
3 rated the measure 77.3 percent, so the measure
4 would be likely or highly likely to provide an
5 accurate reflect of med rec. And then the same
6 77.3 percent of the panel agreed that the measure
7 would be likely or highly likely that the measure
8 can be used to distinguish good from poor quality.

9 The expert panel had just slightly
10 higher agreement, so 88.9 percent of the nine-panel
11 expert panel said that the measure would result --
12 would be likely or highly likely that the measure
13 scores reflected accurate med rec. And then 77.8
14 percent of that expert panel agreed that the
15 measure would be highly likely or likely to be able
16 to distinguish good from poor quality.

17 CO-CHAIR THRAEN: Questions?
18 Comments?

19 Go ahead Yanling.

20 MEMBER YU: There's a question about
21 this -- the performance gap, you know, the
22 uncertainty. So I don't know how to vote on this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one because they have to go check the numbers to
2 make sure. You know what I'm -- what I mean?

3 CO-CHAIR THRAEN: So you're raising
4 the question about the standard deviation here?

5 MEMBER YU: Yes. That's --

6 CO-CHAIR THRAEN: Okay. So Yanling's
7 saying, because there's a question on the standard
8 deviation question, she's uncomfortable voting on
9 the validity of the -- of the measure. Any other
10 thoughts or concerns about that issue? You could
11 invoke --

12 DR. PINES: I just had --

13 CO-CHAIR THRAEN: Go ahead.

14 DR. PINES: Just a comment. I think
15 the validity data was provided and the issue was
16 the standard deviation of the reliability, which
17 we've already voted on.

18 MEMBER DANFORTH: Yes. And I would
19 think, because they provided information on phase
20 validity and not construct validity, that we could
21 still look at the phase validity information they
22 provided.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. LYZENGA: Although, I should note
2 again that, according to our algorithm here,
3 phase-validity-only testing means that the ceiling
4 for this measure is moderate for validity ratings.
5 So moderate, low, and insufficient will be our
6 options.

7 CO-CHAIR THRAEN: So 2, 3, and 4 are
8 your only options to vote on this one. So we call
9 a vote.

10 MS. QUINNONEZ: We are now voting on
11 the validity of Measure 2988. Your options are:
12 Option Number 2, moderate; Option Number 3, low;
13 and Option Number 4, insufficient -- Option Number
14 2, moderate; Option Number 3, low; and Option
15 Number 4, insufficient.

16 All votes are in and voting is now
17 closed. The vote reads 55 percent voted moderate,
18 35 percent voted low, 10 percent voted
19 insufficient.

20 MR. LYZENGA: All right. Yet another
21 in the gray zone here that, once again, we'll
22 revisit. But move on to the next criteria.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR THRAEN: Usability.
2 Feasibility -- I missed one. Yes.

3 MEMBER DANFORTH: So, as the measure
4 developers discussed throughout the discussion,
5 the measure was tested in three large centers. If
6 you look at the size of the sample, it actually
7 includes a large sample of patients across the
8 three testing facilities. They also address some
9 of the known feasibility issues around
10 definitional confusions and dealing with unknowns
11 and clarify that they have adjusted for both.

12 CO-CHAIR THRAEN: Questions or
13 comments?

14 Take vote.

15 MS. QUINNONEZ: We are now voting on
16 the feasibility of Measure 2988: Option Number 1,
17 high; Option Number 2, moderate; Option Number 3,
18 low; and Option Number 4, insufficient.

19 Okay. We're looking for one more vote.
20 Can everyone resubmit their clicks one time for me,
21 please, pointing this way?

22 All votes are in. Voting is now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 closed. The vote for feasibility of Measure 2988
2 reads: 30 percent voted high, 55 percent voted
3 moderate; 5 percent voted low; and 10 percent
4 insufficient.

5 CO-CHAIR THRAEN: Usability.

6 MEMBER DANFORTH: So the measure is not
7 currently used in any public reporting or
8 accountability programs but the developer did note
9 that it is -- they'd like to see it used in the
10 future in an accountability program and, also, that
11 variations of the measure are currently in use by
12 a number of dialysis organizations for internal
13 quality improvement.

14 CO-CHAIR THRAEN: Yanling, go ahead.

15 MEMBER YU: You mentioned that there's
16 a plan where you include public reporting and a
17 payment program. And I wonder if the developer has
18 any ideas or any thoughts, could share how you
19 incorporate this type of a measure into the --

20 DR. NISHIMI: Yes. In fact, since we
21 submitted the measure submission, the dialysis
22 facilities are paid under a PPS system and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what's called the Quality Incentive Program, QIP.
2 And so, in the proposed rule that was issued on June
3 30th, CMS has -- because they knew we were
4 developing this, has asked for comment on what the
5 broader community, obviously beyond this, thinks
6 about medication -- incorporating a medication
7 reconciliation in the QIP, which is a penalty-based
8 performance system.

9 So going forward, we do anticipate they
10 would pick it up because they were part of, you
11 know, following the development.

12 MEMBER YU: So there would be any
13 comparisons on the facility level to this --

14 DR. NISHIMI: So it would be part of --
15 it would be facility-to-facility public reporting
16 and, then the way the QIP is structured, their
17 payment is based on that. They can be penalized
18 up to two percent across the total performance
19 score.

20 MEMBER YU: Would there be an example
21 for other type of medication reconciliation
22 measures to come -- to follow?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NISHIMI: You'd have to ask CMS
2 that.

3 MEMBER YU: Okay.

4 CO-CHAIR THRAEN: Probably.

5 MEMBER YU: All right. Thank you.

6 CO-CHAIR THRAEN: Probably.

7 Missy, go ahead.

8 MEMBER DANFORTH: We're going to talk
9 about the competing measures at some point, right,
10 but not now?

11 MR. LYZENGA: Yes.

12 MEMBER DANFORTH: Just to Yanling's
13 point?

14 CO-CHAIR THRAEN: Go ahead.

15 MR. LYZENGA: We can talk about that
16 right after this discussion on this measure.

17 CO-CHAIR THRAEN: Other questions or
18 comments before we go into competing measures?

19 Go ahead, Missy.

20 Do you want to -- do we vote first on
21 usability?

22 MR. LYZENGA: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Okay. Vote first on
2 usability.

3 MS. QUINNONEZ: Voting is now open for
4 the usability and use of Measure 2988. Option
5 Number 1 is high; Option Number 2, moderate; Option
6 Number 3, low; and Option Number 4, insufficient
7 information.

8 And we're looking for two more votes.

9 All votes are in and voting is now
10 closed. The vote for usability and use of 2988 is:
11 25 percent voted high; 60 percent voted moderate;
12 15 percent, low; and 0 percent for insufficient
13 information.

14 MEMBER DANFORTH: I will try to do
15 competing measures. I've never done competing
16 measures before.

17 MR. LYZENGA: I think -- I think we'll
18 actually want to take a vote on this, first, on
19 overall vote on this measure.

20 CO-CHAIR THRAEN: Well, we don't
21 endorse this one.

22 MEMBER DANFORTH: No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. LYZENGA: Oh, right. We're not --
2 we're not doing overall. My fault. Yes, so now
3 we can go into the related and competing
4 discussion. So --

5 MS. MUNTHALI: But, just to clarify, we
6 wouldn't include this one in the related and
7 competing --

8 MR. LYZENGA: Because --

9 MS. MUNTHALI: -- because you haven't
10 rendered a vote on it.

11 CO-CHAIR SEPTIMUS: That's true.

12 MS. MUNTHALI: But on the other two,
13 you would.

14 CO-CHAIR SEPTIMUS: That's true.

15 MS. MUNTHALI: So, perhaps, you'd want
16 to do that on a post-comment call or something?

17 MR. LYZENGA: I think that's a good
18 idea. It makes sense.

19 MEMBER MCGIFFERT: Can someone explain
20 this a little bit more?

21 MR. LYZENGA: So --

22 CO-CHAIR THRAEN: So this is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 non-endorsed one because it didn't meet the earlier
2 criteria.

3 MR. LYZENGA: It was -- the measure is
4 not officially endorsed. Usually, we do the
5 related and competing discussion after we do that
6 overall vote, because if a measure does not get a
7 recommendation for endorsement, it's kind of a, you
8 know, moot point. It's not related and competing
9 with any endorsed measures because it's not
10 endorsed itself.

11 Here, we didn't do that overall vote.
12 So we don't -- have not rendered a final decision.
13 So maybe we should wait on that question of related
14 and competing until we have, in fact, rendered a
15 final decision and see that this measure is --

16 MEMBER DANFORTH: Okay.

17 MR. LYZENGA: -- recommended for
18 endorsement. Does that make sense to everybody?

19 CO-CHAIR THRAEN: Martha?

20 MEMBER DEED: Yes. I just had a
21 comment that, when a measure looks as important as
22 this one could be, I just think -- and it's kind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of a beginning effort, I think it's really, really
2 important to have the present -- have the material
3 utterly, utterly clean, so that we can work on it
4 because this is a measure that I think might set
5 a standard for some other work to come. So I would
6 just really encourage the developers to, you know,
7 just check things out and, hopefully if there's
8 time now, today or tomorrow, to have -- revisit it,
9 at least, you know, as a kind of a starting point
10 to continue this discussion, because this is an
11 important, important measure.

12 CO-CHAIR THRAEN: Yes. To follow up
13 to that, if we're unable to do the clarification
14 on the statistics while we're still here, we can
15 do that in a follow-up call, making sure that all
16 of the data is correct and our statistical
17 questions are answered. And then we can actually
18 do a -- an endorsement at that point as well. So
19 we have a couple options.

20 I think everybody's in agreement.
21 We've been in this place before where everybody's
22 in agreement that this is a vital component but it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just hasn't met the scientific criterion. And so
2 we have to -- we have to be true to that process
3 and ask the developers to do a little more homework
4 for us.

5 DR. NISHIMI: Right. And I have
6 emailed them to check with the standard deviation.
7 I am quite confident about the reliability
8 statistics but I don't know about the standard
9 deviation.

10 CO-CHAIR THRAEN: So I think we're done
11 with --

12 DR. McGONIGAL: Thank you.

13 CO-CHAIR THRAEN: Okay. So we --

14 Public comment, anybody on the phone or
15 anybody here that cared to make a public statement?

16 OPERATOR: Okay. At this time, if
17 you'd like to make a public comment, please press
18 star and then the number one.

19 Okay. And, at this time, there are no
20 public comments from the phone line.

21 CO-CHAIR THRAEN: Okay. So, given the
22 fact that we had 15 minutes for public comment, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just want to note that we're on time. So I think
2 we're taking a lunch break, aren't we? Yes. Good
3 job, guys.

4 CO-CHAIR SEPTIMUS: All right. So
5 we're supposed to be back at 1:15.

6 (Whereupon, the above-entitled matter
7 went off the record at 12:03 p.m. and resumed at
8 12:46 p.m.)

9 CO-CHAIR SEPTIMUS: Okay. I want to
10 thank our developers for responding quickly so we
11 could get some of those statistical questions
12 answered, so that we can make sure we get a full
13 and honest evaluation for the measure that we took
14 up at the end of the morning. So I'll let them,
15 perhaps, introduce our folks -- your folks on the
16 phone.

17 DR. NISHIMI: Is the phone line open?

18 OPERATOR: Yes, the phone line is open.

19 DR. SCHNEIDER: Thank you.

20 DR. NISHIMI: Craig, are you on?

21 DR. SCHNEIDER: Yes. I just -- I just
22 dialed in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NISHIMI: So, Craig, this is the
2 NQF Patient Safety Committee and they have some
3 questions about the standard deviation for the med
4 rec measure and the standard error. Did you want
5 him to just --

6 CO-CHAIR SEPTIMUS: You can discuss it
7 and then, anybody else who has questions about some
8 of the statistics and validation, this is the time
9 to answer those questions. So we'll take -- we'll
10 take now until, you know, perhaps at 1:00 and then
11 we'll decide whether or not we want to reconsider
12 the measure, if that's okay. Okay? So go for it.

13 DR. MCGONIGAL: Craig?

14 DR. SCHNEIDER: Yes.

15 DR. NISHIMI: Go ahead.

16 DR. SCHNEIDER: Okay. So there were
17 some questions about why the standard deviation was
18 so large and the standard error was so small. Is
19 that correct?

20 DR. NISHIMI: Yes.

21 DR. SCHNEIDER: Okay. So the standard
22 deviation in this case -- so the distribution of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the individual observations ran from 0 to 100 and
2 it was kind of a U-shaped distribution. So there
3 was a number at 0 and low and there was a number
4 at 100 and high. So there is a large spread and
5 that results in a large standard deviation, which,
6 again, is a measure of the spread of the individual
7 observations.

8 To get the standard error, what you do
9 is you take the standard deviation and you divide
10 it by the square root of the sample size. So, if
11 you have a large sample size, then the denominator
12 is going to result in a small standard error. But,
13 even if you have a large standard deviation, if you
14 have a large sample, then you will get a small
15 standard error.

16 And that standard error -- keep in mind
17 that the standard deviation and the standard error
18 are also measuring two different things. So the
19 standard deviation is about the individual
20 observations where the standard error is a measure
21 of uncertainty of a single number and that number
22 is the mean.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And so, really, the standard error is
2 used to create a confidence interval of the mean,
3 which is not a confidence interval of where
4 everyone will lie but it's a confidence interval
5 of, if we were to do this again and get everyone's
6 measure and an average, where do we think that
7 single number is likely to lie? So that's why you
8 see a large difference between a standard deviation
9 and standard error.

10 CO-CHAIR THRAEN: Great. Thank you.
11 And then we had some questions about reliability.

12 MEMBER WU: Could I --

13 DR. SCHNEIDER: Sure.

14 MEMBER WU: Could I just ask a question
15 about --

16 CO-CHAIR THRAEN: Go ahead.

17 MEMBER WU: -- if you said the
18 distribution is very not normal, is it -- is it
19 appropriate to be reporting a mean score at all?

20 DR. SCHNEIDER: It's fine to report a
21 mean. And that's -- the mean is still the mean
22 regardless of if it's U-shaped. If you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strongly skewed distribution, whereas you have a
2 lot on one end and not a lot on the other end, some
3 would argued that the median is more appropriate
4 than the mean.

5 But, if it's relatively symmetric, the
6 mean and the median are going to be relatively
7 similar. And, again, all of these measures are
8 just -- they're summary measures but none of them
9 individually tell the whole story right.

10 CO-CHAIR THRAEN: Other questions?
11 Can we scroll down to the reliability section? I'm
12 not generating the question we had in my head from
13 before. So I need a queuing.

14 DR. NISHIMI: The question was why was
15 the reliability number so high.

16 CO-CHAIR THRAEN: Oh, yes, on the
17 binomial -- on the binomial --

18 MR. LYZENGA: And maybe just some
19 clarification on the beta-binomial method and --

20 CO-CHAIR THRAEN: Yes. Correct.
21 Thank you.

22 MR. LYZENGA: -- what it suggests.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SCHNEIDER: Clarification on what
2 the beta-bimaneal is or why it was used or --

3 MR. LYZENGA: I don't know. Al, is
4 that fair to ask? Is that what you were looking
5 for, what the -- what the methodology was and why
6 it was --

7 MEMBER WU: I was also curious to see
8 that the coefficient that came out was almost
9 perfect and that seemed very good but, potentially,
10 you know, could have -- could have been an error,
11 also.

12 DR. SCHNEIDER: Well, we -- so, in
13 terms of -- both myself and Dr. Gilbertson ran the
14 reliability independently and produced the same
15 numbers. So we're confident in its accuracy.

16 In terms of the numbers themselves, I'm
17 not sure -- I'm sorry. I'm not -- I'm not sure I'm
18 totally understanding. So we're uncertain about
19 or we had some confusion about the number itself
20 or its value?

21 CO-CHAIR THRAEN: I think the original
22 question was the size of the value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So could we go down to the numbers?
2 There they are.

3 So we have .9935 as the mean reliability
4 of the measure.

5 DR. SCHNEIDER: Yes.

6 CO-CHAIR THRAEN: And that was
7 perceived to be so close to one that it seemed too
8 good to be true.

9 DR. SCHNEIDER: Oh, okay. So the -- in
10 the beta-binomial -- in the beta-binomial, you
11 actually get a reliability value for each
12 individual facility because, in the beta-binomial,
13 the actual performance -- how well you do is part
14 of -- well, in the calculation of the reliability.
15 So reliability is at least in part a function of
16 the performance. And that is just simply because
17 of the underlying distribution that's assumed when
18 this is -- this is performed.

19 So the fact that the mean is as high as
20 it is means that there were a lot of people with
21 the reliability of 1 or very close to it. So
22 that's, again, simply a function of the performance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of individual facilities and how they did and how
2 many patients were included at each facility. And
3 so that's the number that we obtained and I don't
4 know how else to sort of -- sort of say it. It is
5 high but it's -- in other ones that I've looked at,
6 it's not unheard of and, like I said, it was run
7 independently by two different -- by myself and Dr.
8 Gilbertson. So we're confident in its -- in its
9 accuracy.

10 CO-CHAIR THRAEN: So I just -- for
11 question purposes -- so you said that we had a
12 U-shaped curve, meaning there were a number of
13 institutions that were close to zero.

14 DR. SCHNEIDER: Yes.

15 CO-CHAIR THRAEN: And a number of
16 institutions that were close to 100 percent. So,
17 when you did this reliability testing, is it on
18 those facilities that are non-zero facilities?

19 DR. SCHNEIDER: That's on everybody
20 and I will -- and I will say that, if you have a
21 zero -- it's really -- the closer you are to either
22 extreme --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: The more reliable?

2 DR. SCHNEIDER: -- the more -- the more
3 reliable you are. So having a huge distribution,
4 in some ways, enhances that reliability --

5 CO-CHAIR THRAEN: Got it.

6 DR. SCHNEIDER: -- because there's
7 less -- I mean, if you have a zero out of -- that's
8 just inherently more reliable according to the
9 method than, say, 50 percent.

10 CO-CHAIR THRAEN: Okay.

11 MR. LYZENGA: I thought, maybe, we
12 should also note that the measures we just passed
13 from NCQA also used the same methodology, the
14 beta-binomial, for reliability and had very
15 similar scores, 97 or above -- thereabouts.

16 CO-CHAIR THRAEN: So, given these
17 explanations, are there any other questions about
18 the statistics? Do we want to re-vote?

19 MEMBER WU: So I'll ask another
20 question, which actually isn't for the
21 statistician so much as for -- maybe for another
22 explanation of what was actually being done in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 facilities. I know that, when I try to get anyone
2 to reconcile anything in the clinic, people don't
3 agree. So I am -- you know, so I wanted to -- some
4 clarification for what was -- what the task was that
5 was actually being done that yielded such high
6 agreement among raters, perhaps, if that's what was
7 happening?

8 DR. NISHIMI: It's not independent
9 raters. It's someone in the facility performing
10 the reconciliation function. Did they do that?
11 Did -- was it documented? Did -- you know, did they
12 check through the various elements: indication,
13 the med, dosage, frequency, et cetera? Did they
14 do that that month? Is it documented that -- the
15 date of which they did it and is it personally
16 identified to that individual, so not just a yes,
17 someone did it but they either used their name or
18 their employee-identifier?

19 If all three are present, then, for that
20 patient there is a success. If all three elements
21 are not present, then for that patient in that month
22 it's a failure. So, for the facilities that had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 scores of 100, that meant that, in that given month,
2 they did all the elements of the reconciliation for
3 every patient.

4 In the facilities that had a zero and
5 -- it meant, for that month, they didn't do a
6 reconciliation for any patient in that month. And
7 so then it was a six-month test period. So then
8 those who still had a zero meant that they did not
9 do a reconciliation for any patient for any month.

10 CO-CHAIR THRAEN: Steve?

11 MEMBER LAWLESS: That piece, you're
12 saying there's four elements --

13 DR. NISHIMI: Three.

14 MEMBER LAWLESS: -- or three elements,
15 it's not. There's a lot of -- if there was 11
16 medicines --

17 DR. NISHIMI: Well, yes.

18 MEMBER LAWLESS: -- and 17 different
19 measurements thereof to the reliability of do I
20 have to get all elements of the medication correct
21 on every medicine in order to be --

22 CO-CHAIR THRAEN: A hundred?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER LAWLESS: -- a hundred percent?

2 DR. NISHIMI: To meet that part of it,
3 yes.

4 MEMBER LAWLESS: Yes. So the three --
5 third category or whatever --

6 DR. NISHIMI: The third category.

7 MEMBER LAWLESS: -- has to have --

8 DR. NISHIMI: They have to match.

9 MEMBER LAWLESS: -- 77 things correct?

10 DR. NISHIMI: Right.

11 MEMBER LAWLESS: And there's
12 reliability on that piece to someone else saying
13 the same thing?

14 DR. NISHIMI: No, it's not -- it's not
15 inter-rater reliability.

16 MEMBER LAWLESS: Okay.

17 DR. NISHIMI: It's the reliability
18 that the process was performed. You're not
19 comparing two people at a facility doing it.

20 MR. LYZENGA: Yes. I should note this
21 is not data element reliability.

22 DR. NISHIMI: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. LYZENGA: It's reliability of the
2 measure score and, maybe it's foolish for me to
3 start talking about this because I'm not a
4 statistician and I'm not that familiar with it but,
5 as I understand it, it's a way of looking at, again,
6 the signal-to-noise at the performance-score
7 level.

8 And what you're looking at is the
9 variability within an institution, within
10 facility, that's being measured and then looking
11 against the variability across institutions and
12 trying -- and using a sort of statistical method
13 of seeing how much of the variation in performance
14 is due to that sort of variability within an
15 institution, which is kind of what you call noise,
16 and then true variation across institutions, which
17 is, you know, the signal so to speak.

18 It's not really looking at whether they
19 have done, you know, these things; what they're
20 supposed to do as part of the measure but, in some
21 sense, really looking at the ability of the measure
22 to distinguish between facilities' performance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, again, I probably --

2 DR. SCHNEIDER: This is Craig. I --
3 this is Craig. I couldn't have said it better
4 myself. That's exactly what this is doing. It's
5 not inter-rater, it's not test/retest reliability.
6 This -- but what you described is exactly what this
7 is.

8 CO-CHAIR THRAEN: Laura?

9 MEMBER ARDIZZONE: I had thought maybe
10 you had asked a question if we were -- wanted to
11 vote again and I wanted to say, yes.

12 CO-CHAIR THRAEN: Well, before we
13 vote, any other -- any other comments or questions?

14 CO-CHAIR SEPTIMUS: Just so we're
15 clear, the signal-to-noise ratio was?

16 DR. NISHIMI: .99.

17 CO-CHAIR SEPTIMUS: .99, which we all
18 say is extremely good.

19 MR. LYZENGA: That means virtually all
20 of the performance -- variation in performance is
21 due to real variation between facilities.

22 CO-CHAIR SEPTIMUS: Correct, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what we'd like to see. And, once again, give us
2 the three elements that they have to have to meet
3 the measure.

4 DR. NISHIMI: Perform the
5 reconciliation. That includes --

6 CO-CHAIR SEPTIMUS: Right.

7 DR. NISHIMI: -- those components,
8 date, and an identifiable individual who's an
9 eligible professional.

10 CO-CHAIR SEPTIMUS: Okay. I just
11 wanted to make sure everybody gets those three.
12 Okay.

13 DR. PINES: And we also wanted to
14 clarify exactly which votes that the Committee
15 wanted to re-vote on, just to -- just to make it
16 clear. So the -- so the evidence was
17 consensus-not-reached. The performance passed --
18 performance gapped passed. Reliability and
19 validity also were consensus-not-reached.

20 CO-CHAIR THRAEN: So, in the original
21 conversation at -- the first one in terms of
22 consensus-not-reached, I think the statistics that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we had questions about informed that decision. So
2 I'm thinking that we need to go back and rethink
3 that decision.

4 It also had to do with the -- Missy's
5 critical analysis of the fact that the literature
6 and the research that was provided in the
7 documentation looked at those three components,
8 which was reconciliation, review, and management
9 and that this particular measure -- that literature
10 did not support the single-case use of
11 reconciliation. I think that the was the concern
12 that you had is the disconnect in the science to
13 support that one component.

14 That being said, the association is
15 working on the other two-measure components in a
16 -- for future work. So there's an anticipation
17 that those measures might be brought back once the
18 work is done on testing and validating those two
19 measures.

20 MEMBER WU: Could -- could I ask the two
21 of you or one of the two of you to convince me and
22 us that this -- doing this component of what is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 being done will -- is, itself, a useful -- a valid
2 exercise and a useful measure that we really ought
3 to be grading and maybe even rewarding or punishing
4 people about?

5 DR. NISHIMI: I think that this patient
6 population is one of the sickest populations in --
7 receiving healthcare right now. They have a lot
8 of meds. They're seen -- if they're in-center,
9 they're seen three times a week, sometimes four,
10 depending on the patient, in the facility. So
11 their meds -- and then they're also seen by a
12 physician usually at least -- at least once a month,
13 often more often and then they may be seen in an
14 outpatient capacity by a cardiologist, not the
15 nephrologist.

16 So they have a lot of touches but the
17 main touch is at the dialysis facility. So that's
18 the real opportunity to get them together and see
19 -- I don't know if that's a good comment or a bad
20 comment on the line.

21 (Off microphone comments.)

22 DR. NISHIMI: Oh, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They have the opportunity in the
2 facility to perform what we believe is a critical
3 function, which is, granted, only the beginning of
4 a multi-stage process. But it -- you have to start
5 somewhere and the fact that you have, you know, so
6 many facilities in a U-shaped curve, frankly, not
7 even doing a single med rec for a six-month period
8 I think is just astounding. That's a polite way
9 to put it.

10 So that's why we think it is -- I mean
11 we -- there is no systematic review and there is
12 no single study looking just at the med rec
13 component. But medication management is clearly
14 important for this population and so you have to
15 start somewhere when you start measuring this
16 stuff.

17 CO-CHAIR THRAEN: Chris?

18 MEMBER COOK: Again, I'll come back as
19 the pharmacist within that. There is a tremendous
20 amount of literature that looks at the general
21 population. When you're talking about from med
22 reconciliation, from review, when you get into med

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 management, there's a lot of stuff that's building.
2 The hard part about a lot of it is this is a process
3 measure. This is a hope to avert situation.

4 And, as a practitioner, the scary part
5 to me on this is this is something you should be
6 doing that's very basic. And the really scary part
7 is it's not happening. And so it's almost absurd
8 that we have to ask for these things. It's almost
9 like, wow, did you wash your hands before surgery?
10 But we saw that it was -- we have some issues there.

11 This is very much, in the medication
12 standpoint, that very same similar piece. You
13 have very complex patients. You have a lot of
14 drugs with a lot of different physicians. You need
15 to at least have the very basic stuff of
16 reconciliation to begin that and then, hopefully,
17 you're able to start pushing more to where we can
18 get to that more advanced stuff, review and
19 management, down the line. But it is a critical
20 first step.

21 CO-CHAIR THRAEN: Any other -- who's
22 that there? Oh, no. Laura, she called for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vote. That's what hers was off of.

2 Any other conversations or comments,
3 questions? So we're going to call for the vote to
4 start at the beginning, which is the --

5 CO-CHAIR SEPTIMUS: Well, the ones we
6 didn't get to consensus on.

7 CO-CHAIR THRAEN: Yes. Yes. You
8 have that up already? Okay. Thank you.

9 MS. QUINNONEZ: Yes. We are now
10 re-voting on the evidence for Measure 2988. You
11 have three options: Option Number 2 is moderate;
12 Option Number 3 is low; and Option Number 4 is
13 insufficient. Option Number 2 is moderate;
14 Options Number 3, low; and Option Number 4,
15 insufficient.

16 Got it. All right. We have all of our
17 votes. Voting is now closed. The vote for the
18 evidence of Measure 2988 is 74 percent moderate,
19 21 percent low, 5 percent insufficient.

20 CO-CHAIR THRAEN: It's passed. Now,
21 we're going to go to reliability, correct, or
22 performance? No. We passed performance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Reliability.

2 MS. QUINNONEZ: Okay. We're voting
3 for the reliability of Measure 2988: Option Number
4 1, high; Option Number 2, moderate; Option Number
5 3, low; and Option Number 4, insufficient. You may
6 cast your votes. Option Number 1, high; Option
7 Number 2, moderate; Option Number 3, low; Option
8 Number 4, insufficient.

9 We're looking for one more vote.

10 All votes are in. Voting is now
11 closed. The vote for reliability of Measure 2988
12 is 47 percent high, 53 moderate, 0 percent low and
13 zero percent insufficient.

14 MR. LYZENGA: I think we no longer have
15 consensus not reached on any of the --

16 CO-CHAIR SEPTIMUS: Yes. So now we
17 can go to whether or not we want to --

18 MR. LYZENGA: Oh, validity, too.
19 Sorry.

20 CO-CHAIR SEPTIMUS: Validity, too.
21 Okay.

22 MS. QUINNONEZ: Voting is now open for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the validity of Measure 2988: Option Number 1, high
2 --

3 MR. LYZENGA: Sorry.

4 MS. QUINNONEZ: Sorry. Three
5 options.

6 MR. LYZENGA: So, again, for this one,
7 there's only phase validity, so eligible for
8 moderate at the --

9 MS. QUINNONEZ: Got it. Here we are.
10 For the re-vote of Measure 2988, we have three
11 options: Option Number 2, moderate; Option Number
12 3, low; and Option Number 4, insufficient. For the
13 validity of Measure 2988, Option Number 2,
14 moderate; Number 3, low; and 4, insufficient.

15 All votes are in. Voting is now
16 closed. The vote for the validity of Measure 2988:
17 89 percent moderate; 11 percent low; 0 percent
18 insufficient.

19 CO-CHAIR SEPTIMUS: Now we go is the
20 measure suitable for endorsement, which we did not
21 vote on because we didn't reach consensus. So this
22 is the last question for this measure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. QUINNONEZ: Voting is now open for
2 the overall suitability for endorsement for
3 Measure 2988. Option Number 1 is yes. Option
4 Number 2 is no.

5 Looking for one more vote.

6 All votes are in and voting is now
7 closed. The vote for overall suitability for
8 recommendation for endorsement for Measure 2988 is
9 89 percent yes, 11 percent no.

10 CO-CHAIR SEPTIMUS: Okay. Well, I
11 want to thank the developers for being nimble and
12 --

13 DR. NISHIMI: Thank you for your
14 patience.

15 CO-CHAIR SEPTIMUS: -- getting people
16 -- any -- who is on the phone right now?

17 CO-CHAIR THRAEN: He had to drop off.

18 CO-CHAIR SEPTIMUS: Is that the only
19 one that's on the phone? Okay.

20 Well, thank you very much for getting
21 him on the phone.

22 DR. NISHIMI: Thank you very much. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appreciate it.

2 CO-CHAIR SEPTIMUS: I think that
3 helped a lot.

4 MEMBER MCGIFFERT: And can we say that
5 we would really like to see your review measure
6 being developed a little bit more and seeing that
7 combined in the future with something like this
8 because we really need it so --

9 DR. NISHIMI: Yes. We appreciate
10 that.

11 CO-CHAIR THRAEN: Good luck with that.

12 DR. NISHIMI: It's a tough one, though,
13 as you can imagine.

14 CO-CHAIR SEPTIMUS: Well, thank you
15 very much.

16 So now we're five minutes ahead of
17 schedule as we begin our afternoon. And so we have
18 three measures we'll consider before the break that
19 are PACE related: acquired pressure ulcers; fall
20 rates; and fall rates with injury. So we know we
21 had some folks who had to recuse themselves from
22 this discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Off microphone comments.)

2 CO-CHAIR SEPTIMUS: Yes. I know. I'm
3 getting there. I'm just introducing the afternoon
4 session.

5 She's a great co-chair, by the way.
6 She really keeps me straight and narrow.

7 Okay. So our developers here, are they
8 -- are they here in person? Excellent.
9 Excellent.

10 And then, I guess, Chris, you're going
11 to --

12 CO-CHAIR THRAEN: No. It's Susan.

13 CO-CHAIR SEPTIMUS: Susan. I'm
14 sorry. I'm sorry. Forgive me.

15 CO-CHAIR THRAEN: Susan -- she's here.

16 CO-CHAIR SEPTIMUS: Thank you, Susan.
17 Sorry.

18 MR. LYZENGA: And, actually, Susan,
19 could we ask you to quick introduce yourself and
20 do a disclosure?

21 CO-CHAIR SEPTIMUS: Didn't see her.
22 Sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MOFFATT-BRUCE: Good
2 afternoon. It is afternoon, yes? I'm Susan
3 Moffatt-Bruce. I'm Professor of Surgery and
4 Biomedical Informatics at the Ohio State
5 University Wexner Medical Center. I'm also the
6 Chief Quality and Patient Safety Officer for our
7 health system. I have no disclosures.

8 CO-CHAIR SEPTIMUS: So another
9 Buckeye.

10 MEMBER MOFFATT-BRUCE: I am. I am.

11 CO-CHAIR SEPTIMUS: That's right.

12 MEMBER MOFFATT-BRUCE: So --

13 CO-CHAIR THRAEN: Susan, before you
14 start, we ask the measure's developers to do a brief
15 presentation and then --

16 MEMBER MOFFATT-BRUCE: Sure.

17 CO-CHAIR THRAEN: -- you can go from
18 there.

19 MEMBER MOFFATT-BRUCE: Absolutely.

20 CO-CHAIR SEPTIMUS: You want to
21 introduce yourselves, please?

22 MR. STEWART: Good afternoon. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Mark Stewart. I'm the measurement and improvement
2 lead at Econometrica. We have a measurement
3 instrument development and support or "MIDS"
4 umbrella contract for the Centers for Medicare and
5 Medicaid Services or CMS. The measures that are
6 being developed for PACE are under the MIDS
7 umbrella.

8 With me is Dr. Nancy Dunton from the
9 University of Kansas School of Nursing. She has
10 experience with multiple quality measure sets,
11 including the original national database of
12 nursing quality indicators, or NDNQI, which were
13 developed by the American Nurses Association. And
14 joining us by phone is Ms. Tamika Gladney, from CMS.
15 CMS is the steward for these measures that will be
16 discussed today.

17 I thought it might be helpful to give
18 a really brief background on the PACE programs that
19 may not be known well nationwide. It's the
20 programs for all-inclusive care of the elderly or
21 PACE. This is a unique Medicare and Medicaid
22 program with capitated funding administered by CMS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and the states. There are currently PACE
2 organizations in 32 states.

3 PACE has a unique goal of keeping frail
4 elders in the community and out of nursing homes.
5 The population is relatively homogenous. They
6 must be age 55 or older. They must have Medicare
7 or Medicaid greater than 90 percent or dual
8 eligible and be certified by the State as nursing
9 home eligible.

10 Each PACE participant is living in the
11 community with a designated caregiver and they
12 provide truly interdisciplinary care. The care
13 team consists of physicians, nurses, therapists,
14 social workers, dieticians, personal care aides,
15 transportation drivers, and others. The care and
16 services include: clinical care; physical and
17 occupational therapy; personal care;
18 transportation, including to specialty
19 appointments; recreation; socialization; and
20 meals are provided at Adult Day Center. And the
21 centers also provide adult day care and make
22 modifications that may be necessary in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 participants' homes.

2 MEMBER SMIRZ: PACE -- is this live or
3 -- sorry. Thank you. There's been some research
4 on PACE programs -- evaluation studies in the past
5 that show that they save money, extend
6 participants' lives and reduce time spent in
7 congregate care, hospitals and rehabilitation
8 facilities.

9 The evaluations suggest that these
10 reductions in utilization come from reduced length
11 of stay in these settings rather than lower rates
12 of entry. Other outcomes compare favorably to
13 other programs for frail elderly. They maintain
14 functional status, improved instrumental
15 activities of daily living, and lower cost than
16 nursing homes.

17 PACE programs must complete quality
18 assessment performance improvement projects,
19 although, historically, the quality measures have
20 been subject to rather continual change in
21 reporting to CMS. This is not consistent with
22 having standard quality and safety measures that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can be compared across sites and over time.

2 CMS has initiated a process for
3 developing standardized quality and safety
4 measures for PACE, as Mark mentioned. The
5 submitted measures are harmonized with existing
6 NQF-endorsed measures for falls and falls with
7 injury and are harmonized with a
8 previously-endorsed measure for pressure ulcers,
9 all three of which are in hospital settings and
10 nursing home settings, were primarily harmonized
11 with the hospital settings.

12 All address important outcomes in the
13 frail elderly population and our analysis has
14 demonstrated that they are reliable and valid for
15 use in these programs. And CMS is considering the
16 use of these measures in accountability
17 applications within the next two years.

18 CO-CHAIR SEPTIMUS: So frail and
19 elderly is now over age 55?

20 (Laughter.)

21 CO-CHAIR SEPTIMUS: Almost there?
22 They're raising the bar, folks. We're lowering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the bar.

2 (Laughter.)

3 CO-CHAIR SEPTIMUS: All right. So
4 we're going to -- anyone have any questions about
5 that intro before we go measure-by-measure?

6 Great intro. Appreciate that very
7 much.

8 So the first one is Measure 3000,
9 PAGE-Acquired Pressure Ulcer Injury Prevalence
10 Rate and, as we do -- you'll discuss the specs and
11 validation of your measure. And then we have
12 Susan's going to discuss it step-by-step for
13 endorsement.

14 MEMBER SMIRZ: Okay. Sorry. The
15 specifications of the measure or the first measure
16 being the participant fall rate. You will hear me
17 say "participant" rather than "patient" throughout
18 this because I've been --

19 MR. LYZENGA: This is Pressure Ulcer
20 3000.

21 MEMBER SMIRZ: Oh, pressure ulcers --
22 sorry. Pressure ulcers. Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The pressure ulcer rate is a prevalence
2 rate. It's the number of PACE participants that
3 have one or more pressure ulcers in -- during the
4 quarter expressed as a percentage of all PACE
5 participants during the quarter and have been on
6 the PACE enrollment registry for at least one day
7 out of the quarter.

8 The -- how deep do you want me to go into
9 this?

10 CO-CHAIR SEPTIMUS: As far as you want.

11 MEMBER SMIRZ: Oh, boy. Okay. So the
12 --

13 CO-CHAIR SEPTIMUS: Convince us we
14 should endorse it.

15 MEMBER SMIRZ: Okay. All right. So,
16 as I said, this measure is harmonized with previous
17 pressure ulcer measures. It's a prevalence rate
18 so it reflects the care -- the burden of care for
19 PACE programs. It is -- has a number of admission
20 and exclusion criteria, given that definition.

21 PACE participants are included if
22 they've been on the PACE enrollment list for at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 least one day, they have -- they're included -- the
2 pressure ulcers are included if they were not
3 present on enrollment -- I mean they're excluded
4 if they were not present on enrollment. And
5 they're excluded if they were acquired during a
6 hospital stay or a nursing home stay because,
7 technically, PACE is responsible for those
8 participants and pays for their care no matter
9 where they are but they're not -- their program is
10 not actually -- the care that resulted in the
11 pressure ulcers occurred outside their home or
12 assisted living home -- usual home -- place of care.

13 So the -- if they come out of care in
14 a congregate setting, if the pressure ulcer appears
15 less than 24 hours after they return home it's also
16 excluded because it was possibly then required in
17 the congregate care setting.

18 Reliability testing -- we -- well,
19 validity testing. We used a sample of both PACE
20 experts who are knowledgeable about the population
21 and the program and academic-type-measurement
22 people who are specialized in pressure ulcers. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had a list of a number of -- like a dozen academic
2 experts.

3 So the explanation -- the -- they were
4 given instructions that were -- and definitions --
5 instructions for care -- data collection for review
6 and asked to comment on each of the elements,
7 whether they thought that they were valid or not,
8 both the numerator, the denominator, the exclusion
9 and inclusion criteria as well as the process of
10 data collection.

11 And they had -- they -- the statistics
12 were good in terms of percent agreement or the ICBI
13 measures at -- I don't have it in front of me --
14 seventy-some percent. Okay. For -- the ICBIs,
15 overall, were .75, which is moderate. The
16 numerator and denominator and rate as a whole were
17 .88, so very good -- high. The evidence that it
18 distinguishes good care from poor care was moderate
19 because of the frail nature of this population.

20 Exclusions and -- for the numerator and
21 denominator ranged from 1 to as low as .88, so very
22 high. Exclusions for the numerator rated from --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ranged from 1 to .75. There was some discussion
2 among the comments provided by the validity experts
3 or the experts that we used about whether or not
4 we should be including what is known in the field
5 as Kennedy terminal ulcers, although that's not a
6 stage and it's not necessarily a pressure ulcer,
7 and whether or not we ought to be counting other
8 kinds of skin breakdown, which we reject both of
9 those things because we are focused on pressure
10 ulcers specifically because you can only -- you
11 improve care for pressure ulcers one way, while
12 venous ulcers or diabetic ulcers or other kinds of
13 skin breakdown are handled differently. So we
14 need to be clear about what the measure is so that
15 quality improvement can occur.

16 The reliability -- the validity study
17 was done on data collected from January and
18 February of 2015. It -- we had PACE organizations
19 select a -- some of them have one site. Some of
20 them have multiple sites. We asked them that they
21 collect data on every PACE participant for those
22 two months in their oldest site and then we used

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 signal-to-noise analysis to analyze the data.

2 And the signal-to-noise coefficients
3 were -- let me see --

4 CO-CHAIR THRAEN: .78?

5 MEMBER SMIRZ: Yes. The
6 signal-to-noise coefficients were --

7 CO-CHAIR THRAEN: .73 and .7 -- .83.

8 CO-CHAIR SEPTIMUS: Point seven
9 something, right?

10 MEMBER SMIRZ: Yes.

11 CO-CHAIR THRAEN: .73.

12 MEMBER SMIRZ: So they're moderate.

13 CO-CHAIR THRAEN: And .83 for greater
14 than stage three?

15 MEMBER SMIRZ: For greater than two,
16 yes.

17 The -- we tested the -- we looked at
18 terms for risk assessment and for risk adjustment
19 in a number of ways but -- and, particularly, we
20 tested whether or not we should adjust for age and
21 gender or age and sex and found that there were no
22 significant correlations of the rate with those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 demographic variables. So the reliability
2 studies indicate moderate reliability.

3 The --

4 CO-CHAIR THRAEN: Can I give you a
5 break a minute --

6 MEMBER SMIRZ: Okay.

7 CO-CHAIR THRAEN: -- and see -- are
8 there any questions so far for anything that she's
9 covered?

10 MEMBER MOFFATT-BRUCE: So I just -- I
11 do have a question. When we were talking about the
12 numerator, you -- this is inclusive of all pressure
13 ulcers of all stages, correct?

14 MEMBER SMIRZ: Correct.

15 MEMBER MOFFATT-BRUCE: Okay.

16 MS. HAMMERSMITH: But we collect it by
17 stage and we did -- in the -- in the reliability
18 study, we collected it by stage and defined stages
19 as you have in your documentation so that CMS could
20 then decide if they wanted to use for
21 accountability any particular set of stages.

22 MEMBER MOFFATT-BRUCE: Sure. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then, to that end then, when I look at your
2 reliability data, it looked like the majority of
3 them were unknown stage.

4 MEMBER SMIRZ: Yes. I think that
5 there were -- there were a number of things we
6 tested about pressure ulcers, including risk
7 assessments and prevention activities as well as
8 things like stage and it -- they were not in the
9 records that they had access to, so they were not
10 recorded in the -- in many cases. Age and gender
11 or sex were recorded more frequently than risk
12 assessment or prevention activities. So those
13 were deemed not feasible, basically.

14 MEMBER MOFFATT-BRUCE: Right. And
15 we'll probably discuss that a little bit in the
16 feasibility aspect of this?

17 MEMBER SMIRZ: Right.

18 MR. LYZENGA: And maybe we could get
19 into the discussion so we can kind of walk through
20 the criteria. Let's start on evidence and --

21 CO-CHAIR SEPTIMUS: It's a great
22 intro. Most of the other measures we've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 considered, I believe, on decubitus ulcers to date
2 have looked at Grade 3 and Grade 4, which --

3 MEMBER MOFFATT-BRUCE: Correct.

4 CO-CHAIR SEPTIMUS: -- is maybe why
5 Susan asked that question.

6 MEMBER MOFFATT-BRUCE: Yes.

7 CO-CHAIR SEPTIMUS: So just to let
8 everybody know, that's a little bit different. So
9 Susan's going to lead us through our discussion
10 about --

11 MEMBER SMIRZ: Okay.

12 CO-CHAIR SEPTIMUS: -- evidence, et
13 cetera.

14 MEMBER SMIRZ: Okay.

15 CO-CHAIR SEPTIMUS: And, as we go, we
16 may have some additional questions for you. So --

17 MEMBER SMIRZ: All right.

18 CO-CHAIR SEPTIMUS: So thank you very
19 much for the intro.

20 MEMBER SMIRZ: Yes.

21 CO-CHAIR SEPTIMUS: Susan, let's start
22 off with the evidence.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MOFFATT-BRUCE: Absolutely and
2 I will do my very best to do this justice.

3 So, first of all, this is a new measure.
4 The evidence is that pressure ulcers do cause not
5 only physical but psychological harm and really
6 should be measures in all settings. So I do think
7 that the evidence that they've documented here is
8 that they are present, the are present in all kinds
9 of care settings.

10 And at this PACE -- and I thank you for
11 the summary, because I had to look up what PACE was
12 and do my own inquiry -- should be included in that
13 as it's a very important population and vulnerable
14 population that we serve.

15 So I have no additional comments on the
16 evidence. Relative to --

17 CO-CHAIR THRAEN: No. Wait a minute.

18 Sorry -- I'm sorry. I apologize.

19 CO-CHAIR SEPTIMUS: Any -- yes.
20 Yanling?

21 MEMBER YU: Yes. Same if you look it
22 up or what they say it is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MOFFATT-BRUCE: Yes. Yes.

2 MEMBER YU: It said the service deliver
3 setting include home. So --

4 MEMBER MOFFATT-BRUCE: It's mostly
5 home, isn't it?

6 MEMBER YU: So my question is -- I'm
7 just -- a matter of educating myself. How does
8 pressure ulcer would be documented or determined
9 or discovered in home setting?

10 MEMBER SMIRZ: The PACE Program -- this
11 is one factor that we failed to mention. The PACE
12 Program has a care provider in every home. It
13 could be a relative or it could be somebody that's
14 hired for providing care but they have daily care
15 by someone who documents problems, who assists them
16 with activities in their homes.

17 MEMBER YU: Okay.

18 MEMBER SMIRZ: And they are assessed
19 periodically by nurses who visit the home.

20 MEMBER YU: Okay. And then there's
21 one -- under the evidence it said a pressure injury
22 incident rate for a pays-per-one are not available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But the evidence quoted other statistical
2 observations from different settings. One is 0
3 percent to 70 percent in home care setting. I'm
4 just wondering is this the numbers that you think
5 is appropriate that we use for this as evidence?

6 MEMBER SMIRZ: I think it's the -- it's
7 the closest number that we have because the people
8 at PACE are actually more frail than people in home
9 care because they are nursing home eligible --

10 MEMBER YU: Okay.

11 MEMBER SMIRZ: -- and would otherwise
12 be placed in a nursing home if it were not for the
13 PACE program.

14 MEMBER YU: Okay. All right. Thank
15 you.

16 CO-CHAIR SEPTIMUS: Okay. Any
17 questions specifically about the evidence?

18 Yes, Pat?

19 MR. LYZENGA: And just a quick
20 clarification. This is an outcome measure. It's
21 the first outcome I think we've looked at today.
22 So the question on evidence here is whether there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a rationale connecting at least one process or
2 intervention that a measured entity can do to
3 impact the outcome.

4 MEMBER QUIGLEY: Thank you. Pat
5 Quigley. And my question in relationship to the
6 evidence for this patient population and the PACE
7 Program, because these are old people who have
8 frailty and meet the criteria for nursing home
9 admission is --

10 CO-CHAIR SEPTIMUS: These are senior
11 citizens, please.

12 MEMBER QUIGLEY: I am one of those. I
13 meet this --

14 CO-CHAIR THRAEN: A little bit of
15 clarification. It's not limited to senior
16 citizens.

17 MEMBER SMIRZ: Anybody over 50.

18 MEMBER QUIGLEY: It's 55 and older.

19 MEMBER SMIRZ: 55 and over.

20 MEMBER QUIGLEY: 55 and older but they
21 meet frailty criteria and the -- and are eligible
22 for nursing home admission. Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Then disabled.

2 MEMBER QUIGLEY: Yes.

3 MEMBER SMIRZ: They have to be
4 eligible, though, for nursing home.

5 MEMBER QUIGLEY: Yes. So, for this
6 indicator on pressure ulcer prevalence is how is
7 this -- how do we determine the structure and
8 process to prevent new pressure ulcers? This is
9 a prevalence measure versus an incidence measure.

10 And you had mentioned, Dr. Dunton -- and
11 thank you so much for that overview -- that pressure
12 ulcer prevalence upon admission to the PACE Program
13 is excluded. That wasn't in all of our discussion
14 present on admission. But, in this population,
15 there are many who will develop pressure ulcers
16 that are absolutely preventable because they are
17 frail old people who don't have the healthy tissue
18 or the abilities to be able to not prevent a
19 pressure ulcer.

20 So where is the evidence to support the
21 structure and process for this population for a
22 prevalence study -- a prevalence measure versus an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incidence measure?

2 MEMBER SMIRZ: Oh, thank you. The --
3 we did not collect any data on the structure and
4 process measures. However, the caregiver -- once
5 a -- once a pressure ulcer's identified or
6 somebody's identified at risk of a pressure ulcer
7 on their periodic evaluation by a physician or
8 visiting nurse, will receive care in the home that
9 is appropriate.

10 And, for people who are bedridden, of
11 course, that's the usual turning, moisture
12 management -- moisture management, whether they're
13 in bed or up, as well as nutritional support. I
14 think nutritional support and pressure-reducing
15 surfaces -- all of those measures -- all of those
16 prevention measures can be employed in the home by
17 the caregiver and by nurses who will come by to
18 visit the participant.

19 Structure is -- there is -- there's no
20 variation in structure, really, I think because
21 there is a caregiver in the home. So it's
22 one-on-one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER QUIGLEY: Thank you so much.
2 But my question was really more relevant to having
3 a prevalence measure versus an instance measure.

4 MEMBER MOFFATT-BRUCE: Right.

5 MEMBER QUIGLEY: For instance, and
6 this is done quarterly and for a very, very frail,
7 debilitative patient population. So that's where
8 I really question the evidence that was presented
9 and even using NDNQI.

10 Because NDNQI data or that model of
11 care is very different than those who are living
12 in the home versus those who are living in the
13 assisted living. They're very different
14 contexts, very different settings of care.

15 So I just question the structure and the
16 process to be able to support this outcome.

17 CO-CHAIR SEPTIMUS: Thank you, Pat.
18 Any other? Seeing none, we will vote on the
19 evidence.

20 MS. QUINNONEZ: We are now voting on
21 Measure 3000, PACE measure. It's acquired
22 pressure ulcer injury prevalence rate. We are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 voting on the evidence of this measure. Option
2 Number 1 is yes. Option Number 2 is no. Option
3 1 is yes. Option 2 is no.

4 Okay, thank you again. All right, we
5 are re-voting on Measure 3000, on evidence.
6 Option Number 1 is yes. Option Number 2 is no.
7 Actually, yes.

8 Okay. All votes are in. This voting
9 is now closed. The vote for evidence of Measure
10 3000 is 89 percent yes, 11 percent no.

11 CO-CHAIR SEPTIMUS: Okay, Susan, let's
12 to Gap.

13 MEMBER MOFFATT-BRUCE: Okay. So to go
14 to Gap. So the Gap around this particular measure
15 was calculated -- and I just want to make sure I
16 get this clear -- from a sample of 50 sites out of
17 114 potential sites. Yet, only a total of 29 of
18 the sites submitted data.

19 Having said that, the rate then became
20 -- or the mean was 0.81 per 100 participants for
21 Stage 3 and above and, I guess, 1.85 for every 100
22 participants for all types of pressure ulcers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The inclusion criteria for these
2 patients are by anybody living at home or in
3 assisted living facilities. And then the
4 exclusions are very clear.

5 With that performance being measured
6 and this inclusion and exclusion criteria which are
7 fairly well delineated, I think that the developers
8 have demonstrated that there is a performance --

9 CO-CHAIR SEPTIMUS: Susan, let me just
10 talk, I'm sorry, for a second. You're, I think,
11 going one step ahead.

12 MEMBER MOFFATT-BRUCE: Okay, very
13 good.

14 CO-CHAIR SEPTIMUS: So I apologize.

15 MEMBER MOFFATT-BRUCE: That's all
16 right.

17 CO-CHAIR SEPTIMUS: So what we want to
18 do is the performance gap.

19 MEMBER MOFFATT-BRUCE: Okay.

20 CO-CHAIR SEPTIMUS: Is there a
21 performance gap?

22 MEMBER MOFFATT-BRUCE: Okay. The --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or the data here would demonstrate that there is
2 a performance gap.

3 CO-CHAIR SEPTIMUS: Here's my question
4 to all of us. I think they are using other settings
5 as being illustrative of a performance gap. But
6 I don't think they have any prior information
7 around the PACE program. Am I reading --

8 MEMBER MOFFATT-BRUCE: Well --

9 CO-CHAIR SEPTIMUS: Is my reading
10 incorrect? I'm asking.

11 MEMBER MOFFATT-BRUCE: No, I -- my --
12 maybe the developers can explain this, but my
13 understanding is that they actually took it from
14 PACE sites, this data.

15 These 29 -- so they asked 50 sites to
16 submit data. They got 29 sites to respond. Am I
17 not reading that correctly on --

18 CO-CHAIR SEPTIMUS: No, I'm asking. I
19 mean, there was a little bit -- that was a little
20 confusing to me because, as I'm reading the
21 document here, it says here that, "strong evidence
22 for a pressure ulcer is highly impactful,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 preventable -- oh, I'm sorry.

2 "There's solid evidence performance
3 gaps in variation in care exists in other
4 healthcare sites such as Acute Care, Long-term Care
5 and Home Care setting. However, there is no
6 current evidence that it exists in the PACE program
7 per se."

8 MEMBER MOFFATT-BRUCE: Right. And
9 then when they come down into the next paragraph
10 or the next setting, they speak to --

11 CO-CHAIR SEPTIMUS: Right.

12 MEMBER MOFFATT-BRUCE: -- having
13 collected this data. So it is a bit contradictory,
14 on the same page.

15 CO-CHAIR THRAEN: The gap, the data
16 that is under performance --

17 MEMBER MOFFATT-BRUCE: Performance
18 Gap 1 --

19 CO-CHAIR SEPTIMUS: They --

20 CO-CHAIR THRAEN: Performance gap data
21 is really not looking at performance gap. It looks
22 like it just measuring --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MOFFATT-BRUCE: Incidence.

2 CO-CHAIR THRAEN: -- the rate --

3 MEMBER MOFFATT-BRUCE: Incidence.

4 CO-CHAIR THRAEN: -- of incidence or
5 rate of prevalence in the setting. So it's really
6 not addressing the performance gap.

7 MEMBER MOFFATT-BRUCE: Right.

8 CO-CHAIR THRAEN: Is that correct?
9 People agree with that or not?

10 MEMBER MOFFATT-BRUCE: I think that's
11 the only conclusion.

12 MEMBER SMIRZ: May I speak?

13 MEMBER MOFFATT-BRUCE: Yes.

14 CO-CHAIR SEPTIMUS: Please.

15 MEMBER SMIRZ: The apparent conflict
16 is that, prior to this study, there were no measures
17 of pressure ulcers in the PACE population.

18 But then we did collect data on the PACE
19 population and provided the statistics that you
20 referenced. From my usual way of thinking about
21 this, was that, to show a gap, that you would look
22 at the range of rates.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MOFFATT-BRUCE: Right,
2 variation. All right.

3 MEMBER SMIRZ: Yes.

4 MEMBER WU: So I'm still, I mean, if you
5 could explain to us what you think the gap was. Is
6 that, is anything other than zero a gap?

7 And the fact that there were no
8 significant differences between the sites, it
9 would seem to me that variation in, you know, in
10 outcome would, for me, be a generally be a
11 demonstration that you could attain a higher rate
12 or a better rate in one setting or another.

13 But the fact that there was not a
14 significant difference, to me, does not, at least
15 not on that criterion, support there being a gap.

16 MEMBER SMIRZ: Looking for the range.
17 The --

18 MEMBER MOFFATT-BRUCE: Want to just
19 read that, right there?

20 MEMBER SMIRZ: The range was from --

21 MEMBER MOFFATT-BRUCE: Was varied.
22 Zero to 0.7 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SMIRZ: -- 0.31 to 5.60. So it
2 was a fairly large range. And, recall that these
3 are percentages.

4 MEMBER WU: Statistical testing is not
5 everything. But it depends whether or not -- so
6 P-values are not everything, but these differences
7 were not statistically different from each other?

8 MEMBER SMIRZ: I don't think we test --
9 I don't think we calculated statistical tests,
10 which was -- the number of reporting sites would
11 have been perhaps interpreted with too much
12 assurance.

13 CO-CHAIR SEPTIMUS: Tell me if I'm
14 reading this wrong because it said the 29 PACE sites
15 that were just --

16 MEMBER MOFFATT-BRUCE: Surveyed.

17 CO-CHAIR SEPTIMUS: -- referenced to
18 were looking at Stage 3 or higher. And I think that
19 you said --

20 MEMBER SMIRZ: Oh, Stage 3 or higher
21 has a -- that was all. Stage 3 or higher had --

22 MEMBER MOFFATT-BRUCE: Point eight one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SMIRZ: -- a range of 38 -- .38
2 percent to -- or zero percent to 3.47 percent.

3 CO-CHAIR SEPTIMUS: No, but that --
4 sorry, wasn't the measure that you're presenting
5 any decubitus ulcer, not 3 or 4?

6 MEMBER SMIRZ: Yes.

7 CO-CHAIR SEPTIMUS: Okay. That's why
8 I'm -- I was a little confused. So maybe other
9 people are not, so maybe they can help.

10 MEMBER WU: Just to clarify, I think my
11 reading of what's up here is that, so that, those
12 P-values down there are the comparison between
13 those who are affiliated with academic medical
14 center, yes/no, and metropolitan versus
15 micropolitan.

16 So those are not significant. But if
17 you look above, it looks like there is variation
18 where it says the number of participants with PACE
19 acquired pressure ulcers, for every 100
20 participants and equals 28, there's 1.85.

21 And I guess one of the questions, maybe,
22 for the developer is that there, it looks like there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are two, four numbers after that. Are those the
2 confidence interval? What do those represent?

3 MEMBER SMIRZ: The four numbers are
4 mean standard deviation, median, minimum, maximum.
5 The -- I'm sorry, the formatting was lost in the
6 form.

7 CO-CHAIR SEPTIMUS: So, Jesse,
8 interpret that for us, please.

9 DR. PINES: So it looks like there was
10 no statistical tests done to assess whether there
11 was variation across the sites. But if you look
12 at the min and max, it looks like there is variation
13 there. That's my interpretation.

14 MEMBER MOFFATT-BRUCE: So variation
15 doesn't --

16 DR. PINES: That's the -- that would be
17 the min and max with the average of 1.85.

18 CO-CHAIR SEPTIMUS: Leslie?

19 MEMBER SCHULTZ: Maybe I'm being
20 simplistic. It says that incident rates are not
21 available in this population. It's a new measure.
22 You don't have any historical information on it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But then you give the expected ranges
2 for pressure ulcers in nursing home and rates for
3 persons receiving home care. And there's ranges
4 here, although it's a little dated, 2001.

5 So I think it would be interesting to
6 see, you know, do these proportions look anything
7 like those other care settings? And then at the
8 different sites, is -- you know, there's some
9 really bad sites and some really good sites and
10 probably --

11 MEMBER SMIRZ: Yes, I'm sure there are
12 good and bad sites. The expected level of pressure
13 ulcers would be higher in PACE settings, because
14 of their frailness than in home care.

15 It might be lower than those
16 hospitalized and those in nursing homes. So, but
17 we didn't have the same data on all of those for
18 some recent.

19 Home care data used to be available from
20 OASIS on the Web but had been removed from the
21 website. So we did not have that. But, and so
22 what we provided, then, is to say, historically,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these have been presented in other places that have
2 shown variation, and there is variation in the PACE
3 sites as well.

4 CO-CHAIR SEPTIMUS: Pat, did you have
5 another question as to --

6 MEMBER QUIGLEY: Yes, thank you. And
7 my question, in relationship to gap, besides those
8 studies that was done here is, in relationship to
9 the quality management program that exists for PACE
10 already.

11 Acknowledging that PACE has been around
12 since 1997, historically, PACE does not have any
13 data on pressure ulcers in this patient population?

14 MEMBER SMIRZ: Not that's been
15 publicly reported. CMS has had improvement
16 projects for, if -- I hope I'm presenting this
17 correctly. CMS has had improvement projects that
18 have focused on different aspects of care each
19 year.

20 And so the PACE sites that volunteer for
21 that program have reported to CMS on the results
22 on pressure ulcers previously, but those data were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not available to us.

2 MEMBER QUIGLEY: And so, in
3 relationship to the gap -- and thank you for that
4 response -- in relationship to the gap in terms of
5 structure or process, PACE is a program that
6 manages care.

7 It is not a provider of care other than
8 going in and completing an assessment or monitoring
9 and coordinating care. So --

10 MEMBER SMIRZ: They do care
11 coordination but they also have physicians --

12 MEMBER QUIGLEY: Right, but they don't
13 actually provide care.

14 MEMBER SMIRZ: Oh, yes, they do.

15 MEMBER QUIGLEY: Oh, like the skin care
16 management? Because there are patients in PACE
17 who can have -- this is my question -- that also
18 has, can it receive home health care?

19 MEMBER SMIRZ: Yes.

20 MEMBER QUIGLEY: Yes. So in home
21 health care, CMS has the OASIS program. And the
22 OASIS program, for home health care, monitors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pressure ulcers, just like FALLS. So does PACE not
2 have data from those who are in home health care?

3 MEMBER SMIRZ: I do not know if we could
4 have -- to my knowledge, the data from OASIS that
5 would apply to PACE settings, that subset, was not
6 available to us, nor was the data that CMS has
7 collected from volunteers which would probably be
8 via a sample of those that have more active
9 quality improvement programs or have the staffing
10 or the history to do that kind of work.

11 Also, were not available, but those
12 data wouldn't have been represented even, in any
13 way.

14 MEMBER QUIGLEY: Thank you.

15 CO-CHAIR SEPTIMUS: Albert, did you
16 have -- okay, I don't see other --

17 MEMBER MOFFATT-BRUCE: Jason?

18 CO-CHAIR SEPTIMUS: Jason, are you
19 there?

20 MEMBER ADELMAN: What section?

21 CO-CHAIR SEPTIMUS: Nice to hear you.

22 MEMBER MOFFATT-BRUCE: Performance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 gap.

2 CO-CHAIR SEPTIMUS: I'm sorry, what
3 was the question, Jason?

4 MEMBER ADELMAN: No, I had one
5 question. So it's something earlier. I'm sorry,
6 I'm embarrassed to ask the question, but could you
7 please just remind us, what does it mean when they
8 said that this measure's harmonized with existing
9 measures that are already endorsed, like the NDNQI
10 pressure ulcer measure?

11 What does that mean, again, to be
12 harmonized with?

13 MR. LYZENGA: I guess it can mean
14 different things in different instances. Often
15 what you look at is, for example, are definitions
16 aligned, harmonized? I think there can be
17 different dimensions of harmonization between
18 similar measures.

19 I would ask the developers, you know,
20 that the --

21 MEMBER SMIRZ: Proposed measures --
22 this proposed measure and the NDNQI measure and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 former NQF endorsed measure use definitions of a
2 pressure ulcer and the stages of a pressure ulcer
3 as specified by the National Pressure Ulcer
4 Advisory Panel, NPUAP, which is the national body
5 that defines how pressure ulcers are identified and
6 --

7 MR. LYZENGA: I just -- we use the word
8 harmonized sometimes, even outside this measure.
9 And I just want, I'm trying to understand the value
10 of this on top of NDNQI and does harmonized mean
11 different but synchronous?

12 Or like, because if it was, if it
13 overlapped too much, then we would have an issue
14 with it. So -- and that's what we're stating that
15 this is, that it is different.

16 Because I see lots of overlap. But
17 I'll just --

18 MEMBER SMIRZ: Yes, there is lots of
19 overlap. The thing that's different is that there
20 are different exclusion criteria for PACE
21 programs, because it's a different setting, to go
22 along with what's the responsibility of PACE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 programs as opposed to they're in the hospital, so
2 we know the hospital's responsible for the care of
3 hospital-acquired pressure ulcers

4 So this is PACE-acquired pressure
5 ulcers but -- and the definition of a pressure
6 ulcer's the same but the exclusion criteria,
7 basically for the denominator, are different.

8 MEMBER ADELMAN: Well I have some
9 issues with the validity and --

10 MEMBER SMIRZ: But that --

11 MEMBER ADELMAN: But I'll wait until we
12 get to that subject.

13 CO-CHAIR SEPTIMUS: Yes, right.

14 MEMBER ADELMAN: And we can, we'll have
15 a discussion around related and competing measures
16 for this --

17 MEMBER SMIRZ: Right.

18 MEMBER ADELMAN: -- later on. And we
19 can talk about that. Generally, harmonization
20 applies to measures that are related but aren't
21 deemed to be close enough to be competing with each
22 other.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And those ones you would want to make
2 a decision about best in class, if they're related,
3 you see a justification for having both, then you
4 might want to approach the developers and say, can
5 you harmonize things like definitions, for
6 example, to reduce the burden on those collecting
7 data.

8 But, again, we can talk about that at
9 the related and competing.

10 CO-CHAIR SEPTIMUS: So, Lisa, one more
11 comment and then I think we probably ought to vote
12 on the gap. Oh, I'm sorry -- and Iona. And then
13 we'll vote on the gap.

14 MEMBER MCGIFFERT: Okay, I just need to
15 figure this out a little bit. When the caregiver
16 is the person that's managing the care for this
17 person on PACE, how does that, let's say it's their
18 brother or some, you know, how does that person
19 document that the PACE participant has a pressure
20 ulcer?

21 MS. GLADNEY: Hello? But, yes, this
22 is Tamika Gladney from CMS. Hello, everybody.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Hello.

2 MS. GLADNEY: Hello. I just want to
3 give a little bit of clarity about what the young
4 lady just asked.

5 So in the PACE program they are provided
6 with an IDT. That's the Interdisciplinary Team.
7 It consists of physician, physical therapists, a
8 nurse, social worker, occupational therapy,
9 transportation. So all of it, it's about 11
10 services that they get just for that one
11 participant.

12 The IDT takes care of the whole entire
13 PACE organization and/or participants. But those
14 services are then provided to each one instead of
15 them being in a nursing home and/or being in a
16 hospital receiving these services.

17 So for a participant who is at home, the
18 IDT do, they complete an assessment and, along with
19 their physician on this IDT. And they say, okay,
20 this particular participant, they can't move
21 around as much. We think that they're going to
22 need home care two times a week, at least.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And in addition to that, with the home
2 care, they provide what type of home care. Are
3 they going to need, you know, that? They're going
4 to need laundry. Are they going to need their food
5 made for them?

6 Even though they may have a caregiver
7 at home, they also would have a nurse that would
8 come out and do those kind of physical assessments
9 at home.

10 That information then is documented and
11 brought back to the IDT meeting where all the other
12 11 disciplines who take care of that participant
13 can hear the information. So that's kind of how
14 the information is identified for these
15 participants.

16 MEMBER MCGIFFERT: So that means that
17 the information, the IDT, the team, decides this
18 person --

19 MEMBER QUIGLEY: Has a pressure ulcer.

20 MEMBER MCGIFFERT: -- did get the
21 pressure ulcer?

22 MS. GLADNEY: That is correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Yes, they have to
2 put you --

3 MS. GLADNEY: So that team decides how
4 often it comes, they come out. They, if they're
5 coming to the center versus getting home care, they
6 can actually get both. If they're coming to the
7 center then they also, you know, review the
8 participant's integumentary system, et cetera,
9 needs at home, et cetera.

10 CO-CHAIR SEPTIMUS: Yes.

11 MS. GLADNEY: Their, also, family gets
12 involved as their advocate or, you know,
13 significant other, be also brought into the care
14 plan on a regular basis.

15 So they, you know --

16 MEMBER QUIGLEY: And this is Pat's
17 voice, Pat Quigley's voice. But you can also bring
18 in home health care, a home health care agency.
19 The IDT team can decide to bring in a home health
20 care agency.

21 CO-CHAIR SEPTIMUS: I hate --

22 MS. GLADNEY: But part of it, part of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the plan care net?

2 MEMBER QUIGLEY: Yes.

3 CO-CHAIR SEPTIMUS: Hate to cut this
4 discussion off, but I'd really like to just confine
5 comments to finish the gap analysis discussion.
6 And then we'll move to all the other -- I hate to
7 cut great discussion off, but I just want to get
8 passed the gap analysis.

9 So, Iona, if you have that one, one more
10 comment.

11 CO-CHAIR THRAEN: So just two
12 observations. Well, one question, how often is
13 the assessment done? This is to the CMS
14 representative. How often is this assessment
15 done?

16 MS. GLADNEY: It's based on
17 individualized needs of the participant.

18 CO-CHAIR THRAEN: Okay. All right.
19 So how I've seen it operationalized in Utah is the,
20 it's really intended for the younger disabled
21 population so they don't have to live in a nursing
22 home.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They have to go into the nursing home
2 for X period of time. They're assessed that they
3 could live independently, it cut costs on the
4 Medicaid side to be able to support them in the
5 home. And that's how I've seen it used primarily.

6 And the second piece I wanted to say is
7 this is sort of akin to the dialysis centers. This
8 is a population that hasn't been monitored that
9 closely. And so this is an opportunity similar to
10 dialysis to kind of get them into that loop of
11 looking at this issue.

12 I don't know what the rates, how this
13 compared to the rates of the skilled nursing versus
14 the hospitals versus home health, but it's a high
15 risk disabled population, I would say, is the best
16 way to describe it, I think.

17 You know, your MS patients, your Lou
18 Gehrig's patients who do not want to live in a
19 nursing home who have some social support at home.
20 And this helps them stay at home.

21 CO-CHAIR SEPTIMUS: Okay. Around the
22 gap?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WANG: Just, if I could --

2 CO-CHAIR SEPTIMUS: Just about the
3 gap?

4 MEMBER WANG: Yes.

5 CO-CHAIR SEPTIMUS: Okay.

6 MEMBER WANG: Yes, the clarifying
7 question, so if a pressure ulcer is developed at
8 home from the home setting, that's captured into
9 this prevalence rate?

10 MEMBER SMIRZ: Yes. Yes, it is
11 captured. And it would be in the clinical record
12 maintained by the Interprofessional Team.

13 MEMBER WANG: And I have a follow-up.
14 So I guess my question is, so because the home, the
15 family member is being included into the care of
16 this member and the measure is at the PACE level,
17 are we kind of -- you know, so pressure ulcers can
18 be developed if, let's say, the family doesn't take
19 good care of the member.

20 So in my, in a way, are we deeming the
21 PACE organization for the, for a high prevalence
22 rate that is not entirely within their scope?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Thank you. And,
2 hate to cut off, is this about the gap?

3 MEMBER SMIRZ: Yes.

4 CO-CHAIR SEPTIMUS: Okay, go ahead.

5 MEMBER SMIRZ: Yes, the mechanics,
6 when the mechanics when the family member is
7 involved -- I've been involved with that.

8 And basically it's not that I'm going
9 to make the diagnosis but I am told what to do --
10 and this is part of the linkage that's going to come
11 into the measure and how it's documented.

12 So I see something. I make a phone
13 call. Somebody with clinical expertise comes and
14 looks. And then they make the diagnosis. So
15 that's how the family fits in with picking up the
16 prevalence.

17 CO-CHAIR SEPTIMUS: Yes, speaker
18 respond.

19 MR. STEWART: Yes, that's true. And
20 one point we left out, these PACE organizations
21 maintain clinics under the same roof as the adult
22 day center. And PACE participants spend, on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 average, two days a week in the day center and the
2 clinic where they're seen by physicians and nurses.

3 CO-CHAIR SEPTIMUS: Okay, let's --
4 Chris, you're ruining my schedule here.

5 MEMBER COOK: I have to ask this from
6 a point where I'm conflicted. And I have to give
7 commendation to CMS because they can do this
8 without coming to NQF and put this in and go ahead
9 with it.

10 So I commend CMS for following through
11 the process to come to a multi-stakeholder body,
12 look at this from an evidence.

13 From our standpoint, as committee
14 members, when we look at this and we're evaluating
15 it as an outcome measure, there's very little
16 information in this area.

17 This is a very important topic, very
18 critical patients who absolutely need to have this
19 done, but there's very little evidence. So as in
20 information gap, are we to be doing this off we see
21 this as reasonable clinicians, knowing that this
22 would be a problem that causes major psychological

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and physical damage to the patients if it's not
2 being done correctly?

3 Or are we looking directly just at the
4 evidence of what's there for pressure ulcers in
5 in-home settings in the PACE program? So point of
6 clarification for our esteemed leaders.

7 CO-CHAIR SEPTIMUS: So I guess what
8 you're asking is, is the evidence strong enough in,
9 specifically for PACE participants, that there is
10 a gap or are we extrapolating from other settings
11 to -- and knowing this is an important measure? Is
12 that what you're asking, Chris? Okay.

13 CO-CHAIR THRAEN: I think the
14 performance gap is that we don't know. That's the
15 performance gap, is that this is a, again, going
16 back to dialysis, this is the first step for trying
17 to understand this frontier of care that we don't
18 really understand what's going on in that
19 environment.

20 It could be that their rates are just
21 as comparable to any of the caregiving
22 environments, the professional caregiving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 environments versus the, you know, loved-one
2 caregiving environments.

3 It could be that the gaps are that there
4 may not be any performance gaps. But we have no
5 clue. So this is sort of that first step.

6 MEMBER COOK: But this is an outcome
7 measure. The previous measure, just to let you
8 know, was a process measure.

9 DR. PINES: Just to --

10 CO-CHAIR SEPTIMUS: Go ahead, Jesse.

11 DR. PINES: Just to --

12 MEMBER QUIGLEY: I would like to say,
13 Mr. Chairman, that -- and to Iona -- about --

14 CO-CHAIR SEPTIMUS: You can call me Ed.

15 MEMBER QUIGLEY: Well, I just
16 respectfully disagree because the analysis studies
17 that have been done by Mathematica, that Nancy
18 started to allude to, they compare PACE with home
19 care, that they were similar in their outcomes.

20 But with the issues in the prior
21 research, which wasn't presented, is that the PACE
22 program did not control for fidelity, the integrity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the Interdisciplinary Team and had difficulty
2 recruiting people into the Interdisciplinary Team
3 because it's a very special population, people with
4 frailty, ready for nursing home placement.

5 So the control for fidelity and then
6 implementation of the model is what's not there.
7 That's the structure and process piece which I
8 thought outcome measures must have, that they have
9 to be able to show this link between structure and
10 process for the outcome.

11 But there has been a comparative
12 analysis. It was published in 2008 for the PACE
13 program.

14 DR. PINES: Yes, just to clarify what
15 we're voting on here. So this is performance gap
16 for this particular measure which the developer did
17 present some data, which is up here, demonstrating
18 that there is variation across the 28 sites.

19 And you can see the data up there. So
20 with the, you know, you've got the mean, the
21 standard deviation of 1.4 and you see the range
22 there. So the question, specifically, here is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 around is that a sufficient performance gap?

2 CO-CHAIR SEPTIMUS: Thank you. So
3 with that, let's vote.

4 MS. QUINNONEZ: Voting is now open for
5 performance gap for Measure 3000. Action Number
6 1 is high. Action Number 2 is moderate. Action
7 number 3 is low. And Action Number 4,
8 insufficient.

9 CO-CHAIR SEPTIMUS: This may have been
10 the longest discussion on gap. What is this now,
11 three years?

12 MS. QUINNONEZ: All votes are in.
13 Voting is now closed. The vote on performance gap
14 for Measure 3000, zero percent for high, 44 percent
15 moderate, 17 percent low and 39 percent
16 insufficient.

17 MR. LYZENGA: So we've got another gray
18 zone situation here. Consensus not reached, I
19 think. So, again, we'll move on to the next
20 criterion and revisit this after the comment
21 period.

22 CO-CHAIR SEPTIMUS: Susan --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. LYZENGA: We will not take an
2 overall vote on endorsement for this one.

3 CO-CHAIR SEPTIMUS: Thanks. Susan,
4 you're on for the next one here.

5 MEMBER MOFFATT-BRUCE: The next
6 measure or the next part? Next part, okay. Okay.
7 Ahead of myself, sorry.

8 So this is the reliability. Okay, very
9 good. This is complicated. I'm just simple
10 surgeon. I'm just telling you.

11 CO-CHAIR SEPTIMUS: I feel like we're
12 talking about the visiting angels here.

13 MEMBER MOFFATT-BRUCE: Yes. Yes,
14 yes. So reliability, so under reliability. So,
15 right. That's not what I have. Okay.

16 CO-CHAIR SEPTIMUS: We're looking at
17 the specifications.

18 MEMBER MOFFATT-BRUCE: Yes.

19 CO-CHAIR SEPTIMUS: The inclusion and
20 --

21 MEMBER MOFFATT-BRUCE: Yes, that's
22 what I thought, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: -- external and
2 economic data.

3 MEMBER MOFFATT-BRUCE: All right.

4 (Off microphone comments.)

5 MEMBER MOFFATT-BRUCE: Okay, so the
6 inclusion criteria are those that are living at
7 home or in an assisted living facility. They
8 include all types of pressure ulcers and present
9 on admission or the ones -- no?

10 Acquired elsewhere are excluded,
11 including if these patients, I presume -- or these
12 participants, I presume, if they went and had an
13 in-patient stay, developed a pressure ulcer, that
14 they would not be included in this.

15 The exclusions are fairly well
16 delineated in that they have to be -- if they were
17 not -- they have to be, have been in these, this
18 program for at least one day out of the quarter.

19 And they are excluded if they are in a
20 hospice facility or a nursing home facility,
21 skilled nursing facility or a rehabilitation
22 center.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 When I look at their, my comment
2 previously was around, obviously, these are all
3 types of pressure ulcers including what they're
4 categorizing as, obviously, unstageable, deep
5 tissue injury and then this category of unknown.

6 And I have a question for the developer
7 on that, how that actually, how these actually get
8 categorized in that we have care providers that may
9 not be trained in skin assessment of all different
10 types of expertise that are -- I know in an acute
11 care setting, documenting that it's a pressure
12 ulcer's very challenging. I would imagine that
13 this would be, similarly.

14 That was my biggest question for the
15 developers, if they could kindly comment on that?

16 MEMBER SMIRZ: Unknown is not about the
17 stage of the pressure ulcer. It's that the stage
18 was not recorded in the clinical record.

19 MEMBER MOFFATT-BRUCE: Right. And so
20 that, I think that comes back to my other concern,
21 is how -- because you spoke that this data comes
22 back to the multi-disciplinary team to get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 validated and determined to truly be a pressure
2 ulcer?

3 MEMBER SMIRZ: Yes. It's -- well, it
4 starts with the team. Actually, if a caregiver
5 says, I think there's a problem or they're at the
6 PACE center and, three days a week, and have, you
7 know, questions somebody about a sore spot, then
8 they get an evaluation by someone qualified to
9 identify and stage a pressure ulcer.

10 MEMBER MOFFATT-BRUCE: What does
11 someone qualified mean?

12 MEMBER SMIRZ: It means they're a nurse
13 or a doctor.

14 MEMBER MOFFATT-BRUCE: I don't think
15 most doctors can stage ulcers very well. But, so
16 would --

17 MEMBER SMIRZ: Let me just say that --

18 MEMBER MOFFATT-BRUCE: We don't. You
19 know, physicians do not stage ulcers in our
20 institution because nurses do it so much better.

21 MEMBER SMIRZ: And that could be what
22 happens. We don't actually have information on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that process, specifically, with this.

2 Let me remind you that we've included
3 Stage to give CMS the opportunity to restrict
4 reporting to a certain set of stages. But we were
5 collecting all stages. And so if physicians can't
6 stage, then at least they can identify a pressure
7 ulcer.

8 MEMBER MOFFATT-BRUCE: Well, okay.

9 MS. GLADNEY: This is Tamika Gladney
10 from CMS. I would like to just make a note.
11 Normally in our PACE organizations, the physicians
12 do not do the staging.

13 We, the PACE organizations have CWOC
14 nurses that are available. And those CWOC nurses
15 with certain skills for certain wound
16 identification skills then help educate the nurses
17 that are on the team and, too, the family members.

18 CO-CHAIR SEPTIMUS: You want to go
19 through the reliability testing then? I think the
20 daily, everyone -- are the daily elements fairly
21 well defined, Susan?

22 MEMBER MOFFATT-BRUCE: They are.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Okay. What about
2 the reliability testing?

3 MEMBER MOFFATT-BRUCE: The
4 reliability testing that I see here in front of me
5 reveals that they have, relative to all or those
6 3 and above, that it's 0.73 for all and 0.83 for
7 Stage 3 and above for the signal-to-noise
8 assessment, which would say that it's about
9 moderate with a fairly large range, however.

10 The validity, yes. So the validity was
11 done as previously described as well, using face
12 validity only. And that was done by a national
13 panel. And it does have a high ICVI indicating
14 that it does have reasonable validity.

15 CO-CHAIR SEPTIMUS: Can I ask a
16 question about that table, to the developers? Is,
17 so we see Stage 3 and 4, the second line.

18 MEMBER MOFFATT-BRUCE: Yes.

19 CO-CHAIR SEPTIMUS: The first line is
20 that --

21 MEMBER MOFFATT-BRUCE: Is all.

22 CO-CHAIR SEPTIMUS: -- all ulcers?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SMIRZ: Correct.

2 MEMBER MOFFATT-BRUCE: It's all, yes.

3 CO-CHAIR SEPTIMUS: Okay. So
4 obviously the reliability scores are higher with
5 the Stage 3 --

6 MEMBER MOFFATT-BRUCE: Three and
7 above.

8 CO-CHAIR SEPTIMUS: -- and 4 than 1 and
9 2. But it's, even with all stages, it looks like
10 the reliability scores are in the moderate range,
11 but clearly better with Stage 3 and Stage 4. Is
12 that -- am I reading that correctly?

13 MEMBER SMIRZ: Yes.

14 CO-CHAIR SEPTIMUS: Okay.

15 MEMBER MOFFATT-BRUCE: And I presume,
16 one more question, that the unstageable, the deep
17 tissue and the unknown are included in the 3-plus?
18 Or are they included in the all only? What makes
19 up that 3-plus?

20 MEMBER SMIRZ: Right. I think it's, 3
21 and 4, you can make the presumption that deep tissue
22 or unstageable are 3 and 4. But we did not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specifically include them in the 3 and 4.

2 MEMBER MOFFATT-BRUCE: Thank you.

3 CO-CHAIR SEPTIMUS: Okay, so I think
4 the question for our committee really is are the
5 methods and results of the validity testing -- I
6 mean, reliability testing -- adequate? And is it
7 sufficient to detect differences in performance?
8 I think those are the questions that we need to
9 answer.

10 MEMBER WU: Could I just get a
11 clarification? Sorry.

12 CO-CHAIR SEPTIMUS: I haven't called
13 on you yet, Albert. Albert?

14 MEMBER WU: Well, yes. Are you
15 calling on me? Could you just clarify for me --
16 I don't understand exactly what test was done for
17 reliability here.

18 Did you look at 28 individual ulcers and
19 this was a test of whether or not that ulcer was
20 a Grade 3 ulcer or not? Or what was the procedure
21 that was done? It wasn't sort of a subject --

22 MEMBER SMIRZ: It was -- sorry. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 test that was done was done on 28 --

2 MEMBER MOFFATT-BRUCE: Sites.

3 MEMBER SMIRZ: -- sites.

4 MEMBER MOFFATT-BRUCE: 28 out of the 50
5 sites that were randomly picked out of 114 --

6 MEMBER SMIRZ: Correct.

7 MEMBER MOFFATT-BRUCE: -- potential?

8 MEMBER SMIRZ: Correct. At any rate,
9 so it's all of the people with pressure ulcers in
10 those 28 sites during two months. And the analysis
11 was done with signal-to-noise, so looking at the,
12 basically, the percent of variance within a site
13 versus between sites.

14 The high, the difference between sites
15 represents ability to detect differences in quality
16 among organizations. And that's what the 0.73
17 shows as moderate.

18 MEMBER MOFFATT-BRUCE: And, but sites,
19 you mean locations whereas not skin sites? You're
20 -- I think that's where --

21 MEMBER SMIRZ: Correct, I mean location
22 of the program, not a skin site. Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ADELMAN: Can you go to H and I
2 where it says 2A-1 specification? It's the
3 description of the inclusion and exclusion
4 criteria.

5 MEMBER MOFFATT-BRUCE: It's up. It's --

6 MEMBER ADELMAN: It's higher up.

7 MEMBER MOFFATT-BRUCE: It's a little
8 higher, yes. It's two more.

9 CO-CHAIR SEPTIMUS: For those who are
10 looking, it's the 2A-1 reliability --

11 MEMBER MOFFATT-BRUCE: There.

12 CO-CHAIR SEPTIMUS: -- specification.

13 MEMBER ADELMAN: Those two inclusion
14 criteria, are they -- is there an "and" between them
15 or and "or" between them? Like, do you have to have
16 the first bullet and the second bullet to be
17 included? Or do you have to have the first bullet
18 or the second bullet?

19 MEMBER SMIRZ: Or.

20 MEMBER ADELMAN: And then, so I get --

21 CO-CHAIR SEPTIMUS: Or.

22 MEMBER ADELMAN: I'm not -- can you just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 scroll a little bit down, please. Stop. Sorry.
2 I can't -- I just -- sorry. I can't reconcile -- go
3 up a little bit. Sorry.

4 This sentence here, "Include
5 participants where if something happens in 24
6 hours" and then --

7 MEMBER MOFFATT-BRUCE: And with this
8 part.

9 MEMBER ADELMAN: -- this sentence over
10 here, "Exclude" -- it's almost like including and
11 excluding almost, in this sentence, are so similar.
12 I'm not smart enough to -- it's almost like we're
13 saying include them and exclude them.

14 I don't know if everybody sees what I
15 mean.

16 MEMBER SMIRZ: Okay, E --

17 MEMBER MOFFATT-BRUCE: Yes.

18 MEMBER SMIRZ: It's include
19 participants living at home --

20 MEMBER MOFFATT-BRUCE: And word, not.

21 MEMBER SMIRZ: -- as in the first site.

22 MEMBER MOFFATT-BRUCE: I think you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 missing a "not" in there. Right, so --

2 MEMBER SMIRZ: I'm sorry. Go ahead.

3 MEMBER MOFFATT-BRUCE: I think the
4 questions are over here. "Were not identified less
5 than 24 hours" --

6 MEMBER ADELMAN: Oh, that would --

7 MEMBER MOFFATT-BRUCE: I think -- is
8 that --

9 MEMBER ADELMAN: That --

10 MEMBER MOFFATT-BRUCE: Right?
11 Because you want to exclude, you want to only see
12 them if they have it after 24 hours, right? Am I
13 --

14 MEMBER ADELMAN: Okay.

15 MEMBER MOFFATT-BRUCE: Is that --

16 MEMBER ADELMAN: Yes, well that --
17 because otherwise you'll have the same, a very
18 similar sentence for --

19 MEMBER MOFFATT-BRUCE: Inclusion and
20 exclusion.

21 MEMBER ADELMAN: Yes, it just didn't.
22 Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SMIRZ: Okay. So the first one
2 is include participants where pressure ulcers were
3 identified after 24 hours from returning from a
4 congregate care setting.

5 And the exclusion is the same idea, so
6 exclude them if they develop, in the home, less than
7 24 hours after they arrived back from a congregate
8 care setting.

9 MEMBER ADELMAN: So it says less than up
10 there but you just said after 24. But you said
11 after, but it says less than. Maybe that's --

12 MEMBER MOFFATT-BRUCE: It's confusing.

13 MEMBER ADELMAN: For the inclusion you
14 said something different than what it says.

15 MEMBER SMIRZ: Mark, do you want to do
16 that? The -- so on the top portion, include
17 participants living in home or assisted living
18 facilities, include participants with pressure
19 ulcers that developed and were -- "not" should be
20 in there -- "not" is a typo -- not identified less
21 than 24 hours.

22 Right. You can say it without double

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 negatives, yes.

2 CO-CHAIR SEPTIMUS: So what you're
3 really trying to do is get an attribution --

4 MEMBER MOFFATT-BRUCE: Correct.

5 CO-CHAIR SEPTIMUS: -- so that if it
6 develops within 24 hours, then you really can't
7 attribute it to the PACE program.

8 And it really goes back to what happened
9 before they were entered into the PACE program, as
10 I -- so we go through the same stuff with HAIs except
11 we usually use 48 hours, but you're using 24.

12 MEMBER QUIGLEY: But it was my
13 understanding when this was first presented that
14 this was, what was excluded was anything that
15 developed after 24 hours of admission into the
16 emergency department or the nursing home or the
17 hospital because then it would be acquired. It
18 would be associated with that admission rather than
19 in the PACE program.

20 MEMBER MOFFATT-BRUCE: Right.

21 MEMBER QUIGLEY: So this should have
22 stayed less than 24 hours for inclusion rather than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 modifying that.

2 MEMBER ADELMAN: Yes, there's other
3 poor language in there. Like I think you mean, in
4 that same sentence, it says, "admitted to the
5 hospital" and I think you mean -- I think --
6 "discharged from the hospital" meaning like how are
7 we going to know if something happens before or
8 after 24 hours after they're admitted to the
9 hospital for ten days if you're doing all your
10 evaluations at home?

11 So you mean after, if they were in the
12 hospital and then they came home. I think.

13 MEMBER SMIRZ: You're right. PACE
14 programs make an effort to obtain the clinical
15 records from the hospital for the patient, to
16 include them. So if they were, if they developed
17 a pressure ulcer --

18 MEMBER MOFFATT-BRUCE: In the
19 hospital.

20 MEMBER SMIRZ: -- after 24 hours in the
21 hospital, that would meet the current CMS criteria
22 for hospital-acquired pressure ulcers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so this definition follows that.
2 So if the pressure ulcer happened essentially in the
3 first 24 hours, it was present on admission,
4 basically. And if it developed after that, then it
5 was hospital-acquired.

6 MEMBER MOFFATT-BRUCE: It's just
7 confusing. It's confusing.

8 CO-CHAIR SEPTIMUS: Albert.

9 MEMBER MOFFATT-BRUCE: They're in the
10 PACE in the hospital --

11 MEMBER WU: Thank you, Dr. Septimus.

12 MEMBER MOFFATT-BRUCE: -- then PACE --

13 MEMBER WU: So is this, was it the
14 reliability of this entire procedure that was
15 tested? Or was it simply the judgment of an ulcer
16 as Grade 3 or more or presence of Grade 3 or more?

17 Was it this whole algorithm which
18 includes the inclusion/exclusion criteria that was
19 shown to be moderately reliable?

20 MEMBER SMIRZ: No, it was the score.
21 Whether it was all pressure ulcers or pressure
22 ulcers Stage 3 and 4, it was just the scores that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were tested, not the procedures or the inclusion or
2 exclusion criteria. Those were assessed by the
3 validity team.

4 MR. LYZENGA: So again, this is similar
5 to the previous measure where you're looking at the
6 measure score. Essentially you're looking at the
7 measure score's ability to distinguish between
8 facilities.

9 And you're not really getting down to
10 the data elements of whether those data elements are
11 valid or reliable in themselves, but only if the
12 measure score itself is able to distinguish
13 performance across facilities, if it's getting just
14 noise or if it's actually getting a signal that's
15 telling you something about performance across
16 facilities.

17 That's -- I'm not the right one to
18 explain this. I wish we had our methodologist here
19 who could talk about it a little more. But that's
20 what measure score reliability is telling you.

21 CO-CHAIR SEPTIMUS: No, but I think
22 we're looking more signal-to-noise in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular, in the reliability issue. Yes.

2 MEMBER WEBB: Just a picky point but, so
3 one of the exclusion criteria is that you have to
4 be in the PACE program for one day. But you can't
5 --

6 CO-CHAIR SEPTIMUS: Per quarter. It's
7 per quarter.

8 MEMBER WEBB: Per quarter, right. But
9 you can't -- so a patient who was in there for one
10 day, you can't identify a new pressure ulcer in that
11 one day anyway because it's only after 24 hours.

12 MEMBER SMIRZ: Similar -- this follows
13 the previous hospital issue. If the pressure ulcer
14 was acquired in the first 24 hours, then it was not
15 PACE-acquired.

16 So the people that were in for, have to
17 be in for more than one day to be included in the
18 count.

19 MEMBER ADELMAN: Yes. In some places
20 it seems you're testing the reliability for Stage
21 3 and 4 but, correct me if I'm wrong, the measure
22 is all stages. And for me, like NDNQI is Stage 2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and above. For me, Stage 1 would be, you know, the
2 one where you have the most false positives, the
3 little red spot and people are calling whatever.

4 Why do we test for reliability with
5 Stage 3 and 4 but the measure is all stages?

6 MEMBER SMIRZ: In Table 2 --

7 CO-CHAIR SEPTIMUS: Look at the table
8 there, first of all, Jason. There's, the top line
9 is All. The second line is 3 and 4. 3 and 4 clearly
10 have better reliability scores than All.

11 But the All still falls into the
12 moderate range. That's correct. It's not -- the
13 measure's All.

14 MEMBER ADELMAN: And I would be
15 particularly concerned that Stage 1 will make it
16 much less valid because it's, you know, a little red
17 spot and you're -- you know, who knows what that is?

18 CO-CHAIR SEPTIMUS: And probably a
19 little bit of subjectivity associated with that as
20 well, I think.

21 Okay, I think -- did you want to speak
22 again or just? Okay and so -- so let's go ahead and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vote on reliability -- oh, I'm sorry, Steve.

2 MEMBER LAWLESS: Yes, one question I
3 just asked you. On the exclusion, exclude
4 participants who are not in their home setting for
5 at least one day --

6 MEMBER SMIRZ: Yes?

7 MEMBER LAWLESS: -- does that mean --

8 MEMBER SMIRZ: It means they either
9 entered the program that day --

10 MEMBER LAWLESS: Right.

11 MEMBER SMIRZ: -- or they were in a
12 nursing home for the entire quarter.

13 MEMBER LAWLESS: For all 90 days?

14 MEMBER SMIRZ: Yes.

15 MEMBER LAWLESS: So they had to be in
16 for all 90 days. But if they left to go to a
17 hospitalization for a day, came back, they would be
18 excluded?

19 MEMBER SMIRZ: No. They would -- so if
20 they were there. If they were in their home for
21 less than a day we could not determine if they had
22 a pressure ulcer, if it was PACE-acquired or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So people that were there for just one day are
2 excluded.

3 So, but it also is for people in their
4 homes so that people that are out of their homes for
5 the full quarter are excluded. But if they were
6 hospitalized for a short period of time, they'd
7 still be included.

8 MEMBER LAWLESS: But just -- it says
9 exclude who were not in their home setting for at
10 least one day. So if they were hospitalized for a
11 day, for two days in a quarter, they would be
12 excluded or not?

13 MEMBER SMIRZ: No, they'd be included
14 because they were in their homes for then 88 days.

15 MEMBER LAWLESS: Okay.

16 CO-CHAIR SEPTIMUS: Okay, well let's
17 vote on reliable --

18 MR. LYZENGA: Hold on. Hold on one
19 second. Sorry. And we actually just pulled in
20 our resident methodologist. She's going to try to
21 help explain maybe a little bit better than I do what
22 we mean by a signal-to-noise test of reliability of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the measure score which is important because we've
2 seen a couple already.

3 And we're going to see some more during
4 the course of the next day and a half.

5 CO-CHAIR SEPTIMUS: I want to defend
6 Andrew though. I think he did a great job. And
7 he's doing it on much less sleep than most of us.
8 So don't put yourself down, Andrew.

9 MS. JOHNSON: Okay. So I know Andrew
10 did a great job. He always does. So I'll probably
11 end up saying pretty much the same thing that Andrew
12 did.

13 The idea of testing the reliability of
14 the measure score is we want to be able to know if
15 we can actually distinguish providers or not,
16 right? So that's why we're doing it.

17 So signal-to-noise, the idea is you want
18 to know how much of the variation that you're seeing
19 in scores has to do with the differences between
20 providers compared to the differences because of
21 patients or because of measurement error.

22 So a signal-to-noise analysis looks at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that ratio, sorry, that ratio of variance that is
2 between -- sorry -- variance between providers to
3 the variance total, okay?

4 And that total, again, is the between
5 and the within. And that takes into account
6 measurement error. So it's just -- sure, sorry.
7 Yes, kind of stuck here. Here we go. Okay.

8 So again, the signal-to-noise ratio is
9 really a ratio of the variance that you see between
10 providers to the variance overall. And that
11 overall variance, again, is the between plus the
12 within variance.

13 So I don't know if that helped you or
14 not.

15 MEMBER MOFFATT-BRUCE: But using those
16 numbers right there, help us interpret what those
17 numbers.

18 MS. JOHNSON: Okay.

19 MEMBER QUIGLEY: Can you see it?

20 MS. JOHNSON: Yes, I can see it. It
21 just takes me a minute. So when you do a
22 signal-to-noise analysis, and I'm assuming this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Adams Method Beta Binomial. Okay.

2 What you get is an estimate for every
3 provider, okay? So you get a number of reliability
4 for each provider. And what they've done here is
5 they've -- looks like they've shown you the mean and
6 standard deviation and then the range and the
7 medians. So those are the summary statistics.

8 So you can see that, on average, for that
9 first one, the average reliability across all of the
10 providers that they included in their testing, is
11 0.73.

12 So you would interpret that as --
13 Andrea, help me out. I feel like I'm on the hot seat
14 here. I would think of that as 73 percent of the
15 variation is due to variation between --

16 MEMBER MOFFATT-BRUCE: Providers?

17 MS. JOHNSON: Between providers.
18 Correct.

19 (Off microphone comments.)

20 MR. LYZENGA: The sites, facilities.

21 MEMBER MOFFATT-BRUCE: Yes, but I just
22 want to be clear, PACE sites? PACE sites.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. JOHNSON: Yes, sites. Yes.
2 Usually, I think you have, we often use the term
3 provider as kind of a generic term. So sometimes
4 a provider is a hospital or sometimes it's a
5 clinician or sounds like it's sites in this case.

6 MR. LYZENGA: And so, and I'm glad
7 Karen's here. Maybe I can talk a little bit without
8 -- and you can correct me if I'm wrong.

9 Again, as Karen mentioned, when you're
10 doing this kind of analysis you get a reliability
11 score for each of the facilities that you're
12 analyzing. If you, and if you have a low
13 reliability for each facility, for a given
14 facility, that means you've got a lot of variation
15 within that facility.

16 You're getting a lot of noise there.
17 They're not getting a very consistent performance
18 at the facility level. So you do a ratio of that
19 to the overall variability, well the variation,
20 both that and the variation across facilities.

21 What you want to have is a high ratio.
22 You want to have a small amount of variation within

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the facility --

2 MS. JOHNSON: And large across it.

3 MR. LYZENGA: -- and large across. So
4 that you can --

5 MS. JOHNSON: Or you want relatively --

6 MR. LYZENGA: Relatively.

7 MS. JOHNSON: -- low variation within.
8 See, if you have a lot of variation between your
9 patients, that's okay as long as there's enough
10 overall variation between to kind of --

11 MR. LYZENGA: Right.

12 MS. JOHNSON: -- overcome that noise,
13 if you will.

14 MR. LYZENGA: So, and you're not really
15 getting directly at sort of the reliability of the
16 data elements, whether these things are beings
17 things are being collected accurately.

18 You're sort of getting at it indirectly.
19 Because if you don't have -- if you're not
20 collecting the data in a reliable way, you're likely
21 to have a lot of noise within the institution. Is
22 that right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So if you're getting high reliability
2 scores at the measure score level, you're likely to
3 be collecting the measure, the data in a reliable
4 way. Although, again, this is not speaking about,
5 to that directly.

6 It's more about the ability of the
7 measure score to discriminate among measured
8 entities and to distinguish good performance from
9 bad performance.

10 DR. PINES: And just to make it a
11 little simpler, so a good way to think about what
12 sort of what's a good reliability number, usually
13 think about 0.7 or higher is good for reliability.
14 So both of these do meet that threshold, although
15 the All ulcers rate is close to that threshold.

16 CO-CHAIR SEPTIMUS: Okay. If there's
17 -- did you want to say something again, Kim?

18 MEMBER WEBB: So Steve and I were
19 discussing this a little bit more. But I'm
20 wondering if, in the denominator statement, it
21 should include this for at least one day rather than
22 an exclusion, right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I can tell you, I'm working now with
2 our quality office. And these kinds of things
3 confuse them so much that I think the report out
4 would be not consistent among facilities and
5 institutions.

6 And so I can tell you, if we're sitting
7 in this room having trouble making sure that we
8 understand it, I can tell you that quality officers
9 around the nation are going to have just as much
10 trouble.

11 And so we were talking about it. I
12 think possibly using it in a denominator statement,
13 the number of patients on a PACE organization census
14 for at least X number of days, which I personally
15 is two, not one during the quarter, could actually
16 effectively get rid of the exclude persons who are
17 not on the pay census for at least one day during
18 the quarter.

19 Because I think that that, at least one
20 day during the quarter, is confusing, is going to
21 confuse the quality offices. And the reason I say
22 two days is because you can't acquire a new pressure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ulcer in one day.

2 So having them only on the census for one
3 day is a moot point, really, for this measure as far
4 as I'm concerned. But that would just be a
5 suggestion I would make because I think the
6 reporting out of this measure is not going to be
7 valid.

8 CO-CHAIR SEPTIMUS: So let me ask our
9 experts. There seems to be some confusion over the
10 specifications here and how it reads now. And we
11 are voting on what is presented to us, correct? Not
12 what --

13 DR. PINES: Yes, so -- yes.

14 CO-CHAIR SEPTIMUS: -- we wish to see
15 but what's actually been in the actual measure
16 itself. I just want to make sure that was correct.

17 DR. PINES: Correct. So what we're
18 voting on here is that the specifications that were
19 submitted, plus the reliability testing, if this
20 does, you know, not go through, one option we could
21 do would be to go back to the developer and ask them
22 to revise those specifications for a future call

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that we would put on again.

2 CO-CHAIR SEPTIMUS: Okay, so with that
3 I --

4 MS. QUINNONEZ: We only have one
5 non-consensus vote, correct?

6 CO-CHAIR SEPTIMUS: That's correct.
7 We're going to probably look at this one too. So
8 let's do it.

9 MS. QUINNONEZ: Voting is now open for
10 the reliability of Measure 3000. Option Number 2
11 moderate. Option Number 3 low, and Option Number
12 4, insufficient. Option 1 high, Option 2 moderate
13 3 low and Option 4 insufficient.

14 All votes are in, and this vote is now
15 closed. The reliability of Measure 3000 --

16 MEMBER ADELMAN: I just, for further
17 account, there are three, who I think with this
18 measurement, that we've been told are inaccurate or
19 mistake.

20 And so like why are we voting on
21 something that's just not even with the intent?
22 Why don't we just put it aside, let them fix and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then we'll vote on it?

2 Because I don't even know what we're
3 voting on. There's this exclusion that's the same
4 as the inclusion. There's something about on
5 admission was, I think it was supposed to be on
6 discharge.

7 And then that last point, like if you
8 weren't in your home for one day in a quarter then
9 we throw you out. And nobody's -- I mean, unless
10 I misunderstood that, everybody's going to be out
11 of their home for one day in a quarter. If we
12 exclude them you would exclude everybody.

13 DR. PINES: Well so -- so yes, so at this
14 point, since it clearly didn't pass through this
15 stage then this would go back to the developer to
16 revise the specifications for a future call for a
17 re-vote, should we want to do that.

18 MEMBER QUIGLEY: And if I may add, too,
19 Pat Quigley, that in relationship to what Chris has
20 said before, that this is something that CMS could
21 be reporting already without NQF.

22 The pressure ulcer development is in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PACE program, Type II error. They have Type I
2 errors and Type II errors that they have to
3 investigate.

4 A Type II error is defined as any
5 pressure ulcer that develops in a PACE program.
6 They have to do a 48-hour reassessment after that
7 pressure ulcer has developed.

8 So that's where the staging should be
9 done. You know, it's already existing in their
10 program that's been around since 1997. So here we
11 are, you know. So I just want to say that.

12 CO-CHAIR SEPTIMUS: Okay, so is there a
13 recommendation that we refer this back to the
14 developer and stop here? Is that what I'm hearing?

15 MEMBER MOFFATT-BRUCE: Yes, it's been
16 --

17 CO-CHAIR SEPTIMUS: Is that? I'm not
18 making -- I'm not --

19 MEMBER APPLEGATE: Yes, I would move
20 that we go back to the developers with the
21 recommendations offered today and that we record
22 this in our notes or minutes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Is there a second
2 to that?

3 MEMBER MOFFATT-BRUCE: Second.

4 CO-CHAIR SEPTIMUS: Okay, now
5 discussion, Jason. So just --

6 MR. LYZENGA: We didn't talk to
7 validity. And I just want to make a point today.
8 I go back and work on the --

9 MEMBER MOFFATT-BRUCE: Mic.

10 MEMBER ADELMAN: We didn't talk about
11 validity, but I wanted to make a point because I
12 think I'm going to go back and work on it perhaps.

13 And it was hard to follow, but I think,
14 from validity, all that really was done was experts
15 said, you know, they thought it was valid. Like
16 nobody looked at, for example, within NDNQI, we
17 don't rely on staff nurses in the hospital.

18 I think if you really strictly follow
19 the criteria, then once a quarter wound care nurses
20 go around because they can measure the ulcers much
21 more effectively.

22 So I'm just not sure if expert opinion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- a bunch of experts saying we think this is valid,
2 that's almost like our job. I would be much happier
3 if somebody actually -- experts compared their read
4 of pressure ulcers compared to what the nurses are
5 doing and come up with the same outcomes. That
6 would be valid.

7 MR. LYZENGA: So, Jason, I think
8 that's, I think, data element reliability, or I mean
9 validity rather. We do accept face validity,
10 something like a technical expert panel giving
11 their -- doing a systematic assessment of whether
12 they think the measure score is valid.

13 It does give us a ceiling of moderate.
14 We would, if we were voting on this, we would only
15 have moderate as the ceiling for that vote.

16 I might also, if I could, I'm a little
17 unclear on what our next steps are here for if we're
18 going to sort of table this. And I might suggest,
19 actually, that since we have consensus not reached
20 here on these previous criteria that we actually
21 just move forward and vote on the remaining
22 criteria.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Because we will revisit these, as with
2 the consensus not reached status, eventually
3 anyway. Otherwise, I'm not sure what we would, if
4 we would just address this on a post-meeting call
5 and do a complete re-vote. Is that the idea?

6 CO-CHAIR SEPTIMUS: Okay, just to
7 follow Robert's Rules so we'll have order this week,
8 we have a motion that's been seconded, and we're now
9 in the discussion period.

10 MR. LYZENGA: All right.

11 CO-CHAIR SEPTIMUS: Doesn't mean that
12 we have to accept the motion, but I just wanted to
13 make sure where we are with the discussion. All
14 right?

15 MR. LYZENGA: Fair enough.

16 CO-CHAIR THRAEN: So I think one of the
17 struggles we have is that when the reliability and
18 the validity isn't working for us, it's hard to move
19 forward into those other areas.

20 MEMBER MOFFATT-BRUCE: Correct.

21 CO-CHAIR THRAEN: And so even though I
22 understand the push to move forward, it's like we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all sort of sitting here going, well, I can't really
2 make that judgment until this other piece is
3 finished and completed and I feel like this is the
4 right foundation to making these other decisions.
5 So I think that's the struggle.

6 MS. MUNTHALI: And I just wanted to
7 clarify, it did fail on reliability. I don't think
8 there was consensus not reached. Am correct, Desi?
9 So --

10 MR. LYZENGA: It did fail.

11 MS. MUNTHALI: -- I think the question
12 is, it sounds like there is, the Committee feels
13 like they'd like to see this measure go forward, and
14 there may be some minor things the developer can do
15 in the process by the post-comment call.

16 And I want to just get confirmation from
17 the developers about their ability to be able to do
18 some of the minor revisions that were outlined by
19 the Committee.

20 MEMBER SMIRZ: Certainly. We can edit
21 this quickly into something that's more easy to
22 read, which I think -- I think that's contributed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to a lot of this discussion, that it isn't easy to
2 read.

3 MS. MUNTHALI: Okay.

4 MEMBER SMIRZ: But it is complex. And
5 then outline some, more clearly, some of the
6 structure of the PACE program which the variance in
7 that setting, from other kinds of settings, is also
8 a complicating factor.

9 MS. MUNTHALI: So this is part of our
10 process in which the developer would come to us for
11 reconsideration because this measure essentially
12 was not recommended by the Committee because a
13 must-past criterion wasn't reached.

14 And so that would have to be done by the
15 post-comment call. And you would discuss it then,
16 and then you'll go on and continue vote, including
17 an overall vote on this measure.

18 MEMBER QUIGLEY: Excuse me. I'd just
19 like to say that I respectfully disagree on that.
20 I don't think these, this discussion's points have
21 been minor. I think that they are significant in
22 terms of their scientific merit and integrity of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure coming forward from a program that's been
2 in place for some time.

3 And I would like to have members look
4 back at the pressure ulcer rates that came from the
5 American Nurses Association, NDNQI, and the amount
6 scientific rigor that was required for them to come
7 forward.

8 So I don't think that this is minor.
9 And I would just like to suggest than. Thank you.

10 CO-CHAIR SEPTIMUS: Yes. Okay, yes.
11 I want to wrap this up. And someone can call the
12 question for the motion. But go ahead.

13 MEMBER SMIRZ: As the developer of the
14 NDNQI measure, I can say that the inter-rater
15 reliability that you were talking about is easier
16 to do in a congregate care setting than in, across
17 people's homes.

18 And so it may be a feasibility of doing
19 that kind of study. Certainly there is variation
20 in the Wound and Ostomy Nurses Association on 24
21 hours, 48 hours for the development of an ulcer in
22 another setting that shows up in a second setting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so if you have some of this -- if you
2 have serious issues other than those, on your call
3 if you would let us know what they are, we can speak
4 to those.

5 CO-CHAIR SEPTIMUS: And I can't speak
6 for everyone on the committee, but I think there's
7 been enough discomfort with the measure as it is
8 currently structured.

9 Plus, I think we haven't really
10 discussed -- well, we did indirectly about whether
11 or not, you know, Grade 1 is very subjective and
12 should include all levels, or should it be just 3
13 or 4, which is what most of the other measures --
14 so I think there's more.

15 It's not -- I think we're uncomfortable
16 with the measure as it is now. I think -- and I'm
17 not sure. I can't speak for everybody, but I'm not
18 sure that we feel as enthusiastic about this measure
19 moving forward as we talked about a measure earlier
20 today.

21 But I don't want to speak for the
22 Committee. Albert and then Jason.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. QUINNONEZ: Lisa also.

2 MEMBER WU: So I just had a couple of --

3 CO-CHAIR SEPTIMUS: And Lisa. Sorry.

4 MEMBER WU: -- a couple of things that
5 would make me feel sort of more enthusiastic about
6 supporting this measure. And I do think it seems
7 like it's terrifically important, that no one
8 disagrees about that.

9 The first thing is that I think that I
10 was a little unsettled about not having some data,
11 some better data about the actual incidence,
12 prevalence but perhaps even incidence, of what's
13 happening in the program. And so I think if some,
14 at least some data could be provided here, that
15 would be great.

16 The second thing is is that while it's
17 important to be able to judge an ulcer from not an
18 ulcer and a higher grade ulcer from a lesser grade
19 ulcer, it's important to figure out who's going to
20 be doing it. And not everyone is equally good at
21 doing it.

22 We know that physicians are probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 less good at it, from Susan's report. So I think
2 that we would like to know in the setting that this
3 is going to occur, can this be done reliably.

4 I think that we were unsure about
5 applying the inclusion and exclusion criteria.
6 And who you include in your denominator and
7 numerator has a lot to do with whether or not you
8 can do it reliably.

9 So I'd like some evidence that the
10 procedure can be applied so that we get useful
11 information.

12 And I think if you could get any kind of
13 data on validity other than the content validity,
14 face validity of those items, again, I would be
15 reassured that we are looking at something that I
16 believed was important.

17 MEMBER ADELMAN: I just wanted to
18 reiterate that I think, what Albert just said, that
19 I think pressure ulcers are important and they're
20 -- I think this measure can be good.

21 They cause morbidity, and they're
22 preventable. But in response to what Andrew said,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I understand that we sometimes use face validity.
2 But I, for this measure as it is, I would push back
3 that, like especially with Stage 1, there's just so
4 much subjectivity.

5 And, I'm sorry, I forgot your name. But
6 you had said that you actually felt the NDNQI
7 measure that, as I recall, that starts at Stage 2.
8 And there was some validity, reliability here done
9 starting at Stage 3.

10 And I would -- if you really want to
11 include all stages then I would, from my
12 perspective, strengthen the validity testing or
13 perhaps do what you did with NDNQI and start at Stage
14 2, because it's, I think, easier to identify than
15 Stage 1.

16 You could even see, in your own
17 reliability testing, that it got better as you had
18 the more significant ulcers. Anyway, thank you.

19 CO-CHAIR SEPTIMUS: Lisa, did you put
20 yours down? Lisa? Lisa? Did you -- okay. All
21 right, so there is a motion on the floor. Hearing
22 no other discussion, we will vote on the motion. Do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you want to re-state your motion?

2 MEMBER APPLEGATE: The motion is to
3 table this measure until the developers revise it
4 and bring it back to the committee with the
5 recommendations that we've made or addressing them.

6 CO-CHAIR SEPTIMUS: Okay, I don't think
7 we need to use our clickers for this. So all those
8 in favor, say aye.

9 GROUP: Aye.

10 CO-CHAIR SEPTIMUS: Opposed? Oh, it's
11 unanimous. So we thank you. You have another
12 measure in just a second. But did you want to say
13 one more thing about this measure?

14 MR. STEWART: Yes, just in closing,
15 thanks very much for the feedback and
16 recommendations. With CMS as the steward,
17 everything we do is by taking direction from CMS.
18 So we will take this back. Thank you.

19 CO-CHAIR SEPTIMUS: Tell them we love
20 them. Okay, so the next measure is 3001, PACE
21 Participant Fall Rates. I think you can quickly go
22 through this --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER SMIRZ: Certainly.

2 CO-CHAIR SEPTIMUS: -- since we've
3 already had this --

4 MEMBER SMIRZ: Okay, I'll take it
5 section by section this time. The PACE fall rate
6 is defined as the number of falls divided by the
7 number of participant days.

8 So it's falls over exposure to falls.
9 And so it's a ratio, not a percentage. The fall is
10 defined as an -- looking for the definition -- an
11 unanticipated descent to the floor or other surface
12 where you would not expect to find a person and that
13 -- and that a sudden, unanticipated descent in which
14 the participant comes to rest of the floor or some
15 other surface, person or object.

16 Inclusion criteria falls occurred in
17 the patient/participant's home; if their home is an
18 assisted living facility, in that assisted living
19 facility if that's their usual place of residence;
20 in the PACE center; or in the care of a PACE
21 transportation operator.

22 So that the fall occurs in a setting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where PACE itself is responsible for the care.
2 Participants who are assisted to the floor by a
3 caregiver, are to be included.

4 Exclusion criteria, participants who
5 fall, or let's say then sink, back to a bed, chair,
6 car seat, walker, seat or toilet are excluded. So
7 tried to get up, fell back down doesn't count.

8 Exclude falls in the participant home by
9 other people, and exclude participants who are not
10 in their home location or in the care of PACE in the
11 settings I just mentioned.

12 So that's the definition of the measure.

13 CO-CHAIR SEPTIMUS: So this time --
14 first of all, I want to thank Susan for
15 pinch-hitting on the previous, on very short
16 notice. I think she did a great job. And,
17 obviously, it was a very difficult measure to
18 discuss. So, Susan, thank you for doing that.

19 This is one that I think Susan was
20 prepared to discuss. So we'll turn it over to you.

21 MEMBER MOFFATT-BRUCE: True, I was
22 prepared but I think some of the previous issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 come up in this one again.

2 CO-CHAIR SEPTIMUS: That's fine. So
3 take us through the --

4 MEMBER MOFFATT-BRUCE: Absolutely.
5 So the evidence is the first category. So with
6 this, these are falls. These are all falls. I
7 think that they have presented that, in other
8 instances and other systems, that falls are a big
9 issue and that we can impact them.

10 So in-patient, ambulatory settings.
11 Not in the PACE. So they are abstracting,
12 obviously, but there are issues that we can do and
13 address to reduce the incidence of all falls, not
14 falls with injury.

15 I'll open that for comment, for
16 evidence.

17 CO-CHAIR SEPTIMUS: Comments about the
18 evidence. Yes, Pat?

19 MEMBER QUIGLEY: Thank you. And thank
20 you for bringing this measure forward. And I,
21 while I reviewed the evidence to this, and I know
22 we look at structure and process for fall rates, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in recognizing how important fall rates are in this
2 patient population, again, frail and in the home and
3 in assisted living and nursing homes, the body of
4 evidence that was presented to us is essentially
5 acute care.

6 And I'd like to suggest that there is a
7 whole body of evidence related to fall prevention
8 in the home and assisted living that was omitted
9 from this that looks at the importance of doing a
10 multi-factorial assessment of not just the home
11 environment but also the person.

12 But it also is related to the structure
13 of care and the process of care. And the structure
14 of care and process of care in the home setting is
15 different than in the hospital setting, if you will.

16 So those bodies of evidence were missing
17 from this review. And I submitted multiple
18 comments of this in my notes in support of this
19 program, of this measures as an outcome measure.

20 And predominantly, I'd like to say that
21 one of the most significant components of
22 preventing falls in the home setting and in home

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 care is the presence of an occupational therapist.

2 And that comes back to the importance of
3 assuring fidelity of the program on the
4 interdisciplinary team. And as I had mentioned,
5 there was an analysis that was done in comparing the
6 PACE program with home care programs.

7 And one of the limitations was ensuring
8 the integrity of the interdisciplinary team. And
9 there's no evidence to support how many of the sites
10 that were included in this study, indeed, had
11 occupational therapists.

12 If you will, as one example, if they
13 actually have the structure to be able to implement
14 this program in a home and assisted living program.

15 CO-CHAIR SEPTIMUS: And I should have
16 mentioned at the beginning, this is an outcome --

17 MEMBER QUIGLEY: Yes.

18 CO-CHAIR SEPTIMUS: -- measure, and
19 it's also a new measure. And I'm sorry I didn't
20 make that statement first. Any other comments
21 about the evidence?

22 Hearing none, I guess we'll vote on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence. You want to speak? Of course.

2 MEMBER SMIRZ: I just want to mention
3 that occupational therapists are mandatory members
4 of the interprofessional team.

5 CO-CHAIR SEPTIMUS: Thank you. Okay,
6 let's vote then on the evidence.

7 MS. QUINNONEZ: We are now voting on
8 Measure 3001, PACE participant fall rate. And we
9 are voting for the evidence. Option Number 1, yes.
10 Option Number 2, no.

11 (Pause.)

12 MS. QUINNONEZ: Okay, voting is now
13 closed. For the evidence of Measure 3001, 84
14 percent voted yes; 16 percent voted no.

15 CO-CHAIR SEPTIMUS: Performance gap.
16 Susan.

17 MEMBER MOFFATT-BRUCE: Thank you. So
18 I think this may be similar to previous, so the
19 performance gap here, what we have demonstrated is
20 again a sample of 50 sites from the 114 potential
21 PACE sites whereby 34 submitted the data.

22 And they found a mean fall rate of 4.27

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 per 1000 participant days This, when you compare
2 abstracted to hospital-based, it is high. And
3 therefore the analogy -- the extrapolation is that
4 there is a presumed performance gap.

5 I don't see evidence that there is a
6 demonstrated or a calculated performance gap as it
7 currently stands. I'd ask the developers if I'm
8 missing something.

9 MEMBER SMIRZ: In the -- I don't know
10 what page it is, but in the evidence of performance
11 gap, there is a sort of embedded sort of table with
12 the mean -- a standard deviation, mean, minimum and
13 maximum for falls per 1000 participant days with a
14 minimum of 1.88 and a maximum of 8.59.

15 So there is a substantial range. The
16 mean is 4.27, and the standard deviation -- and the
17 mean, the median is 4.4. So it's relatively
18 normally distributed with a standard deviation of
19 1.53 which is reasonable.

20 CO-CHAIR SEPTIMUS: Any other comments
21 on the gap? Yes, Yanling.

22 MEMBER YU: Thank you. When this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 performance gap is compared with hospital settings,
2 I don't know how to interpret it, because in
3 hospital you have much better support system with
4 the interdisciplinary teams to care for the
5 patient.

6 So if we have found a lower score in
7 comparing with the hospital, is this because your
8 system isn't set up this way to really support, to
9 prevent the fall?

10 Or is it due to the performance of this
11 type of a PACE -- PACE, right? -- PACE setting, that
12 enable you to compare from one PACE setting to the
13 next, so there's intercomparability?

14 So they sound like, to me, like an apple
15 and an orange when you compare them, to do this gap
16 evaluation.

17 MEMBER SMIRZ: I think there -- it is
18 apples to oranges with hospitals and PACE sites,
19 although the measure definition is comparable.
20 Because people in hospitals primarily are in bed
21 more than people in their homes.

22 And so it might be expected that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were, for the moment at least, more subject to
2 falling than all PACE participants combined.

3 Second, the care processes are
4 different. You don't have medical professionals
5 in the home --

6 MEMBER YU: All --

7 MEMBER SMIRZ: -- 24 hours a day.

8 MEMBER YU: Right.

9 MEMBER SMIRZ: You do have occupational
10 therapists that do go into every participant's home
11 and eliminate fall risks such as throw rugs or poor
12 lighting. They install grip -- hand-grip bars and
13 other kinds of assistive equipment.

14 They check the participants for needed
15 glasses as well which can contribute to falls. So
16 those kinds of things are done which are different
17 things that happen in the hospital.

18 MEMBER YU: So --

19 CO-CHAIR SEPTIMUS: You have another
20 comment, Yanling?

21 MEMBER YU: So does that mean we should
22 not compare them, in your opinion? To use this as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a comparison?

2 MEMBER SMIRZ: No, I think we -- I don't
3 think so. Well, I mean, it's the same definition
4 of measure. The care setting and the population
5 differ. So you could say that fall rates are higher
6 in PACE sites or in hospitals or in long-term care
7 because the measure is the same, even though the
8 setting is different.

9 They're probably not really comparable
10 though. And so I think the important note is that
11 there is a range of fall rates with PACE
12 organizations or PACE sites.

13 MEMBER YU: Thank you.

14 CO-CHAIR SEPTIMUS: Okay, seeing no
15 comments, we will vote then on performance gaps.

16 MS. QUINNONEZ: Voting is now open for
17 performance gap of Measure 3001. Option 1 is high.
18 Option 2 is moderate. Option 3 is low. And Option
19 4 is insufficient.

20 All right, all votes are in, and voting
21 is now closed. For performance gap on Measure
22 3001, 11 percent voted high, 79 percent moderate,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 5 percent low, and 5 percent insufficient.

2 CO-CHAIR SEPTIMUS: Okay. You feeling
3 better now, developers?

4 MEMBER SMIRZ: Yes. A little better.

5 CO-CHAIR SEPTIMUS: Little better,
6 huh? Now we're going to talk about reliability.

7 MEMBER MOFFATT-BRUCE: So relative to
8 reliability, the inclusion criteria are for all
9 calls including assisted falls, which I think is an
10 important call-out to this.

11 Those that are excluded are those that
12 fall back into bed, into a chair, car seat, walker
13 or toilet or if they're not in their home location.

14 I have two, actually two or three
15 questions on this aspect before I open it up.
16 Firstly, it says that the excluded participants are
17 when they're -- it's excluded when they're not in
18 their home.

19 I presume that, though it must include
20 when they're in transit to their clinics and such
21 like that? Because I would think that that would
22 be an opportunity to prevent falls for these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients -- or participants.

2 MEMBER SMIRZ: Yes, that is included in
3 the --

4 MEMBER MOFFATT-BRUCE: Okay.

5 MEMBER SMIRZ: -- as well as in the PACE
6 center itself where they go for day services.

7 MEMBER MOFFATT-BRUCE: Okay, so that is
8 included in -- wonderful.

9 And then the second question, I may --
10 I apologize if I'm asking this prematurely -- the
11 source of the data. So is this type of fall, does
12 it have to be agreed upon by the entire team that,
13 indeed, it was a fall?

14 Is it anybody in the care team that can
15 call it a fall? Who is, in fact, including it in
16 the numerator?

17 MEMBER SMIRZ: Any person, any of the
18 interdisciplinary team and the caretaker and the
19 participant can report a fall. As long as it's
20 documented in the clinical record, then we would
21 count it.

22 The same is true of -- sort of true-- of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incident reports in hospitals where anyone, any
2 staff member, can submit a fall which is then
3 followed up by the risk care management team.

4 MEMBER MOFFATT-BRUCE: Okay. Those
5 were my questions around the exclusion/inclusion
6 criteria specificity.

7 CO-CHAIR SEPTIMUS: Okay, we'll put up
8 the signal-to-noise data just in case, since I know
9 we're getting really knowledgeable about this.
10 But similar graph to the previous one. So --

11 MEMBER MOFFATT-BRUCE: Right, and so in
12 this instance it's quite high. It's 0.83 but it has
13 a large range, so that puts us into the moderate.

14 CO-CHAIR SEPTIMUS: Pat?

15 MEMBER QUIGLEY: Thank you. I would
16 like to just say that I have some issues around the
17 reliability or the inclusion criteria and the
18 exclusion criteria for this measure.

19 In that all of the movement in
20 relationship to fall rate is to not aggregate the
21 fall rate. It is to go into precision about the
22 type of fall.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We had this discussion when we were
2 embracing the fall rate for hospitals, that we
3 should look at accidental falls, anticipated
4 physiological falls, unanticipated physiological
5 falls. There's different types of falls.

6 But nonetheless, if this is the intent
7 of CMS, when they have had a falls quality indicator
8 in their Type II measures, it is a fall resulting
9 in death or injury that requires hospitalization
10 for five days.

11 We don't have any of that data that's
12 been brought to us because those also have to have
13 an assessment by the team within 48 hours.

14 To exclude a fall that because someone
15 falls back onto a toilet is, I think, a mistake.
16 Falls that result with -- associate with toileting
17 result in severe injury, can be very grave.

18 Falls that are associated with someone
19 going back into -- falling back into a chair or into
20 a bed can also be grave. They can fall off of that.
21 So there's no justification in the evidence to
22 support the exclusion criteria. And again, this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a very frail adult population, 55 and older.

2 In addition to the reliability and
3 getting the signal-to-noise, the way that this was
4 done in terms of aggregated data analysis is to
5 group this patient population into only two groups
6 -- those between the age of 85 to -- excuse me, 55
7 to 85 -- or 89, and those 90 and older.

8 And even the analysis of all falls data.
9 when you look at one of the hallmark studies,
10 original studies in 2015, published in January,
11 we're using in the National Healthcare Statistics
12 data to look at the aging population 85 and older
13 in hospitals.

14 They looked at age groups by 10-year
15 intervals. So I think that there's lots of issues
16 surrounding the reliability of this variable and
17 this measure. And I am totally in favor of fall
18 rates, but with precision and with evidence to
19 support it.

20 So as it's been presented today,
21 irrespective of not having evidence that's
22 associated with home care and assisted living, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's presented, in this variable, I think that
2 there's a lot of limitations to it. Thank you.

3 CO-CHAIR SEPTIMUS: Iona.

4 CO-CHAIR THRAEN: So I'm going to
5 counter you, Pat. So what you're speaking about is
6 the maturity of measures and systems. And the
7 hospital systems have been at this much longer than
8 home care, home health, assisted living
9 environments.

10 And so I think that the notion of moving
11 towards precision in the world that you're talking
12 about has come about because of starting somewhere
13 to count falls. And in the process of counting
14 falls, a certain amount of maturity has occurred and
15 the realization that we need to look deeper and look
16 more precise.

17 This is sort of a first-time effort in
18 the home environment. And the level of
19 sophistication is just not going to be there as you
20 would find in a hospital setting as a starting
21 point.

22 So I would argue for a learning curve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 approach where you start first, just simply by
2 capturing falls in this environment that has not
3 normally been reported on.

4 And then, over the course of time, at
5 least from the patient safety perspective, what I
6 have seen historically is -- and I'll just give you
7 a quick example -- when we started out reporting
8 sentinel events, the professionals in the room, in
9 2001, were only willing to report eight general
10 categories of events.

11 And then by 2005, as they learned that
12 this was not adequate, it didn't support really
13 truly understanding what was going on and they were
14 more comfortable with capturing data, they moved
15 that bar up to 32 specific events.

16 And so that the industry develops over
17 the course of time, I think we have to give the home
18 environment the same opportunity to grow and
19 develop. That's my argument.

20 MEMBER QUIGLEY: And I would like to
21 respond in saying that there have been measures
22 through OASIS. And OASIS has been around for quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some time. And that's the home care setting.

2 CO-CHAIR THRAEN: It hasn't always been
3 home care health.

4 MEMBER QUIGLEY: But it's still the
5 home care setting. And I'd also like to say that
6 in 2016, with a body of evidence and the body of
7 knowledge surrounding falls in today's world, it's
8 very different than in 1997 when they started the
9 program.

10 So the expectation of what is brought
11 forward to us as a patient safety measure to
12 evaluate the integrity of -- to improve practice and
13 systems for an outcome that has severe consequences
14 I think has a higher of expectation.

15 CO-CHAIR THRAEN: I just think we have
16 to be careful not to be hospital-centric.

17 MEMBER QUIGLEY: And that's why I'm
18 not. That's why I spoke to the evidence, that the
19 evidence should have been grounded in the home and
20 the home setting, not the hospital.

21 CO-CHAIR THRAEN: But home -- PACE --
22 the PACE people do sometimes have home health. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what they really have is personal health care. And
2 they have a tech or an aide that comes in and helps
3 them bathe and helps them get out of the bed and move
4 forward. It's not really --

5 MEMBER QUIGLEY: Which is why there
6 should not be all these exclusion criteria if
7 they're going to have this rate.

8 CO-CHAIR THRAEN: That arguing gets to
9 the exclusion criteria as much as I'm arguing
10 against the precision argument that you're making.
11 That's the piece that I'm talking about.

12 CO-CHAIR SEPTIMUS: No cat fights here,
13 sorry.

14 MEMBER QUIGLEY: Okay.

15 CO-CHAIR SEPTIMUS: One -- no, no, no,
16 no. One, two, three, four. Go.

17 MEMBER WANG: I just want to throw in a
18 quick spiel for, in support of starting somewhere
19 in terms of reporting. The PACE organizations are
20 small. Their participants are small.

21 When we -- if we get to, too quickly to
22 the granularity, we may not have as much information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to share. So I just want to support starting
2 somewhere.

3 MEMBER APPLEGATE: Oh, I actually did
4 have a question similar to what Pat brought up,
5 because I'm not an expert in this. But I do have
6 family members who have fallen in the home, most
7 recently last week.

8 And it is often related around bathroom
9 issues. And so I did want to understand, or help
10 me understand, from the developers not from anybody
11 else, answer to the question about why that issue
12 was excluded from the metric.

13 Because I think it is a really important
14 part of -- going to the bathroom in the middle of
15 the night, already having meds onboard, being a
16 little bit dizzy when they got up, falling. Thank
17 you.

18 MEMBER SMIRZ: Yes, that's my future.
19 So, but, no, I do have really quite valuable
20 concrete evidence about why we didn't include them.

21 One is that they're very difficult to
22 record, unless they have an injury. And so in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NDNQI, for example, which is an approved and
2 endorsed measure for -- by NQF, includes sink back
3 events if they involve an injury, but not otherwise.

4 We did a reliability, validity study on
5 falls as recently as three or four years ago in which
6 we did 20 videos of fall situations and then asked
7 everybody, like 500,000 people in hospitals that
8 could report a fall, if it was a fall or not.

9 And the sink-back incidences into a
10 chair, sink back into, fall over on the bed, sink
11 back to the toilet, unless we described it as
12 involving an injury, was like a 50/50 split on
13 whether it was a fall.

14 So by including those, you reduce the
15 reliability and the validity of the measure.

16 CO-CHAIR SEPTIMUS: Yes?

17 MEMBER WU: Quick comment. I mean, I
18 think that this is an argument for precision in
19 general. And I think that if we start out by being
20 very clear about what it is that we are after, I
21 think that people in the home can do as good a job
22 as we can in the hospital. So I'm arguing for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 precision.

2 MEMBER ADELMAN: I just, I have a
3 question again, I'm sorry, about the, just the
4 specifics around the inclusion/exclusion
5 numerator/denominator in that this is falls per
6 1000 patient days.

7 And the 1000 patient days, well, it's
8 among patients who are at risk. It says something
9 like that. And, to me, I know a lot more about falls
10 in the hospital where risk is very well defined. I
11 believe that there's also risk assessment tools for
12 ambulatory as well.

13 And so I'm confused by, like the
14 denominator of who's -- who are we talking about and
15 who are at risk. And also, how do we get to the
16 patient days? Like it is just -- well, let me let
17 you answer that.

18 MEMBER SMIRZ: In answer to your first
19 question, virtually all PACE participants are at
20 risk of falling.

21 MEMBER APPLGATE: Correct. It's a
22 part of being in PACE.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SMIRZ: Right. Yes, so they all
2 receive risk assessment appraisals and then
3 activities to reduce the risk of falling in the
4 home.

5 Secondly, I apologize. I failed in the
6 introduction to mention how we get per 1000
7 participant days. What the instructions say is to
8 count the number of people in or enrolled in the PACE
9 program in their home location every day of the
10 quarter and then add up those numbers.

11 So it's the case load by day added up for
12 the course of the --

13 MEMBER ADELMAN: Were they in the home?

14 MEMBER SMIRZ: -- yes. Or home-like
15 setting.

16 MEMBER ADELMAN: How, if somebody was
17 in a hospital for a month or two months, how does
18 -- how do you know -- just how do they know that?

19 MEMBER SMIRZ: They know that because
20 they pay for the hospital stay.

21 MEMBER ADELMAN: I see. So if it's --

22 MEMBER SMIRZ: So PACE -- one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 things I haven't mentioned is the financial model
2 behind PACE, which is basically an HMO. So they're
3 responsible for paying for all care.

4 And so they would know that they were in
5 the hospital probably both from their clinical
6 records as well as their billing records.

7 MEMBER ARDIZZONE: I'm sorry. I just
8 have a quick comment. I wanted to support Tracy,
9 that we have to start somewhere. I know this has
10 been around since 1997, but my understanding is
11 there's nothing publicly reported about PACE right
12 now. Is that correct? I mean, that's --

13 MEMBER SMIRZ: That's correct.

14 MEMBER ARDIZZONE: -- we need to start
15 somewhere. We need to go somewhere. There needs
16 to be public information out there about this
17 program and about performance.

18 CO-CHAIR SEPTIMUS: Seeing no other
19 hands, I think we're ready to vote on reliability.

20 MS. QUINNONEZ: The voting is now open
21 on the reliability of Measure 3001. Option Number
22 1 is high. Option Number 2, moderate. Option

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Number 3, low. And Option Number 4, insufficient.

2 Okay, all votes are in, and voting is now
3 closed. For the reliability of Measure 3001, zero
4 percent voted high, 89 percent voted moderate, 5
5 percent voted low and 5 percent for insufficient.
6 Pass.

7 CO-CHAIR SEPTIMUS: We're on a roll.
8 Okay, so the next one, I believe, is going to be
9 validity.

10 MEMBER MOFFATT-BRUCE: So for
11 validity, the testing that was used here is an
12 example of the face validity with a consensus panel.
13 The measurement strategy is dictated or is
14 demonstrated by the ICBI which, in this instance,
15 is 0.92, which is high.

16 The exclusions, again, and I might get
17 some clarification here, were not tested because
18 they feel that the exclusions are very
19 straightforward. And then the, just under the
20 threats to validity, and I had a question for the
21 developers, the only risk stratification is age and
22 gender.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There are no other factors that go into
2 this. And yet those are weekly correlated with the
3 performance around this measure. So I guess I
4 would like a little bit of an understanding about
5 what was trialed and if there are other, if there
6 are plans to further develop some of the risk
7 stratification.

8 MEMBER SMIRZ: Yes, certainly we're
9 committed to, once we start collecting data from all
10 PACE sites, looking at some socio-demographic
11 adjustments. I know that those are difficult.

12 But more importantly, I think that there
13 may be physiological adjustments to be made. But
14 they also depend on what we find out from
15 reliability and validity studies after they've been
16 actually implemented and fully used for collecting
17 the data.

18 CO-CHAIR SEPTIMUS: Just to let you
19 know, since this is only face validity, we're not
20 voting on number 1, just to remind people. Iona?

21 CO-CHAIR THRAEN: This is only moderate
22 and --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: That's correct.
2 It's only moderate or lower. So any other comments
3 on it because it's only face validity? Just to make
4 sure you remember that. So, seeing no other
5 comments, we will vote.

6 MS. QUINNONEZ: We are now voting on the
7 face validity of Measure 3001. Option Number 1 is
8 moderate. Option Number 2 is low. And Option
9 Number 3 is insufficient.

10 CO-CHAIR THRAEN: Thank you for naming
11 them.

12 MS. QUINNONEZ: Option 1 is moderate.
13 Option 2 is low. And Option 3 is insufficient.
14 Thought that would make it easier.

15 CO-CHAIR THRAEN: Thank you.

16 CO-CHAIR SEPTIMUS: I thought Albert
17 wanted to vote for Number 1.

18 CO-CHAIR THRAEN: I'm sorry, could you

19 --

20 MS. QUINNONEZ: Absolutely.

21 MEMBER WU: Yes, you just -- you removed

22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR SEPTIMUS: Tell us when you
2 want us to vote again.

3 MS. QUINNONEZ: Okay, voting is now
4 open for the validity of Measure 3001. Option 1,
5 moderate. Option 2, low. And Option 3,
6 insufficient.

7 CO-CHAIR SEPTIMUS: No Number 4,
8 Albert.

9 MS. QUINNONEZ: All votes are in, and
10 voting is now closed. For the validity of Measure
11 3001, 79 percent voted moderate, 21 percent voted
12 low, and zero for insufficient. Pass.

13 CO-CHAIR SEPTIMUS: Feasibility.

14 MEMBER MOFFATT-BRUCE: So around
15 feasibility --

16 MEMBER DANFORTH: Can I --

17 CO-CHAIR SEPTIMUS: Time out. Was
18 there a comment?

19 MEMBER DANFORTH: Yes, I just wanted to
20 make one comment. Depending on how this goes, and
21 I want to make it now before I forget, I do think
22 because of the way the measure's specified and has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been tested, if it gets through this process and
2 gets put into use, it'd be really important during
3 maintenance when you come back to talk about any
4 additional reliability and validity testing you
5 did.

6 I think because this measure can't be
7 validated through claims because these are falls
8 without injuries, there's a high risk of
9 underreporting, specifically not documenting when
10 patients say that they fall.

11 I know, obviously, we're not there yet.
12 But I did just want to make sure I made that point
13 now. So, again, when you come back, it won't be as
14 --

15 CO-CHAIR SEPTIMUS: Good point. If
16 you can tell us how to get around that, we'd all like
17 to know. But that's a great point. It's a great
18 point. Okay. Feasibility.

19 MEMBER MOFFATT-BRUCE: So going into
20 feasibility, which I think actually, Missy brings
21 up with some good points. So this is captured from
22 a variety of different sources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We know in the in-patient setting, it's
2 difficult, and we have a lot of ways to capture data.
3 I can imagine that there may be some challenges with
4 this. It does speak to having studied the sites
5 thus far and that there tends to be a little bit of
6 a learning curve to capture some of this data.

7 But I'm wondering if the developers may
8 comment on how we can make this easier for the sites
9 to actually capture validity, valid data in a
10 reliable fashion to make it feasible.

11 MEMBER SMIRZ: Well, first of all, we
12 will, if it's implemented for public reporting, we
13 will -- or any accountability purpose -- we will do
14 training of the sites and provide sustained
15 resources for updating new people as they come into
16 the organization on how to collect the data.

17 We may make it mandatory that no matter
18 where it's discovered that it be included in the
19 clinical record so that it can be extracted from the
20 healthcare record.

21 And as for undercount, we have talked
22 about giving caregivers who are in the home every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 day logs to record falls so that it's not just the
2 self-report of the participant, who's afraid that
3 potentially they may be put in a nursing home
4 because of a fall, whether or not there was an
5 injury.

6 Undercount is, of course, a serious
7 issue --

8 MEMBER MOFFATT-BRUCE: Sure.

9 MEMBER SMIRZ: -- for home care.

10 MEMBER MOFFATT-BRUCE: The other
11 question I have, just for my own edification,
12 there's 114 PACE sites. What's the ratio of
13 electronic versus paper documentation in these
14 sites?

15 MEMBER SMIRZ: We don't know that.
16 Actually, I think they're in the process of
17 developing electronic health records. Many of
18 them have them. Whether or not this data -- we
19 asked in a post-data collection survey to ask how
20 data collection went.

21 MEMBER MOFFATT-BRUCE: Sure.

22 MEMBER SMIRZ: We, most of them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hand-extracted their data from --

2 MEMBER MOFFATT-BRUCE: From various
3 sources, right.

4 MEMBER SMIRZ: -- electronic records.
5 So what they really need is a way to get it
6 programmed in on an ongoing basis, which they would
7 likely do if was mandatory reporting.

8 So, but there's still PACE sites that
9 are still using --

10 MEMBER MOFFATT-BRUCE: Paper?

11 MEMBER SMIRZ: -- paper. Yes.

12 MEMBER MOFFATT-BRUCE: Okay. Thank
13 you.

14 MEMBER COOK: Yes, on both of these last
15 two measures, it was stated that there was 50 sites
16 that were randomly chosen for the data collection
17 going into the validity and reliability testing.

18 But yet, in both cases, you've had
19 somewhere around 30 or just short of that. Does
20 that give you any indication or did you get feedback
21 from those facilities which did not actually supply
22 data?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And do you think that will be a hindrance
2 to the feasibility of this measure being
3 implemented?

4 MEMBER SMIRZ: We examined the program
5 age and program size and geographic location of the
6 programs that did not participate along with those
7 that did. And there were no significant
8 differences so -- or very minor differences.

9 So we didn't have any evidence to
10 support bias. The unmeasured thing, of course, is
11 some programs may just find it easier to access the
12 data. We didn't have information on that. So it's
13 possible, but not that we found out.

14 MEMBER LAWLESS: Curious. In terms of
15 performance management evaluations of the people
16 who are in the home -- oh. In terms of performance
17 management evaluations for people who were helping,
18 are they uniform throughout PACE?

19 I mean, so everybody who's at home is
20 judged in terms of their performance the same? And
21 is this part of that performance, reporting it or
22 falls at home?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SMIRZ: I have no idea. I have
2 no idea. Tamika, are you still on the phone?
3 Possibly not. We'd have to look into that and get
4 back to you.

5 MEMBER LAWLESS: Because if it's
6 uniform, and it's not, their performance is not tied
7 to whether they're either reporting or ER visits
8 related to a fall, you can see where it --

9 MEMBER SMIRZ: Sure.

10 MEMBER LAWLESS: -- you would have a
11 discrepancy.

12 MEMBER SMIRZ: Right. Good idea.

13 MEMBER ADELMAN: This is just a small
14 point, but I just -- it just occurred to me that when
15 I asked you before about the number of days, the
16 denominator as in patient days, and you explained
17 it to me.

18 But I don't actually think that that's
19 in the measure. I just think you should add the
20 language so that those who read the requirements
21 and want to do it know the exact rule. Unless I
22 missed it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SMIRZ: It was in the very back
2 section of the 40 or 50 pages for the measure but
3 not in the up front description. So, but yes, we
4 will make sure that it's added. And I think the
5 language is fairly clear.

6 MEMBER ADELMAN: I think that the true
7 denominator is patient days. That's the
8 denominator. And it's patient days when they're
9 home, according to what you told me.

10 MEMBER SMIRZ: Right.

11 MEMBER ADELMAN: And that is the part
12 that's not clear. It's --

13 MEMBER SMIRZ: Okay.

14 MEMBER ADELMAN: When you explain it,
15 it's clear. But I think the denominator statement
16 should reflect that.

17 MEMBER SMIRZ: Okay.

18 CO-CHAIR SEPTIMUS: Chris, you've had
19 -- you still want to have a -- see if any -- is that
20 still -- all right. So I don't see anymore hands
21 so we will vote on feasibility.

22 MS. QUINNONEZ: Voting is now open for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the feasibility of Measure 3001. Option Number 1,
2 high. Option Number 2, moderate. Option Number
3 3, low. And Option Number 4, insufficient.

4 We're looking for one more vote. All
5 votes are in, and voting is now closed. For the
6 feasibility of Measure 3001, 0 percent voted high,
7 74 percent voted moderate, 26 percent voted low, and
8 0 percent voted insufficient.

9 CO-CHAIR SEPTIMUS: Usability.

10 MEMBER MOFFATT-BRUCE: So this is a new
11 measure. It's not currently publicly reported.
12 There are plans, I understand, to put it into an
13 accountability program.

14 And I think what we are hearing today is
15 that there's a need and a desire to have this for
16 these patients that are so vulnerable and where
17 falls are such an important issue.

18 CO-CHAIR SEPTIMUS: So question to our
19 NQF staff, because this is a new measure and there
20 really isn't any experience with usability, how do
21 we --

22 MEMBER MOFFATT-BRUCE: How do we vote?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: -- evaluate this
2 section and then vote? Since there's really no
3 data on usability, because it's not publicly
4 reported and it's a brand new measure, how do we
5 evaluate usability in this setting?

6 MR. LYZENGA: We have some planned use,
7 it looks like, that they put in which is not unusual
8 for newly developed measures. This one's a little
9 bit more subjective than some of those other
10 criteria.

11 It's basically just, if you feel that
12 they have put in -- described a good enough plan to
13 put this measure into use and to -- in quality
14 improvement, public reporting or other
15 accountability programs, then you should vote
16 accordingly.

17 If you do not feel like they've given us
18 enough information on how they plan to put this into
19 use, then you can reflect that in your votes, I
20 think.

21 DR. PINES: And also just to comment, so
22 this is a potential usability, so especially for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 future measures.

2 CO-CHAIR SEPTIMUS: That's, thank you.
3 That's exactly what I wanted to hear. So let's
4 start from the back and work up here on the left.
5 This is the murderer's row here on the left.

6 (Laughter.)

7 MEMBER DEED: Okay, not yet but I really
8 need to hear more from the developers about the
9 potential for public reporting of this measure.
10 I'm very uncomfortable with that checked box of not
11 publicly reported. Thank you.

12 CO-CHAIR SEPTIMUS: Please comment.

13 MR. STEWART: So most of the comments
14 we've made today are on behalf of the measure
15 developers. CMS is the measure steward, and
16 they'll be making all the decisions around
17 implementation.

18 CO-CHAIR SEPTIMUS: Can we assume that
19 obviously, since they've contracted with you to do
20 this, as is the case in many of these CMS measures,
21 that they in fact do want to have this publicly
22 reported and potentially linked to payment, can we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assume that?

2 MR. STEWART: Yes, sir. The measure
3 developer assumes that, especially the former.
4 The reporting the latter linkage to payment is
5 probably further down the road at CMS.

6 CO-CHAIR SEPTIMUS: So pay for
7 reporting and then pay for performance has
8 generally been their mantra. So let's keep going.

9 MEMBER WANG: So in terms of quality
10 improvement, I would assume we wanted the ratio to
11 go down, right? Lower rate the better.

12 But how do we just, since this is a new
13 metric, how do we distinguish the difference
14 between a higher rate of a fall rate because this
15 is a new metric and more people are reporting versus
16 it's a quality improvement? I mean, eventually
17 it's going to go down.

18 MEMBER SMIRZ: I'm not sure I got your
19 question.

20 CO-CHAIR SEPTIMUS: You want to repeat
21 it?

22 MEMBER WANG: Can you hear me? Okay,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yes. So just a quality improvement, we expect the
2 falls rate to go down eventually --

3 MEMBER SMIRZ: Right.

4 MEMBER WANG: -- with all of the proper
5 implementation of best practices. But because
6 this is a new metric, and I'm assuming that there
7 will be -- to implement this, that people will be
8 trained on how to report falls.

9 MEMBER SMIRZ: Correct.

10 MEMBER WANG: So theoretically, there
11 might be an increase in the reporting of fall rates
12 initially. And how do you distinguish that from a
13 truly quality improvement effort to reduce?

14 MEMBER SMIRZ: You're right that when
15 you start measuring something, things may go up.
16 The, I think, key point is, actually, around use and
17 usability, which is it's common practice, and I
18 believe part of CMS's blueprint on measure
19 development to have measures be collected and
20 reported to CMS for some period of time without
21 using them for public reporting or any other
22 accountability purpose for a year or two.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then if -- once they're stabilized,
2 then the use for either of those purposes can occur.

3 CO-CHAIR SEPTIMUS: Not to bring up a
4 prior discussion, but, in fact that's exactly what
5 CMS is doing with the Sep 1 measures for sepsis. I
6 don't want to get into that discussion though.

7 MEMBER QUIGLEY: Thank you. And in my
8 remarks related to usability, it is still to always
9 support the importance of fall prevention in any
10 setting of care.

11 But I still would like to go on the
12 record in public that to have such aggregated fall
13 rate is not going to be a driver for improving
14 patient safety in 2016, recognizing the amount of
15 work that's gone on in other industries of health
16 care for greater precision.

17 In long-term care there's much more
18 relevant rates for this kind of a patient
19 population. The percent of patients who fall that
20 are in the care, the percent of repeat fallers, the
21 percent of recurrent falls.

22 This fall rate, as presented, is so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 aggregated that there's no way to be able to really
2 link quality of care, of structure and processes,
3 to the fall rate.

4 And in the remarks that have been made
5 related to this rate, there has been said that this
6 could identify a good program or a bad program. And
7 quality measures are not to be able to criticize
8 good or bad but to be able to profile risk and
9 improve practice.

10 And this patient population is a falling
11 population. They are a falling population, 55 and
12 older, frail, older people. So I would just like
13 to say in terms of usability, my expectation would
14 be higher.

15 It is not to say that there should not
16 be some starting point, but to be able to really
17 drive quality and safety of care, I think that this
18 is very limited. Thank you.

19 MEMBER WEBB: So I just had sort of a
20 question about the practicality of this. I had never
21 heard of PACE before we started doing this, and I
22 can't imagine that there's more than one PACE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 organization available in any given area, honestly.

2 MEMBER QUIGLEY: That's true.

3 MEMBER WEBB: At least in the areas that
4 I have worked. And from a practical standpoint, if
5 a PACE organization is failing and we're going to
6 pay them less because they're failing, what are we
7 going to be doing to patient care for those
8 patients?

9 There's no alternative. So what would
10 be the plan at that point? I mean, I'm just
11 thinking about sort of the patient's viewpoint on
12 this. You know, it's not like it's a capitalist
13 adventure. You don't have anywhere else to go.

14 The alternative would be to put these
15 patients all in nursing homes if we stop paying the
16 PACE organization they can no longer support.

17 CO-CHAIR SEPTIMUS: Let's have the
18 developers respond.

19 MR. STEWART: PACE organizations are by
20 ZIP code. You're correct. But you could
21 disenroll. You're choosing to participate in PACE
22 as your care provider.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: I was just going to
2 comment that there is sort of a precursor to what's
3 going on right now with the Medicare change towards
4 value-base care versus volume-based care.

5 And so it's a managed care model that
6 combines both Medicaid and Medicare streaming of
7 funds. You have to qualify. You have to be
8 eligible. You have to meet the criteria.

9 It was originally 55 and older. In the
10 article I was just reading, in 2009, they were
11 definitely looking at extending it to the younger
12 disabled population, younger than 55. I don't know
13 if that change has happened.

14 And with this movement towards managed
15 care models or patient-centered medical homes or
16 whatever it is you're going to call it, which is
17 managed care, basically, on the part of Medicare and
18 Medicaid, you're actually, I think, going to see
19 more opportunity for folks to enroll in this kind
20 of program.

21 It may not be, it may not in the long run
22 be this PACE program. It might be patient-centered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medical home rebirth kind of thing. But they're
2 definitely moving, Medicare is moving in that
3 direction.

4 They've set very aggressive goals on how
5 many people are going to be enrolled in these kinds
6 of programs, meaning those of us sitting at the
7 table are going to be in managed care organizations
8 as we get older.

9 So I think that it's going to continue
10 to move forward, and it's very specific to that
11 combination Medicare/Medicaid population at this
12 point in time.

13 MEMBER DEED: Yes, the reason why it is
14 so important to have these measure publicly
15 reported from the beginning is exactly what's been
16 said here, which is often there is no choice. But
17 if families know what's going on, they can help at
18 their end to try to make it better. And the change
19 can happen faster.

20 CO-CHAIR SEPTIMUS: Thank you. It's
21 always great to have patient advocates to comment
22 on these measures. All right, based on what our NQF

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 colleagues said about how we vote on usability, I
2 think we're ready to vote.

3 MS. QUINNONEZ: Voting is now open for
4 usability and use of Measure 3001. Option Number
5 1 is high. Option Number 2 is moderate. Option
6 Number 3 is low. And Option Number 4, insufficient
7 information.

8 Thank you. Okay, all votes are in, and
9 voting is now closed. Voting for the usability and
10 use of Measure 3001, zero percent voted for high,
11 82 percent voted moderate, 18 percent voted low, and
12 0 percent voted insufficient information. Pass.

13 CO-CHAIR SEPTIMUS: If I remember my
14 order, we're ready to vote on whether or not this
15 measure is suitable for endorsement by NQF. So
16 this is an easy one, Albert. It's only 1 or 2.

17 MS. QUINNONEZ: Voting is now open for
18 the overall suitability for endorsement of Measure
19 3001. Option number 1 is yes. Option number 2 is
20 no.

21 All votes are in, and voting is now
22 closed. For the overall -- excuse me, overall

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 suitability for endorsement, 94 percent voted yes,
2 and 6 percent voted no.

3 CO-CHAIR SEPTIMUS: Okay. So, we're
4 sort of at this tipping point. We have another
5 measure which is very similar to this measure, by
6 the same developers. The only difference in this
7 one is it's with injury.

8 I think this will go a little bit faster.
9 Or we could take a break now. It's up to you.
10 Which one you want to do? We're going to --
11 definitely going to take a break. It's either or
12 after the next one. Keep moving? All right.
13 That's the -- we don't have to vote on that one. I
14 think we have a consensus on that one.

15 Okay. So why don't you just maybe
16 quickly tell us if there's any specific
17 differences, and then Pat, I believe, is the
18 discussant on 303. Again, this is a new measure and
19 an outcome measure.

20 MEMBER SMIRZ: The only difference in
21 this measure is that it includes only falls with
22 injury in the numerator. We also have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 classification for types of injury, from none to
2 death, which pretty much covers the range.

3 And all of the rest of the analysis for
4 reliability and feasibility was then just conducted
5 on falls with injury, as opposed to total falls.

6 CO-CHAIR SEPTIMUS: You know that
7 we're, we've sort of, been more familiar with
8 measures that talk about injuries, such as the HAC
9 measure. So this would be perhaps more in line with
10 what we discussed before.

11 So you already have questions before Pat
12 even --

13 CO-CHAIR THRAEN: Yes. I want to ask
14 --

15 CO-CHAIR SEPTIMUS: -- starts? Okay.
16 Somebody's -- someone has a mic on.

17 CO-CHAIR THRAEN: Okay. There. So as
18 you read this, through this, does this one carry the
19 kind of precision that you were looking for before?

20 MEMBER QUIGLEY: Thank you for that
21 opportunity to answer that question. The issue
22 surrounding this is the lack of evidence to support

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it, and the model that was selected to support the
2 measure.

3 As an outcome measure, we are expected
4 to look at the structure and the process for fall
5 injury reduction. May I proceed?

6 CO-CHAIR SEPTIMUS: Go ahead.

7 MEMBER QUIGLEY: Okay. So that's the
8 quick response.

9 CO-CHAIR SEPTIMUS: So Yanling, and
10 then we'll -- oh, and then Lisa. I'm sorry. Then
11 we'll go to the first question.

12 MEMBER YU: Thank you. Just a matter
13 of helping me better understand this measure, if I
14 understand correctly, the only major difference is
15 this one is involved with harm, fall and, you know
16 --

17 MEMBER QUIGLEY: Yes.

18 MEMBER YU: So is there any way, in your
19 mind, that these two can be combined together with
20 the last one, or this -- what is the rationale that
21 you have to separate them, make different measures?

22 MEMBER SMIRZ: Right. No. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understand completely what you're saying. And the
2 -- previously for, let's say, NDNQI, there's been
3 some discussion about whether to make these paired
4 measures, not to combine them into a composite
5 measure, but to make them paired.

6 And there was -- I'm not really sure --
7 I think of paired as something like a process
8 measure with an outcome measure, as opposed to two
9 outcome measures.

10 So this is an alternative. In general,
11 I think that some more -- there's more of a
12 consequence for a fall that involved harm, both for
13 cost, for the discomfort and disability of the
14 patient. And so some people prefer that measure to
15 the other one. But you don't -- in general, what
16 you do to prevent falls will also prevent the
17 injury, if you prevent the fall.

18 So this is an auxiliary measure, sort of
19 to give you sort of a level of harm. And there's
20 also some thought that the falls with injury measure
21 has higher reliability, which it does, slightly,
22 because it's more likely to be reported.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Well it may be -- if
2 I -- I think, it may be like the near miss in drug.
3 Is that a good analogy, then? So if you look at
4 processes where people are falling without injury,
5 that processes may -- if it's fixed, may prevent
6 someone who has harm. I mean, isn't that the
7 rationale? Okay, so Lisa?

8 MEMBER YU: Could I just add one --

9 CO-CHAIR SEPTIMUS: Yanling, I'm
10 sorry. Are you finished?

11 MEMBER YU: Does that mean that, in term
12 of public reporting, or especially about CMS
13 accountability program, would that be a different
14 set of incentive implementation for CMS, as far as
15 you know?

16 MEMBER SMIRZ: I do not know their
17 plans.

18 MEMBER YU: Okay. Okay, thank you.
19 It just matter --

20 CO-CHAIR SEPTIMUS: Lisa?

21 MEMBER MCGIFFERT: I was going to ask
22 about the definition of the falls, and then I found

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the section where you, where it's discussed. But
2 it is a pretty broad range.

3 And is that typical with falls, that
4 when you're defining falls with injury, you might
5 know this, but it -- that you don't really look at
6 the type of injury? It's just like a minor one
7 might require a dressing; a major one might cause
8 death. There's a --

9 CO-CHAIR SEPTIMUS: I think we're going
10 to get into that when we go through the, this --

11 MEMBER MCGIFFERT: Never mind.

12 CO-CHAIR SEPTIMUS: -- the measure.
13 No, no. That's a great question, though. So it --
14 Jason, did you have something to say before we even
15 discuss evidence? It's okay. Jason, is your mic
16 on?

17 MEMBER ADELMAN: NQF endorses the
18 common formats and has a definition of injury with
19 falls. And then we also endorse the NDNQI that has
20 a different definition of falls with harm.

21 And I was hoping that we wouldn't have
22 a third definition, also endorsed by NQF. But the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 third definition -- and actually, I was looking, and
2 I didn't see any definition for harm, which I guess
3 is like a third -- but I might have missed it.

4 But I think -- did I miss it? Because
5 I didn't -- we'll see.

6 CO-CHAIR SEPTIMUS: Did --

7 MEMBER ADELMAN: But when we get to it,
8 we can -- well which harm scale? The AHRQ one, or
9 the NDNQI one, or the? Is that what -- is used here?

10 MEMBER SMIRZ: No, no. I don't think
11 --

12 PARTICIPANT: NQF. It's the NQF
13 severity rating scale.

14 CO-CHAIR SEPTIMUS: I think Pat is --

15 PARTICIPANT: It's the NQF.

16 CO-CHAIR SEPTIMUS: -- is going to
17 discuss that. But so --

18 CO-CHAIR THRAEN: I just had a quick
19 question. So when you said that this is the same
20 as the one before, are the denominators the same?
21 So before you have the -- you have the number of
22 falls for the whole group, versus the number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 falls with injury, over what?

2 MEMBER SMIRZ: The whole group.

3 CO-CHAIR THRAEN: The same, same
4 denominator?

5 CO-CHAIR SEPTIMUS: Anything else? I
6 mean, as with the other discussion, we're going to
7 discuss numerators, denominators and exclusions,
8 so hopefully we'll get to that, but so with that --
9 are you finished, Yanling? Okay. All right.

10 So now Pat, you have the microphone.

11 MEMBER QUIGLEY: Thank you. Thank
12 you, Mr. Chairman. Thank you for the opportunity
13 to be able to present this indicator on behalf of
14 CMS to this body.

15 And the first issue that we get to
16 address is the structure and the process. And in
17 addressing the structure and the process to be able
18 to help reduce fall and fall-related injuries, I
19 looked at the model that was presented in the
20 submission to us. And the model that was presented
21 is the same model that they have for being able to
22 prevent falls.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So what is missing from the model is
2 anything that is in place to assess risk for injury.
3 And that would be, for example, osteoporosis or
4 anticoagulation, or anyone who has already had an
5 injury history that has occurred.

6 And it also does not include the
7 processes to be able to reduce injury in the home
8 setting or the assisted living care facility,
9 because the interventions and the processes to
10 prevent injury are separate and distinct from fall
11 prevention. We just talked about fall prevention.

12 In that regard, since I discussed the
13 structure and the process in terms of the model,
14 that led me back to -- because I had to look at the
15 model that was done to the analysis of that report,
16 that it compared PACE with home care.

17 And they did have a model in that
18 analysis by the Mathematica Policy Research,
19 Incorporated in 2008, that had included in their
20 structure, the integrity of the interdisciplinary
21 team. And in this case, it would be to prevent
22 injuries from falls, because colleagues, you cannot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prevent all falls. And this is a repeat falling
2 population.

3 So having to go back and then look at the
4 evidence, I did an analysis of the evidence that was
5 presented to this body to support this measure.
6 And on the screen, if we go back to the evidence,
7 the Fong article is from Portugal, and has nothing
8 to do with injury reduction.

9 I cannot find the Levonden (phonetic)
10 article. The Rara (phonetic) article is from Sri
11 Lanka, one district in Sri Lanka that does not
12 address injury. And the other literature that is
13 there is essentially the literature to support the
14 fall injury literature in hospital-based.

15 Even though in the analysis of the
16 population at risk, the outpatient population,
17 there is a lot of evidence that's presented by the
18 developers in relationship to the prevalence of
19 injury in community-dwelling elderly by CDC. But
20 the actual literature that we have here does not
21 really support the measure in relationship to
22 injury.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As an outcome measure, the -- we have the
2 numerator that is presented, and a numerator is
3 indeed falls with injury experienced by the --

4 CO-CHAIR SEPTIMUS: Pat, Pat, Pat --

5 MEMBER QUIGLEY: I'm sorry.

6 CO-CHAIR SEPTIMUS: Time out.

7 MEMBER QUIGLEY: Stay at injury?

8 CO-CHAIR SEPTIMUS: Let's go with
9 evidence.

10 MEMBER QUIGLEY: Okay.

11 CO-CHAIR SEPTIMUS: We'll get to the
12 others. I know we all want to jump ahead, but
13 otherwise, we're going to get very confused. So
14 just go by the evidence.

15 MEMBER QUIGLEY: But I did present a
16 summary of literature and literature to support
17 this measure that could have been more relevant.

18 CO-CHAIR SEPTIMUS: Okay. Any other
19 discussion about the evidence?

20 MR. LYZENGA: And just to clarify, the
21 -- I mean, the question again, on an outcome, is
22 whether there is at least one process or -- for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 process, you want a systematic review and QQC, but
2 for an outcome, all you need is a rationale, showing
3 that there's something the provider can do to affect
4 this outcome.

5 And technically, in this kind of
6 situation, usually, you know, where you believe
7 there is evidence that there is something a provider
8 can do, but it's not provided by the developer, I
9 mean, one option is to vote insufficient and then
10 say insufficient with exception.

11 MEMBER QUIGLEY: Well that's the
12 purpose of my discussion --

13 MR. LYZENGA: Okay.

14 MEMBER QUIGLEY: -- and presentation.

15 MR. LYZENGA: Or you could also, if you
16 believe there's enough and want to present it to the
17 committee, you could also -- again, all we need is
18 a rationale. We don't need a lot of evidence for
19 an outcome.

20 CO-CHAIR SEPTIMUS: Lisa?

21 MEMBER MCGIFFERT: So my understanding
22 of this is that if we're looking for is there a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prevention for this, and there are certainly ways
2 to prevent falls, but I think I -- I'm not sure that
3 there are, is a way to prevent falls with injuries,
4 because of -- because the injury is really dependent
5 on the condition of the patient.

6 I could fall, and it couldn't hurt me at
7 all. Someone else could fall, and it could make
8 them disabled for life. There -- and that is -- so
9 I have difficulty with this, as a -- I like the all
10 falls measure better, because it's the patient's
11 condition that determines the injury in -- not in
12 all cases, I'm sure, but in many cases.

13 MEMBER QUIGLEY: Thank you for that
14 comment, Lisa. And my response to you is that there
15 are interventions that can be put in place to reduce
16 injury. And that's -- a lot of that work has been
17 done by the Department of Veterans Affairs for over
18 15 years, to go after injury reduction as the
19 primary outcome, not falls.

20 So in the home setting, there are things
21 that can be done to pad environments, eliminate
22 sharp edges, to reduce impact of falls, and to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 identify those who are vulnerable, those with
2 osteoporosis risk factors, those who are
3 anticoagulate, et cetera, which is why my remarks
4 were: the model didn't fit the variable. The model
5 that's still presented, it fits the fall rate.

6 CO-CHAIR SEPTIMUS: Kimberly?

7 MEMBER MCGIFFERT: Does that -- would
8 that prevent -- if I had osteoporosis, that a padded
9 -- like something padded would prevent that?

10 MEMBER QUIGLEY: So would we prevent
11 your hip fracture, or hip protectors or helmets
12 could prevent head injuries? Exactly.

13 MEMBER MCGIFFERT: Yes.

14 MEMBER QUIGLEY: Yes.

15 MEMBER MCGIFFERT: Okay.

16 MEMBER APPLGATE: I think what Lisa's
17 bringing up, though, is there's confounding error
18 between the two measures. And I -- again, I would
19 raise the question about whether both measures are
20 necessary, or whether the second measure is going
21 to overlap with the other measures that currently
22 exist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ARDIZZONE: I just wanted to
2 say, I think the two measures are both very
3 important. We need to see how many times patients
4 are falling in these PACE programs, and they need
5 to be publicly reported, as well as we need to see,
6 these are frail patients who are falling and
7 injuring themselves. That is going to be bad for
8 their morbidity and mortality.

9 So they're two -- I know they, it sounds
10 like they're capturing the same data, but the
11 effects on the patients are very different. I
12 forgot my other point.

13 MEMBER ADELMAN: I just want to second
14 that point. I feel the same way. I see how it can
15 be confusing, but I also see -- and I agree. If you
16 prevent all falls, then obviously you'll prevent
17 falls with injury. But there are special things
18 that we can do with people who have higher risk for
19 injury.

20 And sometimes we don't have enough falls
21 with injury to see the effect of an intervention,
22 so overall falls helps to study how effects are.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I could go on, how I see the value of both, but
2 I agree with that point.

3 CO-CHAIR SEPTIMUS: Again, I mean, just
4 to follow up on, or at least, I mean, you could look
5 at -- not quite the same, but there are some
6 parallels for HAI reduction. We know that there
7 are some intrinsic patient factors, but we also know
8 that the majority of them are preventable.

9 So the goal is to try to get the rate as
10 close to zero as possible, but it may not be zero.
11 So it may be we have a baseline rate. We put in
12 intervention, and then we -- it's really more
13 important to trend that over time, to see whether
14 our interventions are, in fact, having the desired
15 effect. So I don't know if that's with the spirit
16 of this measure or not.

17 MEMBER SMIRZ: Yes. It -- yes.
18 Trending and understanding both would lead,
19 potentially, to different kinds of interventions,
20 but also as I mentioned earlier, the patients are
21 assessed -- are assumed at risk, and their
22 environments are assessed for improvement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: And there's
2 probably some opportunities for research, in terms
3 of the translational type of contextual issues that
4 sometimes we don't often study.

5 MEMBER SMIRZ: Correct.

6 CO-CHAIR SEPTIMUS: So. Yes, Iona?

7 CO-CHAIR THRAEN: So when you -- using
8 the overall fall rate, and the data that's intended
9 to be collected from the PACE program, in that
10 process of collecting that data, how is this measure
11 -- what is this measure collecting that's different
12 from that data?

13 MEMBER SMIRZ: It just adds to the field
14 for -- well two fields, one, was there an injury;
15 and two, what was the level of injury?

16 CO-CHAIR THRAEN: So in reality, when
17 you pull the data, you're actually getting --

18 MEMBER SMIRZ: Both.

19 CO-CHAIR THRAEN: -- both at the same
20 time and reporting it out as two separate notions?
21 So there's not additional burden or anything like
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Kimberly, do you
2 want to speak again? Yes.

3 MEMBER APPLGATE: One more. One more
4 thing. If patients are injured enough by these
5 falls, how many of them stay in the PACE program,
6 and how many are transferred to other care
7 facilities?

8 MEMBER SMIRZ: Need to get the data to
9 find out.

10 CO-CHAIR SEPTIMUS: Okay. Let's vote
11 on the evidence.

12 MR. LYZENGA: And I should note that I
13 was mistaken that there is an insufficient option.
14 There is not for outcome measures, just yes or no.
15 So again, is there a rationale supporting the
16 relationship of this health outcome to at least one
17 health care structure process, intervention or
18 service, yes or no?

19 MS. QUINNONEZ: Voting is now open for
20 evidence of Measure 3003. Option 1 is yes. Option
21 2 is no.

22 Okay. All votes are in, and voting is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now closed. Voting for the evidence of measure
2 3003, 95 percent voted yes; 5 percent voted no.

3 CO-CHAIR SEPTIMUS: Excellent. So the
4 next thing would, of course, would be the gap in
5 care. So we're looking, is there a gap in care that
6 warrants a national performance measure. Pat?

7 MEMBER QUIGLEY: Thank you so much.
8 They conducted the same analysis using the same
9 process with the 50 sites and showing that there is
10 an opportunity for improvement in identifying the
11 fall rate, because in terms of performance and data
12 that was presented -- we have data that's presented
13 related to the falls, the number of people who fell
14 as well as the number of patients who fell with
15 injury.

16 So we have opportunities for
17 improvement, and there is a performance gap to be
18 able to reduce injurious falls. They have it
19 presented as a total population, as well, and they
20 have the statistics that are there to support that.

21 CO-CHAIR SEPTIMUS: Okay. I think Pat
22 probably said it all. So, and seeing no hands --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER QUIGLEY: And that was short.
2 You were surprised, weren't you?

3 CO-CHAIR SEPTIMUS: Hey Pat. So now
4 we're going to go to vote on performance gap.

5 MS. QUINNONEZ: Voting is now open for
6 performance gap for Measure 3003. Option number 1
7 is high; option number 2, moderate; option number
8 3, low; option number 4, insufficient.

9 All votes are in. Voting is now closed.
10 For the performance gap of Measure 3003, 32 percent
11 voted high, 63 percent voted moderate, 5 percent
12 voted low, and 0 percent voted insufficient.

13 CO-CHAIR SEPTIMUS: Okay. Now I think
14 we're up to -- where are we, reliability? Okay.
15 So have they explained their rationale, and can this
16 measure be consistently implemented reliably? So
17 Pat.

18 MEMBER QUIGLEY: Thank you. The
19 reliability is considered to be high. The
20 reliability is the same model, the signal-to-noise
21 model, but also in identifying the level of severity
22 because they are using the severity rating scale for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 injurious falls from NQF as it's presented in the
2 report.

3 CO-CHAIR SEPTIMUS: You know, this
4 actually has the highest signal-to-noise of the
5 things that we've said. This is, if I read it
6 right, is 0.88. So we're going to put that up on
7 the screen in case -- now that we've all become
8 experts on signal-to-noise. Any --

9 MEMBER ARDIZZONE: Quick question.

10 CO-CHAIR SEPTIMUS: Yes.

11 MEMBER ARDIZZONE: The injury level
12 that they reported here, that's consistent with
13 what all those other measures are? Or are you
14 creating a new scale?

15 MR. STEWART: The one we use, none,
16 minor, moderate --

17 MEMBER ARDIZZONE: Yes.

18 MR. STEWART: -- I forget, is the NDNQI.

19 MEMBER ARDIZZONE: Good. Okay.
20 Thank you.

21 CO-CHAIR SEPTIMUS: Okay. Well,
22 seeing none, we can vote on reliability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. QUINNONEZ: Voting is now open for
2 the reliability of Measure 3003. Option 1, high;
3 option 2, moderate; option 3, low; and option 4,
4 insufficient.

5 Looking for two more votes. One. All
6 votes are in, and voting is now closed. For the
7 reliability of Measure 3003, 53 percent voted high,
8 47 percent voted moderate, 0 percent for low, and
9 0 percent insufficient.

10 CO-CHAIR SEPTIMUS: Okay. By the way,
11 is Michelle still on the phone?

12 MEMBER SCHREIBER: Yes, I am.

13 CO-CHAIR SEPTIMUS: Michelle, you are
14 fantastic. It really takes a lot of discipline to
15 be on the phone this long, so I just want to
16 acknowledge your presence.

17 MEMBER SCHREIBER: Thank you very much.

18 CO-CHAIR SEPTIMUS: And if you have any
19 comments, I guess you can raise your hand, and Drew
20 can let us know, okay? Because sometimes I know --

21 MEMBER SCHREIBER: Okay.

22 CO-CHAIR SEPTIMUS: But I just wanted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to make sure you were on the line so that you have
2 an opportunity to speak also. All right.

3 MEMBER SCHREIBER: Yes. Thank you. I
4 appreciate that.

5 CO-CHAIR SEPTIMUS: Sure. Validity.

6 MEMBER QUIGLEY: Valid -- oh, so sorry.
7 Thank you. For validity, the same method of
8 validity was utilized as the prior PACE measures,
9 and that is that they had experts to be able to do
10 a face validity of the measures. And then face
11 validity, they had 100 percent agreement on the
12 numerator, and 0.9 -- 90 percent agreement on the
13 numerator. There was no threats tested to
14 validity, so the validity was considered to be high.

15 CO-CHAIR SEPTIMUS: I want to say it to
16 remind me. This is face validity, so high is not
17 --

18 MEMBER QUIGLEY: Oh, thank you for that
19 correction.

20 CO-CHAIR SEPTIMUS: -- is not an
21 option. And --

22 PARTICIPANT: But 1 is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Yes. So, just --
2 well first we'll see if there are any comments, but
3 the scale has been changed by our wonderful folks.
4 So 1 is moderate rather than high, just to -- just
5 so people don't get confused when they vote.

6 But before we vote, are there any
7 comments on this? I guess people are getting kind
8 of used to this stuff here, so let's go vote.

9 MS. QUINNONEZ: Voting is now open for
10 the validity of Measure 3003. Option 1 of 3 is
11 moderate. Option 2 is low, and option 3 is
12 insufficient. Option 1 moderate, option 2 low, and
13 option 3 insufficient.

14 All votes are in, and voting is now
15 closed. For the validity of Measure 3003, 84
16 percent voted moderate, 16 percent voted low, and
17 0 percent for insufficient.

18 CO-CHAIR SEPTIMUS: Okay. Next is
19 feasibility.

20 MEMBER QUIGLEY: Thank you. There
21 were no issues surrounding feasibility. This is
22 something that has to be reported. It's under Type

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 2 quality reporting. And it's easier to collect
2 data on fall injuries than it is fall rates, so.
3 Thank you.

4 CO-CHAIR SEPTIMUS: Any comments?
5 Okay. Let's vote on feasibility.

6 MS. QUINNONEZ: Voting is now open for
7 feasibility of Measure 3003. Option 1 is high,
8 option 2 is moderate, option 3 is low, and option
9 4, insufficient.

10 All votes are in, and voting is now
11 closed. For the feasibility of Measure 3003, 32
12 percent voted high, 58 percent voted moderate, 11
13 percent voted low, and 0 for insufficient.

14 CO-CHAIR SEPTIMUS: Okay. Now we're
15 going to usability. And again, just because this
16 is a new measure, remember there hasn't been
17 anything that's publicly been reported, but there
18 is, I think, a plan that this will be publicly
19 reported as part of accountability through CMS. So
20 Pat?

21 MEMBER QUIGLEY: The issues
22 surrounding usability, obviously, this is going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 improve patient safety. Anything that can be done
2 to reduce injurious falls would improve function,
3 quality of life and reduce mortality.

4 And also, in discussing usability,
5 hopefully as this goes forward and they continue to
6 -- CMS continues to move forward, is that they
7 really will look at injury reduction strategies and
8 not just base this all on fall prevention. Because
9 there is a body of knowledge in a large health care,
10 national health care system that has actually
11 demonstrated the reduction in injurious falls
12 across settings of care.

13 CO-CHAIR SEPTIMUS: Any comments? And
14 we'll vote on usability and then we'll go to whether
15 the measure is acceptable for NQF endorsement. So
16 usability.

17 MS. QUINNONEZ: Voting is now open for
18 the usability and use of Measure 3003. Option 1 is
19 high; option 2, moderate; option 3, low; and option
20 4, insufficient information.

21 All votes are in. Voting is now closed.
22 For the usability and use of Measure 3003, 32

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent voted high, 53 percent voted moderate, 16
2 percent voted low, and 0 percent voted insufficient
3 information.

4 CO-CHAIR SEPTIMUS: Excellent. Now,
5 suitability for endorsement.

6 MEMBER QUIGLEY: May I make one more
7 comment please, sir?

8 CO-CHAIR SEPTIMUS: Absolutely, Pat.

9 MEMBER QUIGLEY: There was public
10 comments reported for this measure. There were not
11 public comments for all measures, but there was for
12 fall injury reduction. And the comments that
13 came forward were to help CMS and the PACE program
14 to also look at the interface between the
15 participant in their home setting and the use of
16 safe patient handling and movement, in trying to
17 reduce injurious falls associated with safe
18 handling, assisted transfers, assisted mobility,
19 because those patients can fall even with lift
20 devices.

21 So there were multiple comments in
22 relationship to that, which I think is really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 helpful, that would integrate safe patient handling
2 and movement with fall injury prevention, that
3 there is a body of knowledge with, as well,
4 especially in the Department of Veterans Affairs.
5 Thank you. That concludes my remarks.

6 MS. QUINNONEZ: If there are no other
7 questions, voting is now open for the overall
8 suitability for endorsement for Measure 3003.
9 Option number 1 is yes; option number 2 is no.

10 MR. STEWART: We're voting. We're
11 going to try to come back at 4:15.

12 MS. QUINNONEZ: All votes are in, and
13 voting is now closed. For the overall suitability
14 for endorsement for Measure 3003, 95 percent voted
15 yes, and 5 percent voted no.

16 CO-CHAIR SEPTIMUS: I really want to
17 thank the developers for hanging in there. I know
18 the first measure was a bit long, but I think very
19 fruitful in terms of constructive feedback. The
20 next two measures obviously went much easier, but
21 we really hope that this was instructive for you to
22 take back to maybe revise that first measure that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we did not find suitable.

2 But thank you for your efforts, and
3 thank you for hanging in there.

4 MEMBER SMIRZ: Thank you. We
5 appreciate all of the comments of the committee.

6 MR. STEWART: Thank you for your time
7 and expertise, and especially the meaningful input.

8 CO-CHAIR SEPTIMUS: So we'll stand
9 adjourned until 4:15. Then we'll talk about
10 opiates. Yes. We've had enough pain, right?

11 (Whereupon, the above-entitled matter
12 went off the record at 4:03 p.m. and resumed at 4:17
13 p.m.)

14 CO-CHAIR THRAEN: All right, we're
15 going to get started again. So this is Measure
16 Number 2940, Use of Opioids at High Doses in Persons
17 without Cancer. We have the Pharmacy Quality
18 Alliance here to present. And Leslie is the lead.
19 So we'll start out with the measure developers.

20 DR. EISENBERG: Good afternoon, and
21 thank you for considering our measure. My name is
22 Woody Eisenberg. I'm the Senior Vice President for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Performance Measurement at PQA. And I'm joined
2 here this afternoon by Lynn Pezzullo, who is our
3 Senior Director for Performance Measurement, and
4 also by Kristen Butterfield, who is our Director of
5 Research and Analytics, and also on the phone by
6 Lisa Hines, who is a Director for Performance
7 Measurement.

8 Hi Lisa. Are you there?

9 MS. HINES: Hi there. Can you hear me?

10 DR. EISENBERG: Lisa is here. We hear
11 you. Thank you.

12 MS. HINES: Thank you.

13 DR. EISENBERG: We have three measures
14 of potential opioid over-utilization that are
15 related, but each one's a little different.
16 They're being introduced to you as three separate
17 measures, and we're going to concentrate,
18 initially, on 2940, which is Use of Opioids at High
19 Dose in Persons without Cancer.

20 The description of this measure is the
21 proportion of individuals without cancer receiving
22 a daily dosage of opioids greater than 120 mg

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 morphine equivalent dose, MED, which by the way, is
2 the same as MME, which is what the CDC uses, morphine
3 milligrams equivalent, so those are the same terms,
4 for 90 consecutive days or longer.

5 A brief background, abuse and overdose
6 of prescription drugs is a major problem in public
7 health in the United States.

8 (Off microphone comments.)

9 DR. EISENBERG: Okay. So let's get a
10 little deeper into the measure, then. So as you
11 know, there's no FDA maximum dose or duration for
12 any of the opioid drugs. And studies, though, have
13 demonstrated that patient populations taking high
14 opioid doses for prolonged periods, are often
15 characterized by high rates of psychiatric and
16 substance -- psychiatric illness, substance abuse
17 disorders, and they have high, higher incidences of
18 drug overdoses, and higher death rates.

19 In 2010, the Washington State Agency
20 Medical Directors Group suggested 120 mg MED as a
21 dosage level that should not be exceeded without
22 special consideration. Subsequently, the Group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Health Cooperative implemented this guidance, and
2 demonstrated a reduction in their opioid deaths for
3 their patients with chronic pain.

4 Similarly, CMS Part D has adapted these
5 guidelines, the Washington State guidelines, for
6 their over-utilization monitoring system, which
7 all Part D plans implement today, and for the last
8 four years now, to initiate conversations with
9 their prescribers and approximately 40 million
10 Medicare members.

11 Since the introduction of this system in
12 2013, CMS has recorded an approximate 25 percent
13 reduction, compared to the 2011 baseline, in total
14 beneficiaries with at least 90 consecutive days
15 greater than 120 mg MED, and greater than three
16 prescribers, and greater than three pharmacies for
17 the opioid claims.

18 These, in fact, are the parameters that
19 we'll be discussing for the three measures. The
20 proposed PQA measures mirror these parameters, and
21 -- which have, by the way, been built into the CMS
22 program and are now reported to plans, as part of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 their patient safety reports.

2 Also, the high dose measure, the first
3 one that we'll be considering, is included this year
4 in the Medicaid Adult Core Measure Set, so that
5 plans can choose to report this.

6 As I've told you, PQA has developed
7 three measures related to prescription opioid
8 abuse. The measures examine the quality of use at
9 the health plan-level. I'd like to make that
10 clear, because I know in the comments there was some
11 focus on prescriber-level information, which is not
12 part of our measures.

13 They're all the health plan level, and
14 they're all related to high dose of the medications
15 over time, and access to medications through
16 multiple providers. And then the third one is the
17 combination of these two, high dose and multiple
18 providers.

19 I should add that our development
20 process included health plans and PDMs, and these
21 are the two entities that are impacted by these
22 measures. We also included prescribers as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consultants, so we've had input from the physician
2 community as well.

3 The measure, which is the first one, Use
4 of Opioids at High Dose in Persons without Cancer,
5 focuses specifically on the use of opioids at high
6 dose, and -- thank you.

7 MEMBER SCHULTZ: Thank you. Okay.
8 Measure 2940 is a new measure. It's one of three
9 related measures, except they are separate
10 measures. It's a process measure. And I think the
11 developer has given a nice overview.

12 I think, in terms of context, and
13 relevancy, we have an opioid epidemic. We have a
14 new law from our president which mandates
15 education, prevention, treatment and rehab. And
16 with this measure, we would have something to
17 measure. You manage what you measure, and absent
18 a measure, you don't know how you're doing.

19 So, much as last year, we had a
20 antimicrobial stewardship and use, this year we
21 have opioid use. And I'm sure this group will want
22 to jump right into appropriateness soon enough, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we have to just get a handle on a national metric
2 for variation and benchmarking, and get -- we have
3 to start somewhere. And this is a wonderful place
4 to start. So thank you for this measure.

5 I'll start with, it is again, a process
6 measure. It is claims-based, so it's
7 administrative data. It is at the health plan or
8 population level. And as we're calling for more
9 and more accountability for populations of health,
10 it fits in constellation of managing a population
11 to make them safer.

12 And it also has an aspect of helping us
13 to identify and eliminate waste, so
14 over-utilization of an unnecessary resource,
15 perhaps. So once we start to manage it -- once we
16 start to measure it, we'll get a better handle on
17 that.

18 So if we start with the evidence, they
19 actually did a very nice job. There is a systematic
20 review of the evidence specific to high doses of
21 opioids and opioids for a long duration.

22 As I said, there are no proven benefits

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for extended and high doses of opioids. Opioids
2 are wonderful drugs, used appropriately. Used
3 inappropriately, or just it's easier or it's
4 quicker to give you a prescription is not going to
5 cut it.

6 And so this will help for -- although
7 it's at the plan level, the plan will give the
8 feedback to the providers. I'm sure that's how
9 this logic is going to go help me understand why you
10 are way out of line with your counterparts in this
11 particular plan, and perhaps you should be
12 depaneled from our plan. And that's not
13 necessarily a bad thing.

14 So in terms of the evidence, what they
15 put was contemporary. And it was sound evidence,
16 and so our NQF staffers pre-rated it as high. And
17 I would agree with that.

18 CO-CHAIR THRAEN: Thank you.
19 Questions or comments about the evidence? Missy.

20 MEMBER DANFORTH: Can you just briefly
21 describe -- you provided a lot of evidence about the
22 dosage, the 120 mg, but what about the 90 days,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 instead of, for example, at 60?

2 DR. EISENBERG: There is no right
3 number for the number of days. But almost all of
4 the literature on chronic pain uses 90 days.
5 Chronic utilization of opioids is -- I wouldn't say
6 it's defined as 90 days, because that's
7 over-stating, but that's where all of the research
8 has been done, at 90 days.

9 CO-CHAIR THRAEN: Yanling?

10 MEMBER YU: Yes, thank you. My
11 question -- I'd really like the measure to address
12 this national crisis, this opioid -- since it really
13 harms lots of people, and it really doesn't
14 demonstrate worth at high dose.

15 My question is, the goal to have those
16 measure is really to help to improve the prescribing
17 behavior, and therefore improve the safety and the
18 quality. The level of analyses is at the plan
19 level, so Medicare, Medicaid and a commercial
20 health plan. So I was just wondering, have you
21 thought about why this not at a facility level and
22 is more directly connected with the prescribing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pattern and the communication between provider, who
2 really prescribes the drug, and the patient, who
3 receives those?

4 The improvement could be at a facility
5 level, rather than at the plan level. That's -- I
6 wonder you have any thoughts on that.

7 DR. EISENBERG: Yes. That's an
8 excellent comment. Thank you. To begin with, we
9 want to start out using the tools that we have. And
10 we have a wonderful tool in Medicare Part D, which
11 has 40 million Medicare members in it. And that's
12 called the Stars Rating System.

13 The Stars Rating System consists of
14 feedback to the plans and ratings that eventually
15 impact how popular they are in terms of their choice
16 and payment.

17 Our measures today are part of a patient
18 safety reporting system that CMS uses to give
19 feedback to the plans. The plans then take that
20 feedback, and they then contact their prescribers,
21 their pharmacies, their members. This is part of
22 the over-utilization monitoring system.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that's already in place. So we
2 thought it was best to start there, to use the tool
3 that's in place. And similarly, in Medicaid, as
4 that, as the core adult set expands, and becomes a
5 more leverageable tool, we think that it'll work
6 well there, also.

7 But we're not satisfied, and we don't
8 intend to stop there. We're in discussions right
9 now with CMS, to develop patient/prescriber-level
10 measures that are based on our measures that we're
11 discussing today.

12 We're also in discussion with other
13 measure developers, NCQA, to have this, or a version
14 of it, added to HEDIS, so that it'll impact
15 commercial plans. We think there's lots of
16 different areas that we, where we can go. This is
17 where we're starting.

18 MEMBER YU: Okay.

19 CO-CHAIR THRAEN: Kimberly?

20 MEMBER APPLGATE: I agree that it's a
21 really important measure. I had a question about
22 the -- to the developers about understanding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 missing data, and if there was any consideration of
2 what's been in the news about when the patients
3 can't get the prescriptions filled, if there's too
4 much attention or unintended consequences on too
5 much attention to not filling scrips or decreasing
6 script delivery, that family, friends, street sales
7 will go up, and we won't capture that. So I just
8 wanted to address that.

9 DR. EISENBERG: The measure is -- let me
10 address your first question first. The measure is
11 based on administrative claims data, which has
12 really shown virtually no missing data. And in
13 order for plans to get paid by CMS for the services
14 they provide, they have to provide all completed
15 claims to CMS.

16 Those claims are scrubbed first by the
17 individual health plans. They are then sent over
18 to CMS, where their contractor further scrubs them
19 to turn them into what's called prescription drug
20 events.

21 Everybody works real hard to get every
22 piece of that data. It's a natural -- and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measures are just a natural byproduct of that, so
2 we are very confident that we have virtually all the
3 data.

4 In terms of the second point you raised,
5 I think this is a very important consideration. As
6 a society, we're always wrestling between
7 under-treating patients in pain, and over-treating
8 patients who may legitimately have pain or not.
9 And I think that pendulum swings back and forth,
10 sometimes to extremes.

11 So right now, as a society, we're really
12 focusing almost entirely on over-utilization of
13 these drugs. And yes, we are concerned that
14 they're -- that this could be part of an effort in
15 this country that pushes things a little too far so
16 that some patients may in fact be under-treated.

17 But I would just add that, as you know,
18 virtually every agency in Health and Human Services
19 has a program now, for this. So this would be one
20 small part of one program.

21 MEMBER APPLEGATE: My only other
22 comment, just for the record, just for the record,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is that we're not providing behavioral services in
2 psychology and psychiatry to back up what we're
3 trying to do. And so I think we may end up with
4 unintended consequences.

5 CO-CHAIR SEPTIMUS: Just to remind
6 people, we still have to go through the evidence,
7 and we haven't voted on that yet, and you're already
8 jumping to measures and stuff. So let's go in
9 order.

10 CO-CHAIR THRAEN: Steve. No? Are
11 there any other questions or comments, just related
12 to the evidence. Steve?

13 MEMBER LAWLESS: Do you sort out by
14 state? Some states have very, very strict
15 requirements of documentation of opioids,
16 treatment plan, very detailed, and if you don't,
17 there are penalties. If there's grievances, I
18 mean, people will, could lose their licenses,
19 provision.

20 Sorting out that has the biggest impact
21 in terms of reducing people who are on medications,
22 because the onerous requirements to document why

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they're on it versus just having a measure. Do you
2 -- are you able to sort, state by state, those
3 differences as that impact?

4 DR. EISENBERG: We could. The data
5 comes in depending upon the program, of course. If
6 it's a Medicare program, then the data will be
7 national, but it will be parsed according to the
8 health plan.

9 So if there's a health plan that works
10 only in New York State, then that's the information
11 we'll get from that health plan. But there may be
12 another health plan that works in New York, New
13 Jersey and Connecticut, and there, the data will be
14 at the contract level, meaning no, was the answer
15 to your question.

16 MEMBER LAWLESS: Okay.

17 DR. EISENBERG: This year, for the
18 first time, Medicaid is implementing the high dose
19 measure. That will clearly be state-specific.
20 And then as this moves out into commercial areas,
21 we anticipate that it could even be down to regional
22 and perhaps even cities.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Any other questions
2 about the evidence before we vote? All right.
3 Let's vote.

4 MS. QUINNONEZ: We are now voting on
5 Measure 2940, Use of Opioids at High Dosage in
6 Persons without Cancer. Voting is now open for
7 evidence. Option number 1, high; option number 2,
8 moderate; option number 3, low; and option number
9 4, insufficient.

10 All votes are in, and voting is now
11 closed. For the evidence of Measure 2940, 70
12 percent voted high, 30 percent voted moderate, 0
13 percent for low, and 0 percent for insufficient.

14 CO-CHAIR THRAEN: All right. Leslie,
15 you want to cover performance gaps?

16 MEMBER APPLEGATE: Okay, this measure
17 was tested in three different health plan sources:
18 a Medicare population, a commercial plan and a
19 Medicaid population.

20 The testing in the Medicare population
21 was a huge number, 7-some million, I believe.
22 Medicaid range was over a million, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 commercial plan was perhaps the smallest. It was
2 only about 200,000 patients.

3 There is distribution, and there's
4 variation across the plans, and then definitely
5 within the plans. And this measure also has
6 evidence of disparities, in terms of a lower
7 socioeconomic, in terms of people who are getting
8 the low income subsidy.

9 Their measure of use was the greatest.
10 It was 62.4 per 1,000, which is like double, triple
11 the other patient populations. So it's like, who
12 are these people, and is it just quicker and easier
13 to give them a script and just be done with it?

14 So there's a great performance gap in
15 variation, so opportunity for improvement.

16 CO-CHAIR THRAEN: Questions?
17 Yanling?

18 MEMBER YU: In the gap estimate, I can
19 see, definitely there's a big gap. It'll be for
20 Medicare and Medicaid. Now, this commercial
21 health plan, I'm sure maybe you have data, but it's
22 not sure. You didn't show 25 percentile, 50, 75 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 quartile range. You only quoted mean. Is there
2 any reason for that?

3 MS. BUTTERFIELD: Yes. The reason is
4 because we only had access to one commercial plan,
5 so there was no way to, in our testing, look at a
6 distribution, because there was only one plan that
7 was included in the analysis.

8 MEMBER YU: Okay. So there will be
9 just one?

10 MS. BUTTERFIELD: Just one commercial
11 plan.

12 MEMBER APPLEGATE: And a level of
13 measurements at the plan level.

14 MS. BUTTERFIELD: Correct.

15 MEMBER YU: Okay. Yes.

16 MS. BUTTERFIELD: Yes.

17 MEMBER YU: Okay, just one. Okay. Oh
18 to -- yes, you mentioned one plan. I'm sorry.

19 CO-CHAIR THRAEN: Questions? Shall we
20 vote?

21 MS. QUINNONEZ: We are -- voting is now
22 open for performance gaps of Measure 2940. Option

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 number one is high, option number two is moderate,
2 option number three is low, and option number four,
3 insufficient.

4 Here it is. That should be 19. Voting
5 is now closed. All votes are in. For performance
6 gaps, we have 84 percent voted high, 16 percent
7 voted moderate, 0 percent for low, and 0 percent for
8 insufficient.

9 CO-CHAIR THRAEN: All right,
10 reliability.

11 MEMBER APPLEGATE: Okay. For
12 reliability, it was a signal-to-noise analysis, who
13 looked across the three different groups, the
14 commercial, the Medicare and the Medicaid.

15 For the Medicare testing, it was a
16 sample, a convenient sample of over 700 Part D
17 plans, compromising a total of over 7 million
18 patients, aged 18 and over.

19 The commercial plan, again, had the
20 smallest number, but it was one plan. And the
21 Medicaid testing, it included eight state-based
22 prescription drug plans covering six states, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again, there were 1.4-plus million patients
2 included as, in part of the sample.

3 The reliability scores, the mean
4 reliability was rather impressive, nearing 1. The
5 minimum was a 0.98 and the maximum was a 0.99, so
6 incredibly high signal-to-noise ratio here.

7 MS. BUTTERFIELD: Can I speak to that
8 very quickly?

9 CO-CHAIR THRAEN: Sure.

10 MS. BUTTERFIELD: We actually only did
11 the reliability testing within the Medicaid
12 population, so just to state that. So there was
13 eight plans, or eight -- yeah, eight plans from six
14 states that were included in the reliability
15 analysis, and that was mainly because of data
16 access, as well that the measures being used in the
17 Medicaid adult core set. So that's where the
18 reliability statistics came from, was the Medicaid
19 population.

20 MEMBER WU: Was that because --

21 MS. BUTTERFIELD: And not the Medicare
22 or commercial one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WU: Was that because you
2 couldn't get a drug-dependent patients out of
3 Medicare, or what was the problem?

4 MS. BUTTERFIELD: To do reliability
5 testing, you need plan level data, and we were not
6 able to get contract level data for Medicare. But
7 we had that for Medicaid, and that's where we --
8 that's why we did the reliability testing within the
9 Medicaid population.

10 MEMBER APPLGATE: Thank you for coming
11 forward with that.

12 CO-CHAIR THRAEN: Lisa?

13 MEMBER MCGIFFERT: I -- can you
14 explain the denominator again to me? I'm having
15 some trouble with some of the days, supply is
16 greater than or equal to 15, and I don't know, I'm
17 missing something here.

18 MS. PEZZULLO: Right. So the
19 denominator looks for individuals who received
20 prescription -- who had prescription claims for --
21 two or more prescription claims for opioids, where
22 when you sum the day's supply, there are at least

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 15 days' supply when you're summing those two, or
2 possibly more.

3 And the reason being that we wanted to
4 focus on those individuals that are potentially
5 using these for chronic use, and eliminate those
6 smaller -- exactly, you know, a dental procedure
7 where you have a five-day supply type of thing. So
8 thank you.

9 CO-CHAIR THRAEN: Yanling? And then
10 Missy, you had yours up, and then Jason.

11 MEMBER DANFORTH: I just want to
12 clarify -- so Lisa asked my question, but now I have
13 a clarifying question. So if you're trying to
14 eliminate the sort of one-time use, the 15 days
15 makes sense, but why the two separate
16 prescriptions?

17 What about a -- because what you'd be
18 missing is basically like a one-time prescription
19 for 90 days. I mean, you could be missing what
20 you're looking for on the numerator by virtue of how
21 you've defined the denominator.

22 MS. PEZZULLO: I -- you know, just based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the requirements and regulations around
2 dispensing of these types of medications, it's not
3 likely that they would be dispensed as a 90-day
4 quantity, 90-day supply. It's more likely that the
5 maximum would be a 30-day supply, in most states.

6 CO-CHAIR THRAEN: So -- okay. Hold on.
7 So Kendall, you said something related to that?

8 MEMBER WEBB: Sorry. I'm actually out
9 of order. You got me out of order. But, I mean,
10 you're still not going to catch one if it's a 30-day
11 supply. I can tell you, my ortho docs routinely
12 prescribe 30-day, and then if they can, 90 days.
13 You can't really do 90 days anymore until you've
14 already done your 30 days, but single prescription,
15 they'll get as many out of it as they can.

16 CO-CHAIR THRAEN: I think it's been
17 duly noted. Jason, and Yanling, did you still have
18 a question? Okay. Jason first, and then I'll come
19 back to you.

20 MEMBER ADELMAN: I have two questions.
21 I'm sorry if I missed it, but did you say what was
22 the intended use of the measure, meaning like, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it to monitor particular physicians and their
2 practice, or is it whole health plans, or all of the
3 above? How do you intend to use the measure?

4 DR. EISENBERG: The measures are all at
5 the health plan level. Now the health plans have
6 great incentive to be in communication with their
7 physicians as well as with their patients, but the
8 health plans at the contract level are what are
9 actually being measured.

10 MEMBER ADELMAN: And my second question
11 was again about the denominator. I understand, I
12 understand the denominator, I just don't understand
13 the rationale for the denominator, meaning like,
14 for example, what if it was, instead of what it is
15 now, if it was all patients? What is the benefit
16 of this versus all patients?

17 It would still -- you said something
18 about excluding those that have, only take it for
19 a few days, but the numerator does that by the nature
20 of what the numerator is. You have to be on it for
21 90 days straight at a very high dose. So what is
22 the benefit of the current denominator versus all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients?

2 MS. PEZZULLO: Right. So the
3 discussion within the measure development
4 workgroup was to, if you include all patients, then
5 you could potentially be inflating your denominator
6 inappropriately, because they wouldn't be
7 included, potentially, in the numerator.

8 MEMBER ADELMAN: But why is that
9 inflating the denominator or just accurately
10 reflecting the denominator, meaning like, if you
11 have a hundred patients with chronic meds, over a
12 thousand, or a hundred over a million, then you're
13 doing much worse if you have a hundred over a
14 thousand.

15 And just because you have a million
16 patients doesn't mean you're inflating it, just
17 means you're taking care of a lot of people and
18 you're much bigger. So I don't follow the logic.
19 And the denominator confuses me.

20 And I feel like I, if I think about it,
21 I could feel like that's introducing a bias, because
22 now the denominator is people that have lots of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients on some kind of narcotics, for whatever
2 reason, and so I don't see the logic for it, and I
3 see the potential for introducing a bias.

4 MS. PEZZULLO: Yeah. So I'll go back
5 to just the decisions made by the measure
6 development workgroup was, the definition of the
7 denominator was more an attempt to focus on those
8 that are more -- a denominator that better defines
9 chronic use, and eliminating some of those just very
10 short-term, acute type of prescriptions. But I
11 hear your point.

12 CO-CHAIR THRAEN: Yanling?

13 MEMBER YU: Yes, thanks. My question
14 is about the reliability of implementing the
15 measure for the commercial plan. I know -- I
16 understand Medicare and Medicaid would have, you
17 know, lots of drive to really, to adopt this type
18 measure, but what about the commercial plan? Do
19 you have a -- health plan, do you have any thoughts
20 to share like, something like that?

21 You know, you -- when you did the gap
22 analysis, you only had one plan, really didn't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two or three plans and to really do a comparison.
2 Now I'm just wondering, you know, what would drive
3 them to adopt it?

4 DR. EISENBERG: Yeah, right now there
5 really isn't a lever, other than public pressure,
6 the knowledge that they all have, which we all have,
7 that this is an epidemic, and the fact that the
8 prescribers that are in commercial plans are also
9 in Medicare and Medicaid plans, so it's likely that
10 there will be trickle down to commercial plans as
11 well. But there isn't anything to force them to
12 adopt this right now.

13 CO-CHAIR THRAEN: There is a cultural
14 shift going on, towards value-based payment. And
15 the -- Medicaid and Medicare are moving towards
16 accountable care organizations, which are managed
17 care versions of the local health plans.

18 And as they start to implement some of
19 these things for the Medicaid and the Medicare
20 population, it will also bleed into the commercial
21 plans, the non-Medicare and the non-Medicaid plans
22 as well. So it's moving in that direction. Laura

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then Albert.

2 MEMBER ARDIZZONE: Thank you. About
3 your exclusion criteria, I absolutely understand
4 the exclusion of cancer and patients in hospice,
5 coming from a cancer institution. But I was also
6 thinking about patients with chronic conditions, so
7 cystic fibrosis, sickle cell, HIV.

8 Has there been any thought of maybe not
9 excluding them, but stratifying for those? Maybe
10 the Medicaid plans or something like that may have
11 a higher proportion of patients with some of those
12 chronic illnesses, who are a little different than
13 opioid-seeking patients.

14 DR. EISENBERG: Yes. There was lots of
15 conversation, and we've also received lots of
16 consults who have a variety of different opinions
17 about that.

18 I think our final decision not to
19 exclude those patients had to do with the evidence
20 from the CDC guideline, among other places, that was
21 not able to demonstrate efficacy of higher doses,
22 so that although these patients may have chronic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pain, they may have severe chronic pain, there
2 doesn't seem to be evidence to support that they
3 need a dose higher than 120 mg, that it benefits
4 them. In fact it may be worse for them.

5 So we have actually had passionate
6 arguments that these patients should clearly be
7 included because it's for their own good. And some
8 of our consultants that also participated in the CDC
9 effort, have been now addressing, for example, the
10 cancer patients that are five-year survivors and
11 trying to get their lives together, but are addicted
12 to opioids, so.

13 CO-CHAIR THRAEN: Albert, did you have?

14 MEMBER WU: Just going to comment that
15 commercial plans, particularly disability
16 insurers, can exert influence on their, who they
17 cover, and they can, in fact, refuse payments, or
18 send messages to providers who routinely prescribe
19 higher than needed doses, and we've actually worked
20 with some private plans that have managed to
21 significantly lower their prescriptions of
22 opiates, just by doing that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR THRAEN: I think, in reality,
2 the environment's looking for something. It's
3 been looking for some definitive recommendations to
4 move in this direction. I think the plans are
5 actually going to embrace it quite well.

6 Shall we take a vote on the reliability
7 question? Did I miss somebody? Missy, yeah?

8 MEMBER DANFORTH: So if we disagree
9 with the denominator, is -- that would impact the
10 reliability voting? Because I think Jason
11 disagreed -- had some problems with the
12 denominator. I have some problems with the
13 denominator. So --

14 MR. LYZENGA: I might argue that it's
15 the validity of the -- I would sort of see
16 reliability as a question of whether the
17 denominator and other specifications are clearly
18 and precisely defined. And then if you think that
19 they are not actually reflective of the evidence or
20 the, you know, of quality, then that would go under
21 validity. Does that make sense?

22 CO-CHAIR THRAEN: And just so that I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understand and everybody else understands, what's
2 the disagreement with the denominator again, just
3 quick, you know, in a reframe?

4 MEMBER DANFORTH: It seems like the way
5 it's defined, which is two or more prescriptions ---

6 CO-CHAIR THRAEN: Oh, okay. It's --

7 MEMBER DANFORTH: -- on at least two
8 separate dates, like you're missing a big --

9 CO-CHAIR THRAEN: Okay.

10 MEMBER DANFORTH: Yeah.

11 CO-CHAIR THRAEN: Call for the vote?

12 MS. QUINNONEZ: Voting is now --

13 MEMBER WEBB: Can I just put one more
14 thing in? What do we do with trauma centers? What
15 do we do with trauma centers? This is going to kill
16 trauma centers. What we're going to do --

17 CO-CHAIR THRAEN: So the question is,
18 what do we do with trauma centers? I'll give that
19 to the developers.

20 DR. EISENBERG: Could you elaborate,
21 why will this kill trauma centers?

22 MEMBER WEBB: Because we see a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients who require more than two prescriptions,
2 even in a 30-day period sometimes. I mean, most of
3 the trauma centers are visited by the low-income
4 population. It's a lot of disabled patients.

5 I think this is a place where your
6 socio-economic -- you're just not going to get the
7 same -- you can't compare inner-city urban trauma
8 centers to a community with a payer mix that is, you
9 know, primarily private.

10 PARTICIPANT: This is not a hospital
11 case, though. This is a plan case, right, which --

12 CO-CHAIR THRAEN: So --

13 PARTICIPANT: So outpatient.

14 CO-CHAIR THRAEN: Your clients have no
15 plan.

16 MEMBER WEBB: Okay.

17 CO-CHAIR THRAEN: Yeah. They will
18 have a Medicaid coverage or no coverage, for you,
19 likely, for the uninsured. Basically the folks
20 that you're talking about, socioeconomic folks fall
21 into that category. This is a measurement at the
22 plan level.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So this, the plans who cover a variety
2 of folks that show up at your trauma center, they're
3 rolled into all the other ones that are not showing
4 up at the trauma center, the measures.

5 MEMBER WEBB: So, like my payer mix is
6 45, 50 percent Medicare, Medicaid, more Medicaid
7 than Medicare. My Medicaid plans potentially are
8 going to get penalized, correct?

9 CO-CHAIR THRAEN: Only for your
10 behavior. But the trauma, I think the trauma
11 question is going to -- is a generalizable question
12 across plans. It's not going to penalize a
13 specific plan.

14 MEMBER MCGIFFERT: Is it outpatient or
15 inpatient? Or is it every prescription?

16 DR. EISENBERG: This is outpatient.
17 And it -- right. Ninety days -- yeah.

18 MEMBER DANFORTH: Wait, but the
19 denominator is still two prescriptions -- 15 mg,
20 outpatients, okay.

21 CO-CHAIR THRAEN: Michelle?

22 MEMBER SCHREIBER: Thank you. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, I have to agree with the concerns about the
2 trauma center. We're an inner-city trauma center,
3 and our inpatients and our outpatients might fall
4 under the category of patients who are using this
5 more often.

6 You made the comment of a higher use in
7 urban areas, and that it may be just because we're
8 writing scripts because it's easier. That's not
9 true. We have a disadvantaged population who have
10 had gunshot wounds, chronic pain, HIV, sickle cell,
11 spinal cord injuries. And I really fear that some
12 of these plans, such as our plan, would be
13 penalized.

14 Our patients are in plans. Medicaid
15 patients in the State of Michigan all have to be in
16 some kind of a plan. Plus we have the dual
17 eligibles that are in some kind of a plan.

18 So I guess I'm looking to see what your
19 plans are, I guess, for either stratification or
20 risk adjustment for some of these issues, or your
21 justification for not doing it. Thanks.

22 DR. EISENBERG: The way the data has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been analyzed and presented to this committee is
2 that it would be stratified differently for
3 Medicare, Medicaid and commercial, because we
4 noticed, as you have, that there are greatly varying
5 degrees of measure rates, two to three times for
6 Medicaid plans, for an example. So yes, that is
7 part of the plan.

8 CO-CHAIR THRAEN: Okay.

9 MR. LYZENGA: Again, I would just say
10 that your voting on that particular issue should
11 probably be reflected in the validity as well.

12 CO-CHAIR THRAEN: Okay. So we're back
13 to voting on reliability.

14 MS. QUINNONEZ: Voting is now open for
15 the reliability of Measure 2940. Option one, high,
16 option two, moderate, option three, low, and option
17 four, insufficient.

18 All votes are in, and voting is now
19 closed. For reliability of Measure 2940, 62
20 percent voted high, 33 percent voted moderate, five
21 percent voted low, and zero percent for
22 insufficient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: All right, validity
2 now.

3 MEMBER APPLGATE: Okay. So with this
4 new measure, what they have provided us is, at the
5 measure score, face validity only, which is a
6 limitation for us, in terms of, we now cannot choose
7 high as an option. We have to start at moderate.

8 It was an expert panel, and there's some
9 questions and some comments as to the composition,
10 and was that expert panel representative, because
11 they're -- in part, they're part of the development
12 process of the measure.

13 However, they did seem to be pretty
14 representative of industry and pharmacists, in
15 general, so I took great comfort into sort of the
16 array of the experts who were on the panel, and their
17 credentials.

18 So in terms of the ability for this --
19 does this variable vary, it does vary. And then the
20 analyses were conducted amongst the Medicare
21 population and the Medicaid population, and there
22 are distributions of the performance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There's a little less variation amongst
2 the Medicare population. And since we don't have
3 a frame of reference, I don't know if these numbers
4 are -- strike me as just like too much to begin with,
5 even though there's not a lot of variation.

6 But definitely amongst the Medicaid
7 population, there's a vast amount of distribution
8 from a minimum of 8.15 to a maximum of 6.645, which
9 seems like a lot of variation. So, you know, until
10 we have the measure and we have national experience,
11 we really don't know is -- what's the right number.

12 Right now, we've got a lot of variation,
13 and it looks like a lot of opportunities to
14 understand the next level of drill-down, but we need
15 the measure first. So.

16 CO-CHAIR THRAEN: Questions? Laura?

17 MEMBER ARDIZZONE: You know, I was --
18 this is for the developers. I was -- I didn't
19 understand NQS' initial comments that some of the
20 faces -- face validity was done by the same experts
21 and stakeholders. Because when I cross-referenced
22 the list, it looked like there was only one person

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 who was the expert panel who was also on the
2 measurement development list. Is that correct?

3 MS. PEZZULLO: Yes. So your
4 interpretation is correct. So they were two
5 separate groups. So we had individuals that
6 participated on what we call the measure
7 development workgroup, that really gets -- digs in
8 and defines all the aspects of the measure. And
9 then our quality metrics expert panel is a different
10 group of individuals that assess the measure
11 specifications once they've been forwarded along by
12 the measure development group.

13 CO-CHAIR THRAEN: Yanling? I can't
14 talk anymore. Yanling. There you go.

15 MEMBER YU: Perfect. Thank you.
16 Quick question. On this meaningful difference,
17 for Medicare population, there's no P value coded
18 for the inter-quartile range, but there's one for
19 Medicaid. So do you have the number, just
20 happened, do you have?

21 MS. BUTTERFIELD: Again, that had to do
22 with data availability, so we were able to do that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the Medicaid plans, because we had access to
2 plan-level data, whereas the Medicare data, we just
3 had information on the distribution, but we didn't
4 have plan-specific.

5 There's 700-plus contracts with the
6 Medicare population, and we had the overall data but
7 not data for each and every separate 700 plans, if
8 that makes sense. So we weren't able to do P-value
9 testing based on that, but we do have the
10 percentiles and the inter-quartile range, and the
11 standard deviation for that population. For
12 Medicare it was -- do I have it here? It was 8.32,
13 which shows there's some variation there.

14 MEMBER YU: Okay. Thanks.

15 CO-CHAIR THRAEN: Michelle, I think you
16 wanted to make a comment about validity.

17 MEMBER SCHREIBER: No. My comment was
18 from before, and it was about the question, not just
19 the stratifying, I guess, by Medicare and Medicaid
20 but by socioeconomic and demographic
21 stratification.

22 CO-CHAIR THRAEN: Okay. Did you get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your comment made?

2 MEMBER SCHREIBER: More or less, I
3 think. Thanks.

4 CO-CHAIR THRAEN: Okay. Any other
5 questions or comments on validity? Shall we vote?

6 MS. QUINNONEZ: Voting is now open for
7 the validity of Measure 2940. Option one,
8 moderate, option two, low, option three,
9 insufficient. Option one moderate, option two
10 low, and option three insufficient.

11 All votes are in and voting is now
12 closed. For the validity of Measure 2940, 67
13 percent voted moderate, 33 percent voted low, and
14 zero percent insufficient.

15 CO-CHAIR THRAEN: All right, next one's
16 feasibility.

17 MEMBER APPLGATE: These are claims
18 data. These data are being collected currently.
19 They're used in other programs right for now, so
20 they're probably pretty solid and they're probably
21 pretty clean, given what you described, in terms of
22 the process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: So I just want to ask
2 a quick question. So one of the things that claims
3 data that often doesn't give you, is the specifics.
4 So in claims data for prescription drugs, you're
5 getting dosage, you're getting frequency, you're
6 getting all of the above. So you -- it's a really
7 easy analysis. I mean, not easy, but, you know,
8 okay. It can be done, easily.

9 DR. EISENBERG: Yes.

10 CO-CHAIR THRAEN: Yanling, and then
11 Lisa.

12 MEMBER YU: Okay. My question, I
13 think, is about the -- on page nine, it said, a
14 certain use of measures are only approved by license
15 agreement with the -- from the development, and that
16 you were involved some of -- you have -- you were
17 going to reserve the right to determine the
18 condition under which were approved or licensing
19 fee may be even charged.

20 So could you explain to me that, what do
21 you mean, certain use of the measure? And what do
22 you envision that -- what kind of things that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will be charge? Will that be a, encourage them to
2 use for a certain way, or it will be hamper them,
3 make them don't want to use your measure? So which
4 way to go? How do you evaluate that?

5 DR. EISENBERG: The measures are free
6 for use for all of the federal and state programs.

7 MEMBER YU: Right.

8 DR. EISENBERG: There's a whole
9 industry that's grown up around advising the health
10 plans, calculating measures for them, tutoring them
11 on how to do the measures, doing the calculations
12 for them.

13 These businesses that have grown up
14 around the measurement need to have the measures and
15 current NDC lists. They're the ones that we ask to
16 license the measures.

17 MEMBER YU: So it's not really the plan
18 itself, whether they adopt your measures. That --
19 I'm trying to understand the fee. So it's not if
20 someone's a plan, particular plan said that, I want
21 to use, adopt your measure, or implement, then you
22 will charge them fee for doing that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EISENBERG: No. And in --

2 MEMBER YU: That's not the --

3 DR. EISENBERG: -- in fact, let -- yeah.
4 It's -- it varies by program. So I'll give you the
5 biggest example, Medicare. The plans don't
6 actually do the calculations. The plans don't
7 actually need the measures.

8 All the plans do is submit their claims
9 data to CMS, and the CMS contractor does all of the
10 calculation. The contractor and CMS are not
11 charged a licensing fee.

12 MEMBER YU: Okay. Will you do for
13 free, just let them to use it and, you know --

14 DR. EISENBERG: Well yes, to --

15 MEMBER YU: -- to encourage more, you
16 know, commercial plan to adopt this, you know, to
17 improve the -- to encourage more, you know, wide
18 adaptation of this measure?

19 DR. EISENBERG: For the most part, yes.
20 And it's not just plans. It's also state
21 alliances, state departments of health. There's
22 lots of organizations that we work with. And for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the most part, there's no licensing fee. It's the
2 organizations, the companies that are out there
3 making a profit, in order to be vendors to these
4 various plans, that we ask for licensing.

5 MEMBER YU: Okay. Thank you.

6 CO-CHAIR THRAEN: Lisa, then Missy.
7 Missy?

8 MEMBER DANFORTH: Just quickly, I know
9 you only tested this with one commercial plan, so
10 I think it's important to understand if they had any
11 feedback, or if their experience with the measure
12 was different than the contractor's.

13 MS. BUTTERFIELD: We did not get any
14 feedback like that from our commercial plan that was
15 tested.

16 MEMBER WEBB: Did it -- just to be
17 crystal clear, any commercial plan, like Aetna,
18 Cigna, they can use the measure at no cost, correct?

19 DR. EISENBERG: So if Aetna and Cigna
20 and the rest of them, if they're -- if they need to
21 use our measures so they can improve their own
22 internal quality performance, there's no charge.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If Aetna and Cigna and the rest of them
2 decide they're going to create a new product, that
3 they're going to be selling to Empire, in New York,
4 we would ask them to license our measure.

5 MS. PEZZULLO: So just, basically as a
6 general rule of thumb, where there would be a
7 licensing fee involved is where others are using the
8 measures within a, I'll say, quote -- I'll say, a
9 commercial product, not necessarily a commercial
10 plan, commercial product where they are making
11 money from selling their product to others.

12 So that's where, typically, that's the
13 general place where there would be a licensing fee
14 involved.

15 CO-CHAIR THRAEN: All right. I'm
16 going to call for the vote.

17 MS. QUINNONEZ: Voting is now open for
18 the feasibility of Measure 2940. Option one, high,
19 option two, moderate, option three, low, and option
20 four, insufficient.

21 All votes are in, and voting is now
22 closed. For feasibility of Measure 2940, 60

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent -- 62 percent voted high, 38 percent voted
2 moderate, zero percent for low, and zero percent for
3 insufficient.

4 CO-CHAIR THRAEN: All right,
5 usability.

6 MEMBER APPLEGATE: Okay. Currently,
7 this is a new measure. It's not currently being
8 used for public reporting. It is used in
9 accountability for the Medicare D over-utilization
10 monitoring system, so it is being used there,
11 currently.

12 I think it hasn't been around long
13 enough, so if we're talking about improvement
14 results, this is the initial endorsement, so we have
15 to start somewhere. But ---

16 CO-CHAIR THRAEN: What's the planned
17 usability?

18 MEMBER APPLEGATE: Right now it says
19 there is no planned use, however, given the recent
20 law, and Medicare's interest in moving to
21 population health, I would not be shocked or
22 surprised if they put it out there soon in the ACO

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 world for sure.

2 CO-CHAIR THRAEN: This is a great
3 example where the departments of health get the
4 -- we have an all-payer database, claim database
5 now, and I can see the departments of health using
6 this measure to evaluate what's going on in their
7 own environments. So I see this as very useful.

8 Any comments or question -- oh, Laura?

9 MEMBER ARDIZZONE: Just quickly, just,
10 I have a question. It said, CMS has announced plans
11 to move this measure into 2019, Part D, display
12 measures. What's a display measure?

13 DR. EISENBERG: Part D has a sort of a
14 tiered performance measurement system. The big
15 deal is the star ratings, and they're public
16 information. The plans have to perform well on
17 them, or they can get tossed from the program. And
18 if they perform really well on them, they get bonus
19 standings. So that's a big deal.

20 The next tier down is a display measure.
21 A display measure means that there's public
22 information available. You can go to the site and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 see what your health plan did for the measures that
2 are display measures.

3 They're also the basis for compliance
4 actions. That means that CMS has a dialogue with
5 the plan, and if they're not happy that they've
6 corrected a problem, the plans, again, can have
7 restrictions, in terms of how they're marketing
8 their plans, whether they can move into new areas,
9 et cetera, et cetera.

10 And then the third layer, which is where
11 the measures are now, is in patient safety reports,
12 which are just discussions between CMS and the
13 individual plan, not made public.

14 CO-CHAIR THRAEN: Okay. Let's call
15 for the vote. Call for the vote.

16 MS. QUINNONEZ: Voting is now open for
17 the usability and use of Measure 2940. Option
18 number one is high, option number two, moderate,
19 option number three, low, option number four,
20 insufficient information.

21 Okay. Voting -- all votes are in.
22 Voting is now closed for the usability and use of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Measure 2940. 52 percent voted high, 43 percent
2 voted moderate, five percent voted low, and zero
3 percent voted insufficient information.

4 CO-CHAIR THRAEN: And then finally,
5 endorsement, suitability for endorsement. Go
6 ahead.

7 MS. QUINNONEZ: Voting is now open for
8 the overall suitability for endorsement of Measure
9 2940. Option one, yes, option two, no.

10 All votes are in and voting is now
11 closed. For the overall suitability for
12 endorsement, 100 percent voted yes.

13 CO-CHAIR THRAEN: All right, moving
14 forward. So we have two more measures in this
15 cluster. The next one is 2950, Use of Opioids for
16 Multiple Providers in Persons without Cancer. And
17 Laura is the lead.

18 Do you want to -- do you think you can
19 summarize, kind of, what the specific differences
20 might be, between this one and the one we just did?

21 DR. EISENBERG: Yes. I can do that --

22 CO-CHAIR THRAEN: You can do that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EISENBERG: -- easily.

2 CO-CHAIR THRAEN: Never mind.

3 DR. EISENBERG: This one does not rely
4 on dose or duration at all. However, what we're
5 looking now is the proportion of individuals
6 without cancer receiving prescriptions from four or
7 more prescribers, or -- and, and four or more
8 pharmacies during the measurement period.

9 It's doctor shopping, and pharmacy
10 shopping. And the basis for it is that there is
11 honestly moderate evidence that there's a
12 relationship between numbers of prescribers,
13 numbers of pharmacies and patients having bad
14 outcomes of drug overdose and higher death rates.

15 Although there's no consistent
16 evidence-based definition of what that means,
17 doctor shopping and pharmacy shopping, several
18 studies have demonstrated that patient populations
19 receiving medications from four or more prescribers
20 and four or more pharmacists have a higher incidence
21 of these bad outcomes.

22 CO-CHAIR THRAEN: Kendall?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WEBB: So, just another
2 question. We have this fight in Florida right now,
3 where our E-FORCSE, which is our ability to see
4 where other opioids have been prescribed, is
5 terrible. And there's nothing we can do it -- do
6 about it.

7 What do you see as the plan for how to
8 use this in a way to enforce decreased opioid use?

9 DR. EISENBERG: The present system
10 consists of the prescription drug monitoring
11 programs. I assume that's what you were talking
12 about. There's 50 of them. They're different in
13 every state. They don't talk to one another.
14 There's lot of problems, but they'll get better.

15 What this measure would do, it was -- it
16 would elevate the responsibility for monitoring the
17 multiple prescribers and multiple pharmacists, to
18 the health plan level. It would place the
19 responsibility on their shoulders. They would
20 then use the tools that they have with their
21 physician and pharmacy networks to take care of the
22 problem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Yanling?

2 MEMBER YU: I just want to mention the
3 article I just recently read. The article said
4 that -- I found this in a journal. I forgot what
5 was the name. The evidence of PM -- what you called
6 the physician prescribed monitoring program, was it
7 PMT -- does not seem to correlate with the decreased
8 use of opioid medication.

9 As -- I don't know if you'd say that's
10 a recent article just published by BMJ or I've
11 forgotten.

12 DR. EISENBERG: So is the question, are
13 prescription drug monitoring programs working to
14 decrease opioid overuse? Is that what you're
15 asking?

16 MEMBER YU: Well I'm just mainly
17 pointing out that there was an article just recently
18 published then.

19 CO-CHAIR THRAEN: One of the challenges
20 is that in -- historically, I don't know, you
21 mentioned the other states, I can only speak to
22 Utah, is that the controlled substance databases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have been -- are not even in the Department of Health
2 or in the Department of Human Services where mental
3 health and substance use disorders are located.
4 It's in the Department of Commerce, under the
5 Division of Professional Licensing, and have been
6 a Criminal Justice data source.

7 And it's only been in the last four to
8 five years where there's been this push from the
9 point of view of health, to say, this is a resource
10 that ought to be integrated into the electronic
11 health record, so that at the point of care, when
12 the prescriber's making the decision, the
13 information is pushed out to the prescriber to say
14 hey, wait a minute, this stuff is on board.

15 It's a very clunky, very old system.
16 They have to get out of their electronic record, go
17 into the state-based system and wait for the
18 Internet to catch up. And it goes to California for
19 a while, and then it comes back.

20 And then there's lots of security issues
21 associated with that, because it's located in
22 government, and they have to get through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 firewalls, et cetera, et cetera, et cetera. So
2 current -- the functionality to date has not been
3 a positive effort to support providers in having
4 that knowledge at the point of care when they're
5 making the decisions. That's been our experience
6 in Utah.

7 MEMBER WEBB: And I concur out of
8 Florida. If you move machines, and forget to log
9 out of the first machine, then you have to create
10 a new password. And you can't ever create the same
11 password.

12 So if you work anywhere other than a
13 place where you sit at the same machine all day long
14 every day, you -- using E-FORCSE is just unbearable,
15 because it uses the cache of a particular machine.
16 So it just wasn't designed very well.

17 CO-CHAIR THRAEN: And I want to make one
18 other point here, which is, the traditional
19 approach has been to monitor the provider, as
20 opposed to provide decision support to the
21 provider.

22 And the new direction is to provide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decision support at the point of care, so that the
2 provider can make the decision as to what is an
3 appropriate prescription, once they have that
4 knowledge, they have access to that information.

5 So the monitoring approach is an
6 after-the-fact approach. It's not at the point of
7 care.

8 MEMBER LAWLESS: What's your
9 definition of provider? A multi-specialty group,
10 multi-person group, each individual person? Is it
11 a practice? Is it NPI? What is --

12 DR. EISENBERG: It's NPI, which means
13 that it's the individual prescriber.

14 MEMBER LAWLESS: So is that -- so in
15 terms of that, then, in terms of a -- is this trying
16 to drive access to a single provider? Is this
17 trying to -- I -- that seems a little bit of
18 selection out of individual doctors who usually
19 don't have a lot of other people providing a lot of
20 due diligence around what they're doing, versus --
21 I mean, why NPI and not group?

22 DR. EISENBERG: You know, I don't know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER LAWLESS: What is the
2 practicality?

3 DR. EISENBERG: I don't know what the
4 practicality is doing.

5 MEMBER LAWLESS: What do the practice
6 plans do with that, then? Because I have a group
7 of five of us, different people on call, different
8 coverage systems. Automatically, by having a
9 large group, that may select out for this, and may
10 be earmarked.

11 MS. PEZZULLO: Right. So that is one
12 of the reasons why the workgroup, when they were
13 developing this, wanted to -- so there was some
14 discussion around, should it be receiving
15 prescriptions from four prescribers and four
16 pharmacies, which is the current version, the
17 measure that we're putting forward.

18 But there was also, you know, should it
19 be four prescribers or four pharmacies, because
20 there can be, you know, concerns with either. But
21 when you -- so to take -- I guess you could look at
22 it as a more conservative approach from the measure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 perspective, using and.

2 So at a minimum, this kind of implies or
3 identifies less than optimal coordination of care.
4 So there could be as, you know, some have discussed
5 earlier, it could be, you know, doctor shopping or
6 pharmacy shopping.

7 But there is also, you know, when you
8 look at it from the safety aspects, it's -- you know,
9 when it's -- is it likely that somebody might see
10 four different providers even if they're within the
11 same group? Possibly.

12 Is it likely that -- you know, but when
13 they're getting these opioid prescriptions from
14 four or more prescribers, and four -- getting them
15 filled at four or more pharmacies, that is where
16 there's a greater risk for harm.

17 CO-CHAIR THRAEN: Lisa?

18 MEMBER MCGIFFERT: Well I think my
19 comment was going to be in response to something
20 that you were saying about getting to the provider
21 level to help them, but basically this measure is
22 a doctor shopping measure, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I mean, this really is getting at who's,
2 you know, who's shopping around for doctors. And
3 I guess, I don't know that it would help at the
4 doctor level, because the -- it's a plan level
5 measure.

6 So you wouldn't really -- the doctor
7 wouldn't see which patients were shopping around.
8 They would just see that maybe this plan isn't
9 controlling that kind of shopping around, correct?

10 DR. EISENBERG: Well it's -- this --
11 these measures are part of a larger system, right.
12 I mean, there's no measure that's going to
13 accomplish the goal entirely. And there will be
14 lots of different systems, but let me describe to
15 you the Medicare system that's in place right now.

16 Based upon these parameters, the same
17 specifications that are built into these measures,
18 what CMS does is they notify the health plans. They
19 say, this patient and these doctors and these
20 pharmacies are over the limit. And it's your
21 responsibility, health plan, to do something about
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And CMS has really changed its own
2 rules, in terms of what plans can do, in terms of
3 notifying members, and in terms of what the plans
4 themselves can do to put in patient-level prior
5 authorizations, something they've never done
6 before.

7 So they're -- it works out as part of a
8 system, not independently.

9 CO-CHAIR THRAEN: Laura, and then
10 Steve, did you still have a question? Laura.

11 MEMBER ARDIZZONE: I guess, quickly
12 what I wanted to say is, your decision support for
13 the prescriber or the provider is important, but
14 this, I don't think, is what this measure is trying
15 to address. This is trying to address doctor
16 shopping, multiple prescriptions, multiple
17 pharmacies, multiple providers prescribing.

18 The state level provider monitoring
19 programs, as we said, do not do enough, are so
20 different in every state. This will elevate this
21 measure to something where we can start making a
22 reliable change, and impact.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And that's why I think, for the first
2 question, if I would be so bold as to say we should
3 move towards voting on the evidence, because I think
4 we agree that we -- this is strong evidence, or
5 moderate evidence of --- there's no systematic
6 review, but moderate evidence, and that this is an
7 important topic.

8 CO-CHAIR THRAEN: So I would -- I mean,
9 I don't disagree with you said. I would alter it
10 to say that it helps get the physician out of the
11 enabling role.

12 MEMBER ARDIZZONE: Can we please say
13 provider? Because nurse-practitioners --

14 CO-CHAIR THRAEN: You're right.

15 MEMBER ARDIZZONE: -- across the
16 country --

17 CO-CHAIR THRAEN: Absolutely.

18 MEMBER ARDIZZONE: -- prescribe these
19 as well, as do PAs.

20 CO-CHAIR THRAEN: Absolutely.

21 MEMBER ARDIZZONE: Thank you.

22 CO-CHAIR THRAEN: Albert?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WU: I'd actually say it goes a
2 little bit beyond doctor shopping. I think that
3 there is a care coordination function here, and a
4 lot of times no one's in charge. No one is aware
5 of who else is on the team. And it does behoove
6 anyone who's vaguely interested in population
7 health to create a team and to establish who's on
8 it and so forth.

9 So I think that it will push us a little
10 in that direction, which is good.

11 CO-CHAIR THRAEN: All right. I'm
12 going to call for the vote. We're talking about the
13 evidence.

14 MS. QUINNONEZ: We are now voting on --

15 CO-CHAIR THRAEN: Oh Chris. I'm
16 sorry, I missed Chris.

17 MEMBER COOK: I was supporting that
18 same fact, that as a pharmacist, what happens is,
19 you find out by the health plan, which helps to
20 regulate in finding out whether you're having
21 overlapping days' supply from another place,
22 whether you're having multiple prescribers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so what this does is it takes it out
2 of that opioid database that's so hard to deal with,
3 that people can't get into, and with the health
4 plans, you get that instant point of care that's
5 going to allow you to see what else is going on
6 instantly with those alerts. And so it's very
7 helpful in that regard.

8 CO-CHAIR THRAEN: All right. I'm
9 sorry. Go ahead. Call for the vote.

10 MS. QUINNONEZ: We are now voting on
11 Measure 2950, Use of Opioids from Multiple
12 Providers in Persons without Cancer. We're voting
13 on the evidence. Option one, high, option two,
14 moderate, option three, low, option four,
15 insufficient. Yes, 2950.

16 CO-CHAIR THRAEN: All right. So we're
17 on moderate. So go -- we have to re-vote.
18 Re-vote. I guess, honest, Missy. Thank you.

19 MS. QUINNONEZ: Okay. We're re-voting
20 on Measure 2950. The criteria has changed.
21 Option number two is moderate. Option number three
22 is low, and option number four, insufficient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Option number two, moderate, option number three,
2 low, and option number four, insufficient.

3 Okay. We have all votes, and voting is
4 now closed. For the evidence of Measure 2950, 100
5 percent voted for moderate.

6 CO-CHAIR THRAEN: Performance gap.

7 MEMBER ARDIZZONE: I'm sorry. I think
8 I'm the lead on this. So as with the measure
9 before, they demonstrated a performance gap across
10 the three different health plans that they looked
11 at.

12 They also reported some disparities
13 when they looked at the participants who were in the
14 low-income subsidy. There was a really big
15 difference in their usage rate per 1,000, as
16 compared to people who do not get the LIS subsidy,
17 indicating a performance gap.

18 CO-CHAIR THRAEN: Questions? All
19 right. Call for the vote.

20 MS. QUINNONEZ: Voting is now open for
21 performance gaps of Measure 2950. Option 1, high,
22 option 2, moderate, option 3, low, option 4,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 insufficient.

2 Looking for one more vote. Okay. All
3 votes are in. Voting is now closed. For the
4 performance gap of Measure 2950, 65 percent voted
5 high, 35 percent voted moderate, 0 percent for low
6 and 0 percent for insufficient.

7 CO-CHAIR THRAEN: Reliability.

8 MEMBER ARDIZZONE: So as with the other
9 measure, I think there's going to be some discussion
10 about the denominator. The numerator is
11 different, though. Again, they're looking for any
12 member with four or more unique pharmacy providers,
13 and four or more unique prescribers. They're in
14 the numerator.

15 In the denominator was the discussion
16 that happened before. I do support that
17 denominator, because I think it makes it more
18 precise, so you have less noise. You have actually
19 people who are at higher risk, instead of capturing
20 all the people who get prescriptions, because you
21 may be getting a high number of people that may not
22 be actually fitting the criteria.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 They do provide a list of opioid
2 medications. It doesn't look like they're missing
3 anything. Again, they're excluding patients who
4 have cancer, and hospice.

5 Again, I'll make the statement again
6 about patients who have cystic fibrosis, sickle
7 cell or HIV, but I understand there were robust
8 discussions among their committee members, and they
9 did provide some reliability testing. Again,
10 their results are pretty good.

11 I think they just, again, did them in a
12 Medicaid population, and the mean reliability score
13 was 0.93.

14 CO-CHAIR THRAEN: Questions?
15 Yanling?

16 MEMBER YU: Oh, question is, how do you
17 -- maybe you mentioned. How do you identify the
18 providers that, you know, they shopped for, you
19 know, getting mod. Do you have a plan to
20 incorporate PMP, those types of data, at all?

21 DR. EISENBERG: The providers are
22 identified by NPI number, presently. And is your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question, do we intend to include the information
2 from prescription drug monitoring programs?

3 MEMBER YU: Yes.

4 DR. EISENBERG: PDMP?

5 MEMBER YU: Yes.

6 DR. EISENBERG: No. That wouldn't add
7 anything to our measure, because our measures are
8 all based upon claims, which we have captured to a
9 very high percentage of reliability.

10 The PDMP information is frankly far
11 inferior. You've heard some of the reasons for
12 that today.

13 MEMBER YU: Yes. Okay. Thank you.

14 CO-CHAIR THRAEN: Other questions?
15 All right. We'll vote.

16 MS. QUINNONEZ: Voting is now open for
17 reliability of Measure 2950. Option 1, high,
18 option 2, moderate, option 3, low, and option 4,
19 insufficient.

20 All votes are in, and voting is now
21 closed. For the reliability of Measure 2950, 45
22 percent voted high, 55 percent voted moderate, 0

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent voted low and 0 percent voted insufficient.

2 CO-CHAIR THRAEN: Validity.

3 MEMBER ARDIZZONE: As discussed in the
4 last measure, they did face validity only, which is
5 okay, but only lets them get to a level of moderate.

6 They used their expert technical panel,
7 which again, as we talked before, I cross-checked,
8 and there's only one person who's on that technical
9 panel who is a member of PQA, so I don't think
10 there's any bias there. And they had 67 percent of
11 their QMEP members who voted, on the face validity,
12 who agreed.

13 In addition, they took all their 89
14 members to vote on whether to endorse the measure.
15 And about 70 percent of them agreed that they should
16 endorse the measure.

17 Threats to the validity, I think we
18 talked about this. I'm sorry. I have nothing to
19 say.

20 CO-CHAIR THRAEN: Questions? All
21 right. We'll vote.

22 MEMBER ARDIZZONE: Voting is now open

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for validity of Measure 2950. Option 1, moderate,
2 option 2, low, and option 3, insufficient. Option
3 1, moderate, option 2, low, and option 3,
4 insufficient.

5 All votes are in, and voting is now
6 closed. For the validity of Measure 2950, 95
7 percent voted moderate, 0 percent low, and 5 percent
8 insufficient.

9 CO-CHAIR THRAEN: Feasibility.

10 MEMBER ARDIZZONE: Feasibility seems
11 easy to do. It's easily collected administrative
12 claim data, and there are no concerns.

13 CO-CHAIR THRAEN: Questions? Vote.

14 MS. QUINNONEZ: Voting is now open for
15 the feasibility of Measure 2950. Option 1, high,
16 option 2, moderate, option 3, low, and option 4,
17 insufficient.

18 Looking for -- all votes are in, and
19 voting is now closed. For the feasibility of
20 Measure 2950, 90 voted high, 10 percent voted
21 moderate, 0 percent for low and 0 percent for
22 insufficient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Usability.

2 MEMBER ARDIZZONE: As discussed in the
3 last measure, it's not currently publicly reported.
4 However, it's part of a monitoring program for
5 Medicare Part D, and CMS has announced plans to move
6 this measure into a display measure for 2019, which
7 would be publicly reported.

8 CO-CHAIR THRAEN: Questions? All
9 right, we'll vote.

10 MS. QUINNONEZ: Voting is now open for
11 usability and use of Measure 2950. Option number
12 1, high, option number 2, moderate, option number
13 3, low, and option number 4, insufficient
14 information.

15 All votes are in, and voting is now
16 closed. For usability in use of Measure 2950, 50
17 percent voted high, 45 percent voted moderate, 5
18 percent voted low, and 0 percent voted insufficient
19 information.

20 CO-CHAIR THRAEN: All right.
21 Suitability for endorsement.

22 MS. QUINNONEZ: Voting is now open for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the overall suitability for endorsement of Measure
2 2950. Option number 1 is yes. Option number 2 is
3 no.

4 Option number 1 is yes, and option
5 number 2 is no.

6 All votes are in, and voting is now
7 closed. 100 percent voted yes for the overall
8 suitability for endorsement of Measure Number 2950.

9 CO-CHAIR SEPTIMUS: Okay. We have a
10 choice here. Our developers are very kind, and
11 would be willing to come back for more torture in
12 the morning. The next measure is a variation of the
13 first two.

14 I don't know how long it would take us
15 to get through that, but we do have, also, we have
16 to ask for public comment as well, which, you know,
17 usually goes fairly quickly.

18 So I'll leave it up to all of you whether
19 or not you want to stick it out a little bit longer
20 and try to get through the last measure, or whether
21 or not you want to come back in the morning and start
22 with this first thing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Based on what we know about the first
2 two, this is just a composite, really, of multiple
3 providers at high doses, so it's a variant of the
4 first two. So it really is the committee's choice.

5 CO-CHAIR THRAEN: All right, Number --

6 CO-CHAIR SEPTIMUS: Ms. Co-Chair, go
7 for it.

8 CO-CHAIR THRAEN: -- 2951, and it's the
9 Use of Opioids from Multiple Providers at High
10 Dosage in Persons without Cancer. And Steve is the
11 lead. And you want to say a couple?

12 MEMBER LAWLESS: The only thing I would
13 say is that this combined measure is precisely
14 mirroring the present Medicare over-utilization
15 monitoring program. These are the patients that
16 right now are being contacted by Medicare health
17 plans.

18 Yes. I was going to say, but this is
19 just an extension. This is the worst of the worst,
20 in terms of what you're looking at. So yes, you're
21 right. Your urine can be dropped off over there,
22 if you want.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So anyway, the measure is a process
2 measure, obviously. Inherently, it's looking at
3 the worst that way. There is some conflicting
4 evidence that you have, in terms of this, that we
5 have four providers and this.

6 There are one or two articles you
7 referenced, which actually said, in this particular
8 measure, there may be less usage with these
9 stopgaps. But that could have been just random
10 chance.

11 So there'll be conflicting evidence
12 that way. The bigger role, really, not addressed
13 here, this is really more of a -- and I think you
14 just answered it for me. We're finding people who
15 are addicted, who are searching.

16 Because if you look at the rates that
17 they have, you've listed here, in terms of what
18 their mean need is and everything else, that almost
19 mimics the rates, what I've seen published on how
20 many people in the country are addicted.

21 So if this is looking more or less of a
22 provider, more of as a screening of what is your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patient population, you need help, I think, and
2 that's what the intent is.

3 CO-CHAIR THRAEN: Go ahead, Laura.

4 MEMBER ARDIZZONE: One quick question.
5 Has there been any consideration to making sure --
6 the first two that we reviewed, you had some good
7 data for a year or two, before combining them to,
8 combining them together into another third measure.

9 DR. EISENBERG: I'd say two things.
10 One is that there is evidence that all of these are
11 independent risk factors, and that when they are put
12 together, they are really identifying high risk
13 patients, as we just heard.

14 And by the way, it's not just addiction.
15 It's also redistribution of drugs, right. Some of
16 this is just, you know, lawlessness.

17 The other thing is that the health plans
18 want to identify the worst offenders. And this is
19 a way to identify the worst offenders. We know
20 that, based upon the over-utilization monitoring
21 program, which is a retrospective drug utilization
22 review program, we know that it can be effective.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that's the sort of ground work that's
2 been done for it. And naturally, we'll be
3 collecting data over the next years, to define that
4 better.

5 MEMBER ARDIZZONE: All right, just a
6 follow-up. My question wasn't questioning the
7 evidence for collecting the data. I meant the
8 reliability and validity, feasibility, usability
9 of these new measures, making sure that maybe for
10 a year, they're actually capturing what you want.

11 They're easy. They're really precise,
12 so that when you combine the two of them, they're
13 the strongest that they could be. That's all I was
14 asking.

15 CO-CHAIR THRAEN: She's referencing
16 the past experience with composites, basically.

17 MEMBER LAWLESS: So along with that, in
18 terms of the, does the measure capture it as -- your
19 evidence you present talks about, obviously, the
20 complications of narcotics, you're going to find,
21 when in truth, really, you don't mention much.

22 But it really truly is about diversion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's about finding addictive behaviors. And I
2 would focus a little bit more on that, because that
3 also helps it, makes it justified.

4 And the only other correction I would
5 make for you, I don't think this is a sign of --
6 especially with my last name, a sign of lawlessness.
7 So I would actually, if we could take that out of
8 the minutes, my family would appreciate that.

9 (Laughter.)

10 DR. EISENBERG: My apologies.

11 CO-CHAIR THRAEN: Okay. Kimberly?

12 MEMBER APPLEGATE: Yes, and your
13 point's well taken about, you know, do we want to
14 consider, also I had that comment, too, about
15 waiting and getting the data right, and tweaking it.

16 And the other point is what you said. Are
17 we being punitive in looking at this? Are we trying
18 to be punitive? You know, I don't prescribe
19 opiates, but I know a lot of people who do. And is
20 the goal to be punitive to others, or in the name
21 of quality improvement, are we trying to help
22 patients?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And there are a lot of people out there
2 that are suffering. And the goal, to me, is to find
3 alternative, and push health care systems to help
4 patients.

5 The VA system has failed patients many
6 times over this issue, and has failed patients in
7 providing enough providers in behavioral health,
8 and they still haven't fixed it.

9 Over and over again, we see failure, and
10 we're pushing this, these measures. And I think
11 they're good measures. What I don't see happening
12 is fixing the other half of the problem.

13 So I just caution everybody to say,
14 okay, we're going to get the bad out, and it sounds
15 punitive. And I want to remind us all that we want
16 to help patients, and we want to help them get the
17 help they need, not just reduce opioid use.

18 So I'm just asking us, that we're, we're
19 going to cut out waste, and administrators love
20 this. What we're not doing is getting the other
21 half of the picture.

22 CO-CHAIR THRAEN: Thank you. Albert,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then Missy.

2 MEMBER WU: I was going to say almost
3 the same thing, and that is, you know, the measure
4 might be the proportion of these people who you
5 identify who get into a drug treatment program
6 within six months. I mean, you know, honestly.

7 So I'm not completely clear on the goal
8 of instituting this measure. We may find that, you
9 know, the Hopkins program has a ton more opiate
10 addicts than the one in Utah, but is that helpful?

11 DR. EISENBERG: I think it's helpful,
12 because by identifying these patients, and by
13 identifying all of their prescribers, because
14 that's the information that's going to be
15 generated, you, as a health plan, will be able to
16 contact both your prescribers and your members, and
17 begin a dialog that maybe hasn't happened.

18 CO-CHAIR THRAEN: That's what happens.
19 Missy.

20 MEMBER DANFORTH: I think there is a
21 typo in the measure sheet. It looks like, if you
22 scan down past the evidence, it's the exact same as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the first measure. And it doesn't match what's
2 later in it.

3 MS. BUTTERFIELD: I was going to
4 actually point that out, because I noticed that,
5 too. On the NQF face sheet, that is the case, if
6 you look under, if you look under actual submission,
7 it's different information. And if you look on the
8 NQF face sheet under 2B5, that is the correct
9 information. So I think that might have just been
10 --

11 CO-CHAIR THRAEN: So it's wrong in one
12 place, and right in --

13 DR. EISENBERG: Yeah, it's probably --

14 MS. BUTTERFIELD: It's wrong on the
15 face sheet.

16 DR. EISENBERG: I understand. Yes.

17 MS. BUTTERFIELD: But it's correct in
18 our submission. Yes. Thank you.

19 MS. PEZZULLO: And if I could just
20 comment additionally on this third measure, the
21 interest of the measure development workgroup in
22 having this third measure was also in recognition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the different levels of resources that health
2 plans will focus.

3 And you know, I think, in agreeing that,
4 having high patients using opioids at high dose is
5 a concern, and also patients who are getting these
6 prescriptions filled from multiple prescribers and
7 multiple pharmacies is an issue. And when you
8 combine both of those, it's, a serious concern.

9 And so for, you know, plans where they
10 may have limited resources to dedicate to these
11 efforts, this kind of brings this population,
12 elevates this population so that they can dedicate
13 their resources towards, this most at-risk
14 population.

15 So, the primary intent of the measure
16 development group was around the safety aspects.
17 And of course, when we look at this, just by nature
18 of focusing in these areas, you also end up
19 addressing some of the diversion or misuse as well.

20 CO-CHAIR THRAEN: Lisa?

21 MEMBER MCGIFFERT: I'm not quite sure
22 how to say this, but I do feel like this is about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 providers enabling those patients, too. I mean,
2 there are some responsibilities for providers,
3 prescribers, to make sure their patients aren't
4 already taking drugs from other prescribers. At
5 the pharmacy level, there's a responsibility,
6 especially if it's in a plan pharmacy.

7 It just seems to me that we have to get
8 at the core to get at this problem. We have to get
9 at the professionals that are enabling these, some
10 of these patients to have ridiculous amounts of
11 prescriptions.

12 And I'm not talking about somebody who
13 has a gunshot wound, something like that. But I
14 don't think that's what we're talking about here,
15 and I just don't think it's really going to, it's
16 going to capture the real problems, it seems to me.

17 CO-CHAIR THRAEN: Albert, do you have
18 your -- no? Tracy?

19 MEMBER WANG: So at a health plan level,
20 we have the data. And so there are ways to
21 intervene. So, you know, so speaking for my own
22 health plan, we have implemented a pharmacy home

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 program, whereby we identify these high risk
2 members who are utilizing more than, you know, the
3 necessary opiates, and also prescribers who we're
4 also able to identify the different prescribers who
5 have contributed to the over-prescription.

6 And we send a letter out to the
7 providers, so that they can help, so letting them
8 know that this is your member. They're using, you
9 know, pharmacy scrip from, you know, XYZ places, can
10 you do something to help reduce the overuse. So,
11 you know, there are things that we can do to help
12 them out.

13 CO-CHAIR THRAEN: Any other comments?
14 Ed looks like he wants to say something.

15 CO-CHAIR SEPTIMUS: I'm just sitting
16 here listening to this discussion. And, you know,
17 it just so happens, in this week's New England
18 Journal of Medicine, it talks about opiate
19 treatment. Is there any doubt in your mind about
20 the number of accidental deaths that occur,
21 overdoses of opiates?

22 MEMBER APPLEGATE: Is there any doubt

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in your mind about how many suicides there are in
2 our VA vets, because they're not getting
3 psychiatric care?

4 CO-CHAIR SEPTIMUS: I think that you
5 raise a legitimate point, but I think there's a
6 great opportunity, through these measures, to help.

7 And I think that there's a great
8 opportunity for us to learn together to use
9 medications appropriately. And yes, is to get them
10 into the right care settings, to address their
11 addiction. But I think --

12 MEMBER APPLGATE: I'm here to help
13 you.

14 CO-CHAIR SEPTIMUS: Thank you.

15 (Laughter.)

16 CO-CHAIR SEPTIMUS: See. I mean, but
17 unless we identify these folks through some
18 mechanism, then these folks will continue down the
19 same path. So I'm just sitting here listening to
20 this, saying this is a real major issue. And yes,
21 these measures will not cure the problem, but it'll
22 be an important first step to identifying who's at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk. Yes. And --

2 CO-CHAIR THRAEN: So I want to also
3 talk, just remind us that we're moving towards
4 behavioral health integration.

5 CO-CHAIR SEPTIMUS: Right.

6 CO-CHAIR THRAEN: So the psychologists
7 at the table, the social workers at the table, we're
8 now being invited to join you in your health care
9 delivery system. And, you know, we're at the very
10 beginning of what that looks like.

11 But those are the resources that are
12 coming to the table to try and help inform. You
13 have to figure out how to identify them, and have
14 to, you know, step out of the enabling role, but
15 we're the ones that bring the interventions to the
16 table.

17 All right. Martha, and then somebody
18 else?

19 MEMBER COOK: Chris.

20 CO-CHAIR THRAEN: Chris.

21 MEMBER DEED: I just wanted to say that
22 we just had an experience in Buffalo, which I could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talk about for hours, but I won't. But I almost
2 think that the Buffalo experience should be
3 appended to some of these measures.

4 We had the greatest prescriber of pain
5 medication in the state in Buffalo. He was
6 arrested. His practice was shut down. He had
7 10,000 patients. It resulted in suicides,
8 break-ins into hospital pharmacies, local
9 pharmacies. Our doors had to be locked at all
10 times. They eventually got the Health Department
11 to intervene.

12 The point is, they arrested the guy
13 without giving any consideration to the 10,000
14 patients, granted, some of them addicts,
15 unfortunately, some of them legitimate patients.
16 And it's been an ongoing horror, an absolute
17 nightmare for thousands and thousands of people and
18 families.

19 That's not to say these measures
20 shouldn't be put into place. You haven't heard
21 word one out of me about that. But it is a really
22 important public health consideration to consider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how you implement these things.

2 CO-CHAIR THRAEN: Chris.

3 MEMBER COOK: Sorry I'm breaking in
4 line in front of Yanling.

5 MEMBER YU: Go ahead. Go ahead.

6 MEMBER COOK: We all know where CMS is
7 going, and they've given us the road map. And the
8 alternative pavement model's in the direction we're
9 headed.

10 As we move down towards that capitated
11 model and what's there, we have to get out of the
12 silos that what we see is our traditional health
13 care system is what it is, and that the social system
14 is completely different.

15 As you start looking at a totality, all
16 the stuff that we do and all the brainpower that's
17 in this room and traditional health care makes up
18 20 percent of health, according to the World Health
19 Organization.

20 So as we move towards that, to where
21 we're looking at broader accountability, we're
22 already starting to see those health systems

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 looking at those social determinants of health, and
2 looking beyond what is just within their silo.

3 So I guess my optimistic,
4 glass-half-full, is as we move there, we're going
5 to see those things that are in -- become
6 investments towards true outcomes of care, where
7 we've ignored those in the past because they weren't
8 within our silo.

9 So this is only providing further
10 information for us to get to who has real issues.
11 The next step then would be, how do we advocate on
12 the patients' behalf to get them into those
13 behavioral programs, into those things that
14 actually assist, and the ones, whether it is pure
15 diversion, or helping our criminal justice system,
16 that is, helping to get that out of the way that our
17 resources are used more efficiently and
18 effectively. Sorry, and there's my soapbox.

19 CO-CHAIR THRAEN: Second. Yanling?
20 And then Kendall.

21 MEMBER YU: Just a comments on the, how
22 to bring everybody onboard, the physician's sides

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and patient, families, on this, reduce the harm due
2 to, you know, overuse of opioid.

3 You know, we just talk about a measure
4 today about elderly using risky medication. And
5 there's a credentialing building in the measure,
6 for the physician, and for the facility, whatever
7 it is.

8 So I was just wondering if, down the
9 road, if you're looking at, you know, physician
10 education for the whole population or for the
11 physician, you could building in some type of a
12 credentialing that might as be in there, as a
13 motivation to really help change the behavior of the
14 prescribers.

15 DR. EISENBERG: That's not something
16 that my organization would do, but Secretary
17 Burwell has an extensive outline of a plan that's
18 been laid out, and physician education, and patient
19 education are big parts of that plan.

20 MEMBER YU: What about the
21 credentialing, physician credentialing?

22 DR. EISENBERG: Credentialing?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER YU: Yes.

2 DR. EISENBERG: I --

3 MEMBER YU: The entry into a --

4 DR. EISENBERG: Yes. I can't comment
5 on credentialing. That would be up the boards of
6 pharmacy for pharmacists and boards of --

7 CO-CHAIR THRAEN: And state licensing
8 does some of that. Each state is different, so but
9 the state license the use of the opioid controlled
10 substances, and at least in the State of Utah,
11 you're required to do the webinar type of thing, as
12 part of the training, in terms of using -- and each
13 year we're trying to increase and upgrade that
14 training.

15 Randall?

16 MEMBER WEBB: Kendall.

17 CO-CHAIR THRAEN: Kendall. Sorry.

18 MEMBER WEBB: That's okay. So I just
19 want to go on the record. I know it sounds like I
20 am advocating for prescription opiates. I'm not.
21 I am known by my residents as the narc Nazi. I am
22 merely, as somebody who works in a very nasty urban

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 setting, trying to keep a hospital open that's
2 providing care for a set of patients.

3 And I know there's lots of us all over
4 the country, and I just don't want something like
5 this -- what I'd like to see I something like this
6 measure to create more what Iona was talking about,
7 something proactive, something to give us something
8 we can use, not the databases we have now, that are
9 no good, that don't help us.

10 I live on the Georgia border, and I have
11 friends who live in Pensacola. And they have four
12 states to choose from in Pensacola. So what
13 database do you look at? And how much time does it
14 take to look at all four?

15 So I would love to see this measure or
16 measures like this create a positive change, helped
17 by the government, helped by CMS, to allow us to
18 create something proactive.

19 As I'm ordering, I go to order, you know,
20 Norco, because I don't order Percocet, and, you
21 know, it tells me, oh wait, this guy had, you know,
22 a Norco prescription three days ago. Great. Now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I know, and I can go back and say hey, you lied to
2 me. You're done.

3 But without that, I think, this is
4 exactly where we need to go. We need to go more
5 towards a plan, until we have tools that the
6 physicians and the practitioners can use to be able
7 to make better decisions. We just don't have the
8 tools right now.

9 MEMBER LAWLESS: Real quick, maybe a
10 suggestion is changing the name of this. You see
11 the passion in what everybody jumped on, as we're
12 reading this and seeing it. When I first read it,
13 it was more like, so here it is, the plan's going
14 to go after the providers, and you've
15 over-produced, over-prescribing.

16 But my suggestion would be as changing
17 the name of it, to fit more what the conversation
18 is. And I think you'll see that makes it a little
19 bit more like what we're trying to do with this,
20 rather than looking at providers.

21 DR. EISENBERG: Great. Thank you.

22 CO-CHAIR THRAEN: All right. We need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to vote, you guys, on the evidence.

2 MS. QUINNONEZ: We are now voting on
3 Measure 2951, Use of Opioids from Multiple
4 Providers and at High Dosage in Persons without
5 Cancer. Option number 1 for evidence is high.
6 Option number 2 is moderate.

7 CO-CHAIR THRAEN: It's the old one.
8 It's the other one. Yes.

9 MS. QUINNONEZ: All right. Cancel
10 that. Yes, it is. We're here now.

11 PARTICIPANT: We have to start all the
12 way from this morning.

13 (Laughter.)

14 CO-CHAIR THRAEN: We're not going
15 there.

16 MS. QUINNONEZ: We're ready to vote on
17 the evidence for Measure 2951. Option number 2 is
18 where we'll start, which is moderate. Option
19 number 3, low, option number 4, insufficient. So
20 option number 2 is moderate, option number 3, low,
21 and option number 4, insufficient.

22 Okay. All right. All votes are in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Voting is now closed. We have 0 percent for -- oh,
2 obviously, 94 percent for moderate. We have 6
3 percent for low, and 0 percent for insufficient.

4 CO-CHAIR THRAEN: Performance gap.

5 MEMBER LAWLESS: In terms of adding,
6 there's a little bit of a performance gap around the
7 variation, in terms of signal-to-noise, if I read
8 it correctly. I think the bigger performance gap
9 we're talking about is resources for the patients
10 and resources for the systems who take care of these
11 patients.

12 So if this identifies that as a
13 performance gap, it's a home run.

14 CO-CHAIR THRAEN: Okay. So we have to
15 ignore what he just said. Any -- Missy?

16 MEMBER DANFORTH: Yes, just a question.
17 So for the hospital and other provider-level
18 measures, I think it's more clear of what to do when
19 there are disparities identified. This measure
20 actually has a huge disparity that was identified
21 with one plan that looks at low income folks.

22 And so when you see a disparity that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like so significant like that, how does that go into
2 evaluating the measure? I mean, we're looking at
3 a falls measure, and there's a disparity for older
4 women in particular, right. We talk about
5 adjustments.

6 We're looking at readmission measures
7 and there's disparities, right. We talk about all
8 kinds of facility-level adjustments. This is a
9 health plan level measure where a huge significant
10 disparity was identified. So how does that go
11 into, you know, our processing of the measure?

12 Specifically a health-plan level
13 measure, right, because that's what I don't
14 understand.

15 MR. LYZENGA: I mean, I would think that
16 that would speak to a larger opportunity for
17 improvement. But again, this is one of those ones
18 that's open to interpretation, this opportunity for
19 improvement category.

20 DR. EISENBERG: Our approach to this is
21 through stratified reporting. We recognize that
22 there are huge disparities amongst the different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lines of business. We think that
2 Medicare/Medicaid/commercial needs to be recorded
3 separately.

4 It might more need to be done beyond
5 that. We'll learn.

6 MEMBER DANFORTH: Real quick on that,
7 though. So if by virtue of having certain
8 populations of people including in your plan, your
9 performance on this measure is worse, I would think
10 that we would, instead of stratifying the
11 reporting, we'd want to do something to make sure
12 that those plans were doing something extra to
13 acknowledge that they were having this problem.

14 I'm just trying to draw parallels with
15 other types of measures that we do. So
16 stratification would make sure that they're
17 compared to each other, right, in a fair way, but
18 if we're really going to sort of drive change and
19 improvement, I would just think that we would want
20 to do something else besides stratification when we
21 see that kind of disparity.

22 So for example, when we're looking at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 readmission measures in hospitals, if we saw that
2 kind of difference between hospitals in different
3 communities, we'd form an NQF committee to look at
4 socioeconomic, right, to adjust the measure and do
5 all these extra kinds of things.

6 So I'm just thinking like, I just feel
7 like there's sort of a, maybe even a moral
8 obligation to look a little bit closer when we're
9 identifying that kind of significant disparity for
10 a certain population of people, that really the
11 health plan has the power to identify to the name
12 and address level. I guess that's where I'm going
13 with this.

14 DR. EISENBERG: We agree. We've got
15 work to do.

16 CO-CHAIR THRAEN: Vote on performance
17 gap.

18 MS. QUINNONEZ: We are now voting.
19 Voting is open for performance gaps of Measure 2951.
20 Option number 1 is high, option number 2, moderate,
21 option number 3 low, and option number 4,
22 insufficient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay. Voting is now closed. 63
2 percent voted high, 38 percent voted moderate, 0
3 percent for low, and 0 percent for insufficient.

4 CO-CHAIR THRAEN: Reliability.

5 MEMBER LAWLESS: We're on reliability.
6 The reliability testing, actually, was 0.92, which
7 is good, I mean, is very strong. But it was only
8 performed within the same group, the same measure,
9 but it's pretty straightforward, claim data, very
10 easy to reproduce and stuff. So I think the
11 reliability, it shows the reliability as high.

12 If one asked more than just what -- it
13 doesn't go into the appropriateness of things like
14 documentation, state characteristics, use of
15 medical marijuana in certain states, and how that
16 would impact this or not.

17 And it also, which you have brought up,
18 the reliability of multiple plans and multiple
19 locations in multiple states. So it's a limitation
20 that would very well -- otherwise, it's good.

21 CO-CHAIR THRAEN: Any questions? All
22 right, we'll vote.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. QUINNONEZ: Okay. Voting is now
2 open for the reliability of Measure 2951. Option
3 1, high, option 2, moderate, option 3, low, and
4 option 4, insufficient.

5 All votes are in. Voting is now closed.
6 For reliability of Measure 2951, we have 69 percent
7 voted high, 31 percent voted moderate, 0 percent for
8 low, and 0 percent for insufficient.

9 CO-CHAIR THRAEN: Validity.

10 MEMBER LAWLESS: I have nothing new to
11 add to what we've already talked about, you know,
12 to validity.

13 CO-CHAIR THRAEN: Any questions?
14 Vote.

15 MS. QUINNONEZ: We're -- voting is now
16 open for the validity of Measure 2951. Option 1,
17 moderate, option 2, low, option 3, insufficient.

18 Option 1, moderate, option 2, low, and
19 option 3, insufficient.

20 All votes are in, and voting is now
21 closed. For validity of Measure 2951, 89 percent
22 voted moderate, 11 percent voted low, and 0 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 insufficient.

2 CO-CHAIR THRAEN: Feasibility.

3 MEMBER LAWLESS: Again, as we talked
4 about, nothing new to add from what we've already
5 talked about, and hammer this.

6 MS. QUINNONEZ: Voting is now open for
7 feasibility of Measure 2951. Option 1, high,
8 option 2, moderate, option 3, low, and option 4,
9 insufficient.

10 CO-CHAIR SEPTIMUS: You see, you've
11 worn him down.

12 (Laughter.)

13 MS. QUINNONEZ: Voting is now closed.
14 For feasibility of Measure 2951, 88 percent voted
15 high, 12 percent voted moderate, 0 percent low, and
16 0 percent insufficient.

17 CO-CHAIR THRAEN: Usability.

18 MEMBER LAWLESS: Even though we've also
19 talked this one down as far as we possibly can, but
20 I think the usability as it's presented in here, in
21 terms of use, is different from what we've been
22 talking about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so I think the emphasis of usability
2 -- somehow I have to tell you, I have a disconnect
3 here, because we've been talking about the passion,
4 and what we're talking about how we really could use
5 this. In reading all the details of the document,
6 that's not what comes forth when you read the
7 measure.

8 So if I'm going by the measure of why
9 complications over use, that's what's in this
10 document, not the idea of behavioral health,
11 watching for people who are addicted.

12 CO-CHAIR SEPTIMUS: You want to comment
13 on that?

14 DR. EISENBERG: Yes. I think the
15 usability of this measure is really quite high,
16 because we know it's going to be identifying
17 patients and their prescribers that are, together,
18 leading to high doses of medications for prolonged
19 periods of time from multiple prescribers. It
20 gives multiple avenues for intervention.

21 And we know that the data is already
22 being collected for an opiate over-utilization

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 monitoring program. So to us, it works.

2 MEMBER LAWLESS: And I know those of
3 you, and I'll stop after this, if we -- other
4 measures, when we've actually looked at what the
5 measure has been presenting, and you look at the
6 measure presenting, the outcome you're looking at
7 is the thing you're looking at where your validity
8 is, overdoses and physiologic complications of the
9 opioids.

10 There are the other aspects of this,
11 too, which is the identification of systems needs
12 and stuff like that. So I just, again, it's very
13 usable, very, very usable. But I think, in terms
14 of this, I have a disconnect.

15 CO-CHAIR THRAEN: Well, I'd like to
16 make the argument that these measures will help
17 formulate policy. Because I think what we're
18 talking about is, what do we do once we've
19 identified the problem.

20 And because the problem's been pretty
21 much shown up in the criminal justice system or the
22 ED system and not really at the plan level, when the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plan starts to get a handle on what percentage of
2 their population is causing these kinds of issues,
3 then that becomes a positive decision as to what
4 they're going to do, if they're going to either kick
5 them off the plan, or they're going to intervene,
6 or they're going to do something, you know, to
7 address that once they've identified it

8 So I would make that argument that what
9 we've been talking about with behavioral health is
10 really at the policy level decisions that this
11 measure could inform.

12 MEMBER YU: I'm serving on the State
13 Medical Board in Washington. We have reviewed how
14 multiple patients got killed over the years, by a
15 physician who prescribed pain medication really
16 irresponsibly.

17 But unfortunately, those tragic events
18 only be known after multiple patients got harmed and
19 dead. So I just wonder, is there any chance, down
20 the pipe, that there will be involved with the
21 health care plan, would inform whoever, medical
22 board or whatever, inform those very risky

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prescribing pattern that involve multiple patient
2 harm.

3 The agency work together to really
4 protect the public safety.

5 DR. EISENBERG: Well I wish I could tell
6 you that that was going to happen easily. But my
7 experience, working as a medical director at health
8 plans for many years, is that our contacts in the
9 criminal justice system have not been so welcoming
10 of our information.

11 They often times will listen to us, and
12 then we get no response from them. So we generally
13 don't know what is done with the information that
14 we've provided to the criminal justice system, or
15 to the boards of pharmacy or medicine.

16 So the information will be there.
17 We'll have more information. We'll have better
18 information than we've ever had before. What other
19 organizations, especially government
20 organizations, will do with it, we don't know.

21 MEMBER YU: But your data would be
22 public, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EISENBERG: Yes.

2 MEMBER YU: Will not be public?

3 DR. EISENBERG: No, no. It'll be --
4 depends on the system that it's in. In the Medicare
5 system, it will be public as soon as it's a display
6 measure, which is, the data for that is starting to
7 be collected in 2017, for 2019 publication.

8 MEMBER YU: Will that be public to the
9 state agency?

10 DR. EISENBERG: Yes. It'll be public
11 to everyone, yes.

12 MEMBER YU: Okay. Okay, thank you.

13 CO-CHAIR THRAEN: Lisa?

14 MEMBER MCGIFFERT: My view of this
15 measure is, it is a process measure, and as all
16 process measures, you're trying to effect a change
17 in behavior, or you're trying to make something good
18 happen, or make something bad not happen, like an
19 infection.

20 So it seems to me that it does have a
21 capacity to get us at least to a certain point. It
22 doesn't have the capacity to fix the whole system,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but as a process measure for this specific behavior,
2 it seems appropriate.

3 CO-CHAIR THRAEN: So I think that one of
4 the things you start to see is that if health plans
5 identify a prescriber that's outside of the
6 boundaries, and in their process of coaching the
7 prescriber, the prescriber does not come within the
8 boundaries, the prescriber will be let go, and
9 they'll show up in another health plan, or across
10 the river in the other state.

11 So one of the challenges has been, the
12 DOPL, the Division of Public -- of Professional
13 Licensing, the state government entity, has to
14 figure out how they're going to work with health
15 plans, so that one, there's an intervention that's
16 done with the provider, if the -- the prescriber,
17 excuse me, if the prescriber is themselves a drug
18 addict, which often is the case, and/or is it just
19 a criminal behavior, and distinguishing between
20 that.

21 But those systems have not played
22 together, historically.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: I don't think NQF
2 can solve all --

3 CO-CHAIR THRAEN: No.

4 CO-CHAIR SEPTIMUS: -- the ills --

5 CO-CHAIR THRAEN: No, but we'll have
6 data. Let's vote on usability, please.

7 MS. QUINNONEZ: Voting is now open for
8 usability and use of Measure 2951. Option number
9 1 is high, option number 2, moderate, option number
10 3, low, and option number 4, insufficient
11 information.

12 All votes are in and voting is now
13 closed. For the usability and use of Measure 2951,
14 53 percent voted high, 47 percent voted moderate,
15 0 percent for low, and 0 percent for insufficient
16 information.

17 CO-CHAIR THRAEN: Okay. I'm thinking
18 this is the last vote of the night. Suitability for
19 endorsement.

20 MS. QUINNONEZ: Voting is now open for
21 overall suitability for endorsement of Measure
22 2951. Option 1 is yes. Option 2 is no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: There actually is
2 one more vote before we leave, and that's who wants
3 red and who wants white.

4 (Laughter.)

5 MS. QUINNONEZ: All votes are in for the
6 use, for the overall suitability for endorsement of
7 Measure 2951. One hundred percent voted yes.

8 CO-CHAIR THRAEN: Thank you all.

9 CO-CHAIR SEPTIMUS: No, no.

10 CO-CHAIR THRAEN: Thank you.

11 CO-CHAIR SEPTIMUS: Wait a minute.
12 We have public comments to the committee.

13 CO-CHAIR THRAEN: Oh, I'm sorry.
14 Public comment. Anybody on the phone or in the
15 audience wishes to comment?

16 OPERATOR: In order to make a public
17 comment, press star, and then 1. There are no
18 public comments.

19 CO-CHAIR THRAEN: We have one in the
20 room. Hold on.

21 MR. CONYERS: Good evening, at this
22 point, everyone. I certainly understand that I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standing in the way of your wine. But I'm Del
2 Conyers. I'm Vice President of Quality and
3 Compliance at the National PACE Association. I'm
4 also a NQF alum, so bear with me. Don't be too hard
5 on me.

6 First just say, I appreciate the
7 difficulty that you all had in understanding the
8 PACE model. I know many of you sort of struggled
9 with understanding the dynamics of the IDT team, the
10 nuances of the PACE populations, so I certainly
11 appreciate that. I found myself in the same
12 position that you were in this morning when I took
13 the role.

14 I just wanted to point out that again,
15 that the IDT is an integral part of the PACE model,
16 and that it has 11 disciplines represented in terms
17 of those who provide care to the frail elders.

18 In addition to that, the patient and
19 care giver are really paramount in influencing care
20 planning as well as having an impact on the outcomes
21 that they are often faced with.

22 So I just wanted to make sure that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understand the role of patient autonomy and
2 self-determination in influencing outcomes, and in
3 the light of that, consider, you know, despite all
4 the preventive measures that take place, a lot of
5 the locus of control falls on participants in
6 influencing outcomes.

7 So I'd just like for this group to
8 consider that, moving forward. With regard to
9 assessment, assessment happens frequently and on
10 the continuum of care, every six months, when
11 they're changing status. So I just want the group
12 to understand that, as well, going forward. And
13 I'll proceed quickly.

14 Just with the gaps of care, I notice that
15 the concerns raised for the pressure also measure,
16 I think, also apply to the falls. When we talked
17 about, you know, there's no evidence to demonstrate
18 quality for PACE programs specifically, I think
19 that applies across measures.

20 But it felt like that was not deemed or
21 viewed in the same light when we got to falls. And
22 I just want to point out that I think that those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concerns should be relevant to falls as well.

2 With regard to reliability, I just think
3 that while signal-to-noise, I know we talk a lot
4 about that and got some clarification, I think while
5 real differences are demonstrated between the
6 sites, the fact that there was no statistical test
7 to assess whether the performance rates were
8 statistically significant, that's also something
9 that we should consider as well.

10 When we look at the PACE programs,
11 there's a high degree of variation in the patient
12 population. I think there are differences in
13 outcomes, related to the maturity of the
14 organization, the frailty, risk assessment.

15 So I think that because the measures
16 don't discern good and bad care, because that wasn't
17 done, because the sample size was so small, should
18 also be considered.

19 Lastly, with regard to usability, I know
20 that CMS say what it wants to fight, is part of the
21 process. I certainly acknowledge that. But I'd
22 be remiss if I said that, you know, given the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 implications of what endorsement will be on the
2 walls of NQF, I think, needs to be concerned.

3 I'm concerned that PACE is often
4 compared to institutionalized settings of care.
5 As someone pointed out, it's really not an apples
6 to apples comparison, but we often find ourselves
7 in that position, often compared to long-term care
8 settings, nursing homes. But they're quite
9 different.

10 So while we are okay, to some extent,
11 with comparison of PACE programs, internally, I
12 would just caution the implications of this measure
13 being used to compare to other institutionalized
14 populations.

15 So I'm off my soapbox. I hope you all
16 consider that, moving forward, and I appreciate
17 your time. Thank you.

18 (Whereupon, the above-entitled matter
19 went off the record at 6:17 p.m.)
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10