

NATIONAL QUALITY FORUM

+ + + + +

PATIENT SAFETY 2015-2017
STEERING COMMITTEE

+ + + + +

THURSDAY
JULY 28, 2016

+ + + + +

The Steering Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 9:00 a.m., Ed Septimus and Iona Thraen, Co-Chairs, presiding.

PRESENT:

ED SEPTIMUS, MD, Texas A&M University
Health Science Center; Hospital
Corporation of America; Co-Chair
IONA THRAEN, PhD, ACSW, Utah Department of
Health; Co-Chair
JASON ADELMAN, MD, MS, Montefiore Medical Center
CHARLOTTE ALEXANDER, MD, Memorial Hermann
Medical System
KIMBERLY APPLGATE, MD, MS, FACR, Emory
University
LAURA ARDIZZONE, BSN, MS, DNP, CRNA, Memorial
Sloan Kettering Cancer Center
CHRISTOPHER COOK, PharmD, PhD, bioMerieux
MELISSA DANFORTH, The Leapfrog Group
MARTHA DEED, PhD, Patient Safety Advocate
THERESA EDELSTEIN, MPH, LNHA, New Jersey
Hospital Association
LILLEE GELINAS, MSN, RN, FAAN, CHRISTUS Health
STEPHEN LAWLESS, MD, MBA, FAAP, FCCM, Nemours
LISA MCGIFFERT, Consumers Union

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

SUSAN MOFFATT-BRUCE, MD, PhD, The Ohio State
University
PATRICIA QUIGLEY, PhD, MPH, ARNP, CRRN, FAAN,
FAANP, Nurse Consultant
MICHELLE SCHREIBER, MD, Henry Ford Health
System*
LESLIE SCHULTZ, PhD, RN, NEA-BC, CPHQ, Premier,
Inc.
TRACY WANG, MPH, Anthem
KENDALL WEBB, MD, FACEP, University of Florida
Health Systems
ALBERT WU, MD, MPH, FACP, Johns Hopkins
University
YANLING YU, PhD, Patient Safety Advocate

NQF STAFF:

HELEN BURSTIN, MD, Chief Scientific Officer
ANDREW ANDERSON, MHA, Senior Project Manager
JASON GOLDWATER, MPA, Senior Director
ANDREW LYZENGA, MPP, Senior Director
ELISA MUNTHALI, MPH, Vice President, Quality
Measurement
JESSE PINES, MD, Senior Director
DESMIRRA QUINNONEZ, Project Analyst

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

JAMIE FOX, APNP, Children's Hospital of
Wisconsin

THERESA MIKHAILOV, MD, PhD, Children's Hospital
of Wisconsin

PAM OWENS, PhD, Agency for Healthcare Research &
Quality (AHRQ)

DANIEL POLLOCK, MD, Centers for Disease Control
& Prevention (CDC)

TOM RICE, MD, Children's Hospital of Wisconsin

PATRICK ROMANO, MD, MPH, University of
California, Davis

RAMESH SACHDEVA, MD, PhD, JD, AHRQ-CMS CHIPRA
Pediatric Measurement Center of Excellence
(PMCoE)

DONNA WOODS, MD, Pediatric Consultants, LLC

* present by teleconference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C-O-N-T-E-N-T-S

Welcome, Recap of Day 1 5

Consideration of Candidate Measures (Cont.)

3005: Initial Risk Assessment for
Immobility-Related Pressure Ulcer within
24 Hours of PICU Admission..... 16

3006: Initial Baseline Screen of
Nutritional Status for Every Patient
within 24 Hours of PICU Admission..... 51

2983: Potassium Sample Hemolysis in the
Emergency Department..... 118

NQF Member and Public Comment 172

Consideration of Candidate Measures (Cont.)

3025: Ambulatory Breast Procedure
Surgical Site Infection (SSI) Outcome
Measure..... 174

0450: Perioperative Pulmonary Embolism or
Deep Vein Thrombosis Rate (PSI 12)..... 207

2909: Perioperative Hemorrhage or
Hematoma Rate (PSI 09)..... 250

Next Steps/Committee Timeline 264

NQF Member and Public Comment 268

Discuss Gaps in Measurement 268

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 9:04 a.m.

3 CO-CHAIR SEPTIMUS: Okay, so, welcome
4 back, everybody. We had a full day yesterday. We
5 got through all the measures on the agenda. How
6 about that? So we only went over 30 minutes which
7 I think was pretty good.

8 And so we really had, just to kind of
9 recap things, and Iona can fill in what I don't say,
10 we had breakfast. Come on guys, lighten up. It's
11 day two.

12 We went through some new measures. In
13 fact, most of the measures we considered yesterday
14 as you remember were new. A number of them were
15 process measures. Some were outcome measures.

16 We looked at potentially harmful drug
17 interactions, med reconciliation and dialysis,
18 which we felt was a really important issue. And
19 the developers were kind enough to follow up with
20 someone that knew a little bit more about the
21 statistics and the proposal so we could actually
22 act on it. And that was extremely useful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In the afternoon we had some PACE
2 measures that we went through. The first one on
3 pressure ulcer prevalence we declined to endorse
4 for good reasons.

5 I did talk to the developers after that.
6 I think they got some feedback as to how to adjust
7 that.

8 We did pass the next two on fall rates
9 and fall rates with injury. But I think the
10 developers learned a lot about the process and what
11 they needed.

12 We had two of our outstanding nurses who
13 had to recuse themselves and they're here this
14 morning. Not to talk about the measure.

15 But I would like, and I don't want to
16 put anyone on the spot. I really think that PACE
17 has phenomenal value to healthcare delivery.

18 So if one of you would just like to talk,
19 not about the measure. You can't talk about the
20 measure. But just talk about your viewpoint of the
21 PACE program so when some of these things come back
22 we have a better understanding about what it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 actually doing.

2 Because I think we got the sense that
3 it's really doing a lot, but the measure,
4 especially the first measure, didn't match up to
5 what they needed to do.

6 MEMBER EDELSTEIN: Good morning, thank
7 you. I am from the New Jersey Hospital Association
8 as you all know.

9 And in New Jersey we represent the PACE
10 organizations in our state. So I'm very familiar
11 with the model.

12 It is, as you heard yesterday it is a
13 fully capitated model of care that serves the frail
14 elderly 55 and older who qualify for nursing home
15 placement.

16 Most of the participants live in the
17 community. They participate in the PACE center
18 usually two to three days a week.

19 In addition many, not all, but many
20 receive home care services through the PACE
21 organization. So PACE is both provider and
22 insurer which makes it unique in the provider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 community for sure.

2 Most of the participants as I said come
3 to the center two to three days a week which means
4 that the interdisciplinary team, at least some
5 members of it if not all, lay eyes and sometimes
6 hands on those participants more than once a week.

7 And they see them in their home, they
8 see them in the center. The drivers of the
9 transportation vehicles who bring the participants
10 to and from PACE are a vital part of identifying
11 changes, of understanding what's going on in that
12 person's life beyond their healthcare.

13 And it really stands out as CMS's first
14 really fully integrated dual eligible arrangement
15 for this population.

16 It is being held out actually as a model
17 for all of the fully integrated dual eligible
18 models that CMS has put forward in recent years.

19 So, beyond the IDT team itself which is
20 so critical to planning appropriately for the care
21 in every aspect of a PACE participant's life there
22 is a lot of care delivery going on every day.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 PACE participants have to -- I'll use
2 the word relinquish. It's a little strong word,
3 but they have to relinquish their relationship with
4 any prior physicians and have the PACE physicians
5 as their primary care providers.

6 They get all of their primary care
7 through the clinics in the PACE center. Specialty
8 care, same way.

9 And whenever they need home care, or
10 hospital care, or nursing home care, the PACE
11 organization must contract for those services.

12 They can provide home care directly and
13 most do. But they can also contract for home
14 health.

15 As was mentioned yesterday most of the
16 home care provided to PACE participants is personal
17 care assistance in their own homes.

18 But when a PACE participant does need
19 a higher level of care temporarily the PACE
20 organization pays for it, contracts for it,
21 oversees the quality of it and remains integrally
22 involved in the care planning process throughout

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 an acute care stay or a long-term care stay.

2 So hopefully that gives you a little bit
3 more of a feel for sort of the all-encompassing
4 nature of PACE.

5 And beyond care delivery there's also
6 all of the intangibles like pest control, and air
7 conditioning units, and deep cleaning of an
8 apartment, and removing bed bug infestations, and
9 all of those things that are social determinants
10 of what can happen to a frail older person living
11 in the community if they're not attended to.

12 CO-CHAIR SEPTIMUS: Thank you for that
13 explanation. Lillie, I don't know if you wanted
14 to?

15 MEMBER GELINAS: Thank you, Theresa,
16 that was terrific. And thank you, Ed. Helen,
17 welcome. We're sorry you missed this.

18 But first of all I was emailing Andrew
19 and Jesse yesterday because I knew I'd have to
20 recuse myself, and couldn't vote, and couldn't even
21 talk in open comment.

22 I have a great respect for NQF for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 holding our feet to the fire for that. As a matter
2 of fact, if not I think the opportunity that we have
3 before us would be suspect.

4 So I wanted to make sure all of you were
5 quite aware. I was aware of that before I arrived.

6 From the standpoint of the measures we
7 were considering I want to commend this committee.
8 I thought you were incredibly professional to go
9 through almost three hours of what I thought was
10 a very disappointing presentation.

11 Last year when we had to present the
12 nursing measures on falls and pressure ulcers, the
13 ANA measures, Pat and Victoria Rich and I actually
14 came into Washington ahead of time, two days ahead
15 of time. We prepped. We were ready.

16 We did everything we could to make sure
17 we were anticipating your questions.

18 And we were just sitting here yesterday
19 saying my, my, for those of you that may not
20 understand the world of nursing measurement this
21 was a real disappointment.

22 And so I want to thank you for your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 professionalism. I think you did the right thing
2 by sending the pressure ulcer measure back to the
3 measure developer.

4 But I am hopeful that it will come back
5 and will close the gap. Because at the end of the
6 day no measures are perfect, and at the end of the
7 day if we're not measuring then we can't hold
8 providers and consumers accountable for care. So,
9 I'm hopeful that the homework will be done.

10 But from a social standpoint I have to
11 tell you what an honor it is to serve on this
12 committee. The chemistry on this committee is
13 absolutely amazing.

14 We all serve on a whole lot of
15 committees, every one of us, and this one I see an
16 awful lot of heart and soul, and a lot of work behind
17 the scenes.

18 So, I just want to publicly thank you
19 for your professionalism yesterday. I thought you
20 were spectacular and I'm very proud to call you
21 committee member. Thank you, Ed.

22 CO-CHAIR SEPTIMUS: Thank you. Lisa?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MCGIFFERT: Before I worked on
2 patient safety I worked a lot on disability issues.
3 And this program was really I think emerged from
4 the disability community. And yet it's where the
5 elder community, elder activists came together.

6 It really does represent the kind of
7 thing that I think a lot of us would like to see
8 in the future as an alternative to nursing home
9 care.

10 And when you think about all the money
11 that we put into nursing home care, and then you
12 think of what it takes to keep somebody at home it
13 does involve all those different things,
14 healthcare as well as social services.

15 And this program and others like it I
16 think are really critical for the future of our
17 system.

18 And so I was glad to see that it was
19 being brought forward, and hope to see some more
20 measures for that later.

21 CO-CHAIR SEPTIMUS: Fantastic. Okay.
22 Appreciate those comments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So in the afternoon we went through what
2 I think everybody agrees was a public health, I
3 don't want to say crisis, but public health concern
4 about opiate overuse. And we approved all three
5 of those measures.

6 So that was I think very important.
7 These were all process measures and these were all
8 first-time. So we hope that when they come back
9 to us in three years that they will have data to
10 show its effectiveness in terms of monitoring high
11 use of opiates in the community.

12 Then we had public comment. We had an
13 excellent comment from someone who was actually
14 here at the end of the meeting.

15 So for today since I know some of you
16 have to leave by 2 we're going to try to get through
17 the measures that we can.

18 We've been trying to reach out to the
19 developers to see if we could move up some of the
20 discussion before discussing the gaps in
21 measurement.

22 And so we may be adjusting the schedule

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so that we can accommodate everyone. We don't want
2 people to leave and then not have a quorum.

3 We'd certainly like to be able to finish
4 all our work. This would be the first for the
5 patient safety committee to finish all our work
6 before we adjourn at 3 o'clock today without having
7 to get a follow-up call. So I think that's our
8 goal.

9 I think the work that you all do, and
10 I'll second what people have said. This is an
11 incredible committee with incredible experience.

12 One of the things I said yesterday and
13 it came out again last night at dinner is I think
14 we like each other, and I think we've really bonded
15 as a committee. And I think that's a real credit
16 to all of you.

17 I can't say enough about Iona as
18 co-chair who keeps me in line.

19 And of course the heavy lifting behind
20 the scenes is done by the NQF staff. We could not
21 do our work without them.

22 (Applause)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Andrew's still
2 awake. He said his child slept well last night.
3 Okay, so is any of the developers here?

4 Okay, so if it's okay with you to kind
5 of adjust the agenda let's go to 3005 and 3006,
6 initial risk assessment for immobility-related
7 pressure ulcers within 24 hours of PICU admission.
8 And then the next one, we'll go to the next one.
9 So why don't we start with 3005.

10 And I think, Pat, you were going to lead
11 that discussion after the developers, correct? Is
12 that right, Pat?

13 CO-CHAIR THRAEN: It's the initial
14 risk assessment for immobility-related --

15 CO-CHAIR SEPTIMUS: 3005. So, if the
16 developer could come up that would be great.

17 CO-CHAIR THRAEN: And Steve, you're
18 back up and Martha.

19 CO-CHAIR SEPTIMUS: And so, just to let
20 everybody know this is an eMeasure. I think last
21 year was the first time we had an eMeasure.

22 (Simultaneous speaking)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: It's a process
2 eMeasure and it is a new measure. So are the
3 developers here? Come up front. We're a friendly
4 group.

5 CO-CHAIR THRAEN: So while they're
6 working on that Ed didn't give me a chance to talk.

7 CO-CHAIR SEPTIMUS: No, I was going to
8 say. You can see how well we work together.

9 CO-CHAIR THRAEN: I just want to make
10 a comment that I've had several people come up and
11 comment to me about the level of comfort and
12 camaraderie and respect that people are feeling in
13 this process.

14 And I just wanted to feed that back to
15 you, that I think that we have gotten to a place
16 where we honor each other's expertise. It doesn't
17 mean we always agree, but we can honor it and also
18 articulate the disagreements and support each
19 other in that process.

20 So, we feel like we have a good
21 complementary group of people. It's not
22 competitive and at the same time we can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 supportive of each other while disagreeing and
2 listening to each other's point of view.

3 So I just want to honor you all for that.
4 That's what you bring to the table as well. Thank
5 you. Now I've said my piece.

6 MEMBER WU: The chairs are always
7 responsible for that, setting the tone.

8 CO-CHAIR THRAEN: Thanks, Wu.
9 Thanks, Albert. Dr. Wu.

10 MR. LYZENGA: So I think the developer
11 is still trying to kind of assemble their team, so
12 maybe we could have Jason Goldwater who works on
13 our eMeasure team here come up and say a few words.

14 CO-CHAIR SEPTIMUS: Hi, Jason. Jason
15 gave us a great discussion last time introducing
16 us to eMeasures so we appreciate you coming back.

17 (Simultaneous speaking)

18 MR. LYZENGA: And there's also one
19 measure that we're going to be considering that is
20 eligible for trial use approval. So Jason will say
21 a little bit about that as well.

22 MR. GOLDWATER: Right. So good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 morning, everyone. Always a pleasure to be here
2 even though I'm a little fatigued due to the
3 long-winded nature of the Democratic Party. Oy
4 vey. And there's still one more to go tonight.

5 I sort of have to. I am somewhat
6 compelled to do so because I have a wife that's
7 going to do so, and it's that or America's Got
8 Talent and that's not on tonight. So.

9 So, what I want to do is just talk about
10 a couple of things. One is the way eMeasures are
11 brought into NQF and how they are generally
12 examined before they get to you, and things for you
13 to consider.

14 And then to talk about the trial use
15 program which is going to be something that will
16 be considered today.

17 EMeasures have certainly evolved
18 significantly over time. I know there are a number
19 of you that can think back to the good old days when
20 CMS used to be called HCFA and we were doing manual
21 chart abstraction for quality measurement which is
22 not to say that still doesn't go on from time to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 time.

2 And there was a real push in the early
3 two thousands to move out of chart abstraction into
4 using electronic health records to populate
5 quality measures automatically and get out of the
6 abstraction in order to reduce the amount of human
7 error that could occur during the abstraction
8 process, and also to ensure that standardized
9 codified data could be used in the measurement
10 itself.

11 In 2003 CMS ambitiously started a
12 project known as the Doctors Office Quality
13 Improvement Technology bracket or DOQ-IT for
14 short. Some of you may remember that.

15 I had the -- how can I put this
16 delicately -- the honor of being the project
17 director for that initiative which failed
18 miserably.

19 And not because the intentions were
20 bad, but because EHR adoption across hospitals and
21 physician offices in 2003 was less than 20 percent.

22 And then HITECH passed and suddenly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there was a large influx of money. And suddenly
2 now as we enter -- or we're halfway through 2016
3 hospital EHR adoption is well over 80 percent and
4 physician office EHR adoption is almost at the same
5 level.

6 And so now we have started to revisit
7 the idea far more aggressively in the utilization
8 of eMeasures as opposed to those that are chart
9 abstracted.

10 In the good old days back in the
11 beginning of quality measurement most of the
12 eMeasures that would come in were de novo, brand
13 new that were created using specifications that
14 they could find in EHRs.

15 That is not the case anymore. Because
16 again, when they first started there were not a lot
17 of EHRs so the project was for most intents and
18 purposes not done very successfully.

19 EMeasures now come into NQF in one of
20 four ways. The first is a de novo measure which
21 everybody knows. So it's a brand new measure that
22 is being created for patient safety using data that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is from an electronic health record, preferably
2 structured data. It's a measure that is not
3 existing at the moment, and it is a measure that
4 we need to look for consideration because
5 preferably it is filling a current gap in patient
6 safety.

7 The second way it can come in is what
8 we call a re-specified measure which is a measure
9 that is currently a chart abstracted measure.

10 And the desire by CMS is to move away
11 from that and make it into an electronic measure.

12 So they take the specifications of the
13 chart abstracted measure, map it to the same data
14 elements found within the EHR, re-specify it and
15 send it to us.

16 The third way which is Elisa's favorite
17 way of a measure coming into NQF is what we call
18 a legacy measure.

19 That is a chart abstracted measure that
20 is currently used in a federal program such as PQRS,
21 or the Meaningful Use program, or IQR.

22 And it is chart abstracted and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 desire is to make it an eMeasure. So it's already
2 being used in a federal program and has probably
3 been done so successfully, but now they don't want
4 it to be chart abstracted, they want it to be
5 e-specified.

6 And so they do the same thing. They
7 will then map the chart abstracted elements to the
8 elements within the EHR and submit it to NQF.

9 And then the final way it can come into
10 us is through trial use which I'll explain in a
11 moment.

12 Any type of eMeasure that comes into NQF
13 has to be tested in at least more than one EHR, or
14 essentially two. It's all in the wording. It
15 never gets new. We always say more than one and
16 people are like oh, two. Yes, two.

17 So, it needs to be in at least two EHR
18 systems.

19 And it has to be different systems.
20 Now, some of the questions that we get is, well,
21 I'm testing it at Cleveland Clinic, and then I'm
22 testing it at Memorial Hermann. They both have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Epic. Is that construed as two separate EHR
2 systems?

3 And the reality is it is. If you look
4 at the way the implementation has been done at
5 Cleveland and the way it has -- and mind you I'm
6 just throwing these hospitals out -- has been done
7 at Memorial the implementations may be different.
8 And so subsequently that would be construed as two
9 different electronic health record systems.

10 And given that Epic roughly has almost
11 35 to 40 percent of the marketplace it's not
12 unreasonable to look at two Epic systems as being
13 two very separate and distinguishable electronic
14 health record systems.

15 It has to be in the appropriate format
16 in that it has to be in the health quality measures
17 format which as measure developers know if you're
18 developing an eMeasure most of them use the measure
19 offering tool which was originally created by NQF
20 which has now been taken over by the MITRE
21 Corporation.

22 And once that is developed the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate format is created.

2 It has to map to what we call the quality
3 data model which is in other words high-level
4 elements that specify what each component of the
5 measure is. Race, ethnicity characteristics.
6 This is an encounter. This is a diagnosis. This
7 is a procedure.

8 And then that way at least you're
9 standardizing how the measure is laid out so that
10 when you're implementing it into your EHR system
11 you know exactly what codes you have to map to
12 where.

13 The other part is in addition to it
14 being formatted correctly it also has to contain
15 value sets. And those value sets are at this time
16 maintained by the National Library of Medicine and
17 their value set authority center.

18 A value set is really a building block
19 of a measure. It's a coded element that represents
20 a condition or a diagnosis. And it maps to a
21 nationally recognized terminology like ICD-9 or
22 ICD-10 or CPT or RxNorm, or codes that are used

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pretty commonly throughout most systems.

2 The value sets have to be published.
3 Believe it or not there are developers, and I'm not
4 saying that's you, that create their own value sets
5 and then don't publish them in the value set
6 authority center. Which means nobody can use them
7 other than the developer themselves.

8 So, we recommend -- well, actually we
9 don't recommend. Now we just demand that you have
10 to be able to publish them so everybody can see
11 them.

12 Once that's all done then the measure
13 comes to us. And we also have to look at
14 feasibility. Just like you would look at
15 feasibility on any type of measure you also look
16 at feasibility for the eMeasure.

17 And the things that you look at and to
18 consider when examining an eMeasure are is the data
19 available which means is the data in an EHR system.

20 And is the data structured. In other
21 words, is it a coded element that can easily be
22 retrieved from an EHR.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 So, for example, most physicians or
2 nurses will input information in the EHR and there
3 are structured fields that they input that
4 information into.

5 But occasionally, and actually I should
6 a little bit more than occasionally, when they have
7 to talk about follow-up plans, or specific
8 instructions for a patient they don't code those
9 elements. They type them in the free text fields.

10 And if that's part of the measure that
11 becomes pretty difficult to get out because every
12 EHR is different in where that information is
13 actually stored. So, it's something to keep in
14 mind.

15 The second is is the data using a
16 national standard, or a national vocabulary.
17 Because if it's using something that you don't know
18 or haven't heard about, and that's very, very rare,
19 then the idea that it could be implemented across
20 many EHR systems is incredibly restrictive.

21 If it uses a national code like a SNOMED
22 code, or an ICD code, or a CPT code that's what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 everybody generally uses. That makes
2 implementation a little bit easier.

3 It's also worth noting that is the use
4 of the eMeasure when it's implemented really
5 interrupting work flow. Do you have to take 20
6 minutes to input the information into an EHR for
7 this measure because that's 20 minutes you're not
8 spending with your patient?

9 And there's no way that NQF or anybody
10 would really want an eMeasure that requires so much
11 time away from the patient that it actually becomes
12 more burdensome to do than actually chart
13 abstracting the measure.

14 The idea of eMeasurement is to make it
15 easier to get the information into a measure rather
16 than through the chart abstraction process.

17 Now, there's one small caveat. Of
18 course there is. Which is these legacy measures
19 which I just talked to you about. Right. I roll
20 my eyes too when I get those. It's like really?
21 We're doing this again?

22 But legacy measures are already in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 federal programs, they're already being chart
2 abstracted and now they want to make them
3 eMeasures.

4 A lot of times it's really hard to get
5 data from two EHR systems to test that because these
6 have been chart abstracted measures. They don't
7 have EHRs where these have been implemented unless
8 they've just done this on their own which rarely
9 happens.

10 So what do we do when that occurs? One
11 of the solutions we've come up with which I will
12 come out and say is not a permanent solution, but
13 it is one that we are currently using, is that
14 developers can simulate a test data set of patients
15 to evaluate the logic of a measure to make sure it
16 calculates correctly, it's producing the right
17 metric, without actually using an EHR system.

18 There is a tool that MITRE created
19 called Bonnie. I always get asked this question
20 what does Bonnie stand for. I have absolutely no
21 idea. I don't think it stands for anything. In
22 all honesty it's probably the daughter of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 developer or the pet. Developers are very fond of
2 naming things after their children or pets.
3 Speaking as a former developer. It's just a lot
4 easier to do. You don't have to be creative.

5 So they use Bonnie to create a simulated
6 patient -- synthetic patient test deck of 50 to 60
7 patients that would meet the criteria of the
8 measure.

9 And it's important if you see this that
10 if they've created a synthetic patient test deck
11 in Bonnie that it actually represents a population
12 of patients you would actually see.

13 Like you don't want to see everybody
14 meets the measure. You would want to see people
15 that are excluded. You want to see people that are
16 included. You want to see people with different
17 conditions to make sure the logic of the measure
18 calculates correctly.

19 And if so, while it is not a complete,
20 absolute pass on feasibility or reliability or
21 validity, it is safe to say to some extent that if
22 the logic is calculated and the metric is accurate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 that in implementation there is a strong
2 probability that the measure would actually work.

3 The last thing --

4 CO-CHAIR THRAEN: Before you go on, I
5 want to ask a clarification question on Bonnie.

6 MR. GOLDWATER: Sure.

7 CO-CHAIR THRAEN: So, when we were
8 looking at binomial Bonnie testing we were seeing
9 really high 97s, 0.9397. Is that addressing the
10 issue of the ones that were -- I want to make sure
11 I understand.

12 So you were saying that the simulation
13 patient set should include some that don't belong.

14 MR. GOLDWATER: That's correct.

15 CO-CHAIR THRAEN: And that your
16 measure shouldn't be 0.999.

17 MR. GOLDWATER: No, no.

18 CO-CHAIR THRAEN: So you can
19 discriminate.

20 MR. GOLDWATER: That's correct.
21 Right.

22 CO-CHAIR THRAEN: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. GOLDWATER: If they come back with
2 results that are 100 percent there's a problem
3 because that's not accurately resembling -- well,
4 because you have to be able to show that there is
5 at least some ability of the measure to calculate
6 correctly for those that don't meet the criteria
7 for inclusion.

8 Because if you were to test it in the
9 EHR that's what you would be testing.

10 CO-CHAIR THRAEN: I think Andrew's got
11 a clarification.

12 MR. LYZENGA: That's different from
13 the binomial model of doing the signal to noise
14 analysis.

15 MR. GOLDWATER: Right. All right, so
16 the last is trial use which you're going to be
17 hearing today from my very dear friend Michael
18 Thelon at some point.

19 And trial use was a program that was
20 brought into existence at the beginning of last
21 year.

22 And the reason it was developed was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because we still have significant gaps in
2 measurement. I don't think I'm saying anything
3 that all of you don't know.

4 And there is the need to facilitate the
5 development of measures that can fill those gaps.
6 But testing of these eMeasures at times can prove
7 to be extremely burdensome and very difficult to
8 do, particularly when you're looking for specific
9 data elements, making sure those elements are
10 structured, or finding ways of mapping free text
11 elements into a structured format.

12 So there was two things we could do. We
13 could completely ignore the development of those
14 measures because they were not going to be able to
15 meet the criteria, or we could alter the criteria
16 for those measures specifically which in a way is
17 a slippery slope because then you've got to start
18 altering the criteria for others.

19 So, the trial use program was created.

20 When a measure comes before you that is
21 being considered for trial use the measure is to
22 be evaluated the same way any measure would be. Is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it reliable. Is it valid. Look at the
2 feasibility.

3 The feasibility will use Bonnie as I've
4 just described, and in some cases they may be able
5 to actually use real data also to test the measure
6 in conjunction with Bonnie. Not everyone, but
7 some do.

8 If you agree that this measure meets the
9 criteria of NQF the measure does not get endorsed.
10 The measure gets put into the trial use program.

11 And what happens at that point is the
12 measure is then put into the field and is
13 implemented in some sites and data is collected
14 while it's in the field. So essentially it's being
15 tested while being used.

16 And after a period of time that the
17 developer has collected enough data, while that
18 measure has been used, they then take the measure
19 out of the program. They evaluate the measure as
20 they would if they had actually conducted testing.

21 They bring it back before you and you
22 reconsider the measure again with those testing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 results to see if it can be considered for possible
2 endorsement.

3 CO-CHAIR SEPTIMUS: So, our usual
4 process, and NQF staff, correct me, is usually it
5 gets endorsed just generically and then it comes
6 back in three years for re-endorsement.

7 So, would that be the same with these
8 trial use?

9 MR. GOLDWATER: No.

10 CO-CHAIR SEPTIMUS: Or can they come
11 back the next year?

12 MR. GOLDWATER: They could come back
13 the next year, yes. It doesn't have to be a
14 three-year period, no. It can be -- they have to
15 up to three years to do it. That's correct.

16 CO-CHAIR SEPTIMUS: So they're not
17 really being endorsed, they're being given --

18 MR. GOLDWATER: Entry into the
19 program.

20 CO-CHAIR SEPTIMUS: The opportunity to
21 test it. But then they still have to come back
22 within three years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. GOLDWATER: Yes.

2 CO-CHAIR SEPTIMUS: And then they can
3 get full NQF endorsement.

4 MR. GOLDWATER: That's correct.

5 CO-CHAIR SEPTIMUS: Okay.

6 DR. BURSTIN: Just part of the logic
7 behind it was we didn't want to hold up innovative
8 measures because the EHR systems weren't quite
9 there yet to be able to test them.

10 So get them to market. Label them in
11 a way people know they're not completely ready for
12 prime time but please try these, explore these so
13 that they can potentially get ready for prime time.

14 MR. GOLDWATER: Right.

15 CO-CHAIR SEPTIMUS: So, if we decide
16 through going through our usual process of evidence
17 gap, et cetera, we don't think it's quite there yet
18 even now then they don't move forward?

19 MR. GOLDWATER: That's correct.

20 CO-CHAIR SEPTIMUS: Okay.

21 MR. GOLDWATER: But what I want to
22 emphasize is it's not being considered for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 endorsement. It's being considered for entry into
2 a program so it can be tested into the field.

3 (Simultaneous speaking)

4 CO-CHAIR SEPTIMUS: And we'll make
5 that clear with each of these eMeasures that we're
6 going to be discussing this morning.

7 MR. GOLDWATER: Correct.

8 CO-CHAIR SEPTIMUS: Steve, you had a
9 question? And then Charlotte.

10 MEMBER LAWLESS: Yes, I want to
11 compliment you. You actually made it so very, very
12 clear.

13 MR. GOLDWATER: Oh, thanks.

14 MEMBER LAWLESS: And so I'm waiting for
15 the next convention to hear you.

16 It's so clear. Is this conversation
17 you have in a document or something that people get
18 so they actually can say this is the process you
19 have to go through? I mean is it ahead of time?

20 MR. GOLDWATER: We do. Yes.

21 MEMBER LAWLESS: I really recommend it
22 actually because I get asked a lot how to develop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a measure. What you described is what on the e side
2 we go through it all the time.

3 I would really ask NQF either to put it
4 as a webinar, or a course, or a book.

5 MR. GOLDWATER: So we've done the
6 webinar. I'm happy to do it again. And we do have
7 a document that pretty much describes everything
8 I've just talked about.

9 And we're actually revising that at the
10 moment. Reva and I are revising that at the
11 moment. And I think it will probably be out in
12 mid-August.

13 MEMBER LAWLESS: So I think it's
14 applicable actually for internal development.

15 MR. GOLDWATER: That's correct.

16 MEMBER LAWLESS: So thank you.

17 MR. GOLDWATER: Absolutely.

18 CO-CHAIR SEPTIMUS: Jason, could that
19 information -- I mean, some of us have been involved
20 in NQF for awhile and may or may not continue our
21 roles at some level.

22 Would it be something that you could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 share when it's available --

2 MR. GOLDWATER: Absolutely. We'll
3 probably let everybody know once it's done, it's
4 released because it will be sort of a list of the
5 scenarios of the way eMeasures come in, how
6 eMeasures are evaluated, the things to consider.
7 A new feasibility scorecard. A variety of things.
8 So yes, we will release all of those.

9 CO-CHAIR SEPTIMUS: Charlotte and then
10 Lillee.

11 MEMBER ALEXANDER: So is your trial
12 measure program only for eMeasures?

13 MR. GOLDWATER: Yes.

14 MEMBER ALEXANDER: Because we've had
15 some measures come through that didn't have the
16 data, needed to be out there to get the data. I'm
17 thinking of some of the radiology measures that
18 came through a year or so ago.

19 And it seems like there might be an
20 opportunity for NQF to provide that type of support
21 for other measures as well.

22 MR. GOLDWATER: Well, I think that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 something to potentially consider. For now it was
2 really about eMeasures and that was also largely
3 driven by the market itself with the desire, as
4 Helen put it, to create a lot of innovative measures
5 that were filling needed significant gaps in care.

6 And rather than holding the process we
7 came up with the trial use process as a way of at
8 least moving those out to see if they work.

9 CO-CHAIR SEPTIMUS: Before we go to
10 Lillee is there anybody on the phone that's part
11 of the committee that has not announced themselves?
12 I should have done that earlier and I apologize.

13 MEMBER SCHREIBER: Hi, Ed. It's
14 Michelle Schreiber. I'm on the phone.

15 CO-CHAIR SEPTIMUS: Hey, Michelle.
16 You get a gold star. No, I think I've risen that.
17 You're a platinum now. Two days in a row.

18 MEMBER SCHREIBER: I appreciate being
19 allowed to participate by phone. I couldn't be
20 there in person.

21 CO-CHAIR SEPTIMUS: Okay, thank you.
22 Lillee, go ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER GELINAS: Thank you. And I
2 agree, incredibly articulate.

3 The pain on the provider side around the
4 workplace impact of EHR implementation. And some
5 of the data that we track about the nursing work
6 environment.

7 Nursing turnover is extremely high in
8 this country right now. And unfortunately when
9 you see exit interview after exit interview of why
10 nurses are leaving they're so constrained at
11 nursing the computer they're not nursing the
12 patient.

13 And we've done some studies showing
14 that 80 percent of time is spent on documentation
15 burden, not nursing the patient type thing.

16 Conversations with vendors don't go
17 well. We have MEDITECH, Cerner and Epic and they
18 don't talk to each other. And we have Midas for
19 quality data extraction. And I'll go on and on.

20 The number of FTEs that we still have
21 for manual chart abstraction is phenomenal.

22 So, give me your hope trajectory on how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 quickly that all of these stars are going to align.
2 Because I'm very hopeful that they will. I think
3 that a lot of the energy and synergy is well
4 underway, and the practical and tactical is now
5 beginning to take hold whereas before it could have
6 been pie in the sky.

7 So our crystal ball is still pretty
8 foggy, but we're moving fog to concrete now.

9 But do you have any hope whatsoever that
10 we're going to really move to vendor-to-vendor
11 interoperability at a level I think it was
12 originally conceived by the American health
13 information community, and Secretary Leavitt, and
14 everybody else?

15 Is there anything that we can do and NQF
16 can do to really push the market to make
17 interoperability real?

18 Because we can have all the best
19 measures in the world, but if we don't have good
20 interoperability it's still going to be painful to
21 get the data.

22 MR. GOLDWATER: So, it's great that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 asked a very simple question that will take two
2 minutes to answer. I'm kidding. We could have a
3 conversation about this literally for the rest of
4 the afternoon.

5 CO-CHAIR SEPTIMUS: I can give you
6 three minutes.

7 MR. GOLDWATER: Thank you, Ed. I'll
8 answer this as succinctly as I can.

9 I think that interoperability is the
10 major barrier here. It always has been. It's
11 been a barrier for 25 years.

12 There's been slow, incremental
13 progress. There's been a lot of discussion about
14 removing the information blocking to allow for
15 better sharing of information and data.

16 There certainly does seem to be a degree
17 of willingness at least publicly by vendors to do
18 this.

19 I'm somewhat cynical as some of you are
20 that I've been down this path a lot. I've often
21 joked ONC has created a roadmap for
22 interoperability and this is the fourth roadmap

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that they've created in the last 15 years.

2 So I think that for now we can sort of
3 get to a point where we can use these more
4 effectively because you can look at things such as
5 if you're using structured elements they're
6 obtainable in the EHR. It does not force you to
7 have to do some degree of text mining or in-depth
8 examination about where those fields are.

9 And when I review eMeasures that's the
10 first thing I look at which is is the data readily
11 available.

12 Like I know having looked at a gazillion
13 systems over the years that you know the elements
14 that are there. You know the elements that are
15 not.

16 And if the elements are there, and
17 they're structured, and they're available it's
18 hard to assess the actual impact on workflow
19 because I've been to hospitals that have an Epic
20 system.

21 They've got terminals every 10 feet and
22 I'm still watching nurses write down on paper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what's going on and then double input into the EHR
2 which is incredibly ineffective.

3 So I think that there is a way that we
4 can at least help the problem.

5 Are we going to get to full
6 interoperability? NQF is certainly helping in
7 this regard because we're working with ONC on how
8 to effectively measure interoperability in order
9 to understand what the problems are and how those
10 problems can be solved.

11 A lot of this is really going to take
12 shape in the next couple of years about what's going
13 to happen. You know, is MACRA really going to be
14 sort of the driver that opens up these systems.
15 Are there really going to be significant penalties
16 for those who continue to block information.

17 Are we going to get to a national
18 standard across all terminologies and vocabularies
19 that everybody will use?

20 And most importantly, are we going to
21 get to a way where we can uniquely identify a
22 patient? Because all the interoperability talk in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the world is great, but if we can't specifically
2 attach that to a patient that poses a problem for
3 quality, and safety in particular.

4 When I hear discussions of, well, we
5 have probabilistic algorithms. We can get a 97
6 percent chance of getting it right. You know, that
7 sort of gives me a lot of pause because there's 3
8 percent of the patients are going to be wrong. And
9 this is healthcare. You don't get this wrong.

10 So, I think you pose an incredibly
11 great, philosophical, in-depth question that I
12 would love to spend eight hours talking to you about
13 over several mojitos to be honest with you, but I
14 just, I think we have a way of sort of helping the
15 process now.

16 And I think we're moving with ONC on how
17 we can facilitate this further.

18 CO-CHAIR SEPTIMUS: So, see, you
19 missed the rooftop last night, Lillee. You should
20 have come up to the rooftop. We would have had this
21 conversation.

22 MR. GOLDWATER: I had a date night with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 my wife. I'm sorry. The kids are gone for a week
2 so I'm taking advantage of this.

3 CO-CHAIR SEPTIMUS: Shouldn't he be in
4 radio?

5 MR. GOLDWATER: I was. I was in
6 college. Can't you tell by my voice.

7 CO-CHAIR SEPTIMUS: Listen to that
8 voice, that terrific voice.

9 MR. GOLDWATER: Helen loves that.

10 CO-CHAIR SEPTIMUS: We want to move
11 forward because I want to make sure we get done.
12 One more comment and then we're going to go forward.
13 Yanling, go ahead. One more comment.

14 MEMBER YU: Thank you. Maybe somewhat
15 related. Could you give me or help me understand
16 what is the overall percentage of the facility or
17 nationwide that adopted the EHR?

18 MR. GOLDWATER: So, the most recent
19 data is that from Chilmark Research which is sort
20 of this independent -- it's not affiliated with
21 HiMMS, it's not affiliated with a lot of the vendor
22 organizations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think that they said as of this year
2 about 84 percent of all hospitals have EHRs and
3 roughly 79 percent of all physician networks,
4 physician centers have EHRs.

5 And what was even more interesting is
6 that community health centers are almost over 90
7 percent adoption right now because of the funding
8 that has been made available through organizations
9 like HRSA that they've really become much more
10 adept to incorporating EHRs, even than some large
11 hospitals or physician networks.

12 But it's substantially higher than it
13 was 15 years ago.

14 CO-CHAIR THRAEN: That is hospitals,
15 not nursing homes?

16 MR. GOLDWATER: Yes, correct.

17 CO-CHAIR THRAEN: The whole continuum
18 of care is not at the table yet.

19 MR. GOLDWATER: Health facilities are
20 way behind. Long-term care, post-acute care is
21 significantly behind. Ambulatory surgical
22 centers. Long-term acute care hospitals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Inpatient rehab facilities. They're much further
2 behind.

3 MEMBER YU: Just one quick one. We
4 have reviewed some records and we're trying to
5 develop a policy, EHR policy for the medical board.
6 And it's not lukewarm I can say for the physicians,
7 for Washington State.

8 I just wondered down the road if will
9 be created some type of incentive or something to
10 help adapt this EHR for professional.

11 CO-CHAIR SEPTIMUS: There is
12 Meaningful Use. There was incentive for
13 physicians to adopt EHRs. So those incentives are
14 actually there.

15 So I'm going to be forced to move
16 forward.

17 MR. GOLDWATER: That's fine, Ed.
18 Thank you very much.

19 CO-CHAIR SEPTIMUS: So if you'll
20 introduce it, we're going to go to measure 3005,
21 Initial Risk Assessment of Immobility-Related
22 Pressure Ulcers within 24 Hours of PICU Admission.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This is from the Pediatric Consultants.

2 And this is an eMeasure. And it's a
3 first time. So does this fit into the trial use?
4 I don't think so.

5 DR. WOODS: No. We were able to test
6 it in both Epic and Cerner which are the first and
7 third in market.

8 CO-CHAIR SEPTIMUS: That's what I
9 read, I just wanted to make sure. So this is a
10 regular evaluation. So if you'll just give us a
11 brief presentation then one of our group will lead
12 the discussion.

13 DR. WOODS: Can I announce who's on the
14 phone?

15 CO-CHAIR SEPTIMUS: Oh, please do. So
16 who's on the phone for measure 3005?

17 DR. SACHDEVA: Hi, good morning. This
18 is Dr. Ramesh Sachdeva and I served as the PI for
19 the pediatric measurement center of excellence
20 which was involved in the development of this
21 measure.

22 CO-CHAIR SEPTIMUS: Is that it? Is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that all you're expecting? Is there someone else?

2 DR. WOODS: There should be a few
3 others.

4 DR. MIKHAILOV: Hello?

5 CO-CHAIR SEPTIMUS: Yes, please tell
6 us your name.

7 DR. MIKHAILOV: This is Theresa
8 Mikhailov. I'm one of the pediatric specialists
9 at Children's Hospital of Wisconsin and I was a
10 member of the team that developed this measure.

11 MS. FOX: And I'm Jamie Fox, one of the
12 critical nurse practitioners from Children's
13 Hospital of Wisconsin.

14 CO-CHAIR SEPTIMUS: Thank you. So, is
15 that CHOW versus CHOP? I'm kidding. Go for it.

16 MR. RICE: I'm Tom Rice. I'm the
17 pediatric intensivist and I was the chair for the
18 pediatric expert work group working on the PICU
19 measure development.

20 DR. WOODS: Okay, so both of these
21 measures were specified as eMeasures. All of our
22 measures, actually, were specified by eMeasures,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ones that you're not seeing today.

2 Our experience is that pediatric
3 hospital EHR systems are much more evolved than the
4 ambulatory care context.

5 So we were able to test these in two
6 different EHR systems, both Epic and Cerner. And
7 I'll start by introducing the pressure ulcer
8 measure.

9 Pressure ulcers develop when soft
10 tissue is compressed between a bony prominence and
11 an external surface for a prolonged period.

12 This results in tissue hypoxia causing
13 cellular death, injury to the surrounding area and
14 ultimately a pressure ulcer.

15 A pressure ulcer is a localized injury
16 to the skin. Pressure ulcers have been steadily
17 increasing with reported rates of 4.14 pressure
18 ulcers per 1,000 pediatric discharges in 1999.

19 And it's up to 4.33 pressure ulcers in
20 1,000 pediatric discharges by 2002, and has
21 increased 34.5 percent from 2000-2007.

22 Pediatric patients who experience

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pressure ulcers have 6.15 percent mortality, and
2 pressure ulcers can lead to infection, pain
3 management challenges, disfigurement, increased
4 length of stay and readmission, altered body image
5 and psychological distress as well as considerable
6 cost to the healthcare system.

7 Early intervention can be an effective
8 prevention measure against pressure ulcer
9 development.

10 Pressure ulcer prevention means an
11 accurate assessment to identify at-risk patients.
12 The Braden Q is the only validated
13 immobility-related pressure ulcer risk assessment
14 tool available for critically ill or injured
15 children.

16 Identifying patients at risk for
17 pressure ulcer and then intervening accordingly
18 can reduce the incidence of these pressure ulcers
19 which ultimately reduces infection, pain,
20 disfigurement, length of stay, readmission,
21 psychological distress and mortality in PICU
22 patients.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The numerator for this measure is the
2 number of PICU patients for whom an assessment of
3 immobility-related pressure ulcer risk using a
4 standardized pressure ulcer risk assessment tool
5 was documented within 24 hours of admission.

6 The denominator is all patients
7 admitted to the PICU for at least 24 hours during
8 a monthly or quarterly reporting period.

9 The data source for this measure is the
10 EHR as an eMeasure.

11 Performance scores. Children of all
12 ages at risk for -- sorry, that's a different thing.

13 The performance scores, we were able to
14 actually calculate the measure in both the Epic and
15 Cerner systems, but we actually only had by the time
16 of the testing the performance scores for the Epic
17 calculation.

18 We had 100 percent reliability when the
19 same set of charts was reviewed through manual
20 chart abstraction.

21 And electronic output was provided for
22 a reporting period of January 1 through March 31,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 2015, included 106 unique patients representing
2 109 events.

3 We were able to output performance
4 across different patient factors, age zero to 6 92
5 percent, 6 to 13 years 94 percent, 13 to 19 was 95
6 percent.

7 For race/ethnicity white patients had
8 this assessment done within the 24 hours 97 percent
9 of the time. For African-American patients 82
10 percent of the time. Hispanic 94 percent, and
11 other 92.

12 We actually tested the eMeasure
13 feasibility in five sites. It was found to be
14 technically feasible in all sites. However, two
15 of the sites dropped out for workflow issues.

16 So the structured field existed, but
17 people didn't use it.

18 I think that gives you a good sense of
19 what we've done.

20 CO-CHAIR SEPTIMUS: Are you ready,
21 Pat, to take us through the evidence?

22 MEMBER QUIGLEY: Thank you, Mr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Chairman, and thank you for the opportunity to
2 present a second measure.

3 So with that my opening remarks, and
4 thank you for the introduction to the measure, is
5 to pose the question in terms of quality as this
6 measure came to us.

7 This is a measure, a yes/no measure, of
8 whether or not an assessment is done in the
9 pediatric intensive care population.

10 And when I first read this, and I know
11 others have commented in their reviews. When I
12 first read this measure as it came forward to us
13 it took me back to the days of the patient safety
14 complication steering committee that was
15 co-chaired by the current president of the American
16 Nurses Association Dr. Pamela Cipriano.

17 And we discussed at that point in time
18 what is the measures of quality. And we had very
19 lengthy dialogue that a measure, yes or no, is
20 something done or not, is not a measure of quality,
21 unless it is really aligned into a composite
22 measure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I take you back to a measure that
2 came to us in relationship to fall risk assessment
3 in the home care setting.

4 And when that came to us one of my
5 comments then, and I know it would be on record,
6 is that this measure here is nursing practice.
7 It's nursing practice to assess patients across all
8 settings of care, whether or not they're assessed
9 for pressure ulcer risk.

10 So, just having an assessment done yes
11 or no is not necessarily a measure of quality.

12 So, to me I really questioned how this
13 came forward to the patient safety committee as a
14 measure of quality.

15 But that being said, and I think it is
16 a topic of discussion for this whole committee, is
17 if there's one piece, if the intent is for this to
18 become eventually a composite measure, then that's
19 another discussion.

20 But to just measure nursing practice,
21 is it being done or not, in a very at-risk patient
22 population, pediatric, yes or no, isn't the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 responsibility of the nursing profession.

2 So, this measure, when you look at the
3 evidence for this, I was really surprised to not
4 see a systematic literature review, and to have it
5 be narrowed down to the pediatric population.

6 There's not a systematic literature
7 review. There's not a grading of the evidence.
8 The evidence that's presented to us is quite dated.

9 You could go through it and it's also
10 cited in the preliminary analysis.

11 But as we look at the evidence to
12 support yes of course risk assessment is essential
13 before you do care planning because you have to have
14 pressure ulcer risk to identify who's at risk for
15 pressure ulcer.

16 And I would think in the pediatric ICU
17 everybody's at risk for pressure ulcer.

18 But the literature that's presented to
19 us is a 2001 survey, a 2006 guidelines for
20 assessment of prevention in the pediatric
21 population, a 1996 identification of skin
22 integrity in the pediatric population, and 2003

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 review of pediatric care.

2 So essentially those are clinical
3 guidelines. And the measure evidence was graded
4 as moderate, but we all know that to prevent any
5 outcome you always start with screening and get to
6 assessment.

7 So that is why I really expected more.
8 And I was really quite surprised to not see any
9 comments by the Pediatric Nurses Association that
10 were submitted. There were no public comments
11 Pediatric Nurses Association.

12 So, I don't know if this went out to
13 them. I know it's an electronic measure.

14 So, those are really my questions. My
15 question, number one, is is it really a quality
16 measure.

17 And then my other question truly is the
18 amount of evidence to support it.

19 In the discussion of the evidence it
20 indicates that there's really currently no
21 clinical guidelines for this patient population.

22 But colleagues, two days ago the Agency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for Healthcare Research on Quality released its
2 quality indicator toolkit. And that quality
3 indicator toolkit had pressure ulcer prevention
4 for the pediatric population.

5 It's the entire pediatric population.
6 And all children should be assessed for pressure
7 ulcer risk in 24 hours.

8 So, again, to be able to have that
9 quality indicator come forward there has to be a
10 body of evidence to support it. So those are my
11 opening comments.

12 CO-CHAIR SEPTIMUS: Thank you. I want
13 to just clarify one thing. So, is there anything
14 that you reviewed, and the developer can also
15 answer this, that by doing the assessment in the
16 first 24 hours, that that is linked to a better
17 outcome?

18 Because I think that's actually, and
19 you guys correct me, that's really the standard for
20 a process measure. And is there literature to
21 connect those two?

22 MEMBER QUIGLEY: Yes, but what I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 saying, what they presented is the data. But I
2 know that there's more current. But we all know
3 that that should be done. So that's why I was
4 looking for.

5 DR. WOODS: So, just to put this in
6 context. The measure developers, PMCoE, all the
7 folks on the phone and me, are a center of
8 excellence funded through AHRQ as part of the
9 pediatric quality measures program that was
10 hardline written into the CHIPRA law. Because
11 there's a real paucity of pediatric measures.

12 There aren't PICU measures. These are
13 the first PICU quality measures coming forward I'm
14 pretty sure for PICU. No? Okay. Potentially
15 the first eMeasures then.

16 So the focus on pediatrics is part of
17 the program. And as you said -- so, I haven't seen
18 the thing that you saw, but I know that AHRQ
19 required us to present all of the information that
20 you guys also have, and asked us to build fact
21 sheets based on that, and have those fact sheets
22 up on their website.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I'm not sure if that's the material
2 you're pointing to. It would be our stuff
3 probably.

4 CO-CHAIR SEPTIMUS: Kendall.

5 MEMBER WEBB: So, as somebody who's
6 pediatric trained I'm just going to provide the
7 other side of this.

8 CO-CHAIR SEPTIMUS: Get a little
9 closer to the microphone.

10 MEMBER WEBB: As somebody who's
11 pediatric trained I just want to give an alternate
12 thought about the evidence in this case.

13 It is notoriously hard to do studies on
14 pediatric patients, almost impossible. Because
15 if you create a situation where you show harm on
16 one side almost everybody shuts the study down
17 immediately because there are children involved.
18 So you're going to have trouble finding high-grade
19 evidence for almost any pediatric process,
20 anything you try to put up here.

21 The one thing I would say is, you know,
22 I'm not sure about the Braden Q although a quick

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Google search of it, it shows up pretty heavily as
2 the pediatric pressure ulcer risk assessment tool.

3 It is, as I can see, British, but it
4 looks like a lot of people are using it.

5 While I agree that everybody should be
6 getting pressure ulcer checks within the first 24
7 hours of arriving to an ICU setting it's clear even
8 from what they did, they had two sites drop out
9 because they felt like it was too much flow --
10 change. So it's clear that not everybody's doing
11 it.

12 So, if we're really looking at patient
13 safety, I'm not saying the evidence is there, I'm
14 not saying it's high, anything like that, but if
15 we're really looking at patient safety to me this
16 does seem like a good place to start, especially
17 with an eMeasure because it's a pretty easy
18 eMeasure to get going.

19 CO-CHAIR SEPTIMUS: Missy.

20 MEMBER DANFORTH: Thank you. So,
21 actually thanks to Dr. Steve Lawless I've had the
22 opportunity over the past 12 months to have some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very significant conversations with Solutions for
2 Patient Safety which is a pediatric collaborative
3 out of Ohio.

4 They've put into operation an outcomes
5 measure for pediatric patients throughout the
6 hospital including ICU looking at incidences of
7 pressure ulcers stage II and worse.

8 So can you just talk a little bit about
9 the need for a process measure when it seems like
10 there's a lot of pediatric hospitals that are
11 looking at outcomes measures, and why you chose not
12 to bring an outcomes measure forward?

13 DR. WOODS: This measure is to be a part
14 of a set that would look at process and outcome.
15 It's kind of the first in that process.

16 MEMBER DANFORTH: What's the rest of
17 the set?

18 DR. WOODS: It would be outcome
19 measures.

20 We tested in our measure champion
21 hospitals a measure around I believe it was
22 pressure ulcers grade III or higher.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we assessed that as part of a
2 composite measure of preventable harm. It
3 includes other things like CAUTI and CLABSI. But
4 we were able to assess the outcomes as well.

5 MEMBER MCGIFFERT: I'll just be very
6 brief. I appreciate the focus on this population.
7 It's a real problem.

8 But I think we need to see outcome
9 measures. I'd like to see -- if you're developing
10 some kind of composite I'd rather see that come
11 forward than a check the box kind of measure.

12 DR. WOODS: Possibly some of my
13 critical care colleagues could speak up here on the
14 phone.

15 DR. SACHDEVA: Absolutely. If I may
16 start here, this is Dr. Sachdeva. And I request
17 my other colleagues to weigh in too.

18 So, I just want to make a couple of quick
19 points. Besides the PI on this particular center
20 where this work was performed I've also been a
21 practicing pediatric critical care physician for
22 several years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And as you all know this is a clinically
2 huge challenge for us in the PICU across the
3 country.

4 And clinically I think going to an
5 intensive care unit, most intensivists, physicians
6 and nurses and other staff would agree that this
7 is a clinical challenge which can put children at
8 risk.

9 This is the first step of a longer
10 journey. And as correctly pointed out previously
11 by one of our colleagues in the room there is a
12 paucity of pediatric evidence in general.

13 And I think the fundamental question
14 which needs to be asked is how long do we wait
15 clinically to obtain that necessary evidence
16 before getting started.

17 This is not the end of the journey.
18 This process measure is the first step of much more
19 to come. But this is the beginning.

20 And my own feeling clinically is that
21 not doing this, the potential risk posed to
22 children is high.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And conversely I would also request the
2 committee to consider that by doing this, if this
3 measure were to be supported by the NQF are there
4 any potential risks to children.

5 And I think most would argue that there
6 are none. I mean, doing this doesn't solve the
7 problem but is the first step toward solving the
8 problem with relatively minimal burden if you may.

9 So, our measure already attempted to
10 make sure that this is a process measure. This
11 could be tested in EHR systems.

12 This would be an eMeasure which is
13 another first.

14 So again, I think we need to look at it
15 in light of the first step towards others.

16 But maybe Theresa with Jamie on the call
17 can weigh in. You are content experts in this
18 particular area.

19 DR. MIKHAILOV: This is Theresa. I
20 was going to point out that, yes, was meant to be
21 the first of a series of measures designed to
22 address the problem of pressure ulcer prevention

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in our critically ill children.

2 This is the first measure because it's
3 the beginning of the process, looking at the skin
4 at the time the patient arrives.

5 The second measure was meant to be an
6 ongoing Braden Q in centers that are actively
7 working to prevent pressure ulcers as Braden Q is
8 done at admission but it's also done at least every
9 24 hours thereafter. So looking at that as a
10 process measure was our second measure in the set.

11 The third measure, if there are
12 problems identified with the Braden Q was to
13 intervene with preventive measures for the
14 patient. So that is also a process measure
15 measuring whether the appropriate interventions or
16 any interventions in fact are made to prevent
17 development of pressure ulcers.

18 The fourth was the outcome measure
19 looking at the rate of pressure ulcer incidence but
20 from immobility-related. But there is now a surge
21 in device-related pressure ulcers as well.

22 And the Braden Q is not as well designed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to address that. So, we were intending that to be
2 the fifth measure.

3 But we feel that the process measure has
4 to precede the outcome measure. If we only look
5 at the outcome, the outcome will be worse. If we
6 look at the process measure we think we will have
7 a positive impact on the outcome by intervening
8 before the outcome occurs.

9 DR. WOODS: And that was Dr. Theresa
10 Mikhailov who's a pediatric intensive care
11 clinician.

12 CO-CHAIR SEPTIMUS: So let's get these
13 last few comments. I'd like for us to get to the
14 meat of the issue and find out whether the committee
15 feels that the evidence is there to move forward.

16 DR. WOODS: Could I say one more thing?

17 CO-CHAIR SEPTIMUS: Of course.

18 DR. WOODS: There -- it's been very
19 difficult to get measures implemented into the
20 Medicare/Medicaid program which was part of the
21 intent.

22 This pediatric quality measure program

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was built into the CHIPRA law so that CMS could have
2 better data and the states could have better data.
3 Many states are not implementing these measures.

4 But California is very interested in
5 evaluating both of the eMeasures that are here.
6 The head of California as a part of another
7 proposal, sort of the second round of the PQMP
8 program has already signed onto these measures.

9 CO-CHAIR SEPTIMUS: So three more
10 comments and then I'd like to go to the vote. So
11 Steve, Iona and Yanling.

12 MEMBER LAWLESS: This is Steve
13 Lawless. I'm a pediatric intensivist, 30 years.

14 To Pat's point this is basic nursing
15 assessment. I mean, this is really what it is.

16 I think if you look at validity,
17 reliability, intent, no argument. I mean, this is
18 what you have to do.

19 However, the Braden score is 28
20 elements. It's a lot. And so, it's a lot. And
21 I like what the developer said in terms of this is
22 a stepped approach.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I think on the time of admission
2 within 24 hours, and we heard yesterday how long
3 it takes for a pressure ulcer to form, you're
4 getting a baseline versus progression.

5 So, I think the idea is all the work is
6 going to be involved. When is this ready for prime
7 time in development.

8 I would argue that you may want to
9 consider having a lot more maturity to even --
10 because you're putting all this stuff in.

11 And I think feasibility and usability
12 is going to be a big issue here. The Braden Q, it's
13 a good scoring system, it is a lot of data. And
14 you have to balance that if I'm moving patients
15 around and everything else, and what I'm doing with
16 each of these 28 elements. So it's a lot of stuff
17 there.

18 Not to argue against the importance of
19 it, but this is bigger of a workload than what's
20 coming across here.

21 And you are diverting nurses from
22 bedside care to filling out a scoring system of 28

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data elements. So that piece, you have to see
2 what's that impact.

3 DR. WOODS: Jamie, do you want to speak
4 up here? Our pediatric intensive nurse.

5 MS. FOX: I think it depends on what the
6 hospitals are doing now. At least here at our
7 institution the nurses are pretty familiar with
8 doing this. So it isn't as labor-intensive as it
9 would be to implement it as a brand new tool.

10 It's built into our Epic system so it's
11 part of their standard questions that they ask and
12 fill out as their assessment.

13 CO-CHAIR SEPTIMUS: Iona.

14 CO-CHAIR THRAEN: So, this is a
15 question for NQF staff.

16 Is it -- could the committee recommend
17 that this be not endorsed but put into the trial
18 option? If the committee feels that it is not
19 quite ready, or they want it to be bundled with the
20 outcome measures in the future but there needs to
21 be some testing that takes place, et cetera, et
22 cetera. Is that an option?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Before I let them
2 answer, in the back of my mind I thought we had
3 considered an outcome measure for pediatrics
4 around pressure ulcers.

5 And our great support folks here pulled
6 up actually something we approved that was an
7 outcome measure in pediatric for pressure ulcer.
8 So I should have gone back and looked myself, but
9 you may want to comment on that.

10 MR. LYZENGA: So let me just first
11 address the trial use.

12 I think -- I'm not as familiar with the
13 policy, but my understanding is it's usually we
14 want a measure to sort of pass the other criteria,
15 evidence, importance, before -- it has to -- before
16 we can put it into trial use. That is really where
17 the -- for where the testing hasn't been done, but
18 it has met all the other criteria.

19 So I think if we're hesitant on evidence
20 here we would want to pass it on evidence before
21 we could consider the trial approval.

22 But we did --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Then for follow-up
2 to the measure developers one of the observations
3 that were made earlier was that the evidence that
4 was provided is pretty dated.

5 And if you're a center of excellence and
6 you're involved with this process can you tell us
7 why that evidence is so dated?

8 DR. WOODS: Dr. Mikhailov, can you
9 respond to that?

10 DR. MIKHAILOV: I think that there is
11 some that is more recent, and there is something
12 that I was just informed of yesterday in this area
13 that was just accepted for publication yesterday
14 by one of my colleagues.

15 So, I couldn't add that obviously.
16 It's in press probably today, so I can't share that
17 with you, but I can tell you that it supports that
18 this is a critical issue.

19 These are patients that were followed
20 with -- in our institution with Braden Q at
21 admission, Braden Q every day, and a two-year
22 cohort of patients of whom 19 developed severe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pressure ulcer unstageable types or deep tissue
2 which are the worst type.

3 And 42 percent of the 19 patients passed
4 away with these severe. And these were all
5 patients who were having all of these interventions
6 that we've outlined in our series of measures.
7 These were all immobility-related pressure ulcers
8 as well.

9 So I know that there is literature
10 coming out as Dr. Sachdeva told you. These are
11 difficult studies to do. So that was a
12 retrospective review of existing patients.

13 There isn't a good prospective study.

14 CO-CHAIR SEPTIMUS: Thank you very
15 much. Yanling, one more comment and then we're
16 going to vote.

17 MEMBER YU: Okay. My understanding is
18 that for this type of a check on yes I documented,
19 no I didn't, basically it doesn't really relate it
20 to the outcome until you check whether you
21 documented and not documented shows any
22 differences in the outcome down the road.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I'm assuming the idea is to collect
2 the data down the road and to better understand the
3 outcome as you said, the plan.

4 So now my question is with the current
5 medical record system do you have any idea when you
6 look at others that -- whether the -- what I'm
7 trying to say -- how much improvement would be after
8 you use as an eMeasure to really get a better
9 outcome, or a better documentation of whether the
10 children's pressure ulcer have been prevented
11 after you do this type of documentation. Do you
12 know my question?

13 DR. WOODS: I'm not entirely sure I
14 understand your question so I'll say it back to you
15 and see if this is what you're asking me.

16 If this is really only about
17 documentation versus about doing the assessment.

18 So, in one of our sites -- so, all of
19 our sites were doing some of the Braden Q because
20 we also did chart reviews, and we thought that
21 presenting an eMeasure was a less burdensome
22 activity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What some of the systems, the way the
2 workflow happened they would do it on a paper form
3 that was then scanned in.

4 And as you heard the person who was
5 sitting next to me, you can't get that information.
6 It's not a structured field.

7 So, when they document it in electronic
8 records they had the fields but their process was
9 to -- when they did the Braden Q their process was
10 to scan a document in as opposed to note each of
11 the elements in the electronic record.

12 So, all of the elements when they did
13 it were in the electronic record, but just in a
14 scanned document.

15 So it's an easier measure -- if you're
16 going to do the right thing which is to do a pressure
17 ulcer assessment it can be done on paper or it can
18 be done in electronic fields.

19 And if the EHR in both Cerner and Epic
20 had electronic fields for those elements of the
21 assessment and then those were used and then were
22 able to be used for construction. Is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 answering your question?

2 CO-CHAIR SEPTIMUS: I think so. I
3 think I know where you both are going. But Laura
4 has a quick question and then we really do need to
5 vote.

6 Let's vote.

7 DR. MIKHAILOV: Can I make one quick
8 comment? This is Theresa Mikhailov again.

9 The Braden Q has a maximum of 28 points,
10 but it's in 7 fields. So it's not 28 separate
11 fields that are entered, it's 7 fields with scores
12 in each field. So I think it's not as burdensome
13 as it might seem.

14 MR. LYZENGA: It's 28 decision points.

15 CO-CHAIR SEPTIMUS: Okay, let's go
16 ahead and vote.

17 MS. QUINNONEZ: We are now voting on
18 measure 3005 initial risk assessment for
19 immobility-related pressure ulcer within 24 hours
20 of PICU admission. Voting is now open for
21 evidence.

22 Your options are option 2 moderate,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 option 3 low, option 4 insufficient. Those voting
2 options are option 2 moderate, option 3 low, option
3 4 insufficient.

4 Michelle, if you could submit your vote
5 in the chat box, please?

6 MEMBER SCHREIBER: I did. Did it not
7 go through?

8 MS. QUINNONEZ: Okay. All votes are
9 in. Voting is now closed.

10 The votes for evidence of measure 3005
11 are 32 percent voted moderate, 47 percent voted
12 low, and 21 percent voted insufficient.

13 CO-CHAIR SEPTIMUS: Okay, well that is
14 a no so I think we stop.

15 MR. LYZENGA: Evidence is a must-pass
16 criteria.

17 CO-CHAIR SEPTIMUS: Evidence is a
18 must-pass.

19 CO-CHAIR THRAEN: I have to get a
20 clarification question. I'm still struggling
21 here a bit.

22 So, if the evidence -- so in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 instance of eMeasures which are the new kids on the
2 block, if there is no evidence because you haven't
3 used an eMeasure to determine whether in fact it
4 --

5 (Simultaneous speaking)

6 CO-CHAIR THRAEN: So you're just
7 asking about the content of the measure.

8 DR. BURSTIN: It's evidence for the
9 measure focus, not as applied.

10 CO-CHAIR THRAEN: Okay. All right.

11 CO-CHAIR SEPTIMUS: But really, thank
12 you very much. I know it seems a little painful.

13 We're going to go ahead and go onto the
14 next measure. And I think you're going to also be
15 3006?

16 DR. WOODS: Yes.

17 CO-CHAIR SEPTIMUS: Let me introduce
18 the measure first. Initial Baseline Screen of
19 Nutritional Status for Every Patient within 24
20 Hours of PICU Admission.

21 And our measure developers will make a
22 few comments. This is also an eMeasure and a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 process measure.

2 DR. WOODS: Right. Developed by the
3 same group. And it is a process measure. So I'm
4 hearing that process measures are less interesting
5 to this group.

6 DR. BURSTIN: NQF has a stated
7 preference for outcome measures. It's in
8 everything we do. So it's not this group, it's
9 actually NQF-wide.

10 DR. WOODS: Okay.

11 DR. BURSTIN: We prefer outcomes. If
12 they're process measures, they have to have a clear
13 evidence link to outcomes.

14 DR. WOODS: Okay. In critically ill
15 children malnutrition is associated with an
16 increased PICU length of stay and an increased
17 risk-adjusted mortality rate.

18 Identifying nutritionally at-risk
19 patients as early as possible in their illness
20 allows providers to prescribe nutrition therapy
21 that is appropriate for patients' nutritional
22 status and clinical condition that will most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 effectively facilitate the healing process.

2 In an initial baseline screen
3 nutritional status for every patient increased
4 awareness of the patient's nutritional state,
5 specifically identified the subset of PICU
6 patients who are at risk of malnutrition, and
7 allows providers to adjust the timing, content,
8 quantity of nutrition therapy to meet the
9 individual patient's needs.

10 While there is no single validated
11 screening tool, institution-derived nutrition
12 screening tools can be used, typically take about
13 five minutes to administer, can be performed at the
14 bedside and do not generally involve a dietitian.

15 Screening of nutrition status is fairly
16 quick yet vitally important as the benefits of
17 nutrition support in the critically ill patient
18 include improved wound healing, decreased
19 catabolic response to injury, improved
20 gastrointestinal structure and function,
21 decreased PICU length of stay and decreased
22 mortality.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It is based on a clinical guideline of
2 2009 where it is stated that children admitted with
3 critical illnesses should undergo nutrition
4 screening to identify those with existing
5 malnutrition, or those who are nutritionally at
6 risk.

7 The specifications of the measure.
8 The numerator is the number of PICU patients for
9 whom a screening of nutritional status was
10 documented with use of a standardized nutrition
11 screening tool within 24 hours of admission to the
12 PICU.

13 The denominator statement is all
14 patients admitted to the PICU for at least 24 hours
15 during a monthly or quarterly reporting period.

16 And there's a denominator exclusion of
17 patients who have already had a documented
18 nutritional screening or assessment in the
19 previous 48 hours.

20 The data source is the electronic
21 medical record which constructs the measure as an
22 eMeasure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The measure was tested in four
2 different hospital systems, two EHR systems,
3 Cerner and Epic. Electronic output was provided
4 for 110 unique patients representing 121 events.

5 Clinical performance represented by
6 the results of the eMeasure was 90 percent of
7 patients and 92 percent of screens meeting the
8 measure.

9 It was feasible in three of four
10 institutions when feasibility was assessed.
11 Again, this notion of a scanned-in document was
12 what the workflow issue in one of the institutions.

13 One hundred percent -- when reliability
14 was assessed on a set of the same patients' medical
15 records through manual chart abstraction
16 reliability was 100 percent.

17 CO-CHAIR SEPTIMUS: Can you perhaps
18 just so some of the questions we had last time, can
19 you give us the relationship of this measure to
20 outcomes? How strong is that relationship? So
21 just to get that out of the way now.

22 DR. WOODS: It's part of a guideline so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's a bit more. Maybe Dr. Mikhailov or Dr.
2 Sachdeva, would you like to comment on that?

3 DR. SACHDEVA: Yes, this is Rames.
4 Maybe I'll refer to Theresa given that this is,
5 again, an area for clinical and epidemiological
6 expertise. Theresa, please.

7 DR. MIKHAILOV: So, again, this is a
8 measure that was intended to be part of a series
9 of measures. And these were all intended to
10 improve nutritional status of patients in the
11 pediatric ICU.

12 This was to be the first measure with
13 nutritional screening at the time of admission.
14 For those malnourished patients or at-risk the next
15 measure would have been assessment.

16 And assessment is very different from
17 a screen. A screen as you heard is something that
18 should be able to be done in five minutes at the
19 bedside by the bedside provider.

20 An assessment requires someone with a
21 different skill set, usually a dietitian or a
22 specialist in nutrition. That would be something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that should be done for those at-risk patients to
2 intervene earlier.

3 And the third measure that was intended
4 to be included with this was identification of
5 caloric goals for the patient within 48 hours of
6 admission.

7 All of these are measures that were
8 meant to be done in sequence. The first one was
9 the only one that came through in our wave one of
10 measures.

11 CO-CHAIR SEPTIMUS: Okay.

12 CO-CHAIR THRAEN: So, I'm sorry.
13 Thank you for the context, but what we're asking
14 is what's the evidence that supports the assessment
15 linking to nutritional outcome and patient harm in
16 the pediatric population.

17 DR. MIKHAILOV: Well, I think there's
18 an abundance of literature which I think we have
19 included in here that malnutrition is very common
20 in pediatric ICU patients.

21 It also is something that has been found
22 to develop in a large proportion of critically ill

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 children. And we also know that children who are
2 malnourished have a higher mortality.

3 There isn't a specific link between the
4 screen because as opposed to the pressure ulcer
5 scenario screening is much more diverse. There is
6 no single validated tool that is used broadly.
7 Many institutions use their own individually
8 designed screen.

9 There are a handful of screens that have
10 been validated in certain populations within
11 children.

12 There is no one tool that has been
13 validated in a general critically ill pediatric
14 population.

15 CO-CHAIR SEPTIMUS: Okay, thank you.
16 I guess we have two quick comments. Oh, excuse me,
17 three, before we get to the evidence. Albert?

18 MEMBER WU: Yes. So, I can certainly
19 see the importance of this issue.

20 I think that in proposing a process
21 measure you are suggesting that perhaps we should
22 be changing the way that we practice pediatric ICU.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And you are making a specific
2 suggestion about how the nutritional screening
3 should be done. And that it be done by someone with
4 that specific background.

5 So as I look at this I'm thinking about
6 our ICU and I'm wondering is this the way we should
7 change practice. Is this the way we should all
8 change practice.

9 Is there someone else who could do this
10 -- is there a person of a different job description,
11 is there a different method that could be used as
12 opposed to this one, and should we absent evidence
13 that this is either the best way or a way that is
14 linked to those nutritional outcomes, should we be
15 prescribing that at this committee?

16 DR. WOODS: Just to be clear, we're not
17 recommending any particular tool. There are a lot
18 of different tools out there. There are a lot of
19 institutionally developed tools.

20 What we are recommending which is
21 considered appropriate practice is that there be
22 a screen done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. RICE: The other point is that the
2 screen can be done by any bedside caregiver,
3 whether it be nurse, physician, or whatever. The
4 screen is very quick and simple.

5 The assessment, however, for those that
6 fall out and are determined to be malnourished
7 would then move up to the -- usually it's a
8 nutritionist or dietitian.

9 So that is not this measure. That was
10 the other series of measures that Dr. Mikhailov
11 referred to.

12 CO-CHAIR SEPTIMUS: Steve.

13 MEMBER LAWLESS: Yes, Steve Lawless.
14 How does this differ from the Joint Commission
15 requirement that everybody within 24 hours gets a
16 nutritional screen or assessment?

17 DR. MIKHAILOV: This is actually based
18 on that concept, but there is a somewhat nebulous
19 definition of what is required by the Joint
20 Commission and not all institutions meet that
21 standard in the same way.

22 That is, however, why there are these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 many institutionally derived screening tools
2 without one or even several validated tools for
3 pediatrics or anybody else.

4 MEMBER LAWLESS: Right and I get that.
5 So the idea is you're not recommending a tool,
6 you're recommending a screen.

7 DR. MIKHAILOV: We can't.

8 MEMBER LAWLESS: Right. But I'm just
9 asking what's the difference then -- what is people
10 are supposed to be doing anyway.

11 DR. WOODS: So, we don't have the data
12 here. We also assessed this as a chart review
13 measure and had performance scores for the chart
14 review.

15 And in one of the children's hospitals
16 in Chicago only 23 percent met the measure. So,
17 we were pretty surprised by that. Only 23 percent
18 met the measure in a children's hospital in
19 Chicago.

20 But we were asked to take all of our
21 chart reviewed data out of this for those that
22 didn't meet the eMeasure. But I know the answer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Laura, then Pat.

2 MEMBER ARDIZZONE: Just one comment.

3 It is very concerning to me that there's no
4 validated tool. What are we measuring then? It
5 sounds to me a little garbage in, garbage out.

6 If you're just making people do a
7 measurement which may not be measuring anything
8 reliable or valuable what is the point of making
9 people measure?

10 Until you have a valid, reliable tool
11 that can be implemented across the United States
12 and mean something. Right now you're just having
13 them measure nothing, really.

14 DR. WOODS: Dr. Mikhailov, might you
15 respond to that?

16 DR. MIKHAILOV: Well, but the Joint
17 Commission already requires that each institution
18 has a means for screening. It did not require that
19 there is a validated screening tool and so none
20 currently exist to our knowledge.

21 There is an assessment tool that has
22 been validated in critically children under the age

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of 5, that's the SGNA, Subjective Global Nutrition
2 Assessment. That was validated in our
3 institution.

4 But it is an assessment tool and it can
5 take as much as 30 minutes for an individual
6 patient. And it takes a skilled provider which in
7 our institution is a registered dietitian.

8 That's not really a feasible mechanism
9 across the board for all children. And so
10 institutions use their own individually derived
11 screens.

12 We are here working on developing a
13 validated screening tool, but it takes some time.
14 We've been working on it for over a year. But it
15 doesn't exist yet.

16 But the fact that screening has to occur
17 is what we're trying to make sure happens. We know
18 that institutions don't meet that. The 23 percent
19 was not a surprise to us.

20 Our institution was cited in the recent
21 past for not meeting the screening criteria as
22 well. That is part of what prompted us to pursue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this.

2 We've also done research here looking
3 into the effects of enteral nutritional and
4 parenteral nutrition. And so we understand the
5 relationship between nutrition and outcome is
6 important. We don't have prospective data for
7 that at this point.

8 But we think that this is a sequence
9 that matters, identifying nutritionally at-risk
10 patients, assessing the appropriate patients,
11 intervening appropriately and then hopefully
12 altering outcomes.

13 MEMBER QUIGLEY: Thank you. And again
14 as a committee member -- this is Pat Quigley's voice
15 -- I'd like to say that a screen measure is not an
16 indicator of quality.

17 And in the AHRQ toolkit again that just
18 came out two days ago the measure that -- what they
19 are advocating for is actually daily rounds
20 assessment of nutrition. It's much more than
21 screens. So they have been much more articulate
22 in what needs to be done in terms of hydration and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 assessing nutrition in the pediatric population.
2 Thank you.

3 CO-CHAIR SEPTIMUS: Yanling.

4 MEMBER YU: Yes. The question is
5 regarding the recommendation for that particular
6 -- apologize, I forgot the name, the evaluation
7 tool to assess the risk of a pressure ulcer.

8 My question is different hospitals may
9 adapt different tools. And some of them may find
10 others may be more useful.

11 Do you have a plan, any thoughts on how
12 do you -- to look at the difference, how you
13 reconcile the differences when people or
14 facilities use different tools to do the
15 evaluation? Or is that an issue at all?

16 DR. WOODS: So, I think the idea is that
17 a screen be done so that at-risk children can be
18 identified, and then a standardized validated tool
19 would be used to assess their nutritional status.

20 But if they're not being flagged they
21 don't get assessed and therefore there's poor care
22 and severe risk of mortality to these children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because they're not assessed.

2 They're not screened first to then
3 apply the assessment.

4 MEMBER YU: Okay, but my question is
5 not whether it's assessed or not. It's assessed
6 using which tools. Because if I understand
7 correctly you said that there are other tools may
8 be available for them to do the assessment. Is
9 that correct?

10 DR. WOODS: There are currently no
11 validated -- oh, for assessment?

12 MEMBER YU: Yes.

13 DR. WOODS: Dr. Mikhailov, can you
14 address that?

15 DR. MIKHAILOV: So, I'm only familiar
16 with the SGNA assessment. I also know that it is
17 not widely used because it is time-consuming.

18 Dietitians have other mechanisms of
19 assessing a patient which are not necessarily a
20 structured assessment tool.

21 So I think there is variation both in
22 the screening and the assessment process. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doesn't mean that those are wrong.

2 I think that screening is probably
3 fairly similar even though the tools have different
4 components. They are really designed to identify
5 patients who are nutritionally at risk or who are
6 malnourished versus patients who are neither.

7 And the assessment is only for the
8 patients who are at-risk or malnourished,
9 generally.

10 MEMBER WU: So, just to follow on that.
11 So, you said, well, if the children are not flagged
12 then they will not get appropriate treatment.

13 So, my question is how are they being
14 flagged? Are they being flagged in a way that is
15 valid?

16 If you flag someone who does not need
17 something then obviously there will be no ill
18 consequences of not following up. I need some
19 evidence that some or any of these screening tools
20 are in fact predictive of being nutritionally
21 deficient.

22 DR. MIKHAILOV: I don't think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exists because, again, there are only a handful of
2 screens that have been validated in limited
3 pediatric populations and they have not been used
4 in that manner that you refer to.

5 MEMBER WU: So we are recommending that
6 we use something which may or may not be valid and
7 recommend that this be done nationally.

8 CO-CHAIR SEPTIMUS: Thank you, Albert.
9 Missy?

10 DR. MIKHAILOV: It's already a
11 requirement that they be done.

12 MEMBER DANFORTH: Yes, so just a couple
13 of things to point out.

14 So one is that this measure, the
15 evidence for this measure is a little different
16 than the previous measure. For this measure they
17 did submit a systematic review and the NQF staff
18 actually graded it as moderate.

19 Yesterday we reviewed a med rec measure
20 for dialysis center where the measure developers
21 said there is no evidence that med rec alone will
22 have an impact on reducing medication-related

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 errors. And that measure passed evidence when we
2 voted the second time.

3 This is a measure where it's a high-risk
4 population. There's no outcome measure in place.
5 So it's not like the pressure ulcer where there are
6 pressure ulcer outcome measures in place.

7 There's no outcome measures associated
8 with this particular measure. They're saying it's
9 a first step. There is a guideline that supports
10 it.

11 They submitted evidence that NQF staff
12 graded as moderate. I'm just bringing that up
13 because I think it's important that we grade these
14 evidence things consistently and we look
15 consistently at what's been submitted.

16 And there's a lot of parallels I think
17 between this measure and the measure we looked at
18 yesterday where the developer stated in this room
19 there was no evidence that med rec alone had any
20 impact on outcome, that it was a first step.

21 This measure developers is saying
22 there's a guideline in place. There's a Joint

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Commission core measure in place to do a screen,
2 and it's measuring whether or not the screen is
3 happening, and that they're going to be bringing
4 additional measures forward related to assessment.

5 So I just want to bring that up.

6 CO-CHAIR SEPTIMUS: Thank you, Missy.
7 I think we've actually talked a lot about the
8 evidence so I think we can go to a vote on the
9 evidence.

10 Although we haven't gotten to the gap
11 yet there does clearly seem to be a gap. And I
12 think the question for the committee is given
13 there's some variability in screening, and how
14 that's assessed, and whether or not there's an
15 action taken on that screen that affects outcomes
16 I think you're going to have to weigh the evidence
17 that they presented and decide whether the evidence
18 is strong enough to go onto the CAC. So, that's
19 how I sum it up.

20 So, why don't we go ahead and go to the
21 vote on evidence.

22 MS. QUINNONEZ: We are now voting on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measure 3006 Initial Baseline Screen of
2 Nutritional Status for Every Patient within 24
3 Hours of PICU Admission.

4 Voting is now open for evidence.
5 Option number 2 moderate, option number 3 low,
6 option number 4, insufficient.

7 MEMBER DANFORTH: They submitted a
8 systematic review. We should be able to vote 1.

9 MS. QUINNONEZ: Sorry, here we go.
10 We'll revote again. Sorry.

11 Option number 1, high. Option number
12 2, moderate. Option number 3, low. Option number
13 4, insufficient.

14 Option number 1, high. Option number
15 2, moderate. Option number 3, low. Option number
16 4, insufficient.

17 All votes are in and voting is now
18 closed. Evidence on measure 3006 reads 10 percent
19 high, 40 percent moderate, 35 percent low, and 15
20 percent insufficient.

21 CO-CHAIR SEPTIMUS: Okay.

22 MS. QUINNONEZ: Consensus not reached.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: But it's not that
2 we can move forward with the other. So we'll move
3 forward.

4 And go next to gap. I think we've
5 already -- if you want to have more discussion we
6 can, but it sounds like the developer has already
7 presented evidence that there is a huge gap in
8 screening.

9 Yanling, I don't know if you want to say
10 anything else about the measure or anybody else.
11 I think this one. Yes, Lisa.

12 MEMBER MCGIFFERT: So, am I reading
13 this right that there isn't much of a gap between
14 the eMeasures? But you indicated that there was
15 a gap in the others which we are not -- in like chart
16 reviews that we're not considering.

17 DR. WOODS: Right. In the three
18 hospitals that had the capability both technical
19 and through workflow to present zero to 6, 92
20 percent, 6 to 13 years old, 94 percent, and 13 to
21 19 was 95.

22 But we see a gap just for those eMeasure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sites. White had a 97.5 percent meeting the
2 measure. Black had 82 percent meeting the
3 measure.

4 For English-speaking 95 percent met the
5 measure. Spanish, 88 percent met the measure.

6 So there are disparities demonstrated
7 here, but also in our chart reviews we found more
8 variability.

9 MEMBER MCGIFFERT: Okay, so there were
10 disparities based on race, but not statistically
11 significant, right? Is that what that says?

12 DR. WOODS: I believe they were
13 statistically significant.

14 MEMBER MCGIFFERT: It says these
15 differences were not statistically significant.

16 DR. WOODS: Okay. I have a thing that
17 says that they were.

18 MEMBER MCGIFFERT: And I guess my other
19 concern is --

20 DR. WOODS: Lindsay, if you could weigh
21 in here. I thought they were statistically
22 significant.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MCGIFFERT: My other concern is
2 that it looks like -- although we're hearing about
3 the chart reviews this is an eMeasure and there are
4 not going to be chart reviews.

5 It looks like we're pretty close to
6 being topped out on these measures. Am I reading
7 that wrong?

8 DR. WOODS: No, because we're looking
9 at pediatric ICU care and it was technically
10 feasible in the two other institutions, it just --
11 their workflow was to have a scanned document.

12 So we didn't have time in our testing
13 institutions to make those workflow changes
14 because of the mechanism of funding for this
15 research. But they are willing to make that
16 change.

17 So it is not just about -- I mean it was
18 technically and workflow feasible in both Cerner
19 and Epic, and it also is technically feasible and
20 requires just a workflow change, clinical
21 documentation change in the other institution.

22 MR. LYZENGA: Were you saying in those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 institutions that couldn't do the eMeasure but did
2 the chart review there was a larger performance gap
3 is what you're saying?

4 MEMBER MCGIFFERT: And we don't really
5 know if that performance gap was because of the way
6 the measure was implemented with chart review
7 rather than an eMeasure.

8 DR. WOODS: Same specifications.

9 MEMBER MCGIFFERT: And I just want to
10 reiterate the close to topping out issue with a
11 process measure.

12 CO-CHAIR SEPTIMUS: So, if I
13 understand the eMeasure performed much better than
14 the paper.

15 DR. WOODS: The sites who could
16 implement an eMeasure performed better.

17 CO-CHAIR SEPTIMUS: But you found a gap
18 in a non-eMeasure site.

19 DR. WOODS: Found a gap in disparities
20 in all sites. And we found a greater performance
21 gap in sites that could not implement the eMeasure
22 because of workflow issues, not because of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 missing elements.

2 CO-CHAIR SEPTIMUS: Okay, Pat?

3 MEMBER QUIGLEY: Thank you. My
4 question goes back to the evidence. Did we pass
5 the grade for 60 percent and higher to continue?

6 MR. LYZENGA: We hit 50 percent. So it
7 was consensus not reached.

8 MEMBER QUIGLEY: Oh. Thank you.

9 MR. LYZENGA: So we do move on. We'll
10 have to revisit that.

11 MEMBER QUIGLEY: Thank you so much.

12 CO-CHAIR SEPTIMUS: Albert? Any
13 other comments before we vote on gap? Okay, seeing
14 none we'll vote.

15 MS. QUINNONEZ: Voting is now open for
16 performance gap of measure 3006. Option
17 number 1, high. Option number 2, moderate.
18 Option number 3, low. Option number 4,
19 insufficient.

20 All votes are in and voting is now
21 closed. For the performance gap of measure 3006
22 zero percent voted high, 50 percent voted moderate,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 45 percent voted low, and 5 percent voted
2 insufficient.

3 MR. LYZENGA: So again we're in the
4 gray zone there, consensus not reached, but we'll
5 move onto the next criterion.

6 CO-CHAIR SEPTIMUS: Reliability.

7 CO-CHAIR THRAEN: Just to clarify
8 again. So, if it's between 50 and 60 percent we're
9 considered in the gray? Forty and sixty percent.
10 If we have 60 percent we have consensus.

11 So I get confused when we stop versus
12 moving forward even though we haven't reached
13 consensus. What's the difference?

14 So, there was a measure that we stopped
15 on.

16 MR. LYZENGA: That was because we had
17 greater than 60 percent voting against.

18 CO-CHAIR THRAEN: Against it. That's
19 what it is. All right, thank you.

20 CO-CHAIR SEPTIMUS: Yanling, can you
21 talk about reliability? Because Linda's not here
22 and I asked Yanling at the last minute to do this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we thank her for that.

2 MEMBER YU: The reliability test. The
3 eMeasure test was conducted in four Chicago area
4 hospitals. It was only conducted in one of those
5 hospitals because implementation issue at other
6 three.

7 To demonstrate reliability the
8 developer did element testing at one hospital site
9 with 288 pediatric beds including 40 PICU beds and
10 approximately about 11,291 pediatric admissions
11 annually.

12 The testing period is between January
13 1 till March 31, 2015, at the one children's
14 hospital.

15 And the analysis had 105 unique
16 patients representing 121 events. That's
17 something I don't understand, 105 unique patients
18 but have more event numbers than that.

19 The testing involved eMeasure, also a
20 computer score automatically, a manual chart
21 review.

22 The results for testing shows that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inter-rater reliability was conducted on five
2 patient charts. Agreement is 100 percent for all
3 critical data elements and 100 percent for overall
4 clinic performance.

5 Because the agreement is 100 percent a
6 cover score could not be computed.

7 So, that left the preliminary reading
8 for reliability is moderate.

9 So the question for the committee is is
10 this best sample adequate to generalize for
11 widespread implementation.

12 And the second question is do the
13 results demonstrate sufficient reliability so that
14 different performance can be identified. So those
15 are the questions suggested to the committee.

16 CO-CHAIR SEPTIMUS: Any comments from
17 the developer or from the committee on reliability?
18 Okay, we'll vote.

19 MS. QUINNONEZ: Voting is now open for
20 the reliability of measure 3006.

21 Option number 1, moderate. Option
22 number 2, low. Option number 3, insufficient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Option number 1, moderate. Option
2 number 2, low. Option number 3, insufficient.

3 MR. LYZENGA: Just to clarify moderate
4 is the highest potential rating because it was --
5 testing was only done at the data element level,
6 not at the measure score.

7 DR. WOODS: No, we also had the
8 performance score. I can show you. I just read
9 off of it and it is -- the patients that were 19
10 plus years old, there was a significant difference
11 between those and others. I can show you. I mean,
12 this is from the eMeasures, not from the other data.

13 MR. LYZENGA: What kind of analysis did
14 you do on the measure score?

15 DR. WOODS: We calculated the measure.
16 We calculated the measure and we also conducted
17 reliability testing with a manual chart
18 abstraction gold standard.

19 MR. LYZENGA: We consider that data
20 element reliability, not reliability of the
21 measure score.

22 DR. WOODS: And we also demonstrate the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference in performance across different patient
2 populations, age, race, ethnicity, language, and
3 insurance status.

4 MR. LYZENGA: So that's more
5 performance gap.

6 For reliability of the measure score
7 we're looking for something like a signal to noise
8 analysis of the measure's ability to differentiate
9 between scores of different facilities.

10 DR. WOODS: You are only accepting
11 signal to noise these days?

12 MR. LYZENGA: Well, we accept data
13 element reliability as well, but for the measure
14 score testing we want to see that the measure --
15 we want to see that you've -- that the measure score
16 can reliably distinguish across different
17 facilities.

18 So we wouldn't be able to do it just at
19 one single --

20 DR. WOODS: So I can answer that. We
21 have more than one facility. But the funding
22 mechanism wouldn't allow us to have them give it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to us during that period. We'll have another
2 round.

3 If you want that data in a few months,
4 or like even a month.

5 CO-CHAIR SEPTIMUS: No, no, that's not
6 your fault, but based on what Andrew just said
7 because it's only done in one facility we can't look
8 at multiple facility reliability. That's the
9 signal to noise that we're talking about. So
10 therefore we can't consider it as a high level.

11 Okay, so why don't we start the voting
12 process all over again.

13 MS. QUINNONEZ: We're good. We
14 haven't totaled yet. All votes are in.

15 CO-CHAIR SEPTIMUS: Oh, okay, never
16 mind.

17 MS. QUINNONEZ: All votes are in.
18 Voting is now closed.

19 CO-CHAIR SEPTIMUS: Let me ask you, was
20 there confusion about this before you voted?
21 Because we can easily vote again.

22 MS. QUINNONEZ: We'll revote.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Let's revote just
2 to make sure, okay? This is on the reliability.

3 MS. QUINNONEZ: This is for the
4 reliability of measure 3006. Voting is now open
5 for the reliability of measure 3006.

6 We're looking for one more vote. Can
7 you resubmit your votes, please.

8 Voting is now closed. For the
9 reliability of measure 3006 37 percent voted
10 moderate, 42 percent voted low, and 21 percent
11 voted insufficient.

12 MR. LYZENGA: So that --

13 CO-CHAIR SEPTIMUS: -- must-pass
14 measure.

15 MR. LYZENGA: So that means that the
16 measure does not pass on reliability because it's
17 the two low and insufficient together gets us over
18 60 percent.

19 DR. WOODS: As I mentioned the funding
20 mechanism for this, we were not allowed to do
21 anything after the date of its end. But it was
22 already done, they just couldn't pass the data over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to us. It's kind of an odd thing.

2 If I can submit that data that shows
3 across three different institutions?

4 CO-CHAIR SEPTIMUS: That would
5 certainly help. Believe me, I think we all feel
6 the same empathy. I think you've really done a
7 great job with what you had.

8 This is a rather rigorous process and
9 I think that when you get those other data elements
10 and other things in place I think you'll get a
11 different reading from the committee.

12 But I don't want you to walk away
13 feeling unwanted. We do want you to come back.
14 And I think now that you see -- I really feel bad
15 when we turn down measures, but I want the
16 developers to realize that we know you did your best
17 job, and there's just certain things.

18 I think now from the discussion, I think
19 you now know that we need to pass a measure. I have
20 no doubt once you get that additional stuff in place
21 you can bring that measure back to the next round
22 and you'll get I think a different read.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In another set of NQF meetings around
2 measures there was a similar kind of problem, that
3 some of the data just wasn't able to be passed
4 forward. And there was a phone call or something.
5 We were allowed to --

6 MS. MUNTHALI: Yes. So you can bring
7 it back during the post comment call. So, during
8 this commenting period you said you'd have -- you
9 could do it within a month? So you'd have about
10 two months to do that.

11 DR. WOODS: Okay, great. Thank you
12 very much.

13 CO-CHAIR SEPTIMUS: Thank you so much.
14 You really did a very nice job. Hopefully we can
15 -- of course you can say so.

16 MEMBER MCGIFFERT: Thank you for
17 bringing this. And I think -- I couldn't catch
18 everything that Ed said, but I think it's really
19 -- I mean you've heard some of our questions.

20 And I would just say I know I would look
21 more favorably on it if there were some outcome
22 components with it. So I hope that you guys will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 work on that. And it sounds like you are working
2 on it and will bring it back to us with a little
3 bit further measure. Thank you.

4 CO-CHAIR SEPTIMUS: Thank you very
5 much. So we're going back to 2983 Potassium
6 Sampling Hemolysis in EDs. The Cleveland Clinic
7 is the developer so are they on the phone or are
8 they in person? They're on the phone?

9 DR. PHELAN: Yes, sorry, I missed the
10 last part. I was getting off mute. This is
11 Michael Phelan speaking.

12 CO-CHAIR SEPTIMUS: Fine. Can you
13 tell us who you are? Are you in Cleveland?

14 DR. PHELAN: Yes. Believe-land as we
15 say now.

16 CO-CHAIR SEPTIMUS: Well let me tell
17 you, Cleveland ended up very much better in terms
18 of outside protests and stuff than Philadelphia
19 has.

20 DR. PHELAN: This is true. And the
21 Cavs won the national championship in basketball
22 so we're in Believe-land now. So yes, I think it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 made us look very positively. I thought it went
2 very well, the convention and the national
3 championship in basketball.

4 CO-CHAIR SEPTIMUS: Let's hope your
5 measure does as well as the Cleveland Cavaliers.

6 DR. PHELAN: You set me up, man, this
7 is too much.

8 CO-CHAIR SEPTIMUS: Anyway, if you
9 could give us a brief overview of your measure and
10 then we'll go through our usual discussion of the
11 evidence, the gap, the reliability, et cetera.
12 So, please.

13 DR. PHELAN: Sure. I am an ED
14 physician and I always like to say I'm the
15 accidental measure developers because I keep
16 coming up with measures that I wasn't really
17 expecting to come up with.

18 This measure came about through one of
19 my colleagues in lab medicine was offered an
20 ability to look at this from the CDC.

21 And he wanted nothing to do with it
22 because he knew some of the challenges and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 struggles associated with this measure.

2 But hospital EDs have for years been
3 identified as the major source of hemolyzed lab
4 samples for hospital labs.

5 It's the leading cause of unsuitability
6 of specimens. They rate significantly elevated
7 compared to other departments within the hospital.
8 The closest we have is maybe the ICU which is about
9 half at least at our institution hemolysis rates.

10 In the literature ED hemolysis rates
11 range anywhere from 6.8 to 30 percent. I actually
12 found one paper now, a newer one that rated one at
13 67 percent.

14 The American Society of Clinical
15 Pathology has a 2 percent lower hemolysis
16 benchmark, but I can tell you from the quality
17 people in lab medicine here at the clinic, their
18 expectation from the people who work for them which
19 are the phlebotomists, their expectation is less
20 than 1 percent.

21 When blood samples are hemolyzed
22 there's interference in over 39 different lab

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 tests.

2 The unreliable lab tests, especially
3 potassium, and that's the one that we measured, but
4 also they're rejected for coagulation studies and
5 type and screens.

6 And falsely elevated potassium may
7 indicate life-threatening abnormality, and an
8 apparently normal potassium due to hemolysis may
9 be hiding a significantly low potassium.

10 There are two groups that have
11 identified this as a significant issue. First of
12 all, the CDC, and I get back to how I got involved
13 in this.

14 The CDC through a laboratory medicine
15 best practices and systemic review meta analysis
16 that's authored by Heyer and cited throughout here
17 identified ED hemolysis as a significant problem
18 in lab medicine.

19 And they funded a cooperative agreement
20 to study this, and that's where we studied it
21 cooperatively with lab medicine and nursing at our
22 institution.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Like I said, it's such a significant
2 problem that the CDC did this laboratory medicine
3 best practices. They did a comprehensive search
4 from 1990 to 2011. They found some 600
5 publications and abstracts, and 22 EDs and some
6 non-published data.

7 The experts saw practice impact on
8 hemolysis and identified seven practices within
9 that.

10 Of the seven practices they could
11 really only find two of them which they could
12 recommend best practice at the time, and that was
13 straight stick needle and antecubital location if
14 you're drawing it through an IV.

15 They also identified four other things
16 that may contribute but didn't have sufficient
17 evidence which was syringe versus vacuum for the
18 location, large gauge versus smaller gauge,
19 partial vacuum tubes and tourniquet time.

20 We at the Cleveland Clinic started
21 looking at this and we even did an analysis from
22 the National Hospital Ambulatory Medical Care

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Survey from 2011 and tried to look at what the
2 potential impact of the percentage rate of
3 hemolyzed specimens.

4 The target rate versus the 2 percent
5 versus the Cleveland Clinic rate which is about 12
6 percent and versus that mean incidence of what's
7 reported in the literature, anywhere from 6 to 18.

8 And based on those studies each
9 percentage of hemolysis probably accounts for 300
10 redraw of labs, 300,000 of labs.

11 There's wide practice variation. Who
12 draws the blood, how they draw the blood, the
13 equipment utilized, and really currently no
14 standardized approach or roadmap.

15 Because of this issue the Emergency
16 Nurses Association also developed a clinical
17 practice guideline on the topic called Prevention
18 of Blood Specimen Hemolysis in Peripherally
19 Collected Venous Specimens. And that's also
20 included in our packet that you have there.

21 So, based on our studies. Like when we
22 first studied this the percent of moderately

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hemolyzed in our department was 18 percent.

2 And through a number of process
3 improvement projects we were able to finally reduce
4 that to below the 2 percent.

5 The poor quality specimens is the whole
6 cause. There's a lot of argument about -- and this
7 is what this always comes down to is the ED will
8 complain that it's the lab's problem, and the lab
9 says no, it's the ED's problem.

10 And on this count the lab is probably
11 correct because the poor quality specimens are
12 mostly due to pre-analytical errors, meaning how
13 the lab is drawn.

14 And when you have a lab that's drawn
15 poorly it results in delays in initiation of care.
16 And after it results in more delays, in prolonged
17 ED stays and wait times.

18 There's the potential for incorrect and
19 missed diagnoses, and of course there's an
20 increased healthcare cost.

21 CO-CHAIR SEPTIMUS: That's an
22 excellent review. Thank you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Just to remind the committee this is a
2 new measure and it's for trial use approval just
3 so we go there.

4 Lisa, did you have a comment before we
5 start with the evidence? Yes, Lisa.

6 CO-CHAIR THRAEN: And it's eMeasure.

7 CO-CHAIR SEPTIMUS: Yes. Wait a
8 minute, is it an eMeasure? Okay, I stand
9 corrected.

10 MEMBER MCGIFFERT: Can you -- I'm sorry
11 if I'm asking you to repeat yourself.

12 DR. PHELAN: That's okay.

13 MEMBER MCGIFFERT: Can you talk to me
14 about the harm to patients when this occurs? I
15 mean, I understand that they have to have a retest.
16 But what are the other harms? And maybe missed
17 diagnosis?

18 DR. PHELAN: The possibility that you
19 could be misdiagnosed with a hemolyzed specimen
20 that's reported out.

21 The potential at least from my
22 perspective from the ED when I see someone who comes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 into the emergency department and the initial lab
2 reports to me as a potassium elevated 6 or 6.5 I
3 immediately have to get an EKG. I immediately
4 start the process until I get the repeat draw to
5 come back because I'm still worried that is it
6 hemolyzed and causing just a little bit of bump in
7 potassium, or is it hemolyzed and causing a
8 significant bump in potassium.

9 And I start an initiation of care for
10 elevated potassium. Patient gets put on a
11 monitor. I start giving insulin and glucose which
12 can have repercussions. The insulin could drop
13 the blood sugar.

14 I start giving other medications like
15 Kayexalate which can cause some significant
16 diarrhea. That's the whole purpose of giving it.

17 And if I get the repeat test back and
18 it's normal I've done a whole bunch of stuff that
19 I probably didn't need to do.

20 But, because it's such a potentially
21 life-threatening problem, hyperkalemia, we jump on
22 that right away.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The main thing that I see as the
2 potential harm to patients is the delay in care.
3 And with our data set we were able to identify that
4 there's about a 50-minute delay in care for
5 discharged patients, and about a 23 or 24-minute
6 delay in disposition for admitted patients.

7 Based on the fact that you have to do
8 a redraw. And when you do a redraw that means that
9 the nurse that was actually taking care of someone
10 else is pulled off that patient to come and do the
11 redraw on this other patient.

12 And we did a time survey about how much
13 that costs. It's anywhere from 10 to 12 minutes
14 of a nurse's or a medic's time pulled off of other
15 duties they could be doing to redraw a specimen.

16 MEMBER LAWLESS: This is Steve
17 Lawless. Isn't this an American College of
18 Pathology Q-probe?

19 DR. PHELAN: Yes.

20 MEMBER LAWLESS: And are they
21 involved? I mean, in terms of the development.
22 Did you solicit opinions from them in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 making sure this reconciles?

2 DR. PHELAN: We definitely have
3 opinions from them. Based on some of our
4 preliminary discussions, Dr. Howanitz, and I may
5 have cited him in here, published two reports based
6 on hemolysis. And if I didn't cite those I can send
7 those to you.

8 But based on some of our preliminary
9 discussions early on when we were looking at this
10 topic Dr. Howanitz went back and sent a Q-probe just
11 to identify the prevalence of the problem in
12 laboratory medicine.

13 And those were published pretty
14 recently, like in 2015. I'm not sure if I cited
15 them in the citations, but I'm almost certain I did
16 because there were two publications based on that.

17

18 But we have been in communication with
19 them about this topic right through because of our
20 cooperation with our lab medicine.

21 Because American College of Pathology
22 runs the Q-probe and the CAP program. So they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fully aware of this.

2 And they support it on the phone calls
3 and things like that.

4 Unfortunately lab medicine and the CDC
5 were a little hesitant to jump onboard to being part
6 of this measure process because I don't think they
7 were really informed enough about what it entails
8 and what it involves.

9 I tried to pull them in as co-authors.
10 They were kind of more in the standoff mode and
11 wanted to kind of see where it went.

12 But they understand the significance of
13 the problem, particularly lab medicine. They see
14 it as one of their highest priorities.

15 CO-CHAIR THRAEN: I have a quick
16 question for clarification purposes.

17 So, the measure that you're bringing
18 forward is specific to the potassium samples.

19 DR. PHELAN: Correct.

20 CO-CHAIR THRAEN: When you quoted your
21 18 percent rate of hemolysis, was that specific
22 only to potassium, or was that in general?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. PHELAN: That was specific to
2 potassium. And that was what the data that we used
3 to obtain a CDC-funded project grant or cooperative
4 agreement.

5 So, when -- just to give you a little
6 bit of the story, Gary Procop, one of our leaders
7 in lab medicine, was approached by CDC for this,
8 but he knew the issues and he knew the issues were
9 mostly in the ED.

10 He provided that data for me and I was
11 kind of shocked because I kind of thought it was
12 a problem, but I didn't realize for at least our
13 ED it was that significant.

14 MEMBER WEBB: Hi, this is Kendall Webb.
15 So, I just want to clarify for the committee here.
16 So, on every patient that you get a hemolyzed K back
17 on you start all of that treatment? Or is that
18 another test that you can do to not have to start
19 that treatment until you get the final K back?

20 DR. PHELAN: So, if the lab sample is
21 hemolyzed, and at our institution it's a little bit
22 different. Many of the labs report this out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 differently.

2 Our lab, and I wish my colleague Ed were
3 here, but he wasn't available to attend, our lab
4 gives us two results based on the hemolysis index.

5 If the hemolysis index is I believe
6 greater than 300, and that's just a reading of their
7 thing, they will not report the result. They say
8 you have to redraw it because the variability in
9 results is so off that they can't guarantee a 1 on
10 the result.

11 So, between 80 and 300 they report it
12 as the potassium sample is hemolyzed. Please use
13 the result with caution because we can't guarantee
14 where this result actually is. But they will
15 report that out.

16 So, if a sample comes to me and it's
17 hemolyzed and the result is normal I don't worry
18 so much although the potential is there that that
19 result could be significantly lower and the patient
20 could have hypokalemia that I'm unaware of.

21 If the result comes back to me greater
22 than 5.5 which is I think in our lab 5.5 is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 considered hyperkalemic and it's a dangerous lab
2 result I immediately begin treatment.

3 That's not every single hemolyzed
4 specimen, it's just the ones that let's say the
5 patient's true potassium was 4.5 and I get a
6 hemolyzed specimen and that's going to kick it up
7 another point. And so now it's reading it as 5.5.

8 Because hyperkalemia is such a
9 life-threatening problem I would begin treatment
10 on that even before I got a result back.

11 Now, in our ED we have the potential to
12 get something called the point of care potassium.
13 And my inclination is the reason we got the point
14 of care lab was because of our high potassium
15 result.

16 The point of care lab does not report
17 out whether there is hemolysis or not. It is done
18 on whole blood and there's no way on our machine
19 to give us a thing that says hemolysis or not.

20 So I could redo that test immediately
21 within five minutes and get a quick result back,
22 but I have no idea and I did not know this until

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I got involved in the project. I have no idea if
2 the accuracy of that number is correct due to the
3 fact that it doesn't report out if the sample was
4 still hemolyzed or not.

5 So, there is still a potential danger
6 that I could be either under-treating a patient or
7 over-treating a patient based on whatever that
8 result I get from the point of care lab.

9 Most times if the potassium result
10 comes back still high, 5.5 again even on the point
11 of care lab, the process that I used, I would send
12 off another lab sample to get a more accurate result
13 from the lab to be sure that I need to be treating
14 hyperkalemia.

15 But I don't delay the care, I just begin
16 treating hyperkalemia because it is such a
17 dangerous, potentially life-threatening illness.

18 MEMBER WEBB: So is there a non-lab
19 test that you could use to help you understand
20 whether the hyperkalemia was causing danger in a
21 patient?

22 DR. PHELAN: Not really, not that I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 aware of. We get EKGs to initially see if there's
2 EKG changes. Because there's subtle EKG changes
3 that start with Q waves and end up with sinus wave
4 which is a death wave basically because they have
5 no cardiac function once the potassium reaches a
6 certain level.

7 So, the non-laboratory test that we get
8 to kind of confirm, but hyperkalemia does not
9 correlate 1 to 1 with elevated T waves, or
10 hyperelevated T waves. So it's a poor man's test
11 to see if your hyperkalemia is really hyperkalemia
12 and causing cardiac malfunction.

13 CO-CHAIR SEPTIMUS: Anything else,
14 Kendall? Kendall's our Gator here, so.

15 Anyway, I think we've heard a lot of the
16 evidence and so I think we're ready to vote. And
17 they have provided enough information and review
18 that we could rate this as high, moderate, low, or
19 insufficient.

20 So, I'll turn it over to the voting
21 wizard.

22 MS. QUINNONEZ: Yes. We are now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 voting on measure 2983 Potassium Sample Hemolysis
2 in the Emergency Department. Voting is now open
3 for evidence.

4 Option number 1, high. Option number
5 2, moderate. Option number 3, low. And option
6 number 4, insufficient.

7 All votes are in and voting is now
8 closed. For the evidence of measure 2983 -- 6
9 voted high, 11 voted moderate, 1 low, and 2
10 insufficient.

11 CO-CHAIR SEPTIMUS: We'll move onto
12 gap, but Kendall I think has her flag up. You have
13 your hand up.

14 MEMBER WEBB: So, I was just a little
15 bit confused, and this is really for the committee,
16 not for the measure itself.

17 When they say it's a trial measure?

18 MR. LYZENGA: So this is something that
19 Jason talked a little bit about earlier. So he's
20 presented some evidence here, but he was not able
21 to get the sites to do the like reliability and
22 validity testing, that kind of thing. And not a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lot of information I think on gap as well.

2 So what we would do is if we want to
3 approve this for trial approval it would not have
4 endorsement status, but would have trial approval
5 status.

6 He would then have to bring it back
7 within three years with the testing results.

8 CO-CHAIR SEPTIMUS: Yes, he'd have to
9 go through the full endorsement process. That's
10 correct.

11 So, the next thing is --

12 MEMBER MCGIFFERT: So, I'm a little
13 confused. So, if we felt that this was a measure
14 that we wanted to go through the trial use then we
15 would vote against it being endorsed. Or we would
16 vote it low to be endorsed. No.

17 CO-CHAIR SEPTIMUS: We wouldn't vote
18 for endorsement.

19 MEMBER MCGIFFERT: We wouldn't vote
20 for endorsement, but if we voted low let's say on
21 reliability and validity and gap and all that what
22 does that do?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. LYZENGA: Well, we wouldn't
2 actually vote on reliability and validity.

3 MEMBER MCGIFFERT: We won't vote on it.

4 MR. LYZENGA: Right.

5 MEMBER MCGIFFERT: Got it.

6 CO-CHAIR SEPTIMUS: Thanks, Lisa.
7 Okay, gap. Is there anything else, Iona, that you
8 wanted to say on gap that hasn't already come out
9 in the conversation?

10 CO-CHAIR THRAEN: Just that the data
11 that they submitted for gap is specific to their
12 institution, Cleveland Clinic. And the graph
13 before you is their analysis of where they started
14 and then how they improved over the course of time
15 indicating a gap.

16 Then also they talked about the
17 literature in terms of the differences of
18 hemolysis. And it varies across institutions.
19 So I thought that they actually did support
20 documenting a gap in performance.

21 CO-CHAIR SEPTIMUS: Comments. Yes,
22 Yanling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER YU: I'm confused with this
2 figure. In 2013 the hemolysis rate is about 13
3 percent and it went down to 2 percent rate in 2015.
4 That's an improvement, right?

5 DR. PHELAN: Correct.

6 MEMBER YU: So how did that happen?
7 First question. And then does it still show a gap
8 at all? When we don't have this measure it shows
9 improvement.

10 CO-CHAIR THRAEN: So the developer, do
11 you want to respond? You used the measure,
12 correct? It was my understanding that you used the
13 measure in your institution to see where you stood,
14 and then you worked on an improvement process that
15 you said there were multiple improvement efforts
16 to achieve the goal of 2 percent which is the
17 pathology's national standard recommendation.

18 DR. PHELAN: Correct. And this is in
19 our institution. I'll give you a little bit of a
20 briefer on what our ED is.

21 We have a combined residency with Metro
22 Health Medical Center, the level 1 trauma center

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 across town, and the Cleveland Clinic main campus.
2 So we share our residents.

3 I brought one of the residents onboard
4 because he said, "I cannot stand working at Metro
5 because one-third of my lab samples are hemolyzed
6 and I have to wait for another sample to be done."
7 So we're in the process of fixing their hospital
8 across town.

9 Same issue with UH. A colleague of
10 mine was like hey, this is killing us. And I'm like
11 well, I have the answer for you if you'd like to
12 try it.

13 So, at our institution, just the main
14 campus, we started off at that high 15-16 percent
15 rate. We collected data over the course. We did
16 a couple of process improvement projects one of
17 which was, as you can see I think it was in February,
18 significantly dropped hemolysis.

19 We had to change some things at our lab
20 and perform a couple of more process improvement
21 projects to see if they had an impact. They had
22 very little impact, but the replacement that we did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with our equipment significantly reduced our
2 hemolysis down to 2 percent.

3 That is not to say that there's not a
4 significant problem amongst our other hospital
5 institutions. Within our own health system there
6 is a significant gap there because I know that the
7 rates are anywhere from 8 to 4 percent across our
8 health system.

9 Four percent in our freestanding EDs.
10 The average is about 8 percent in our standard
11 hospital attached EDs. That's just in our health
12 system alone.

13 I suspect across the nation there's
14 great variability in this. And from presenting
15 this at different scientific meetings people have
16 come up to me and said we had a terrible problem
17 and we did this. And oh, we fixed it doing this.
18 And we did the same thing you did.

19 So there are hospital systems out there
20 that have recognized this as a problem and tried
21 to address it, but there's no uniform standard
22 across the country about measuring it, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 collecting it, and being held accountable for it.

2 MEMBER YU: Okay, so I'm assuming the
3 rates go up temporarily during the April and June
4 period, it's because you were doing some other
5 tests to try to refine your algorithm or whatever,
6 your techniques, right?

7 DR. PHELAN: Correct. I'll tell you
8 what happened. We looked at -- based on our
9 nursing recommendation we looked at replacing our
10 large volume 600ml collection tubes with 2ml
11 collection tubes. We did that for one week.

12 We had some problems in the lab with
13 quantity, the lab labels were covering the tube
14 completely so the lab couldn't see inside.

15 So after we did it for a week we had to
16 actually pull the small tubes back and replace them
17 with our large tubes which you can see from that
18 data it immediately bumped back up into the, I don't
19 know what range, 14 for the combined hemolysis
20 rate.

21 And come August when the lab was ready
22 to switch back over we switched back to the small

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 tubes and it dropped the rate significantly back
2 down to 2 percent.

3 And it's continued since. I mean, I
4 continue to collect data on it which is ending soon
5 though. But it's still at about 2 percent.

6 MEMBER LAWLESS: To the developer, I
7 may have missed this in your literature. Is there
8 literature in terms of the gap that supports or
9 shows interventions that were done -- potassium
10 comes back hemolyzed. Intervention was done,
11 however, and then repeat was done, and they said
12 oops, we shouldn't have done that.

13 Is there anything in terms of
14 timeliness of delaying care as a result of this,
15 or interventions done inappropriately because of
16 this?

17 DR. PHELAN: No, not that I could find.
18 But if you ask any ED physician who's done this
19 they've had it come back where they're like oh,
20 great, it's 4. It wasn't high, it was hemolyzed.

21 But I don't think anyone's done a survey
22 or an analysis of it. And it becomes very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difficult to do sometimes because it would be hand
2 done.

3 MEMBER WEBB: So, are you guys straight
4 sticking every patient that comes in the ED now
5 since that's the number one thing that supposedly
6 helps?

7 DR. PHELAN: No, but there are EDs that
8 have done that. And there are publications that
9 have done it and it drastically reduces it.

10 I know about a hospital in Sarasota,
11 Florida, and let me give you a little background
12 on this.

13 ED nurses and medics are allowed to put
14 an IV in. Phlebotomists are not. Some hospitals
15 have gone to we're going to hire phlebotomists.
16 It's very costly.

17 So, this hospital I know of in Florida
18 started that way, but then they said we can't keep
19 doing this even though their hemolysis rates
20 dropped to zero because all a phlebotomist can do
21 is straight stick a patient. They're not allowed
22 to draw blood from an IV.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That hospital trained their nurses that
2 they want all labs done via straight stick. Our
3 nurses and a lot of people in the emergency nursing
4 world have grave concerns with that because that
5 requires, and if you've ever had your blood drawn
6 and then had to get poked again for it, you'll know
7 nobody likes that. From the nursing perspective
8 they hate it.

9 So, our nurses, when we approached this
10 project my plan was to just switch everybody over
11 to straight stick and do that.

12 There was great consternation from our
13 nurses. We have a large tertiary care population
14 that is very difficult to stick let alone poke once
15 to draw labs, two to get an IV. So there was
16 pushback from my nurses.

17 And I initially was very much, like I
18 tried to work the best I could with leadership to
19 say can we do this. There was great pushback.

20 There was a paper published by a person
21 named Dietrich out in Wyoming and I think I included
22 it in the publications.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And there's arguments in this
2 literature all the time, one poke or two pokes.
3 The lab medicine people want a perfect lab
4 specimen. They want two pokes. The nurses that
5 have to sit there with a crying, hurt patient have
6 to poke them twice do not want to poke these
7 patients twice.

8 So, this Dietrich publication said one
9 poke or two. One poke works just fine. I'm not
10 sure I know what the issue is.

11 When I contacted him because I could not
12 figure out how he had such a low potassium rate for
13 blood draws through an IV because it's typically
14 much higher.

15 He didn't know, and then we started
16 exploring the small tube. And I re-contacted him
17 and he goes yes, we've been using 2ml tubes for
18 about three years. And I said that's probably
19 where you're getting your low hemolysis from.

20 And we published a letter to the editor
21 in Journal of American Nursing that I can forward
22 to the committee if they want to see it describing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that exact issue.

2 But there is a big dichotomy between the
3 lab people and the ED nursing and ED clinicians
4 about one poke or two. And it continues to be kind
5 of fought out in the literature and sometimes kind
6 of with sparks flying.

7 CO-CHAIR SEPTIMUS: So that's an
8 interesting topic. And just to fill in some of the
9 gaps.

10 So, we have HCAHPS scores which
11 everybody's concerned about because it fits into
12 value-based purchasing.

13 We also have the issue, by the way, of
14 blood culture contamination rates which is
15 directly related to what you stated. So this is
16 a very interesting topic. But the topic in here,
17 we're talking about hemolysis.

18 So I think we're ready to vote on the
19 gap. So let's do the gap.

20 MS. QUINNONEZ: Voting is now open for
21 performance gap of measure 2983.

22 Option 1, high. Option 2, moderate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Option 3, low. And option 4, insufficient.

2 All votes are in. Voting is now
3 closed. For the performance gap of measure 2983
4 30 percent voted high, 60 percent voted moderate,
5 10 percent voted low and zero percent insufficient.

6 CO-CHAIR SEPTIMUS: Okay, so we're
7 going to go to reliability. There's no testing
8 here so what we're going to be discussing is their
9 numerators and denominators as a measure only.
10 Does that make sense to everybody?

11 MR. LYZENGA: I was a little wrong when
12 I said that to you, Lisa, earlier. We will vote
13 on reliability, but only on the specifications part
14 of it.

15 CO-CHAIR SEPTIMUS: The specs.

16 MR. LYZENGA: The precision.

17 CO-CHAIR SEPTIMUS: There's no testing
18 so we can't -- okay.

19 CO-CHAIR THRAEN: So, I may be
20 confusing two items. So is this the measure in
21 which trying to capture the information in an
22 electronic health record wasn't working very well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and you needed to go to the lab information system
2 to capture the data? Can you clarify that for me
3 from the developer?

4 DR. PHELAN: Sure. No, we were able to
5 capture it from Epic and Sunquest. Both are ONC
6 certified at our institution.

7 We did not buy the Epic lab information
8 system. So we had to have a dual ONC certified.
9 And we got it both from Epic and from Sunquest which
10 is our lab information system.

11 CO-CHAIR THRAEN: Okay, thank you.
12 The question is did they correlate. Did the two
13 sources correlate with one another.

14 DR. PHELAN: Not exactly. And our
15 plan was when we do the reliability testing to
16 further identify why the Epic pull didn't quite
17 match the Sunquest pull.

18 And when we were in the midst of the
19 research project we were doing it, but when the
20 research project ended, you know, when funding
21 dries up there's no more anyone willing to do any
22 more work on it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we were identifying, we were going
2 through month by month to try to see why Epic
3 included some cases and Sunquest didn't. And I
4 actually have a meeting this afternoon to talk to
5 our business intelligence people and Sunquest, I
6 finally got them in the room together, to look at
7 why we're potentially not having an exact
8 correlation.

9 But if I remember correctly the numbers
10 were off by 1 or 2 percent. So the gross hemolysis
11 for 2014 was 4 percent in Epic and 3 percent in
12 Sunquest.

13 And I don't know if it was the data asks
14 were a little bit different. So we're trying to
15 ask if they can get the same data ask. You know,
16 we want just main campus ED, just the month of
17 January, all potassiums minus point of care
18 potassiums. Because point of care potassiums
19 don't show up on the main lab data system, on the
20 Sunquest data system.

21 CO-CHAIR SEPTIMUS: Okay, just to keep
22 everybody -- the denominator here is all patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 who come to the ED and who the potassium is ordered.
2 And the numerator includes patients in whom a lab
3 potassium sample is reported as hemolyzed,
4 correct?

5 DR. PHELAN: Correct.

6 CO-CHAIR SEPTIMUS: Okay, I just
7 wanted to make sure everyone understood what the
8 eMeasure is. Okay, Yanling and then Steve.

9 MEMBER YU: Yes, thank you. I guess
10 I'm confused about the denominator and how it
11 defines uses.

12 I'm looking at the article that you
13 cited about -- in a previous section of the
14 proposal.

15 This article cited the effectiveness of
16 practice to reduce blood sample hemolysis in EDs,
17 a laboratory medicine best practice systematic
18 review and meta analysis that's recorded in the
19 developer's documentation.

20 But the conclusion is to use a new
21 straight needle venipuncture instead of IV to start
22 is an effective way to reduce hemolysis rates in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the ED.

2 That seems like this documentation
3 shows nothing about whether it's a potassium sample
4 or not. So, maybe I'm just confused. It seems
5 like it's how you draw the blood rather than whether
6 it involved just potassium sample. Am I wrong on
7 that?

8 DR. PHELAN: No, no, you're right on.
9 It's the technique of the blood draw that
10 contributes to the hemolysis.

11 And the laboratory medicine's best
12 practices looked at hemolysis in general. There
13 are 39 different lab tests that are affected by
14 hemolysis, many of which I don't care so much about.
15 The one I care most about is the potassium.

16 It's a frequently used test and we often
17 get the report out that it's hemolyzed some way.
18 And I have to alter my practice or delay the care
19 to my patient based on that result.

20 So it does affect other lab tests like
21 bilirubin, type and screen, coagulation studies.
22 I specifically focused on ED hemolysis because I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 wanted a pretty simple measure to capture and
2 results can be captured easily.

3 CO-CHAIR SEPTIMUS: So another analogy
4 here, and Steve is next. One of the things that
5 most organizations measure is blood culture
6 contamination rates.

7 And one of the highest areas of blood
8 culture contamination rate happens to be the ED.
9 And it directly relates to how blood is drawn.

10 And this is just an analogy. So people
11 track that. And when they see a high blood culture
12 contamination rate they have an intervention.

13 And I think that's sort of what we're
14 hearing here. We have a high hemolysis rate.
15 There are best practices for how blood is drawn,
16 and maybe what size tube it's drawn in. And that
17 hospitals can track that. And it does have an
18 impact on the validity of a number of laboratory
19 tests.

20 I'm just trying to give you some
21 parallels here. So Steve and then Albert.

22 MEMBER LAWLESS: Going back to your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specifications about your numerator, two
2 questions.

3 One, are you talking about the Epic lab
4 system, LIS system versus the Sunquest LIS system?
5 Or Epic pulling from Sunquest? Because it's just
6 a query pull.

7 And the second question is about the
8 numerator. There's hemolysis and then there's
9 hemolysis. Both the laboratory person who's
10 looking at the specimen versus the machine in the
11 lab may say hemolysis. How do you specify or
12 quantify the degree of hemolysis to be clear on
13 this?

14 DR. PHELAN: So, your first question
15 was again?

16 MEMBER LAWLESS: There's an Epic
17 laboratory information system and there's also the
18 Sunquest lab information system.

19 Is your differences between that, that
20 you're testing one LIS system versus another? Or
21 are you saying Epic's pull from Sunquest is showing
22 a difference?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. PHELAN: I think it's the latter.
2 Our institution did not acquire Oliver or whatever
3 the lab information system from Epic was.

4 So, data is pulled or pushed into Epic
5 from Sunquest, and it populates a field. I can go
6 to my business intelligence which I have to admit
7 is quite an oxymoron at least where I'm at, and say
8 can you pull me data on these patients. And it's
9 a struggle to get that data. That was one of my
10 largest things.

11 Lab medicine, the week I got the grant,
12 through their software system and their -- through
13 their Alto software, I just specified every ED
14 patient that gets a lab draw test from this period
15 to this period, and I want it on a monthly basis.
16 They gave me that almost immediately.

17 And it correlated -- the first time we
18 got it we hand went through it. We're like yes,
19 there's about that many. We were off by one
20 patient maybe and we never could find out why we
21 didn't have that one patient.

22 But when you were looking at twenty or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thirty thousand lab specimens it didn't matter. I
2 was happy that the Sunquest lab information system
3 gave me data right off the bat.

4 Epic is data that Sunquest pulls in
5 through some middleware, and I'm not sure exactly
6 how, it populates in Epic and then I have to get
7 a SQL programmer to say, well, what do you want.
8 Okay, I'll pull all the lab tests for you. And then
9 they pulled some point of care lab testing that I
10 didn't want. And so we went with multiple
11 iterations.

12 It was not the lab information system
13 that you can purchase with Epic. So it was just
14 standalone Epic versus Sunquest lab information
15 system.

16 Our Epic as a medical record is ONC
17 certified and our lab information system Sunquest
18 is ONC certified. So we can pull from either one.
19 I would start with our lab information system and
20 use that as the gold standard.

21 CO-CHAIR THRAEN: So I just want to
22 refocus you on this question about how you decide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that something has been hemolyzed. There's like
2 three different ways to say this term. Because
3 it's qualitative in nature.

4 DR. PHELAN: At our lab they provide
5 you with a hemolysis index. And based on that
6 hemolysis index if it's between 30 and 80 they just
7 give out a report, there's no hemolysis.

8 Between 80 and 300 they call it
9 moderately hemolyzed. They give you a result, but
10 they also put a comment in the comment field that
11 says hey, be cautious in interpreting this. It's
12 not 100 percent that it's right on the money, but
13 it's there.

14 If the lab sample has a hemolysis index
15 of greater or equal to 300 there will be no result
16 in the result box and it will say grossly hemolyzed.
17 Please resend the sample.

18 MEMBER LAWLESS: So which one do you
19 use for this numerator?

20 DR. PHELAN: What's that?

21 MEMBER LAWLESS: Which of those three
22 grades do you use in the numerator?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. PHELAN: I use a combined HK which
2 is moderately hemolyzed and GK which is grossly
3 hemolyzed. I use the total hemolysis as the
4 numerator. I don't separate out gross and
5 moderate.

6 Because anytime you're having
7 hemolysis it potentially could affect your result.

8 CO-CHAIR SEPTIMUS: Okay, so here's
9 where we want to say are the data elements clearly
10 defined.

11 And I think one of the questions is not
12 the denominator. The question is are all ED
13 laboratories able to give us percent -- well, based
14 on your definition of moderate to severe, is that
15 something that's commonly reported and commonly
16 done.

17 DR. PHELAN: No, hence we did a total
18 hemolysis. So all they would have to do is provide
19 you with whatever hemolysis rate they're getting.

20 CO-CHAIR SEPTIMUS: So, I guess during
21 this trial period as you test this more we might
22 get a better sense as to how good that numerator

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is and the definition of the numerator? Because
2 I think that's the soft point here.

3 It's not the concept and it's not the
4 denominator, it is whether or not this measure is
5 reliable across other multiple systems to give us
6 reliable data that's actionable so that this can
7 be tracked and action can be taken to reduce
8 hemolysis. Am I expressing that okay?

9 DR. PHELAN: Perfect.

10 CO-CHAIR SEPTIMUS: So why don't we go
11 ahead and let's vote on the specifications in terms
12 of reliability.

13 MS. QUINNONEZ: Voting is now open for
14 measure 2983 for the measure specifications for
15 eMeasure approval for trial use.

16 Option number 1 is high. Option number
17 2 is moderate. Option number 3 is low. And option
18 number 4, insufficient.

19 DR. PHELAN: And can I say one thing
20 before you continue? ED hemolysis and hemolysis
21 in general is monitored by every single lab system
22 across the country. They all know about it. They

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all know it's a problem.

2 The exact definitions may be off
3 because everyone has a different machine, or
4 different things, but they all measure hemolysis
5 and they all know ED is their number one source of
6 hemolyzed lab specimens in their system.

7 CO-CHAIR SEPTIMUS: Appreciate that
8 clarification. Obviously we'd like to have the
9 measurement be consistent across most EDs.

10 But thanks for that clarification
11 because you're right. It's like blood culture
12 contamination, they all monitor it. Blood culture
13 contamination is a little more straightforward I
14 think than how they monitor hemolysis.

15 DR. PHELAN: I agree.

16 CO-CHAIR SEPTIMUS: But I understand
17 what you're saying. So let's go ahead and let's
18 start over again.

19 MS. QUINNONEZ: Okay, voting is now
20 open for measure 2983 on the measure specifications
21 for eMeasure approval for trial use.

22 Option 1, high. Option 2, moderate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Option 3, low. And option 4, insufficient.

2 All votes are in. Voting is now
3 closed.

4 For the measure specifications of 2983
5 16 percent voted high, 84 percent voted moderate,
6 zero percent for low and zero percent insufficient.

7 CO-CHAIR SEPTIMUS: Okay, so we're
8 obviously not going to do validity, but we are going
9 to talk about reliability and usability --
10 feasibility. Whatever, you know what I'm talking
11 about. Feasibility and usability. So, Iona.

12 CO-CHAIR THRAEN: So, feasibility, as
13 you've already heard most or all lab systems have
14 the capacity.

15 This is an eMeasure that can be easily
16 pulled. Not without it sounds like some cleaning
17 and some clarification that needs to happen
18 depending on what system you're talking about.

19 But if you're dealing directly with
20 your lab systems it sounds like that's an easier
21 path than your EHR. So I think it's highly
22 feasible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR SEPTIMUS: Seeing no comments
2 let's go vote.

3 MS. QUINNONEZ: Voting is now open for
4 the feasibility of measure 2983.

5 Option 1, high. Option 2, moderate.
6 Option 3, low. And option 4, insufficient.

7 All votes are in. Voting is now
8 closed.

9 For the feasibility of measure 2983 61
10 percent voted high, 39 percent voted moderate, zero
11 percent for low and zero percent insufficient.

12 CO-CHAIR SEPTIMUS: Michelle, are you
13 still on the line?

14 MEMBER SCHREIBER: Yes, sir.

15 CO-CHAIR SEPTIMUS: Okay, we don't
16 want to forget you. So if you need to raise your
17 hand can you email Drew so we can make sure that
18 you're recognized, okay?

19 MEMBER SCHREIBER: Yes, thank you.

20 CO-CHAIR SEPTIMUS: I should have
21 asked you that before, but thanks.

22 So the next one is going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 usability.

2 CO-CHAIR THRAEN: So it's not
3 currently being used publicly or for
4 accountability, but they do plan to recommend this
5 for accountability in the future.

6 They also indicated that their planned
7 use includes public reporting, public health
8 disease surveillance, quality improvement with
9 benchmarking and quality improvement in internal
10 benchmarking across organizations. So they have
11 very strong hopes to use this in the future for many
12 uses.

13 MEMBER ARDIZZONE: Can I ask a
14 question? Just a practical question to the
15 developer. I see unintended consequences. Is
16 there any price difference for a 2cc vial versus
17 a 6cc vial? Or any sort of those things that maybe
18 small EDs can't, you know, manage, or workflow
19 changes?

20 DR. PHELAN: Not that I'm aware of. I
21 think they're equivalent. If you'd like I can
22 actually reach out to our nurse director to see if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's a cost difference between a 6ml tube and
2 a 2ml tube.

3 My impression is because it's smaller
4 it should probably cost less. Less glass, less
5 plastic.

6 MEMBER ARDIZZONE: Not necessarily.

7 DR. PHELAN: I know, I know.

8 MEMBER ARDIZZONE: Does it change the
9 measure? I was really just interested if there's
10 some sort of performance change out of this that
11 should be adopted nationwide can every institution
12 conceivably apply it.

13 DR. PHELAN: I have a feeling after
14 going through what I went through that most EDs are
15 going to opt for the least amount of resistance
16 which is replacing their 6ml tubes with 2ml tubes
17 because it works great.

18 And there's very little up front
19 communication that has to be done other than with
20 labeling process and things like that.

21 If you're changing to a straight stick
22 process there may be an increase in cost there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I'm not sure how, but because you're doing a
2 two-stick process. You're doing one stick with a
3 straight stick needle and drawing the labs out.
4 Then you're adding more equipment by putting an IV
5 in.

6 So the less equipment used by doing IV,
7 there may be less cost associated with it, even if
8 there's a potential increase in the cost of a
9 smaller tube.

10 But if the committee would like I can
11 reach out to our nurse director and our purchase
12 person and find out if there's a cost differential
13 between the large and the small tubes.

14 CO-CHAIR SEPTIMUS: So, these comments
15 about usability. So we're supposed to look at --

16 MEMBER ARDIZZONE: I thought that was
17 considered a potential harm or unintended
18 consequences.

19 CO-CHAIR SEPTIMUS: Well, that was the
20 other thing, potential harm or unintended
21 consequences.

22 MEMBER ARDIZZONE: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: So, Charlotte and
2 then Kendall and then Yanling. Charlotte.

3 MEMBER ALEXANDER: Just for my
4 information because I don't know oftentimes when
5 you're drawing lab works you're getting more than
6 just the potassium.

7 So is the 2cc tube a sufficient sample
8 that you can get the other tests you need to get?
9 Or do you have to get another tube to get enough
10 blood to get the other tests?

11 CO-CHAIR SEPTIMUS: Developer, did you
12 hear that question?

13 DR. PHELAN: Yes. We draw three 2ml
14 tubes where we used to draw three 6ml tubes. And
15 we've had no problems with the sufficiency of the
16 material.

17 Now, I can't speak to other lab systems
18 and other machines, but from our perspective I
19 haven't had a problem.

20 It was an argument whether we needed one
21 more tube because of a request for more tests.
22 Like there's an add-on that happens in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 emergency department. You're working someone up
2 and you want to add something on.

3 We looked at it for about a month and
4 there was not an increased request for add-ons
5 during that time period at our lab.

6 We went from considering four 2ml tubes
7 back down to three, and we've just kept at three
8 since, and I haven't heard a problem since.

9 MEMBER WEBB: So, I just want to
10 actually answer from my knowledge base where the
11 extra cost would be is I can tell you if we went
12 to a 2ml system we would need to change all of our
13 lab label printers, and all of the interfaces that
14 go to those lab label printers because our lab
15 labels are for a 6ml tube. So it's not just the
16 cost of the tube, it's the cost of the EMR at this
17 point, and the equipment that goes with the EMR
18 potentially. So that would just be something sort
19 of outside the box.

20 CO-CHAIR SEPTIMUS: Yanling.

21 MEMBER YU: Thank you. The question,
22 two short questions for the developer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 First of all, the planned use includes
2 public reporting. So my first question is what do
3 you have in mind about the public reporting. Any
4 future plans? Just share your ideas.

5 And a second question is for quality
6 improvement as you explained that this could cause
7 harm and could have bad patient outcome. So do you
8 have anything in your mind that could -- down the
9 road to tie to some outcome measure, you know, go
10 from this eMeasure. Thank you.

11 DR. PHELAN: The first thing on the
12 public reporting. Because it's such a ubiquitous
13 problem I could actually see it as being a nursing,
14 an ED, or a hospital measure.

15 And because it significantly affects
16 patient throughput and I think ED throughput, it's
17 still one of the core measures that they're looking
18 at in either IPPS or OPSS. I think it's the
19 Outpatient Perspective Payment System.

20 I definitely see it as impacting things
21 like patient throughput. Because when you start
22 duplicating work and redoing it, and from an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 efficiency perspective with more and more focus on
2 the cost of what it does, having the cost and the
3 labor cost distributed and needed to move around,
4 I see this having a significant impact on
5 throughput and efficiency all around.

6 CO-CHAIR SEPTIMUS: Okay, I think
7 we're ready to vote on usability.

8 MS. QUINNONEZ: Voting is now open for
9 the usability and use of measure 2983.

10 Option 1, high. Option 2, moderate.
11 Option 3, low. And option 4, insufficient
12 information.

13 All votes are in and voting is now
14 closed. For usability and use of measure 2983 24
15 percent voted high, 76 percent voted moderate, zero
16 percent for low and zero percent for insufficient
17 information.

18 CO-CHAIR SEPTIMUS: Okay, so I think
19 that's the last question for this because we're not
20 voting on endorsement. So we want to thank the
21 developer for a -- oh. Was there one more
22 question? Oh, I'm sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Okay, approved for testing. Trial
2 use.

3 MS. QUINNONEZ: Voting is now open for
4 overall suitability for eMeasure approval for
5 trial use of measure 2983.

6 Option number 1 is yes. Option number
7 2 is no.

8 All votes are in. Voting is now
9 closed. For the overall suitability for eMeasure
10 approval for trial use for measure 2983 100 percent
11 voted yes.

12 CO-CHAIR SEPTIMUS: Okay, so Pat has a
13 comment, then we're going to go to public comments,
14 and then we're going to go to lunch early. And I'll
15 tell you when we're going to restart. Everybody
16 with it? Pat.

17 MEMBER QUIGLEY: Thank you, and I will
18 be brief. This is Pat Quigley's voice for the
19 developer on the call.

20 I just want to applaud you and thank you
21 for all that you did to teach us as you went through
22 this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I just hear your joy of improvement and
2 your joy of interdisciplinary involvement and
3 working with the nursing staff there as well as the
4 lab staff. And I just want to thank you so much.

5 DR. PHELAN: Oh, you're welcome, that
6 was nice of you to say. And it was really a team
7 effort. I give most of the credit to Emory Kavach
8 because she was our nurse director in the ED at the
9 time.

10 And she just bought hook, line and
11 sinker into this without a whole lot of effort on
12 my part. I mean, I was really shocked because I
13 was expecting a lot of pushback. But they also
14 pushed back to doing the straight stick so I was
15 a little upset at that, but it turned out great
16 regardless. And it was a great team effort with
17 lab, ED and nursing. It really was.

18 MEMBER QUIGLEY: Thank you.

19 CO-CHAIR SEPTIMUS: Lillee? Okay,
20 public comment. Operator?

21 OPERATOR: Okay, at this time if you
22 would like to make a comment please press * then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the number 1. At this time there are no public
2 comments.

3 CO-CHAIR SEPTIMUS: Okay. So, we'll
4 finish with Lillee and then we're going to go to
5 lunch. And then let's try to come back at 12:30
6 and we'll try to make sure that we finish on time
7 and get all of our business in. Lillee.

8 MEMBER GELINAS: We were mentioning at
9 dinner last night, and thank you for that very much,
10 that there are several changes to the biographies
11 for this committee that are needed.
12 Organizations, titles, phone numbers, the whole
13 nine yards.

14 And I know we're going to start losing
15 people soon. So I don't know what the protocol and
16 process is for NQF, but I just wanted to make sure
17 maybe over lunch we could get that done.

18 MS. QUINNONEZ: That would be awesome.
19 Actually, if you could send your new biographies
20 and titles to the patient safety email box we'll
21 go ahead and update that for you. Thank you.

22 CO-CHAIR SEPTIMUS: Thanks for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bringing that to our attention. Okay, lunch is now
2 served.

3 (Whereupon, the above-entitled matter
4 went off the record at 11:59 p.m. and resumed at
5 12:30 p.m.)

6 CO-CHAIR SEPTIMUS: Our next measure
7 is going to be 3025 Ambulatory Breast Procedure
8 Surgical Site Infection Outcome Measure. And the
9 CDC is the developer and they are on the line. And
10 Dr. Alexander will be the discussant. And I'll
11 turn this over to Iona for the first part to
12 moderate.

13 So, the CDC developer, can you announce
14 yourself, and tell us your name, and then go over
15 your measure?

16 DR. POLLOCK: Yes, this is Daniel
17 Pollock at CDC in Atlanta. We're very pleased to
18 work with you today on the measure proposal we
19 submitted.

20 It's a proposed measure that we
21 co-developed with the Ambulatory Surgery Center
22 Quality Collaboration and also the Colorado

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Department of Public Health and Environment.

2 The measure itself provides a summary
3 statistic. In accordance with other CDC stewarded
4 measures we're using the standardized infection
5 ratio to summarize the observed to predicted
6 surgical site infections following breast
7 surgeries in ambulatory surgery centers.

8 This is a risk-adjusted measure. It's
9 an outcome measure. And this is a procedure with
10 SSIs that was selected because it is -- breast
11 procedures are the highest volume surgical
12 procedure reported to CDC's National Healthcare
13 Safety Network from ambulatory surgery centers.

14 And in the data that we have on the
15 procedures that have been reported in from
16 ambulatory surgery centers breast surgeries pose
17 the highest risk of infection.

18 So it's a high-value target with
19 prevention opportunities that has been developed
20 in concert with the two organizations I've
21 mentioned.

22 We currently are in the process of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 building into NHSN a new outpatient procedure
2 component. And the idea will be to have this
3 particular measure serve as an initial measure of
4 SSIs to be followed by other SSI measures that would
5 be pertinent in the ambulatory surgery center
6 arena. I'll stop there.

7 CO-CHAIR SEPTIMUS: Hi, Dan. This is
8 Ed. I just want to say hi.

9 DR. POLLOCK: Hey Ed.

10 CO-CHAIR SEPTIMUS: Is this the first
11 ambulatory measure that you've come up with?

12 DR. POLLOCK: This is the first
13 ambulatory surgery center SSI measure that we have
14 come up with, yes.

15 CO-CHAIR SEPTIMUS: That's what I
16 thought. Thank you.

17 DR. POLLOCK: Yes.

18 CO-CHAIR THRAEN: Okay. We're
19 switching. So Charlotte, you are the lead on this.

20 MEMBER ALEXANDER: So, the description
21 was beautifully done by Mr. Pollock. And the level
22 of analysis is at the facility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The evidence is not overwhelming in
2 volume but fairly consistent and is consistent with
3 guidelines that we have for inpatient facilities
4 as well, that the surveillance and reporting back
5 does show a decrease in the instance of infections.

6 There is a CDC draft guideline for the
7 prevention of surgical site infections which they
8 have cited. And there are some articles also that
9 they've cited.

10 The risk that's been identified has
11 been listed as being as high as 30 percent which
12 is a significant risk.

13 And as we know infections anywhere can
14 significantly burden the system both financially
15 as well as impact on the patients.

16 There was a five-year study of surgical
17 site infections in the ambulatory surgical arena
18 which showed a rate of about 2.8 per 100.

19 There's not been consistency in the
20 rates that have been reported, but certainly they
21 have been high enough to be of concern.

22 So I think that the data is there to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 support the measure.

2 CO-CHAIR THRAEN: Any questions,
3 comments? Let's vote.

4 CO-CHAIR SEPTIMUS: So we're voting on
5 the evidence.

6 MS. QUINNONEZ: Voting is now open for
7 the evidence of measure 3025.

8 Option number 1, yes. Option number 2,
9 no.

10 CO-CHAIR SEPTIMUS: Just to remind you
11 this is an outcome measure. That's why you're
12 seeing this different.

13 MS. QUINNONEZ: Just to repeat, this is
14 the vote for measure number 3025 Ambulatory Breast
15 Procedure Surgical Site Infection, the SSI
16 measure.

17 And option number 1 is yes and option
18 number 2 for evidence is no.

19 Okay. All votes are in and voting is
20 now closed.

21 The result for the evidence of measure
22 3025 is 100 percent voted yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR THRAEN: All right,
2 performance gaps.

3 MEMBER ALEXANDER: So, breast
4 procedures compose almost 50 or 46 percent of the
5 procedures done in ambulatory surgical centers.

6 And they comprise almost 55 percent of
7 the reported infections.

8 The SSI risk is about 0.25 percent. So
9 it's the highest volume procedure being performed
10 and it has the highest risk of a procedure in an
11 ambulatory surgical center.

12 This is particularly disturbing
13 because as we look at the trend in healthcare we're
14 moving more and more toward ambulatory procedures
15 and away from inpatient procedures. So, I think
16 this is a growing population that we really need
17 to address.

18 They did stratify by age and gender, and
19 showed disparities there. So I think there is an
20 opportunity for improvement that's well
21 demonstrated.

22 CO-CHAIR THRAEN: Questions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER WU: Could you just clarify, is
2 that 0.25 percent or 25 percent?

3 MEMBER ALEXANDER: 0.25.

4 MEMBER WU: One in four hundred cases
5 there's a surgical site infection?

6 MEMBER ALEXANDER: Correct. So,
7 ambulatory surgical infection rates are about half
8 of the inpatient surgical infection rates. So
9 inpatient is about 4 percent and ambulatory is
10 about 2 percent.

11 And if you look at then the risk of a
12 breast patient getting an infection that's what the
13 0.25 is.

14 MEMBER WU: Up here it says -- it's 2.5
15 or 0.25?

16 MEMBER ALEXANDER: It says 0.25.

17 MEMBER WU: Do we know what the actual
18 numbers are? I was just confused further. Is it
19 4 percent and 2 percent, or is it 0.2 percent and
20 0.4 percent?

21 MEMBER YU: No, 78 divided by 30,787
22 you get exactly 0.25 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Dan, do you hear
2 the question?

3 MEMBER WU: What's the general rate of
4 infection for breast procedures, for example, in
5 maybe inpatient, and then is that much higher in
6 ambulatory, and is that higher than for other
7 procedures?

8 DR. POLLOCK: I don't have in front of
9 me the data on breast procedures among inpatients,
10 but in terms of the relative risk compared to other
11 types of procedures in ambulatory surgery centers
12 among the procedures that have been reported into
13 NHSN breast procedures have the highest risk of
14 surgical site infection.

15 So they're a high-volume and relatively
16 speaking high-risk procedure for an SSI in the
17 ambulatory surgery center data that we have in
18 NHSN.

19 And we have over 30,000 breast
20 procedures reported in for the study period with
21 78 infections detected, reported in. So it's
22 about 0.25 percent is the risk.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Dan, this is Ed.
2 So, I think if I remember the specs on this you're
3 not just confined to deep organ space. This is
4 also superficial, is that correct?

5 DR. POLLOCK: No, this is deep organ
6 space. I'm sorry, it is superficial and deep organ
7 space.

8 CO-CHAIR SEPTIMUS: So that's a little
9 different. And the reason I ask you is that could
10 the rate of 0.25 if you consider that we have a hard
11 time capturing the superficial could the rate
12 actually be higher?

13 DR. POLLOCK: Well, there are many
14 reasons why it could be higher. These are the
15 infections that are reported in.

16 We all know that some of the most
17 challenging parts of surgical site infection
18 surveillance are capturing the infections in the
19 outpatient phase of care.

20 And by definition ambulatory surgery is
21 done same-day surgery. So there is always going
22 to be an outpatient surveillance challenge in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ambulatory surgery centers.

2 So, our conjecture is that the 0.25
3 percent is probably a low estimate of the true
4 extent of the infection burdens being placed.

5 CO-CHAIR THRAEN: Steve and then
6 Yanling.

7 MEMBER LAWLESS: Two questions. In
8 your stuff you sent to us that rate was 0.25 but
9 it went up to almost 28 per 1,000. So it's up to
10 2.8.

11 Is there a reference to that, or that
12 variability? You're saying 0.25, but you also
13 have said up to 2.8.

14 DR. POLLOCK: Well, there's literature
15 as well as data that have been reported to NHSN.
16 So we've done our best to summarize the literature
17 as well as provide the actual surveillance data
18 that we've received during the study time period.

19 MEMBER LAWLESS: Okay, thank you.

20 MEMBER YU: Maybe just a comment. I
21 know in our state, in Washington the medical board
22 is trying to draft up a policy that requires

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ambulatory surgical infection be reported by
2 physician.

3 Some of the cases came to us are exactly
4 woman getting infected because of breast surgery
5 in ambulatory centers.

6 The question we are wrestling at the
7 time I remember was about what time window that
8 physicians are required to report.

9 So I wonder do you have any thoughts on
10 how you can define this superficial or deep
11 infection based on the time window.

12 DR. POLLOCK: Yes, good question. So,
13 we have specified that a 30-day time window for the
14 superficial surgical site infections and a 90-day
15 time window for the deep in organ space surgical
16 site infections.

17 CO-CHAIR THRAEN: So, I just want to
18 point out for those of you that may or may not know
19 ambulatory surgical centers are the freestanding
20 surgical centers. And they have not historically
21 reported in national terms what their rates are.

22 They do report, often many of them do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 report to their association. And they do have an
2 accreditation body that they report their findings
3 to.

4 And it varies by state. In Utah they
5 are required to report sentinel events to us, but
6 not quality measures.

7 And so this is sort of another wild,
8 wild West world experience stepping into that
9 environment and asking them to share their and
10 become transparent on their outcomes as well as
11 what we've done historically with hospitals and
12 skilled nursing facilities.

13 Charlotte.

14 MEMBER ALEXANDER: So I have a question
15 for the developer. I know that most of the time
16 the reporting is done voluntarily by the physician.
17 So a survey or a questionnaire is sent to the
18 physician asking if he has infections.

19 It's not super common that the
20 infections come back into the facility.

21 In the inpatient world if I have a
22 surgery and it gets infected and they go to another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hospital there's a very good collaboration between
2 hospitals as far as reporting a complication that's
3 come in at a second hospital.

4 Is there a way that there is a
5 communication between the inpatient world and the
6 ambulatory surgery world where they can report
7 infections that come in that are secondary to an
8 ambulatory surgery procedure?

9 DR. POLLOCK: That's a great question.
10 Certainly there are ways for that to happen and it
11 does happen now.

12 There are ways to incentivize that type
13 of communication. We're keenly interested in
14 helping to incentivize that type of communication.

15 And much depends right now on the local
16 practice and the network of infection prevention
17 personnel in hospitals and their connections with
18 the ambulatory surgery centers in their community.
19 So it varies.

20 But over the long haul we definitely see
21 opportunities to invigorate those types of
22 communications and would value any type of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 opportunity to ramp that up as soon as we can.

2 We think this is a step in that
3 direction, that when we move into the quality
4 measure arena on top of the six or seven states that
5 require already SSIs to be reported from ASCs to
6 NHSN.

7 We're on a trajectory where the
8 visibility of surgical site infection in the
9 ambulatory surgery center area is increasing and
10 it's a problem as one of the previous comments
11 alluded to that we know less about than we do and
12 particularly with respect to SSIs in the inpatient
13 arena.

14 And with the increasing volume of
15 procedures done in the outpatient setting it's very
16 important for us to bring under surveillance the
17 high-volume high-risk procedures to begin with and
18 move from there.

19 CO-CHAIR THRAEN: Shall we vote?

20 MS. QUINNONEZ: Voting is now open on
21 performance gap for measure 3025.

22 Option number 1, high. Option number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 2, moderate. Option number 3, low. And option
2 number 4, insufficient.

3 All votes are in. Voting is now
4 closed.

5 For the performance gap of measure 3025
6 37 percent voted high, 63 percent voted moderate,
7 zero percent for low and zero percent for
8 insufficient.

9 CO-CHAIR THRAEN: Charlotte,
10 reliability.

11 MEMBER ALEXANDER: There was not
12 reliability testing done on the measure or on the
13 data.

14 There was reliability testing that was
15 done on the risk stratification.

16 The model that they used was one where
17 they focused on procedures from selected surgery
18 centers in Colorado for the period of January to
19 December.

20 They chose the surgery centers that had
21 a minimum of 100 patients volume in breast
22 procedures during that year's time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 They then looked for over- and
2 under-reporting discrepancies and omissions.

3 There was no under-reporting that they
4 found. There was one over-reporting that was a
5 definition issue.

6 They had five facilities that entered
7 total procedure duration for bilaterals rather
8 than separating them out as two separate
9 procedures.

10 They had a high percentage of the
11 facilities where the procedure duration was
12 incorrect. It was actually 95 percent where it was
13 incorrect.

14 They had been using a protocol
15 definition that had been in place prior to 2014.
16 The measure year was 2014 and so it had just been
17 changed over and the facilities had not done that
18 change.

19 They felt it was highly reliable in
20 identifying the SSIs because there was no
21 under-reporting. But there was no testing.

22 MR. LYZENGA: We considered that to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data element testing, reliability testing, or
2 validity testing, the analysis that they did.

3 MEMBER ALEXANDER: Okay, good.

4 CO-CHAIR THRAEN: FYI, Colorado's ASCs
5 are very proactive in this area so I'm not surprised
6 that it was done in Colorado. They're much more
7 organized in that state than any of the other states
8 that I've seen over the years.

9 Any questions? Go ahead, Pat and then
10 Laura.

11 MEMBER QUIGLEY: Thank you. This is
12 Pat Quigley's voice for the developer. Thank you
13 for those comments.

14 And I'm asking a question on behalf of
15 Dr. Kimberly Applegate who was not able to be with
16 us today. And she asked that we ask.

17 She's a radiologist, a pediatric
18 radiologist and director of practice quality
19 improvement in radiology at Emory University.

20 And her question in relationship to
21 reliability is if -- in testing the reliability of
22 the procedures is if the procedures were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 image-guided procedures that were done.

2 DR. POLLOCK: Unfortunately we don't
3 have that information. We do know simply that
4 these were breast procedures in ambulatory surgery
5 centers that met procedure code criteria. And we
6 have ICD and CPT procedure codes.

7 And the time period that this was done
8 the procedure codes did not -- were not captured
9 at a level where even if they did provide the
10 information about imaging we don't collect that.

11 MEMBER QUIGLEY: Thank you.

12 MEMBER ARDIZZONE: Can I just clarify
13 because I overheard some of that conversation?
14 She also wanted to make sure that the CPT codes and
15 the ICD-10 codes that you captured would have MRI,
16 like needle localizations, all those other breast
17 procedures that are radiology assisted.

18 Because she read through your CPT and
19 ICD-10 list and didn't see that those were
20 captured.

21 DR. POLLOCK: My colleague, Kathy
22 Bridson, just pointed out that needle aspirations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 if this is the issue that we're talking about, if
2 they don't have an incision they don't qualify for
3 inclusion in the code list that we provide for
4 facilities to use and that Colorado uses.

5 MS. BRIDSON: They don't meet our
6 definition of an operative procedure if they don't
7 have an incision.

8 CO-CHAIR THRAEN: Repeat that.

9 MS. BRIDSON: Our NHSN definition of an
10 operative procedure is one that involves an
11 incision, not a percutaneous or a needle --

12 MEMBER ARDIZZONE: Right. I think if
13 I can clarify again -- again, I'm speaking for
14 somebody else -- that it wasn't just -- that
15 sometimes there's a combined procedure. So an MRI
16 needle localization, and then you do a lumpectomy,
17 or a sentinel lymph node biopsy afterwards.

18 So I guess what you're saying is if
19 there's a procedure associated with the radiology
20 component of it it would be captured in the CPT
21 coding.

22 DR. POLLOCK: Yes. If there's an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 operative procedure it would be captured in the CPT
2 coding, but not the imaging per se.

3 MEMBER ARDIZZONE: Got it. But it
4 would be captured if there was an incision
5 afterwards.

6 DR. POLLOCK: Correct.

7 CO-CHAIR THRAEN: Other questions?
8 All right, let's vote. Reliability.

9 MS. QUINNONEZ: Voting is now open for
10 the reliability of measure 3025.

11 Option number 1, moderate. Option
12 number 2, low. Option number 3, insufficient.

13 Option number 1, moderate. Option
14 number 2, low. Option number 3, insufficient.

15 If you need to change your vote the
16 clicker will capture the last number that you
17 choose.

18 All votes are in. Voting is now
19 closed.

20 For the reliability of measure 3025 60
21 percent voted moderate, 25 percent voted low, 15
22 percent voted insufficient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR SEPTIMUS: Dan, I have a
2 question for you. It says you exclude very elderly
3 patients.

4 DR. POLLOCK: Yes.

5 CO-CHAIR SEPTIMUS: And it says there
6 at the top ages 18 to 108.

7 DR. POLLOCK: Correct.

8 CO-CHAIR SEPTIMUS: So, what's very
9 elderly? We're having this discussion about the
10 definition of elderly.

11 DR. POLLOCK: Elderly looks to be a
12 higher and higher number every year for some of us.
13 And so we're saying 109 is truly elderly.

14 CO-CHAIR SEPTIMUS: I'm safe for a
15 couple of years.

16 CO-CHAIR THRAEN: All right, so
17 validity.

18 MEMBER ALEXANDER: So this was a face
19 validity assessment. There was a consensus
20 process. There were 11 individuals with about 80
21 percent concurrence that the measure measures what
22 it's intended to do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the majority agreed as well that it
2 accurately reflects quality.

3 Risk factors were assessed and there
4 was a univariate analysis and backward
5 elimination.

6 It ended up using ASA classification
7 and age as valid to adjust for the statistics.
8 This was risk-adjusted using a statistical model.

9 They used the Hosmer-Lemeshow with a P
10 equal to 0.66.

11 And with that zero shows no
12 correlation. And the range is from zero to 1, so
13 it's more than 50 percent.

14 The SEER was not calculated if the
15 predicted value was less than 0.2. So of 138
16 facilities the SEER was able to be calculated for
17 70.

18 They looked at missing data on the ASA
19 class. It was about 18 percent.

20 They looked at that population compared
21 to the other population and felt that the crude risk
22 in the missing procedures was not significantly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 different from the risk of the others. It was 0.36
2 compared to 0.25.

3 And so I think this represents moderate
4 validity.

5 CO-CHAIR THRAEN: All right. Pat, did
6 you have a question?

7 MEMBER QUIGLEY: No, I'm so sorry.
8 Thank you.

9 CO-CHAIR THRAEN: Any questions?
10 Let's vote.

11 MS. QUINNONEZ: Voting is now open for
12 the validity of measure 3025.

13 Option 1, moderate. Option 2, low.
14 Option 3, insufficient.

15 Option 1, moderate. Option 2, low.
16 Option 3, insufficient.

17 All votes are in and voting is now
18 closed. For the validity of measure 3025 89
19 percent voted moderate, 5 percent voted low and 5
20 percent insufficient.

21 CO-CHAIR THRAEN: Feasibility.

22 MEMBER ALEXANDER: This is generated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or collected during provision of care, some of it
2 electronically.

3 The NHSN surveillance protocols,
4 definitions and data collection methods have been
5 used across multiple settings. The collection
6 methods may vary between facilities.

7 There are no fees but you have to be
8 enrolled in NHSN to participate.

9 CO-CHAIR THRAEN: Comments or
10 questions. Yanling.

11 MEMBER YU: Thank you. I know that in
12 Washington State there are sometimes they have to
13 -- our state advisory committee on
14 hospital-acquired infection, they have to do
15 inspection at a hospital, try to determine whether
16 there's under-reporting, over-reporting and
17 reporting error basically.

18 I'm just wondering whether there's any
19 thought given into it about how those measurements
20 reporting error or under-reporting problem.

21 And also, our state doesn't like SR.
22 We have our own index. So that means there might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be something, differences interpretation of the
2 infection documentation.

3 Do you have any thoughts on that?

4 DR. POLLOCK: Yes, very good
5 questions. I think clearly with respect to your
6 first comment and question there's plenty of room
7 to improve the comprehensiveness, thoroughness of
8 the post-discharge surveillance.

9 We recognize that this is one of the
10 most important and challenging areas in all of
11 healthcare-associated infection surveillance.

12 We're keenly interested and we're
13 working very closely both with the clinical
14 community of practice and the ambulatory surgery
15 center environment as well as with innovator
16 initiated strategies for connecting with patients
17 in SSI surveillance, greater use of
18 telecommunications and follow-up.

19 Speaking of Washington there's a group
20 at the University of Washington in Seattle that has
21 innovated a web-based application called M-Power
22 -- I encourage you to look into it -- that enables

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients in the outpatient phase to report data to
2 the practicing surgeon.

3 So I think the future of
4 patient-generated health data in post-discharge
5 SSI surveillance is very promising.

6 We have a lot of work to do on that
7 front, but we're looking forward to working in that
8 area very much.

9 In terms of our use of the standardized
10 infection ratio we have found it to be an important
11 way to summarize HAI data across the board.

12 It really is a now very widely used
13 summary metric not only by CDC in its own reports,
14 but also by state health departments in their
15 reports.

16 Thirty-four states require use of NHSN.
17 Almost all of those states have some element of SSI
18 reporting requirement and are making extensive use
19 of the standardized infection ratio when they
20 report their data publicly.

21 We also report on behalf of facilities
22 to CMS both surgical site infection data as well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as other HAI data summarized using the SIR.

2 So, we think it has an important
3 versatility that enables us to provide risk
4 adjustment in a single summary measure that is of
5 value for prevention purposes as well as for
6 quality measurement purposes.

7 We by no means claim that this is the
8 sole way that data can be summarized usefully. We
9 just have found it to be an effective way of
10 conveying the data and providing guidance both for
11 prevention and quality measurement purposes.

12 CO-CHAIR THRAEN: Tracy and then
13 Lilliee.

14 MEMBER WANG: I'm just curious. Is
15 the ASCs mandated to use the NHSN? And if not what
16 percent of them are using this database, and are
17 they submitting data consistently and regularly?

18 DR. POLLOCK: Could you repeat the
19 question again?

20 MEMBER WANG: Yes. Is it mandatory
21 for the ASCs to send that data to NHSN?

22 DR. POLLOCK: Okay. So, there are six

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or seven states that do require ASCs in their
2 jurisdiction to report SSI procedure and SSI
3 outcome statistics to NHSN. That's why we have a
4 relatively rich database already of ASC SSI data.
5 Colorado is one of the states that has a mandate.

6 So there are states, but most at this
7 juncture do not require ASCs in their jurisdiction
8 to report to NHSN.

9 CO-CHAIR THRAEN: Just in terms of the
10 state-based movement in health-associated
11 infections, what Utah has chosen to do is to wait
12 until CMS mandates it and then they mandate it.
13 That's their strategy. I should say our strategy.

14 Any other questions or comments?
15 Let's vote.

16 MS. QUINNONEZ: Voting is now open for
17 the feasibility of measure 3025.

18 Option 1, high. Option 2, moderate.
19 Option 3, low. Option 4, insufficient.

20 All votes are in and voting is now
21 closed.

22 For the feasibility of measure 3025 15

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent voted high, 80 percent voted moderate, 5
2 percent voted low and zero percent insufficient.

3 CO-CHAIR THRAEN: All right.
4 Usability.

5 MEMBER ALEXANDER: This is currently
6 being reported to NHSN by those states which he
7 mentioned earlier.

8 Also, the Colorado Department of Public
9 Health Patient Safety Program is using this for a
10 reporting quality measure.

11 CO-CHAIR THRAEN: I think that also in
12 the report here the plan is to use it for
13 accountability in the future as well.

14 So I think right now unless CMS dictates
15 it CDC actually often doesn't have that kind of
16 authority to dictate that this should be used, but
17 CMS does because of the Medicare reimbursement
18 piece and Medicaid.

19 So now it's still state by state, but
20 the more pressure that's put on to say here's a
21 national measure and we want to be able to evaluate
22 like you were indicating earlier that a lot of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surgery and a lot of the procedures are going to
2 the outpatient side it actually gives some strength
3 to states to say we want to move in that direction.

4 Shall we vote? All right, Lisa.

5 MEMBER MCGIFFERT: I would just
6 reinforce that, that there's -- we work on these
7 issues in a number of states and it is the Wild West.
8 The ASCs will not get all or maybe even most of the
9 surgical centers that are operating out there.

10 So I don't know how many we'll capture,
11 but I definitely am hearing things from CMS,
12 interest in this.

13 And from the consumer perspective more
14 and more people are using these facilities and
15 there really isn't any information out there to
16 compare how these do versus a hospitalization.
17 And I think it's really good to see this kind of
18 measure come forward.

19 CO-CHAIR THRAEN: Ed.

20 CO-CHAIR SEPTIMUS: Dan, you can
21 correct me on this, but those of us who worked on
22 the HHS Action Plan part 2, in fact ASCs were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 included.

2 And one of the recommendations that
3 came out of our meeting was reporting into NHSN.
4 As you know, we got somewhat of a pushback from ASCs
5 in terms of the timeline, legitimately so because
6 none of them are really equipped to do this.

7 But I think those of us who worked on
8 that plan, the vision was that eventually they
9 would come online. Is that what your
10 understanding is also?

11 DR. POLLOCK: Yes. I think that
12 you've summarized it very well, Ed.

13 It is a priority. There's a lot of work
14 to do to enable ASCs across the board to report.

15 But we've got I think a reasonable
16 amount of field experience already with the states
17 that have mandated.

18 And I think part of that experience is
19 we want to make sure in the SSIs that we bring under
20 surveillance and use for quality measurement that
21 we're picking the right procedures.

22 And the tradeoff between the burden of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reporting and the yield in terms of data for quality
2 measurement and improvement makes it a worthwhile
3 endeavor.

4 CO-CHAIR SEPTIMUS: And just to speak
5 for it I think we all as has been stated that the
6 oversight in ASCs are not the same as in hospitals.

7 And by making them more accountable,
8 unfortunately the only way you're going to move the
9 needle is to have a mechanism in place like this
10 to hold them accountable for surgical site
11 procedures that make sense will move us closer to
12 that goal.

13 DR. POLLOCK: Agree completely.

14 CO-CHAIR THRAEN: Vote.

15 MS. QUINNONEZ: Voting is now open for
16 the usability and use of measure 3025.

17 Option 1, high. Option 2, moderate.
18 Option 3, low. And option 4, insufficient
19 information.

20 All votes are in and voting is now
21 closed.

22 For the usability and use of measure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 3025 63 percent voted high, 67 percent voted
2 moderate, zero percent for low and zero percent for
3 insufficient information.

4 CO-CHAIR THRAEN: And then finally
5 suitability for endorsement.

6 MS. QUINNONEZ: Voting is now open for
7 the overall suitability for endorsement of measure
8 3025. Option 1, yes. Option 2, no.

9 All votes are in and voting is now
10 closed. For the overall suitability for
11 endorsement of measure 3025 100 percent voted yes.

12 CO-CHAIR SEPTIMUS: We're far too easy
13 on you, Dan.

14 DR. POLLOCK: Well, we appreciate
15 that.

16 CO-CHAIR THRAEN: All right. We're
17 moving forward into 0450 PSI number 12 from AHRQ.
18 Would the measure developers who are here please
19 join us?

20 MR. LYZENGA: I should note that this
21 is the second of our two maintenance measures we're
22 considering during this cycle.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So this one is eligible to have us
2 forego the discussion and vote on evidence and
3 reliability if you so choose.

4 CO-CHAIR SEPTIMUS: Michelle, you're
5 back on, right?

6 MEMBER SCHREIBER: I am back on, thank
7 you.

8 CO-CHAIR SEPTIMUS: Okay, great.
9 Thanks.

10 CO-CHAIR THRAEN: And then, Jason,
11 you're the lead on this one. So we'll turn it over
12 to the developers and then Jason will take over from
13 there.

14 CO-CHAIR SEPTIMUS: We made Jason the
15 PSI king. Or czar.

16 DR. PETERSEN: Thank you very much.
17 This is Pam Owens. I am the lead of the AHRQ
18 quality indicators and I apologize that I cannot
19 be there in person.

20 AHRQ very much appreciates the
21 opportunity to have two of the AHRQ quality
22 indicators reviewed in today's meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PSI 12, Perioperative Pulmonary
2 Embolism or Deep Vein Thrombosis Rate, and PSI 9,
3 Perioperative Hemorrhage or Hematoma Rate.

4 Since I'm not there in person I do
5 actually believe that Dr. Patrick Romano from UC
6 Davis who is there will do an exceptional job at
7 representing the indicators.

8 Patrick is the clinical lead of the AHRQ
9 QI contractor team that's led by Stanford
10 University. And both Patrick and I are available
11 to answer any questions although I'm sure you all
12 know Dr. Romano and he is superb.

13 Before I turn it over to Patrick I do
14 want to take a few seconds to tell you about a few
15 of the core principles of the AHRQ quality
16 indicator program as I believe these are critical
17 aspects to keep in mind during the review today.

18 The hallmark of the AHRQ quality
19 indicator development process is the continuous
20 enhancement and refinement of all indicators based
21 on user feedback, review of clinical practice
22 changes, validation studies, empirical testing for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 validity and reliability, and input from expert
2 panels such as yourselves at the patient safety
3 committee, and experts on our AHRQ QI standing work
4 group.

5 For instance, we know that the coding
6 of conditions as present on admission has improved
7 over time. And this is a key element in PSI 9 and
8 PSI 12 specifications.

9 We continuously conduct validity
10 studies and use these results of the studies to
11 continuously improve the indicators.

12 And I emphasize these last two points
13 in particular because AHRQ is aware of recent
14 publications such as the one by Winters and
15 colleagues in Medical Care that point to validity
16 concerns with PSI 9 and PSI 12.

17 We also understand that this article
18 was circulated to you as reviewers prior to the
19 meeting. And I wanted to assure you that we
20 address validity in our submission but we don't
21 specifically address the Winters article.

22 Unfortunately due to the time lag in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 publications meta analyses such as this one must
2 rely on older studies, and authors at times do not
3 realize or integrate in their discussions all of
4 the improvements that have already taken place in
5 the indicator specifications and the ones that are
6 being presented to you today.

7 In fact, the studies highlighted in
8 that article are the foundational rationale for the
9 improvements that we are showing you.

10 Now, we're happy to address your
11 specific concerns regarding the article as we move
12 through the review, but I just want to leave the
13 discussion there.

14 Moreover, I wanted to highlight another
15 key component of the AHRQ quality indicator program
16 and that is the transparency and usability of the
17 indicators.

18 Not only does AHRQ QI program publicly
19 post all of the technical specifications, but we
20 also provide users with SAS and Windows-based
21 software to calculate their own numerators,
22 denominators observed in risk-adjusted rates using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 their own administrative data.

2 Users are a critical component of the
3 QI program at AHRQ. For example, this month we
4 released an updated AHRQ QI toolkit that can be used
5 by hospitals as a general guide to apply
6 improvement methods in a hospital setting as well
7 as guidance on how to improve specifically the PSIs
8 such as PSI 9 and PSI 12.

9 So, thank you. I will turn it over to
10 Dr. Romano to provide an overview of each of the
11 indicators and as we go through both he and I are
12 available for questions. Thank you.

13 DR. ROMANO: Okay, thank you. I think
14 I've met most of you before. I'm Patrick Romano.
15 I'm a general internist, general pediatrician and
16 health services researcher based at UC Davis School
17 of Medicine in Sacramento, California.

18 And I've worked with AHRQ on the
19 enhancement of the AHRQ patient safety indicators.
20 And my team has actually done a number of the
21 validation studies, published a number of the
22 papers that are cited in the submission.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, I think that I just wanted to make
2 one comment again related to the Winters paper.
3 And this is just a brief statement that's been
4 approved by the editors and publishers of the
5 journal Medical Care which is, and I quote, "Most
6 of the numerical estimates provided in this paper,
7 the Winters paper, are incorrect due to
8 methodologic errors in their meta analyses. The
9 authors are now in the process of re-analyzing
10 their data and submitting corrected results for
11 publication in Medical Care which they have agreed
12 to do. Until these corrected estimates are
13 publicly available readers cannot rely on the
14 published estimates."

15 And we can get into more details during
16 the discussion review process.

17 Obviously we start with PSI 12 which is
18 an outcome measure focused on in-hospital venous
19 thromboembolism among surgical patients.

20 And it's part of a spectrum of quality
21 measures that focus on surgical complications.

22 CO-CHAIR THRAEN: Jason.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SEPTIMUS: Jason, speak into
2 the mike, please.

3 MEMBER ADELMAN: I just want to start
4 by saying I have incredible appreciation for Dr.
5 Romano and AHRQ and the PSI measures.

6 I have some issues with them, but I
7 think overall those issues can be worked out, and
8 they're very important for patient safety.

9 Some of my concerns are big picture
10 concerns. Like for example, we heard that this
11 Winters paper that has methodologic issues, but it
12 also -- I'm sorry, I forgot the name of the woman
13 on the phone from AHRQ. What's her name?

14 DR. ROMANO: Pam Owens.

15 MEMBER ADELMAN: Pam Owens. Pam,
16 right, that's right. So Pam mentioned a different
17 point, besides that there's methodological issues
18 Pam mentioned that it looked at data that predates
19 some change in present on admission.

20 And what I don't understand is that I
21 believe -- both of the PSIs we're looking at today,
22 they talk about ICD-9 and ICD-10.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And people can correct me if I'm wrong,
2 but I think we now live in an ICD-10 world. Like
3 almost all of the validation in both papers are
4 ICD-9 data.

5 And the measure developers spend a lot
6 of effort to show that any validation stuff pre the
7 POA stuff is -- shouldn't really be counted because
8 we fixed it. And we should look at the new ICD-9
9 stuff. But now it's all ICD-10.

10 And then an intellectual argument can
11 be made that ICD-10 is just better, there's many
12 more measures and it's more narrow.

13 But even AHRQ's language in the
14 application sort of says there are some confusing
15 things about ICD-10 and we still have to work it
16 out.

17 And I sent around just before an article
18 that said ICD-10 may have some issues.

19 So, in fact, the measure as it will be
20 applied will be applied to a world of ICD-10. All
21 of this validation stuff that we're going to look
22 at, and please correct me if I'm wrong, will have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been done with ICD-9 data, at least the data that
2 will be presented on the screen, and I'm not even
3 sure that that's like appropriate to judge. I
4 could be wrong and so forgive me.

5 It's also, it's incredibly difficult.
6 There's hundreds of pages if you look at the
7 applications and the supplements, and there's no
8 like -- an exclusion criteria could have been
9 removed from beforehand till now and it's
10 impossible for us to even know or even scrutinize.

11 It would be incredibly helpful if from
12 this point on going forward for all PSI measures
13 it uses actually ICD-10 data. And anytime
14 anything is changed have a section that says by the
15 way, we removed these five exclusion criteria and
16 added these six. And we can talk about it and
17 scrutinize it.

18 But it's just this overwhelming amount
19 of information and data that makes it almost
20 paralyzing. But I am concerned about the ICD-10.

21 I have another issue which I want to
22 bring up which is that, you know, the last time we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talked about PSIs I had mentioned that UHC had this
2 information out about how to adjudicate PSIs.

3 And I mentioned it and Dr. Romano
4 suggests we know about it. In fact AHRQ has a
5 toolkit that shows how to do it. And I didn't know
6 that.

7 So I went back and looked, and in fact
8 they do, of course. It tells you exactly how to
9 craft an email to somebody to say we found this PSI,
10 and it may or may not be accurate, could you please
11 clarify.

12 People are reporting -- people, our
13 colleagues around the table are reporting that
14 they've done it and they've flipped 20 to 30 to 40
15 percent PSIs.

16 So even like this Winters paper where
17 we're questioning the methodology, for this
18 particular PSI, the one we're talking about now,
19 the positive predictive value is a little less than
20 80 percent.

21 In the toolkit that was mentioned that
22 was just released and I sent there's something from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Harborview. And they've used the AHRQ approved
2 adjudication method.

3 And they proudly say that they've
4 flipped 20 percent of the DVTs that were false
5 positive. They went and corrected them. Which is
6 about what the Winters paper said.

7 And what's most troubling to me is that
8 I believe we now live in a world of hospitals that
9 can afford or have the knowledge to adjudicate and
10 review and reduce the PSIs and those that don't.

11 If you're wealthier and you care about
12 U.S. News and World Report where they use PSIs, or
13 you care about the HAC penalty then you'll have
14 somebody who will do that process that AHRQ laid
15 out.

16 But if you don't have the money or the
17 sophistication then you won't. And we sort of need
18 Bernie Sanders to come here and defend the little
19 hospitals, the ones that can't do it. Because we
20 live in two worlds now.

21 And it makes me wonder if, you know, Dr.
22 Romano said there is this thing, why isn't that part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of the measure?

2 We just had NHSN. They do have people
3 adjudicate. You had a positive culture and a fever
4 and then you review and you get it.

5 If that was part of the measure then all
6 this positive predictive value that we talked
7 about, everything would all go away.

8 And of course the big problem is that
9 it's resources and manpower. And you know, most
10 of our hospitals have NHSN people. But now only
11 some of us have PSI people. And so now we live in
12 a two standard world.

13 So I had a couple of issues there.
14 We're not really using ICD-10 issues. We live in
15 a world with those that adjudicate and those that
16 don't.

17 So, we should go through each and every
18 step. But even some of the data we're looking at
19 seems maybe not even the right data.

20 CO-CHAIR THRAEN: So, because it's
21 maintenance we wouldn't have to go through the
22 reliability and validity process. But because the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 source data structure mechanism and definitions
2 have changed, ICD-10, you're recommending what?

3 MEMBER ADELMAN: Well, I'm -- I don't
4 know. I reviewed the application that describes
5 validity, reliability, and references articles,
6 and provides data for stuff that's not in the world
7 that we live in.

8 And so as I said an intellectual
9 argument can be made that ICD-10 is just better,
10 but I'll give you an example.

11 CO-CHAIR THRAEN: Well, before you do
12 that I want to ask the developers. So, in
13 preparation for this presentation have you used the
14 PSI using ICD-10 data, source data, and if so what
15 were your findings, and can you reconcile this
16 concern?

17 DR. ROMANO: Well, I think as everybody
18 knows we only have nine months now of experience
19 with ICD-10 data in the United States. So I don't
20 think we're alone.

21 I think all the measure developers that
22 developed and implemented measures using ICD-10

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 coded data are all in this position of really just
2 getting started on the process of re-validating our
3 measures using ICD-10 data.

4 There is perhaps one relevant study
5 which was cited from Canada because of course
6 Canada implemented ICD-10 a number of years before
7 us.

8 And so if you refer to -- and I realize
9 that the submission is quite long and detailed --
10 but anyway page 22, second paragraph, near the
11 bottom of the second paragraph.

12 So, Quan et al., sampled patients with
13 PSI events from three Calgary hospitals, reported
14 a PPV for PSI 12 of 90 percent. And that's again
15 just from Canada. We don't know whether that
16 experience will translate to the U.S. or not, but
17 obviously it's an important issue that I think AHRQ
18 will be prioritizing in the coming year.

19 CO-CHAIR THRAEN: Other questions or
20 concerns about the evidence? Go ahead Yanling and
21 then Leslie.

22 MR. LYZENGA: I should note that we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kind of talking about validity right now. So maybe
2 we should start out with the question of evidence
3 and whether there is a rationale supporting the
4 relationship of this health outcome to at least one
5 healthcare structure, process, or service.

6 And I would remind you that as a
7 maintenance measure we may forego this vote if we
8 so choose.

9 CO-CHAIR THRAEN: Okay, Leslie, go
10 ahead.

11 MEMBER SCHULTZ: Just a quick
12 question. Is this version 5.X or is it version 6?

13 DR. ROMANO: What we're bringing to NQF
14 now is version 6 which is the version that was just
15 released.

16 And to Dr. Adelman's point, the only
17 real difference between version 6 and version 5
18 aside from version 6 has a more sophisticated risk
19 adjustment model if you will that accounts for more
20 patient characteristics.

21 But the other difference is that the
22 specification of the measure now is limited to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients with so-called proximal deep vein
2 thromboses or pulmonary emboli. So we've removed
3 isolated calf vein thrombi from the definition.

4 There's a concern about variation in
5 surveillance practices across hospitals that might
6 be influencing that.

7 CO-CHAIR THRAEN: Yanling and then
8 Albert and then Ed.

9 MEMBER YU: This may be a dumb
10 question. From my world when I do the study if we
11 do two different types of measurements we look at
12 the error to estimate what the error power is and
13 so you know you have.

14 Then when you move to ICD-10 you know
15 what the biases or the uncertainty would introduce
16 with new codes.

17 So, has anyone just by educating
18 myself, has anyone done a study, same set of
19 observations, but analyzed using ICD code 9. And
20 then with the same set of records to look at ICD-10.
21 And then you look at what's the difference. What
22 the uncertainty, what the error power would be.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ROMANO: Yes. The Quan study from
2 Canada that I cited was an example of that. So they
3 did simultaneous ICD-9 and ICD-10 coding.

4 There's individual hospitals and
5 hospital systems have done a bit of this work. And
6 perhaps some of you may have experience with it in
7 your own systems.

8 Because of course there was a period
9 during which the implementation of ICD-10 was
10 postponed for a year and then another year.

11 And so during that period a lot of our
12 hospitals were doing training and sort of making
13 sure that the coding was consistent that our coding
14 teams were doing between I9 and I10.

15 But virtually none of that work has come
16 into the peer reviewed literature because it's
17 almost all been for quality improvement within our
18 hospital systems. I don't know, others may have
19 experience on that.

20 MEMBER YU: We have no really the data
21 to really say what its uncertainty is.

22 DR. ROMANO: Well, all I can say is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the basic structure of the codes, of the diagnosis
2 codes, is very similar.

3 So, it's not a fundamentally different
4 structure. There are some slight differences in
5 indexing which we're actually pursuing with the
6 ICD-10-CM Coordination and Maintenance Committee
7 to clarify, for example, for peroneal vein thrombi.

8 So, there are some slight differences,
9 but in general the basic structure of the codes is
10 very similar.

11 And I think that's the feedback we've
12 heard from the field as well, that people haven't
13 -- they're not finding anything that's very
14 different.

15 If you look again at early tracking from
16 some of the systems that we're a part of where
17 there's been early data that's available from
18 ICD-10 we're not seeing dramatic changes in the
19 rates of the indicators.

20 There's been a general downward trend
21 over time, but we're not seeing a sudden change as
22 of October 1, 2015.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THRAEN: Albert, then Susan,
2 then Lisa.

3 CO-CHAIR SEPTIMUS: I just want to say
4 if it's okay with the committee I don't think we're
5 having problems with evidence and gap. And we're
6 all talking about reliability and validity.

7 Can we just right now just vote to pass
8 that and then go into a discussion of reliability
9 and validity? Because that's what we're all
10 talking about. So let's move past those two
11 elements.

12 MEMBER WU: I was going to talk about
13 evidence gap.

14 CO-CHAIR SEPTIMUS: Oh okay, then I'm
15 sorry.

16 MEMBER WU: But you short-circuited
17 me. I was actually just going to say let's move
18 along.

19 I think that we do not need to spend time
20 on this. Since the last time we reviewed this
21 there have been even more studies that show that
22 we can do a lot to reduce thromboembolism. So I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would like to get to a vote.

2 CO-CHAIR THRAEN: Let's vote. Ed
3 spoke.

4 MS. QUINNONEZ: We are now voting on
5 measure 0450 Perioperative Pulmonary Embolism or
6 Deep Vein Thrombosis. Since this is a maintenance
7 measure we won't vote on evidence. We don't need
8 to vote on evidence.

9 CO-CHAIR THRAEN: Okay, so then we'll
10 move forward.

11 MR. LYZENGA: Is there anybody who
12 would like to take a vote on evidence? Or are we
13 all comfortable?

14 CO-CHAIR THRAEN: No. Move on.
15 Okay, so gap. Albert, did you want to speak to gap?

16 MEMBER WU: I'd like to vote on this
17 too.

18 CO-CHAIR THRAEN: Okay. So what did
19 you say, Albert?

20 CO-CHAIR SEPTIMUS: He says he wants to
21 vote on it too.

22 CO-CHAIR THRAEN: What about Lisa?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. LYZENGA: We do have to vote on this
2 one.

3 CO-CHAIR THRAEN: Okay, so we're going
4 to vote on performance gap. We do have to vote on
5 performance gap. So let's call for the vote.

6 MS. QUINNONEZ: We are now voting on
7 performance gaps for measure 0450.

8 Option number 1, high. Option number
9 2, moderate. Option number 3, low. And option
10 number 4, insufficient.

11 All votes are in and voting is now
12 closed. For performance gap of measure 0450 39
13 percent voted high, 61 percent voted moderate, zero
14 percent for low and zero percent for insufficient.

15 CO-CHAIR THRAEN: All right. Let's go
16 to reliability. Who wants to speak on
17 reliability? We've done some discussion about
18 ICD-9 versus ICD-10. Go ahead, Lisa.

19 MEMBER MCGIFFERT: Well, I did want to
20 address that specifically.

21 I mean, the reality is that everybody's
22 using ICD-10 finally. And we know that there are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 problems with it. But I think it's really
2 important for us not to make any decisions to take
3 these measures off the table because this is the
4 world we live in.

5 And I believe that ICD-10 is enhancing.
6 I'm sure that there's enhancing information that
7 we have with risk adjustment and everything. And
8 I'm sure there are lots of issues with implementing
9 it in hospitals.

10 But I think we've seen several other
11 measures that relied on ICD-10 codes in the last
12 day or so, I may be wrong, and this issue didn't
13 come up.

14 So I think it's just going to be a
15 regular issue as hospitals get more used to using
16 ICD-10.

17 CO-CHAIR THRAEN: Jason.

18 MEMBER ADELMAN: I agree with that.
19 Even though I brought it up I didn't mean to say,
20 you know, we just switched to ICD-10, and we don't
21 have the data, and so don't move forward with the
22 measure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There's a lot of good to all these PSIs.

2 The part that I don't understand is why
3 not just add -- AHRQ has the measures. They have
4 an official method for reviewing and improving
5 them. They just published abstracts like this
6 very measure from Harborview which I sent to
7 everybody. They showed if you review you can
8 decrease your errors by 21 percent.

9 And we, like I'm at New York
10 Presbyterian. It's a very big hospital. We have
11 many, many, many people who do the HAIs and only
12 one FTE that does all the PSIs so that we get the
13 benefit of this.

14 So even though it is a resource it is
15 not a major resource. If AHRQ is formally
16 recommending to review this very measure and give
17 us an example why not just add one little step.

18 And by the way, that will deal with the
19 ICD-9 ICD-10 issue too because any mistakes or
20 issues a human will review and catch and correct.

21 And then it'll be perfectly accurate.
22 No more positive predictive values of 60, 70, 80

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent. And then we sit and argue 80 percent is
2 good enough and should be measured but 70 is, well,
3 or 60. You know, we can use all these measures.

4 I just don't understand why not
5 formalize what you already officially recommend.

6 CO-CHAIR THRAEN: Susan?

7 MEMBER MOFFATT-BRUCE: I'm going to
8 help Patrick out here a little bit.

9 So first of all, Calgary is a
10 university. I may be the only Canadian in the
11 room, but that's an academic medical center. So
12 those patients would be very similar to what we see
13 here.

14 We also shadowed using the AHRQ
15 software because we have a PSI process that's been
16 in place for two and a half years using ICD-9 and
17 ICD-10. Not much difference.

18 And in fact, maybe even a little easier
19 to use ICD-10 with the software.

20 I do have two questions though around
21 the validity and the reliability of the exclusion
22 criteria that maybe I can get some -- I mean I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 probably spend two to three hours per week on these
2 validating the cases to Jason's point on top of
3 everything else I have to do, and on top of
4 everything else my team does. So these things are
5 time-consuming because there's a lot of noise and
6 it's hard to interpret them.

7 In particular, the site of the
8 thrombus. Patrick, you said you're now excluding
9 the superficial ones that we don't treat anyway?
10 Because that was a big problem. And so thank you
11 for that.

12 Secondly, your exclusion says where a
13 procedure for interruption of vena cava occurs
14 before or on the same day as the first operating
15 room procedure.

16 So I assume that's an IVC filter?
17 Okay. Can that occur in a different admission as
18 compared to this admission when they might develop
19 a DVT?

20 So for instance, patients that have big
21 cancer operations. They come in. They get an IVC
22 filter. They get all their preoperative workup

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ambulatory. Then they get admitted to have their
2 hemipelvectomy or whatever other. So they're
3 doing the right thing. They're getting them all
4 teed up and then they admit them.

5 So does this mean, this interruption,
6 this procedure, does that have to occur during the
7 admission?

8 DR. ROMANO: Okay, so to address a
9 couple of these points. Yes. So the indicator
10 software structure is such that it can only use
11 information during the same hospital stay. So
12 yes. So in order to trigger the exclusion the IVC
13 filter would have to be placed during the same
14 hospital stay.

15 As you know part of the issue here is
16 that some of the filters can be removed, some can't
17 be removed. So it would be very complicated, not
18 necessarily impossibility, but it would be very
19 complicated to design the logic so that we would
20 know if the filter was actually in place at the time
21 of a surgical procedure.

22 MEMBER MOFFATT-BRUCE: Well, that's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 huge problem. We're trying to look at resources
2 and getting patients optimized preoperatively,
3 prior to coming for the day of surgery, especially
4 in cancer centers.

5 So those are my comments. I think
6 you've made it a little bit better, but I will --
7 I mean to Jason's point these things are incredibly
8 complex and we spend a lot of time managing the data
9 rather than improving the outcomes.

10 DR. ROMANO: I just wanted to speak to
11 this issue about managing the data and so forth.

12 And I think to me it's not any different
13 from the healthcare associated infections, the
14 NHSN measures where again hospitals need to have
15 systems in place to monitor and manage the accuracy
16 of the data that are reported.

17 It happens that this is a different data
18 stream. This is a data stream that goes through
19 Medicare or through state health data agencies.
20 But fundamentally it's just another data stream and
21 hospitals are responsible for ensuring the
22 accuracy of that data stream.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, there is some opportunity for many
2 hospitals to drop their rates 10, 15, 20 percent
3 by identifying and avoiding false positive
4 reports.

5 But I would point out that just recently
6 AHRQ reported from the Medicare Patient Safety
7 Monitoring System data from a national sample of
8 hospitalizations that was subjected to a detailed
9 chart review and a review of all the imaging
10 reports. So completely independent of the codes
11 that were submitted.

12 And from 2010 to 2014 they reported a
13 decrease from 28,000 to 16,000 post-operative VTE
14 events. So a 43 percent reduction.

15 So, this is -- independent of codes this
16 is a 43 percent actual reduction that presumably
17 reflects the impact of the process improvements
18 that hospitals are making in response to this focus
19 on the problem of venous thromboembolism.

20 MEMBER MOFFATT-BRUCE: I don't
21 disagree. It has improved patient outcomes for
22 sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It is just with some of the noise. And
2 some of the things you have clarified today will
3 help. It's just a lot of inclusion and exclusion,
4 particularly in this one. And there's another
5 favorite of mine but it's not on the docket today.

6 CO-CHAIR THRAEN: So, before we go on
7 staff need to clarify something.

8 MS. MUNTHALI: We just want to make
9 sure that we're holding this measure up to the same
10 standards as other measures as it relates to the
11 ICD coding.

12 And as you know as of October of last
13 year HHS required implementation of ICD-10. So
14 did we. We required that as part of our
15 submissions.

16 But we also recognized that it would be
17 difficult for developers to test because the test
18 beds wouldn't be there. So we are allowing a few
19 years of lag time, but we are requiring in
20 submissions that developers provide a statement of
21 their intent for the selection of ICD-10 codes.
22 And I haven't looked at your submission carefully,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but that is something we would have to have for the
2 committee to assess your measure.

3 Also that you include a full listing of
4 the ICD-9 and ICD-10 codes with the definitions and
5 a conversion table if it's applicable. And also
6 that you describe the process used for identifying
7 the ICD-10 codes.

8 And so, Patrick, if you can assure that
9 we could have this perhaps by the post comment call
10 would that be?

11 DR. ROMANO: I think that the ICD-10 as
12 well as ICD-9 specifications are in the packet, in
13 the appendix materials to the packet.

14 And so there is a table showing those
15 codes side by side.

16 MS. MUNTHALI: So they meet the
17 requirements for the ICD-10 conversion. Thanks.

18 CO-CHAIR THRAEN: Thank you. Steve
19 and then I think, who is it, Patricia or Lilliee?
20 Lilliee.

21 MEMBER LAWLESS: So, real quick about
22 the IVC filter and the prior hospitalization. Is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it in the preexisting conditions coding on that
2 hospitalization or wouldn't it be?

3 DR. ROMANO: There currently is -- no,
4 that would require what is called a status code in
5 the ICD-10 lingo and there is no status code.

6 MEMBER MOFFATT-BRUCE: There is none.
7 And actually we're applying for one because it's
8 a huge problem. We cannot -- so that's the issue.
9 You can't detect that the IVC filter is in place.
10 I've learned a lot about coding here in the last
11 couple of days. This is a big issue I think. But
12 we're going to apply for one.

13 DR. ROMANO: And I think again AHRQ
14 does work closely with the ICD-10-CM Coordination
15 and Maintenance Committee to support or endorse
16 proposals that are helpful for the quality
17 indicators program.

18 MEMBER QUIGLEY: Thank you. Dr.
19 Romano and Dr. Owen this is Pat Quigley's voice that
20 you're hearing. I know Dr. Romano that you can see
21 me, but Dr. Owen.

22 My comments are on behalf of Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Kimberly Applegate who was not able to be with us
2 today. She's a pediatric radiologist and director
3 of practice quality improvement in radiology at
4 Emory University.

5 And she wanted to express concerns in
6 relationship to the measure since this is a
7 maintenance measure that was brought back to us
8 about limiting the data being collected to only
9 discharges, and that it should have extended 30-day
10 post discharge for readmissions related to DVT and
11 PE.

12 But the other issue that she had is in
13 relationship to the rationale for excluding those
14 patients who come in with spinal cord injury and
15 head injury, that that really could contribute to
16 under-reporting of these adverse events. And
17 wanted to have some rationale for that exclusion.
18 Thank you.

19 DR. ROMANO: Yes. So, I'll tackle the
20 second question first and then I'll ask Pam to join
21 me on the first question.

22 So with respect to the exclusion of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 traumatic head injuries, spinal cord injuries, and
2 intracranial hemorrhages this was specifically in
3 response to user feedback as well as feedback from
4 this committee in the past.

5 And it reflects the fact that
6 clinicians are concerned that their options are
7 really very limited in terms of venous
8 thromboembolism prophylaxis in patients who have
9 acute head trauma, acute spinal cord trauma.

10 These patients may have clinical
11 contraindications to antithrombotic therapy. And
12 in some cases therefore clinicians have to accept
13 a higher risk of VTE because the risk of hemorrhage
14 would be so catastrophic, or worsening a hemorrhage
15 in this kind of a closed location, in intracranial
16 or in the spinal canal.

17 So that was the rationale. So it was
18 basically specifically in response to user
19 feedback as well as feedback from NQF stakeholders.

20 With respect to the readmission
21 question we do acknowledge that some events occur
22 after discharge from the hospital and that many of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these post discharge events may be preventable.

2 It obviously then becomes a mixture of
3 an ambulatory measure and a hospital measure
4 because the key prevention opportunity then is
5 related to the continuation and the management of
6 thromboembolism prophylaxis after discharge from
7 the hospital.

8 So as we look forward to the future and
9 really trying to encourage better coordination of
10 care, better handoffs, better integration of care
11 between inpatient and outpatient settings I think
12 we'd agree that these kinds of measures that cut
13 across settings of care would be valuable.

14 Pam, do you want to address AHRQ's
15 perspectives on this question with respect to the
16 QI program?

17 DR. PETERSEN: Well, in terms of from
18 a QI perspective as you know from our submissions
19 at the moment it relies on all payer data from the
20 Healthcare Cost and Utilization Project. That is
21 a discharge database.

22 It does not have -- we include treatment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 EC visits in that database as well as ambulatory
2 surgery visits and hospital affiliated settings.

3 That being said we have discussed
4 internally the need to think about expanding in
5 terms of looking at the entire episode and looking
6 in the outpatient arena.

7 It would limit. We would not be able
8 to do it all payer, but it is definitely worth some
9 explorations and actually some growth areas that
10 we've already talked about internally.

11 So thank you very much for putting that
12 as a suggestion.

13 MEMBER QUIGLEY: Thank you.

14 MEMBER ALEXANDER: My ask is similar.
15 It would be so interesting to look at readmissions
16 and be able to tie that back into a prior surgical
17 procedure. And that would be a metric that I think
18 would be greatly of value if you could develop that.

19 DR. PETERSEN: To that end we do do
20 quite a bit of readmissions work. There are a
21 subset of the states, there's 46 states that I
22 believe participate in the Healthcare Cost and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Utilization Project.

2 A subset of them have unique
3 identifiers that allow us to link hospitalization.
4 Certainly it is an area ripe for continued study
5 to look at it from a readmission standpoint.

6 We don't get the outpatient visits for
7 instance and the physician visit arena, but we
8 could link some hospitalizations for a subset. So
9 we'll look at it.

10 MEMBER ALEXANDER: And even if you
11 could pull, and this might be too hard to gather,
12 but if you can get emergency room admissions that
13 would be helpful.

14 DR. PETERSEN: Yes, and that's
15 definitely -- we could include that as well.

16 CO-CHAIR THRAEN: All right. Are
17 there any other questions about reliability?
18 Shall we vote?

19 MS. QUINNONEZ: Voting is now open for
20 the reliability of measure 0450.

21 CO-CHAIR SEPTIMUS: Just keep in mind
22 what Lillee said about the issues between ICD-9 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ICD-10.

2 DR. ROMANO: I'd also like to call
3 people's attention to in the technical submission
4 materials actually we do specifically address the
5 historical trending of the evidence over time.

6 And so there is a paragraph in there
7 specifically about the studies that followed the
8 advent of POA coding. And specifically one study
9 showing a PPV of 99 percent, others showing a PPV
10 of 81 percent following POA coding.

11 And additional single center studies
12 with PPVs in the range of 88 percent and 93 percent.

13 So I think that it's just important to
14 note that as you focus on the more recent studies
15 that reflect the advent of POA coding as well as
16 changes with more specific ICD-9-CM codes that the
17 positive predictive values have increased as you
18 would expect.

19 MEMBER WU: Though, Patrick, we're
20 talking about reliability now.

21 DR. ROMANO: Understood. Nobody's
22 really talked about reliability here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WU: We've been talking about
2 validity.

3 DR. ROMANO: There is reliability data
4 in the submission.

5 CO-CHAIR SEPTIMUS: We always stay on
6 point, Patrick. Let's vote.

7 MS. QUINNONEZ: We are now voting on
8 the reliability of measure 0450.

9 Option 1, high. Option 2, moderate.
10 Option 3, low. And option 4, insufficient.

11 All votes are in and voting is now
12 closed.

13 For the reliability of measure 0450 11
14 percent voted high, 78 percent voted moderate, 11
15 percent voted low and zero for insufficient.

16 CO-CHAIR SEPTIMUS: Okay. So just a
17 small break here. Iona unfortunately has to catch
18 a flight so she's going to leave in the next few
19 minutes, but I wanted to take personal pride in
20 thanking her for working with me and of course
21 co-leading this committee. But I think she
22 deserves a round of applause.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Applause)

2 CO-CHAIR SEPTIMUS: Okay, now we're
3 going to validity which I think we have sort of
4 talked about extensively. But there's always one
5 in the crowd, Missy. No, no, I'm kidding.

6 MEMBER DANFORTH: I was waiting for the
7 appropriate time.

8 I have a question. No, it's not a
9 question, it's a clarification.

10 I noticed that pregnancy is an
11 exclusion. Does that mean women undergoing
12 C-sections are excluded?

13 DR. ROMANO: Yes, that's correct.

14 CO-CHAIR SEPTIMUS: Let's vote since
15 we've talked about validity.

16 MS. QUINNONEZ: Voting is now open for
17 the validity of measure 0450.

18 Option 1, high. Option 2, moderate.
19 Option 3, low. And option 4, insufficient.

20 All votes are in and voting is now
21 closed. For the validity of measure 0450 18
22 percent voted high, 76 percent voted moderate, 6

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent voted low and zero percent for
2 insufficient.

3 CO-CHAIR SEPTIMUS: Okay, so now we're
4 up to usability. Jason, any comments on
5 usability? I'm sorry, feasibility. Any comments
6 on feasibility?

7 MEMBER ADELMAN: I think the measure is
8 both feasible and usable.

9 CO-CHAIR SEPTIMUS: So if there's no
10 comment let's take two votes in a row. Is that
11 okay? All right, so let's do it. This may be a
12 first.

13 MS. QUINNONEZ: Voting is now open for
14 the feasibility of measure 0450.

15 Option 1, high. Option 2, moderate.
16 Option 3, low. And option 4, insufficient.

17 All votes are in and voting is now
18 closed. For the feasibility of measure 0450 76
19 percent voted high, 24 percent voted moderate, zero
20 percent for low and zero percent for insufficient.

21 CO-CHAIR SEPTIMUS: Okay, now we'll go
22 to usability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. QUINNONEZ: Voting is now open for
2 the usability and use of measure 0450.

3 Option number 1, high. Option number
4 2, moderate. Option number 3, low. And option
5 number 4, insufficient information.

6 Can we have you resubmit your votes one
7 more time please to make sure capture all the votes?

8 All votes are in and voting is now
9 closed. For the usability and use of measure 0450
10 71 percent voted high, 29 percent voted moderate,
11 zero percent for low and zero percent for
12 insufficient information.

13 CO-CHAIR SEPTIMUS: So we're up to the
14 last question.

15 MS. QUINNONEZ: Voting is now open for
16 the overall suitability for endorsement of measure
17 0450.

18 Option 1, yes. Option 2, no.

19 CO-CHAIR SEPTIMUS: And this is
20 re-endorsement actually, right?

21 MS. QUINNONEZ: Re-endorsement, thank
22 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 All votes are in and voting is now
2 closed. For the overall suitability for
3 endorsement of measure 0450 100 percent voted yes.
4

5 CO-CHAIR SEPTIMUS: Okay. Now we're
6 up to the last measure 2909 which is Perioperative
7 Hemorrhage or Hematoma Rates. It's PSI 9 also from
8 AHRQ. So, Patrick, I guess I'll turn it over to
9 you.

10 DR. ROMANO: Yes, so very briefly this
11 is just another one of our post-operative
12 complication measures.

13 This measure focuses on post-operative
14 hemorrhage or hematoma and it requires a diagnosis
15 of the same along with a return visit to the
16 operating room, or a follow-up procedure.

17 And the effort with this is to identify
18 a subset of hemorrhages or hematomas that are
19 associated with some kind of intervention or some
20 need for follow-up care.

21 This measure again has been used and
22 it's really quite appropriate that it's considered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 together with PSI 12 because we've heard from users
2 some concerns that as they try to aggressively
3 prevent thromboses they may be causing more
4 hemorrhages, or conversely if they try to prevent
5 hemorrhages they may be causing more thromboses.

6 And so it's appropriate that the
7 committee consider these two measures together
8 because they are to some extent designed to assess
9 two sides of the coin if you will related to two
10 different outcomes.

11 And obviously our aim in the hospital
12 business and healthcare business is to try to
13 minimize both. But in some cases there may be some
14 tradeoff.

15 CO-CHAIR SEPTIMUS: And Jason has
16 graciously consented since Linda's not here his
17 tremendous knowledge in this space. So Jason,
18 let's go through. Start with the evidence and gap
19 if you feel that we need to re-discuss this.

20 MEMBER ADELMAN: Right. As far as
21 evidence and gap I think that there is evidence that
22 this is an important issue and there is certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 room for improvement.

2 I have very brief comments about the
3 other aspects, but we could just vote on that if
4 you like and then move on.

5 CO-CHAIR SEPTIMUS: Well, does it get
6 to reliability and validity?

7 MEMBER ADELMAN: Just that all of the
8 same things that we already discussed we don't have
9 to discuss again.

10 The only thing I would say is this
11 measure more so than the one we just discussed I've
12 -- despite that there are studies that show varying
13 PPVs and that in setting up the process for
14 adjudicating PSIs at New York Presbyterian I spoke
15 to some of my colleagues around the table and many
16 people around the country, at the Brigham, and
17 Mount Sinai.

18 And this one much more so than the
19 others people are flipping 30-40 percent. So the
20 reported positive predictive value could be 80-90
21 percent, but people are flipping a lot more.

22 And it has to do with exclusion criteria

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of platelet deficiencies that are caused by Plavix.

2 So somebody goes for a cardiac cath.
3 They're having a cardiac incident. They're on
4 something that causes platelet deficiencies by
5 choice which is an exclusion criteria. And then
6 there's clarification later on that the coders
7 couldn't really pick up because they can't make
8 diagnoses.

9 So I suspect that the true positive
10 predictive value is worse here, and the need for
11 reviewing is more important here. And those of us
12 at hospitals that are doing it are benefitting.
13 Those that aren't, aren't benefitting.

14 That's the only thing I wanted to add
15 above what we already discussed.

16 CO-CHAIR SEPTIMUS: Thank you very
17 much, Jason.

18 So, do we want to vote? Steve.

19 MEMBER LAWLESS: Actually, just real
20 quickly. Are you seeing hospitals that actually
21 have high bleed rates and are doing the prophylaxis
22 well? I mean, I always worry the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 counter-balancing. If you put it into quadrants
2 what is the data showing?

3 DR. ROMANO: I would need a couple of
4 minutes to pull up details, but I will say that in
5 general when we -- so, you may recall we discussed
6 the PSI 90 which is the composite PSI I think last
7 year.

8 And so in the construction of that PSI
9 we included both PSI 9 and PSI 12. And we found
10 in that process actually that there was a positive
11 overall correlation. So the hospitals that had
12 higher PSI 12 rates in general also tended to have
13 a little bit higher PSI 9 rates.

14 So in fact the hypothesis was not
15 supported in that analytic work. But of course it
16 may be that if you focused on particular subsets
17 of surgical patients that you might find that
18 negative correlation. But overall we found a
19 positive correlation consistent with their both
20 being quality metrics.

21 CO-CHAIR SEPTIMUS: But positive
22 correlation meaning they're opposite. So you want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to have a negative correlation. Sorry, put it this
2 way. In the process of those hospitals that are
3 successful at lowering thromboembolism, are they
4 having a higher bleed rate?

5 DR. ROMANO: Well, one would be
6 concerned that they might be over-treating for
7 thromboembolism and we did not find evidence of
8 that.

9 MEMBER ALEXANDER: I just have to say
10 I think this is a much improved measure now that
11 you've added in the re-operation or intervention.

12 Anyone can bleed and I think today,
13 Jason's point, we've got people on so much blood
14 thinner right now and there are all sorts of
15 interventions we're doing before surgery to try and
16 decrease bleeding. Every patient in my hospital
17 is on something when they come in. And so people
18 can bleed.

19 What this to me does as a surgeon which
20 I think is so far superior is anyone can bleed.
21 It's my job in the operating room to control it as
22 best I can. Whether that is pharmacologically, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether that's electro with a Bovie or something
2 like that.

3 This picks up the people I don't
4 control, that I don't identify that it's an issue
5 and can't control. And I think it's a far superior
6 measure.

7 CO-CHAIR SEPTIMUS: Okay, so do we want
8 to vote on the evidence?

9 DR. ROMANO: Could I also address Dr.
10 Adelman's other point?

11 CO-CHAIR SEPTIMUS: Please.

12 DR. ROMANO: So, I think Dr. Adelman
13 raised a very important point which is about the
14 exclusions and the possibility that different
15 hospitals may sort of game the exclusions or
16 manipulate them.

17 And this is a real concern. And we're
18 -- certainly we're always monitoring feedback from
19 the user community and attempting to respond to it.

20 I will say that the exclusion here is
21 designed specifically to capture and therefore
22 exclude patients with congenital factor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 deficiencies, patients with specific syndromes,
2 thrombocytopenic syndromes, platelet defect
3 syndromes.

4 But it does not exclude patients who
5 have medication-induced clotting disorders. So
6 that's really important.

7 Now, it may be that some people are
8 lying about the codes and they're using these
9 codes. But the guidance from the Coordination &
10 Maintenance Committee, from coding clinics has
11 been very clear that these codes that are used for
12 exclusions are not the right codes to use for
13 medication-induced clotting problems.

14 CO-CHAIR SEPTIMUS: Okay, so the
15 question is do we want to vote on this? Yes, Jason.

16 MEMBER ADELMAN: My point was not that
17 people were manipulating because honestly I
18 actually didn't know. I guess there's a level of
19 sophistication to understand how to do a proper
20 adjudication.

21 And Dr. Romano, you made a point earlier
22 about the similarities of this with the HAIs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But with the HAIs NHSN described every
2 step of the process, like exactly how to define
3 catheter days, and temperatures within catheter
4 days.

5 And here we have recommendations to
6 review. I mean, if you look at the requirements
7 it's written under exclusion. It's just written
8 platelet defects I think, or qualitative platelet
9 defects, that's what it says. Qualitative
10 platelet defects.

11 And so it doesn't say hereditary
12 qualitative and it doesn't say drug-induced. It
13 just says qualitative. And how is anyone supposed
14 to -- like I was honestly looking and trying to
15 understand.

16 And I don't go to code clinics. I don't
17 know that those guidelines are only for -- and so
18 I still see the value and would ask that you just
19 consider to formalizing the review process and then
20 give better and better guidelines to how to do that.

21 Because I think many people are
22 well-intended and think that giving Plavix causes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a true qualitative platelet defect. If it said
2 non-drug induced then I would think that they're
3 being egregious. But anyway, I think we should
4 formalize and put part of the measure all of the
5 PSI measures in the review. And it would be much
6 better.

7 CO-CHAIR SEPTIMUS: Thank you. Okay,
8 so are we ready -- do we want to vote on evidence?
9 I hear yes so let's vote on the evidence.

10 MS. QUINNONEZ: We are now voting on
11 measure 2909 Perioperative Hemorrhage or Hematoma
12 Rate PSI 09. Voting is now open for evidence.

13 Option number 1, yes. Option number 2,
14 no.

15 All votes are in and voting is now
16 closed. For the evidence of measure 2909 100
17 percent voted yes.

18 CO-CHAIR SEPTIMUS: Okay. Lillee.

19 MEMBER GELINAS: Just a point of order
20 and maybe for the NQF staff as we lose people what
21 is the finite quorum that we fall below? I just
22 need clarification from a true numbers standpoint

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the voting numbers. What is a full quorum?

2 MS. QUINNONEZ: So, we need 13
3 committee members to proceed. So we're tracking
4 that. Right now I think we're at 16.

5 MEMBER GELINAS: Thank you.

6 CO-CHAIR SEPTIMUS: Michelle, you're
7 still on the line, right? Listen, this is the last
8 measure. If we stay focused I think we can
9 certainly finish before any other people have to
10 leave. So we're well above a quorum.

11 So let's stay focused and talk about
12 gap. Do we need any discussion on the gap? Then
13 let's vote.

14 MS. QUINNONEZ: We are now voting on
15 performance gap of measure 2909.

16 Option 1, high. Option 2, moderate.
17 Option 3, low. And option 4, insufficient.

18 We should still have 16. Would you
19 please resubmit your votes? They're coming in
20 now.

21 All votes are in, thank you, and voting
22 is now closed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For performance gaps of measure 2909 40
2 percent voted high, 60 percent voted moderate, zero
3 percent for low and zero percent for insufficient.

4 CO-CHAIR SEPTIMUS: Okay. The next
5 one is reliability. Any other discussions? I see
6 no. Then let's vote on reliability.

7 MS. QUINNONEZ: Voting is now open for
8 the reliability of measure 2909.

9 Option 1, high. Option 2, moderate.
10 Option 3, low. And option 4, insufficient.

11 All votes are in and voting is now
12 closed. For the reliability of measure 2909 40
13 percent voted high, 60 percent voted moderate, zero
14 percent for low and zero percent for insufficient.

15 CO-CHAIR SEPTIMUS: Okay, the next one
16 then is validity. Any more discussion on
17 validity? Seeing none we'll vote.

18 MS. QUINNONEZ: Voting is now open for
19 the validity of measure 2909.

20 Option 1, high. Option 2, moderate.
21 Option 3, low. And option 4, insufficient.

22 If you could resubmit your votes one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more time, please. Thank you.

2 All votes are in and voting is now
3 closed. For the validity of measure 2909 33
4 percent voted high, 67 percent voted moderate, zero
5 percent for low and zero percent for insufficient.

6 CO-CHAIR SEPTIMUS: I think we're now
7 moving to feasibility. Any discussion on
8 feasibility? Seeing none we'll vote.

9 MS. QUINNONEZ: Voting is now open for
10 the feasibility of measure 2909.

11 Option 1, high. Option 2, moderate.
12 Option 3, low. And option 4, insufficient.

13 Thank you. All votes are in and voting
14 is now closed.

15 For the feasibility of measure 2909 80
16 percent voted high, 20 percent voted moderate, zero
17 percent for low and zero percent for insufficient.

18 CO-CHAIR SEPTIMUS: I think the next
19 one is usability. Any discussion on usability?
20 Jason's ready so we're all ready. Okay, go for it.

21 MS. QUINNONEZ: Voting is now open for
22 usability and use of measure 2909.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Option 1, high. Option 2, moderate.
2 Option 3, low. And option 4, insufficient
3 information.

4 All votes are in and voting is now
5 closed. For the usability and use of measure 2909
6 87 percent voted high, 13 percent voted moderate,
7 zero percent for low and zero percent for
8 insufficient information.

9 CO-CHAIR SEPTIMUS: And now the
10 drumroll. Is this measure suitable for
11 re-endorsement?

12 MS. QUINNONEZ: New measure.

13 CO-CHAIR SEPTIMUS: I almost got
14 through without making a mistake. I apologize.

15 MS. QUINNONEZ: Voting is now open for
16 the overall suitability for endorsement of measure
17 2909. Option 1 is yes and option 2 is no.

18 All votes are in and voting is now
19 closed. For the overall suitability for
20 endorsement of measure 2909 100 percent voted yes.

21 CO-CHAIR SEPTIMUS: I must say we've
22 been very nice to you, Patrick, this time. But I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think you've heard some really good suggestions
2 from the committee. I think we're all struggling
3 with the issue of ICD-9 and ICD-10. And I think
4 that that's something you should continue to
5 validate over time and update the measure when you
6 think it's appropriate.

7 I think as Jason well said before the
8 work your team and AHRQ has done in providing these
9 measures and being responsive to this committee.
10 We all know the history of PSI 90. We certainly
11 appreciate your time. And actually coming here in
12 person again.

13 And also thank Pam Owen. Are you still
14 on the phone, Pam?

15 DR. PETERSEN: I am. Thank you very,
16 very much for all of your comments.

17 CO-CHAIR SEPTIMUS: So thank you and I
18 hope that you'll take those comments back and keep
19 them in the queue or the parking lot for future
20 development. So thank you very much.

21 DR. ROMANO: Thank you.

22 CO-CHAIR SEPTIMUS: Okay. So, I guess

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we can go through next steps pretty quickly that
2 you see on the screen.

3 We have some business we're going to go
4 back to. We are actually I think doing really well
5 on time and I thank everyone for their focus and
6 their comments.

7 So, here's the next steps. I think
8 post in-person meeting call I do not believe will
9 be needed. So I'm sure you're all very hurt that
10 you can write that off your calendar and get a
11 couple of hours back.

12 We'll be drafting the report to NQF
13 members and the public early this fall. And as you
14 know we will have a review and a standing committee
15 call to review those public comments. Then we'll
16 draft the report to the NQF member vote. It then
17 goes to CSAC for review and approval. And then
18 eventually goes to the board for endorsement just
19 before Christmas.

20 And if you remember there is also
21 another period of appeal. And for those of you who
22 went through the original sepsis measures back four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 years ago in fact there was some additional
2 comments even after the endorsement from the board.
3 I don't think any of our measures are going to go
4 through that kind of problem, but that's the
5 timeline.

6 MR. ANDERSON: So we're actually going
7 to -- remember we had tabled that measure 3000.

8 MR. LYZENGA: We tabled a decision on
9 that one. So we will hold the post meeting call.
10 There's also one earlier today that we offered the
11 opportunity.

12 CO-CHAIR SEPTIMUS: Do you think they
13 can get the information back? Okay, I see what
14 you're saying.

15 MR. LYZENGA: So we will need that
16 call.

17 CO-CHAIR SEPTIMUS: Well, actually the
18 call is in --

19 MR. ANDERSON: It's in a week and a
20 half.

21 CO-CHAIR SEPTIMUS: Do you think -- I
22 mean I'm asking, do you think they're going to come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 back that quickly?

2 MR. LYZENGA: So, post comment then?

3 So we'll have to wait until the post comment call.

4 CO-CHAIR SEPTIMUS: So I don't think --
5 they're not going to be ready. At least that was
6 my understanding.

7 MR. ANDERSON: Fair enough.

8 MR. LYZENGA: Right.

9 CO-CHAIR SEPTIMUS: Okay, maybe you
10 ought to hold it, but I doubt we're going to have
11 a call on the eighth. That's probably the best
12 way, to hold it, okay?

13 So, let's -- before we get into other
14 stuff I think just to make sure we cover this is
15 there any public comment, Operator? Anybody in
16 the room? Public comments on the phone, Operator?
17 Operator? Are you on mute?

18 OPERATOR: My apologies. Once again
19 to make a public comment please press * then the
20 number 1. And there are no public comments at this
21 time.

22 CO-CHAIR SEPTIMUS: Thank you very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 much, Operator.

2 So, I think we'll go back to what we
3 skipped over this morning to make sure we got
4 through the measures and talk about -- discuss gaps
5 in measurement. Andrew, do you want to lead that
6 discussion?

7 MR. LYZENGA: Sure. So thanks,
8 everybody, for staying in. We can maybe revisit
9 this as well on one of the post meeting or post
10 comment calls just to get some input from the rest
11 of the folks who have scattered at this point.

12 But we did want to talk a little bit
13 about gaps in the portfolio and gaps in measurement
14 around patient safety in general.

15 NQF, we like to do this in each cycle
16 just to give feedback to developers.

17 NQF is increasingly looking to get
18 involved as part of our strategic direction in the
19 identification and prioritization of gaps in
20 measurement in general. So this is a good
21 opportunity to get some input and for us to get some
22 feedback from you on that as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We also have as we mentioned yesterday
2 I think Helen was going to talk a little bit more
3 about it but she's gone at this point.

4 But the measure incubator is an
5 opportunity to sort of advance new measures in gap
6 areas and particularly innovative measures that
7 are taking approaches that we haven't necessarily
8 seen before.

9 So, in any case we would like to get some
10 thoughts from you if you don't mind on gaps in our
11 portfolio of measures in terms of topic areas,
12 types of measures, and also just thoughts on new
13 or promising approaches to measurement. Things
14 that maybe we can think out of the box on. Just
15 your thoughts about where the future of measurement
16 should go in patient safety.

17 So I'll just open up the floor with
18 that. It looks like we've got a couple of folks
19 already.

20 MEMBER LAWLESS: Actually, you heard
21 it in a couple of comment themes, interoperability
22 and safety around the lack of interoperability, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 then transitions of care.

2 We've nailed every process you can find
3 in an area, but if there are processes involving
4 multiple areas that would be pretty -- it sounds
5 novel, but it would be very, very beneficial.

6 CO-CHAIR SEPTIMUS: Can I ask the NQF
7 staff, I mean interoperability has been a thorn in
8 many of our sides for quite some time. And I think
9 you've had some discussions about this. Is there
10 anything that we can do to sort of get folks
11 together to try to make this actually finally
12 happen? It's been a decade or more since we've
13 been talking about.

14 I'm not talking about NQF
15 responsibility. I'm talking about how NQF as a
16 non-biased group can pull these folks together.

17 MR. LYZENGA: I can tell you it's
18 definitely an area of strong interest for us. And
19 we're looking to do more work around
20 interoperability.

21 I was involved as was Jason Adelman in
22 our HIT safety work. There's a project around

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 identifying and prioritizing measure concepts
2 related to HIT safety and interoperability came out
3 as one of the highest priorities for trying to
4 ensure the safety and safe use of HIT systems.

5 And so there is definitely some push to
6 develop some measures and approaches to increasing
7 interoperability that came out of that work.

8 I would anticipate that we'll be doing
9 more work in that area in the future without
10 question.

11 CO-CHAIR SEPTIMUS: Steve, did you
12 have any thoughts about -- I mean, I agree
13 transitions of care are probably one of the most
14 dangerous times for patients.

15 Did you have any -- I mean, you don't
16 have to state it but if you have any thoughts.

17 MEMBER LAWLESS: Two I'll give you
18 right off is if you look at the Joint Commission
19 data on sentinel events communication handoff is
20 80 percent of them. And so that's one.

21 And the other is if you talk about
22 readmissions you can discharge somebody and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you can accept somebody. You can discharge and
2 then you can accept.

3 And so the discharging of a patient from
4 the hospital to what happens immediately after
5 discharge, that's a transition.

6 And we've had that sometimes here
7 around thromboembolic agents and stuff. But those
8 are the two transitions I would say people
9 designing measures around would be great.

10 CO-CHAIR SEPTIMUS: Thank you very
11 much. Charlotte and then Lisa.

12 MEMBER ALEXANDER: I have a lot.
13 Sorry. Wrong site surgery in ASCs. We see a high
14 instance of that.

15 I'd like to pair 2951 with referral for
16 treatment.

17 I'd like to get some stuff focused
18 around episodes of care. Where we're moving is
19 trying to be able to manage people outside of the
20 hospital. That's where most of healthcare is
21 determined.

22 An indirect way might be to pull things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like readmissions for diabetes or end stage renal
2 disease because our diabetes readmissions are
3 usually because we're not doing an adequate job
4 with education, or follow-up, or getting them into
5 their doctor, or seeing if they can pay for their
6 meds, or seeing if they've got a home to live in,
7 or food security.

8 I mean, there's all this stuff we're not
9 fixing and the only way I know we can measure it
10 is when they bounce back into our facilities.

11 So if we can start getting beyond just
12 what we're doing in the facilities and into what's
13 a reflection of where we're falling down in the rest
14 of healthcare. To me that will start driving
15 people to do a better job in that arena.

16 CO-CHAIR SEPTIMUS: Great points.

17 MR. LYZENGA: I should note that we
18 have reviewed a number of readmissions measures for
19 different settings including dialysis facilities,
20 I think some other post-acute care type settings
21 recently and most of those I think have passed
22 through. So we've got some stuff going through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pipeline.

2 CO-CHAIR SEPTIMUS: Yes, and obviously
3 one of the things that we're not always aware of
4 is what other committees are reviewing.

5 But nonetheless it may or may not be
6 covered by another committee. So, Lisa.

7 MEMBER MCGIFFERT: I just feel like we
8 have a pretty large gap of medical error measures.

9 And we usually parse them up into little
10 pieces, or we have like PSI 90 that brings some of
11 them together. But we really don't have a sense
12 of what's happening out there and we know there's
13 a lot going on. So I'd like to see some work on
14 that.

15 And I would like to see a measure that
16 would measure the accuracy of administration and
17 billing data.

18 CO-CHAIR SEPTIMUS: You know, for some
19 diagnoses there is. And sometimes it correlates
20 and sometimes it doesn't.

21 Obviously administrative data is very
22 heavily dependent upon physician --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MCGIFFERT: Notes?

2 CO-CHAIR SEPTIMUS: Yes, progress
3 notes. But that's right.

4 MEMBER MCGIFFERT: So, I have a
5 philosophy that this is the hospital's
6 responsibility as well as the physician's
7 responsibility to be accurate in these records.

8 And so there may be ways to look at this
9 electronically, but there also may be ways that you
10 would validate it with checking the charts.

11 I've been doing this work or about 30
12 years now and for 30 years I've been hearing people
13 say oh, you can't use that data because it's not
14 accurate, and it's only for billing.

15 And we know that's not true. And I
16 think that the public might benefit from knowing
17 which hospitals are unable to accurately document
18 for billing purposes as well as quality purposes.

19 CO-CHAIR SEPTIMUS: Lisa started when
20 she was 15. Yanling.

21 MEMBER YU: I would like to say more
22 measures be encouraged towards ambulatory surgical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 center safety and infection.

2 This one today we reviewed is very
3 refreshing. I hope to see more of those types of
4 measure be encouraged.

5 Another thing is I would like to see
6 more measurements to incorporate more about public
7 reporting.

8 Sometimes in those measures when the
9 developers submit it they say usability, they just
10 say we have a plan for public reporting in the
11 future. But you don't know really what their plans
12 are.

13 I would like to see them actually have
14 more text in there, more meat in there, exactly what
15 they have in mind so that when we look at it.
16 Because we all know public reporting is an
17 important factor when it comes to the measure. So
18 it would be nice to include that.

19 CO-CHAIR SEPTIMUS: Is that -- let me
20 see if I can. Would you like to see does public
21 reporting impact safety? Is that what you're
22 getting at?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER YU: Yes. How public reporting
2 would improve the outcome, the quality of the care
3 in terms of that.

4 So, the bottom line is we want to
5 improve the quality of care and the safety. That's
6 our goal.

7 MR. LYZENGA: I should also note that
8 the use and usability is -- we'd like to put
9 increasing emphasis on that for maintenance
10 measures particularly.

11 So when you see a measure come back as
12 a standing committee and they've said something
13 like that, we plan to put this into public
14 reporting, you should certainly hold their feet to
15 the fire and hold them accountable for doing what
16 they have said they were going to do.

17 MEMBER ARDIZZONE: This might be my own
18 naivete. I don't know what your full portfolio
19 looks like.

20 But especially at my institution we
21 provide just as much outpatient care as we do
22 inpatient now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Sixty percent of all our oncology care
2 is outside the hospital. People get chemotherapy
3 outside the hospital. We do bone marrow
4 transplants in patient's homes.

5 I would love to see reliable, valid
6 outpatient indicators. We do really well in the
7 hospital now, we've got that down to a science. We
8 just struggle to find good, reliable outpatient
9 measures that indicate quality.

10 CO-CHAIR SEPTIMUS: So I think I hear
11 a little bit of a theme here about outpatient. So
12 we might want to take that one back.

13 MEMBER GELINAS: When it comes to the
14 world of safety the bucket of workforce measures.
15 I haven't heard that a lot in our work so far.

16 Individually, at the state level, at
17 the regional level we are showing correlations
18 between nursing turnover and harm, nursing
19 competency and harm. Fatigue and harm.

20 And we tend to go to the easy stuff.
21 Believe it or not it's easier to measure the
22 financial stuff than the workforce stuff.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I commend NQF because that whole
2 nursing bucket of work was one of the very first
3 ones that NQF ever did.

4 I think as we move into value-based
5 purchasing and thinking of care overall, not just
6 care in silos, one of those crosscutting measures
7 has to do with workforce.

8 Not just nursing, but since nursing is
9 half of the workforce it's a decent place to start
10 for impact.

11 The whole concept of a balanced
12 scorecard, trying to get to safety is something
13 that I think NQF could do a white paper on, convene
14 an expert panel.

15 We keep talking about gaps in
16 measurement, but I'd like to perhaps put the
17 concept gaps in wisdom. Gaps in wisdom.

18 Which is why groups like this work
19 because this is wisdom sourcing. You know, we've
20 heard of crowdsourcing for projects and other types
21 of things, but truly what these panels are are
22 wisdom sourcing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, it doesn't necessarily have to be
2 a measure, but it would inform the field around
3 important topics related to safety, or related to
4 quality that I think NQF could have a tremendous
5 contribution towards.

6 So workforce measures, financial
7 measures tied to workforce measures so we begin to
8 see the balance there.

9 Causation. It will never be cause and
10 effect, but we can show correlations, I do believe
11 that.

12 And then that whole world of ambulatory
13 that I think we've talked about.

14 The Joint Commission just had a panel
15 on ambulatory nursing. And we learned a lot about
16 why certain outpatient centers, ambulatory care
17 centers, physician practices, primary care clinics
18 don't hire registered nurses because then they
19 don't have to meet the Nurse Practice Act
20 requirements.

21 And so if you don't hire the nurse, or
22 the pharmacist, or the licensed clinician you don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have to meet the state mandates. That's a
2 workaround that's gaming the system and that's not
3 getting the public what they need in terms of
4 safety. So, thank you.

5 CO-CHAIR SEPTIMUS: Those are
6 excellent. So I think the workforce issues and
7 nurse turnover rates for those of us who primarily
8 work in the inpatient setting is so very, very
9 important.

10 And we not only need to sort of figure
11 out how to measure that because as Lillee just said
12 turnover rate is directly related to some outcomes.
13 And it's really difficult to sustain quality
14 programs with a 15-20 plus percent turnover rate.

15 I think it's personally a very, very
16 high priority on the inpatient side. And there's
17 some opportunities certainly on the outpatient
18 side as well.

19 So we've had a couple of measures come
20 through looking at level of nursing, RNs versus
21 associate degrees, et cetera, looking at outcomes
22 and I think that's a good first step.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The fatigue issue, I wonder if you'd
2 comment a little about this. Because there's a
3 lifestyle issue that I'm not sure will ever go back.
4 But the shifts are commonly 12 hours for nursing.

5 And we know that as they get towards the
6 tail end, and tell me if I'm wrong about this, at
7 the tail end of that that, that's when a lot of
8 mistakes can be made.

9 And I can't remember what hospital in
10 what country this was, I think it was in Europe.
11 They actually went to shorter shifts and they
12 actually got much better employee satisfaction
13 actually.

14 But then there's that balancing act
15 between lifestyles and getting an extra day off per
16 week versus working a really long shift.

17 I mean, to me there's no such thing --
18 I've never come in at change of shift and seen the
19 nurse go home at 7. So I don't know whether you
20 have a comment about the fatigue factor.

21 And the burnout factor. You know, talk
22 about nurses being in front of the computer and not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 being able to interact with patients. All this is
2 a dissatisfier for nurses.

3 MEMBER GELINAS: There's a growing
4 body of evidence around the direct link between
5 fatigue and error.

6 And it's not well known I believe, but
7 because of the high nursing turnover today,
8 particularly with new graduate nurses, it can be
9 as high as 40 percent in the United States. New
10 graduate nurses don't stay in their job any longer
11 than two years.

12 And if it costs between sixty and eighty
13 thousand dollars per nurse to replace them and
14 reorient them we're talking real bucks in the
15 United States with the amount of turnover.

16 Some of that is related to fatigue, Ed,
17 but I will tell you there's been debate about
18 12-hour shifts for a long time.

19 And if you were just to work what you're
20 supposed to, the three 12-hour shifts and then have
21 two off. But to your point it's never just three
22 12-hour shifts.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's also a financial factor because
2 nurses are picking up those extra shifts to make
3 money because of the economics of what the average
4 nurse makes.

5 We talked last night at dinner about
6 traveling nurses and they're going to other
7 countries, experienced nurses, because they make
8 so much more money.

9 So there is the body of evidence around
10 fatigue and error, and then there is the knowledge
11 about it. So there's the research and the body of
12 evidence, but who knows it and who's applying it?
13 Why should we?

14 So, the more this whole patient safety
15 arena becomes a public cry, when the public begins
16 to realize how important it is. Could you imagine
17 a patient saying to their nurse how long have you
18 been awake before you try to start that IV?

19 Or some of those types of consumer
20 issues. It'll be a very interesting day.

21 But I think at the very least awareness
22 building is where we need to be right now because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the body of evidence around fatigue and error, not
2 just in healthcare but in other industries is
3 pretty robust.

4 CO-CHAIR SEPTIMUS: And the other
5 thing is that alignment of healthcare
6 professionals at the local level, how we
7 communicate with each other, and is it really,
8 truly a teamwork environment, or is it hierarchical
9 are big dissatisfiers for folks.

10 So, I think those are great comments.
11 Missy.

12 MEMBER DANFORTH: Just two comments on
13 gaps and then one request from NQF staff.

14 So first, we've been doing some work for
15 the past 18 months at Leapfrog around diagnostic
16 error. We assembled a national expert panel about
17 two years ago in person actually at Armstrong
18 Institute and asked them to help us identify the
19 biggest gaps in measurement related to patient
20 safety and they unanimously said diagnostic error.

21 So we've been doing work with folks like
22 David Newman-Toker, and Mark Graber, and Paul

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Epner, but I think that's the big opportunity
2 related to patient safety.

3 The second one is patient-reported
4 outcomes. We're part of lots of conversations,
5 and meetings, and collaboratives, and groups.
6 There's a lot of interest in patient-reported
7 outcomes, particularly related to patient harm and
8 preventable harm. I mean, this group has talked
9 about it.

10 Then the request is that I think it
11 would be helpful to get to Laura's comment about
12 the portfolio to have like a summary based on care
13 setting. So hospital, outpatient, ambulatory,
14 dialysis center, physician office, the number of
15 process/outcome/structural measures.

16 And then just like I don't know where
17 to find that. I mean, I'm on that QPS website
18 probably as much as anyone, but it's really hard
19 to just get summary level.

20 MR. LYZENGA: Yes, it's tough. We can
21 do that. I was actually doing a little work to do
22 that in the runup to this meeting and didn't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 all of it together. But I can pull it together by
2 a bunch of dimensions, measure type, care setting,
3 level of analysis. And we'll circulate something
4 like that.

5 MS. MUNTHALI: We're in the process of
6 revamping QPS where we're going to do that for all
7 of the topic areas and subtopic areas in QPS.

8 It's part of our new strategic plan, to
9 make sure that we can slice and dice the data for
10 all of our measures by all of those levels that
11 folks would want to see.

12 And so hopefully by the end of fourth
13 quarter, first quarter of next year.

14 MR. LYZENGA: I should also say we have
15 every intention of pursuing work around diagnostic
16 error too.

17 And patient-reported outcomes is a
18 major focus of the work in the incubator right now.

19 CO-CHAIR SEPTIMUS: I want to second
20 diagnostic errors was one of the ones on my list.
21 Oh, yes. Well, you're the great mind, I just
22 follow your lead. I learn from you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MCGIFFERT: One thing about
2 patient-reported outcomes that we've talked about
3 is adding questions on the HCAHPS so that it would
4 be standardized and incorporated in a practice.

5 CO-CHAIR SEPTIMUS: Good point.
6 Jason? And then Albert. Jason, then Theresa, I'm
7 sorry. Then Albert.

8 MEMBER ADELMAN: I would love, I don't
9 know if this is possible, but I would love it if
10 NQF could themselves have a composite measure that
11 was simply a harm measure.

12 And what I mean by that is take the
13 multiple NQF-endorsed measures that come from many
14 different developers, NHSN, AHRQ where the point
15 is really just to communicate the measurable amount
16 of harm that we have.

17 So for example, there can be at any
18 given hospital, average sized hospital 40 people
19 a year that when they fall they get hurt, and 20
20 CLABSI's, and 30 CAUTI's, and this number of
21 hemorrhages.

22 And so out of every 1,000 patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's a rate of 50 people being harmed. So it's
2 just a harm scale. So that we can then drive to
3 reduce it.

4 Now, all the measures I'm talking about
5 are the exact same measures that are in value-based
6 purchasing and HAC penalties, they're all there and
7 they're used as individuals.

8 But I think it would be easy for the
9 patients and the world to translate. It's a lot
10 of harm per 1,000 patients and we want to see that
11 number go down.

12 And the reason why I say NQF to build
13 it is because it's really just adding up the harm
14 from all these other developers.

15 But like let's say I wanted to do it as
16 a researcher and patient safety officer from
17 Columbia. I just can't keep track of where AHRQ
18 is with their measures, and NHSN is.

19 You're sort of well situated. It's
20 really just adding them all up and they're already
21 endorsed. So it maybe doesn't even need to be
22 reviewed. It's just a different way of doing what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 value-based purchasing is doing, but translating
2 it to something that the world can understand.

3 CO-CHAIR SEPTIMUS: I was really
4 thinking along the way that I think a really great
5 measure developer in this area is someone named
6 Jason. Maybe you could even work with Patrick and
7 do that.

8 I mean, it's a very good thought.
9 We're all searching for either that measure or that
10 composite that really is the most predictive of
11 harm. And I think that those are good points.
12 Theresa.

13 MEMBER EDELSTEIN: So, I would like to
14 see -- this won't come as a surprise -- more
15 measures in the post-acute care space,
16 particularly in support of Steve Lawless on
17 transitions of care.

18 As more acuity gets pushed into skilled
19 nursing facility environments in particular what
20 happens to patients as they transition from that
21 skilled nursing facility perhaps to home health,
22 perhaps to outpatient, perhaps home with no other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 service. What happens to them in that handoff is
2 important.

3 And then I know the MAP and NQF have been
4 doing work on home- and community-based service
5 measures. I really would love to see more in that
6 area, especially on home health.

7 Because again, under the bundling
8 environment that CMS is putting forward under
9 Medicare, comprehensive joints, now cardiac was
10 just introduced, more and more patients are being
11 not forced, but it's being highly recommended that
12 patients be placed in lower level settings and home
13 health is absorbing a lot of that acuity.

14 So really understanding what's
15 happening as a result of that. While we're
16 concentrated very much on the Medicare spending per
17 beneficiary what are we really doing in terms of
18 outcome.

19 CO-CHAIR SEPTIMUS: Thank you very
20 much. Albert and then Pat.

21 MEMBER WU: This is a little potpourri
22 of different things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In the patient-reported outcome
2 measures, if we were to supplement some of our
3 surveys one question might be how incidence or
4 errors are handled from the patient's point of
5 view.

6 One question could be did people
7 disclose what happened to you. Did they
8 apologize. Were they honest. Were they
9 empathetic.

10 I think -- maybe there's a gap perhaps
11 that you're identifying.

12 A second sort of completely different
13 dimension is we had some sort of sidebar discussion
14 earlier about what NQF's standards are for what is
15 acceptable reliability, what is a sufficient
16 number of studies to provide evidence to support
17 validity.

18 If you're doing a literature synthesis
19 what's an adequate positive predictive value. So
20 there are a number of things that I think that we've
21 sort of got jotted down here and there.

22 But I'm not sure that we have thought

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them through as clearly for performance
2 measurement and performance improvement as opposed
3 to sort of what is done in the meta analysis world.
4 So that's -- codifying that across panels might be
5 an interesting thing to do.

6 MR. LYZENGA: It's definitely
7 something that's been considered before. We have
8 had some work done by a testing task force, for
9 example, and they I think put that question to them
10 and they really resisted actually putting any
11 thresholds, numbers for things like reliability
12 and validity. But maybe it's something that we can
13 revisit.

14 Something that there was discomfort
15 with among that group at least and they really
16 wanted to leave some space for committees to
17 wrestle with these things in different scenarios,
18 different measures, and different circumstances
19 and come to a judgment.

20 But it is something that we've heard
21 from our committee still that that's a really
22 difficult thing. You know, committees want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know what does this score mean, that 0.6, or 0.7,
2 or 0.8 and what does that mean. Is that good, or
3 is it bad, or is it somewhere in between and what's
4 the cutoff.

5 We haven't really provided too
6 definitive guidance around that yet. But again,
7 maybe that's something that we could revisit in the
8 future.

9 CO-CHAIR SEPTIMUS: Is someone by the
10 way keeping track of these suggestions so that when
11 we send out things? Okay. Very good. Pat.

12 MEMBER QUIGLEY: Thank you. For
13 opportunities for measurement improvement I would
14 like to suggest that there still be more efforts
15 towards harmonization of these measures across the
16 different organizations that are submitting them.

17 But also at some point in time to have
18 a real critical analysis of how many of these are
19 really value-added.

20 Because wherever I go I just hear the
21 burden, all the burden that's associated with all
22 these measures, and the data extraction, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reporting.

2 For opportunities for performance
3 measures to have greatest impact on improving
4 outcomes I would like to see more composite
5 measures. And those who have composite measures
6 to see if they've moved them to outcomes.

7 You know, we had one of the composite
8 measures I think was NCQA, the group for ambulatory
9 care. They had fall risk, screening, assessment
10 and care planning, but have they moved to outcomes.
11 Have they extended that composite measure to
12 outcomes.

13 And still as people come forward for
14 those that really could have the composite measure
15 then if we had the structure and the process, if
16 the outcomes weren't met then they could go back
17 and look at structure and process.

18 And here might be an example of that.
19 As you know, I was one of the dissenting votes for
20 the PSI 90. And the measure that I had the most
21 concern about of course was the one related to post
22 surgical hip fractures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And the AHRQ toolkit just came out two
2 days ago. And I went and I looked into the toolkit
3 because I would, a patient safety person.

4 And don't you know there's composite
5 measures in the AHRQ toolkit.

6 Well, then I looked at the
7 post-surgical fracture risk. And what AHRQ put
8 out there as a best practice approach for
9 prevention is standard fall prevention. For post
10 surgical hip fractures.

11 It had nothing to do with assessing
12 injury risk for the surgical population. It had
13 nothing to do with identifying those at risk
14 because of osteoporosis or prior hip fracture.

15 So, this has implications. So I think
16 if we could really look at having composite
17 measures. Because whatever comes out of here at
18 some point is going to go towards implementation.
19 So I would like to ask for that.

20 So I give that example as one. So I
21 think harmonization.

22 But I also think at some point as NQF

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 goes forward there should be some expectations to
2 stratify based on risk.

3 Some of these measures that come in have
4 such a large age range. And they aren't
5 stratifying based on risk, or age. So I think that
6 there's some opportunity to still have more
7 precision.

8 And that's what I ask for, is more
9 precision. Because there's a lot of work that's
10 being done by experts and sometimes we just don't
11 get to have that.

12 So I know I get a little long-winded,
13 but the last one for areas for investment for
14 further measures to be submitted, I'd like to
15 suggest that maybe early remobilization.

16 There's a lot of work being done in
17 hospitals now for eliminating bedrest and getting
18 people up and moving. And there is emerging
19 evidence surrounding that. So I think early
20 remobilization, decreasing bedrest, those kinds of
21 things.

22 And then in terms of workforce safe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patient handling and movement. Preventing back
2 injuries in the workforce I think would have a lot
3 of strength. And there's enough evidence in that
4 for that to come forward whether by the American
5 Nurses Association, the organizations surrounding
6 safe patient handling and mobility.

7 So those would be my comments and thanks
8 for this opportunity.

9 CO-CHAIR SEPTIMUS: Thank you very
10 much. Charlotte, did you have another one?

11 MEMBER ALEXANDER: I have one more.
12 I'd like to see some more work around disparities.
13 It's certainly something that I'm trying to get my
14 hospital system more engaged with. I'm learning
15 how poorly we identify patients and their languages
16 and how can you communicate if you don't even know
17 what language they're speaking.

18 And I think it's a huge patient safety
19 issue. So if there's a way that we can come up with
20 a measure that can help us identify even if it's
21 just language, much less all the other stuff that
22 we need to be looking at, I'd like to see that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. LYZENGA: I know we do have a
2 committee on disparities and that's a major focus
3 of NQF, trying to figure those things out.

4 I also know there are a lot of problems
5 trying to get the data to identify disparities
6 comprehensively and accurately and kind of nail
7 that stuff down. So it's something that there's
8 a lot of people thinking about and it's very
9 important.

10 MEMBER MCGIFFERT: Since we're talking
11 about patient safety are you specifically thinking
12 of disparities with patient safety, or just in
13 general? Because that's kind of -- in those
14 discussions there's been a pretty consistent
15 philosophy that we don't include patient safety
16 issues.

17 MEMBER ALEXANDER: I think we have to.
18 I mean, whether it is dialysis rates with black men,
19 whether it is a language issue that we're not
20 communicating with the patient in their own
21 language, whether it's pain medicine that black
22 people are getting in the emergency room. There's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 so much in the way of safety and quality disparities
2 that exist.

3 MEMBER MCGIFFERT: Like medication.

4 (Simultaneous speaking)

5 MEMBER MCGIFFERT: But it wouldn't be
6 like a CLABSI --

7 MEMBER ALEXANDER: Access to heart
8 disease, access to cancer care.

9 MEMBER MCGIFFERT: A CLABSI and a
10 surgical error you think, maybe?

11 MEMBER ALEXANDER: I think that when
12 you look at people that, for instance, are not
13 English speaking or have limited English
14 proficiency their complication rate increases in
15 the hospital. Their length of stay increases a
16 little bit. So, there are definite quality
17 aspects that are tied to it.

18 We're stratifying and that's great, but
19 we're really not getting to the root of going the
20 next step to try and force people to fix it. So
21 I'd like to see a little more work in that area.

22 CO-CHAIR SEPTIMUS: Well, we're just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at about the top of the hour and we've got some great
2 suggestions.

3 Unless staff has anything else to say
4 I want to say this is a phenomenal meeting as
5 always. Obviously our portfolio this time in
6 terms of time and numbers were much more manageable
7 which is why we still have a quorum and we're
8 finishing seven minutes before the time of ending.

9 But really, if it wasn't for the great
10 work that everybody does beforehand in preparing
11 to present these measures, and thank for the
12 developers of course for all the time that they do,
13 and of course as I mentioned before the incredible
14 heavy lifting that the NQF staff does which we
15 couldn't even begin to start if it wasn't for the
16 work that they do before we get here. So obviously
17 a big thanks to them.

18 And with that I wish you all a great rest
19 of the summer. And from Iona and I thank you so
20 much.

21 (Whereupon, the above-entitled matter
22 went off the record at 2:52 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1

2

3

4

5

6

7

8

9