

NATIONAL QUALITY FORUM

+ + + + +

PATIENT SAFETY MEASURES
STEERING COMMITTEE

+ + + + +

THURSDAY
OCTOBER 28, 2010

+ + + + +

The Steering Committee met at the National Quality Forum, Suite 600 South, 601 13th Street, N.W., Washington, D.C., at 9:00 a.m., William A. Conway and Lisa J. Thiemann, Co-Chairs, presiding.

PRESENT:

WILLIAM A. CONWAY, MD, Co-Chair, Henry Ford Health System
LISA J. THIEMANN, CRNA, MNA, Co-Chair, American Association of Nurse Anesthetists
JAN ALLISON, RN, Surgical Care Affiliates
ROBERT BUNTING, JR., MSA, CPHRM, CPHQ, MT, WellPoint
DONALD KENNERLY, MD, PhD, Baylor Health Care System
CLIFTON KNIGHT, MD, Community Hospital of Indiana, Inc.
STEPHEN T. LAWLESS, MD, MBA, Nemours Foundation
ALAN LEVINE, Consumers Advancing Patient Safety
STEPHEN E. MUETHING, MD, Cincinnati Children's Hospital Medical Center
JANET NAGAMINE, MD, RN, Society of Hospital Medicine
PAUL NAGY, PhD, University of Maryland School of Medicine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

PRESENT (continued):

DAVID P. NAU, PhD, RPh, CPHQ, Pharmacy Quality Alliance

PAUL R. SIERZENSKI, MD, Christiana Care Health System

DANIEL SOLOMON, MD, Brigham and Women's Hospital*

IONA THRAEN, MSW, Utah Department of Health

DAVID E. TURNER, MD, PhD, MPH, Monsanto

NQF STAFF:

PETER ANGOOD, MD

HEIDI BOSSLEY, MSN, MBA

ANDREW LYZENGA

ELISA MUNTHALI

LINDSEY TIGHE

JESSICA WEBER

ALSO PRESENT:

KAY SCHWEBKE, MD, MPH, Ingenix*

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

C-O-N-T-E-N-T-S

Welcome and Introductions.....	4
Project Overview.....	11
Measure Evaluation Criteria Review.....	20
Steering Committee Review: Medication Safety Measures	
PSM-017-10	37
PSM-018-10	101
PSM-019-10	112
PSM-020-10	120
Public Comment - none.....	135
NQF Member discussion.....	135
PSM-021-10	154
PSM-022-10	173
Public Comment - none.....	178
PSM-023-10	179
PSM-024-10	198
Public Comment - none.....	208
PSM-030-10	210
PSM-031-10	214
Public Comment - none.....	217
Recap.....	218

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 9:04 a.m.

3 CO-CHAIR THIEMANN: Welcome
4 everybody, and thank you for coming. And
5 thank you for your work thus far associated
6 with the Patient Safety Measures Steering
7 Committee work.

8 We just wanted to go around, do
9 brief introductions of ourselves; one or two
10 statements about our past in the sense of what
11 represents what specialty, what's your
12 expertise and so forth.

13 And so I guess I will start. I am
14 Lisa Thiemann, I'm Senior Director,
15 Professional Practice with the American
16 Association of Nurse Anesthetists. Been a
17 CRNA, a certified registered nurse anesthetist
18 for almost 15 years with a specialty in
19 pediatrics and some past program
20 administration for nurse anesthesia programs.

21 CO-CHAIR CONWAY: And welcome also.

22 And thank you for spending all last weekend

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scoring this large amount of measures. We
2 appreciate everybody's effort there.

3 I'm Bill Conway. I'm the Senior
4 Vice President and Chief Quality Officer for
5 the Henry Ford Health System. My clinical
6 background is pulmonary and critical care
7 medicine. I have five daughters and know a
8 lot about shoes.

9 MS. MUNTHALI: Elisa Munthali, NQF
10 staff.

11 MS. WEBER: Jessica Weber, NQF
12 staff.

13 MS. TIGHE: Lindsey Tighe, NQF
14 staff.

15 MS. BOSSLEY: Heidi Bossley,
16 Managing Director for Consensus Development
17 Process here at NQF.

18 DR. NAGAMINE: Good morning. Janet
19 Nagamine. I'm a hospitalist at Kaiser Santa
20 Clara in California, Patient Safety Officer --
21 or used to be, actually, and former Quality
22 Chief. I'm here representing Society of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Hospital Medicine.

2 MR. BUNTING: Good morning. I'm
3 Bob Bunting. I work for WellPoint. My
4 medical training is as a medical technologist
5 laboratory science. I've got more years than
6 I'd like to count for quality and patient
7 safety. My current role is a clinical
8 research manager.

9 DR. LAWLESS: I'm Steve Lawless.
10 I'm with Nemours Foundation. I'm a pediatric
11 intensivist, but for the last four years I've
12 been the Vice President of Quality and Safety
13 for Nemours. We're a large pediatric multi-
14 specialty group in Delaware and Florida. My
15 venue is patient safety, environmental safety,
16 infection control, risk management, peer
17 review and other things as assigned.

18 DR. KENNERLY: I'm Don Kennerly,
19 and I'm an internist by training, and I've
20 been with the Baylor Health Care System in
21 Dallas for the last ten years. I've served in
22 various quality and safety roles and currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 serve as the corporate Vice President for
2 Patient Safety across the network of
3 hospitals.

4 MS. THRAEN: Hi. My name is Iona
5 Thraen. And I'm the Patient Safety Director
6 for the Utah Department of Health, so I'm the
7 lowly MSW Public Health, we're from the
8 government, we're here to help you. And I
9 brought the paper redundancy when all the
10 systems go down, so I just want you to know
11 that. Thank you.

12 MR. LEVINE: My name is Alan
13 Levine. I'm the Consumer Advocate. I
14 volunteer for Consumers Advancing Patient
15 Safety, a stakeholder group on the Consumer
16 Council.

17 Formerly I was an employee of the
18 federal government. I retired in 2008 from the
19 Department of Health and Human Services where
20 I worked for the Inspector General's Office
21 and did -- coordinated a \$3 million study on
22 Medicare adverse events.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. ALLISON: Hi. I'm Jan Allison.
2 I've been an RN for 30 years. And I'm a
3 Certified Health Care Safety Professional.
4 I've been in the ambulatory surgery center
5 industry for 25 years, and I work for Surgical
6 Care Affiliates. We're a company that owns
7 approximately 130 surgery centers across the
8 country and growing.

9 MR. LYZENGA: I'm Andrew Lyzenga,
10 NQF staff.

11 DR. SIERZENSKI: I'm Paul
12 Sierzenski. I'm an emergency physician at
13 Christiana Care Health System. Still practice
14 clinically. I direct emergency trauma and
15 critical care ultrasound for that institution.
16 And I sit on our college's Quality
17 Performance Committee.

18 DR. NAGY: I'm Paul Nagy. I'm
19 trained as a diagnostic medical physicist.
20 And I serve as a quality informatician at the
21 University of Maryland. I direct the quality
22 efforts and have been doing Six Sigma Lean for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the past 15 years.

2 DR. MUETHING: Good morning, all.
3 I'm Steve Muething. I'm a pediatrician. I'm
4 a Safety Officer at Cincinnati Children's.
5 And I'm representing NACHRI, the National
6 Association of Children's Hospitals and CHCA,
7 which is the Child Health Corporation of
8 America.

9 DR. NAU: Good morning. I'm David
10 Nau, Senior Director with the Pharmacy Quality
11 Alliance. I'm a pharmacist with a Ph.D. in
12 Health Services Research. I have split my
13 time between academia and running a research
14 team for a health plan. Been with PQA for the
15 past year.

16 DR. KNIGHT: Hi. My name's Cliff
17 Knight. I'm a family physician from
18 Indianapolis, Indiana. I'm representing the
19 American Academy of Family Physicians. And
20 I'm Chief Medical Officer of Community Health
21 Network, a five hospital system in
22 Indianapolis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TURNER: Yes. Good morning.
2 David Turner. I'm an occupational medicine
3 physician. I'm been in this capacity probably
4 for about 20 plus years; 10 years I've been in
5 corporate roles. I'm currently with Monsanto
6 Company. My responsibilities there really are
7 twofold. I work towards a global health policy
8 in terms of preventive medicine, and I'm also
9 working very closely with our benefits team in
10 terms of developing a package that really
11 supports preventive health issues.

12 DR. ANGOOD: Good morning. My name
13 is Peter Angood. I'm the senior advisor for
14 patient safety at NQF. A surgeon and critical
15 care guy from background and spent several
16 years at the Joint Commission as well. And
17 I've been working with Heidi to oversee this
18 project overall. And we appreciate your
19 attendance and your efforts on our behalfs.
20 Thank you.

21 CO-CHAIR THIEMANN: And I think
22 we're going to turn it over to Elisa Munthali

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and Heidi Bossley for an overview.

2 MS. MUNTHALI: Thank you very much.

3 Before we go through the slides, there are a
4 couple of housekeeping items that we wanted to
5 bring to your attention, especially for those
6 of you that are participating here at NQF. We
7 wanted to tell you first about the bathrooms,
8 which are very important. I think they're
9 around the corner and by the front door. And
10 also for those of you who are here, just
11 please help yourself to the food in the
12 adjacent room.

13 We wanted to remind you that this
14 is an open meeting. It's open to NQF members
15 and to the public. And they'll have
16 opportunity to provide comment at specific
17 points during the agenda.

18 We've also invited measure
19 developers who will participate either here in
20 person or via teleconference or webcast. And
21 they'll be here to introduce their measures
22 and to provide any clarity to questions that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you may have.

2 You may have noticed that we have a
3 court reporter who is transcribing this
4 meeting and is taking notes. And the audio
5 recording will be posted to the website as
6 well as the transcription. And NQF staff will
7 be putting together a meeting summary to
8 accompany those materials.

9 And so we will go ahead with the
10 presentation. And maybe at this time,
11 operator, do you know if Dr. Solomon has
12 joined the call? She hasn't answered. She
13 said she'll notify us once he does.

14 We do have one Committee member who
15 will be participating via teleconference today
16 and tomorrow, Dr. Dan Solomon.

17 NQF provided some of this
18 information to the Steering Committee during
19 an orientation call that we had. And you've
20 received much of this information before, but
21 we thought it was important to reiterate.

22 NQF is a private, nonprofit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 voluntary consensus standard setting
2 organization with a membership of over 400
3 organizations. Our members are organized into
4 eight very distinct stakeholder councils that
5 include consumer groups, health plans, health
6 professionals, purchasers, public and
7 community agencies, quality improvement
8 organizations and suppliers.

9 Our Board members mirror the
10 diversity of stakeholders that are interested
11 in our mission with a deliberate but slight
12 over representation of consumers and
13 purchasers.

14 Our Board established three
15 standing committees to help guide their work.

16 Those include the Consensus Standards
17 Approval Committee, which we refer to as the
18 CSAC, and they consider all candidate
19 standards or practices and make
20 recommendations like you will do today for NQF
21 endorsement to the Board.

22 The National Priorities Partnership

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a 32 member organization collaborative that
2 assesses high impact priorities and goals and
3 takes collective action to address those
4 goals. And the Leadership Network provides
5 guidance on NQF's education, research and
6 recognition programs.

7 I'd like to talk a little bit about
8 developing consensus. We apply a very
9 specific process that we call the consensus
10 development process, also known as the CDP, to
11 gain consensus about which measures or
12 practices should be National Voluntary
13 Consensus Standards. As I previously
14 mentioned, our membership is open, and it is a
15 diverse representation from a full spectrum of
16 health care stakeholders, including private
17 and public organizations.

18 And now we'd like to show you a
19 visual schematic of the consensus development
20 process, which we call the CDP. And this
21 schematic shows the important steps of the
22 entire process including our current step,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is the Steering Committee's review.
2 Following this, the Committee will make
3 recommendations, and those recommendations
4 will be turned into a draft report that the
5 NQF staff will put together. The report will
6 be available on our website for our public and
7 member comment for a 30 day period.

8 Following that, the Steering
9 Committee will address any comments that are
10 brought forth during that period, and then the
11 report will be posted on the NQF website for
12 member voting for 30 days.

13 After that, the report moves on to
14 the CSAC, and they'll consider your
15 recommendations, and they'll make
16 recommendations to the Board. The Board would
17 then ratify those recommendations and then a
18 30 day appeals process follows.

19 And we'd like to talk a little bit
20 now specifically about the patient safety
21 measures project. It's funded by the
22 Department of Health and Human Services. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are two main goals.

2 The first one is to identify and
3 evaluate and endorse additional measures that
4 are suitable for public reporting and quality
5 improvement that specifically address health
6 care associated infections, medication safety,
7 and other safety measures. And then the
8 second goal is to identify gaps in existing
9 patient safety measures and to recommend
10 potential measures to fill those gaps.

11 We wanted to give you an overview
12 of the project and how we got to where we are
13 today. There are three technical advisory
14 panels, or what we call TAPs, that were formed
15 to address medication safety, health care
16 associated infections, perinatal care. And
17 they were formed to assist the Committee with
18 their work. And they all met between August
19 and September of 2010.

20 The Health Care Associated
21 measures, which I will refer to as the HAI
22 measures, are on a separate expedited CDP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 timeline. The draft report is now available
2 on the public website for 30 days. And the
3 deadline ends on November 8th.

4 For the perinatal measures, the
5 measures that we received, we received them
6 from one steward. After the initial review by
7 the TAP, the steward withdrew those measures
8 to concentrate more on measure development.
9 And they hope to resubmit those measures for
10 an NQF endorsement maintenance project on
11 perinatal care that starts in spring 2011.

12 So today what the Committee will do
13 is review 13 medication safety measures and
14 six additional safety measures. I would like
15 to note that two of the HIV medication
16 measures are pending the TAP's evaluation, and
17 so the Committee will review those separately,
18 probably within the next few weeks. I think
19 you've received emails from Lindsey and
20 Jessica with those meeting dates.

21 And so now we just wanted to bring
22 your attention to the timeline for both of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these phases of the patient safety measures
2 project. The first is related to the HAI
3 measures and the second the medication safety
4 and additional measures.

5 We wanted to just kind of alert you
6 to many of the meetings that are coming up.
7 And we do apologize. We have several meetings
8 that we're planning in the next few weeks.
9 And we're trying to get a lot of work done
10 before the holiday season.

11 They include a follow-up meeting
12 from today's meeting, evaluation of the HIV
13 measures that I mentioned before, and review
14 of the comments that will come from the HAI
15 draft report.

16 We wanted to talk a little bit
17 about your role collectively as a Steering
18 Committee. You will act as a proxy to the NQF
19 multi-stakeholder membership for the Patient
20 Safety Measures Project. You will continue to
21 work with the NQF staff to achieve the goals
22 that I mentioned earlier. And most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 importantly, you're here to evaluate the
2 candidate standards and evaluate them against
3 our formal measure evaluation criteria.

4 In addition to that, you're making
5 recommendations to our membership for
6 endorsement. And you'll respond to comments
7 that are submitted during the comment period.

8 And your Co-Chairs, Dr. Conway and Ms.
9 Thiemann, will represent you as a Steering
10 Committee at the CSAC meeting. And you'll
11 also respond to directives that the CSAC puts
12 forth.

13 You also have roles as individual
14 members. And we've assigned all of you
15 primary and/or secondary discussion lead
16 responsibilities for measures based on your
17 experience and expertise. And prior to this
18 meeting you did conduct a pre-meeting
19 evaluation online. And really that's not your
20 final recommendation, but it's to help us to
21 facilitate today's discussion.

22 And now I'll turn it over to Heidi

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Bossley, who will go over NQF endorsement
2 criteria.

3 MS. BOSSLEY: I think this is where
4 Elisa and I are going to be fighting for the
5 microphone because it's attached.

6 You all, I know, have been looking
7 at the criteria, but I think it's helpful just
8 before a full two days of looking through many
9 measures to go through the criteria again. So
10 I'm not going to go very quickly, but -- or I
11 am going to go quickly. But if you have a
12 question, stop me.

13 So we have new criteria that were
14 approved by the Board in August of 2008. We
15 continually take a look at that criteria and,
16 in fact, in January there will be a new set
17 that's a little bit more robust and explicit
18 on the importance and the scientific
19 acceptability components. But, again, that's
20 not yet effective until January. So you are
21 operating under the August 2008 criteria.

22 But what we did back then was take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a look at how could we strengthen and clarify
2 our criteria looking for that stronger link to
3 the national priorities and also higher level
4 performance measures; getting more proximal to
5 the outcome if you're looking at process
6 measures. And again, looking truly our
7 ultimate goal to outcome measures.

8 Want to see that we have some
9 greater measure harmonization. It's not
10 helpful to have two measures out there that
11 are almost the same, but not quite. So,
12 again, trying to push toward that.

13 And then, as I said, the last two.

14 So if you could go to the next slide. Okay.

15 Conditions for consideration. This
16 is something that we as staff do. We make
17 sure we have agreements. The forms are
18 complete. You're not looking at completely
19 blank forms; that type of information.

20 Then the four criteria are
21 importance, scientific acceptability,
22 usability, and feasibility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So just again briefly. Importance
2 when you looking at this, this is your must
3 pass criteria. So today I think and tomorrow
4 you're going to have probably quite a bit of
5 discussion on do these measures really meet
6 importance. And there's three components to
7 it. They don't have to meet all three, but
8 the closer they can the better it will be.

9 Does it have a high impact area?
10 And I think a lot of the ones that you'll look
11 at may not be your typical high impact in the
12 way of very broad across the population, but
13 within a specific specialty or a condition, it
14 may actually be a high impact area. So that
15 is one other way to look at it as opposed to,
16 say, looking at a typical diabetes measure.

17 Is there a gap in performance?
18 We're also looking for variation. So look to
19 see if that information is provided. And then
20 absolutely critical, is there evidence to
21 support that measure focus?

22 You want to try to capture the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measures and put forward the measures that,
2 again, are more proximal, closer to the
3 outcome. So I think that's, again, where the
4 evidence component comes in and you all will
5 probably want to spend some time talking about
6 it.

7 Now to the next slide.

8 Scientific acceptability. This
9 where I think the fun begins. You get to look
10 at the specifications. Is it precisely
11 specified? Is this something that really
12 multiple groups could take what they're
13 provided at the ultimate end of the day and
14 try to implement? Can they take that
15 information and somewhat uniformly implement
16 it?

17 Is there some form of testing
18 reliability and validity? This is where in
19 the new criteria, that updated criteria that
20 comes out, you'll see a little bit more
21 explicit because it's not quite as crisp as I
22 think we'd like it to be. That's why it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being updated. But here I think you have some
2 measures that have been tested, some have not.

3 So I think it's something that you will spend
4 some time, and staff will be happy to guide
5 you on that as well.

6 Exclusions if there are, is there
7 justification and are they reasonable? Risk
8 adjustment. I don't think you're really
9 looking at any today. You already did those
10 measures. Those are out for comment.

11 And then looking to see do you have
12 information on identifying differences in
13 performance? If they use multiple data
14 sources, have they tested and compared those
15 two and shown that you can compare the results
16 or not? And then again, disparities being a
17 key element that we look at across all of our
18 measures, have they started to look at
19 stratifying? That's not a requirement, but
20 it's always helpful to see if they've looked
21 at it.

22 The third criteria is usability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So we're looking for how much has this been
2 used to date. Some measures when they really
3 come in the first time have not been used very
4 much, and that's okay. But, again, we're
5 looking to see does it at least demonstrate
6 that it's useful for public reporting and
7 quality improvement? It is harmonized? And
8 then if there are existing measures, is there
9 something that just makes this measure rise to
10 the top that you really think it's worth
11 putting forward?

12 Feasibility. This is one that I
13 think we're going to continue to look at to
14 work on the criteria, make it a little bit
15 more crisper. But we're looking again at can
16 that data be collected somewhat easily? Is it
17 generated during care processes as much as
18 possible? As there electronic sources? We're
19 really moving more toward and trying to see
20 where we can get measures to electronic health
21 records, electronic clinical data.

22 And then has the developer been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 able to look at unintended consequences,
2 anything with inaccuracies, et cetera?

3 I don't know that you have any
4 competing measures today, but you did already
5 look at some. But if you do, we'll walk you
6 through the process. It's something, again,
7 an area where we're finding more and more as
8 we're going into more of a maintenance cycle
9 looking at existing endorsed measures, plus
10 new. We're finding we're getting quite a few
11 competing measures coming through, and we're
12 working on additional guidance. But if it
13 comes up today, we'll work with you on that.

14 Time limited. So this is where
15 it's fun. We have a new policy. Time limited
16 was created given the environment and the
17 emphasis on public reporting and pay for
18 performance programs that were out there, and
19 this need for a larger amount of measures.
20 And so the NQF Board really did a look at that
21 a few years ago and say, yes, we need to find
22 a way for those measures where we feel are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sound in every other way but the testing
2 aspect, can we put those through and require
3 that they be tested within a certain amount of
4 time.

5 We find that we needed to take a
6 look at that again. And so we have new
7 criteria. You are not necessarily held to
8 this criteria because it did occur at and
9 about the same time measures were submitted.
10 So measure developers were not notified of the
11 new change until after they submitted it.
12 But, again, I think it's worth you all being
13 aware of what the new criteria is, which is
14 there is no other currently endorsed measure
15 on that topic. Again, if that one that's
16 endorsed is tested, and this other one is not
17 -- I don't know that you would be able to say
18 it's a superior measure. So that is our
19 thinking with that piece of the criteria.

20 Is there a critical timeline that
21 must be met? Is there a legislative mandate?

22 Again, that's not something that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily apply for you all today.

2 And then is the measure not
3 complex? So if a measure is a composite,
4 looks at outcomes or requires risk adjustment,
5 we're not sure that that's ready to go for
6 prime time out there for everyone without
7 having some type of testing. So that's the
8 thinking behind that.

9 What we are working with stewards
10 on, any measures that come out of any project
11 from now on, we're trying to get that testing
12 within 12 months. It used to be 24 months,
13 and we're finding that's too long to have a
14 measure out there and not have it tested. So,
15 again, we're working with everyone to see if
16 we can get it within 12 month time frame.

17 I think I'll stop there, and let's
18 see if anyone has questions on the criteria.

19 MR. LEVINE: Yes. There was a slide
20 that mentioned consistent with national
21 priorities.

22 MS. BOSSLEY: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. LEVINE: Is that NQF priorities
2 or some other priorities?

3 MS. BOSSLEY: Good question.

4 So when it was first created it was
5 for the National Priorities Partnership
6 priority areas, which are over use, safety
7 being one of them looking at -- I should have
8 them memorized, but I don't. But there are
9 six of them.

10 DR. ANGOOD: I got them.

11 MS. BOSSLEY: I knew Peter would
12 have them. Good.

13 DR. ANGOOD: I've been there all
14 the time.

15 So it's patients and families,
16 population health, safety, continuum of care,
17 appropriate end of life care or palliative
18 care, and then efficiency. Those are the six
19 areas. And now we've added two more in the
20 recent Board meeting, and that is access to
21 care as well as appropriate infrastructural
22 support in order to make all of those things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happen.

2 MS. BOSSLEY: Right.

3 MS. THRAEN: So just for
4 clarification because I struggled a little bit
5 with some of these measures that were more
6 quality focused, I thought, than safety
7 focused. So even though this is called Patient
8 Safety Steering Committee, are we operating
9 more broadly as you just described?

10 DR. ANGOOD: Some of the measures
11 have been -- it's been a struggle for us to
12 find whether they should fit in safety, per
13 se, or whether they're appropriate in other
14 areas. I think the better answer is look at
15 it specifically along the criteria that Heidi
16 just reviewed. If you think they are not
17 meeting muster, then move them on. If you
18 think they're reasonable but they don't quite
19 fit safety, let's talk about it at that
20 moment.

21 We have a whole variety of other
22 groupings of measures projects, and we do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shuffle the deck from time-to-time. But, you
2 know, think about it as an end user looking
3 in, you're really just looking to see what's
4 NQF endorsed measure, you don't necessarily
5 care what bucket it's in. But we've got these
6 measures in this grouping for us. It was sort
7 of the best proximate area for some of these.

8 DR. LAWLESS: A question for you
9 just clarifying on the testing.

10 MS. BOSSLEY: Yes.

11 DR. LAWLESS: During the
12 discussions, because that opens up a nice
13 Pandora's box. And so the clarification do we
14 use testing in our evaluation, or do we just
15 kind of sublimely know it's there?

16 MS. BOSSLEY: So whatever testing
17 you find there, you should evaluate. If there
18 is none, then we'll look at the time limited
19 potential. Yes. But if you do see testing,
20 we fully want you to evaluate whether you
21 think it's adequate testing, has the
22 conclusions really drawn to the point where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you think it's a well, precisely specified
2 measure that could be used. Yes.

3 And going back to the priorities,
4 just one thing I wanted to let everyone know.

5 The Secretary because of the new ACA law is
6 looking at national priorities. So we
7 anticipate that there will be a new set,
8 hopefully and in line with what we have now
9 with the NPP priorities, but those will become
10 the new priorities as a part of this criteria.

11 So we're actively looking to see what comes
12 out of the work, whomever does that work, with
13 the priorities.

14 MS. MUNTHALI: Thank you.

15 Before we go into the evaluation,
16 we wanted to let you know we're having some
17 technical problems with the phone. We're on?

18 Okay. Great. So we can go on with that.

19 But before doing that, we wanted to
20 let you know that on those thumb drives that
21 all of the Steering Committee has, the
22 briefing materials that you received last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 week. So all of the materials, including the
2 measure submission forms, evaluation forms,
3 the agenda, the measure assignments for
4 reviewers, that's on here. So you can upload
5 those on the computer.

6 And we've included this information
7 that was also included in the briefing
8 materials. These are just some talking
9 points. Essentially just make sure so that
10 those that are participating by teleconference
11 and by the webcast that you identify the
12 measure that you're presenting by the ID and
13 the title as exemplified on the screen. And make
14 sure that you cover all of the evaluation
15 criteria as Heidi alluded to earlier.

16 And we can advance onto talking
17 about voting. And we are very excited to be
18 using for the first time hand-held voting
19 devices. This is the first project that is
20 using them. So we ask that you bear with us
21 if there are any technical problems that may
22 arise as being the first to use it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We think it's pretty easy to use.
2 I don't have one to show you right now. They
3 were in front of me, but I don't know where
4 they are. But they're very small, very light.

5 There are only nine -- actually there should
6 be zero to nine on the keypad numbers on
7 there. And the ones that you should be
8 concerned about are numbers 1 through 4, and
9 I'll tell you why in the next slide. Those
10 correspond to endorsement recommendations that
11 you may have.

12 So when entering your response, you
13 make sure that you select the number, then you
14 hit send. And in this example if you wanted
15 to recommend a measure for endorsement, you
16 would hit one, yes, I recommend this measure
17 as written, and then you hit send.

18 Likewise, if you don't want to
19 recommend the measure you would hit no, which
20 is represented by the three on the key card,
21 and you would hit send.

22 You can modify your response. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hit the caution symbol on the device, then you
2 select the corresponding number that you want
3 to select. And then you hit send.

4 But we must warn you that you can't
5 change your response once you hit send. So,
6 you know, take your time. Make sure you use
7 it correctly. So if you feel like you've made
8 a mistake, you can correct it as long as you
9 don't hit send.

10 So if you select one and you wanted
11 to select two that you recommend with
12 modifications pending the developer's
13 modifications to the measure, then you can hit
14 two -- you can hit the caution sign, hit two
15 and then hit send.

16 And as soon as you hit send, and as
17 soon as everybody hits send, the results will
18 be displayed on screen, and they'll also be
19 announced by the co-chairs so that those that
20 are participating by the webcast and also by
21 teleconference would know the result. And I
22 think that's it in terms of our slide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation.

2 Dr. Solomon? Dr. Solomon or Dr.
3 Diamond?

4 Operator, are you there?

5 DR. SOLOMON: This is Dan Solomon.

6 Actually, I was trying to speak
7 during your presentation, and I guess it was
8 on mute or something. But I'm not quite sure
9 how we're supposed to be voting from -- via
10 teleconference. And I actually don't have the
11 WebEx information. So I don't have that in
12 front of me either. I just have the printed
13 briefing material.

14 MS. MUNTHALI: Okay. That's a good
15 question.

16 What we're going to do is just ask
17 you for your vote. That's the only way that
18 we can do it. And Heidi Bossley will send you
19 the materials. So you will be receiving an
20 email from her very shortly.

21 DR. SOLOMON: Okay.

22 MS. MUNTHALI: Operator?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 OPERATOR: Yes, I'm here.

2 MS. MUNTHALI: Would you mind
3 leaving the lines open at all times?

4 OPERATOR: Yes, all lines are open
5 now.

6 MS. MUNTHALI: Thank you so much.

7 Okay. So I will turn it over to
8 your Co-Chairs.

9 CO-CHAIR THIEMANN: At this time
10 we'd like to move into evaluation of the
11 individual performance measures. And at this
12 time we'd like to ask for general overview
13 comments by the measure developer for the four
14 that are slated for consideration at this
15 time, which would be PSM-017, 018, 019 and
16 020.

17 So if we have the measure developer
18 Ingenix.

19 DR. SCHWEBKE: Yes. Hi. This is
20 Kay Schwebke.

21 CO-CHAIR THIEMANN: Great.
22 Terrific. Thank you, Ms. Schwebke.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If you'd like to go ahead and
2 provide an overview regarding these four
3 performance measures from the measure
4 developer's perspective, we'd appreciate that.

5 DR. SCHWEBKE: Yes, happy to do so.

6 So we have four measures here. And I
7 apologize. In previous meetings we haven't
8 been asked to give overviews, but I will do
9 the best to provide that for you.

10 The first measure is measure PSM-
11 017-10. This identifies patients with
12 rheumatoid arthritis who are taking one of
13 three specific medications, methotrexate,
14 sulfasalazine or leflunomide that had serum
15 ALT or AST monitoring in the last three report
16 months.

17 So the way the measure is built is
18 we identify people using the condition
19 confirmation that are specified and the
20 denominator details, identifying individuals
21 that are two years or older who have
22 continuously enrolled in medical benefits and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pharmacy benefits or have been identified
2 through a disease registry. And we look to
3 see whether or not they're taking one of these
4 medications.

5 The patient has to be "actively"
6 taking one of these medications, and that's
7 defined as the following. There's a filled
8 prescription for one of the medications within
9 the last 90 days -- sorry, within the last 120
10 days. And in addition as we look back over
11 the 12 month report period the prescription
12 has had a number of days filled that's greater
13 than 90 days. And the purpose of that is to
14 make sure that not only has the patient
15 recently filled the medication, but they've
16 also been taking it for a prolonged period of
17 time.

18 If those are true, then people are
19 placed in the denominator. And then really
20 the intervention of interest is to identify
21 whether or not that serum ALT or AST test was
22 obtained within those last three report

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 months. Because we have many people, many
2 customers who have claims that go beyond the
3 last three months of the report period, if we
4 have data that extends 90 days after the
5 report period, then we also accept that
6 laboratory test to achieve numerator
7 compliance.

8 The compliance for this measure in
9 our testing database of over 15 million
10 members was 66 percent. And so we believe
11 that there is a reasonable gap in care here
12 that can be addressed.

13 The remainder -- there's a few bits
14 of evidence that have supported this measure.

15 One from the pharmaceutical manufacturer, a
16 second from the American College of
17 Rheumatology. And in the ACR 2008
18 Recommendations they're actually quite clear.

19 Their specific guideline recommendation is
20 that for individuals who have been on chronic
21 therapy with one of these medications that
22 specific medications should be obtained, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that includes a complete blood cell count,
2 chemistry panel, determination of creatinine
3 levels. And I state that now only because as
4 we walk through some of the upcoming measures
5 it's really kind of based on the same logic
6 and the same literature support.

7 Now just let me know if you want me
8 to stop here or if you want me to continue to
9 move through the other three measures.

10 CO-CHAIR THIEMANN: No. I think
11 that's a nice overview. And thank you very
12 much. I think as we go through each
13 individual measure having you available to
14 answer questions from the specific Steering
15 Committee members would probably be the best
16 way to proceed at this time. So thank you
17 very much.

18 DR. SCHWEBKE: Okay. Yes, you're
19 very welcome.

20 CO-CHAIR THIEMANN: At this time,
21 we'd like to -- the primary discussion leader
22 for the first performance measure up for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consideration, PSM-017-10, Dr. Kennerly and
2 secondary discussion leader Dr. Solomon.

3 Dr. Kennerly, would you like to
4 provide the introduction for this performance
5 measure, please?

6 DR. SOLOMON: Was that -- I'm
7 sorry, were you asking Dr. Solomon or -

8 CO-CHAIR THIEMANN: I have primary
9 discussion leader Dr. Kennerly.

10 DR. KENNERLY: No, I don't think I
11 was assigned this.

12 CO-CHAIR THIEMANN: Dr. Solomon,
13 would you care to then -- were you listed as
14 primary discussion leader then?

15 DR. SOLOMON: I'm happy to discuss
16 it. I actually can hear you well, but I
17 couldn't anything that Dr. Kennerly was
18 saying.

19 CO-CHAIR THIEMANN: Okay.

20 DR. SOLOMON: So I don't know if
21 the microphones can be replaced.

22 CO-CHAIR THIEMANN: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOLOMON: As a rheumatologist,
2 these are very familiar measures. And as the
3 past chair of the Quality of Care Committee at
4 the American College of Rheumatology we've
5 spent long periods discussing these measures
6 as part of the recommendations that Dr.
7 Schwebke discussed as far as the ACR's 2008
8 Recommendations regarding monitoring.

9 And as she noted, these sorts of
10 recommendations are part of the manufacturer's
11 discussion as well. They've been recommended
12 by the ACR based on really an expert process
13 without a lot of evidence. The total of the
14 evidence is really a variety of case series
15 that looked at people who had toxicities
16 related to these medicines and attempted to
17 develop some sort of monitoring guidelines
18 which might stave off those toxicities. But
19 there's really never been any formal
20 epidemiologic studies or trials that would
21 support these specific measures.

22 Having said that again, there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 broad agreement amongst experts that these are
2 reasonable measures. The exact frequencies of
3 the monitoring is debated even amongst the
4 rheumatology community. There's people who
5 want these to be done less frequently, and
6 people note in large cohorts that are recently
7 published that people who get these tests done
8 less frequently seem to have similarly low
9 rates of toxicities. Again, there's really
10 been no very formal comparison though of
11 different monitoring frequencies. And so
12 we're in a bit of a data vacuum.

13 There is broad agreement that there
14 should be monitoring, but the precise
15 monitoring frequency I think is where people
16 still debate the issue.

17 What else can I say?

18 CO-CHAIR THIEMANN: Dr. Solomon, if
19 you would care to also expound on scientific
20 acceptability, feasibility, usability as well
21 associated from your perspective of this
22 measure, we would appreciate that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOLOMON: Sure. Again, the
2 scientific acceptability I just discussed. I
3 mean, there's weak evidence, but there's broad
4 agreement that monitoring should be done. As
5 far as the exact monitoring frequency, there's
6 really not broad agreement whether it should
7 be done every eight weeks, every 12 weeks, or
8 every, you know, six months.

9 The feasibility, I mean these sorts
10 of lab tests are generally easy to identify
11 using administrative claims data. And they're
12 difficult to find in electronic medical
13 records, obviously, because people often get
14 labs done outside of a health system. And so
15 I think that if the administrative claims data
16 are used, people believe that they are
17 complete capture of the data. I don't know if
18 that's ever been carefully tested, but I think
19 there have been some tests of that just to
20 suggest that it is a valid and reliable source
21 of information.

22 So, you know, feasibility and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 usability, I think, are commented on by the
2 administrative claims access to these data.
3 And I've commented about the scientific
4 acceptability already.

5 What else can I tell you?

6 CO-CHAIR THIEMANN: No, I think
7 that's terrific. Thank you for the overview.

8 And, Dr. Kennerly, anything
9 additional to add to Dr. Solomon's comments?

10 DR. KENNERLY: No. I don't think
11 so. Aside from, again, I apologize if I was
12 supposed to be doing something here, but I
13 didn't have that on my to do list. But I
14 agree with the discussion that he's presented.

15 CO-CHAIR THIEMANN: All right.
16 Terrific.

17 I'd like to open it up to the rest
18 of the Steering Committee Members at this
19 point. If we proceed through, importance to
20 measure I think would be the first critical
21 threshold for the measure to consider. And so
22 I'd like to open it up to the rest of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Steering Committee members concerning
2 opinions.

3 MS. THRAEN: Can I get a
4 clarification first? On the reference, and
5 this is probably from the developer, in the
6 textual information they reference the
7 discussions with American Gastroenterological
8 Association. And I didn't quite understand
9 what they were saying there, whether or not
10 they were -- it says, whereas the measure did
11 not describe any similar combined work with
12 the ACR, the measure developer stated there
13 was pre-existing relationship with the AGA
14 leading to a greater effort to work together.

15 But that doesn't tell me concretely where AGA
16 is related to this particular measure, and
17 could the developer comment on that?

18 DR. SCHWEBKE: Can you just
19 clarify? I'm sorry, it's a little bit
20 difficult to hear some of the members on the
21 phone. Are you referring specifically to a
22 comment in the measure application or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 document that I sent back to answer some
2 specific questions that the previous Committee
3 had?

4 MS. THRAEN: Actually, I'm
5 commenting on the review notes that are in our
6 documents. Let me repeat that. It said that
7 --

8 CO-CHAIR THIEMANN: Before you
9 proceed, would you mind specifying exactly
10 where in the document you're looking at so all
11 Steering Committee members as well as the
12 measure developer might be able to focus?

13 MS. THRAEN: Fine. Summary Table
14 of TAP Ratings of Subcriterion Comments, page
15 8. It says, the TAP noted that the measure,
16 and describes the measure, referred to
17 discussions with the AGA, whereas this measure
18 did not describe any similar combined work
19 with ACR, rheumatology, the measure developer
20 stated there was a pre-existing relationship
21 with the AGA leading to greater effort to work
22 together between the organizations. However,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the measure developer also noted that this
2 measure specifications are consistent with ACR
3 guidelines.

4 So I guess I'm confused on whether
5 or not this is a measure that's applicable to
6 both AGA and ACR or it was determined that it
7 wasn't. I just didn't understand the
8 language.

9 DR. SCHWEBKE: The measure
10 specifically is designed for people with
11 rheumatoid arthritis. And actually after we
12 had developed this measure we actually had
13 approached ACR with the interest in asking
14 them to review the measure to make sure that
15 they were comfortable with the measure logic
16 time frames, et cetera. And at that point
17 their recommendation was for us to really
18 focus on their ACR 2008 Recommendations along
19 with some earlier recommendations that they
20 had published I believe in 2006.

21 Now with that being said, we also
22 appreciated along with other measures that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we've developed not for rheumatoid arthritis
2 but for another condition, inflammatory bowel
3 disease, that we were seeing some similar
4 medications that are being used for IBD,
5 inflammatory bowel disease. And we wanted,
6 whenever possible, to be consistent when it
7 made sense to have monitoring recommendations
8 for drugs used to treat RA to be consistent
9 whenever possible for drugs that were being
10 used to treat IBD.

11 So that reference to AGA is more in
12 the spirit of our attempts at harmonization
13 and actually very strong collaboration that we
14 have had with AGA where AGA has actually
15 reviewed all of our GI measures and have given
16 us feedback that we've brought back to our
17 external consultant panel to try to achieve
18 harmonization with medications used by most
19 specialists.

20 So, I appreciate the confusion.
21 And, hopefully, that provides some
22 clarification.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. THRAEN: So does this measure
2 in its current form achieve that?

3 DR. SCHWEBKE: It this form it
4 does. I think as we talk about some of the
5 upcoming measures there are definitely some
6 differences. And some of it, I think, gets to
7 the earlier comment that the literature is not
8 always clear around the timing, the frequency
9 at which some of these tests should be done.
10 And sometimes because there's no clear
11 evidence-based study that's defining that for
12 us, we are turning to national experts to help
13 with that definition. And I will say that
14 sometimes there is disagreement between our
15 rheumatology specialists and our GI
16 specialists. And when we've seen that
17 discrepancy, we've tried to err on the side of
18 being a little bit more conservative and in
19 allowing for a longer time frame.

20 But I think that actually is not
21 such an issue here. It may come up with some
22 of the other measures that we're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discuss today.

2 MS. THRAEN: Thank you.

3 DR. LAWLESS: This is Steve.

4 CO-CHAIR THIEMANN: One thing I'd
5 like to suggest which I think we didn't say
6 earlier. If you'd like to make comments, one
7 of the things I think some of us have found in
8 past is to turn your ID card, table card up so
9 that we know who wants to speak. Great.
10 Terrific. Thank you very much. Go ahead.

11 DR. LAWLESS: Yes. This is Steve
12 Lawless.

13 I'm curious about who is reporting.
14 I see the data sources from a multitude of
15 data sources, but I'm not sure as a safety
16 measure who is reporting and then what are
17 they reporting back to. So it's a good
18 process, but there's no --

19 DR. SCHWEBKE: Yes. Good question.

20 So basically this data is coming
21 from multiple payers. So this is a national
22 database of over 15 million members.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Important to keep in mind that most
2 of the members in this database are --
3 patients in this database are commercially
4 insured. It is a very geographically diverse
5 database. It's not coming from a single payer,
6 it's actually coming from multiple payers.

7 It's derived from customers we have
8 where we have shared tools with payers, payers
9 who have purchased certain products. And
10 sometimes as part of that contractual
11 agreement in a de-identified way they have
12 then contributed their data to this large
13 database that we can use for a variety of
14 benchmark purposes.

15 And so basically these are
16 administrative claims, including LOINC codes
17 which actually had been a very rich source of
18 making sure that particularly if a diagnostic
19 test is done, that we're not only looking for
20 a CPT code, but we're also potentially
21 including a LOINC code as another data source
22 option. That information is coming in through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 payers. Providers don't need to be submitting
2 anything, this is coming in through paid
3 claims is another way of saying it.

4 CO-CHAIR THIEMANN: Dr. Nau?

5 DR. NAU: Well, with regards to
6 evaluating importance of any of these measures
7 that we're considering, I think the challenge
8 is that it's context specific or really
9 relevant to what you're trying to accomplish.

10 And so I think if we're taking the
11 perspective of evaluating importance based on
12 the need to create a national public report on
13 the most important health care quality issues,
14 I might say this was fairly low importance
15 relative to some other issues. But if we're
16 looking at this from the perspective of some
17 focus quality improvement efforts for patients
18 with rheumatoid arthritis, I'd say it's
19 important to include this within that measure
20 set.

21 So, I think that's the challenge of
22 not really knowing the perspective to take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when evaluating the importance of some of
2 these. I tend to take the narrower
3 perspective there of saying that if we're
4 trying to do some quality improvement around
5 rheumatoid arthritis and safe medication use
6 in that population, then I think this would be
7 an important measure to include.

8 So, I think that's where different
9 people around the table might be taking
10 different perspectives. And so I think that's
11 where would it be useful to have a brief
12 discussion just about what perspective we
13 should be taking or if we should just have our
14 own perspectives on that?

15 CO-CHAIR THIEMANN: I think that's
16 a terrific point. At this point why don't we
17 hold that for a second and we'll engage in
18 that conversation I think in a moment. I'd
19 like to hear what the other three individuals
20 who have lifted their cards.

21 Dr. Turner, I think you were next,
22 and then we'll come back to Dr. Nau's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question.

2 DR. TURNER: Which I agree, I think
3 that's an excellent question that we need to
4 have a frank discussion around.

5 My question just relates to some of
6 the commentary that was provided by the
7 Technical Assistance Committee when they were
8 speaking relative to the three separate drugs
9 that the sponsor has offered with this
10 measure.

11 And I guess I would like just a
12 little bit more commentary in terms of the
13 relevance to this type of testing and the
14 frequency if it should be considered to be
15 comparable among the three drugs, or if there
16 might be some specific differences that could
17 suggest that the measure is more appropriate
18 for one or the other?

19 DR. SOLOMON: This is Dan Solomon.
20 I'd like to give you some feedback
21 on that. And Chris, you probably have some
22 information from the GI perspective.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You know, most of this information
2 comes from methotrexate because it's been in
3 use for rheumatoid arthritis for the longest
4 period, the leflunomide being about a ten year
5 old drug, and sulfasalazine not as widely used
6 and not as widely studied.

7 And so the methotrexate data is the
8 richest in the cases of toxicity and the
9 formulation of the monitoring frequency is
10 really based on methotrexate data.

11 Primarily there are some data
12 around sulfasalazine, leflunomide is much more
13 sparse and I think that people in the
14 rheumatology community have attempted to
15 simplify this for practitioners by making the
16 monitoring similar across drugs.

17 DR. TURNER: Yes, and if I could
18 just, with your permission, ask a follow-up
19 question. Not in the same context, but just
20 based upon the commentary provided by Ingenix
21 now and in earlier response to the question,
22 I'm wondering about your database. It sounds

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to me that it's quite a robust database. And
2 I'm wondering if one is looking at national
3 reporting of this measure across multiple
4 payers and multiple commercial plans if simple
5 administrative claims data is going to be
6 sufficient to capture this measure in totality
7 or if one is really going to require more
8 sophistication as probably is present within
9 Ingenix capabilities?

10 DR. SCHWEBKE: That's a great
11 question. I think that laboratory tests are
12 actually one of the data sources where
13 administrative claims does extremely well.

14 Now, you know we have done before,
15 not with this specific measure, but we have
16 done before a chart review process. Now if we
17 assume the chart, the paper chart is the gold
18 standard. We haven't done this with an EHR
19 system. Where we've compared the output from
20 looking at administrative claims to the chart
21 review abstraction process and found that
22 there were certain aspects of care where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 administrative claims not only matched the
2 chart review, but actually did better. And
3 this was actually alluded to by I think Dr.
4 Solomon where we found out with laboratory
5 tests because they are sometimes done within
6 the facility as well as sometimes done at a
7 reference facility. Laboratory tests actually
8 had a better capture rate than chart review.

9 And so I think that this is one of
10 the areas where we can feel confident that our
11 data collection is complete.

12 CO-CHAIR THIEMANN: Dr. Nagamine?

13 DR. NAGAMINE: Thank you. I have a
14 question for Dr. Solomon. I'm trying to get a
15 sense. I'm an internist, and I'm trying to
16 get a sense of out of all of all these people
17 who develop leukopenia or transaminitis, what
18 is the incidence of harm? Like, I see a lot
19 of patients who chronically have low white
20 cell counts or high LFTs, but how many of
21 these people die? Do we know the incidence of
22 -- morbidity/mortality rates on these?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOLOMON: I think that's a
2 great question, and it gets back to the
3 earlier comment about what's the broad public
4 health importance of these measures. As a
5 rheumatologist who sees lots of rheumatoid
6 arthritis and uses these drugs often, it seems
7 very important to me. But I think what your
8 question is asking for is what's the
9 prevalence of the real harm that's caused by
10 these drugs and you know, that secondarily
11 would monitoring in an enhanced way or making
12 it a quality measure really improve outcomes.

13 DR. NAGAMINE: Right. Right.

14 DR. SOLOMON: And I don't think --
15 we don't know the answer, you know in fact to
16 your question. I mean people do die of
17 hepatotoxicity. You know, there's cases
18 reported through MedWatch and there's cases
19 that aren't reported to MedWatch. But these
20 people do die and have significant harm from
21 these issues. It's rare.

22 And, you know Dr. Schwebke

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mentioned 66 percent screening. I think, you
2 know if we drill down this data my bet is that
3 66 percent of people do it within these
4 frequency intervals, but it's probably 80
5 percent that do it at some point. Let's say
6 let's make the frequency interval six months.

7 I think the proportion that comply is much
8 higher. And I don't know that for sure, but
9 I've looked at these sorts of data at our
10 institution and we have similar 60 to 70
11 percent are in this range. But if we loosen it
12 to within six months, it goes up to 80
13 percent. And, you know, we see -- we have
14 3,000 rheumatoids at Brigham and Women's
15 Hospital and it's been a long time since we've
16 seen a death from any of these because we do
17 reasonable monitoring and if people have
18 abnormalities, we change dosing. So you might
19 say well that's evidence of success of the
20 monitoring or you might say it's not such a
21 big issue. And I just don't know because we
22 haven't really done the appropriate studies to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 determine whether the lack of bad outcomes is
2 because of the monitoring frequency or whether
3 it's just because it's not so common.

4 DR. NAGAMINE: Thank you.

5 DR. SOLOMON: Did I answer your
6 question?

7 DR. NAGAMINE: Yes, it does.

8 And along those lines I had a
9 question for you as well in terms of the
10 interval. You know, when you initiate a drug
11 you monitor them frequently and then you taper
12 off after they've been on it for a while and
13 shown to be stable. So if someone has been on
14 these drugs for years and never had a bump in
15 their LFT or a bump in their white count, why
16 would you continue to do it Q3 months because
17 --

18 DR. SOLOMON: Because it's a
19 debated point, honestly. And there are data
20 that these are idiosyncratic reactions that
21 could happen anytime. And I could find you
22 case series of people that have these after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 many years of drug. And so I don't think we
2 can be absolutely certain that three years
3 without a problem means never a problem.

4 Having said that, it's probably the
5 case that the prevalence of problems does go
6 down over time.

7 DR. NAGAMINE: Okay. Thank you.

8 DR. SOLOMON: But it doesn't
9 probably go to zero.

10 DR. NAGAMINE: Okay.

11 CO-CHAIR THIEMANN: Steve Lawless?

12 DR. LAWLESS: I'm a little bit
13 confused, and maybe you can help me and the
14 two reviewers. Is the drug, is there anything
15 different from being on methotrexate on taking
16 the drug and having this recommendation being
17 versus on being on methotrexate and having
18 rheumatoid arthritis? So, are we selecting
19 out a population here because is it the drug
20 that you're monitoring, is the population and
21 the drug you're monitoring? So is there
22 something more prevalent that someone with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cancer on methotrexate is not being monitored
2 with the same recommendation?

3 DR. SOLOMON: I mean I can answer
4 for RA and Chris can answer for GI.

5 I mean, we've studied this dosage
6 of methotrexate most intensively in
7 rheumatoids or outpatient once weekly
8 methotrexate is used for certain indications,
9 RA being one of the primary indications. And
10 so we have a lot of data around that. And
11 there's support in the rheumatology community
12 around these monitoring -- doing monitoring,
13 and again as I said the exact frequency I
14 think we could probably find people on many
15 sides of the argument. But people believe
16 that it should be done at some frequency.

17 I think in the cancer, it doesn't
18 apply at all for cancer where the dosing is
19 tenfold and patients have a completely
20 different set of issues.

21 So, I think it's an interesting
22 question. I think that the measure pertains

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to a group of people who use the drug and who
2 we've studied. But I don't know how it would
3 apply to other groups.

4 I mean, with IBD and such, you know
5 there's obviously other liver issues and so
6 the toxicities may be may be more accentuated.
7 And the same thing goes for psoriatics who
8 take methotrexate because they have a higher
9 incidence of metabolic syndrome and stiated
10 hepatitis, et cetera.

11 So, I think that it's safe to stick
12 with a drug and an indication where we do have
13 some data, not perfect data, but I don't know
14 that it wouldn't apply to some of these other
15 conditions as well.

16 Chris?

17 CO-CHAIR THIEMANN: Dr. Solomon,
18 Dr. Kowdley is not present. So from a GI
19 perspective, we wouldn't be able to get their
20 perspective.

21 DR. SOLOMON: -- has commented on
22 this in our drug safety working group that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data are slightly different in the IBD
2 population with greater toxicities.

3 CO-CHAIR THIEMANN: Dr. Muething?

4 DR. MUETHING: Mine's a follow-up
5 question. I think I just really want to make
6 sure this is clear in my mind is that it
7 sounds like we have strong evidence that
8 there's variation and frequency of screening.

9 But I just want to make sure I understand
10 correctly. We don't have published evidence
11 that improved screening improves outcomes?

12 DR. SOLOMON: Boy, I'm not aware of
13 any evidence. I don't know that I've
14 systematically searched the literature for
15 that this year, but I probably did it about
16 two years ago, and I didn't see anything. And
17 I'm not aware that there's evidence that
18 outcomes are improved based on frequency.
19 Again, that's kind of an expert-based opinion
20 without any sort of prospective data.

21 DR. MUETHING: Right. Thank you.

22 CO-CHAIR THIEMANN: Dr. Schwebke,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the perspective of is there an exemplar
2 within the Ingenix database that Ingenix has
3 been able to demonstrate changes in patient
4 outcomes based on examination of its database?

5 I know on another measure during
6 maintenance you had altered one of the
7 measurement time periods and saw an increase
8 in compliance. Is there anything that Ingenix
9 has done to follow that trail to demonstrate
10 improved patient outcomes using its database?

11 DR. SCHWEBKE: We have not
12 specifically looked at this measure to see if
13 there's a difference between the population
14 who had monitoring and the population that did
15 not have monitoring. It would be a little bit
16 challenging because the patient population
17 that doesn't receive monitoring might be a
18 different population, and that might be
19 difficult to define and really identify with
20 clarity using claims data. But we have not
21 specifically looked at our data to address
22 that question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THIEMANN: I have an
2 additional follow-up question. In the
3 performance measure application opportunity
4 for improvement, in this measure and in other
5 measures you've indicated that through
6 endorsement of this performance measure would
7 improve medication compliance. Do you have
8 anything in your experience in working with
9 Ingenix that would actually demonstrate the
10 patients, although they have a filled
11 prescription, that they actually take the
12 medication realizing that labs do reflect
13 that, but that if there is an actual
14 comparison that you've done?

15 DR. SCHWEBKE: Actually, that
16 comment was not intended with that purpose in
17 mind. That comment was more along the lines
18 that if someone is having a problem, let's say
19 they're on methotrexate and they've now
20 developed an anemia with side effects, that
21 the nature of side effects is often a driver
22 to people not taking their medications are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prescribed. And that monitoring might
2 identify reversible side effects that could be
3 addressed through various means, like dose
4 reduction that could then improve medication
5 adherence. So that was kind of really the
6 intent behind that statement.

7 CO-CHAIR THIEMANN: Thank you.

8 Dr. Nau?

9 DR. NAU: Just to follow-up the
10 question you just asked. The answer is that
11 administrative claims data for prescription
12 fills are a pretty good proxy for actual use
13 of the medication by the patient, and studies
14 have borne that out. So I think that's where
15 the requirement in the measure that the
16 patient be actively on the medication I think
17 can be relatively accurately inferred from the
18 claims data.

19 CO-CHAIR THIEMANN: I don't see any
20 other cards flipped at this point.

21 I think it's the will of the
22 Committee to return back to Dr. Nau's original

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question, earlier question about what is our
2 focus and how we would interpret importance to
3 measure, whether it's on a broader perspective
4 or a more narrow perspective specific to the
5 individual population. So, I'd like to open
6 it up to that discussion there from the
7 Steering Committee.

8 DR. LAWLESS: This is Steve
9 Lawless.

10 Let me second that. I think that
11 is an excellent question. When I saw looking
12 at the various measures, I saw bundles. And
13 so one I saw bundles and disease -- and the
14 burden of reporting. And then I got to
15 thinking, does this open up a Pandora's box
16 that does NQF want to use these kind of
17 measures as a way for people to justify the
18 testing of the measures.

19 And I don't mean ill-intent, but if
20 a measure has a 66 percent compliance rate in
21 a group that's most wedded to this, I think
22 the intent is either a research focus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eventually for people or a way to try to
2 create sticks rather than coming from
3 curiosity about whether this works or not.

4 And so, I have to think from a
5 disease standpoint is are we worried about the
6 drugs, are we worried about the population, or
7 are we worried about a specific element that
8 is more of a research focus?

9 CO-CHAIR THIEMANN: Iona, I think
10 you were next.

11 MS. THRAEN: I support what has
12 just been said. Also, I had a couple of
13 struggles.

14 One, it struck me with several of
15 the Ingenix specifically that -- and I'm
16 getting a doctorate in medical informatics, so
17 I'm sort of speaking out of both sides of my
18 mouth when I say this and I apologize for
19 that. That just because we can doesn't mean
20 we should. And in some of these instances
21 some of these indicators I didn't see the
22 clinical evidence to drive the need. I saw

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the technical infrastructure that could make
2 it happen, which is great, but I felt like
3 there needed to be stronger clinical evidence
4 that that should be the focus and the
5 infrastructure secondary to that clinical
6 rationale in terms of accepting or endorsing
7 or not endorsing. And so that was my
8 struggle.

9 So, I got really excited about the
10 fact that Ingenix could do all this work. But
11 then when I read further on the technical
12 comments, which is why I raised the question
13 of AGA versus ACR, you know are the clinical
14 societies really supporting this as a need and
15 either an opportunity for improvement. And
16 then I sit in government so I always think
17 anything that gets approved here or gets
18 endorsed here at this level, Medicare,
19 Medicaid and Public Health is going to adopt.

20 And so I'm thinking the accountability of
21 this side question. And as I was going
22 through that I also felt, and I'm not a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinician, but I felt invasion of privacy in
2 the sense that at the level of detail of
3 monitoring some of these practices, I really
4 saw an invasion into the patient and clinician
5 relationship. I mean, almost down to the
6 point of -- and I know I'm speaking out loud
7 here, I probably shouldn't be doing that. But
8 this notion that there was an invasion in the
9 practice relationship; now maybe that's what
10 we should be doing theoretically is monitoring
11 that practice relationship. But my mother who
12 had rheumatoid arthritis who was on these
13 drugs for many years, when she was first put
14 them was advised that there was risks
15 associated with them. And then, and I know
16 this is idiosyncratic to me, but you know she
17 had that knowledge and they worked out the
18 monitoring relationship based on her
19 experiences.

20 And so, I really struggled with
21 this notion of safety versus quality
22 improvement versus public accountability. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I didn't see that it qualified as a safety
2 issue in many of the cases, of the individual
3 cases, it was more quality improvement. And
4 then public accountability then is sort of
5 waiting to see what everybody else is going to
6 recommend, and then we're going to adopt them
7 and put them out there for public review.

8 So, I struggled with this whole set
9 in general.

10 CO-CHAIR THIEMANN: Mr. Levine?

11 MR. LEVINE: If I recall correctly,
12 overuse is a national partnership priority. I
13 don't know the costs of these tests, but
14 certainly if we consider within the context of
15 an overuse paradigm, certainly the frequency
16 becomes an issue. I just want to mention that.

17 Maybe that's in line with public
18 accountability.

19 CO-CHAIR THIEMANN: Dr. Nau?

20 DR. NAU: Well, and maybe I can
21 direct my question to Dr. Angood or others on
22 the NQF staff to speak to this issue relative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the other projects that you've done. Can
2 you tell us if you've given more specific
3 directive to other groups in terms of what
4 perspective to take, or is there a sort of
5 precedence here of what perspective we should
6 be taking when considering importance of
7 these?

8 DR. ANGOOD: Well, this is a topic
9 almost bordering on ethical discussion type of
10 thing. And I don't think we'll come to an
11 answer today. I'll ask Heidi to make some
12 comment as well.

13 But as NQF as evolved, it is
14 looking for how to refine its approaches and
15 continue to get toward quote, best in class
16 measures that are out there. However, within
17 the NQF staff we don't have the depth of
18 expertise for every measure to be able to
19 provide the scientific expertise on whether or
20 not that's the right type of measure, et
21 cetera. So, that's why we utilize Steering
22 Committees and TAPs to provide us that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientific expertise.

2 Now as an organization do we focus
3 on the disease, do we focus on the patient, do
4 we focus on the broader public health
5 components? Well, it's kind of all of the
6 above, isn't it? And it's difficult,
7 therefore, to make these judgments. So that's
8 why the guiding principles of the criteria for
9 accepting a measure are there. To try and
10 keep you focused in on the merits of that
11 particular measure most specifically for who
12 is going to be utilizing it most frequently
13 and does it meet those criteria.

14 If we stepped back and started
15 doing public health, and is this the right
16 thing and get into all those others, it gets
17 really kind of muddy and murky. So I would
18 encourage you to just stay focus as best
19 possible on those criteria.

20 But, Heidi, do you want to add some
21 other comments?

22 MS. BOSSLEY: Sometimes it helps

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just to do --

2 DR. SCHWEBKE: If I could just
3 answer, there was also a question about
4 precedence. And there actually is a precedent
5 specifically for some RA medication monitoring
6 measures just endorsed earlier this year as
7 part of the Enriched Administrative Claims
8 Project. There were two or three measures
9 specifically in the RA population,
10 specifically for people on specific RA
11 medications looking for monitoring of various
12 lab parameters including transaminitis. The
13 difference is that those measures were focused
14 on individuals who are just starting these
15 medications. And our measures are focused on
16 people who are chronically taking these
17 medications.

18 So, people are looking for
19 precedents as they kind of struggle with this
20 difficult issue are there precedents there.

21 MS. BOSSLEY: So I would just add
22 sometimes I find you hit a point where you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just not sure where you are. And I think we
2 just need to do probably a poll, and maybe do
3 the subcriteria under importance, because I
4 think that's what you're struggling with. And
5 let's see if you think it conditionally,
6 partially, minimally meets it. And then I
7 think just do a vote on whether you think it
8 passes importance.

9 To me it always comes down to, does
10 this measure inform consumers? Because that's
11 ultimately what we're looking for. And does
12 it meet the criteria in importance. And that
13 I think is your immediate question that you
14 all need to probably just vote on, and let's
15 see where you are and go from there.

16 CO-CHAIR THIEMANN: Dr. Nagamine?

17 DR. NAGAMINE: I just wanted to
18 give one other perspective on the context
19 question.

20 If our objective is to inform
21 consumers, you know it's sort of a numbers
22 game and sort of an epidemiologic, or you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could take that approach as well.

2 On the one hand you could say if
3 rheumatologists wanted to do better, certainly
4 this would provide some guidelines to do
5 better. And if you take consumers in general,
6 what we're looking at is about 2.1 million I
7 think have RA, if that's correct. And so, you
8 know that gives me some context. But the
9 impact and the safety question; high volume,
10 high risk are other things that I think about
11 in a safety measure. And there's some volume,
12 but I'm not sure what the risks to not doing a
13 CBC and an LFT Q3 months.

14 DR. ANGOOD: A useful basic model
15 that I often fall back on is just that; the
16 risk severe and the volume of that severity.
17 So is it three people but high risk, or is it
18 10 million people but low risk? And you sort
19 of construct that in your own mind as to
20 what's the meaningfulness. And you know, you
21 may not be a rheumatologist, but you can sort
22 of get some sense for any of these, and other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measures. You know, the severity of risk and
2 the volume that it impacts.

3 CO-CHAIR THIEMANN: Dr. Conway?

4 CO-CHAIR CONWAY: Yes. I had a
5 similar but a little bit different reaction in
6 going through all these this weekend. And it
7 just struck me that the real opportunity is
8 have an integrated approach to the monitoring
9 of immune modulating drugs in inflammatory
10 disease. And what we've been served up
11 because of the methodology here is this kind
12 of fragmented collection of proposals. And I
13 was frustrated because it would be great to
14 turn all of this over to some pharmaceutical
15 or think tank organization to put this
16 together in a more logical way. And what
17 disturbed me was we've got a bundling of drugs
18 that are completely different medications.
19 And instead of timing intervals that sometimes
20 don't make sense and there's different
21 specialty societies in disagreement, and the
22 whole area it looks fertile. I think this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an area that we could probably reduce
2 variation and standardize our approach, I'd
3 say as a profession and a nation. But it
4 requires a different approach to this than the
5 way we've been served up all these things.

6 It looks to me like this just isn't
7 really for prime time, and that crosses about
8 six of these categories.

9 CO-CHAIR THIEMANN: Dr. Lawless, I
10 see your name tag going up.

11 DR. LAWLESS: I'm going to have to
12 ask NQF because you made a distinction about
13 this. These are entitled Patient Safety
14 Measures. But then you imply population. And
15 it means a lot different from people as a
16 priority and everything else. Are we
17 evaluating these as a population safety trend
18 or a patient safety measure from your
19 perspective?

20 DR. ANGOOD: Well, again, I think
21 that's quite honestly difficult to answer.
22 We're hearing so far in the discussion some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sort of pros and cons to these measures, not
2 just the one we've talked about but the
3 clustering. And our primary discussion and
4 points internally has been to put them in the
5 patient safety cluster. Will they make safer
6 care for those patients with these diseases?

7 MS. BOSSLEY: I would just add
8 though, and Kay maybe you can remind me
9 because I don't have the measure up
10 specifically. These are intended to be
11 reported out, though, at the individual
12 clinician level and then roll up, but not
13 specifically at the population level. So, I
14 think the focus starts very narrow on
15 individual practitioners. Does that make --

16 DR. LAWLESS: Well, no. Because
17 you just said it rolls into physician-specific
18 on the reporting, and that's not what I heard
19 before. So, how would this link back to the
20 physician?

21 MS. BOSSLEY: So, this measure as
22 it stands right now, and Kay, correct me if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm wrong because I may be wrong. But all of
2 the previous measures that Ingenix had put
3 forward are intended to be reported out at the
4 individual clinician level. It can then be
5 rolled up into group practice and everything
6 else. So they are intended, it's more I would
7 say a patient safety focus as opposed to
8 reporting out at the population level. Does
9 that makes sense?

10 DR. SCHWEBKE: Most of that is
11 true. The unit of analysis is the patient.
12 And we do have many customers who use this
13 measure as part of care management disease
14 management where they're directly interacting
15 with patients and making sure that they're
16 connected with care. But then we have about
17 40 percent of our customer base is using them
18 to look at a quality performance either
19 linking to providers, to clinics, to regions
20 to see if there's areas where there's
21 variation, to see if there's areas where
22 perhaps they need to address certain quality

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues.

2 So just reporting out at the level
3 of what's happening to the member and then
4 depending on kind of how the customer needs to
5 use that information, be it interacting with
6 patients, giving information to patients or
7 trying to measure performance at the level of
8 the provider or rolling it up, as you
9 mentioned; all that flexibility is there.

10 CO-CHAIR THIEMANN: And Dr.
11 Schwebke, this is just as a follow-up to the
12 public reporting component. In the
13 application it was my understanding that
14 Ingenix does not have any information
15 associated with the use of this measure or
16 some of the other ones in public reporting
17 initiatives. So my question is what is your
18 perspective about the true applicability of
19 this measure for public reporting since that
20 is one of the elements?

21 DR. SCHWEBKE: Well, my sense is
22 that you're right, we have customers using our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tool. We don't exactly know which measures
2 they're using or how they're using it. We
3 actually are in the process now of trying to
4 gather that information so we can submit that
5 in the future.

6 But my sense from talking to
7 various customers is they are finding this
8 useful to give to share information back to
9 providers so that providers can see how
10 they're performing compared to others.

11 And I also do know that they are
12 sometimes used to try to identify the quality
13 of care that providers may be providing.

14 CO-CHAIR THIEMANN: And I think Dr.
15 Kennerly was next.

16 DR. KENNERLY: I wanted to see if
17 we could maybe integrate some of what Dr.
18 Conway and Dr. Muething have both articulated
19 in terms of the notion that if what we are
20 really asked to do here is to be creating ways
21 to judge the sufficiency of practice, I guess
22 the question then is do we have evidence that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if a physician fails to follow this pattern,
2 that they are not meeting standard of care?
3 And I think really what we've heard is maybe,
4 but I don't know that we have a sense of what
5 risk if we establish this as a standard of
6 care, that we really would have the sense that
7 someone is practicing out of the bounds of
8 sensible medicine. Because of the lack of
9 testing I think and of looking at outcomes of
10 those who have failed to have that level of
11 follow-up at this point.

12 So, I think it's a fairly harsh
13 criticism, if you will, to be able to -- you
14 know, I mean again from the quality
15 improvement perspective maybe, but I guess I
16 just feel like this as a group of them I think
17 are not as persuasive with regard to making
18 individual judgments about a physician. And
19 that certain of their patients may fall out
20 for a variety of reasons. And I think I just
21 have some concern that we're in a sense,
22 permitting judgment about something that has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relatively modest evidence of benefit to the
2 patient population that we're focusing on.

3 CO-CHAIR THIEMANN: Forgive me,
4 because I don't know whose card went up next.
5 But I'm going to go Dr. Nagamine, please.

6 DR. NAGAMINE: Along those lines I
7 was going to circle back to Iona's comments
8 earlier. So if her mother and her
9 rheumatologist agreed that she didn't want to
10 drive in for Q3 months CBCs, would her doctor
11 be dinged for not doing them, and could her
12 doctor be dinged by the insurer saying you
13 don't meet our standards. You're not
14 practicing within the recommended guidelines,
15 and so therefore you're not part of our group.
16 And could she lose her doctor that way?

17 So, I think that's the downside. I
18 mean, I'm not saying that we should not
19 diligently monitor patients. My sister-in-law
20 has severe RA. But I think clinical practice
21 guidelines and national standards are a little
22 different because of that piece of it. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they do potentially set you up for that
2 downside.

3 CO-CHAIR THIEMANN: Mr. Bunting?

4 DR. SOLOMON: I'd like to comment
5 here. You know, when I head of the Quality
6 Care Committee at the DCR we had these same
7 conversations about two or three years ago.
8 And a bunch of rheumatologists decided that
9 these quality measures were worth putting in
10 place, but we worried about all of the same
11 issues about is it affecting enough patients,
12 is it dinging doctors, is it dinging patients,
13 is it unfair that we said -- you know we got
14 to set a bar and it's a middle bar in our
15 minds for how to treat RA. I mean, it doesn't
16 tell you if they're getting good RA care, it
17 just tells you something that you can measure.

18 But I'll stop there.

19 CO-CHAIR THIEMANN: Dr. Solomon,
20 just as a follow-up, since ACR reached an
21 expert opinion consensus on these guidelines
22 are you aware of any pilot testing that may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 done within various rheumatologist practices
2 associated with these guidelines so that there
3 might be in some future time some data which
4 demonstrates that monitoring on a certain
5 prescribed timeline with these medications
6 improves patient outcomes?

7 DR. SOLOMON: I haven't been head
8 of the Quality Care for the last year, so it
9 may be that that's happening. I know there
10 were discussions about having a research
11 agenda to move our process measures to valid
12 outcomes measures. So, it may be that's
13 happening, I just don't know right off.

14 CO-CHAIR THIEMANN: Thank you.

15 Mr. Bunting?

16 MR. BUNTING: My comment is not
17 just about this measure, it applies to all.
18 But since we're starting with this one, I
19 think what I'm hearing is what I wrestled with
20 over the last couple of days when I completed
21 the survey. And that is if you're strictly
22 interpreting the NQF rules, or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulations, the guidelines, the definitions
2 then we try to evaluate whether something is
3 completely met, partially met, minimally met,
4 and we assigned it to those buckets. But then
5 you're asked, you know do you recommend this
6 measure.

7 I followed strictly those things.
8 If it met, or partially met or minimally met I
9 recommended it. But I think what you're
10 hearing now and what is evident based on my
11 analysis of the Excel database that we have a
12 privilege of seeing today, is you have a large
13 number of people who are saying it met the
14 criteria, but then they're voting no. And I
15 think that's what I wrestled with over the
16 last couple of days is that it meets, but I'm
17 not enthusiastic about it.

18 I can understand the benefit, not
19 just on this measure but some of the other
20 measures, but if I were a physician or in
21 charge of an office practice, how much time
22 would I invest in this, would this be the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thing that I want my organization to pursue?
2 For a lot of these measures the answer would
3 be no. I think it meets the criteria. I
4 think it's measurable. I think it has value.
5 But then the question is how much value does
6 it have and how many resources am I going to
7 allow for this type of measure.

8 CO-CHAIR THIEMANN: Mr. Levine?

9 MR. LEVINE: Yes. I was curious
10 whether there's any data in terms of other
11 countries? Whether there's any kind of
12 standard in terms of practices? I mean I
13 don't know how international the rheumatology
14 community is, but I'm just kind of curious.

15 CO-CHAIR THIEMANN: Dr. Solomon,
16 would you have any comments on that?

17 DR. SOLOMON: I'm just thinking of
18 studies that I've seen about monitoring. And
19 honestly, the vast majority come from large
20 U.S.-based cohorts. I don't think the rest of
21 the world is so wrapped up in this. But a lot
22 of the data comes from administrative claims

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data sets that we can get our hands on in the
2 U.S. and some other cohorts.

3 And I'm just thinking right off if
4 I can recall large cohorts of non-U.S. I
5 can't think of any right off. But that's not
6 a systematic review of literature, that's just
7 what one person can remember.

8 CO-CHAIR THIEMANN: Dr. Nau?

9 DR. NAU: Well, I think we're
10 having a really good discussion on this, and I
11 think it's worthwhile. Because I think this
12 really gets to the fundamental issue of what
13 this whole Committee is trying to accomplish
14 and what NQF endorsement means.

15 And I think that Heidi brought up
16 the issue of consideration of consumer
17 reporting. And I would say that if we use
18 that criterion, then all of these measures are
19 dead in the water, as are most of the already
20 endorsed NQF measures because none of them are
21 really perfectly suitable for direct public
22 reporting that could be interpreted and used

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 directly by a consumer.

2 So, I think the issue here is
3 trying to find the right balance point of
4 what's going to be useful for improvement and
5 could some of these, perhaps, be rolled into
6 some overall assessment that maybe could be
7 helpful to evaluating overall safety of care
8 for patients with the relevant disease. And
9 so I think that's where it's tricky to find
10 the right balance point of how much is enough.

11 And then the importance issue, part and
12 feasibility issues largely become contact
13 specific. You know, some things may be very
14 easy for one organization to use, it may be
15 difficult for others, it may be useful for
16 rolling up at a physician level but some may
17 not.

18 So, I think that it's tricky. And
19 I think what Heidi was trying to suggest
20 earlier is maybe we just move forward
21 acknowledging we've got these different
22 perspectives and potential different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 utilization here. And so I think probably we
2 should move on now that we've kind of got a
3 sense of the different perspectives people
4 take. But, you know I think each of us is
5 going to have our own impression of -- you
6 know, and how we vote based upon the context
7 from which we come from and the world in which
8 each of us functions.

9 But I think it was a good
10 discussion. And it's been helpful for me to
11 kind of appreciate the different perspectives
12 of the different Committee members.

13 CO-CHAIR THIEMANN: And on that
14 point, I was going to circle back around to
15 Heidi's recommendation and start to look at
16 the various subcriterion, and work through
17 that. Although the TAP has already previously
18 weighed in on those areas, the Steering
19 Committee members were also asked to evaluate
20 all these. So I think we go through. Let's
21 work with 1a Demonstrated High Impact Aspect
22 of Healthcare associated with this performance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measure. And see if we want to do a straw as
2 to where people fall out on this at this
3 point.

4 I actually would like to wait for
5 Dr. Conway to come back.

6 MS. THRAEN: Could you just review
7 the number system for the voting again? I
8 didn't take that down. I'm sorry.

9 CO-CHAIR THIEMANN: Actually, for
10 the individuals I don't believe we're going to
11 do the keypad for the individuals. So
12 criterion we're only going to use the keypad
13 for the actual endorse, not endorse or endorse
14 with modifications or abstaining. So when we
15 actually work through all of the four
16 criteria, we'll go ahead and then take a vote
17 for whether or not the Steering Committee
18 makes a recommendation for endorsement. But
19 we'll just do hands poll for the individual
20 items.

21 So, for section 1a Demonstrated
22 High Impact Aspect of Healthcare for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 importance to measure and report. I'm going
2 to jump in since we've had such good
3 discussion associated with importance to see
4 if we have any individuals supporting that the
5 performance measure completely met this
6 subcriterion.

7 I see a puzzled look.

8 DR. NAU: Well, are you asking
9 whether we think overall it met that category
10 or whether we're rating it as completely,
11 partially, minimally?

12 CO-CHAIR THIEMANN: I was actually
13 doing each sub. I'm happy to do the overall
14 if people feel that we're ready to do the
15 overall importance. But in some ways I
16 thought that there was some need still to
17 actually interpret the high impact possibly
18 and how the individuals on the Steering
19 Committee may interpret that definition, and
20 how NQF defines high impact. So that's why I
21 was gravitating towards the sub first and
22 moving through each of those. And then we'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do a collective as to whether the Steering
2 Committee feels that the measure developer has
3 demonstrated importance in the overall
4 category. Okay? Okay.

5 So, is there any needed additional
6 discussion on this one 1a subcriterion, the
7 summary of evidence of high impact for this
8 performance measure within healthcare, or does
9 the group feel that we could move on to going
10 ahead and raising hands on whether or not the
11 performance measure completely, partially,
12 minimally or not at all met that subcriterion?

13 Okay to take a poll? Okay.

14 Any individuals who for the
15 Steering Committee who feel that the
16 performance measure completely met and
17 demonstrated that there's a high impact aspect
18 of healthcare for this performance measure?
19 I'm not hearing any or seeing any.

20 Does the group feel that the
21 performance measure partially met? I'm seeing
22 one, two, three, four, five, six.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOLOMON: And I'm raising my
2 hand.

3 CO-CHAIR THIEMANN: Seven. Great,
4 I was going to ask Dr. Solomon since I can't
5 put a visual on you.

6 And minimally? One, two, three,
7 four, five, six, seven, eight, nine.

8 And not at all? Not seeing or
9 hearing anyone.

10 Moving on to Opportunity for
11 Improvement. How does the group feel? That
12 the performance measure completely met the
13 burden to demonstrate opportunity for
14 improvement? Seeing none, no hands and not
15 hearing Dr. Solomon, that's a zero.

16 Partially met? One, two, three,
17 four, five, six, seven.

18 DR. SOLOMON: And me.

19 CO-CHAIR THIEMANN: And Dr.
20 Solomon. Great. Terrific. I was pausing to
21 see.

22 Minimally? One, two, three, four,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 five, six, seven, I believe. And I think
2 that's a total for present. Not at all? One.
3 Sorry. Dr. Lawless.

4 The Outcome of Evidence to Support
5 Measure Focus, 1c. Completely met? I'm
6 seeing zero and not hearing Dr. Solomon, so
7 zero.

8 Partially met? For Outcome of
9 Evidence to Support Measure Focus partially
10 met, anyone?

11 Minimally met?

12 DR. SOLOMON: I'm saying minimal.

13 CO-CHAIR THIEMANN: Minimal? Okay.

14 One, two, three, four, five, six, seven,
15 eight, nine, ten, eleven, twelve, thirteen,
16 fourteen, I believe.

17 And not at all? Two? Okay. Thank
18 you.

19 Elisa keep me on track for totals.

20 And then so now we are evaluating
21 whether overall the Steering Committee feels
22 that this measure is important to -- has met

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the burden for threshold to proceed on with
2 the evaluation. Has the threshold criterion
3 been met by the Steering Committee? If you're
4 answering yes in support, please raise your
5 hand. I have two hands.

6 And if you're answering no, please
7 raise your hand. One, two, three, four, five,
8 six, seven, eight, nine, ten, eleven, twelve.

9 And Dr. Solomon?

10 DR. SOLOMON: I would say yes.

11 CO-CHAIR THIEMANN: You would say
12 yes. Okay. So I think that increased to
13 three with yes.

14 And any abstaining? One. Thank
15 you. I didn't see that as an option, so I
16 didn't ask it. Okay.

17 So, given that the majority -- is
18 it the consensus of the Steering Committee
19 then that this performance measure PSM-017-10
20 did not meet the burden to pass the threshold
21 for importance to report; to measure and
22 report? Sorry. I believe that is the take on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 numbers. Okay. Great.

2 MS. BOSSLEY: So just so you all
3 know what has occurred and if you're on the
4 phone. This measure now will not move
5 forward. You won't vote on any of the other
6 criteria, and your recommendation is to not to
7 recommend for endorsement.

8 CO-CHAIR CONWAY: If we can move on
9 to PSM-018 titled Patients with rheumatoid
10 arthritis taking methotrexate or sulfasalazine
11 that had a serum creatinine in the last 6
12 months.

13 Lisa is the primary reviewer for
14 this.

15 CO-CHAIR THIEMANN: Thanks, Dr.
16 Conway.

17 The performance measure PSM-018-10
18 titled "Patient with Rheumatoid Arthritis
19 Taking Methotrexate or Sulfasalazine Had a
20 Serum Creatinine in the Last 6 Months
21 Reported". This measure has a lot of the same
22 similar characteristics to the measure that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just spent an extensive amount of time
2 discussing. And so I'm not sure that it's
3 really necessary to give a full report on this
4 one, such as Dr. Solomon did.

5 The Technical Advisory Panel did
6 indicate that there was minimal evidence for
7 importance. They did describe the Ingenix
8 reliability testing internal to its own
9 database, which were consistent with my
10 evaluations of that.

11 And then talked about the use of
12 the expert consensus guidelines and so forth.

13 So, I'm going to be very brief on
14 that given the past discussion that we just
15 had, unless anyone of the Steering Committee
16 has specific questions regarding the
17 performance measure from my presentation.
18 Otherwise, I think we should open it up to
19 questions to the performance measure
20 developer, if any.

21 CO-CHAIR CONWAY: Or do the
22 secondary reviewers have something to say, Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Solomon or Kennerly?

2 DR. SOLOMON: No, I have nothing.

3 CO-CHAIR CONWAY: Okay. How about
4 the measure proposer? Are they still on the
5 phone?

6 Should we move on to then voting on
7 the importance of the measure to report, we'll
8 do it by the three sections?

9 Excuse me, go ahead.

10 DR. NAGAMINE: I have one question.
11 I'm sorry.

12 CO-CHAIR CONWAY: Okay.

13 DR. NAGAMINE: I have a question
14 for Dr. Solomon about the incidents of renal
15 failure on these drugs. From what little I
16 know about these drugs, creatinine is less of
17 an issue than LFTs, is that why the interval
18 is six months?

19 DR. SOLOMON: The renal failure is
20 very uncommon. I think it's really more the
21 fact that if the creatinine clearance is
22 changing, that the dosing should be reduced.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NAGAMINE: Got it.

2 DR. SOLOMON: And that the value is
3 that every six months because that's unlikely
4 to change rapidly --

5 DR. NAGAMINE: Okay.

6 DR. SOLOMON: -- unless there's
7 some other illness.

8 DR. NAGAMINE: Okay. Thank you.

9 CO-CHAIR CONWAY: Are there any
10 other questions?

11 Okay, let's take a -- oh, sorry.

12 DR. MUETHING: I apologize. This
13 is another clarifying question following
14 yours, and thank you for asking about that.
15 Because I don't know about the incidents of
16 problems with this with these drugs. So just
17 to be clear, so is it if I'm the physician or
18 the provider caring for a patient and
19 prescribing these three drugs, if I do not
20 know the creatinine clearance am I potentially
21 causing trouble for this patient in my
22 prescribing habits?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOLOMON: Yes.

2 DR. MUETHING: And then the six
3 month issue is that was drawn because some
4 reasonable belief that it can change over six
5 months and that time period is a reasonable
6 time period that I should be aware of the most
7 recent creatinine clearance?

8 DR. SOLOMON: Yes.

9 DR. MUETHING: This feels different
10 than the last one, in that it feels like I
11 should know this if I'm going to be
12 prescribing these three drugs.

13 CO-CHAIR CONWAY: Other questions?

14 DR. NAU: Sure. And I guess the
15 issue with safety here is perhaps twofold for
16 monitoring the creatinine. One is, does the
17 methotrexate create renal impairment, and also
18 does a change in creatinine function then
19 effect the clearance of the drug and thus
20 create other toxicities as a result of the
21 renal impairment. So, I think there's
22 potentially twofold reasons for the monitoring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the creatinine. I guess then we could
2 debate over the frequency and whether that's
3 the right frequency as in this measure. But I
4 think there are multiple reasons that
5 creatinine monitoring would make sense. It's
6 just a matter of how important it is within
7 the overall evaluation of care.

8 DR. LAWLESS: Since the measure is
9 over age 2 -- is the population -- creatinine
10 in most children is not a sensitive measure of
11 the renal function. And the change in
12 creatinine takes a long -- the renal function
13 can decrease can significantly before the
14 creatinine even changes. And I worry about
15 creatinine as an indicator in someone who has
16 got a chronic disease and also has a low
17 muscle mass because the creatinine is also not
18 a good indicator of renal function.

19 So, I think it's well intended, but
20 it's not sensitive enough to pick up what
21 they're intending to do.

22 CO-CHAIR CONWAY: Any other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions?

2 DR. SCHWEBKE: Well, I certainly
3 appreciate that comment. And I think that
4 we've all begun to appreciate the limitations
5 of the serum creatinine. But I think we need
6 to keep in mind that KDOQI, who also
7 recognizes the limitations of the serum
8 creatinine also recognizes the need of
9 monitoring the serum creatinine to calculate
10 the GFR. And so all of the GFR is absolutely
11 a better indicator of renal clearance. You
12 still need that serum creatinine to calculate
13 that value.

14 DR. LAWLESS: But you also need a
15 urine creatinine, too. But I'm just saying
16 that that is a measure in itself, the
17 creatinine, just as a sensitive measure for
18 that is not what is really considered a
19 particularly good gold standard for a lot of
20 the population you're dealing with.

21 It's something, I admit that. But
22 it's not --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SCHWEBKE: You got the urine
2 creatinine to calculate the GFR. And actually
3 KDOQI has been really clear about that. That
4 it is absolutely appropriate to take a serum
5 creatinine and to use that information along
6 with the age of the patient, the gender et
7 cetera to calculate the GFR without the need
8 for a urine creatinine.

9 DR. LAWLESS: I'm sorry. I feel
10 like a Tea Partier, and I apologize.

11 I also have a nephrology
12 background.

13 If someone's urinary creatinine is
14 not of a certain level, the creatinine
15 clearance is not a good calculation. So I
16 just -- you need it as a verification,
17 especially in someone with a chronic disease.

18 So, I'm sorry, I'll get off my
19 horse here for a second.

20 CO-CHAIR CONWAY: Other questions?
21 Okay. Shall we get a straw vote of where the
22 Committee stands.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 First looking at the impact of this
2 measure, how many feel the criteria were
3 completely met? Okay.

4 How many feel that they were
5 partially met? Okay. Three -- six, seven.

6 Minimally met?

7 And not at all met?

8 Dr. Solomon, how about you?

9 DR. SOLOMON: Partially.

10 CO-CHAIR CONWAY: Partially. Okay,
11 we'll add that.

12 In looking at whether there's a gap
13 that's been demonstrated in the measure that
14 was submitted, how many feel that that
15 evidence was completely met? Okay. None.

16 Partially met? Three.

17 Minimally met? One, two, three,
18 four, five, six, seven, eight, nine, ten,
19 eleven, twelve.

20 Dr. Solomon?

21 DR. SOLOMON: Partial.

22 CO-CHAIR CONWAY: Partial. Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Is that everybody? Very good.

2 And was a relationship to outcomes
3 demonstrated in the measure that was
4 submitted? How many felt that that was
5 completely met? None.

6 Partially met? None.

7 Minimally met? One, two, three,
8 four, five, six, seven, eight, nine, ten,
9 eleven, twelve.

10 And not at all? There were three.

11 And Dr. Solomon?

12 DR. SOLOMON: Minimal.

13 CO-CHAIR CONWAY: Minimal. Okay.

14 Now on the overall status of this
15 measure, whether this is important to measure
16 and report. This will be a yes or no vote.
17 How many would vote yes on that? Okay. And
18 how many would be no?

19 Dr. Solomon?

20 DR. SOLOMON: I would say yes.

21 CO-CHAIR CONWAY: Okay. We have,
22 it looks like 12. What's the total here?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Looks like 12 noes and one yes, and a couple
2 abstaining.

3 CO-CHAIR THIEMANN: Yes, two.

4 CO-CHAIR CONWAY: Okay. All right.

5 It looks like that does not meet the criteria
6 of importance to measure and report. Any
7 disagreement with that among the committee
8 members? Okay.

9 Very good. We'll move onto the next
10 measure and pass back to Lisa.

11 CO-CHAIR THIEMANN: Okay. I did
12 just want to say one additional comment based
13 on comments around the table for the past two
14 measures. Clearly there's some desire to
15 reach to somehow measure this population. But
16 that at this point in time I got the sense
17 that the Steering Committee just didn't feel
18 that these measures in the way that they were
19 specified were going to get at what maybe was
20 the original intent of the performance measure
21 developers. And so from that perspective, I
22 think that it's important to acknowledge that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That there's still that desire to really look
2 at this population and demonstrate methods for
3 quality improvement.

4 So, the issue still does need to be
5 looked at, and I think we would encourage
6 Ingenix and the performance measures and the
7 specialty societies to try and maybe come
8 together in continuing to foster that issue.

9 MS. BOSSLEY: And I would add that
10 when we write this report we won't just say
11 you didn't recommend it. We actually do
12 provide some information. So part of this
13 will be, you know, and we'll look to you to
14 help us draft exactly where you think these
15 measures should go. Like what would you like
16 to see the next time.

17 CO-CHAIR THIEMANN: And I think at
18 the end of the day that's possibly some of the
19 discussion that we'll have in wrap up/closeup
20 of the day's activities.

21 So moving on to PSM-019-10 and
22 primary discussion leader Dr. Lawless and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 secondary discussion leader Dr. Solomon.

2 Dr. Lawless?

3 DR. LAWLESS: Yes. I'm really
4 adding on to what we've been talking about. I
5 just had a couple of extra comments. When I
6 did the primary review that I thought in terms
7 of this measure, and probably it is very
8 applicable, also the other measures, the
9 reporting burden I was struck by. It's very
10 informatics database driven in terms of the
11 coding of which patients, which exclusions.
12 And so I thought the reporting burden,
13 particularly with that line, was a little bit
14 high. So that if someone wanted, who was not
15 part of the registry, wanted to look at the
16 applicability to their patients because, you
17 know not available on reporting or data not
18 available is a lot of times an indicator for
19 people in public reporting that that person
20 has something to hide. And I'm worried about
21 the persons who said I just can't get this
22 data, mu patients aren't part of a registry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So that was there.

2 The 66 -- and it was addressed
3 actually in the measure nicely, the 66 percent
4 compliance rate. But again, no evidence of
5 the outcome with it. Do those patients make
6 any difference or not, did they follow them.

7 I also looked a little bit at --
8 and I didn't know how to work with it or not
9 in terms of the importance or not, that it
10 excluded patients who weren't on continuous
11 benefits. I thought in an underlying way it
12 was going to be an over-reporting, maybe
13 people can't afford it. And so it implied
14 already about the over use that someone else
15 had brought up. That there was a cost
16 associated with this, and who was going to
17 take the burden of cost with this. And so
18 those are the concerns I had there.

19 Again, and most of the other
20 comments were very similar -- most of my other
21 feelings were similar to the other measures in
22 terms of what we've already discussed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SCHWEBKE: Let me jump in here
2 and just address two of the concerns you
3 raised because I think it's probably actual a
4 clear understanding of how administrative
5 claims work.

6 Now the first is excluding people
7 of underlying benefits is critical because
8 otherwise we have a problem called data
9 incompleteness. And so what I mean by that is
10 let's say that a member only had benefits the
11 last two months of the reporting period. And
12 if you're looking for that intervention and
13 it's not there, it may not be there because
14 the test wasn't done or the intervention
15 wasn't completed, or it's also possible it was
16 done but it's not captured because that
17 individual didn't have benefits.

18 So, you know, the whole purpose o
19 making sure that you have people in your
20 measurement period with benefits is critical
21 because you're counting on administrative
22 claims coming through that will only come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through if that members has benefits or
2 enrollment. And if you don't exclude those
3 individuals, you're going to basically have
4 misclassification, identifying people that
5 have incomplete data.

6 The second thing is the burden of
7 reporting is actually extremely low of
8 administrative claims. That's actually
9 probably one of the clear benefits of measures
10 that use administrative claims is nobody needs
11 to submit anything, no provider needs to be
12 submitting, identifying your patient
13 population or indicating that labs were done.

14 That is all done through the processing of
15 claims.

16 So with measures like this, the
17 burden of reporting is low. I think where the
18 confusion might occur is that in the
19 denominator population it's been noted that
20 these registry is a potential way to get into
21 the denominator for this measure, but that's
22 optional. And we include that only because we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have customers who do have disease registries,
2 so they at least have that opportunity to move
3 that population into measure if they desire
4 so. But most people on this measure are not
5 identified through disease registry. They're
6 identified through the administrative claims.
7 So the burden of reporting is extremely low.

8 DR. LAWLESS: And actually, thank
9 you. That helped clarify a lot of it for me.

10 To enter the database, to get
11 enrolled in the database is there either a
12 cost or does IRB approval or anything. The
13 database itself is captured, how would a
14 patient know they're in that database?

15 DR. SCHWEBKE: The patient isn't
16 aware. The health plan, the health plan is
17 contributing to use identified data into the
18 database as part of their contractual
19 agreement.

20 DR. LAWLESS: Okay. Thank you.

21 CO-CHAIR THIEMANN: Dr. Solomon,
22 anything additional? Any additional comments?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOLOMON: No.

2 DR. ANGOOD: I'll open it up to
3 comments from the Steering Committee. There's
4 no comments from the Steering Committee. It
5 looks as if we are possibly ready to go into
6 whether or not importance to measure has been
7 met.

8 So, using the same process that we
9 just recently did for the previous two,
10 looking at section 1a Demonstrated High Impact
11 Within Healthcare, does the group feel that
12 the performance measure completely met that?
13 Seeing zero.

14 That the performance measure
15 partially met that? One, two, three, four, I
16 believe.

17 And minimally met that? One, two,
18 three, four, five, six, seven, eight, nine,
19 ten.

20 And Dr. Solomon?

21 DR. SOLOMON: Partial.

22 CO-CHAIR THIEMANN: Partial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Any abstaining? Zero. Okay.
2 Opportunity for Improvement.
3 Completely met?
4 Partially met? Two.
5 Minimally met? One, two, three,
6 four, five, six, seven, eight, nine, ten,
7 eleven.
8 Dr. Solomon?
9 DR. SOLOMON: Partial.
10 CO-CHAIR THIEMANN: Partial.
11 Abstaining? One.
12 And for Outcome of Evidence
13 supporting the measure. Completely? Zero.
14 Partially? I see zero.
15 Minimally? Okay.
16 Dr. Solomon?
17 DR. SOLOMON: Minimally.
18 CO-CHAIR THIEMANN: Minimally.
19 Abstaining, or no at all. Sorry.
20 Not at all. I forgot not at all. Three.
21 Keep me on target.
22 Any abstaining? Now we'll go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 abstaining. Zero.

2 All right. So the numbers.

3 And for the overall, does the
4 Steering Committee feel that the performance
5 measure met the threshold for importance to
6 measure and report? Yes? I see zero. No?

7 Dr. Solomon?

8 DR. SOLOMON: Yes.

9 CO-CHAIR THIEMANN: Yes. Okay.

10 Any abstaining? Zero. Great.

11 So I believe that the numbers show
12 that the performance measure will be not
13 considered further at this point.

14 Moving on to performance measure
15 PSM-020-10 for, I believe Dr. Kowdley is not
16 here, so Dr. Knight I think will be stepping
17 up for a primary discussion leader.

18 DR. KNIGHT: Right. Thank you.

19 CO-CHAIR THIEMANN: Thank you.

20 DR. KNIGHT: You know, this has
21 overlapped with what we've already talked
22 about. The differences are instead of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rheumatoid arthritis here, the focus here is
2 inflammatory bowel disease. Methotrexate is
3 included as it was with the previous ones
4 we've talked about, but in this case we're
5 also looking at azathioprine and
6 mercaptopurine.

7 The incidence here is five to ten
8 percent liver toxicity, which is felt to be
9 reversible with stopping the medication.

10 The compliance is about 38 percent.

11 And the difference here, they group
12 methotrexate and azathioprine, mercaptopurine
13 but some of the recommendations are fairly
14 varied from the standpoint of consensus expert
15 opinion on how often this should be reviewed.

16 Perhaps one to three months on methotrexate;
17 perhaps annually with the others. And so this
18 recommended measure here is for a six month
19 reporting period. So there's some significant
20 difference between the first one we looked at
21 looking ALTs, AST which was recommending every
22 three months instead of six months. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there's some certain differences there, but I
2 think in general a lot of overlap again with
3 what we've already talked about and the same
4 sort of principles.

5 And I guess what I didn't see was,
6 again, the real strong evidence seemed to be
7 based much more on consensus expert opinion
8 and difference was noted between
9 rheumatologists and the gastroenterologists.

10 CO-CHAIR THIEMANN: Any additional
11 comments from Steering Committee members? Dr.
12 Nau?

13 DR. NAU: Well, I just wanted to
14 ask the person from Ingenix to elaborate on
15 the different monitoring threshold of every
16 six months versus every three months within
17 the patients who had RA and the rationale for
18 those differences?

19 DR. SCHWEBKE: Yes, happy to do so.
20 As the primary you had just mentioned there's
21 a lot more inconsistency here between the
22 sources that have recommended monitoring. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when this measure was actually initially built
2 to be consistent with our RA monitoring
3 measure, we actually used a three month report
4 period. We then set a consensus process
5 working with AGA and a subcommittee that AGA
6 had convened. And based on their input as
7 national experts, they encouraged us to use a
8 more conservative threshold of six months.
9 That was then the final reason for us to
10 change it from a three month to a six month
11 intervention period.

12 CO-CHAIR THIEMANN: Dr. Lawless, I
13 see your name card.

14 DR. LAWLESS: Just a question. Why
15 age 12 was chosen? Because the inflammatory
16 bowel disease goes down to younger, and I'm
17 just curious.

18 DR. SCHWEBKE: Yes. That's an area
19 were we felt have great data as far as what's
20 the age at which we think most people are
21 going to be diagnosed with IBD and placed on
22 medication therapy. There's really little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 literature.

2 So we did a couple of things. One,
3 we looked at our database to see if we could
4 identify when these individuals seemed to be
5 presented and perhaps identify a population
6 with IBD. So we based this threshold on our
7 database as well as that of discussing the 12
8 year threshold with the AGA subcommittee.

9 DR. LAWLESS: And a follow-up
10 question to that, because I know -- and I'm
11 just speaking from the pediatrics world, there
12 are two major inflammatory bowel disease
13 registry groups. Are they included in support
14 of the measure?

15 DR. SCHWEBKE: They were not and I
16 don't know -- is that the disease registry
17 through AGA?

18 DR. LAWLESS: Yes, the disease
19 registry through AGA, and then there's also
20 the Improved Car Now Network.

21 DR. SCHWEBKE: Yes. So actually
22 that disease registry was built and launched

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after we had developed this measure. And
2 actually, we have met with the AGA
3 subcommittee as that disease registry was
4 being built.

5 CO-CHAIR THIEMANN: Dr. Nau, do you
6 have another question or -- okay. I just
7 wanted to make sure.

8 Mr. Bunting?

9 MR. BUNTING: There's a comment on
10 page 22 that says it is difficult to
11 understand how if the measure has been
12 available since 2006 and used by other
13 organizations, that there is not better
14 reliability data related to this particular
15 measure.

16 So, does this measure exist? And
17 if so, why are we looking at it. And if it
18 does exist, why do we not already have data?
19 Is that a question for NQF or a question for
20 Ingenix?

21 DR. SCHWEBKE: As far as the
22 compliance rate -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BUNTING: The comment says that
2 there's not better reliability data. So what
3 data does Ingenix have on this measure?

4 DR. SCHWEBKE: Well the data that
5 we have is looking at our 15 million benchmark
6 to determine the compliance. What we don't
7 have is we don't have a direct chart review
8 versus our administrative claims to be
9 absolutely sure that we're measuring without a
10 measure.

11 The other thing is this: We have
12 repeatability in that we have looked at this
13 measure in a variety of databases, but they
14 tend to be kind of subsets of the same
15 database. I'm not sure that's fair to say
16 that's true repeatability. So, we at least
17 have a large dataset where we have calculated
18 compliance We have done a chart comparison
19 review on other measures that identified that
20 data collection for lab results is actually
21 quite reliable with a low burden with
22 administrative claims. And we know that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is clearly a performance step.

2 CO-CHAIR THIEMANN: Any questions
3 for Ingenix, or any additional comments from
4 the Steering Committee members at this time?

5 MS. THRAEN: Okay. I'm going to
6 have to ask you to repeat something you said
7 in that response. Back to the point that you
8 talked about you looked at it in other
9 databases in relationship to this measure.
10 Could you repeat what you said about that?

11 DR. SCHWEBKE: Yes. So basically we
12 have several steps of testing, there are
13 three main steps of testing.

14 We start off by identifying in this
15 situation a 1,000 members that have
16 inflammatory bowel disease. And we calculate
17 their compliance. And then we actually go in
18 and look at a random number of members with
19 IBD who both passed and failed this measure.
20 And then we look through the claims to make
21 sure that we haven't missed something with our
22 logic and we truthfully are capturing those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people that haven't inflammatory bowel disease
2 who seem to have complete data based on their
3 enrollment eligibility and have or have not
4 had the -- things actually match what we're
5 seeing on the output.

6 But remember, that is looking at
7 the results based on dividing into the details
8 of administrative claims. We're not going
9 back to a chart or an EHR to confirm that.
10 Okay. So that's step only one.

11 The second step is that we take a
12 normal number database and we look at the same
13 features that mainly are at this point
14 focusing on compliance.

15 And then the third step is we're
16 looking at a 15 million member database. And
17 those populations overlap a little bit, so I
18 don't think it's fair to say that these are
19 three separate populations That they're kind
20 of subsets. You know, the 1,000 member is
21 kind of a subset of the 1 million member and
22 it's kind of the subset of the 15 million

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 member. There's a lot of overlap, it's not
2 complete. And that's why I think it's more
3 fair to say that they are very similar
4 databases.

5 Does that make sense?

6 MS. THRAEN: Yes. I just didn't
7 understand the reference. Thank you.

8 DR. SCHWEBKE: You're welcome. I
9 just don't want to be misleading and give you
10 the impression that we have three distinct
11 databases that would truthfully, you know I
12 think be an indication of repeatability and
13 reliability.

14 CO-CHAIR THIEMANN: Dr. Schwebke, a
15 real quick question about the compliance,
16 reporting compliance for this proposed
17 performance measure is 38 percent. And with
18 the gastroenterologist's opinion of this, I'm
19 curious as to why the compliance rate isn't
20 higher if they tend to be the individuals
21 managing the patients?

22 DR. SCHWEBKE: We discussed that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and there were some thoughts that came up.
2 They believe that this was a true difference
3 here. They supported this measure.

4 They believed that a lot of people
5 who had been placed on medications and
6 disappear to some extent. Have their
7 medications renewed, maybe go down to their
8 primary and the primary doesn't realize that
9 the monitoring has been indicated. So they
10 believed that this was real and, in fact, they
11 were concerned enough about this that they
12 believed that all of the compliance measures
13 on our IBD measure list were measures that
14 could actually be used for educational
15 purposes not only for their specialty group,
16 but maybe even more primary care practitioners
17 who are also involved with the care of these
18 individuals.

19 CO-CHAIR THIEMANN: Thank you.

20 Dr. Kennerly?

21 DR. KENNERLY: I think you, the
22 Ingenix folks, have a unique opportunity here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a large dataset to be able to be looking at
2 what the clinical outcomes are of patients who
3 fail to meet these monitoring criteria. And
4 it would seem that over a period of time,
5 again with some hope that these patients would
6 have continuous enrollment for a lengthy of
7 time, you might be able to characterize those
8 who failed to meet either three month
9 monitoring or six month monitoring, or
10 perhaps, heaven forbid, annual monitoring as
11 they move perhaps from practice-to-practice or
12 indeed from location-to-location.

13 And to look to see in the claims
14 data whether or not there appear to be
15 complications associated with failure to
16 monitor. And I wonder if you might comment on
17 whether either: (1) You have any of that
18 data or plans to use what you have in order to
19 begin to generate some observations that help
20 with regard to the benefit or failure to
21 monitor?

22 DR. SCHWEBKE: We've actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talked about a variety of our measures, many
2 of which are process measures like many NQF
3 endorsed measures to try to establish ways
4 that we can use our database to datalink,
5 process outcomes, process measures to true
6 outcomes.

7 It's challenging for a variety of
8 reasons, one of which is that members often
9 don't stay in the same health plan. And that's
10 critical because a lot of these outcomes we
11 might not see for a long period of time. As a
12 member changes insurance, which unfortunately
13 happens often, and a health plan typically
14 only has on an average 24 to maybe 36 month
15 about a patient, and you don't have often that
16 time frame that you need looking at
17 administrative claims data alone to answer
18 that question and to really feel confident
19 that you have the right answer without having
20 a lot of member drop off. So that's just one
21 of multiple limitations.

22 Moving forward we can say to think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about this, because we appreciate the
2 importance of being able to identify,
3 hopefully, what's important as far as an
4 outcome. And again I say we, like many, are
5 trying to aggressively look at how we can
6 start pulling in more granular data like EHR
7 data and other clinical data that might give
8 us a survey information, may give us longer
9 abilities to look at true outcomes.

10 Now assuming that if a member moves
11 in their health plan that hopefully at least
12 stay with the same provider. That may not be
13 the case. But we certainly do continue to look
14 at ways as new data become available to maybe
15 answer some of the hard questions like this.

16 CO-CHAIR THIEMANN: Any additional
17 comments, questions?

18 I believe we're ready to assess
19 importance to measure and report.

20 Looking at section 1a High Impact
21 does the group feel that the performance
22 measure developer has completely met the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 burden for demonstration of High Impact to
2 Healthcare completely? Zero.

3 Partially?

4 Minimally?

5 Dr. Solomon?

6 DR. SOLOMON: Partially.

7 CO-CHAIR THIEMANN: Partially.

8 And not at all? Zero.

9 For 1b has the performance measure
10 developer demonstrated an opportunity for
11 improvement on this proposed measure?
12 Completely?

13 Partially?

14 Minimally?

15 Not at all?

16 Dr. Solomon?

17 DR. SOLOMON: Minimally.

18 DR. ANGOOD:

19 CO-CHAIR THIEMANN: Minimally.

20 And for evidence supporting the
21 proposed performance measure. Has the measure
22 developer completely met that? Zero.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Partially? Zero.

2 Minimally?

3 Not at all?

4 And Dr. Solomon?

5 DR. SOLOMON: Minimally.

6 CO-CHAIR THIEMANN: And so is it
7 the will of the Steering Committee that the
8 measure developer has met the burden for
9 importance to measure? Yes? I see a two and
10 a half. We'll commit to three. So we have a
11 three.

12 And no? Any abstaining?

13 Dr. Solomon?

14 DR. SOLOMON: No.

15 CO-CHAIR THIEMANN: Thank you.

16 So I believe the majority of the
17 Steering Committee, the measure failed to
18 demonstrate importance to measure and report.

19 So we'll be moving on to, I believe, asking
20 actually whether or not the NQF members or
21 there any public comments concerning the four
22 measures that were just considered?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. MUNTHALI: Operator, can you
2 open the lines? I think they're open, but we
3 just wanted to make sure.

4 CO-CHAIR THIEMANN: Iona?

5 MS. THRAEN: Yes. I have something
6 that's just dawned on me, and I apologize for
7 this. I've been operating under the
8 assumption that many of these measures are the
9 practitioner that's been involved with these
10 measures are specialists, which my operating
11 assumption is that specialists who are
12 specialists in a particular area are
13 practicing fairly narrowly and are kind of up
14 to date, et cetera. It's an operating
15 assumption.

16 What's the likelihood that some of
17 these areas are going to be managed by
18 generalists or family practitioners? Because
19 I see there's a discrepancy in voting going on
20 right now, it seems, that some more of the
21 generalists are saying yes, we could use that
22 kind of support in terms of the frequency of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitoring, et cetera. And the specialists
2 are pretty comfortable with managing it
3 individually, you know not using sort of
4 standard. So I guess I have to ask that
5 question in terms -- and I'd like to get
6 feedback from those who would see themselves
7 in that role of managing these kinds of
8 patients on an outpatient basis after a
9 consultation or something, but that they're
10 the ones who are actually doing the ongoing
11 maintenance of the patients. What are your
12 thoughts about that?

13 DR. KNIGHT: No, I think that's a
14 great question. And that's, as I looked at
15 this from a generalist standpoint as a family
16 physician, I've looked at these and what does
17 the weight of something being endorsed by the
18 National Quality Forum, what does that do as a
19 proponent of a measure? And I guess the thing
20 I continue to struggle with, though, is the
21 evidence and the cost benefit, and what's the
22 expense of all the testing if we really don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know in the long run that that's really
2 affecting the benefit that we're looking for.

3 So, for example, on the last one I
4 did vote that I felt that it should be added.

5 And that was more because of that gap that
6 was exposed there of only 38 percent
7 compliance and that maybe there was a greater
8 impact to that one than with some of the other
9 ones.

10 But I think your point is well
11 taken that there are going to be generalists
12 around the country that personally I would
13 refer to these patients and have them managed
14 by a rheumatologist or a gastroenterologist.
15 But I know that there are significant numbers
16 of primary care providers around the country
17 who may not have that luxury of a specialists
18 available that would look to guidelines,
19 recommendations from organizations like the
20 National Quality Forum. So, you know, I think
21 that's a great question, and then it all boils
22 down to the cost versus the potential benefits

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as we make these decisions.

2 And that's where I've struggled.
3 There's evidence that really shows that
4 there's a significant opportunity here.

5 CO-CHAIR THIEMANN: Dr. Kennerly, I
6 believe you have name card up?

7 DR. KENNERLY: Indeed. I think,
8 first of all, just in personal I'd like to
9 thank Ingenix for submitting these. Because,
10 obviously, I think they're trying to fill a
11 perceived gap, and I think perhaps a real gap.

12 And I think the other thing that
13 perhaps raises for me and the Committee in
14 being new to this group is the degree to which
15 we serve in a role of more actively trying to
16 be filling the gaps. Meaning that we as a
17 group as opposed to the community of metric
18 builders who are going to look at theirs and
19 submit them, and right they should, but I
20 wonder if part of being more passive than that
21 from the group's perspective, you know winds
22 up with then less in the way of a message from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this group, if you will, to say here are some
2 areas that we think would benefit from metric
3 development and perhaps encouraging those sort
4 of not just a broad call, but a more specific
5 call that might look out at the priorities
6 themselves and try to see if we could perhaps
7 as a group be thinking about how we might have
8 conversations that might help to shape what we
9 received. So that in effect we don't
10 necessarily just say "Gosh, send us what you
11 have," and have good folks be spending time on
12 doing that. But trying to sort of create some
13 guiding principles, perhaps.

14 CO-CHAIR THIEMANN: Dr. Nagamine?

15 DR. NAGAMINE: I'm not a outpatient
16 doc. I'm an inpatient general internist,
17 hospitalist. But as a practicing physician
18 what I look to are clinical practice
19 guidelines which are evidence-based.
20 Fortunately, I work for Kaiser and we have
21 extensive research on what is the evidence and
22 what are the standards out there. And so I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have that to look to. But that's different to
2 me than an NQF endorsed safety or quality
3 measure. It has different implications.

4 So, I think that that might be one
5 way to look at it. Are you looking for
6 guidance on what is standard of care on one
7 level versus the accountability and insurer
8 perspective, which has implications for
9 exactly what you described: This
10 understanding between your mom and her doc
11 that she didn't want to come in for testing
12 maybe as frequently as the guidelines say.

13 I think there needs to be some room
14 for that. But where you go into a different
15 bucket is when you have evidence that says if
16 you don't do this, people will die or will be
17 severely harmed; that's the category that I
18 think I would want to be focused on. You
19 know, the big stuff, the stuff that really
20 matters, the stuff that really makes a
21 difference. And we know that because there's
22 evidence. Because we all know there's enough

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we could do, enough that we should do,
2 but in this day and age of resources, we have
3 to pick and we have to prioritize. And if
4 there's not good evidence, it's hard to
5 justify making something a national measure.

6 CO-CHAIR THIEMANN: Any other
7 comments?

8 MR. LEVINE: Yes. I'm just
9 wondering, you clarified that in your mind, at
10 least, there's a distinction between NQF
11 addressed measures and practice guidelines,
12 perhaps, put out by the Agency for Healthcare
13 Research and Quality, or some specialty
14 organization. But I'm wondering if the public
15 appreciates that.

16 And I mean my own view as a patient
17 advocate and consumer, if NQF endorses
18 something, I would see that as a clinical and
19 practice guidelines. And maybe lawyers would
20 too on both sides of the tort fence.

21 DR. NAGAMINE: And I guess I'm
22 speaking from someone who practices as well as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has been a quality chief. And as a quality
2 chief I have to look at where the resources
3 go, and whether we do a failure modes effects
4 analysis on a known risk or whether we collect
5 data to report. Those are the choices.

6 And, you know, I know there's
7 plenty of work to do. And so I just think
8 that standards are well intended, but on the
9 sharp end and locally in hospitals you have
10 many competing priorities. And they're really
11 all important ones. And so it's really
12 important and critical that we can
13 differentiate the stuff that kills people from
14 the stuff that would be nice to do.

15 CO-CHAIR THIEMANN: Dr. Nau?

16 DR. NAU: Yes. I guess this gets
17 back to the fundamental question I raised
18 earlier of perspective. And I guess that's
19 where what does NQF endorsement mean. Does it
20 mean that these are things that everyone in
21 the nation should be measuring and should be
22 publicly reporting versus if a particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 entity, a particular group wanted to focus on
2 trying to evaluate quality or safety of
3 medication use in patients with RA, what would
4 you look to? And in that case I would say
5 some of these are irrelevant and important to
6 look at if you're concerned about safe use of
7 medications in patients with RA and IBD.

8 So I guess I'm thinking of it from
9 the context of if we're interested in that
10 issue, which measures would we turn to versus
11 are these the most important measures in the
12 world to evaluate and invest your resources
13 in, which I'm sort of making the distinction
14 of independent of resources and priorities
15 nationally. You know, what are the
16 appropriate measures? If you want to invest
17 resources in a particular area, which are the
18 most important measures to look at?

19 And so I think that's a little bit
20 different perspective. I think from either
21 standpoint you could argue that some of these
22 aren't maybe the highest priority no matter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what the perspective. But I think that
2 perspective is an important factor I think in
3 the differences in the ratings around the
4 table.

5 MS. THRAEN: This is to the NQF
6 folks. Right now we're in a position of
7 making this dichotomous decision, yes or no,
8 endorse or not endorse. And in adding a level
9 of complexity, which I don't intend to want to
10 do, but this idea of recommending -- I mean, a
11 lot of work has gone into evaluating these
12 measures. And just sort of saying no and sort
13 of trashing them to the side is uncomfortable
14 for me. Because there is value in what has
15 been done, but for a different -- maybe at a
16 different level than what we're making this
17 decision for.

18 So this idea of sort of a
19 categorization of measures that says well this
20 one we think is strong for public
21 accountability purposes, safety risks. This
22 one would be a good quality improvement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measures. This one might be a good feedback
2 measure or decision support measure, or
3 something like that as opposed to a yes or no,
4 they're on they're off kind of decision.

5 And I don't know if that falls in
6 with your scope of work in terms of what
7 you're having to do, in terms of the Health
8 and Human Services. But there's just so much
9 work and value here that I just feel badly
10 that we're kind of trashing it.

11 MS. BOSSLEY: Well, I don't think
12 you're trashing it. But I think that's my
13 personal takeaway from that.

14 But this is something that NQF
15 continues to look at as measurement evolves.
16 And originally and still now we're looking is
17 the measure appropriate for public reporting
18 or quality improvement. And public reporting
19 should also involve internal quality
20 improvement as well.

21 But there are efforts underway as
22 we speak, I mean literally now where NQF and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Quality Alliances Steering Committee,
2 which is from the Hospital Quality Alliance,
3 the Ambulatory Care Quality Alliance, multiple
4 quality alliances are looking at is there
5 actually more of a spectrum from internal
6 quality improvement all the way to reporting
7 out to the public. And I think clearly there
8 is, just as you were talking about. And I
9 think that's what everyone struggles with.

10 So, what is happening now is
11 there's a final report that is going to the
12 Consensus Standards Approval Committee, the
13 CSAC here, with staff recommendations on to
14 how to begin to split them out a little bit
15 more and start talking about measures maybe
16 within the spectrum in the process of being
17 used for certification or recognition
18 programs. It's being used for accreditation
19 for payment programs and then full on to
20 reporting. And we'll see what the CSAC and
21 the Board says, but it's very possible that we
22 will head more toward developers telling us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where they are in that scheme and that
2 spectrum, and then evaluating whether again is
3 there use in that measure.

4 We ultimately, I think, want to see
5 measures continue to progress on that
6 spectrum. You wouldn't see it at the initial
7 endorsement, but you'd see it at the three
8 year maintenance. We're not there yet, but I
9 think we're headed there.

10 MS. THRAEN: And so based on that
11 as we decline on many of these, then these
12 would possibly be revisited as your bank of
13 alternatives?

14 MS. BOSSLEY: Yes. I don't know
15 when.

16 MS. THRAEN: That's fine. I get it.

17 MS. BOSSLEY: But, yes.

18 DR. ANGOOD: Well, and coupled with
19 that, just sort of brought it out what Heidi
20 was just describing, is that before the
21 measures actually get to this stage, we've
22 actually already been in dialogue with many of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the measures developers. Because staff review
2 these measures, not just for the completeness
3 of the submission form but whether the staff
4 has their own concerns about is this going to
5 pass through. And we have ongoing discussions
6 with a lot of the measure developers through
7 that. And when they get to this stage, then
8 yes it's up to the Steering Committee and the
9 TAP decisions, but most of them have already
10 been through some dialogue.

11 So, as Heidi describes what I just
12 said, we are in this interaction. It's not a
13 yes, no or you're out of here. It's a
14 dialogue because we're really trying to
15 improve what's best for healthcare in the long
16 run.

17 CO-CHAIR THIEMANN: Dr. Nau?

18 She has a smile. Mr. Lawless?

19 DR. LAWLESS: Just one question,
20 and I'm really even coming from a curiosity
21 more than anything else. The measures that
22 we're all discussing today all come from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Ingenix. And so I'm a little bit curious, you
2 described the process and process improvement.

3 And they're all following the same format.
4 So we're reading of all the measures the exact
5 same format, same process. So getting through
6 the review process and up through the -- did a
7 lot of work, a lot of reviews. And it seemed
8 like it just struck me with all the societies
9 going on and all the push for patient safety
10 how one particular group was successful enough
11 to get X number of measures here this far when
12 we're talking about that. Is the
13 process onerous? I was wondering did they
14 find the grail to get into the key here, or -

15 MS. BOSSLEY: We don't do much
16 weeding in the way of -- you know, other than
17 if we have a blank form, we're going to turn
18 it down. If we don't have an agreement
19 signed, we're going to tell them no. But
20 beyond that, you all are the people who read
21 through it. So what you see before you is
22 what we received, other than the ones that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were withdrawn.

2 So in other projects you see a
3 little bit more variation across the types of
4 developers. This project just happens to be
5 quite a few from Ingenix. You have a few from
6 specialty societies, and so on. It's just --
7 this is unusual. Usually it's not just one
8 large --

9 CO-CHAIR THIEMANN: I think it's
10 also from a performance measure development,
11 I'm sure which many people around the table
12 understand, the length of time to develop a
13 performance measure to even submit to NQF, and
14 often times there needs to be some
15 demonstration of broader consensus, not just
16 the individual performance measure developer
17 drafting the application and drafting the
18 measure. That can take a couple of years to
19 actually process the literature, do the
20 literature, digest it, reach out, get comments
21 and so forth. So it's a long time.

22 And then also I think it's also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complicated by when NQF issues a call for
2 measures is a limited time. And so unless the
3 performance measure developer is already at
4 the end and has something ready in the bin to
5 go, that can somewhat fall under the umbrella
6 of the NQF project, that sometimes complicates
7 what I think probably NQF sees, right?

8 MS. BOSSLEY: Yes. And I mean, we
9 recognize that it's been develop for
10 developers to know what's coming next because
11 there hasn't been a nice schedule. We now
12 have one related to maintenance. And it's
13 kind of wrapped around that where we have
14 endorsement maintenance projects. You're a
15 pseudo one, you will do some maintenance in a
16 little bit. You're not a full blown one.

17 But we have probably seven to eight
18 topics per year in a three year cycle that
19 we'll be going through. So cardiovascular and
20 surgery are the first two starting, renal
21 starts in January. And so we're hoping that
22 that helps developers know what's coming out,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know what time frame they've got
2 approximately. It's not going to be perfect,
3 but it's probably better than it was.

4 Schedule, yes. We like to cycles,
5 yes. We're doing cycles.

6 CO-CHAIR THIEMANN: This is
7 somewhat off topic as well, but going back to
8 that performance measure scheduling, the
9 maintenance scheduling. And I think from a
10 perspective of an NQF member participant that
11 measure developers need to be aware that they
12 can submit new measures during that
13 performance measure maintenance phase, which
14 probably the measure developers are aware, but
15 maybe not necessarily the NQF members
16 individually or as their individual
17 associations are aware.

18 Any additional comments at this
19 point?

20 I know we were scheduled for a 15
21 minute break, but then we also had a working
22 lunch at 12:15.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 All right. Do we want to take a
2 five to ten minute break, and we'll reconvene?

3 It's about 11:40 by my watch. Whether that's
4 right or wrong. And so we'll reconvene at
5 11:50 and then start to work through at least
6 maybe one more measure.

7 (Whereupon, at 11:39 a.m. the
8 above-entitled matter went off the record and
9 resumed at 11:52 a.m.)

10 CO-CHAIR CONWAY: And what we could
11 try to do is see if we can get through the
12 measure 21, and then break for lunch and do
13 measure 22 during lunch. And if the
14 discussion of 21 goes past 12:30 maybe we'll
15 interrupt in the middle of that one and have
16 lunch finished. How would that be as a plan?

17 Is that okay? We have up PSM-021-10: Adult
18 patients with multiple sclerosis taking
19 interferon having a serum ALT or AST test in
20 the last 12 months.

21 And our primary reviewer is Janet
22 Nagamine. But before we take this section,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe Kay Schwebke from Ingenix would like to
2 say some introductory comments about the whole
3 measure set for MS.

4 Are you on the phone?

5 DR. SCHWEBKE: (Off microphone).

6 CO-CHAIR CONWAY: Kay, hang on.
7 You're not coming through very well. You're
8 breaking up, maybe try not using a
9 speakerphone.

10 Hello, Kay?

11 DR. SCHWEBKE: Can you hear me a
12 little better now?

13 CO-CHAIR CONWAY: A little better.

14 DR. SCHWEBKE: Well enough that you
15 can hear me?

16 CO-CHAIR CONWAY: That's better

17 DR. SCHWEBKE: Okay. So, the two
18 multiple sclerosis measures: (1) Both
19 focused on individual -

20 CO-CHAIR CONWAY: I can't
21 understand this. Kay -- Kay -- Kay, why don't
22 you work on the phone on your side and we'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 move on to hearing from Janet and see if you
2 can fix your phone problems. You continue to
3 keep breaking up.

4 DR. SCHWEBKE: Okay.

5 CO-CHAIR CONWAY: Janet was the
6 primary reviewer.

7 DR. NAGAMINE: So just a brief
8 recap, again this is MS patients, adult MS
9 patients on interferon and a serum ALT/AST in
10 the last 12 months.

11 So do you want me to jump into
12 importance or -- okay.

13 So in review of the TAP Committee's
14 report that we have here, in terms of the
15 impact gap and relation to outcomes, it looks
16 like it was either minimally or partially that
17 they voted. So, in the end they did vote that
18 it met criteria.

19 Some of the comments that they made
20 was that there may not be validity. It's
21 based on consensus recommendations, so there's
22 not strong evidence that doing this would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 impact the outcome.

2 The compliance rate is 63.4
3 percent. There was a question from one of the
4 TAP reviewers if the current recommendations
5 call for monitoring every three to six months,
6 why are they looking at yearly monitoring?
7 And the differences, again, between RA and IBD
8 in the incident or the intervals of measuring.

9 The other comment that they made is
10 why AAFP would weigh in on this as opposed to
11 the neurology specialists group, who manage
12 MS.

13 So those were the TAP sort of
14 reports.

15 And Bob and I are one and two
16 reviewers on this, and we had a discussion and
17 we had a discussion that is sort of similar to
18 what we've been discussing this morning. And
19 more specific to MS, you know back to that
20 fundamental question of high volume, high
21 risk. MS affects approximately 400,000 people
22 in the U.S. Of the 400,000, approximately 30

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent, is my understanding, have relapsing
2 remitting MS, which is the population that
3 would qualify for interferon.

4 So the numbers here, that's about
5 120,000 people, of which five to 14 percent
6 develop -- oh, I'm jumping to the white cell
7 count. That's leukopenia. But for LFTs and
8 liver enzymes I believe it's like 23 to 39
9 percent develop grade 1 transaminitis. So
10 that's an LFT up to 2.5 times normal.

11 And for interferon you can
12 prescribe it up to two times normal. So
13 that's not a contraindication to start INF if
14 your LFT is elevated two times above normal.

15 And grade 3, which is the really
16 severe transaminitis is 1 to 2 percent of that
17 120,000 who would be on this drug.

18 So, those are sort of the numbers
19 to give you some perspective of the people
20 we're talking about.

21 Bob, please.

22 MR. BUNTING: Well, as she said, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had the opportunity to meet yesterday and
2 discuss this, so she covered it very
3 succinctly. But just to emphasize, you're
4 looking at 1,000 or 2,000 people if you really
5 want to look at the grade 3, and you get back
6 to that cost benefit analysis: How any
7 resources do you want to develop to this for
8 minimal gain? So, obviously this measure
9 mirrors the previous measures that we've
10 discussed.

11 CO-CHAIR CONWAY: Okay. We're open
12 for questions, discussion. David, go ahead.

13 DR. NAU: Well, I guess then it
14 sounds as though we're suggesting that because
15 MS isn't very common, that it's not important
16 to bother looking at this. I don't know if
17 that's what you're implying, but I think if
18 that is the case and the consensus view of the
19 Committee here, that we don't bother to look
20 at anything that's extremely common, then we
21 might as well just not look at any of these
22 measures for MS, IDD and so forth.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, I guess that's why I'm trying
2 to put a context, you know what we're trying
3 to get to in terms of assessing importance.
4 Because to me if your assessing, you know safe
5 medication use with interferons, it seems as
6 though you would be remiss not to be at least
7 yearly monitoring liver function and so forth.

8 So, I guess once against that
9 perspective issue, we've hammered here for
10 hours. But I guess that's where I'm kind of
11 lost because if we're suggesting rare diseases
12 don't need safe monitoring and medications,
13 then let's just go home now.

14 DR. NAGAMINE: Can I clarify that?

15 That was one piece of context. But I also
16 didn't get into the evidence piece. There's
17 not strong evidence that monitoring the CBC
18 yearly would effect mortality or outcomes.
19 And I did speak to my rheumatology colleagues
20 about this particular drugs, and their
21 thoughts about that. And again,
22 differentiating between clinical practice

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guideline, patient variability and they all
2 tell me, you know when I first start these
3 patients we check it like every month, and
4 then we go to every three months, and then we
5 go to every six months.

6 And so if you say that Q12 is the
7 standard and you're catching somebody who is
8 in a different phase of the treatment, you
9 know, you might ding somebody who is
10 monitoring but perhaps less frequently. I
11 don't know, but 12 months is certainly a fair
12 interval.

13 DR. NAU: Well, and let me just
14 respond to that, too.

15 Some of these issues seem to be the
16 scientific validity of the measure in terms of
17 what the interval --

18 DR. NAGAMINE: And that's what the
19 test.

20 DR. NAU: Should be versus the
21 importance.

22 DR. NAGAMINE: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NAU: And so I guess that's
2 where we -- I think most of are kind of
3 creating this gestalt of overall impression
4 based upon multiple criteria that we're
5 factoring into our impression of importance.
6 And that's where it's tough for me to even
7 keep those very separate. And so I guess if
8 we're really just trying to figure out
9 importance, you know once again I guess it's
10 all a matter of perspective. But really I
11 guess if we think that low utilization rates,
12 low overall incidents of adverse events, I
13 guess that's where we're trying to figure out
14 how those factor into importance.

15 DR. NAGAMINE: The other point that
16 the rheumatologists made was that the bad
17 stuff that happens is acute and would not
18 necessarily be prevented by outpatient
19 monitoring on regular intervals.

20 CO-CHAIR CONWAY: How about going
21 clockwise? Bob and Steve and David, and then
22 Lisa.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BUNTING: Thank you.

2 And to answer your question, I
3 don't think that we want to dismiss any
4 measure just because its population is small.

5 That was not the crux of my comment. That
6 was just part of it.

7 I think we have to look at the
8 totality of the evidence. And if we knew that
9 we did XYZ we could prevent the adverse
10 outcome, I think we would probably vote to do
11 XYZ. With this, I'm not sure of the benefit
12 of it.

13 So, if you could prove to me or if
14 anybody could prove to me that if you did
15 this, you would prevent the acute event, then
16 I think we would support that. I just don't
17 think the evidence is there, regardless of the
18 number of people effected by it.

19 CO-CHAIR CONWAY: Steve?

20 DR. LAWLESS: Yes. And also to
21 clarify. It could be the rarest disease out
22 there and I'd be fully supportive of it. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not the disease incidence, it's the model
2 which we're working through. Because I look
3 at the NQF as very important. That if we put
4 this through as an potential model with its
5 flaws, I think the credibility will be lost.
6 And I think for other diseases -- so I'm
7 looking for a medical safety management way of
8 doing this that can be a model for other
9 disease states or medicines to be used.

10 So, I think the importance is not -
11 - I'm not looking at it as a disease. It
12 could be RA, it could be some weird thing.
13 It's the methodology and the evidence so then
14 other people then would reproduce from it.

15 CO-CHAIR THIEMANN: I wanted to
16 thank Dr. Nau for making that point and
17 bringing up that distinction. Because it's a
18 very important distinction. That just merely
19 the sheer numbers of an individual suffering
20 from a given disease doesn't necessarily
21 indicate importance or not importance.

22 But I also wanted to thank Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Nagamine for bring forward some of those
2 statistics. Because in my opinion that was
3 what in my opinion was missing from what is
4 truly the incidence of this. And looking at
5 what real people are we looking at potentially
6 impacting here; not just the actual number of
7 individuals diagnosed with MS.

8 So, from that perspective, and I
9 think we have to take it step, by step, by step
10 as NQF has laid out looking at each
11 individual. Is it high impact? Looking at
12 the opportunity for improvement, and then
13 looking at is there evidentiary support for
14 the outcomes linking those. And so I think
15 that goes back to what Dr. Nau was talking
16 about, looking at it based on that element
17 versus just the disease issue.

18 CO-CHAIR CONWAY: Go ahead.

19 MS. THRAEN: I was going to look it
20 up, but just for clarity's sake is this under
21 the medications coming from the medication
22 safety group, this indicator?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THIEMANN: Measure?

2 CO-CHAIR CONWAY: It's from
3 Ingenix.

4 MS. THRAEN: Yes. But the TAP, was
5 the TAP the medication safety group? So this
6 is a medication safety question?

7 CO-CHAIR CONWAY: Yes. Yes. Yes.

8 MS. THRAEN: I just needed to
9 clarify that.

10 CO-CHAIR CONWAY: Other questions?
11 Yes?

12 DR. KENNERLY: One thing I think in
13 hearing the response I think to a question
14 that I've asked the Ingenix folks earlier was
15 if you begin to start looking at databases,
16 claims databases largely from payer groups and
17 you begin to get issues associated with
18 migration of patients in and out of those
19 databases, I wonder if there's some caution
20 here also around a 12 month interval when in
21 fact you would have to have somebody in fairly
22 substantial continuous enrollment to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certain that you did not have it done just
2 before you enrolled, or perhaps just after you
3 left in terms of looking at these kinds of
4 measures.

5 CO-CHAIR CONWAY: Are there any
6 Committee members on the phone that has
7 comments or questions?

8 DR. SCHWEBKE: In response to that,
9 so you can hear me better, I switched phones.

10 CO-CHAIR CONWAY: Is that Dr.
11 Schwebke?

12 DR. SCHWEBKE: Yes.

13 In response to that, that's
14 actually why we require eligibility over the
15 entire 1 month report period. And do also
16 give credit if there's three months of
17 additional data that comes in after the end of
18 the report period.

19 CO-CHAIR CONWAY: Do you have any
20 overview of comments on both of these measures
21 now that you've got a well working phone?

22 DR. SCHWEBKE: Well, you know I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think that the struggles that you're going to
2 address with these are very similar to the
3 other measures that we've discussed this
4 morning. You know, these measures are based
5 on expert opinion. And when these medications
6 go through the FDA process, all these
7 individuals are monitored.

8 And then the only thing I would add
9 is that the one to two percent grade 3 level
10 adverse event, which is an ALT greater than 5
11 or higher, I can actually upgrade that
12 information and the manufacturer has actually
13 now published that up to ten percent of
14 individuals on interferon for multiple
15 sclerosis have grade 3 events. But I
16 appreciate the challenge, and that is linking,
17 you know does monitoring make a difference?
18 You know, the challenge of course is we're
19 probably never going to have studies that are
20 going to really look at that. You know, I
21 think that measures like this are going to
22 always be based on expert opinion. So it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to challenge of us deciding, you know is
2 the relative value high enough given the
3 absence of any multiple sclerosis measures at
4 this point that are NQF endorsed that would
5 warrant endorsing a measure of this nature.

6 CO-CHAIR CONWAY: Okay. Thank you.

7 David?

8 DR. TURNER: I guess I'd like to
9 actually address the question to Ingenix. I'm
10 just thinking about compliance rate and then
11 trying to reference that relative to the
12 indication for the drug in MS. And if I
13 understood Dr. Nagamine's comments about that
14 this would be in the relapsing percentage
15 maybe 120,000 patients that would actually
16 have an indication for this drug, then was the
17 compliance actually assessed amongst that
18 group? And I guess the follow-up question to
19 that is are they coded differently within the
20 claims data so that one that would be trying
21 to identify compliance within this population
22 would actually be able to assess that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SCHWEBKE: I am looking at that
2 question right now. My recollection is there
3 is only one ICD-9 code for multiple sclerosis,
4 and we should have that. Yes, that's right,
5 340.

6 So the current ICD-9 coding system
7 does not distinguish between the different
8 types of multiple sclerosis. I honestly don't
9 recall with the ICD if we're going to see that
10 granularity. And so we don't know what the
11 specific sub-type of multiple sclerosis is.
12 All we can say is that we've identified them
13 as having multiple sclerosis and they've been
14 taking the interferon recently for a duration
15 greater than three months.

16 CO-CHAIR CONWAY: Any other
17 questions or discussion?

18 Should we move on to grading the
19 importance of the measure? There's no heads
20 either nodding or disagreement, so I guess
21 we'll move on.

22 This isn't a whole lot then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 telephone conference call. I know, I know,
2 it's not easy.

3 All right. Let's take a look at the
4 straw vote on the impact of the weight of
5 evidence demonstrating impact of this measure.

6 So all those grading that as
7 completely demonstrated, please raise your
8 hand? Okay. There are none.

9 Partially demonstrated? Looks like
10 there's three.

11 Minimally demonstrated? There's
12 eleven -- 12.

13 And do we have anyone on the phone?

14 DR. SOLOMON: Yes, we do. Partial.

15 CO-CHAIR CONWAY: Partial. Okay.

16 I think that's the whole group.

17 How about the weight of evidence on
18 demonstrating a gap? Anyone in favor of that
19 being completely demonstrated? There's none.

20 Partially? Five. Oops, six. Could
21 we try that again. Raise your hands high.
22 Six. Six partial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Minimally demonstrated? Nine.

2 And any not at all?

3 Dr. Solomon?

4 DR. SOLOMON: Partial.

5 CO-CHAIR CONWAY: Okay. And the
6 weight of evidence relating this measure to
7 the outcome of the condition. Those feeling
8 that it's completely demonstrated, raise your
9 hands. Okay.

10 Partially demonstrated? There are
11 none.

12 Minimally demonstrated? Thirteen.

13 Not at all demonstrated? Two.

14 And Dr. Solomon?

15 DR. SOLOMON: Minimal.

16 CO-CHAIR CONWAY: Okay. Now in the
17 overall importance to measure and report this
18 measure, we'll be voting yes or no. How many
19 of those thing this should receive a yes vote,
20 please raise your hand? Two. Okay.

21 How about no vote? Thirteen.

22 And Dr. Solomon?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOLOMON: No.

2 CO-CHAIR CONWAY: No. Okay.

3 All right. Well that's another
4 measure completed.

5 Should we have lunch while we work
6 through the next measure? Okay. Then we'll
7 move right along. That's fine. It will
8 probably be similar.

9 Janet, I think you were the primary
10 reviewer again.

11 DR. NAGAMINE: So this is PSM-022-
12 10 dealing with adult patients with MS taking
13 interferon that had a CBC in the last 12
14 reported months.

15 And review of the TAP Committee's
16 votes, the impact, there were two that said
17 minimally two that said partially.

18 There wasn't a lot o comments on
19 this one in terms of the gap. The compliance
20 rate for this one was 58.2 percent in relation
21 to outcomes, most of them said partially. So
22 there weren't a lot of comments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think Bob and I's discussion on
2 this one was pretty much mirrored with the
3 previous discussion.

4 MR. BUNTING: And this one probably
5 even more so because the frequency is less
6 defined than the one we just voted on.

7 CO-CHAIR CONWAY: Okay.

8 DR. SCHWEBKE: Actually one of the
9 articles provided indicates a prevalence of
10 leukopenia that is five to 14 percent. So
11 that probably is actually a little bit higher
12 than I think what we saw with the
13 transaminitis.

14 CO-CHAIR CONWAY: Okay. Questions
15 or comments? Okay.

16 Well then, let's move on to grade
17 the importance of this measure.

18 Regarding the impact of the
19 measure, those who feel it's completely
20 demonstrated please raise your hand.

21 Oh, sorry. Please.

22 CO-CHAIR THIEMANN: A real quick

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question for NQF staff. Because on 22 the
2 Technical Advisory Panel made a request of the
3 performance measure developer to change the
4 time frame from 12 months to six months. And
5 it's my understanding that Ingenix agreed to
6 that change stating that there was evidence to
7 support decreasing the frequency from 12 to
8 six.

9 So, if we're voting on the
10 importance to measure, are we voting on the
11 six month or as specified originally in the
12 original application of 12 months?

13 MS. BOSSLEY: It was changed.

14 CO-CHAIR THIEMANN: It was changed?

15 MS. BOSSLEY: So we should, and we
16 will correct and have Kay go back in and
17 update this to be six months.

18 CO-CHAIR THIEMANN: Okay.

19 MS. BOSSLEY: I'm sorry. You're
20 right. Evaluating this based on six months as
21 opposed to 12.

22 CO-CHAIR THIEMANN: Okay. Just in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 case that influences an individual's
2 assessment of the evidentiary support for
3 outcome since the frequency of monitoring
4 influences.

5 CO-CHAIR CONWAY: Okay. Looking
6 back at the demonstration of impact of this
7 measure, those that feel that it was partially
8 demonstrated, please raise your hand. There's
9 two.

10 Those that feel this is minimally
11 demonstrated? Looks like 13.

12 And Dr. Solomon? Dr. Solomon,
13 would you like to vote?

14 DR. SOLOMON: Minimal.

15 CO-CHAIR CONWAY: Minimal? Okay.
16 I think that's everyone.

17 Taking a look at whether a gap has
18 been demonstrated for this measure, those who
19 feel that that was completely demonstrated
20 please raise your hand.

21 Those that feel it was partially
22 demonstrated please raise your hand? There's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 five.

2 Those that feel it was minimally
3 demonstrated please raise your hand? Ten.

4 And Dr. Solomon?

5 DR. SOLOMON: Partial.

6 CO-CHAIR CONWAY: Partial? Okay.

7 I think that's everyone.

8 Okay. As far as the relationship
9 to outcomes on how well that was demonstrated,
10 those that feel it was completely demonstrated
11 please raise your hand. None.

12 Partially demonstrated, please
13 raise your hand. None.

14 Minimally demonstrated, please
15 raise your hand. Twelve.

16 And not at all demonstrated?

17 Three.

18 Dr. Solomon?

19 DR. SOLOMON: Minimal.

20 CO-CHAIR CONWAY: Minimal. Okay.

21 We'll move on to overall voting in
22 this category, yes or no on the importance to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measure and report on this measure. Those
2 voting yes on that, please raise your hand.
3 There are two.

4 And those voting no, please raise
5 your hand. There's 13 noes.

6 Dr. Solomon?

7 DR. SOLOMON: No.

8 CO-CHAIR CONWAY: And one more no.

9 Okay. I think we are -- oh, do we
10 have to have public comment on it.

11 MS. BOSSLEY: Yes.

12 CO-CHAIR CONWAY: Okay. We're open
13 for public comment. Okay. Hearing none, I
14 think we're ready to break for lunch. Okay.
15 Thank you.

16 (Whereupon, at 12:20 p.m. the
17 meeting went off the record and resumed at
18 1:04 p.m.)

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ribavirin. But everybody is going to be on
2 either both of these medications.
3 Occasionally we'll treat some of these people
4 with just interferon.

5 So, we're identifying individuals
6 who are treatment.

7 What's unique here about the
8 measure is that AASLD guidelines have actually
9 been very clear with monitoring
10 recommendations. And in fact, the 2009 AASLD
11 guidelines have been approved not only by that
12 organization, but also the American College of
13 Gastroenterology and the Infectious Disease
14 Society of America. And in those
15 recommendations they recommend specifically a
16 serum ALT monthly at minimum along with a CDC
17 monthly at minimum for at least the first 12
18 weeks. And then there's some flexibility in
19 that subsequent monitoring every eight to 12
20 weeks.

21 Since we can't be confident with
22 administrative claims data where exactly an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individual may be in their treatment regiment,
2 what's different with this measure is rather
3 than looking for one cast within a specific
4 period of time for compliance, we're actually
5 looking for two or more tests for one measure
6 the serum ALT and for the other measure the
7 CBC. So two or more tests at least 14 days
8 apart during the last 180 days of report
9 period. And then we include 90 days after the
10 end of the report period if additional claims
11 are available.

12 And then allows to be sure that
13 individuals are at least going with the more
14 conservative time frame of monitoring at least
15 every 8 to 12 weeks during that six months
16 time frame. So, that's the one unique thing
17 about the monitoring here compared to the
18 earlier measures that we've discussed today.

19 The compliance for the ALT
20 monitoring measure is 65.8 percent. And the
21 monitoring for the kind of companion measure,
22 the CBC was very similar, 68 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR CONWAY: Okay. Thank you.
2 Our primary discussion leader was
3 David Nau

4 DR. NAU: Sure. This measure, once
5 again, addresses the monitoring of patients
6 taking interferons. As pointed out, most of
7 these patients are going to be getting
8 pegylated interferons along with ribavirin.

9 The clinical guidelines from AASLD
10 do indicate that monitoring should be
11 happening every eight to 12 weeks for patients
12 taking these drugs. So the measure is
13 consistent with the guidelines. And there is
14 evidence that compliance with this parameter
15 of the guidelines is not perfect, it's around
16 66 percent.

17 So that's essentially the key
18 points, I guess.

19 CO-CHAIR CONWAY: Okay. Thanks.

20 And Steve, do you want to add
21 anything to that?

22 DR. LAWLESS: Yes. The only couple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of things I would note is that this has the
2 word "periodic" in it. So, obviously, it's
3 wavering a little bit, and I agree for me as a
4 guide or just something saying "periodic" left
5 a little bit up to -- as a title. I mean,
6 it's left a little bit up on the air until I
7 know what the intent seemed to be.

8 I looked at the incident of this
9 and they describe it as 1 percent of the
10 population who are on this would get an
11 elevation of the liver enzymes. So it's a
12 relatively small incidence among those who are
13 even on this that would have the rise. And
14 I'd have to get an interpretation from a GI
15 specialist that if I had hepatitis C and one
16 percent of the patients had a rise in liver
17 function tests, the issue I would have is that
18 it the liver -- is the hepatitis C or is it
19 the drug. And I don't know how you'd be able
20 to distinguish this way.

21 So, I don't know if it's safety
22 versus -- is the drug monitoring versus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disease state. So I was a little bit confused
2 about how to handle that or how that
3 interpretation would occur with this.

4 And I thought also -- and this is
5 just when we talk about the other measure also
6 -- and this may have been an oversight or not,
7 but the denominator calculation in this one
8 was different from the denominator calculation
9 in the other measure, the way it's just
10 outlined. It could be that they just wanted
11 to shorten it in terms of specificity or it's
12 just an oversight. But it looked like they
13 were different, and I'm just curious why that
14 difference is in the denominator.

15 DR. SCHWEBKE: If there is some
16 difference that you're noticing, it would be
17 helpful to know maybe which specific -- if
18 it's the denominator time window, the
19 denominator DTL, the denominator --

20 DR. LAWLESS: I think if you go to
21 the -- yes. I'm sorry.

22 DR. SCHWEBKE: So that would be an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 error if that's there.

2 DR. LAWLESS: I don't understand
3 there may be a clarification on the TAP report
4 I got on pages 6 through 9. Give a lot of
5 outlines of the codes used, the
6 clarifications, and that was not the same in
7 the 23. So I just -- it could have just been
8 -- the assumption was it was the same. I just
9 didn't know if that as just an oversight.

10 DR. SCHWEBKE: You are correct that
11 they should be exactly the same. We are
12 identifying the same population here.

13 DR. NAU: And just to clarify, the
14 title of the measure is more vague than the
15 actual specifications because the measure
16 description does indicate two serum tests in
17 the past six months. So, that's where the --
18 you know, I guess I pay attention more to the
19 description because the title overall just
20 doesn't give you the detail there.

21 DR. SCHWEBKE: That comment is well
22 taken. Periodic was used for brevity. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have been chastised in the past with NQF in
2 endorsed measures for having unnecessarily
3 long measure descriptions. So, that's
4 certainly something that we could modify and
5 give that detail.

6 CO-CHAIR CONWAY: Okay. Any
7 questions from the Committee members? Yes.

8 MR. BUNTING: In the report that we
9 have it talks about the error rate, and there
10 are different numbers tossed about; 11
11 percent, 2 percent, 17 percent and then a 5
12 percent error rate overall. Can you address
13 the confusion caused by that paragraph? And
14 that's addressed to Ingenix.

15 DR. SCHWEBKE: I think what's
16 you're referring to is 2c.2 the Analytic
17 Method?

18 MR. BUNTING: That's correct.

19 DR. SCHWEBKE: Is that correct, is
20 that the section that you're looking at?

21 MR. BUNTING: Yes, that's correct.

22 DR. SCHWEBKE: Yes. So this is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 section, and the specific portion that you're
2 referring to is just an example, and actually
3 we talked about this earlier, where we went in
4 and we did attempt to validate using a chart
5 review comparison process. The results based
6 on our administrative claims output when
7 looking at measures versus what we were
8 finding from a chart review.

9 Now, this specific measure wasn't
10 included. It was more of a looking at where
11 are administrative claims strong in
12 identifying gaps in care and where might there
13 be problems with data incompleteness where
14 administrative data just isn't capturing the
15 information.

16 So, what you're looking at is that
17 100 member chart review where we looked at 126
18 measures. And I think probably the most
19 important thing from this was that when we
20 look at -- it's the second bullet point, the
21 error rate for measures that required labs for
22 numerator compliance. That was 4 percent, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually the error tended to be on the side of
2 -- the chart review was typically missing labs
3 that had been done at outside facilities.

4 So, in other words, administrative
5 claims when you're looking at labs is actually
6 quite robust and in fact, probably is even
7 better than going to the paper chart because
8 you miss tasks that are often done at outside
9 facilities.

10 DR. LAWLESS: Along with the error
11 rate mentioned, is the error rate different
12 from the numerator perspective versus the
13 denominator perspective?

14 DR. SCHWEBKE: Here what we mean by
15 error rate was that there were 14 situations
16 out of 318 where there was not an identical
17 match between what administrative claims told
18 us about a lab being done and what the chart
19 told us about a lab being done. And in those
20 14 cases where there was a lack of
21 concordance, the problem actually tended to be
22 that the chart was missing a laboratory test

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the administrative claims was able to
2 detect.

3 CO-CHAIR CONWAY: Okay. Any other
4 questions or discussion? Steve?

5 DR. MUETHING: Just to make sure I
6 understand. From my reading on this summary
7 is that they had one percent of the patients
8 with hepatitis C had marked elevation of their
9 ALT?

10 DR. LAWLESS: Yes. Let me get the
11 exact quote that was in here. I think it was
12 in the TAP report, page 3. Per the
13 pharmaceutical manufacturer one percent of
14 patients in the hepatitis C trials experienced
15 marked elevations in ALT during treatment.

16 DR. MUETHING: And again, similar
17 to the other ones, then we don't have any
18 evidence that it was the screening that picked
19 that up?

20 DR. LAWLESS: Right.

21 DR. MUETHING: We don't know if it
22 was screening or some clinical change that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 brought that to the -- during the trials?

2 DR. LAWLESS: Correct.

3 DR. MUETHING: Okay.

4 DR. LAWLESS: Or at least it was
5 just not mentioned here.

6 DR. MUETHING: Exactly. Yes. Yes.
7 Okay. Thank you.

8 CO-CHAIR CONWAY: Okay. Should we
9 move on to grading the importance of the
10 measure? Seeing no negative head nods, we'll
11 do that.

12 We'll start with the evidence for
13 the impact of this measure. Was that impact
14 demonstrated completely? See any hands that
15 feel it was complete.

16 Okay. Was the evidence partial for
17 demonstrating that? Ten hands. Okay.

18 And minimal evidence for
19 demonstrating that? Five hands.

20 Dr. Solomon, are you still on the
21 phone?

22 OPERATOR: His line has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disconnected.

2 CO-CHAIR CONWAY: Okay. Thank you.

3 So that complete the whole group.

4 Evidence of a gap in performance in
5 this measure, those feel that was demonstrated
6 completely please raise your hand. There's
7 none.

8 Those feel that was demonstrated
9 partially, please raise your hand? Fifteen.
10 That would be everybody.

11 We could move on to the
12 relationship of this measure, the outcomes.
13 Those that feel that was demonstrated
14 completely, please show your hands. There are
15 none of those.

16 Those that feel that it's partially
17 demonstrated, please raise your hands?

18 And those who feel it was minimally
19 demonstrated? Fourteen I think.

20 Is there someone who feels that it
21 was not demonstrated at all? Or is there an
22 abstention, or I counted wrong. It was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably 15 voting in favor of that being
2 minimally demonstrated.

3 Then we can grade the overall
4 category. The importance of measuring and
5 reporting on this measure. Those that feel
6 the answer to that is yes, please raise your
7 hand. That's one yes.

8 And those that feel that it's not -
9 - the answer to that is no, please raise your
10 hand. Those are fourteen noes, one yes.

11 Okay. And we have a question.

12 MS. THRAEN: I'm going to go back
13 to the idea that all of these were aimed at
14 medication safety approaches and ask the
15 clinical people here in the room if they could
16 give, from my understanding, give me an
17 example of what would be a better way of
18 measuring clinical safety other than these
19 types that have been proposed so far in these.

20 So, if we just use the last one as an
21 example. Knowing that they're using claim
22 data, is there a way of getting at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question or a better way of getting at the
2 safety of this particular drug that would
3 solve a problem or identify or prevent adverse
4 events occurring, medication safety adverse
5 events?

6 I'm struggling with the fact that
7 we're rejecting all the medication safety,
8 proposed medication safety measures. And I'm
9 just trying to understand better is it because
10 these are claims data and we're just simply
11 looking at timing of labs? Would it be better
12 suited to have a clinical piece of information
13 that was included with the medication that
14 would then point to this risk for adverse
15 events?

16 CO-CHAIR CONWAY: We may all have a
17 different answer to that question. It doesn't
18 have to do with how its being measured. From
19 my own point of view, I think right now we
20 don't have enough information to know about
21 what the right time intervals are, or even if
22 we had some agreement on what the right time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intervals were, whether drawing a lot of blood
2 tests would have any impact on preventing a
3 complication.

4 So, in my own mind I think this
5 whole category is just not ready for prime
6 time. We need a little bit more research.
7 That's just my own perspective.

8 Others may want to answer that.
9 It's a good question.

10 DR. NAU: Well, and that's where
11 I'm trying to sort out whether this is an
12 issue of importance or whether it's scientific
13 acceptability of the way its specified.
14 That's where I've been consistently sort of
15 supporting the importance of these measures.
16 Because I think it is important that we
17 determine whether we're following the
18 recommendations for how to safely monitor
19 patients on these medications.

20 I don't always agree with some of
21 the way the measures are specified, but I do
22 think it's important to determine whether or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not we are monitoring patients on these very
2 toxic drugs. And so there's where I've tended
3 to fall on the side of saying it's important,
4 but wanting to then have some discussion
5 around whether it is the right interval or
6 whether a different interval would be better.

7 So I think that's where maybe I'm
8 sort of differing from the rest of the group
9 in my vote around the importance issue.

10 DR. NAGAMINE: I struggled with
11 that question, and I went back to the
12 medication safety category to see what has
13 already been done in that regard. And that
14 helped a little bit. And when I look at
15 these, they're broader.

16 Do we have a medication list in the
17 outpatient record? Do we have documentation
18 of allergies? Therapeutic monitoring for
19 persistent meds? There are certain cardiac
20 meds that are also part of the core measures
21 in here. Drugs to be avoided in elderly. And
22 then fall risk management.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so those were broader and
2 they're there. And they don't focus on one
3 disease or one drug, but I think the impact of
4 these are far reaching. They're really
5 important ones.

6 And so when I look at if we're
7 trying to improve medication safety, what has
8 the biggest bang for the buck? That's sort of
9 how I looked at it. And so if you want to ask
10 about a specific drug, you know you could look
11 at Warfarin, which has 31 million
12 prescriptions a year and people die from
13 bleeding on Warfarin. And so again, high
14 volume, high risk was what I kept on coming
15 back to as I struggled with these questions.

16 DR. LAWLESS: I made a
17 distinguishing case in safety versus
18 surveillance of adverse drug reactions. And
19 the way these were tallied for me, these look
20 more like a surveillance of adverse drug
21 reactions and determining more of the
22 incidence and what people reacting do with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them. So it's medication safety, but it's
2 more quality I try to determine it, rather
3 than a safety issue per se.

4 MS. THRAEN: I hate to admit this,
5 but that's how it feels to me.

6 DR. MUETHING: Granted that the
7 type of measures I'll state as an example
8 would be much tougher to get to, I would be
9 more intrigued by a measure of physician
10 response to a patient with an elevated ALT.
11 And percentage of times we fail to respond or
12 don't make a change, or following up on
13 Janet's comment, I would be much more
14 intrigued by a measure of percentage time we
15 put a patient on one of these drugs when they
16 have a drug in their medication list that's
17 contraindicated to use this medication.

18 My impression on this type of
19 measurement is we can measure this and we can
20 establish that it's not bad to measure this,
21 but to generalize after a statement is that
22 therefore you should -- all patients on this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug should be measured in this way feels a
2 strong statement. And that's my struggle.

3 CO-CHAIR CONWAY: Okay. Shall we
4 move on to the next measure? That is PSM-024-
5 10. This is the periodic CBC monitoring of
6 patients on interferon with hepatitis C.

7 Our primary discussion leader is
8 David Nau.

9 DR. NAU: And this measure is once
10 again consistent with some of the others
11 regarding interferon. So, I think once again
12 it's about the ongoing monitoring of CBC in
13 patients who are taking interferon and how
14 have hepatitis C. And so I think I'll just
15 leave it at that at this point.

16 CO-CHAIR CONWAY: Okay. Steve, you
17 got anything to add?

18 DR. LAWLESS: No, nothing else.

19 CO-CHAIR CONWAY: Okay. Shall we
20 move on to -- yes?

21 MS. THRAEN: Actually it goes back
22 to the one before this, the age limit, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three years of age question. Does this differ
2 if it were under the age of three, do
3 pediatric cases have any influence on whether
4 or not this is good or bad or needed?

5 DR. LAWLESS: I didn't bring it up,
6 and I should have actually. Is that since
7 you're dealing with pediatrics here, and I
8 didn't see much evidence from the pediatric
9 world of this. So in terms of evidence
10 brought in, consensus from any of the
11 pediatric groups or comments, so I don't know.

12 And I think it goes along with the evidence
13 of putting out something that's a measure of a
14 pediatric population, but there's no input for
15 knowledge or anything about that. It would
16 mean that if we were close to agreeing with
17 it, I would probably ask for a re-vote in
18 saying over 31.

19 DR. SCHWEBKE: Actually, I'll also
20 disclose that half of my practice is treating
21 people with hepatitis C. These drugs are
22 actually not approved in the pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population.

2 Now, with that being said, are
3 there some people who treat pediatric
4 patients? Yes. And in fact, that's one of
5 the difficult points that we discussed with
6 AGA when we reviewed these measures. And it
7 was the sense that because we acknowledged
8 these medications are not FDA approved in that
9 population, but we also know that
10 practitioners, once the drugs are available,
11 will sometimes use them when they believe it's
12 appropriate. We thought it was important to
13 include the pediatric population.

14 We certainly would have no
15 objection to changing that threshold, however

16 DR. LAWLESS: Let me clarify. I
17 think your answer was a very good one. So the
18 idea would be, this would be if you're
19 prescribing the medicine? This does not
20 address whether it's appropriate or it's an
21 off-label use, or whatever else. So, if you
22 are using it, it's not, if you're a pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient don't follow this measure?

2 DR. SCHWEBKE: Correct.

3 CO-CHAIR CONWAY: Yes.

4 MS. THRAEN: One more question that
5 I don't know the answer to related to public
6 health. So, is there any impact felt at a
7 communicable disease level for patients
8 receiving these kinds of treatments that's
9 related to their lab work? I mean, I'm just
10 asking because I don't know. That are they
11 more susceptible for passing the disease on,
12 if they're not -- or et cetera, that question,
13 the public health perspective?

14 DR. SCHWEBKE: That's a really
15 interesting question. We don't know the
16 answer to that as well as we do in the HIV
17 arena. I mean, one would assume that somebody
18 who has a lower viral level, which is going to
19 happen when people are placed on hepatitis C
20 treatment, one would think that they're less
21 likely to transmit.

22 We don't actually have that data in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hepatitis C population. We're actually
2 obtaining that information in the HIV
3 population. So if we want to assume the
4 viruses act the same, one might say yes,
5 that's probably the case.

6 You know, I think that the bigger
7 thing -- and I will say this: of the two
8 measures, the CBC measure is actually, I
9 think, the strongest. Because we do see some
10 dramatic changes in the hemoglobin. And it's
11 not only a huge safety issue, but it's not
12 predictable. And I've had people on treatment
13 for six months as they're heading for their
14 12-month treatment course where their
15 hemoglobin has been stable, and then it drops.

16 So it's not only a safety issue that can
17 sometimes be unpredictable, but also it really
18 does feed into adherence. When people want to
19 stop it's because they don't feel well.
20 Sometimes they don't feel well because they
21 are severely anemic. And if we aren't
22 monitoring for things like that, we miss both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adherence opportunities as well as safety
2 opportunities.

3 MS. THRAEN: But in the proposed
4 measure the use is administrative data. So
5 you're administrative -- the fact that you got
6 a lab done, a CBC and a lab done, would not
7 tell you what those levels were, correct?
8 It's only the clinical data that would give
9 you that information, correct?

10 DR. SCHWEBKE: Correct. Correct.
11 It would also be difficult -- getting to kind
12 of an earlier comment, it would also be
13 difficult with administrative claims data to
14 know what then occurred. Oh, we could
15 identify a blood transfusion, but we probably
16 couldn't identify -- in fact we couldn't
17 identify a dose reduction. Because what we're
18 going to do in that situation is we're going
19 to call the patient and say drop your
20 ribavirin dose or drop your pegylated
21 interferon dose, and we're not going to be
22 able to detect that for some time with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 administrative claims.

2 MR. BUNTING: Does Ingenix have the
3 ability to look at lab values, or just the
4 fact that the lab was performed?

5 DR. SCHWEBKE: That's a great
6 question. That's where these tests called
7 LOINC codes come in. LOINC codes are a
8 standardized data source. Many people aren't
9 familiar with LOINC codes. But for example,
10 with a hemoglobin A1C, there's a CPT code that
11 identifies that test was done. It turns out
12 there are unique LOINC code results that
13 actually give you the hemoglobin A1C value.
14 Similarly, we can sometimes see that with LDL,
15 HDL, et cetera.

16 So LOINC codes are that specific
17 unit that can give you test results. It's a
18 little bit, I think, challenging with certain
19 labs like hemoglobins and transaminases, the
20 LOINC codes have actually been more granular
21 for things like LDL, hemoglobin A1C, GFR,
22 things like that. So the data is becoming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available, but it's pretty limited at this
2 point.

3 DR. SOLOMON: When you say
4 "limited," do you mean it's limited because
5 certain labs aren't providing the data back to
6 Ingenix or other insurers, or is it the
7 vagaries of how it's coded, or both?

8 DR. SCHWEBKE: Yes, it's both. I'd
9 add kind of the additional dimension that you
10 don't always have the glandular lab result for
11 all diagnostic tests. For example, it's
12 difficult to have a LOINC code that tells,
13 that actually quantitates progeria.

14 CO-CHAIR CONWAY: Any other
15 questions or discussion? Shall we move on to
16 grading the importance of this measure to
17 measure and report? We'll go through each of
18 the three sections.

19 Do you feel the evidence of impact
20 of this measure was demonstrated completely?
21 All that think that raise their hand.

22 Okay. Was it demonstrated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 partially? That would be nine.

2 Was it demonstrated minimally? And
3 six.

4 And Dr. Solomon, are you on the
5 phone?

6 DR. SOLOMON: Yes. Partially.

7 CO-CHAIR CONWAY: Partially. Okay.

8

9 DR. SOLOMON: Not partially on the
10 phone.

11 (Laughter.)

12 CO-CHAIR CONWAY: Yes. Thank you.

13 How about the degree of
14 demonstration of a gap in compliance, those
15 who felt it was demonstrated completely please
16 raise your hand. Okay. There are none of
17 those.

18 Demonstrated partially, please
19 raise your hand. Eight.

20 And those that feel it was
21 minimally demonstrated? And that's five.

22 We have one more coming late for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 partial.

2 Dr. Solomon?

3 DR. SOLOMON: Partial.

4 CO-CHAIR CONWAY: Partial. Okay.

5 I think that's everyone.

6 The third question in the set is do
7 we have a relationship to outcomes, and those
8 that feel that was completely demonstrated,
9 please raise your hand. There were none of
10 those.

11 Those who feel it was partially
12 demonstrated, please raise your hand. None of
13 those also.

14 Those who feel it was minimally
15 demonstrated, please raise your hand. It
16 looks like 13.

17 Those who feel it was not
18 demonstrated at all? Two.

19 And Dr. Solomon?

20 DR. SOLOMON: Minimally.

21 CO-CHAIR CONWAY: Minimally. Okay.

22 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the overall grading of this
2 section, the importance of this measure to
3 measure and report it, we'll answer yes or no.

4 Those who feel that the answer to that is
5 yes, please raise your hand. We have two.
6 And those who feel the answer to that is no,
7 please raise your hand. Thirteen.

8 And Dr. Solomon?

9 DR. SOLOMON: No.

10 CO-CHAIR CONWAY: No. Fourteen.

11 Okay. Thank you.

12 And since you're all doing so well,
13 working so hard, we could do a stretch break
14 now. That's what the agenda shows. Maybe ten
15 minutes.

16 I'm sorry. Are there any public
17 comments or members on the phone line?

18 Okay. Hearing none, we'll take a
19 ten-minute break.

20 (Whereupon, the above-entitled
21 matter went off the record at 1:37 p.m., and
22 resumed at 1:59 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THIEMANN: All right. I
2 think we're going to reconvene and see where
3 we can go next.

4 We are moving on to the last two
5 performance measures for today that are on the
6 agenda for today. And I believe PSM-030-10.
7 And I believe, Dr. Nau, have you agreed to
8 step in as primary, or Dr. Knight, or tag
9 team?

10 DR. NAU: Sure, we can tag off
11 here.

12 CO-CHAIR THIEMANN: Okay. Sounds
13 good.

14 DR. NAU: Well, measure 30 looks at
15 patients with inflammatory bowel disease
16 taking one of four immunomodulatory drugs that
17 had a CBC in the last three reported months.
18 Very similar to the measure we evaluated for
19 patients with rheumatoid arthritis. The only
20 other difference, really, is that with the
21 rheumatoid arthritis patients, the ACR had
22 made explicit recommendations regarding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitoring of patients on these drugs. But as
2 far as I can tell, none of the
3 gastroenterology societies have made explicit
4 recommendations for monitoring patients on
5 these drugs with IBD.

6 Dr. Knight, did you want to add
7 anything?

8 DR. KNIGHT: I don't have much to
9 add to that, other than, on this one there was
10 42 percent compliance when they looked at
11 that. And again, recommendations were based
12 on expert opinion and it was noted that no
13 rigorous research in appropriate screening
14 intervals had been done.

15 CO-CHAIR THIEMANN: Dr. Schwebke,
16 would you like to add anything from Ingenix's
17 perspective on either 30 and 31 as a summary,
18 initially?

19 DR. SCHWEBKE: Yes. The only thing
20 I will add is that these were an additional
21 two measures that were reviewed by the AGA
22 Subcommittee who, despite the fact that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acknowledged that they don't have any
2 published guidelines that look at monitoring
3 medications, they were extremely supportive of
4 these measures.

5 CO-CHAIR THIEMANN: Thank you.

6 And any comments, questions from
7 Steering Committee members? I'm not seeing
8 any.

9 So I'm going to take that as
10 indication that we probably should move
11 forward to consider whether or not the group
12 feels this measure passes threshold for
13 importance to measure and report at this time
14 if there are no questions or comments. Okay.

15 With that in mind, does the group
16 feel that the measure developer completely met
17 the burden for demonstrating high impact in
18 healthcare for this performance measure;
19 completely? I see zero.

20 Partially? Six. Sorry. Didn't
21 see your hand, Dr. Nau. Just wanted to make
22 sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Minimally? And this ought to be
2 eight.

3 Dr. Solomon, are you still on the
4 line?

5 DR. SOLOMON: Minimally.

6 CO-CHAIR THIEMANN: Minimally.
7 Okay.

8 Any not at all? One. Okay.

9 For section B, the measure
10 developer demonstrated opportunity for
11 improvement on this issue? Completely? I see
12 zero.

13 Partially? Nine, I think, is what
14 I have. Nine.

15 Minimally? Six.

16 And not at all? Zero.

17 Dr. Solomon?

18 DR. SOLOMON: Minimal.

19 CO-CHAIR THIEMANN: Minimally.
20 Okay.

21 And has the performance measure
22 demonstrated evidence for outcome? Completely?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Partially? Two.

2 Minimally? Eleven. Eleven.

3 Not at all? Two.

4 And Dr. Solomon?

5 DR. SOLOMON: Minimal.

6 CO-CHAIR THIEMANN: Okay. And so
7 now we're going to do a summary vote then on
8 whether the threshold for importance has been
9 met by the measure developer. Does the group
10 feel that yes, they have? Two. No? Is that
11 everybody else? Twelve.

12 And abstaining? Zero.

13 And Dr. Solomon?

14 DR. SOLOMON: No.

15 CO-CHAIR THIEMANN: Thank you.
16 Okay.

17 Moving on to PSM-031-10. Dr. Nau,
18 I believe, you were primary discussion --
19 well, you were secondary discussion leader, I
20 believe, but Dr. Kowdley is not here, correct?

21 DR. NAU: Sure. I'll start us off
22 on this one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THIEMANN: If you wouldn't
2 mind.

3 DR. NAU: Okay. This measure looks
4 at patients with IBD taking methotrexate that
5 had a serum creatinine in the last six months.

6 CO-CHAIR THIEMANN: And any
7 questions or comments from Steering Committee
8 members on this issue?

9 Okay. I don't know if everyone's
10 post-lunch, or --

11 So I'm seeing any comments, any
12 hands. So I think we're moving forward to
13 calling for votes again on 1a, 1b, 1c and
14 ultimately for 31.

15 Has the measure developer
16 demonstrated high impact for this performance
17 measure completely? Any votes? I see none.

18 Partially? Six.

19 Minimally? Nine.

20 Not at all? Zero.

21 Dr. Solomon?

22 DR. SOLOMON: Minimal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THIEMANN: Minimal. Thank
2 you.

3 And demonstration of opportunity
4 for improvement on this issue, completely?
5 Zero.

6 Partially? Two.

7 Minimally? Eleven.

8 Not at all? One.

9 Dr. Solomon?

10 DR. SOLOMON: Minimal.

11 CO-CHAIR THIEMANN: Minimal? Okay.

12

13 And concerning evidence for
14 outcome, performance measure completely
15 demonstrated? Zero.

16 Partially? Zero.

17 Minimally? I see fourteen.

18 Fourteen.

19 Not at all? Two.

20 And Dr. Solomon?

21 DR. SOLOMON: Minimal.

22 CO-CHAIR THIEMANN: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so taking the vote for whether
2 the performance measure has met the threshold
3 for importance to measure and report on this?
4 Answer yes? Any? Zero. Okay. No? That's
5 15, yes.

6 And abstaining?

7 Oh, Dr. Solomon, I apologize?

8 DR. SOLOMON: Okay. No.

9 CO-CHAIR THIEMANN: I was good up
10 until that point.

11 So with those two, that actually --
12 any NQF members, other members or public
13 comments on these two measures for anyone else
14 on the line? Hearing none.

15 Then when we are in a phase where
16 we could start to, earlier we had talked about
17 next steps, thinking about possibly a recap
18 and what type of comments NQF staff may need
19 to consider in drafting its report as to the
20 actions and discussions here from the Steering
21 Committee. And I know I've had some sidebar
22 conversations, I'm sure, Dr. Conway, you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as well about some of the difficulties and
2 challenges in evaluating these measures and
3 the need to still express that this is not --
4 that just because the Steering Committee voted
5 that the measure developer at this point in
6 time, it was not our opinion that the
7 importance to measure was reflected or
8 captured. And so maybe opening up the floor
9 now to the Steering Committee members'
10 opinions and thoughts about maybe important
11 next steps or recommendations to the measure
12 developer on this issue in drafting these
13 measures, or some directions we'd like to see
14 measurement in this population go.

15 DR. SCHWEBKE: Before you do that,
16 can I ask a quick question about the two HIV
17 measures?

18 CO-CHAIR THIEMANN: Sure.

19 DR. SCHWEBKE: Are those going to
20 be discussed today, or are those still not
21 fully reviewed?

22 MS. MUNTHALI: Hi, Kay. This is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Elisa.

2 They will be reviewed once the
3 Technical Advisory Panel reviews them.

4 DR. SCHWEBKE: Okay.

5 MS. MUNTHALI: And then the
6 Steering Committee will evaluate those. I
7 think it's on November 19th.

8 DR. SCHWEBKE: Okay. Very good.
9 Thank you.

10 MS. MUNTHALI: You're welcome.

11 CO-CHAIR THIEMANN: So I'd like to
12 open it up. Ms. Thraen?

13 MS. THRAEN: One of the concerns,
14 again, that I have is how these measures are
15 intended to be used. If all of the science
16 was here to support these measures today, and
17 we could agree on that, then what's not being
18 judged and again Peter said something about
19 this being an ethical question. I'm not sure
20 it's as much of an ethical question as it is
21 more of a practical question in terms of
22 what's the intent of the use of this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, in a large group practice when
2 you're trying to standardize variations in
3 practice, having feedback on the timing of a
4 particular lab work in relationship to
5 medications that you're prescribing, et
6 cetera, to me that seems like a reasonable use
7 of many of these measures using the
8 administrative data.

9 In terms of using them for public
10 accountability from a state government
11 perspective, it doesn't seem reasonable to me.

12 Using them in terms of trying to
13 tease out more clinical values in terms of
14 practitioner response to alerts or lack of
15 alerts, or values that are too high; that, to
16 me, is more of a patient safety risk
17 opportunity.

18 So, there's no way currently in the
19 process for us to say, to judge, to make using
20 explicit criteria, to make a judgment on how
21 this measure could be used, should be used,
22 ought to be used regardless of the science as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 part of the overall consideration of its
2 importance.

3 So, figuring out how to do that, I
4 don't have any solutions to offer so I tend
5 not to want to criticize. But figuring out
6 how we can do that, how can we make those
7 judgments and make those recommendations.

8 So maybe you used the term "not
9 ready for prime time," I used earlier a
10 "league approach" or a "farm team" versus the
11 professional team approach. Rather than just
12 a yes or a no. That there be a way of
13 categorizing some of these measures in such a
14 way that there's a consensus, there's a voting
15 consensus that this would be a good quality
16 improvement measure. Because I think that the
17 society, our systems are looking towards an
18 organization for a clearing house of measures.

19 And under some circumstances under, you know
20 if I were a specialist practitioner with my
21 rheumatoid patients looking to NQF to say
22 here's a measure that's gone through a vetting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 process that if I were to measure something in
2 this particular population, this would be a
3 good way of measuring it. And I know that
4 there's been some work on this. I would take
5 that and move forward, and move to improve the
6 care. That's not the same as saying that this
7 is a national measure that everybody needs to
8 be using.

9 So figuring out how to capture that
10 spectrum, the word that was used earlier, that
11 spectrum is something I'd like to see
12 developed.

13 CO-CHAIR THIEMANN: Dr. Levine --
14 or Mr. Levine -- okay. I saw you move
15 forward, so I wasn't sure.

16 Any other comments, thoughts. Dr.
17 Lawless?

18 DR. LAWLESS: I think, actually,
19 hopefully, I like your idea about the feedback
20 going to them in terms of that people don't
21 get the impression that everything was kind of
22 wiped out here because we just don't want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do it. I mean, it was really out of the
2 consideration for the long term what this
3 meant.

4 That said, these were more adverse
5 drug reactions and how well you're monitoring
6 for adverse drug reactions, which is part of
7 medication safety but it's a sub -- it's not
8 what's on a lot of people's top of mind when
9 they hear medication safety.

10 So, I think that maybe having
11 categories of medication errors versus
12 medication monitoring, versus error
13 prevention, may be a good way of outlining
14 when you put a call for proposals out, so that
15 there's a clarity there for them.

16 And I would also like to go to the
17 point about disease. If a measure was a
18 little bit more -- something that could be
19 applicable across all disease states, so
20 medication management safety and creating a
21 template for that for people to respond to of
22 how they would fit into a medication

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 management safety. This way you can insert
2 disease and then say this is what you should
3 be doing to prevent those errors, and you
4 wouldn't have to worry about incidence of
5 disease.

6 CO-CHAIR THIEMANN: Go ahead, Dr.
7 Nau, and then I'll go to Mr. Levine.

8 DR. NAU: And I believe what the
9 consensus of the group was that we should be
10 evaluating importance based on sort of are
11 these very broadly usable, applicable,
12 important to add to some national reporting
13 efforts. And from that standpoint, then, I
14 think the consensus was correct in that these
15 individual measures wouldn't meet that very
16 high bar to say in all circumstances these are
17 the ones you want.

18 I think, though, it might be useful
19 to note that there may be some contexts or
20 some particular entities for whom these
21 measures would be important to measure. And
22 so I don't think that the view of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee was to condemn all potential uses of
2 these measures forever. But to point out that
3 they're not the types of very broad, high-
4 impact measures that nationally we would want
5 government agencies and so forth to be using
6 for direct reporting on quality.

7 CO-CHAIR THIEMANN: Mr. Levine.

8 MR. LEVINE: Yes. I guess what I
9 was thinking about was, maybe the measures
10 should be put in different paradigms. In one
11 case there would be the measure for public
12 accountability and reporting, another measure
13 or a class of measures would be for quality
14 improvement, and then a third would be whether
15 they'd be allowed to be used in the system,
16 like practice guidelines or maybe we need to
17 start thinking about making distinctions in
18 terms of those purposes or uses.

19 MS. THRAEN: Baseline standards of
20 care.

21 CO-CHAIR THIEMANN: Dr. Lawless?

22 DR. LAWLESS: And actually, maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just one more thing that came up, and I
2 apologize if I don't have the full incidence.

3 When we had the discussion about
4 the patients with rheumatoid arthritis and we
5 had the discussion of your relative making the
6 agreement with their physician about what's to
7 do or not. A lot of time, maybe, asking the
8 measures to one, link to outcome. And then
9 look at the outcome as in relation to the work
10 it takes to get that measure done. So the
11 incidence of a car accident or getting someone
12 with severe rheumatoid arthritis into a car,
13 getting to a lab and the stress that creates
14 may be higher of a disease burden than it is
15 monitoring the CBC every six months.

16 So, I think thinking a little bit
17 out of the box, that people maybe have to look
18 at what is the impact actually of actually
19 having to get the lab done or the stress level
20 gets created, and is it worth the results of
21 the test?

22 I don't know if that's clear enough

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with it, but it struck me that in having older
2 people I take care of, getting them into the
3 car, getting them out there is more stressful
4 than actually, oh great, CBC was normal. Thank
5 you. But now you're sick because you've had
6 to move.

7 CO-CHAIR THIEMANN: Dr. Nagamine?

8 DR. NAGAMINE: Sort of along those
9 lines, it's the number needed to treat
10 concept. You know how many CBCs and LFTs do
11 we need to do before we prevent harm, I think
12 is a critical question that I have. And I
13 think an area of opportunity for us to define
14 as we move forward.

15 I mean, I kept on trying to look
16 for these guiding principles, and although it
17 is written here importance to measure and
18 report; you know, high impact, how are we
19 defining that? I think we could be a little
20 clearer perhaps. You know, that's possible to
21 provide a little guidance there.

22 And then I just want to reiterate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we're not saying that it's not important
2 to create standards and to measure these
3 things. And I just wanted to emphasize that
4 again.

5 CO-CHAIR THIEMANN: Dr. Conway?

6 CO-CHAIR CONWAY: They may want to
7 turn me off.

8 But I would just leave the NQF
9 staff with two ideas. One is, it would be nice
10 to see someone want to pick up this mantle and
11 develop an interdisciplinary and holistic
12 approach to the monitoring of high risk
13 medications. And I don't know how to do that,
14 but hopefully someone in NQF, you could sort
15 that out.

16 The second is in areas of research.

17 I don't have a fatalistic outlook on this
18 even though these are infrequent
19 complications. I think with databases today,
20 Ingenix could be the comparison to the wild,
21 the control state and you could compare them
22 to, Janet is right. Kaiser has highly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standardized care in a lot of areas. So if
2 they're in fact doing medication monitoring in
3 a regular basis, that could be the treatment -
4 - and you could look and see if there's any
5 difference in the complications despite all
6 that monitoring that some areas of Kaiser may
7 be doing.

8 And there's growing pooling of
9 databases in the country today, trying to look
10 at clinical effectiveness, and they could be
11 looking at complications as well.

12 So I think a clever health service
13 researcher could begin to understand this a
14 little bit better for us.

15 MS. THRAEN: Actually, he just said
16 what I was going to say. This is opportunity,
17 I think, to feed back to AHRQ and the
18 direction of their research for comparative
19 effectiveness.

20 A great example of all of these
21 proposed measures, a great opportunity to say
22 whether or not outcomes are really impacted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one way or the other. And then feed that back
2 through this loop. So if there does become an
3 opportunity for someone to check that out, to
4 do that research and they have preliminary
5 information that could be fed back to this so
6 that we could revisit it, then we might make a
7 different kind of decision based on that.

8 CO-CHAIR THIEMANN: Any additional
9 comments, thoughts, closing remarks at this
10 point for today? Knowing we'll be back here
11 tomorrow morning.

12 MS. THRAEN: I want to thank the
13 staff for all their work.

14 CO-CHAIR THIEMANN: Yes. Thank you
15 very much. We really appreciate it.

16 MS. MUNTHALI: Thank you, everyone.
17 We will see you back tomorrow at 9:00. And we
18 ask that you please take your materials. This
19 is not our office. We're renting the space.
20 And bring them back tomorrow and bring
21 yourselves back.

22 So, have a good evening.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR THIEMANN: Thank you, Dr.
2 Solomon for joining us.

3 (Whereupon, at 2:22 p.m., the
4 meeting was adjourned.)

5

6

7

8

9

10

11

12

13

14

15

16

17

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701