

NATIONAL QUALITY FORUM

+ + + + +

PATIENT SAFETY
STANDING COMMITTEE MEETING

+ + + + +

WEDNESDAY
June 17, 2015

+ + + + +

The Committee met at the National Quality Forum, 9th Floor Conference Room, 1030 15th Street, N.W., Washington, D.C., at 8:30 a.m., Ed Septimus and Iona Thraen, Co-Chairs, presiding.

PRESENT:

ED SEPTIMUS, MD, Co-Chair, Medical Director
Infection Prevention and Epidemiology
HCA and Professor of Internal Medicine Texas
A&M Health Science Center College of
Medicine, Hospital Corporation of America
IONA THRAEN, PhD, ACSW, Co-Chair, Patient Safety
Director, Utah Department of Health
JASON ADELMAN, MD, MS, Patient Safety Officer,
Montefiore Medical Center
CHARLOTTE ALEXANDER, MD, Orthopedic Hand Surgeon,
Memorial Hermann Health System
KIMBERLY APPLGATE, MD, MS, FACR,
Radiologist/Pediatric Radiologist & Director
of Practice Quality Improvement in
Radiology, Emory University *
LAURA ARDIZZONE, BSN, MS, DNP, CRNA, Chief Nurse
Anesthetist, Memorial Sloan Kettering Cancer
Center
RICHARD BRILLI, MD, FAAP, FCCM, Chief Medical
Officer, Administration, Nationwide
Children's Hospital

CHRISTOPHER COOK, PharmD, PhD, Director, Quality
and Performance Measurement Strategy,
GlaxoSmithKline

MELISSA DANFORTH, BA, Senior Director of Hospital
Ratings, The Leapfrog Group

THERESA EDELSTEIN, MPH, LNHA, Vice President of
Post-Acute Care Policy, New Jersey Hospital
Association

LILLEE GELINAS, MSN, RN, FAAN, System Vice
President & Chief Nursing Officer, CHRISTUS
Health

STEPHEN LAWLESS, MD, MBA, FAAP, FCCM, Vice
President, Quality and Safety, Nemours

LISA MCGIFFERT, Project Director, Safe Patient
Office, Consumers Union

SUSAN MOFFATT-BRUCE, MD, BSc, PhD, MBOE, FACS,
FRCP(C), Chief Quality and Patient Safety
Officer, The Ohio State University

ANN O'BRIEN, RN, MSN, CPHIMS, National Director
of Clinical Informatics, Kaiser Permanente

PATRICIA QUIGLEY, PhD, MPH, ARNP, CRRN, FAAN,
FAANP, Associate Director, VISN 8 Patient
Safety Center, Department of Veterans
Affairs

VICTORIA L. RICH, PhD, RN, FAAN, Hospital of the
University of Pennsylvania

JOSHUA RISING, MD, MPH, Director of Medical
Devices, The Pew Charitable Trusts

MICHELLE SCHREIBER, MD, MS, SVP Clinical
Transformation and Associate Chief Quality
Officer, Henry Ford Health System

LESLIE SCHULTZ, PhD, RN, NEA-BC, CPHQ, Clinical
Consultant, Premier, Inc.

LYNDA SMIRZ, MD, MBA, Chief Medical Officer and
Vice President of Quality, Universal Health
Systems of Delaware

TRACY WANG, MPH, Public Health Program Director,
WellPoint, Inc.

KENDALL WEBB, MD, FACEP, Associate Chief Medical
Information Officer, University of Florida
Health Systems

YANLING YU, PhD, Physical Oceanographer and
Patient Safety Advocate, Washington Advocate
for Patient Safety

NQF STAFF:

HELEN BURSTIN, MD, MPH, Chief Scientific Officer
ANDREW ANDERSON, Project Manager
LAURA IBRAGIMOVA, Project Analyst
JESSE PINES, MD, Senior Director
SUZANNE THEBERGE, MPH, Senior Project Manager

ALSO PRESENT:

AKEEM ADEBOGUN, MD, American Hospital Association
SHERYL DAVIES, MA, Stanford University *
TERRY ENG, PhD, RN, MS, RTI International
ORIT EVEN-SHOSHAN, MS, Children's Hospital of
Philadelphia *
CORINNA HABERLAND, MD, Stanford University *
TARA MCMULLEN, MPH, PhD, Centers for Medicare &
Medicaid Services
JACK NEEDLEMAN, PhD, FAAN, UCLA School of Public
Health and Management
EUGENE NUCCIO, PhD, University of Colorado *
SEAN O'BRIEN, PhD, Duke University Medical Center
DANIELLE OLDS, PhD, RN, University of Kansas
School of Nursing
MAMATHA PANCHOLI, MS, Agency for Healthcare
Research and Quality
PATRICK ROMANO, MD, MPH, UC Davis Health System
LEE SANDERS, MD, MPH, Stanford University *
HARDEEP SINGH, MD, MPH, Center for Innovations in
Quality, Effectiveness and Safety *
HARVEY SKINNER, PhD, CPsych, FCAHS, York
University *
LAURA SMITH, PhD, RTI International
GARTH UTTER, MD, MSc, UC Davis Health System *

* present by teleconference

TABLE OF CONTENTS

Welcome	
Co-Chair Ed Septimus	7
Co-Chair Iona Thraen	7
Jesse Pines, MD, Senior Director	8
Introductions and Disclosure of Intent	
Helen Burstin.	9
Project Introduction and Overview of Evaluation Process	
Andrew Anderson.22
Suzanne Theberge, MPH.26
Jesse Pines, MD.30
Patient Safety Measures Portfolio Review	
Suzanne Theberge32
CONSIDERATION OF CANDIDATE CONCEPTS/ MEASURES	
0531: Patient Safety for Selected Indicators (PSI90)	
Agency for Healthcare Research and Quality.46
0347: Death Rate in Low-Mortality Diagnosis Related Groups (PSI02)	
Agency for Healthcare Research and Quality.	147
0352: Failure to Rescue In-Hospital Mortality (Risk Adjusted)	
The Children's Hospital of Philadelphia	171
NQF Member and Public Comment.	
	173
0353: Failure to Rescue 30-day Mortality (Risk Adjusted)	
The Children's Hospital of Philadelphia	181

TABLE OF CONTENTS (CONT.)

0538: Pressure Ulcer Prevention and Care Centers for Medicare and Medicaid Services	224
0679: Percent of High Risk Residents with Pressure Ulcers (Long Stay) Centers for Medicare and Medicaid Services	252
0337: Pressure Ulcer Rate (PDI2) Agency for Healthcare Research and Quality.	277
0204: Skill Mix (Registered Nurse [RN]), Licensed Vocational/Practical Nurse (LVN/LPN), Unlicensed Assistive Personnel (UAP), and Contract American Nurses Association.	313
0205: Nursing Hours Per Patient Day American Nurses Association.	328
0202: Falls with Injury American Nurses Association.	414
0141: Patient Fall Rates American Nurses Association.	437
0101: Falls, Screening, Risk-Assessment, and Plan of Care to Prevent Future Falls National Committee for Quality Assurance	
0537: Multifactor Fall Risk Assessment Conducted for All Patients Who Can Ambulate Centers for Medicare and Medicaid Services	

TABLE OF CONTENTS (CONT.)

0674: Percent of Residents Experiencing One or More Falls with Major Injury (Long Stay) Centers for Medicare and Medicaid Services	396
NQF Member and Public Comment.	451
Adjourn.	457

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:30 a.m.)

3 CO-CHAIR SEPTIMUS: Good morning. I
4 really appreciate everybody's time in coming this
5 morning. Some of us had some travel issues
6 yesterday but I'm glad that everybody got here.

7 We have got, as you know, not only a
8 robust agenda but an agenda that I think is
9 critically important to patient safety.

10 Just a couple of quick announcements.
11 For those of you who want internet access, do you
12 know how to get that? It is scrolling up on the
13 screen but the login is Guest and then the
14 password is NQF Guest, in case you want to get
15 that.

16 So, with that, I am going to turn it
17 over to Iona, who might want to make some
18 introduction comments as co-chair.

19 CO-CHAIR THRAEN: So, we wanted to
20 reinforce everybody because Suzanne was just
21 telling me that this may be one of the first
22 times everybody has been here on time to start

1 with a quorum. So, I think we ought to give
2 ourselves a round of applause. Good job.

3 And just welcome to everybody. I'm
4 done. How about that? Short and sweet.

5 CO-CHAIR SEPTIMUS: Okay. So, as you
6 look at your agenda, just to briefly tell you, we
7 obviously are going to take our first official
8 break at noon. The reason I mention that, for
9 those of you who need to take bio breaks, just
10 get up, take your bio breaks and come back. We
11 figured if we build in a 15-minute break, it
12 would become a 30-minute break. And so that is
13 the reason why. But please feel free to get up
14 and use the restrooms. I think you all know
15 where the restrooms are, out the hallway, turn
16 right.

17 Okay, with that, Jesse, do you have
18 any comments you want to make?

19 DR. PINES: No, just echo what Ed
20 said. I'm just glad everyone made it today and
21 we are very excited for what I think is going to
22 be a very packed agenda. We are going to be

1 talking about some of the sort of new elements
2 that you haven't seen before.

3 Specifically new this cycle are
4 eMeasures, which are going to be in Day 2 and
5 also what we are going to be looking at PSI90
6 again along with three ad hoc reviews. So, we
7 are very excited. And what we are going to,
8 again, going to do a little differently, try to
9 keep things moving. We have a very tight agenda
10 with a specific time frame for each measure. So,
11 we will be flagging everyone at ten minutes, five
12 minutes, and two minutes, which doesn't
13 necessarily mean the discussion needs to end at
14 that point but just to let everyone know sort of
15 where we are in terms of the timing.

16 DR. BURSTIN: Good morning, everybody.
17 Welcome back. I see everybody is on time and
18 knows what to do because you have all been doing
19 this for a while. That's the beauty of a
20 standing committee. We knew we were right.

21 So, welcome again. It has been a
22 while so we are just going to quickly, as we go

1 around, ask you to introduce yourself, say your
2 affiliation, and indicate if you have any
3 conflicts of interest.

4 Again, we have seen your CVs. They
5 are incredible. We are not asking you to give us
6 a recitation of your CV. Really, just indicate
7 if there any conflicts you may have with any of
8 the measures before the table -- on the table
9 today, both financial but also whether you are
10 engaged in any of the measurement development
11 work around that. We recognize there is both
12 conflicts and bias. Bias means you bring an
13 expertise to the table. We expect that. That is
14 why you are seated here. But particularly, we
15 are interested in whether there is any conflicts
16 that you would want to know about each other or
17 anything you would want to share.

18 Before we begin, I just want to check
19 and see if there is any committee members on the
20 telephone.

21 DR. APPLGATE: Yes, this is Kimberly
22 Applegate.

1 DR. BURSTIN: Oh, hi, Kimberly.

2 Anybody else?

3 Okay, so why don't we begin with the
4 chairs? Ed.

5 CO-CHAIR SEPTIMUS: I'm trying to mute
6 myself, which is probably a good idea.

7 Ed Septimus. My background is
8 infectious disease and hospital epidemiology.
9 I'm at HCA Health Care System in Nashville and I
10 have a faculty appointment at Texas A&M Health
11 Science Center College of Medicine in Houston.
12 And my one conflict is the measure on ED rescue.

13 CO-CHAIR THRAEN: Good morning. Iona
14 Thraen. I have a background on social work and a
15 Ph.D. in medical informatics from the University
16 of Utah. I am also an Associate Instructor with
17 the University of Utah College of Social Work and
18 Simmons College in Boston.

19 I am the Patient Safety Director for
20 the Utah Department of Health from its origins in
21 2001. Thank you.

22 DR. BURSTIN: Kimberly, can you just

1 introduce yourself and do disclosure, so I don't
2 forget you?

3 DR. APPLGATE: Sure. My name is
4 Kimberly Applegate and I am a pediatric
5 radiologist at Emory University in Atlanta. I
6 don't have any conflict of interest on any of
7 these measures. I do a lot of work in radiation
8 protection nationally and internationally to look
9 at the evidence for low dose radiation.

10 DR. BURSTIN: Great. Thank you.

11 MS. GELINAS: Good morning, everyone.
12 I am Lillee Gelinas. I am the System Vice
13 President and Chief Nursing Officer for CHRISTUS
14 Health, which is headquartered in Irving.

15 I don't have any disclosures in terms
16 of connections to the measure developer having
17 developed measures but I do disclose that I co-
18 chaired the National Quality Forum Nursing
19 Sensitive Measures original committee that
20 established the Nursing Sensitive Measure set in
21 2004. So, four of the measures coming before us
22 today, nursing hours per patient day, skill mix,

1 falls, and falls with injury, to be specific,
2 were all measures that were included in that
3 initial measure set and voted into practice. So,
4 I have been a part of the team for a long time,
5 having tracked those measures.

6 And Helen, I don't know if you want me
7 to say any more on that. Is that sufficient?
8 Okay.

9 Oh, I brought a copy of that original
10 document that I think was some of NQF's best work
11 that drove a lot of -- there's my bias --
12 foundational work. And it has been built on ever
13 since. So, if anyone needs to refer to the
14 original work, I did bring it and I am sure in
15 the archives of NQF we could find any other
16 resources that you needed to answer a question
17 about any of the Nursing Sensitive Measures we
18 are going to be talking about today.

19 Thank you, Ed.

20 DR. RICH: Good morning. I'm Victoria
21 Rich and I just recently resigned from the
22 University of Pennsylvania as the Chief Nurse and

1 on faculty and have accepted and moved to Florida
2 and now I am the Associate Dean for Clinical
3 Practice at the University of South Florida.

4 DR. BURSTIN: Anything to disclose?

5 DR. RICH: No, I have nothing to
6 disclose. I am a tabula rasa today.

7 DR. QUIGLEY: Thank you. I'm Dr. Pat
8 Quigley and I am with the Department of Veterans
9 Affairs. I am Associate Director of Our Patient
10 Safety Center in Tampa, Florida, with the James
11 A. Haley VA Medical Center and I have nothing to
12 disclose, except to tell you I am a nurse.

13 MS. ARDIZZONE: I guess we all sat
14 together. I am also a nurse. My name is Laura
15 Ardizzone. I am the Director of Nurse Anesthesia
16 Services at Memorial Sloan Kettering Cancer
17 Center. I also have faculty appointments at
18 Fairfield University and Columbia University
19 School of Nursing. And I have nothing to
20 disclose.

21 DR. SCHULTZ: Good morning. Leslie
22 Schultz. I, too, am a nurse. I am going to draw

1 into the positive energy over here. I am a
2 director of the Safety Center with Premier Inc.
3 I am an employee of Premier Inc. I have no
4 disclosures relevant to this topic.

5 And I am not contagious, if you fear
6 me. I am allergic. So, I promise not to give
7 you any germs.

8 DR. YU: Good morning. I am Yanling
9 Yu. I am new on this committee. Good to see
10 everyone. And I am a researcher at the
11 University of Washington. I have a background
12 and Ph.D. in climate and oceanography. That is
13 quite different from patient safety but I'm glad
14 to be here.

15 And I am president of Washington
16 Advocate for Patient Safety and also I am a
17 member of the Consumer Union Safe Patient Project
18 Network. I'm glad to be here.

19 MS. MCGIFFERT: Good morning. I'm
20 Lisa McGiffert I'm with Consumer Reports Safe
21 Patient Project. And we work on a variety of
22 patient safety issues, mainly focusing on

1 infections and errors, physician care, and the
2 safety of medical devices. And we work with a
3 whole network of people all around the country
4 handling as one of many. And I have nothing to
5 disclose except that I am an unabashed advocate
6 for patients and consumers.

7 CO-CHAIR SEPTIMUS: And she's very
8 shy.

9 DR. RISING: Hi. Good morning,
10 everyone. I am Josh Rising. I am the Director
11 of Healthcare Programs at The Pew Charitable
12 Trusts, which includes Pew's work on end of life
13 care and medical devices. I trained originally
14 as a pediatrician. I am not actively seeing
15 patients right now but I have got three kids
16 between the ages of two and eight. Don't worry.
17 I'm putting the training to good use.

18 DR. BRILLI: Good morning, everybody,
19 My name is Rich Brillli. I am a pediatric
20 intensivist and Chief Medical Officer at
21 Nationwide Children's Hospital in Columbus, Ohio.

22 I have been a member since the

1 beginning of the 90 Children's Hospital Solutions
2 for Patient Safety work on safety. And that is
3 sort of a big project that is going on
4 nationally. And I don't have anything to
5 disclose.

6 CO-CHAIR SEPTIMUS: Go Buckeyes.
7 Right?

8 DR. BRILLI: Go Buckeyes.

9 DR. LAWLESS: I'm Dr. Steve Lawless.
10 I am a pediatrician with Nemours Pediatric
11 Healthcare System and I am also a Professor of
12 Pediatrics at Thomas Jefferson University. I
13 have nothing to declare.

14 MS. WANG: Good morning, everyone. I
15 am Tracy Wang, Public Health Program Director for
16 Anthem, Inc., previously known as WellPoint. And
17 I lead patient safety for our organization. I
18 have nothing to disclosure.

19 DR. SMIRZ: Good morning, I'm Lynda
20 Smirz. I am a recovering OB/GYN. Currently, the
21 Chief Medical Officer and Vice President of
22 Quality at Universal Health Services at Delaware

1 and I have nothing to disclose.

2 DR. COOK: Good morning. I am Chris
3 Cook. I am a Director of Quality and Outcomes
4 Policy at GlaxoSmithKline. My background is as a
5 clinical pharmacist and a health services
6 researcher. And with these measures, I have
7 nothing to disclose. But I will say I have been
8 doing work towards medication optimization.

9 DR. WEBB: My name is Kendall Webb.
10 I am at the University of Florida. I am an
11 emergency physician and pediatric emergency
12 physician and just took on the role of Chief
13 Medical Informatics Officer.

14 DR. MOFFATT-BRUCE: Good morning. I'm
15 Susan Moffatt-Bruce. I am the Chief Quality and
16 Patient Safety Officer at the Ohio State
17 University Wexner Medical Center. I am a
18 cardiothoracic surgeon and I have been sitting on
19 this committee now I think almost four years and
20 have enjoyed it immensely. I have no disclosures.

21 DR. ALEXANDER: If Susan didn't bring
22 it to her attention, her name is not on the list.

1 DR. MOFFATT-BRUCE: It's a long name.

2 DR. ALEXANDER: I'm Charlotte
3 Alexander. I am an orthopedic hand surgeon and I
4 am from the Memorial Hermann Healthcare Health
5 System in Houston. I am currently doing a
6 fellowship with the Disparities Leadership
7 Program also in Boston. I have no disclosures.

8 DR. SCHREIBER: Good morning. I'm
9 Michelle Schreiber. I am the Chief Quality
10 Officer at the Henry Ford Health System in
11 Detroit. I am a practicing general internist. I
12 have nothing to disclose, except as we get to
13 some of the eMeasures. I do want to the
14 committee to know that I serve on Epic's Patient
15 Advisory Council, their Safety Council.

16 MS. EDELSTEIN: Good morning. I am
17 new to this committee. My name is Theresa
18 Edelstein. I am Vice President of Post-Acute
19 Care Policy at the New Jersey Hospital
20 Association.

21 My background is as a nursing home
22 administrator. I am licensed in two states, New

1 York and New Jersey. I have my masters in public
2 health and looking forward to joining you. No
3 disclosures.

4 CO-CHAIR SEPTIMUS: Well, I think we
5 can tell and one of the things I remarked on last
6 time, we are an incredible group of men and women
7 who sit around this table. And by the way, one
8 of the strengths is really the diversity of the
9 backgrounds that you bring. So, you do not need
10 to be worried if you feel like this hasn't been
11 your focus. You will add enormously to our
12 conversations.

13 There are a few people I don't think
14 are here yet.

15 DR. BURSTIN: I will just check to see
16 if anybody else joined us on the phone, besides
17 Kimberly, who is on the committee? We just have
18 a couple folks running late, who I am sure will
19 appear.

20 So, just briefly, last question for
21 you, since you have all had a chance to introduce
22 yourself and disclose, if you have any questions

1 of each other, this would be the opportunity to
2 ask if somebody has raised any mention of
3 anything, like gives you concern or just, at any
4 point during this meeting, if you have any
5 concerns about potential bias or conflict of
6 interest, please come forward and talk to us. It
7 is always better to hear those issues in real-
8 time, rather than trying to fix them later.

9 In general, I think, having standing
10 committees has helped. You guys have developed a
11 rapport that really makes these discussions
12 really so much more productive. And welcome to
13 our new folks.

14 And with that, Ed, I will turn it over
15 to you.

16 CO-CHAIR SEPTIMUS: Now, those of us
17 who had dinner last year, you remember the name
18 of the wine that we had? Anybody remember? What
19 was it? It was Septimo -- it was Septimus.
20 Wasn't that true?

21 DR. BURSTIN: And he bought a case of
22 it.

1 CO-CHAIR SEPTIMUS: I did not buy a
2 case of it.

3 DR. BURSTIN: Now we all know what to
4 buy him for Christmas.

5 CO-CHAIR SEPTIMUS: Okay, Jesse, your
6 turn.

7 MR. ANDERSON: So, we're just going to
8 take this opportunity to quickly introduce the
9 staff. My name is Andrew Anderson that I am the
10 new Project Manager for this phase of patient
11 safety. All the emails you guys have been
12 getting are from me.

13 This is Laura.

14 MS. IBRAGIMOVA: My name is Laura
15 Ibragimova. I was on the team last year. So,
16 many of you probably remember me. And I will be
17 helping you out with the voting.

18 MS. THEBERGE: Good morning, everyone.
19 I'm Suzanne Theberge. I am a Senior Project
20 Manager here at NQF on the Patient Safety Team.

21 DR. PINES: And Jesse Pines. I think
22 I have met everyone in person over the last few

1 years. It is very nice to meet the new people.

2 I am a Professor of Emergency Medicine
3 and Health Policy at GW. I have been with NQF
4 for about four years now.

5 MR. ANDERSON: So, we are going to
6 just get started by going over the ground rules,
7 even though you guys are already familiar with
8 this.

9 You guys have had some time to prepare
10 and review the measures beforehand and we would
11 like you guys to make sure that you are basing
12 your recommendations and evaluations on the
13 measure evaluation criteria that I will be
14 skimming through after this.

15 And try to remain engaged throughout
16 and try to remove any distractions like your
17 phones. I know you have your laptops up. And
18 try to be present as much as you can.

19 Like earlier, Jesse was saying that we
20 have two breaks. So, if you do need to use the
21 restroom, you can excuse yourself but, aside from
22 that, we would like you to remain present. And

1 keep your comments concise and focused.

2 As I was saying earlier, we have a lot
3 of measures to get through. So, we need to be as
4 concise as possible. And try to indicate your
5 agreement without repeating things that have
6 already been said.

7 Just a little bit about the measure
8 discussion, how it will work. The developers
9 will start with three minutes of an overview.
10 You guys have all received your lead discussant
11 assignments and you will start off by reviewing
12 the committee's comments.

13 You will then provide a summary of the
14 pre-evaluation comments and emphasize areas of
15 concerns or differences of opinion. And the
16 group, since there are three to four of you on
17 each measure, you can work together to present
18 the measure.

19 As Jesse was saying earlier, we are
20 trying a new timekeeping exercise. We are going
21 to have three cards. The green card means that
22 we are at the halfway point. So, for each

1 measure, there will be about 20 minutes, except
2 for PSI90, which will be the first measure that
3 we will review. And I will be putting up this
4 ten minute card. At five minutes, we will be
5 putting up the five minute yellow card. And at
6 two minutes, this is when we would like you to
7 start wrapping it up and I will put up the pink
8 two minute card.

9 This is just a brief overview of the
10 process you are very familiar with. As we are no
11 win the standards review process, following that
12 will be the public comment period, where we will
13 be putting together the draft report and we will
14 be meeting again to discuss those comments.
15 There will be member voting. It will be
16 submitted to the CSAC and the Board of Directors
17 for ratification. And finally towards the end of
18 the year and into next year, there will be a 30-
19 day appeals period.

20 These are the criteria that you are
21 all very familiar with. There is the importance
22 for measure, measure and reporting. The measure

1 has to be evidence-based. There is scientific
2 acceptability, looking at the validity and
3 reliability of the measure. There is
4 feasibility, making sure that the data that is
5 required is readily available. Usability, that
6 it can be used for accountability programs and
7 performance improvements. And finally, there is
8 the harmonization of selected measures. So, if
9 there are related or competing measures that are
10 new, that are endorsed and new or related, you
11 will have to choose between the two for
12 harmonization or best in class.

13 And I will turn it over to Suzanne.

14 MS. THEBERGE: Okay, I just want to
15 talk briefly about achieving consensus. As you
16 all know, NQF's process is focused on achieving
17 consensus. And so we have come up with a set of
18 guidelines about when we achieve consensus.

19 Our quorum is 66 percent of the
20 committee. We have reached that. And for a
21 measure to move forward, as it passed for one of
22 the sub-criteria, or as recommended by the

1 committee as a whole. We have to have achieved
2 greater than 60 percent yes votes. And that
3 would be some of the high, moderate, and
4 insufficient with evidence exception.

5 The consensus not reached, or what we
6 call in the office the gray zone, is for 40 to 60
7 percent.

8 And anything that hits that level, say
9 like 55 percent will move forward either to the
10 next criteria or, as consensus not reached, and
11 the measure will go forward to a comment period.
12 We will specifically seek comments on that
13 measure. And if you folks feel like you need
14 more information from the developers to really
15 make a decision, we will have time for the
16 developers to get that information into you and
17 the committee will be offered the option to
18 revote on that measure after the comment period.

19 And then do not pass criteria or not
20 recommended is less than 40 percent of the yes
21 votes.

22 So, it is pretty straightforward. We

1 will do the math before we do any voting so we
2 know what numbers we are at to achieve consensus.

3 I am just going to really quickly
4 summarize our patient safety activities. Here
5 are some of the projects we have done around
6 patient safety in the last few years. I think
7 many of you have been involved in many of these
8 projects. So, we don't need to go into them in
9 real depth. That is just kind of a high-level
10 list.

11 We also have non-CDP projects related
12 to patient safety. MAP has some work around
13 safety. We have the Patient Safety
14 Collaboration. So, there is lots of work here at
15 NQF. It is one of our important project areas.

16 Our Patient Safety Measures portfolio,
17 we have 64 measures around patient safety,
18 ranging from medication safety, healthcare
19 associated infections, falls, BTE, pressure
20 ulcers, and then some smaller topic areas.

21 We have actually got a great cross-
22 section in this project. We are looking at

1 measures across almost every one of these areas.
2 So, you are getting a good slice of the portfolio
3 right now.

4 We do also have safety-related
5 measures in other projects. Sometimes when we
6 took a look at who the best folks to evaluate a
7 measure might be, that seemed like it might be in
8 the cardiovascular committee or the behavioral
9 health committee, depending on the other measures
10 and what the exact topic of that measure is.

11 So, as you can see, we have got a
12 great portfolio.

13 Now, I just want to speak briefly
14 about composite measure evaluation but I will
15 pause to see if anybody has any questions on our
16 projects, consensus not reached portfolio.

17 CO-CHAIR SEPTIMUS: Before you go into
18 the composite measure, which will take us into
19 PSI90, Jason, do you want to introduce yourself
20 and any conflicts?

21 DR. ADELMAN: Sure. My name is Jason

22 --

1 CO-CHAIR SEPTIMUS: By the way, this
2 time I can see you. The last time he sat over
3 there.

4 DR. ADELMAN: So, good morning,
5 everybody. My name is Jason Adelman. I am the
6 Patient Safety Officer at Montefiore Medical
7 Center in the Bronx and I do have one conflict.
8 Today I am also a developer and so you may have
9 seen a measure from Montefiore Medical Systems.
10 So, I think I am going to switch seats tomorrow
11 when my measure comes up. But otherwise, I have
12 no conflicts.

13 MS. THEBERGE: All right. So, we
14 actually just realized our slides are a bit out
15 of order. So, maybe we will jump ahead and have
16 Jesse go over the portfolio we are looking at
17 today and then we can talk about the criteria.

18 DR. PINES: Sure. So, we have 23
19 separate measures that we are going to go through
20 over the next couple of days. A lot of these
21 measures are measures that this standing
22 committee has seen and endorsed before. So, we

1 expect that some of the discussion should be,
2 hopefully, should sort of run under the 20-minute
3 time frame. We also have several new measures,
4 one of which is Jason's measure, the Wrong
5 Patient Retract and Reorder measure. So, there
6 are several new measures that we are looking at.
7 But the large buckets are in falls,
8 complications, pressure ulcers, some nursing
9 measures, and also some infection measures
10 related to central line infections.

11 So, anyway, I think everyone is
12 familiar with a lot of these measures that have
13 come through this committee before.

14 So, we are also doing three separate
15 ad hoc reviews. One is for PSI15, which we are
16 going to actually have to go through the full
17 discussion and vote on every separate element of
18 PSI15 because it has been changed considerably
19 since the last endorsement and also there is two
20 measures, 0139 and 0138, which are also
21 undergoing an ad hoc review, which hopefully are
22 more of a clarification and a little bit of a re-

1 specification so we won't specifically have to go
2 through every criteria on those matters.

3 MS. THEBERGE: So the composite
4 measure evaluation criteria are pretty similar to
5 the regular evaluation criteria. We have just
6 added a few things to address the composite.

7 For evidence, the evidence criteria
8 must be met for each component of the composite,
9 unless those components are already NQF-endorsed
10 under the current evidence requirements. And
11 that evidence could be for the group of
12 interventions included in a composite performance
13 measure, such as studies in which multiple
14 interventions are delivered to all subjects and
15 the effect on the outcomes is attributed to a
16 group of interventions.

17 The performance gap criterion must be
18 met for the composite performance measure as a
19 whole and the performance gap for each component
20 should also be demonstrated. However, if a
21 component measure has little opportunity for
22 improvement, we would accept a justification for

1 why it should be included for a composite, such
2 as if it increases the reliability.

3 The extra piece of the importance
4 criteria for a composite measure is 1d, the
5 quality construct, which includes the overall
6 area of quality, including component measures and
7 the relationship of the component measures to the
8 overall composite and to each other. In 1d2, the
9 rationale for constructing a composite measure,
10 which is how the composite provides a distinctive
11 value over the component measures individually
12 and then 1d3, how the aggregation and weighting
13 of the component measures are consistent with the
14 stated quality construct and rationale. So, that
15 is one extra criterion for importance.

16 For scientific acceptability, again,
17 it is similar with a little bit of extra added
18 in. Criteria 2a2 reliability testing for
19 composite performance measures, reliability must
20 be demonstrated for the composite measure score.
21 Testing should demonstrate that measurement error
22 is minimal, relative to the quality signal.

1 And examples of testing include
2 signal-to-noise analysis, inter-unit reliability,
3 intraclass correlation coefficients, et cetera.
4 And demonstration of the reliability of
5 individual component measures is not sufficient.
6 In some cases, component measures that are not
7 independently reliable can contribute to the
8 reliability of the composite.

9 For validity testing, validity should
10 be empirically demonstrated for the composite
11 measure score. If empirical testing is not
12 feasible at the time of initial endorsement,
13 acceptable alternatives include systemic
14 assessment of content of base validity and
15 demonstration that each of the component measures
16 meet the NQF's criteria for validity.

17 By the time of endorsement maintenance
18 which would be the case for PSI90, validity of
19 the composite performance measure must also be
20 empirically demonstrated.

21 We do have a sub-criterion 2d, which
22 is a new criterion for composites. It must also

1 be met to pass the must pass criteria of
2 scientific acceptability. If empirical analyses
3 do not provide adequate results or are not
4 conducted, other justification must be provided
5 and accepted for the measure to potentially meet
6 the must pass criterion and specific examples
7 should be provided.

8 Feasibility is pretty much the same,
9 as is usability. And in comparison to related
10 and competing measures, we have to look at both
11 related and competing measures for the components
12 and then for the composite measure as a whole.

13 So, that is just a really quick
14 introduction. We do have a statistical
15 consultant on the line, Sean O'Brien will be
16 joining us to give you a little bit of
17 information about the testing of the composite
18 and he will also be available to answer questions
19 in addition to the staff resources that we have
20 around the table.

21 DR. QUIGLEY: So, I have a quick
22 clarification question. So, in the composite

1 there were two new components included that were
2 not NQF endorsed. Can you sort of describe what
3 that means?

4 DR. BURSTIN: So NQF does not require
5 that the individual elements within a composite
6 be endorsed or that they should at least be
7 discussed as a part of the evaluation to consider
8 whether they are appropriate for the composite.

9 Again, when we redid the composite
10 measure evaluation framework, there was a sense
11 that we should really be focusing on the
12 composite, rather than what is inside it and
13 emphasize more of the qualities than the
14 requirements.

15 CO-CHAIR SEPTIMUS: And I will be
16 getting into that a little bit when we introduce
17 the measure.

18 Lisa.

19 MS. MCGIFFERT: I think I remember
20 reading in all the things we read that there were
21 some categories of measures that were priority
22 for NQF, for example, outcome, and it seems like

1 composite was on there. Can you talk to us about
2 how that fits into our discussion, the fact that
3 a composite is a priority? I may be using the
4 wrong words.

5 DR. BURSTIN: So, for a long time,
6 people had to emphasize the need to have a
7 patient safety composite as recently as some of
8 you may have seen Vital Signs, the most recent
9 Institute of Medicine report on a set of measures
10 for the nation. They emphasized, again, the idea
11 of a safety composite. They didn't specify a
12 specific measure. So, I think there is,
13 generally, a push towards having measures that
14 are more comprehensive composites, more
15 understandable, more usable for consumers and
16 others.

17 So, I agree. But in terms of the
18 measures before you, you have got to look at the
19 measures of the qualities. Other than beyond
20 considering that it is important, it really is
21 about the evaluation of the measure on its
22 merits.

1 Does that make sense?

2 MS. MCGIFFERT: So perhaps I read that
3 somewhere else that NQF priority were to focus on
4 composites. It was?

5 DR. BURSTIN: Absolutely. No, no, I
6 am agreeing completely.

7 MS. MCGIFFERT: Okay.

8 DR. BURSTIN: It is a priority.
9 Everybody said it is a priority. Again, I am
10 just reemphasizing that at least at this table,
11 part of what we depend on you to do is review the
12 merits of the measure in front of you, based on
13 our picture.

14 CO-CHAIR SEPTIMUS: Anyone who is
15 talking about PSI90, let's hold that discussion.
16 But if there are other questions about the
17 composite. So, Lillee and then Charlotte.

18 MS. GELINAS: Just going back to
19 Suzanne's slides that she was swiping through and
20 we were talking about the group of measure that
21 was called staffing. For a long time, those
22 measures were referred to as workforce. And I

1 must have missed when the category changed from
2 workforce to staffing because those two terms
3 mean something very different. So, it was early
4 in your slide deck there.

5 So, how do those categories get
6 represented or change? Because when we look at
7 research and we look at outcomes, frequently, we
8 are looking at impact of the workforce and the
9 work of the workforce as opposed to staffing. Am
10 I being clear there?

11 So, I missed something big time in
12 terms of categorization.

13 DR. BURSTIN: It is actually not
14 something big time.

15 MS. GELINAS: It's not?

16 DR. BURSTIN: It is something that is
17 easy to fix. No, this is just the internal
18 taxonomy NQF uses. And I'm not sure how the term
19 got changed.

20 MS. GELINAS: Okay.

21 DR. BURSTIN: But if there is a
22 preference for the term workforce, we can change

1 it. It's that simple.

2 MS. GELINAS: Okay.

3 CO-CHAIR SEPTIMUS: Charlotte?

4 DR. ALEXANDER: I have sort of a basic
5 question. It is something that came up at one of
6 our other meetings. When we are looking at
7 approving measures, we are looking for both
8 public reporting and accountability. There was
9 some discussion at previous measures that they
10 were important for probably forwarding internal
11 use for quality development but they weren't
12 ready for accountability. We are still expecting
13 all measures to be ready for accountability is a
14 question.

15 DR. BURSTIN: There is currently an
16 expectation that an NQF measure can be used for
17 any potential application. And that would be
18 range from quality proven benchmarking all the
19 way through public reporting, payment, penalties
20 in this day and age as well, certainly.

21 I will say there is an extra panel
22 that has been convened but for now, you are still

1 held to the current approach, which is looking at
2 whether it is time for NQF to move away from a
3 binary yes/no endorsement decision to, in fact,
4 have measures that may have endorsement and
5 criteria specifically related to their intended
6 use. More on that to follow but for today's
7 perspective, yes, they should assumed to be
8 appropriate for NQF purposes.

9 CO-CHAIR SEPTIMUS: Lisa, did you have
10 another comment? Okay. All right, anybody else?

11 Okay, so we are running a few minutes
12 ahead, which is just fine. So, we are going to
13 dive right into -- oh, I'm sorry.

14 DR. BURSTIN: I'm told one more
15 committee member joined us. Ann O'Brien, are you
16 with us?

17 MS. O'BRIEN: Yes. Good morning,
18 everyone.

19 DR. BURSTIN: If you could please
20 introduce yourself and let us know if you have
21 got any disclosures.

22 MS. O'BRIEN: Yes. My name is Ann

1 O'Brien. I met all of you last year. I am the
2 Senior Director at the National Level of Kaiser
3 Permanente in Clinical Informatics.

4 I have a broad background in quality
5 and safety and I have no conflict.

6 DR. BURSTIN: Great, thank you. Back
7 to you, Ed.

8 CO-CHAIR SEPTIMUS: Okay, so we are
9 going to go right into PSI90. We are going to
10 change the format a little bit than you have
11 heard presented. I am actually going to present
12 an overview from last year to this year as my
13 report. We are going to then hear from the
14 measure developer, I think it is Dr. Romano. And
15 then as we mentioned, Sean O'Brien has done some
16 independent work for NQF that will present next.
17 And then we will go into discussion.

18 So, I am sure all of you have read the
19 200 and something pages that were presented to
20 with PSI discussion about that from last year.
21 As you know, this is an outcome measure. It has
22 been expanded from eight to eleven components.

1 Two of those, it was already mentioned by Iona,
2 are not NQF endorsed. And so there is
3 postoperative hemorrhage and hematoma, there used
4 to be acute renal failure, and postoperative
5 respiratory failure. It has been redesigned two
6 measures, one of which we are going to have a
7 thorough discussion we have heard before. PSI15,
8 which is looking at lacerations and punctures
9 that has been refocused on abdominal pelvic
10 surgery that is returned to the operating room.
11 And the other major redesign change, at least
12 from my reading was in PSI12, which is DVT, where
13 they are eliminating calf DVTs from the
14 numerator. So, that is a couple of changes which
15 I think is important.

16 In addition, the weighing format, one
17 of the things we had a lot of discussion on last
18 year has gotten away from volume and looking more
19 at attributable harm. So I think that is a big
20 change in the current measure.

21 And in prior discussions, the evidence
22 behind this measure is being discussed and it has

1 not been a real issue for this committee. So,
2 depending upon what the committee wants to do, we
3 can go right to 1b, which is looking at the
4 composite aspects of this.

5 Let's see if there are any other
6 comments.

7 So, I think overall, I think from my
8 view that this is a much stronger measure than
9 the one we looked at last year and discussed
10 whether or not we should endorse this or not
11 endorse it. As most of you know, this is part of
12 it. And I asked Helen beforehand, HACs, by the
13 way, were part of the 2005 Medicare Deficit
14 Reduction Act and I believe, I think is a
15 statute.

16 And so even though we did not endorse
17 PSI90 last year, it is still part of value-based
18 purchasing. But we think, if you agree, this is
19 a stronger measure and it is worthy of
20 endorsement this year, as opposed to last year,
21 that CMS will probably hopefully endorse the
22 stronger PSI90.

1 So, that is sort of my comments as a
2 start. So, there is a ten-minute presentation.
3 Dr. Romano, welcome back. It is good to see you
4 again. There was discussion last year that we
5 were using the Romano measure and he told me he
6 doesn't use it anymore.

7 DR. BURSTIN: I also want to just
8 check to see if Sean O'Brien has joined us on the
9 phone.

10 CO-CHAIR SEPTIMUS: Jesse told me he
11 is going to be there momentarily.

12 DR. BURSTIN: Okay, great. We will
13 make sure Sean has an opportunity. Sean is a
14 statistician at Duke who we have worked with
15 before who had previously done evaluations of all
16 the outcome measures that have become our
17 Outcomes Committee in the past.

18 Given the complexity of this measure,
19 I thought it would be useful to give you a
20 statistical review. He's worked closely with
21 Karen Johnson. He is a measure methodologist and
22 he will share his perspectives or answer any

1 specific technical questions on what is, as we
2 know, a pretty complex measurement.

3 MS. PANCHOLI: So, good morning. My
4 name is Mamatha Pancholi. I am the Program
5 Officer at the Agency for Healthcare Research and
6 Quality. I direct quality indicators for AHRQ.

7 Since the last time we were here, we
8 have done a lot of work on PSI90 and I am quite
9 excited about giving an update to you all.

10 Of course, all of you know Dr. Patrick
11 Romano, who is our Clinical Lead and he will be
12 giving us a technical presentation and I am happy
13 to answer questions from our perspective as well.
14 Thank you.

15 DR. ROMANO: Good morning, everyone.
16 My name is Patrick Romano. I'm a Professor of
17 General Medicine and Pediatrics at UC Davis
18 School of Medicine in Sacramento, California and
19 I am contractor to AHRQ Technical Development and
20 Enhancement of the Patient Safety Indicators.

21 MS. PANCHOLI: Which is what I said.

22 DR. ROMANO: Okay, so I am just going

1 to give kind of a brief overview of some key
2 elements that changed since the last time I came
3 before you. We will start with a quick review of
4 the conceptual foundation of the composite, a
5 review of our interpretation of the Standing
6 Committee's critique of the composite and
7 modifications, which Dr. Septimus alluded to.
8 One is PSIs that were previously excluded. Two
9 is redesigning two of the component PSIs and
10 third is relating the component (sound system
11 interference) on the concept on the source of
12 impact of the events.

13 So, just in general, this was already
14 raised as a point but I think there are some more
15 recognized benefits of composite measures as a
16 way of summarizing quality across multiple
17 indicators, simplify interpretation and
18 decisionmaking.

19 Those of us who are into
20 decisionmaking say that a smartly designed
21 composite will avoid cognitive shortcuts, where
22 people may tend to put too much emphasis on one

1 measure or another, by designing composites
2 properly, we can hopefully avoid these kind of
3 flawed statistics and help people better
4 understand the balance of all the factors that we
5 should consider.

6 Composites also, of course, more
7 reliably detect differences among providers or
8 groups that could include discrimination because
9 they compile information from multiple
10 indicators.

11 In research context, in volume curbing
12 context, they can facilitate identification of
13 important domains and drivers of quality that may
14 influence performance across multiple composites.
15 And of course, they provide a signal to
16 prioritize action with quality improvement and to
17 support better decisionmaking. Given that
18 healthcare consumers don't know exactly what kind
19 of healthcare they are going to need in the
20 future and even we, on the provider side, don't
21 know exactly what the community is going to
22 demand of us. And so these kinds of composites

1 provide a way to signal areas that perhaps should
2 be priorities.

3 And of course, the Institute of
4 Medicine has recently recognized the importance
5 of composite measures in patient safety and ours
6 is just one many potential composites that
7 hopefully this committee will review and endorse
8 other composites.

9 So, a little conceptual foundation.
10 So, we start the concept of patient safety,
11 defined by WHO as the absence of preventable harm
12 to a patient during the process of healthcare.
13 We are focusing here on inpatient healthcare for
14 adults.

15 In addition, AHRQ's congressional
16 mandate includes reducing harm caused to
17 patients. So, this work is very much consistent
18 with AHRQ's congressional mandate.

19 Our underlying purpose is to optimize
20 the outcomes of inpatient hospital care by
21 providing a single, transparent metric --
22 transparent but complex, that can be used to

1 better understand, communicate, and track patient
2 safety in U.S. hospitals.

3 And of course, an earlier version of
4 this was endorsed by NQF in 2009. So, we are
5 back here with some substantial revisions.

6 So, there are basically two forms of
7 composites. Some of you may know that I co-
8 chaired the NQF committee that actually worked on
9 the methodology for evaluating composite
10 measures, along with Liz Long at Duke University.

11 And so we adopted this approach of
12 considering two types of composites, what were
13 originally called psychometric composites but we
14 prefer now to call reflective composites and
15 formula composites.

16 So, the idea here is that in a
17 reflective composite, there are some underlying,
18 unobserved, latent, quality construct. And we
19 say that these things that we measure are
20 reflecting this unobserved construct through some
21 unobserved processes. And so with this type of
22 approach, we generally use analytic methods from

1 item response theory, principal components
2 analysis and so forth to extract this shared
3 variance across multiple indicators to get at
4 this latent construct.

5 The other approach is the approach
6 that AHRQ has adopted here, which is a formula
7 approach. The idea here is that the outcomes
8 that we measure are, in fact, associated with
9 subsequent changes to patient health, what we
10 call utility or disutility. And so we are
11 interested in forming a composite from these
12 things that we measure because we believe that
13 each of these things is important in and of
14 itself. And it is important particularly because
15 it is associated with health states that are
16 meaningful to patients and to society.

17 So, in a formative design, we have a
18 bunch of components that go into the construction
19 of the composite, based on this concept of harm
20 or preventable harm.

21 So, three key concerns that we have
22 addressed through this revision process. First,

1 was a relatively small number of included events,
2 eight events. Over 70 percent of the weight fell
3 on two of those events that admittedly have
4 variable clinical significance, perhaps signaling
5 incorrectly that these events are particularly
6 important or preventable among all patient
7 safety-related events.

8 The second critique was that the
9 number of occurrences of a PSI is really an
10 inadequate proxy for its marginal impact on
11 population health. So, if we really want to
12 better understand population health and how to
13 improve the health of patients coming out of
14 hospitals, then we need to consider the
15 importance of the events as well as their
16 frequency and reliability.

17 And finally, because of the above
18 limitations, there is concern -- there was
19 concern that PSI90 may encourage misallocation of
20 effort. If people spend a lot of time focusing,
21 for example, on how to avoid coding accident
22 punctures and lacerations through pre-billing

1 code review programs, rather than focusing on the
2 quality improvement, which is the essence of the
3 enterprise.

4 So, we have addressed each of these
5 three concerns. So, first we have expanded the
6 scope from eight events to eleven events. We
7 have added three additional events. One of those
8 is currently NQF endorsed. Postoperative
9 respiratory failure was recently reviewed and,
10 again, recommended for maintenance endorsement.

11 Two others have not been endorsed but
12 we believe that they are comparable in importance
13 and scientific acceptability. And I will point
14 out, again, that both PSI9 and PSI10 had been
15 redesigned over the last several years in
16 response to evidence from validation studies that
17 AHRQ has supported, the VA has supported, and
18 other organizations have supported.

19 So, both of these measures now include
20 both diagnoses and procedures. In other words,
21 perioperative hemorrhage or hematoma requires a
22 diagnosis of hemorrhage or hematoma plus a

1 procedure that appears to involve addressing or
2 fixing that event. Similarly, postoperative
3 physiologic derangement involves a diagnosis of
4 acute kidney injury along with a procedure for
5 dialysis to treat that diagnosis.

6 Second, two of the component measures,
7 PSI12 and 15 have been redesigned. For PSI12,
8 the key concern was ascertainment by us, or what
9 really might be better called over-diagnosis
10 bias, due to variation in postoperative
11 surveillance. So, the idea here is that some
12 hospitals are routinely screening asymptomatic or
13 minimally symptomatic patients after surgery to
14 look for blood clots. They often find blood
15 clots in distal veins down in the calf, lower
16 extremities.

17 And when this diagnosis was made, we
18 as clinicians often feel compelled to treat these
19 events. And of course, it leads to a codeable
20 diagnosis.

21 So, we have seen a couple things in
22 the data that support that concern. One is that

1 over time, as we look over the last three years
2 of data available to us, the rate of proximal
3 DVTs and PEs has been decreasing, as hospitals
4 have paid more attention to this problem and
5 implemented protocols with thromboprophylaxis.
6 But the rate of isolated distal DVTs has not
7 decreased. In fact, it has been slightly
8 increasing or stable.

9 In addition, when we look to the
10 isolated calf DVTs, we found three times as much
11 variation across hospitals as for the proximal
12 DVTs and the blood clots in the lungs.

13 So, what we have done is to remove the
14 isolated calf vein DVTs from the numerator of
15 this indicator. So, the revised indicator
16 captures the proximal clots and the clots in the
17 lungs, which everyone agrees are clinically
18 significant, which everyone agrees need to be
19 treated with anticoagulant medication.

20 Now, it turns out this removes only 17
21 percent of the events that are currently captured
22 by PSI12 but that percentage does vary across

1 hospitals. And even in the 95 percent range, it
2 varies from zero percent up to about a third of
3 all the events across hospitals.

4 So, the overall performance of the
5 indicator is unchanged but it may have an impact
6 for certain hospitals that are doing this more
7 aggressive surveillance.

8 For PSI15, the concern here was that
9 accidental punctures or lacerations are
10 relatively frequent events that have uncertain
11 clinical significance. Sometimes we have a
12 difficult operation, a lot of scar tissue,
13 difficult anatomy and the accidental laceration
14 may be inevitable in the procedure. It may be an
15 inherent risk or it may be a minimal event, a
16 serosal injury that can be easily repaired at the
17 same time, with essentially no risk to the
18 patient.

19 So, what we have done here is to focus
20 on a more homogenous set of operations involving
21 the abdomen and pelvis and then to look at the
22 subset of patients who have a coded event and a

1 return to the operating room to reopen the
2 abdomen or pelvis, presumably to repair that
3 event or do some other related operation
4 necessary because of the accidental puncture.

5 So, what impact does this have? A
6 couple of key data points. One is that this
7 really identifies a subset of patients who have
8 bad outcomes. So, with this new specification,
9 either percent of patients -- I'm sorry -- 34
10 percent of patients have sepsis, 6 percent of
11 patients have postoperative respiratory failure,
12 31 of patients are discharged to skilled nursing
13 facilities, 10 percent of patients die after
14 their reoperation. So, these are unquestionably
15 poor outcomes.

16 Because this change does substantially
17 reduce the incidence of PSI15 over 90 percent, we
18 did recommend, and NQF agreed, that a full
19 review, ad hoc review is necessary. And that
20 will be occurring tomorrow and Dr. Utter, who is
21 a surgeon on our team at UC Davis will be leading
22 the presentation there.

1 So, with this change, we have also
2 changed the name of PSI15 to Unrecognized
3 Abdominal Pelvic Accidental Puncture Laceration.

4 So, in terms of the weighting, so as
5 you recall, the way that this composite is
6 designed is that it is a composite of individual
7 measures. So, each measure requires its own risk
8 adjustment or risk standardization. So, this is
9 done with a tailored risk adjustment model for
10 each indicator, incorporating age, gender, age-
11 sex interactions, comorbidities, as well as MS-
12 DRG categories that represent why the patient was
13 admitted to the hospital, as well as information
14 about whether they were transferred to another
15 hospital, if appropriate.

16 So, each of these components is
17 indirectly risk standardized. And then those
18 observed to expected ratios are reliability
19 adjusted. So that, for example, if a measure has
20 a relatively low signal at the hospital level,
21 the O/E ratios come closer to one. If a measure
22 has a higher signal at the hospital level, then

1 the O/E ratios remain closer to their empirically
2 estimated values.

3 So, in general, we can do a couple
4 things with those O/E ratios. We can weight them
5 according to the number of patients who are at
6 risk or the number of opportunities, or we can
7 weight them according to the number of events.

8 And historically, for the patient
9 safety indicators, we have chosen a numerator
10 based weighting, based on the relative frequency
11 of the events. But in our new approach, we are
12 adding a component, incorporating the marginal
13 meta impact or importance of each of the events,
14 reflecting both the incidence and the severity of
15 the events, where severity if operationalized in
16 terms of the harms that we can identify occurring
17 after the PSIs, using linked Medicare data.

18 So, we borrow concepts from the
19 utility assessment literature. Utility values
20 reflect a patient's preferences for different
21 health outcomes. There are two components to
22 that. One of them is defining and describing

1 health states. The second is valuing those
2 health states. There are a variety of different
3 methods in the literature to measure those. Each
4 has advantages and disadvantages.

5 We, fortunately are able to borrow
6 extensively from the literature to find utility
7 valuation estimates for different health states,
8 like being on dialysis, for example, or being in
9 a skilled nursing facility. But for some events,
10 such as having a chest tube in, while you are in
11 the hospital, there is no literature on what the
12 disutility associated with that event is.

13 So, we engaged a group of clinicians
14 to rank all of the different events and we then
15 recalibrated those scores, using a literature-
16 based estimates. So, the clinicians could give
17 us a relative ranking of how getting a chest tube
18 would fall in-between the different other health
19 states for which we do have patient-centered
20 utility values and we use those to, essentially,
21 interpolate the values for the conditions for
22 which we don't have literature-based estimates.

1 So, again, the steps in the
2 specification of each harm, the literature
3 review; the clinical expert panel, including
4 nurses and physicians from a variety of relevant
5 specialties. And then we used a regression to
6 calibrate the expert clinician rankings to
7 literature-based utilities, thereby generating
8 disutility estimates that reflect patients -- we
9 hope reflect patients' perspectives.

10 We used CMS Medicare Fee-for-Service
11 data from two years identifying a cohort of
12 patients who are at-risk for each PSI event. We
13 then compared patients who experienced a PSI
14 event with patients who did not experience the
15 event. But of course, that is strictly
16 comparison because the patients who experience
17 the event are sicker. So, we used a commonly
18 applied approach called propensity score matching
19 and specifically the inverse propensity weighting
20 approach, incorporating, essentially, the risk
21 adjustment model that goes into the estimation of
22 the observed to expected ratios but we added some

1 things as well.

2 So, in this case, in this propensity
3 matching we also added socioeconomic factors,
4 which by tradition with NQF are excluded from
5 risk adjustment. But here, since our goal was to
6 understand what is the marginal harm that
7 results, for example, mortality within 60 days or
8 90 days that results after a PSI. So, now we
9 felt it was important to take into consideration
10 other socioeconomic factors that may confound the
11 relationship between PSI events and subsequent
12 harms, such as mortality, that might be post-
13 discharge against.

14 So, we followed patients up for up to
15 12 months, depending on the specific event. For
16 an event like an iatrogenic pneumothorax, we
17 assumed that if you were out of the woods in a
18 month, that that was good enough. But for other
19 events like postoperative renal failure,
20 obviously, the consequences of that could go on
21 indefinitely.

22 So, this slide just summarizes the

1 harm weights and these are rescaled so that they
2 sum to one. So, you can see in essence here, for
3 example, that the most serious events like
4 postoperative sepsis at 0.232 and PSI8, which is
5 postoperative hip fracture, the more clinically
6 serious events now carry heavier harm weights.

7 There is a volume component and the
8 final weight is basically a rescaled sum product
9 of those harm and volume weights.

10 So, you can see that just in this new
11 weighting, the weighting for PSI12 goes down to
12 0.21 and the weighting for PSI15 goes down to
13 0.011.

14 So, a couple of quick sensitivity or
15 robustness analyses that we have done since on
16 the materials that you have reviewed, one is to
17 look at how sensitive the PSI90 is to any single
18 composite. So, we want to be able to show that
19 it is not unduly influenced. So, this shows,
20 essentially, how many hospitals are switching
21 across quartile rankings. And the key point here
22 is with respect to PSI7, which is central line-

1 associated bloodstream infection.

2 So, we understand that many users had
3 access to the CDC/NHSN measure of central line-
4 associated bloodstream infection. And they might
5 prefer to substitute that measure for PSI7 in the
6 composite, essentially to remove PSI7.

7 And so this will be an option in the
8 new version. And you can see if when PSI7 is
9 removed from the composite that only about five
10 percent of hospitals shift a quartile in the
11 ranking.

12 This shows there is some error in our
13 utility estimates. And we have done some work to
14 understand, as we enroll more and more experts in
15 our expert panels, and as we review more and more
16 literature, we have looked at how much variation
17 we are getting in our disutility estimates from
18 different sources. And, generally, we seem to be
19 staying within a plus or a minus 15 percent
20 range. So, we did a 15 percent plus or minus
21 random perturbation of all the disutility
22 estimates in the thousand simulations to see what

1 the impact of that would be. And you can see,
2 actually, that it has minimal impact. So, these
3 are weighted kappas and you can see that the
4 weighted kappas are all centered around 0.98.

5 So perturbing the disutility estimates
6 actually has, within a reasonable range, has
7 minimal impact on the ranking of hospitals, given
8 the number of indicators that are included.

9 So, in conclusion, we think there are
10 some strengths with this new approach but there
11 are also some limitations. The total weight is
12 more evenly balanced across PSIs. No single
13 indicator carries more than about 30 percent the
14 total weight.

15 The PSI events with worse health
16 consequences are weighted more heavily. Those
17 that are easy to prevent by changing coding
18 practices are no longer weighted more heavily.
19 And to the extent that some PSIs have false
20 positives, events that didn't actually happen
21 actually reduced the corresponding harm weights.
22 Because if the event didn't happen, then it is

1 not associated with subsequent harms. And so it
2 reduces the weight that is assigned to that
3 component PSI.

4 We think that PSI90 is now better
5 aligned with the concept of patient safety or
6 reducing harm that occurs in the process of
7 inpatient medical care. And we find that PSI is
8 reasonably robust to variation in which
9 components are included and how the disutilities
10 are estimated. But we must anticipate that the
11 component weights, just like risk adjustment
12 parameters may vary slightly from year to year,
13 as we update our reference population, as we re-
14 estimate these models, there will be some
15 variation.

16 And of course, this is work that we
17 did very quickly within nine months and we
18 anticipate that additional harms will be
19 considered. Many of you may have thought of
20 harms that you didn't include in the estimation
21 and we are eager to do that. So, there will be
22 an incremental process but we hope that this

1 process addresses the concerns that we discussed
2 last year.

3 CO-CHAIR SEPTIMUS: I always love
4 listening to your analysis and your team has an
5 incredible amount of work in a short period of
6 time. In fact, some of us weren't sure you could
7 get it done by this date and you did. And you
8 should be congratulated, regardless of the final
9 outcome of our conversation. We really
10 appreciate you coming back to the committee and I
11 think certainly making this a much stronger
12 composite than what we saw last year.

13 With that, I think we have -- Sean are
14 you on the line?

15 DR. O'BRIEN: Can you hear me?

16 CO-CHAIR SEPTIMUS: Yes. So, this is
17 Dr. Sean O'Brien. Sean, would you introduce
18 yourself to the group and then give us your
19 analysis, please?

20 DR. O'BRIEN: Sure. I'm Sean O'Brien.
21 I'm from Duke University Medical Center. I work
22 on several professional society registries

1 regarding improvement and have been involved in
2 risk model development and including some work on
3 composite measures in a consultant role with NQF.
4 I was asked to kind of participate in this call
5 to providing kind of a summary of framework for
6 looking at the methodological and statistical
7 issues.

8 So, I just will -- I guess I will jump
9 in. I was going to start this by basically
10 describing my framework or a framework for
11 evaluating evidence provided for composite
12 measures and then we will go through some of the
13 evidence that was provided in the Nissen
14 materials.

15 I think Dr. Romano already mentioned
16 some of the advantages of composite measures, in
17 the sense that they can simplify reporting and be
18 more comprehensive than single measures and they
19 can often gain precision compared to single
20 measures by aggregating data across multiple
21 endpoints. The concerns about composite
22 measures, they can be challenging particularly

1 because of the weighting issues. So, although
2 they can distill a large amount of information
3 into a smaller distilled summary, quality or even
4 a facet of quality is often multidimensional.
5 And so when you combine information from multiple
6 measures that are measuring slightly different
7 things, there is clearly potential for
8 information loss. And it is most challenging
9 that when the items that are being combined into
10 a single summary measure don't always track
11 together.

12 So, when you have multiple items that
13 are being averaged together in a weighted
14 average, if they are all highly correlated and
15 measuring exactly the same things and no matter
16 how you weight them, you are going to pretty much
17 get the same ranking of the units that are you
18 are evaluating. When you are combining items
19 where hospitals may do the units being evaluated
20 do better on one and worse on others, then the
21 results can be quite sensitive to the approach to
22 weightings adopted.

1 And unfortunately, there is no single
2 agreed upon objective method of developing
3 weights. And I think a realistic way of thinking
4 about the weighting is that no matter what you do
5 there is an inherently subjective component that
6 is inherently normative and how you weight the
7 different items really determines what is being
8 measured and how you should think of that
9 measure.

10 And really, and I think this is
11 consistent with the kind of philosophical
12 approach that was adopted by the developers, is
13 that in the end, the validity of the weighting
14 really depends quite a bit on its acceptance to
15 the users and the various stakeholders that are
16 going to be using information from the composite.
17 So, really, in a way, the ultimate criteria is
18 that the weights make sense to the users and the
19 stakeholders.

20 Also, it can be heard to understand
21 the weights and they may seem less transparent
22 when items are combined by really exploring the

1 consequences of the weights from different angles
2 and doing sensitivity analyses like some that
3 just were presented is really, I think, the best
4 that you can do in terms of understanding how the
5 composite measure is going to be behaving in
6 practice and ensuring that there were no
7 unintended consequences, that the weights make
8 sense to the users.

9 So, with that in mind, one thing that
10 people frequently look at with respect to
11 composite measures is the correlation between the
12 items that are being combined in the measure.
13 So, that was one of the, using HCUP data from
14 2012, the measure developers reported that the
15 items in the composite were positively
16 correlated. The correlation was not extremely
17 high. There are correlations ranging in the low
18 0.08 up to the 30s. And I think some
19 methodologists approaching measure development
20 from kind of a psychometric framework would often
21 say well, it is important for this correlation to
22 be very high, as articulated by the measure

1 developers. It basically measures different
2 things. If you can argue that they are all
3 important to measure, then regardless of their
4 correlation, the justification for combining them
5 is that they are all important. The fact that
6 they are not correlated means that there is more
7 risk or impact if the weights are not chosen
8 appropriately. But I would just say that high or
9 low correlations is probably not the main thing
10 to look at in the assessment of the composite
11 measure.

12 But in the end, after assigning
13 weights, you can kind of look to see empirically
14 which measures seem to be most explaining the
15 variation in the composite. And so in one of the
16 tables they provided, the items the total
17 correlations, where they look at any single item
18 in the composite and look at its correlation of
19 the overall composite, and those correlations
20 range from very low, for example PSI08, which is
21 postoperative hip fracture, which is essentially
22 zero correlation to around 30. And the message

1 there is that basically the items that are
2 regarded by the developers as being relatively
3 important were contributing variations of the
4 composite, which is expected and desirable and
5 with the exception of maybe one of the measures,
6 they are all appearing to contribute. And so, I
7 think their assessment of the underlying behavior
8 that you see empirically is consistent with the
9 intention.

10 And finally, another aspect of testing
11 that was presented had to do with reliability and
12 reliability can refer to a lot of different
13 things. Here, they are looking at basically
14 whether the sample sizes, the number of eligible
15 cases of the units being evaluated are enough to
16 provide precise estimates to really differentiate
17 performance across the units. So, reliability,
18 in that sense, is the proportion of variation in
19 what you measure that is really explained by true
20 difference, rather than random chance and noise.
21 And it is also basically a measure of how well
22 correlated is what you measure with the

1 underlying thing that you are trying to measure.
2 So, it is related to a correlation coefficient.

3 And their results for reliability were
4 in the 70s, which compared to a lot of NQF
5 endorsed measures and things that are submitted
6 for measurements, that seems to be relatively
7 acceptable.

8 And regardless of the subjective
9 judgment about whether reliability is high or
10 low, when you combine items across multiple items
11 in a single measure, you tend to increase
12 reliability. So, several of the components in
13 the composite were NQF endorsed and presumably,
14 some of these may have had lower reliability.

15 So, if there is any concern about
16 reliability, in the sense of the statistical
17 reliability, certainly aggregating across
18 multiple items into a composite is an approach to
19 address and improve the reliability. So, even as
20 low, it is probably higher than what you would
21 see when analyzing the endpoints individually.

22 And another way to get at reliability

1 in a little bit more simple and direct way is to
2 look at the results of a test run of the data to
3 see how many units could be classified, basically
4 being different from one another or different
5 compared to various benchmarks. And frequently,
6 you look to see whether you can distinguish
7 whether units are better or worse compared to the
8 average. And often, I see reported what
9 proportion can be classified compared to the
10 average here. In their empirical testing, the
11 developers reported what percentage of hospitals
12 could be distinguished by being below the best --
13 below the 80th percentile, meaning they could be
14 ruled out as having top-notch performance or
15 whether they could be classified as being above
16 the 20th percentile, meaning they could be ruled
17 out as not being in the really bottom
18 classification of performance.

19 And the results were that it depends
20 on sample size but that certainly of the half or
21 more than half, three-quarters were able to be
22 classified in that respect. I think if you were

1 trying to classify units as being above or below
2 average, it is actually harder to make that
3 classification. It is relatively easier to say
4 based on the observed data for your hospital, we
5 can tell you are not the top but there may be
6 some uncertainty about how they compare relative
7 to the average.

8 But in any case, based on the results
9 presented, the results are not dominated by
10 random sampling variation. There is clearly
11 ability to make useful conclusions that are based
12 on true signal differences and not just noise.

13 So, I think I will pause there and
14 just say that in my assessment, in terms of the
15 analyses presented in the conceptual approach,
16 although it is extremely technical in nature,
17 their methods were really responsive to the
18 underlying clinical intent. So, it wasn't just
19 methods that were complicated because of a desire
20 to be complicated. All the choices really
21 responded to the criticisms from last round and,
22 basically, the intent of the measure. And it was

1 a relatively complete analysis and quite
2 comprehensive.

3 So, I will participate in the rest of
4 the discussion, if desired, but I will stop
5 there.

6 CO-CHAIR SEPTIMUS: Thank you very
7 much, Sean.

8 So, at this time I think we are going
9 to open it up for questions. Go for it.

10 DR. SCHULTZ: This is Leslie Schultz.
11 I just have a clarification question. So, on the
12 weights that we see for the fully specified model
13 with the 11 components, now you are using the old
14 label for PSI15 but did you use the new
15 specifications for 15? Okay, thank you.

16 CO-CHAIR SEPTIMUS: For those on the
17 phone, the answer was yes. If you could speak
18 into the mike or speak on the phone so we can
19 hear you.

20 Charlotte first and then we will go to
21 Michelle.

22 DR. ALEXANDER: So, I have a concern

1 about DVT. Many of us have been working under
2 the premise that it was a preventable thing, if
3 you took certain actions. And our experience
4 both here and abroad has been that
5 pharmacological prophylaxis does not seem to
6 change the rates. There is new article out in
7 JAMA this year. There was a mice study that
8 looked at that that showed that the rates stayed
9 exactly the same.

10 Most of these measures are harm
11 measures where a lack of activity or something
12 that is done by us created harm. What I am
13 seeing is that large facilities are doing more
14 trauma are the ones that are greater risk and I
15 don't see that as a harm issue.

16 So, I just would like to bring that to
17 the forefront and have a discussion.

18 CO-CHAIR SEPTIMUS: Okay, we will go
19 to Michelle, Steve, Jesse, and then over here.

20 DR. SCHREIBER: Thank you. First of
21 all, thank you for all the incredible work that
22 has been done --

1 CO-CHAIR SEPTIMUS: Is your mike on?
2 Speak closer to it.

3 DR. SCHREIBER: It's on. Thank you.
4 I was just saying thank you for the incredible
5 work. I think this has made this a much stronger
6 measure.

7 DR. QUIGLEY: Excuse me. May I
8 interrupt? Point of information. I think for
9 the purposes of reporting, we did this last time,
10 we all had to identify our name as we get
11 started. So, that might be helpful. And then if
12 people wouldn't mind just speaking closer to the
13 microphone that would be helpful because some
14 people are very soft. But I think we are
15 supposed to identify our name as we get started.
16 Thank you.

17 DR. SCHREIBER: Sure, happy to.
18 Michelle Schreiber from Henry Ford. And I was
19 saying, again, thank you for strengthening this
20 measure. But I do have a question.

21 In looking at the hospital-acquired
22 condition rankings that came out from CMS, there

1 seem to be a large number of academic medical
2 centers, for example that were ranked in the
3 lowest quartile. And I am wondering if you feel
4 that there was an underlying bias because of the
5 academic medical center and whether or not this
6 will adjust for that if there is any bias that we
7 should be thinking of.

8 CO-CHAIR SEPTIMUS: Do you want to
9 answer her? Go ahead.

10 DR. ROMANO: Yes, we have just begun
11 to explore the question. And what I can tell
12 you, in fact, I just reviewed some output, along
13 with my boss here yesterday. And so I can say
14 that PSI15, in particular for accidental puncture
15 laceration, this change actually reverses
16 direction of the weighted mean observed to
17 expected ratio.

18 So, with the previous classification
19 of PSI15, teaching hospitals were overwhelming
20 non-teaching hospitals four to one or the
21 specification of actually teaching hospitals are
22 slightly below one and non-teaching hospitals are

1 slightly above. A very small difference, like
2 two percent but it did reverse the direction.

3 For PSI12, the change did not reverse
4 the effect but it did markedly narrow it. So,
5 now there is roughly a 20 percent higher rate of
6 the observed to expected ratio related to
7 teaching hospitals versus non-teaching hospitals,
8 which is close to 35 percent.

9 So, I think how this comes out in
10 terms of the overall composite, I can't say. But
11 at least for those two components, it is either
12 reverse the effect or it has reduced its
13 distinction.

14 CO-CHAIR SEPTIMUS: Just a follow-up
15 before we go to Steve.

16 So, this is not one of the confounders
17 that you used in terms of your observed versus
18 expected as to teaching status, size of beds,
19 those kinds of beds.

20 DR. ROMANO: Well, we never used
21 hospital characteristics in the analysis.

22 CO-CHAIR SEPTIMUS: Steve.

1 DR. LAWLESS: Yes, I'm Steve Lawless
2 from Nemours. Three questions or three sub-
3 parts. You can answer just if they have a
4 substantial impact or not. I may have missed it
5 in all the conversation.

6 But the PSI7 on the Nissen is my
7 understanding a little bit is that Nissen has
8 changed its definition a little bit of central
9 line infection in terms of oncology patients,
10 that there are central line infections and there
11 is one bowl ischemia associated or whatever.

12 CO-CHAIR SEPTIMUS: Mucosal barrier.

13 DR. LAWLESS: Mucosal barrier. Some
14 of your data -- thank you IV specialist. Does
15 that impact at all? Because that loosens the
16 definition in ob verses where your model came in.

17 Second is, it looks to me that if you
18 had one hip fracture, most likely from the
19 management you are going to get a metabolic
20 disorder or you could have something else happen
21 or thrombosis. Is there something almost like a
22 tolerance or a cross-tolerance or measures? Or

1 is that already impacted in the weighting, that
2 if you have had one, the likelihood of having a
3 second or a third of these measures escalates
4 versus isolated events themselves.

5 And the third you mentioned a little
6 bit, which was the test, re-test, different from
7 your simulation of what is already -- you rerun
8 the numbers. And by rerunning the numbers, you
9 are seeing differences.

10 At what point would you suggest there
11 is enough stability in the model so that people
12 one year aren't real low and the next year they
13 are real high, they have that celebration massive
14 firing of teams effect?

15 DR. ROMANO: So, I'm not sure I quite
16 caught the first issue. So, is this an issue
17 with respect -- because this is based on IC-9
18 coded data. So, does this affect the --

19 DR. LAWLESS: Well, you had mentioned
20 a little bit that people can substitute Nissen
21 for another definition of some sort. And I just
22 want to make sure that if people do, the Nissen

1 definition of mucosal barrier has changed a
2 little bit and so they are not necessarily apples
3 to apples. It is apples to oranges. And so
4 where would you weigh in on that or is that
5 impacted?

6 DR. ROMANO: Right. Well, that,
7 honestly, would be up to the user. If the user
8 wished to remove PSI7 for a particular
9 application, it would be possible to do that.
10 But of course, the CLABSI measure from CDC is a
11 completely different structure. I mean so it is
12 based on catheter days, as opposed to patient
13 discharges. It is based on specific units as
14 opposed to all adult hospitalized patients. So,
15 there are a lot of things that would make that
16 sort of an apples to oranges difference.

17 So, that is sort of caveat emptor to
18 some extent.

19 CO-CHAIR SEPTIMUS: So, your measure
20 as it is now for line infections, that is based
21 on administrative data. Correct? I just want to
22 maybe clarify your question.

1 So, that is based on physician
2 documentation as taken by coders and may or may
3 not correlate with what facilities report into
4 NHSN in terms of definition of CLABSI. So, there
5 are two different measures.

6 Now, the MBI, mucosal barrier injury
7 definition, even though it is separated by a
8 reporting mechanism, it is still included
9 together, at this point.

10 DR. ROMANO: Right. So, and obviously
11 there is a lot of older literature showing that
12 the ICD-9-CM codes for central line infection are
13 really poor. But in response to petitions from
14 CDC and AHRQ, they actually changed, as many of
15 you know, they actually changed the ICD-9-CM code
16 so that it is very specific to central line-
17 associated bloodstream infection, at this point.

18 The other question, so, in terms of
19 hip fracture, yes. So, we have been empirically
20 exploring this question and there are some
21 particular patterns where PSIs co-occur. And in
22 fact one of the more common patterns, for

1 example, is postoperative sepsis with
2 postoperative pneumothorax.

3 So, we are in the process of doing
4 some empirical analyses to assess how to allocate
5 harms for these patients. And that was alluded
6 to a little bit in the last bullet, where we do
7 find that, of course, the patient died and you
8 don't want to be carrying that death twice in the
9 harms estimation. So, we have to assign that
10 death in one place.

11 Now, it turns out that empirically
12 there is relatively little. So, it won't have
13 that much of an effect on the weighting and it is
14 kind of built into that 15 sort of percent error.
15 But nonetheless, we are actively working now on
16 sort of cleaning it up and making sure that when
17 patients have two PSIs, that they are allocated,
18 essentially for the purposes of the harms
19 estimation, to one and only one PSI or to a
20 specific combination of PSIs when we do empirical
21 estimating.

22 And the final point was about

1 stability and I would refer you to I think the
2 ICC estimates, which are reported here at 0.76,
3 which is a reasonable level of liability.

4 Now, of course, we only had two years
5 of data to use for this because we are using a 36
6 state reference population that has good coding
7 of present on admission data. So, as we get more
8 data and we will have the 2013 data hopefully
9 soon, we will be able to get a better sense of
10 the stability across time, which I think is more
11 direct.

12 CO-CHAIR SEPTIMUS: Okay, Jason, I can
13 see you.

14 DR. ADELMAN: Jason Adelman.

15 CO-CHAIR SEPTIMUS: We can't hear you,
16 Jason.

17 DR. ADELMAN: Okay. All right. Can
18 you hear me now? All right.

19 I have two points and questions. The
20 first was well, I wanted to echo some of the
21 things that were said. I appreciate the
22 responsiveness from the last time we were given

1 the measure until now and, specifically, I think
2 we commented that the weighting occurred by
3 volume did not really make sense to us and that
4 was addressed. Also, there was a lot of weight
5 given to accidental punctures and that was
6 partially addressed.

7 But I think it was Charlotte who
8 talked about preventability. That was another
9 point that was discussed a lot. And I don't
10 think that was addressed as well.

11 When Dr. Romano gave his presentation
12 at the beginning, he gave the definition from the
13 WHO, the World Health Organization, their
14 definition of patient safety and, in fact,
15 underlined the word preventability.

16 And these measures are a real mix of
17 measures that have incredible evidence for us
18 patient safety officers to work on to reduce the
19 outcomes. So, most notably, CLABSIs, we can
20 refer to the Michigan Keystone initiative, Peter
21 Pronovost's work.

22 And then there are other things I

1 recall. At the last meeting, I had asked Dr.
2 Romano about the accidental puncture and he gave
3 sort of a more obscure reference that I couldn't
4 find after I asked NQF and I never identified it.

5 But the point is, I'm sure there is an
6 article about every adverse event that exists.
7 There is an article about something that might
8 prevent it and some of it is large Keystone State
9 of Michigan studies and some are obscure
10 articles. But at some point, the World Health
11 Organization defines patient safety but they also
12 define adverse events.

13 And there is a cutoff point where at
14 that one point ventilator-associated pneumonias
15 were considered an adverse event and then
16 research the bed at 20 degrees, use suction, and
17 Pepcid, for some reason that I don't understand,
18 it can really reduce ventilator-associated
19 pneumonias. And it sort of switched from just
20 asking a patient to sign consent to something
21 that we really have to do and if we don't do it,
22 we should be held accountable.

1 This measure, to me, is a mix of
2 adverse events and patient safety. It is just a
3 mix.

4 I remember I made this point last time
5 and Lisa sort of countered with they are all
6 valuable and I thought that was a good point and
7 I agreed. However, I also think one of the
8 criteria is usability and use. And mixing it all
9 together and then calling it a patient safety
10 composite is confusing to me.

11 The one other thing I wish they would
12 have done is split the composite in two; taken
13 the things where there is real solid evidence,
14 called it a patient safety measure and held us
15 all accountable to those things. And then taken
16 things that were adverse events where there is no
17 real strong research but we should still measure
18 it because there is still harm to patients, to
19 Lisa's point, but put it in a separate bucket and
20 measure those also.

21 And you know I am encouraged that our
22 process works. So, if anything, let's send them

1 back to go do that. But short of that, in case
2 that is a little too much, because it is really
3 an incredible measure and a lot of great work,
4 just at a minimal, I think the title should be
5 changed to like a patient safety and adverse
6 event composite because it is, in my view, is not
7 patient safety.

8 And the last point I will make about
9 this is there is this HAC Pay-for-Performance
10 program that is out there. And back in my
11 hospital, while we didn't have to work on CLABSIs
12 because we are doing great because we are
13 following the Peter Pronovost Keystone thing, we
14 all do our checklist, we didn't do well in CAUTIs
15 but there is a tremendous amount of evidence to
16 prevent CAUTIs, so we are working hard at it.

17 And then we also didn't do that well
18 on the AHRQ PSI90. So, we have our chart
19 abstractors trying to fix how they chart. And
20 that's it because there is really not some great
21 program. If Dr. Romano and AHRQ can point to
22 some great initiative that I can use that is

1 really evidence-based that I could sell to the
2 surgeons to prevent accidental punctures, I will
3 go back home and do it. But right now, it is
4 just working with the coders and that is like the
5 reality. If you take a typical patient safety
6 officer from a big hospital, that is what is
7 going on.

8 So, that was one comment. And the
9 second comment I'm following up with what Steve
10 said. The substitution of the AHRQ PSI for
11 CLABSI to NHSN is a bit confusing to me. Like I
12 have never heard of that before. When it is up
13 to the user, I don't understand if that means the
14 hospital or CMS. So, like in the next HAC
15 program, are they just going to say include it or
16 not? And I think, generally speaking, most
17 people, except that the NHSN measure is the
18 better measure than chart. So, why not just dump
19 it and use it?

20 DR. BURSTIN: Anybody on the phone,
21 please put your phone on mute. We are catching
22 some background noise you probably don't want to

1 share. Thank you.

2 DR. ADELMAN: Right now in the HAC
3 program, AHRQ PSI90 is there with CLABSIs as part
4 of this composite. And then also the NHSN full
5 cut. So why have both? Why not just drop it and
6 have one measure with one very clear instruction?
7 I just don't understand the substitution. Those
8 are my two points.

9 CO-CHAIR SEPTIMUS: Any comments that
10 you want to make?

11 DR. ROMANO: Sure. So, a couple
12 things. So, one is that we have tried to address
13 the evidence question by putting an evidence
14 table into the materials that were submitted.
15 So, there is a fairly high-level summary of
16 evidence for each of the PSIs related to
17 processes of care that had been shown to prevent
18 or believed to prevent those events. So, I
19 encourage you to look through that table and the
20 reference is cited there.

21 For the measures that are currently
22 endorsed by NQF, we left that evidence review to

1 the committee that considered the measure for
2 endorsement. So, those particular measures are
3 not in this table.

4 So, I don't think there is an easy
5 split between components that are more
6 preventable, components that are less
7 preventable. What we have tried to do is
8 incorporate -- well, so there are two ways in
9 which preventability is implicitly incorporated.
10 One is that each of the PSIs was rated on face
11 validity by a clinical panel. And if it didn't
12 meet the threshold for at least an acceptable
13 level of preventability, according to the
14 clinical panel, then it wasn't initially proposed
15 by AHRQ as a PSI.

16 And then second, we do this
17 reliability adjustment of each of the component
18 indicators. So, one of the implications of that
19 is if an indicator has -- if hospitals can't do
20 anything to prevent an indicator, then there is
21 not going to be any hospital level signal that is
22 going to be randomly sorted across hospitals

1 after risk adjustment. And so everything will
2 shrunk back towards one. All those observed to
3 expected ratios will get shrunk to one.

4 So, if in fact there is no
5 preventability, then the absence of
6 preventability results in a relatively small
7 impact of the indicator because all the O to E
8 ratios being close to one.

9 So, trying to build a preventability
10 factor on top of that was empirically beyond our
11 capability during this period and I'm not sure
12 what evidence we would use.

13 I do want to specifically address the
14 DVT issue because I will strenuously protest
15 about deep vein thrombosis. So, there is, of
16 course, a body of literature of randomized
17 control trials supporting pharmacologic
18 prophylaxis as well as mechanical prophylaxis to
19 prevent DVTs. That evidence has been reviewed by
20 countless expert panels, has been endorsed by the
21 American College of Test Physicians and other
22 organizations. So, I don't think that there is

1 any doubt that with the appropriate prophylactic
2 interventions that we can prevent about half of
3 these major proximal clots and lung clots.

4 In addition, I think if you look at
5 the work that we have published in the Journal of
6 Hospital Medicine, as well as two other papers,
7 we did a case control study of patients
8 undergoing total knee arthroplasty in 15 teaching
9 hospitals, 130 cases, 463 controls. And all of
10 these patients met the SCIP criteria for
11 appropriate thromboprophylaxis. But even within
12 this cohort of patients, we found that the
13 patients who received pharmacologic prophylaxis,
14 as opposed to mechanical prophylaxis had an odds
15 ratio of 0.5. The patients who were out of bed
16 the day after surgery had an odds ratio of 0.3.

17 So, we believe that early mobility, in
18 particular, provides additional opportunities for
19 prevention that may be under-recognized by
20 healthcare organizations.

21 So, the continuing focus on PSI12 we
22 believe is important to prevent events that

1 really have serious consequences.

2 CO-CHAIR SEPTIMUS: And we had
3 somebody on the phone. Then, we are going to go
4 this way. Kim?

5 DR. APPELEGATE: Yes, can you hear me?

6 CO-CHAIR SEPTIMUS: Yes.

7 DR. APPELEGATE: Okay, good. This is
8 a very interesting discussion and I don't want to
9 lengthen it too much. I just had a question for
10 the author about actually the measure as a sub-
11 measure of PE and DVT. And just to help us
12 clarify how the rates that were mentioned in the
13 original presentation are done. I may have
14 missed it but are they based on the trend over
15 time on using imaging, for example, to decide
16 that I think it was said originally in the
17 discussion that they have gone down?

18 So, for example, I know that our
19 hospital looks at the rates and looks at the
20 imaging rates of the ultrasound for DVT and the
21 CTA for PE for meaningful use and we do look at
22 the positives rates. And I think that that can

1 be tricky because of a lot of factors, such as
2 overuse of imaging and how each healthcare
3 institution uses the imaging. And there is also
4 a lot of debate out what is a positive study and
5 what is a meaningfully positive study, in terms
6 of the patient's outcomes? And I don't want to
7 get into that debate here. I just want to ask
8 about the measure.

9 DR. ROMANO: Well, we are certainly
10 aware of that debate and so we tried to factor
11 that in. The big debate is about how far down in
12 the calf we look and how significant. If you are
13 down in the soleal vein, for example, the
14 peroneal vein, just what is the clinical
15 significance of these distal events.

16 And I know that some radiologists,
17 some ultrasonographers believe that they are
18 obligated to look at the distal veins and to
19 report findings in distal veins. Others argue
20 that these events are of uncertain clinical
21 significance and lead to over-treatment.

22 So, to get around this debate, we

1 basically required in the definition that the
2 clot be in the popliteal vein or above.

3 Now, this may still be somewhat
4 sensitive. Obviously, if a hospital, at the
5 extreme, never does ultrasounds, then it won't
6 find these clots. But presumably, we are all
7 trying to provide good care. We are all trying
8 to image patients who may have symptoms after a
9 surgery that places them at risk.

10 So, I don't think that this bias can
11 be entirely eliminated but we don't necessarily -
12 - we believe it is still important to look at the
13 clinically important outcomes of proximal clots
14 in lungs.

15 DR. APPLGATE: Okay, I just wanted to
16 bring it up.

17 CO-CHAIR SEPTIMUS: I want to come
18 back to Charlotte's comment because I don't want
19 to lose that. Do you want to follow-up with your
20 comment about the recent article? I also want to
21 have Dr. Romano comment on that.

22 DR. ALEXANDER: So, the article I am

1 talking about came out of JAMA and it was done --
2 I'm sorry. I thought I could pull this up
3 quickly for you.

4 DR. ROMANO: Are you referring to
5 Chmeil Deloria's paper?

6 DR. ALEXANDER: Yes. No, that one I
7 saw. That you had referred to as part of your
8 evidence and that was very weak evidence. But
9 the one I decided was coming from the Colorectal
10 Writing Group for the Surgical Care and Outcomes
11 and Assessment Program, this was out of
12 Washington, I believe, and they had 16,120
13 patients and they had aggressively at
14 chemoprophylaxis because that is what we have all
15 been scurrying around accommodation of
16 chemoprophylaxis and mechanical prophylaxis and
17 early ambulation. And the thought was if you
18 push the chemoprophylaxis, you are going to get
19 better rates. And what they found is that they
20 moved their chemoprophylaxis rates up to 91
21 percent, which is what we have done as well. And
22 their DVT rates did not change. And that has

1 been our experience as well.

2 So, I have a concern that the evidence
3 is not giving us good guidelines. If someone can
4 tell me how to prevent a DVT, I want to hear it.
5 We have been running around trying to follow
6 these guidelines that we have been told work and
7 they are not all working.

8 So, as with many other quality
9 indicators, I am finding that so much is
10 multifactorial, I don't think we understand this
11 completely yet.

12 CO-CHAIR SEPTIMUS: Do you care to
13 comment, Dr. Romano, on that?

14 MS. PANCHOLI: So, one of the things
15 I would like to bring to the folks' attention
16 here is that not only does AHRQ support the
17 Quality Indicators Measure Program but we also do
18 a good amount of working looking at issues around
19 quality improvement, specifically, and being able
20 to instruct hospitals of specific action they can
21 take to make sure that these adverse events don't
22 occur.

1 So, one of the tools that we actually
2 support is the AHRQ Quality Indicator Toolkit.
3 It is an evidence-based toolkit that looks at
4 various individual PSIs. It offers hospitals an
5 opportunity to convene their teams, gives them a
6 process to follow to actually look at specific
7 interventions that could actually affect those
8 outcomes.

9 So, we try to make sure, whenever
10 possible, that we provide hospitals with sort of
11 a more comprehensive package. We have got a
12 measurement tool. We have got a quality
13 improvement I will say tool or following a
14 quality improvement initiative within their own
15 hospitals. We hope that, again, that when they
16 measure again, that they do see some type of
17 improved outcome.

18 I know that is a little bit more
19 general but when you are talking about quality
20 measures, until you are in that specific hospital
21 looking at their specific cases, it is a very
22 difficult thing to do from a government

1 perspective. So, where we can, we are offering
2 toolkits and information that are evidence-based
3 to help those outcomes actually improve.

4 CO-CHAIR SEPTIMUS: Okay, Yanling.

5 DR. YU: Thank you. Yanling Yu. I
6 have a question, three questions mostly focused
7 on the composite.

8 One is, because I am new, I don't know
9 the background of this being endorsed before.
10 So, my first question is how the PSI selected.
11 One of the serious harm events is wrong site
12 surgery and the foreign object after the
13 operation. And it is very common from the Joint
14 Commission. And I was just wondering if you have
15 any explanation why this is not included.

16 And also the second one is the
17 medication error and that had been really a big
18 issue for Medicare patients, especially, and for
19 general population.

20 And then my third question is when you
21 do the weighted access harm, I just try to better
22 understand how this weighting you are doing to

1 evaluate the harm. For example, the patient's
2 death to adverse events versus a readmission
3 multiple times, would that be separated in a
4 certain way when you do the weighting?

5 And I have one more question is I
6 don't know how this composite with the estimated
7 is at a hospital facility level. There are some
8 hospitals do not have the pay-per-surgery. They
9 don't do those things. When you do a weighting,
10 sometimes you have that data when you calculate
11 the composite either zero or non-zero or not
12 apply. And so I am just wondering how you
13 separate those out. You are not just outputting
14 zero in there which were counted as composites.
15 But not apply would be totally different.

16 So, I am just wondering how you handle
17 those. Thank you.

18 CO-CHAIR SEPTIMUS: Thank you. Just
19 before you answer, we are going to kind of finish
20 round of questions and I think the next thing we
21 will do is we are still having comments around
22 the evidence, that when we get done with this

1 round of questions, we may want to get to voting
2 on that and see whether or not we want to go
3 forward with the other components of the
4 measures.

5 But we are talking a lot about
6 evidence. So, let's get a round of questions and
7 you may want to go right with the voting on the
8 evidence and see whether or not there is enough
9 evidence in this group to then go along to
10 validity and reliability, et cetera, if that is
11 okay with everybody else.

12 DR. ROMANO: I'm going to try talking
13 into a different microphone because I hear there
14 is a lot of static coming.

15 So, a couple of things. So, as far as
16 retained surgical items, wrong site surgery, and
17 so forth, yes, these are -- of course, they are
18 serious reportable events. They are part of a
19 separate NQF process, unquestionably very
20 important patient safety-related events.

21 It turns out that they don't
22 empirically work in a composite of this type

1 because these events are so rare that they are,
2 essentially, randomly distributed across
3 hospitals. So, without a hospital-level signal
4 that we can estimate and then aggregate, it
5 doesn't work empirically. So, these events are
6 undeniably important but they just can't be
7 estimated as risk-adjusted rates and then folded
8 up into a composite of this type because of their
9 rarity and basically because of their random
10 distribution across hospitals.

11 With reference to the separation of
12 adverse events, yes, so, we can consider death,
13 for example, to be the ultimate event. So, death
14 trumps any other event that may occur. It leads
15 to a utility state of zero or a harm of one. For
16 other states, we do allow for other harms to
17 occur together in the same patient.

18 Now, in our clinical panel process,
19 where we ask clinicians to rank the different
20 harms, we ask them, based on their clinical
21 expertise, to attempt to isolate those harms.
22 For example, to isolate the occurrence of a

1 pneumothorax requiring a chest tube during the
2 hospital stay from a patient who might require
3 readmission because of respiratory failure or
4 respiratory problems occurring after discharge.
5 That separation was achieved based on the
6 experience and knowledge of clinicians. But we
7 acknowledge that it may be difficult for them to
8 do that. It was just that was how we had to do
9 it, given the constraints of time and data. But
10 death is considered to be a separate event.

11 Finally, this is estimated at the
12 facility level. So, the way this works is that
13 because we start with these observed to expected
14 ratios, these indirectly standardized morbidity
15 ratios, and then we shrink those ratios down
16 towards the overall mean of one, based on the
17 signal, based on the reliability of data coming
18 from each hospital.

19 So, if a hospital is contributing no
20 data for a particular indicator, then its O/E
21 ratio for that indicator gets brought down to
22 one. So, then it contributes nothing,

1 essentially when the weighted average is done
2 across all of the component measures so this
3 makes sense.

4 So, in the case of a hospital that may
5 have patients who are eligible for some PSIs but
6 not others, the PSIs that are included in a
7 composite for which they don't have patients
8 would have observed to expected ratios of one.
9 It would go into the weighted average, along with
10 whatever other PSI components they have that are
11 relevant to them.

12 And frankly, these are smaller
13 hospitals, typically, where the estimation is
14 going to be less reliable anyway. So, it is kind
15 of inherent in the process. It is always more
16 difficult to estimate these kinds of parameters
17 for smaller hospitals. They have limited product
18 lines.

19 CO-CHAIR SEPTIMUS: Okay, Pat and then
20 we will go to Lisa, and then we will go to
21 evidence.

22 DR. QUIGLEY: Thank you. Patricia

1 Quigley. My comments -- and I, too, thank you
2 for all the great work you have done, as always.
3 But while I am not a supporter of a composite
4 measure of indicator of patient safety of a
5 hospital, my criticism has been of this measure
6 specifically related to the post-op hip fracture
7 indicator and I made that same criticism in my
8 last discussion. And Dr. Romano, I would like to
9 respectfully say that your to our last colleague
10 in that these are rare events indicates why they
11 should not be part of this measure. And that is
12 my criticism.

13 And my question to you is if there was
14 any consideration of including the endorsed
15 measures already for fall rate and fall injury
16 rates, as if there was to be a composite measure
17 that are two endorsed measures of the National
18 Quality Forum.

19 And to the Agency for Healthcare
20 Research and Quality, in 2013, there was an
21 incredible article by Bolden and others in the
22 Journal of Patient Safety. Colleagues, they

1 included in that research an analysis of NDNQI
2 data over a 27-month period of over I think it
3 was 1200 hospitals that were included in this
4 study with 6100 units. What were those clinical
5 units? They were medicine, medical surgical
6 units, and surgery.

7 So, where do we have adverse events
8 that are picked up? They are going to be on your
9 unit level. Colleagues, on those units, there
10 were 316,000 falls. Of those falls, 26.1 percent
11 had injury. Only two percent had a hip fracture.

12 So, when you think about a fall in a
13 neurosurgical unit, to have post-op hip fractures
14 as an indicator of this measure does not work.
15 And I just think that there are more important
16 measures. And I also think that this continues
17 to speak to the importance of select measures as
18 an indicator of safety, rather than a composite
19 measure.

20 But I still say to this body of
21 patient safety for the National Quality Forum
22 that there are two already really important

1 measures that are much more relevant to a post-op
2 patient population than a hip fracture after a
3 fall.

4 So, I just still hope to provide that
5 compelling discussion to you as you go forward in
6 making decisions because falls remain the top
7 adverse reported event that gets an incident
8 report. And even when you look at this data, to
9 my colleagues from the Agency for Healthcare
10 Research and Quality I had suggested that this be
11 a discussion point with the American Nurses
12 Association and NDNQI, but I would suggest to you
13 that if falls and fall injury had been part of
14 this composite measure, your weighting
15 distribution would have been very different.

16 And those are my comments. So, my
17 question to you is did you go back and look at
18 including fall rates overall and fall injury
19 rates, overall, not just a hip fracture? Thank
20 you.

21 DR. ROMANO: So, the inherent
22 construction of this composite is that it is

1 intended for use by organizations that have
2 access to ICD-9-CM coded, soon ICD-10-CM coded
3 administrative data. The original impetus for
4 the entire Quality Indicators Program actually
5 came from state health data organizations and
6 state hospital associations and others that were
7 interested in these kinds of new and expanded
8 applications of ICD coded administrative data.

9 So, yes, it would be hypothetically
10 possible to take out the PSI8 from the composite
11 and to substitute an alternative measure. But
12 with the CLABSI measure, we have a measure that
13 is now publicly available for almost all
14 hospitals in the United States that take care of
15 Medicare patients. We are not yet at that point
16 for the NDNQI measures, as you know.

17 So, until we get closer to that point,
18 we have to substitute other measures that are
19 available from all hospitals, from the universe
20 of hospitals. So, that is why rely on measures
21 that are based on administrative data.

22 Now, your point that we could expand

1 the definition of PSI8 to include other types of
2 fractures, other types of injuries that are
3 occurring to patients who fall in hospitals, I
4 think we would agree completely with that. So,
5 there is an ongoing process. We focused our
6 effort in response to previous discussion on
7 PSI12 and 15 but I think PSI8 could certainly be
8 revisited as well, with potentially an expanded
9 definition to include additional types of
10 injuries, particularly, we see, as you know, a
11 lot of risk fractures that occur when patients
12 fall in the hospital.

13 So, there are some potential
14 expansions. So, given the alternative, we could,
15 of course, taken PSI8 out but I think that would
16 send the wrong message. That would send a
17 message that falls don't matter, that hip
18 fractures occurring in hospitals after surgery
19 aren't important and that we would take it out of
20 the composite.

21 So, I agree with expanding it in the
22 future but I wouldn't support taking it out as an

1 interim measure because I think that would send a
2 message in the wrong direction.

3 DR. QUIGLEY: And again, in saying
4 that falls with hip fractures are very rare. But
5 when you look at the CDC data, in today's
6 hospitals patients over the age of 65 are over 40
7 percent inpatient population, patients over the
8 age of 85 are 9 percent inpatient population.

9 So, when you say that it is age
10 adjusted and gender adjusted, there are other
11 measures that are much more relevant.

12 So, thank you again, for the ability
13 to comment.

14 CO-CHAIR SEPTIMUS: Thanks, Pat. And
15 Lisa, and then we have one other, Susan, and then
16 we will -- who is that?

17 MS. MCGIFFERT: Okay. I just also
18 want to thank you, AHRQ and Patrick, for
19 addressing the issues that were raised. And it
20 really looks like a much improved measure.

21 We think that composite measures are
22 essential for consumers. And I know that most of

1 the people in this room are looking for it for
2 internal purposes and I also know that many
3 hospitals use these for internal purposes. But
4 this is really the only broad measure of medical
5 harm that is publicly reported. And we believe
6 that it is very important to get that out there.

7 With regard to the preventability, I
8 would just argue that if you went out on the
9 street and made statements that accidental
10 punctures and lacerations are not preventable, if
11 you said that to every single patient with great
12 meaning, and saying we have no idea how to keep
13 from puncturing something accidentally in your
14 body, that they would not believe you. And I
15 don't believe you. And I think preventability
16 changes over time.

17 When we first started working ten
18 years ago, more than ten years ago on infections,
19 all of the experts said about 30 percent of
20 infections are preventable. Now they are saying
21 at least 70, many are saying all. It changes
22 over time and public reporting of this

1 information and tracking it is so essential to
2 change the culture and the mindset and to find
3 those solutions that we, obviously, think this is
4 an important measure.

5 CO-CHAIR SEPTIMUS: Thank you, Lisa.

6 I just also just want to make a comment here that
7 measures can be altered and updated over time.
8 We have two measures later for tomorrow afternoon
9 on CAUTIs and CLABSIs coming from the CDC. So, I
10 think there are some really good constructive
11 comments that you have heard, some of which I
12 think you would like to follow up on.

13 So, I guess you all will have to
14 decide whether or not it has come far enough for
15 you to give this an endorsement but I don't think
16 we are looking at this measure as being static.
17 I think it is going to evolve in a way that Lisa
18 just mentioned also.

19 So, I just think we need to think
20 about that in terms of you do plan to update this
21 over time and then represent that to NQF. Would
22 that be fair?

1 MS. PANCHOLI: I actually think that
2 is a cornerstone of the actual whole program.
3 All of the quality metrics, not just PSI90, are
4 dynamic. I mean we are updating them constantly
5 with the latest evidence base that is out there
6 with new codes. We are going into a new world of
7 ICD-10. There is a whole new opportunity there
8 as well.

9 But I can say with certainty that the
10 comments that come out of this committee will
11 certainly be followed up and, where possible, we
12 will invest in the new methods and the new
13 research that we need to to make sure that we get
14 to an even better version of PSI90 that addresses
15 as many of the issues as we can.

16 Now, I will caveat that by saying
17 there is no perfect measure. And so, while are
18 always going to try to the best we can, it is
19 going to be subjected to the data, the codes,
20 ICD-10. There is just a lot of variability in
21 that. But to the extent that we can overcome
22 those obstacles, we certainly will do our best to

1 do so.

2 And one last comment, if I may, about
3 I think there was a comment about preventability
4 and trying to maybe rename the measure. We
5 certainly did that with PS15. As a group, if one
6 of the considerations that comes out today is
7 that you would like us to consider renaming
8 PSI90, we certainly can do that. I'm not quite
9 sure at the moment what that would look like but
10 it something that we can certainly take back to
11 the QI team and come back with a name that maybe
12 seems more relevant or more accurate for the
13 purpose of the contentment.

14 CO-CHAIR SEPTIMUS: And I think this
15 committee now has a track record of when new
16 evidence becomes available of doing ad hoc
17 reviews and then changing it.

18 And by the way, I think Helen can
19 probably testify to this, our discussion about
20 sepsis and the discussion about PSI90 have
21 received, I think, overall, a claim to the
22 rigorous that you all did in the last couple of

1 years. So, the kudos go to all of you but I
2 think NQF certainly appreciates the tremendous
3 effort that all of you make in making this a
4 stronger process and a better process.

5 So, Susan. We can't hear you.

6 DR. MOFFATT-BRUCE: Okay, thank you.

7 CO-CHAIR SEPTIMUS: You're like me,
8 you put yourself on mute.

9 DR. MOFFATT-BRUCE: Yes, thank you.
10 Patrick, you have said it twice now. I just want
11 a point of clarification for PSI6 as inherent to
12 PSI90. You have said twice now when an
13 iatrogenic pneumothorax is created and a chest
14 tube is required. That is not how the definition
15 reads. And it is a very contentious point that
16 we have with our electrophysiologist and thoracic
17 surgeon.

18 So, can you grant us some
19 clarification on that because that is the second
20 time you have said that in this forum?

21 DR. ROMANO: Okay, I'm not sure that
22 I said a chest tube was required.

1 DR. MOFFATT-BRUCE: You did.

2 DR. ROMANO: What I said or what I
3 mean to say was that that was one of the common
4 harms that result.

5 DR. MOFFATT-BRUCE: Correct.

6 DR. ROMANO: And so in our estimation,
7 I'm not going to remember the numbers off the top
8 of my head but, as I recall, it is about 70 to 75
9 percent of the patients who experience this event
10 who have a coded chest tube, thoracostomy.

11 DR. MOFFATT-BRUCE: Maybe that is --
12 I guess it depends on the institution.

13 DR. ROMANO: Yes, so that goes into
14 the harms estimation. So, basically, we asked a
15 bunch of clinicians to rank what it is like to
16 have a chest tube in compared with other things.
17 We rescaled that with the patient-reported
18 utilities and that harms data was applied to
19 roughly 70 percent of the patients who experience
20 the PSI6 event.

21 And then you have about 10 percent of
22 the patients who actually go back on the

1 ventilator and who require respiratory support
2 after the iatrogenic pneumothorax and of course,
3 that is a worse harm with a lower utility. And
4 so that gets factored in proportionately.

5 DR. MOFFATT-BRUCE: Into your risk.
6 Okay, very good. Thank you very much.

7 DR. ROMANO: So, it is a weighted sum
8 of the product of these harms.

9 CO-CHAIR SEPTIMUS: Okay, we have a
10 couple of hopefully relatively quick comments. I
11 want to try to keep ourselves on schedule but I
12 also do not want to cut off important discussion
13 on this measure. So, Iona.

14 CO-CHAIR THRAEN: First, a point of
15 clarification. So, the two non-endorsed NQF
16 measures that were included, why are they not
17 endorsed? Is that a timing problem or is there
18 an issue on that?

19 DR. ROMANO: Well, they just I mean
20 there is a tremendous burden associated with
21 bringing measures to NQF for endorsement. And so
22 these two measures just haven't sort of risen to

1 the top in terms of part of it was the timing
2 issue, as you suggest, because we knew from
3 earlier validation studies that there were some
4 limitations with these measures as they were
5 originally developed. And so we didn't pursue
6 NQF endorsement until we re-specified the
7 measure.

8 So, for example, for PSI10, those of
9 you who are geeks in this literature, you may
10 know that PSI10 used to have a component that was
11 about postoperative hypoglycemia or hyperglycemia
12 and it turned out that there was too much random
13 noise in that component. So, we took out that
14 component.

15 For PSI9, it turned out that our list
16 of operations that might be done to repair or
17 follow-up on a hemorrhage or hematoma was too
18 short and so we were missing a lot of we had
19 inadequate sensitivity. We were missing a lot of
20 real events that were happening that were
21 bringing patients back to the operating room.
22 So, we had to expand the list of procedures.

1 So, those three specifications have
2 been under previous contract about two years ago
3 and so we just haven't had the opportunity to
4 bring them back for NQF endorsement.

5 In principle, I think that the
6 components that go into a composite, if the
7 components are short on reliability but still
8 reasonably strong on importance and validity, it
9 may be appropriate for them to be in the
10 composite but not to be separately endorsed. And
11 so we would have to evaluate that specifically
12 with respect to these two, at least one of these
13 two might be on the borderline in terms of
14 individual reliability.

15 CO-CHAIR THRAEN: So, and the other
16 thing is I just wanted to comment, and actually
17 Lisa said it for me about the preventability
18 question, absolutely underscore the position
19 which it is on a range of continuum. And I know
20 those of you that are trying to improve your
21 processes internal to your hospitals are pulling
22 your hair out trying to figure out how to solve

1 some of these problems but, in reality, by
2 measuring and reporting, it brings attention to
3 those areas and the opportunity for creativity
4 and change. There may not be a solution today
5 but there will be a solution somewhere down the
6 road. So, I just wanted to underscore her point.
7 Thank you. Iona Thraen.

8 CO-CHAIR SEPTIMUS: Jason and then
9 Charlotte and then we are going to go to vote.

10 DR. ADELMAN: Jason Adelman. Just a
11 couple of very quick points. I wasn't going to
12 say this but just Lisa, to your counterpoint, I
13 am going to say we are not talking about walking
14 down the hallway and accidentally tripping and
15 puncturing somebody. We are talking about six-
16 hour surgeries with complicated cancers that you
17 have to cut out. Imagine having to paint the
18 Mona Lisa but not allowed to slip for a second
19 and erase your error. You know people will die
20 of these.

21 But I wanted to respond to many of the
22 measures in this composite are related to

1 surgery. And Lisa commented that this is like
2 the only measure that we have as a composite for
3 harm. And I had made a note that we work on
4 CAUTIs, we weight from CLABS and from this, we
5 try to fix our coding. But what I didn't way is
6 that we also have this other measure, the NSQIP
7 measure that many of you may know of. We pay for
8 it. It is very expensive and we really believe
9 in it. We look at charts very carefully. We
10 call patients after to see if there is harm. It
11 is an incredible measure.

12 So, Dr. Romano made the good point
13 that until -- he made it to Pat about falls. And
14 the NQI is a very good fall measure but that is
15 also not required. For some reason, the CDC
16 requires this manual process for NHSN but there
17 is no agency that is requiring a manual process
18 for NDNQI to make that national because it costs
19 money and this NSQIP, because it costs money.
20 So, short of that, we have to rely on taking
21 codes that we used for billing and trying to
22 repurpose them in this incredible way that they

1 have figured out. But it less than some of the
2 things that those that can afford.

3 And so but the fact of the matter is
4 is that as we endorse these measures and it is
5 out there, then it may just delay the reality
6 that the really good measures that some of us use
7 won't become national measures. Somebody won't
8 say let's do what we did with NHSN with NDNQI and
9 with NSQIP because we have these composite
10 measures.

11 So, it is more a philosophical point
12 because I think that if the measure meets the
13 criteria that NQF has proposed, then we should
14 vote on it accordingly. If it passes the test,
15 it passes the test, but it may have the
16 consequence of delaying some of the better
17 measure.

18 Because I am on this NQF Health IT
19 Safety Committee and there, we just don't have
20 measures right now to measure harm. They just
21 don't exist. For some of these, they exist, we
22 just can't afford them. Healthcare in general

1 can't afford them and that is unfortunate and we
2 should get the better measures and use them.

3 But the real question and point is, I
4 had asked previously, I still don't know what to
5 do about the fact that there is this one part,
6 the CLABSI that we may or may not include and are
7 we talking about CMS or hospitals and why not
8 just drop it, if there is -- the NHSN one is the
9 one that is currently required by every hospital.
10 It doesn't fall into that category that Dr.
11 Romano said. So, why not just drop it from the
12 composite? Like when we vote on it right after
13 this comment, are we voting it with or without or
14 with the variable in place?

15 DR. ROMANO: Yes, so currently the
16 composite, as it is constructed, includes PSI7.
17 And there are some reasons why some users may
18 prefer that approach.

19 So for example, as you know, the NHSN
20 measure uses catheter days as the exposure factor
21 in the denominator. So, all the things that we
22 do in hospitals to try to minimize unnecessary

1 use of catheters to try to get catheters out
2 earlier and so forth, it doesn't affect the
3 CLABSI rate, as it is measured by NHSN.

4 In addition, as you know, the NHSN
5 measure is not individually risk-adjusted. It is
6 standardized based on hospital and unit
7 characteristics. And this has been an ongoing
8 point of discussion, obviously, here at NQF and
9 elsewhere. But there are some users that feel
10 strongly that that is not appropriate and that
11 they would prefer to have a measure that is risk-
12 adjusted based on individual characteristics,
13 rather than unit characteristics.

14 So, at this point, we prefer to offer
15 the option. So, we certainly expect that in
16 CMS's implementation of the measure, since they
17 are constructing their own composite, which
18 includes PSI90 at 25 percent, along with 75
19 percent other stuff, that they will probably
20 request or remove PSI7 but other users may prefer
21 to include PSI7 for the reasons I have mentioned.

22 CO-CHAIR SEPTIMUS: All right, last

1 comment, Charlotte, and then I want Missy to
2 introduce yourself.

3 DR. ALEXANDER: Thank you. Charlotte
4 Alexander. Again, I want to echo the compliments
5 to you about this measure being much, much, much
6 improved and very responsive. And thank you.

7 I have a point of clarification or
8 perhaps a understanding on PSI9, hemorrhage and
9 hematoma. As I read your supporting data, what I
10 read was that this was intended to catch things
11 that could have been controlled better in the
12 operating room and worked. So, that if there had
13 been clips applied more appropriately or little
14 vessels tied off more appropriately, that would
15 prevent the hemorrhage and the harm.

16 But the codes that are there as I see
17 them, and when we see things going on in our
18 system, if someone mentions that a little side
19 branch was bleeding and it was tied, it is
20 falling out.

21 So, just to help clarify, what are you
22 trying capture here? Is there a degree of

1 severity?

2 DR. ROMANO: I'm going to ask if Dr.
3 Utter is on the phone with us. He is my surgical
4 colleague.

5 DR. UTTER: Yes, I am on the phone.

6 DR. ROMANO: Okay, could you address
7 Dr. Alexander's question?

8 DR. UTTER: Well, I'm not sure I
9 understood it exactly but I can comment a little
10 bit about the degree of severity that PSI9
11 attempts to detect, just by emphasizing that it
12 is focused on hospitalizations in which a
13 hemorrhage or hematoma occurs and is diagnosed
14 and a procedure plausibly associated with
15 addressing it is also used.

16 So, there is no absolute threshold for
17 the amount of bleeding or the size of the
18 hematoma but there is a requirement for it to
19 require an operation to treat it.

20 DR. ALEXANDER: So, this is one that
21 requires another operation to treat?

22 DR. UTTER: Yes.

1 DR. ALEXANDER: I did not get that.
2 I saw a surgery associated but I didn't see a
3 return to surgery. So, this is a return to
4 surgery?

5 CO-CHAIR SEPTIMUS: It is a return to
6 surgery.

7 DR. ALEXANDER: Thank you.

8 DR. ROMANO: When it is done
9 incidentally in the course of the index
10 operation, then it is not coded separately
11 because it is considered to be wrapped into the
12 bundle of the initial operation. Everybody, you
13 have to tie off bleeders in the course of any
14 operation.

15 DR. ALEXANDER: Thank you.

16 CO-CHAIR SEPTIMUS: And I missed
17 someone. I apologize.

18 DR. BRILLI: Just a very quick point
19 of clarification. It says on the list it is
20 catheter-related bloodstream infections. So, I
21 just wanted to make sure that that is indeed what
22 the measure is not catheter-associated

1 bloodstream infections because they are
2 different.

3 DR. ROMANO: Give me a minute to look
4 it up.

5 DR. BRILLI: Yes, catheter-related is
6 much more specific. It requires a couple blood
7 cultures, and Ed probably knows this way better
8 than I do, catheter-associated is a surveillance
9 definition used in pediatrics because we don't
10 usually get two or three blood cultures to
11 confirm that it is just from the catheter.

12 Catheter-related is often used in
13 hospitals as a research method but many hospitals
14 use catheter-associated as a screening tool.
15 Because they are different and the numbers would
16 be different and I just want to make sure which
17 one this is. It says catheter-related.

18 DR. ROMANO: Yes, so just to be clear,
19 the code, the ICD-9-CM code that we are using is
20 999.32, which is bloodstream infection due to
21 central venous catheter. And then the indexing
22 provides specific examples, including PICCs,

1 portacaths, triplelumens, HICKMANS, BROVIACs and
2 so forth.

3 And the term catheter-related
4 bloodstream infection, NOS, is also indexed here.
5 So, this is the ICD-9-CM code that coders would
6 typically apply when a clinician documents a
7 catheter-related bloodstream infection.

8 DR. BRILLI: Okay, well the codes may
9 or may not relate to the difference between
10 catheter-related and catheter associated. I'm
11 not an expert on coding but that adds --

12 CO-CHAIR SEPTIMUS: It is partly
13 driven by physician documentation and it may not
14 match the surveillance definition but infection
15 prevention is used to report into NHSN.

16 I mean you are correct that there is
17 some variation there but the intent of the PSI7
18 -- have I got that right 7 -- I'm beginning to
19 learn the numbers -- is that presumably that the
20 bacteremia is related to the catheter.
21 Presumably.

22 Pat, did you have one more comment

1 before we vote?

2 DR. QUIGLEY: I'm sorry to interrupt
3 but I'm reading the section that is in the NQF
4 document and it appears to me to say the opposite
5 of what you just said in definition.

6 It says NHSN is based primarily on
7 microbiologic testing and is called catheter-
8 associated or central line-associated bloodstream
9 infections. Is that different than what you were
10 saying?

11 CO-CHAIR SEPTIMUS: I think that is
12 what he was saying.

13 DR. BRILLI: There are very clear
14 definitions in NHSN. Catheter-associated and
15 catheter-related are very different. Catheter-
16 related is much more precise and it really gets
17 at the fact is it really related to the catheter?

18 Catheter-associated is you have a
19 catheter, you have a bacteremia and you can't
20 find another cause. So, it may not be as
21 precise.

22 And what is in your definition, it

1 says catheter-related. That word is in there.
2 And whether that links to the coding is where the
3 imprecision is. Because I think what happens in
4 coding is people see bacteremia, they see
5 catheter, they see that they are linked and they
6 may or may not be linked is all I am trying to
7 say.

8 CO-CHAIR SEPTIMUS: Pat, did you have
9 another comment before we vote? Because we
10 really have to vote.

11 DR. QUIGLEY: Thank you, Dr. Septimus,
12 yes, I do. I had a point of clarification. I
13 wanted to respond to Dr. Adelman, if I may, in
14 case I was unclear, and it was related to the
15 post-op fall indicator as part of the patient
16 safety indicator 90 and that was to say that my
17 criticism was that AHRQ could have used existing
18 NQF indicators fall rate and fall injury rate,
19 rather than just a post-op hip fracture, because
20 these are already endorsed by NQF and that that
21 would have made a very different composite and a
22 different weighting. And that was my criticism

1 last year.

2 So, it was not NDNQI. It was related
3 to already existing NQF measures for patient
4 safety that should have been part of this
5 composite measure if it was to go forward.

6 Thank you.

7 CO-CHAIR SEPTIMUS: This has been an
8 incredible discussion. I think we were very wise
9 to allow a little extra time for PSI90.

10 But I think what I hear is, regardless
11 of what we decide to do in terms of voting on the
12 evidence and if we go further, is that this
13 certainly is a better measure and we commend AHRQ
14 for coming back with a better measure. I think
15 even if it is approved, I think you had some
16 suggestions on even how to make it better, which
17 I think is the real purpose of this discussion.

18 So, Suzanne, are you going to lead us
19 through the voting?

20 MS. THEBERGE: Actually, Laura is
21 going to lead us with the voting.

22 CO-CHAIR SEPTIMUS: Oh, I'm sorry,

1 Laura. Everybody know how to use these things, I
2 hope?

3 MS. IBRAGIMOVA: Yes, so it is very
4 simple. All you have to do is select the number
5 of the option that you want. So, in this case,
6 we only have two options. One on your clicker
7 corresponds to yes and two corresponds to no.
8 And you just point in my direction. That's it.

9 MS. THEBERGE: And I will just clarify
10 that we will be receiving votes from our members
11 on the phone via chat and Drew and I will be
12 controlling the clicker so those will show up.

13 MS. IBRAGIMOVA: Yes.

14 CO-CHAIR SEPTIMUS: Okay, so if you
15 will read the first element on the evidence.

16 MS. IBRAGIMOVA: So, importance to
17 measure and report, 1A, evidence, health outcome
18 or PRO, rationale supports the relationship of
19 health outcome or PRO to at least one healthcare
20 structure, process, intervention, or service.
21 One, yes; two, no.

22 CO-CHAIR SEPTIMUS: And as I

1 understand it, before you vote, that if this is
2 no, then that is going to be the end of the rest
3 of the conversation. If it is yes, we go on to
4 the other elements. Okay?

5 MS. IBRAGIMOVA: Correct.

6 CO-CHAIR SEPTIMUS: Less than 40
7 percent, excuse me.

8 MS. THEBERGE: Kim, we need your vote
9 via the chat.

10 DR. APPLGATE: Sorry about that. How
11 do I do that?

12 MS. THEBERGE: You should be able to
13 just type into the chat box and send it to a
14 leader.

15 MS. O'BRIEN: So, slide all the way
16 down. This is Ann. It is just a little bit hard
17 to find. You need to use the scroll bar, scroll
18 to the bottom and then you will see an empty
19 white space.

20 DR. APPLGATE: Do you see it?

21 MS. THEBERGE: Yes.

22 CO-CHAIR SEPTIMUS: We really should

1 have some background music for this.

2 Do we have a vote, Laura? Should we
3 vote again? Do we need to vote again?

4 (Laughter.)

5 MS. IBRAGIMOVA: So, if you can try
6 again voting.

7 DR. ROMANO: Can I make one slight
8 correction? My team has corrected me. It is 63
9 percent additional pneumothoraxes, not 70
10 percent.

11 MS. IBRAGIMOVA: So, the results are
12 67 percent yes, 33 percent no.

13 CO-CHAIR SEPTIMUS: So, we will move
14 on. And before we move on, I forgot, Missy, who
15 was listening the whole time, actually physically
16 made it in the room later. So, Missy, if you
17 will quickly introduce yourself -- I apologize --
18 and any conflicts you have.

19 MS. DANFORTH: I apologize for not
20 having my phone on mute in the cab.

21 So, Missy Danforth, Vice President for
22 Hospital Ratings of the Leapfrog Group. Thank

1 you. Sorry I was late.

2 CO-CHAIR SEPTIMUS: Conflicts?

3 MS. DANFORTH: I have no conflicts.

4 CO-CHAIR SEPTIMUS: Okay, why don't we
5 go to the next vote, Laura?

6 MS. IBRAGIMOVA: So, importance to
7 measure and report, 1b, performance gap. Data
8 demonstrated considerable variation or overall
9 less than optimal performance across providers
10 and/or population groups disparities in care.
11 One, high; two, moderate; three, low; four,
12 insufficient.

13 MS. THEBERGE: Ann and Kimberly, we
14 still need your votes. We need one, high; two,
15 moderate; three, low; four, insufficient.

16 DR. APPLEGATE: Sorry.

17 MS. IBRAGIMOVA: So, the results are
18 38 percent high; 38 percent moderate; 25 percent
19 low; zero insufficient.

20 CO-CHAIR SEPTIMUS: Keep going.

21 MS. IBRAGIMOVA: So, importance to
22 measure and report, 1d, composite explicitly

1 articulated and logical. 1d1 quality construct,
2 including components; 1d2, rationale for
3 distinctive/additive value; 1d3, aggregation and
4 weighting. One, high; two, moderate; three, low;
5 four insufficient.

6 DR. APPLEGATE: Could you repeat that
7 one more time?

8 MS. IBRAGIMOVA: You want the options?

9 DR. APPLEGATE: The whole thing.

10 MS. IBRAGIMOVA: So, 1d, composite
11 explicitly articulated and logical. 1d1 quality
12 construct, including components; 1d2, rationale
13 for distinctive/additive value; 1d3, aggregation
14 and weighting. One, high; two, moderate; three,
15 low; four insufficient.

16 The results are 25 percent high; 29
17 percent moderate; 46 percent low; zero percent
18 insufficient.

19 MS. THEBERGE: That was 1d, the
20 composite. So, it looks like it is in the gray
21 zone, consensus not reached. So, we will
22 continue to go forward.

1 Is there a question?

2 DR. YU: Yes, we didn't get the last
3 whatever described, the last voting result.

4 CO-CHAIR SEPTIMUS: You didn't get it?

5 DR. YU: Oh, we didn't hear the
6 explanation. What is the --

7 CO-CHAIR SEPTIMUS: It is in the gray
8 zone. It didn't reach over 60 percent for the
9 high and moderate so, it is in the gray zone but
10 we still go on with the next vote.

11 DR. YU: Okay.

12 CO-CHAIR SEPTIMUS: Okay?

13 DR. YU: Yes, thanks.

14 MS. IBRAGIMOVA: So, scientific
15 acceptability of measure properties, 2a,
16 reliability. Reliability including 2a1, precise
17 specifications, and 2a2, testing appropriate
18 method and scope with adequate results. One,
19 high; two, moderate; three, low; four,
20 insufficient.

21 And the results are 17 percent high;
22 42 percent moderate; 38 percent low; four percent

1 insufficient.

2 CO-CHAIR SEPTIMUS: That is gray,
3 also.

4 MS. IBRAGIMOVA: Scientific
5 acceptability of measure properties, 2a,
6 reliability. 2b validity, including 2b1,
7 specifications consistent with evidence; 2b2,
8 testing appropriate method and scope with
9 adequate results and threats addressed; 2b3,
10 exclusions; 2b4, risk adjustment/stratification;
11 2b5, meaningful differences; 2b6, comparability-
12 multiple specifications; 2b7, missing data,
13 eMeasures, composite, PRO-PMs. One, high; two,
14 moderate; three, low; four, insufficient.

15 The results are 17 percent high; 46
16 percent moderate; 29 percent low; 8 percent
17 insufficient.

18 CO-CHAIR SEPTIMUS: Okay, that was 63
19 percent, so that was a consensus. Next.

20 MS. IBRAGIMOVA: Scientific
21 acceptability of measure properties, 2d,
22 composite. Empirical analyses support composite

1 construction and demonstrate 2d1, component
2 measures fit quality construct, add value
3 parsimony to extent possible; 2d2, aggregation
4 and weighting fit quality construct simplicity to
5 extent possible. One, high; two, moderate;
6 three, low; four, insufficient.

7 The results are 17 percent high; 50
8 percent moderate; 29 percent low; four percent
9 insufficient.

10 CO-CHAIR SEPTIMUS: That was a
11 consensus. Okay.

12 MS. IBRAGIMOVA: Feasibility, 3a, data
13 generated during care; 3b, electronic sources;
14 and 3c, data collection can be implemented,
15 eMeasure feasibility assessment of data elements
16 and logic. One, high; two, moderate; three low;
17 four insufficient.

18 The results are 50 percent high; 33
19 percent moderate, 13 percent low, 4 percent
20 insufficient.

21 CO-CHAIR SEPTIMUS: That's clear.

22 MS. IBRAGIMOVA: Feasibility in use,

1 4a accountability/transparency use in
2 accountability within three-year public
3 reporting, within six-year or if new credible
4 plan; and 4b, improvement, progress demonstrated
5 if new credible rationale and; 4c, benefits
6 outweigh evidence of unintended negative
7 consequences to patients/populations. One, high;
8 two, moderate; three, low; four, insufficient
9 information.

10 The results are 50 percent high, 25
11 percent moderate, 25 percent low, zero percent
12 insufficient information.

13 CO-CHAIR SEPTIMUS: That was a
14 consensus. And now the last one.

15 MS. IBRAGIMOVA: Overall suitability
16 for endorsement. Does the measure meet NQF
17 criteria for endorsement? Note: This may not
18 yet be a recommendation for endorsement. Final
19 recommendation for endorsement may depend on
20 assessment of any related and competing measures.
21 One, yes; two, no.

22 The results are 58 percent yes, 42

1 percent no.

2 CO-CHAIR SEPTIMUS: Didn't reach 60
3 percent. Okay.

4 MS. THEBERGE: It moves forward as
5 consensus was reached.

6 CO-CHAIR SEPTIMUS: Yes, it will move
7 forward but it is not at 60 percent. Correct.
8 Okay.

9 DR. QUIGLEY: Could you please clarify
10 that?

11 CO-CHAIR SEPTIMUS: It is in the gray
12 area.

13 MS. THEBERGE: So, it will move
14 forward to public comment. We will specifically
15 seek comments on this measure regarding consensus
16 not reached. And you will have the opportunity
17 to revote after the comment period.

18 CO-CHAIR SEPTIMUS: Isn't that fun?
19 This reminds me of sepsis.

20 MS. IBRAGIMOVA: So, the way it is
21 hooked up is that this cord is hooked up to those
22 screens and that cord is hooked up to these four

1 screens.

2 CO-CHAIR SEPTIMUS: I want to thank
3 the developers, who, as I said before, have done
4 incredible effort to improve on the measure.
5 Thanks, Sean, who I know is on the phone who did
6 a rough evaluation and, of course, all of you for
7 your superb comments and thorough discussion. I
8 think we all learned a lot. I think, regardless
9 of the final outcome of this measure, I think
10 that there is some initial stuff that you can now
11 go back on, regardless of whether it gets
12 endorsed or not, to improve on the measure and I
13 think that is the whole purpose of this process.

14 So, I think it worked well and we
15 thank you so much for your time.

16 So, we are going to move on. We are
17 only four minutes late. That is pretty good. Of
18 course, we started early. And do we have a
19 developer for 0347 to come up?

20 MS. MCGIFFERT: Can we get a very
21 clear explanation about what happens with a
22 measure in the gray area?

1 DR. BURSTIN: So, the measure will go
2 out in the report from this committee that just
3 fully indicates exactly what the votes were on
4 each criteria, with a summary of all the issues
5 raised at this meeting. We will seek public
6 comment on that measure. And because it is in
7 the gray zone, you will have an opportunity to
8 post public comment to consider the comments
9 brought forward and see if you would like to
10 revote on that measure and see if you can, in
11 fact, meet consensus.

12 We just try to identify it as such
13 because, in general, there has been a lot of
14 discomfort about measures that go out where votes
15 are split. So, we just try to be more
16 transparent when there is apparently not yet
17 consensus. This one is obviously one of those.

18 MS. MCGIFFERT: So, if the committee
19 decides not to revote, then it is not endorsed?

20 DR. BURSTIN: No, it is still pretty
21 early in the process.

22 MS. MCGIFFERT: Okay.

1 DR. BURSTIN: So, again, what happens
2 at that point is even measures that don't reach
3 consensus we got the vote and continue down the
4 path. But usually, committees do usually, and I
5 suspect we will get many comments on this one and
6 will probably, I suspect, choose to revote, based
7 on the volume of comments.

8 CO-CHAIR SEPTIMUS: I can't wait.

9 We need to move on, Pat, really. If
10 you have got something really to question go
11 ahead, but we need to move on.

12 DR. QUIGLEY: It's related to the
13 comment process. And I know that we had leads
14 and teams but I would just like to say that a lot
15 of our discussion was from our perspective but
16 there were public comments that were submitted in
17 the Excel spreadsheets and I don't know that
18 everyone had a chance. But as we go forward,
19 just make sure everybody gets those comments
20 because it is a lot of material to get through
21 that other people make in terms of the public
22 comment process. Thank you.

1 CO-CHAIR SEPTIMUS: And by the way, at
2 the end, before we go to lunch, we are also going
3 to ask for public comment as well, so you may
4 hear some additional.

5 Before we go to lunch, we actually ask
6 for public comment also. So, you may get some on
7 the phone as well. Okay?

8 So, who are the developers for the
9 next one? You want to come forward?

10 You still want to do this? So, we
11 have the developers, who, of course, we don't
12 have to change seats. And then I believe that
13 Suzanne is going to -- who is running the
14 discussion? Yes, you are the discussant. Good.

15 Okay, so you have a few minutes to
16 present your measure as a developer.

17 DR. ROMANO: Yes, just very quickly.
18 So, this is another one of the family of Patient
19 Safety Indicators that is not part of the PSI90
20 composite. This is a measure of deaths among
21 patients who are admitted to the hospital in
22 certain MS-DRG categories that have been

1 identified as having a very low risk of
2 mortality. So, these are -- this measure is,
3 basically, a tool to identify deaths that have a
4 higher likelihood of reflecting of some issues
5 related to the process of care in the hospital.

6 Of course, as with any death measure,
7 it is many of these events are not preventable.
8 That is recognized. It is simply a risk-adjusted
9 mortality indicator, which is intended to focus
10 on a subset of deaths for which there appears to
11 be a higher likelihood of process failures or a
12 subsequent issue. So these are, in common
13 parlance, these would be patients who were
14 admitted to the hospital and weren't expected to
15 die but something happened and they died.

16 So, I think that is sort of the
17 conceptual summary of the indicator.

18 CO-CHAIR SEPTIMUS: And this is an
19 endorsed measure, so this is coming back for re-
20 endorsement. Correct? Okay.

21 Suzanne, you want to lead us through
22 your review of this?

1 DR. MOFFATT-BRUCE: So, as Patrick
2 said, this is an outcome measure. It is
3 inclusive of patients that are admitted that are
4 in DRGs that are felt to be less than 25 percent
5 of having a risk of mortality. It nicely
6 excludes trauma, cancer, immunocompromised and
7 transfer patients, which I think is reasonable.
8 It is at the facility level.

9 As I said, it is an outcome measure.
10 And it does seem to follow on that there are
11 processes that do impact this and I think the
12 validation of this have been shown over two
13 decades, one back in 1989 and then again
14 revalidated in 2010 that is a significant
15 increase of having some sort of patient safety
16 event or less than standard of care if these
17 patients die whilst in hospital.

18 The rate of these events have stayed
19 constant through the years and I think that
20 stands to demonstrate that this is a measure that
21 should be continued to be measured and acted
22 upon. I know in our own institution, these are

1 triggers for us to review. Cases, if we have a
2 case, they are rare but each of those cases
3 brings something of clarity and process
4 improvement to the institution. So, I think it
5 is still very valid.

6 So, as a priority, I think it
7 continues to be one.

8 One of the challenges or one of the
9 questions I had was around looking at the
10 numerator. Inclusive in that is kind of a vague
11 DRG around chest pain, which I find is the one
12 that flags most for us when we have these rare
13 events. And so I would ask maybe the developers
14 to comment on that DRG 311 and 313.

15 Otherwise, I think the validity is
16 reasonable, although I think that larger
17 hospitals, over 400 beds, are advantaged by this
18 measure, as compared to the smaller hospitals.
19 And I would have to ask Patrick as well to
20 comment on that.

21 Lastly, I think that the exclusion
22 criteria are appropriate and actually, I think,

1 more reflective of really what this is, which is
2 a trigger tool to be used.

3 There are some competing measures
4 here. There are some similarities with the death
5 after treatable surgical conditions, the PSI04.
6 But I think on its own merit, I still think it is
7 a measure that should be continued and I found no
8 other questions, other than those two that I have
9 identified for Patrick.

10 CO-CHAIR SEPTIMUS: Did you want
11 Patrick to comment on your questions first and
12 then we will open it up for discussion?

13 DR. MOFFATT-BRUCE: Yes, please. If
14 you don't mind.

15 CO-CHAIR SEPTIMUS: Okay, Patrick.

16 DR. ROMANO: So, I am just curious
17 what you are finding in your review. So, all of
18 these MS-DRGs, they go into the denominator in
19 constructing this indicator, have an underlying
20 mortality rate of less than 0.5 percent. So, can
21 you tell me a little bit more about what is going
22 on with chest pain? There is nothing unique

1 about chest pain that shows up in the empirical
2 analysis.

3 DR. MOFFATT-BRUCE: I think it is just
4 a little bit vague. And I think sometimes it is
5 what comes in as a category of vague
6 characteristic of say a young person who has some
7 unrecognized cardiac disease that is having some
8 sort of cardiac event, either myocarditis or a
9 non-STEMI that has been difficult to diagnose
10 because of other comorbidities. And so it just
11 sits there. These patients sit there and it is
12 this undifferentiated chest pain category.

13 And I would just ask for maybe some
14 clarification as to if that might be improved
15 upon.

16 DR. ROMANO: Yes, well those are the
17 patients that go into that MS-DRG because,
18 obviously, if the diagnosis of AMI is established
19 or the diagnosis of heart failure is established,
20 those MS-DRGs are going to get higher payment and
21 they are going to preferred.

22 So, these are patients who generally

1 have ruled out for myocardial infarction. But
2 for some reason there isn't an alternative
3 diagnosis that has been established.

4 But I think it certainly -- I mean we
5 have seen over time that the prevalence of this
6 MS-DRG has dropped.

7 DR. MOFFATT-BRUCE: I would agree.

8 DR. ROMANO: Which is, of course, not
9 surprising because typically patients are now
10 ruled out in emergency rooms so we only admit the
11 ones who actually have MI.

12 DR. MOFFATT-BRUCE: Right.

13 DR. ROMANO: But in terms of what is
14 left in here, we could explore that a little bit
15 more empirically.

16 DR. MOFFATT-BRUCE: I think it is the
17 younger population that really have an
18 undifferentiated, especially in the myocarditis
19 seems to be the one that we are seeing the most
20 often. And they usually sit on non-cardiac
21 services in the hospitalist type of environment.

22 Patrick, the other question I had for

1 you is kind of the risk adjustment for the larger
2 versus the smaller hospitals because there seems
3 to be some statistical challenge there maybe that
4 maybe I am just not reading it correctly.

5 DR. ROMANO: I am going to ask Dr.
6 Skinner or Dr. Houchens, are you on the phone?

7 DR. SKINNER: I am on the phone. Can
8 you repeat the question for me?

9 DR. ROMANO: Could you clarify exactly
10 what section you are referring to in the
11 materials?

12 DR. MOFFATT-BRUCE: I will. There is
13 actually -- it speaks to the adjusted c-statistic
14 is 0.8833, which is reasonable for large
15 hospitals but perhaps the validity is not as -- I
16 wrote that down from somewhere in the materials
17 here. So, maybe it is around understanding the
18 c-statistic.

19 DR. ROMANO: Hal, did you get that?

20 DR. SKINNER: I did. So, the c-
21 statistic we use in this context to describe the
22 strengths of the risk-adjustment model so you can

1 view it as how much difference there would be if
2 we weren't risk adjusting the 0.88 is relatively
3 high for a c-statistic, in our experience with
4 the PSIs.

5 Is there something else I can clarify
6 about it, though? I am happy to.

7 DR. MOFFATT-BRUCE: I'm just wondering
8 if within the model there is something that
9 adjusts for the larger versus the smaller
10 hospitals.

11 DR. SKINNER: Right, so we don't do an
12 adjustment based on hospital characteristics.
13 These are individually risk-adjusted.

14 DR. ROMANO: Are you referring to the
15 discrimination being lower?

16 DR. MOFFATT-BRUCE: Yes, it may be,
17 Patrick, that is what I am referring to.

18 DR. ROMANO: Okay, right. So, I think
19 you may be referring to the discrimination being
20 lower for smaller hospitals. So, how could you
21 pull up that discrimination table and interpret
22 it for the group across the deciles of hospital

1 volume?

2 DR. SKINNER: Yes, I'm working on
3 pulling it up now.

4 CO-CHAIR SEPTIMUS: And as they are
5 pulling it up, we are going to truncate this. We
6 are going to ask for comments on the evidence and
7 then we are going to vote on that and then we
8 will talk about the reliability, feasibility, and
9 usability. We want to get right to the vote on
10 the evidence.

11 So, comments around the evidence after
12 Susan finishes.

13 DR. LAWLESS: Yes, this is Steve
14 Lawless. I have a question for you and it may
15 sound a little bit off but it is not. Do you
16 know what the death rate, the normal death rate
17 is in the population per day and how this
18 compares to -- or are we just tracking a
19 variation that normally exists except for in the
20 hospital?

21 DR. ROMANO: Death rate per day.

22 DR. LAWLESS: In the populations, per

1 thousand per day, how many people in the United
2 States die in this population? And are we just
3 seeing a variation of it is low mortality DRGs?
4 Are we capturing what is a normal phenomenon out
5 there?

6 DR. ROMANO: Well, I mean you could do
7 a little simple math. If the average hospital
8 stay is four or five days, 0.5 percent would put
9 one in a thousand patients dropping dead every
10 day. So, clearly, we are in a different order of
11 magnitude here. But I understand. I accept your
12 point that some of these events, whether it is
13 one percent or two percent, we could do a little
14 back of the envelope math but it is clearly a
15 very small percent. But some portion of these
16 events could be randomly occurring in hospitals.

17 DR. LAWLESS: Yes, just curious
18 whether that is -- it ends up being a non-issue
19 or not. But just if you are thinking about it,
20 means it is going to --

21 CO-CHAIR SEPTIMUS: Now, again, what
22 we are looking at and tell me if I am wrong, what

1 we are looking at here is are these low mortality
2 deaths more likely to be due to error? That is
3 what this measure is, which would indicate that
4 perhaps this should be studied more. And I think
5 that is what they are proposing the evidence
6 suggests that these low risk mortality are more
7 likely to be due to error versus just random
8 events.

9 So, that is what the measure is about.

10 DR. MOFFATT-BRUCE: Almost five times
11 more.

12 CO-CHAIR SEPTIMUS: Right, almost five
13 times. So, that is the evidence at least that
14 they are proposing.

15 Charlotte?

16 DR. ALEXANDER: I would like to speak
17 to adding disparities to this measure. I think
18 adding race ethnicity and language would give us
19 a great deal of information. We know that the
20 risk of complications increases in that
21 population and it may well be that that is a
22 significant driver.

1 Thank you, Charlotte, again. Let's,
2 again, we are going to go around for evidence and
3 then we are going to vote on evidence.

4 Jason?

5 DR. ADELMAN: I have to apologize
6 because I am not 100 percent sure if this is
7 evidence or validity or reliability but I will
8 just ask it.

9 CO-CHAIR SEPTIMUS: We will let you
10 know, Jason.

11 DR. ADELMAN: Thank you. So, Jason
12 Adelman. I have one major question about this
13 measure, which is I have worked as a hospitalist
14 in several hospitals and I have seen real
15 variability in social work services and the use
16 of hospices. So, I just don't understand -- for
17 example, if somebody has a terrible error,
18 hypoxic brain damage but a very good social
19 services, they may leave the hospital several
20 days after and go to a home hospice. And they
21 will die because of that error three days after
22 they leave the hospital and I'm not sure if that

1 would count.

2 So, there seems to be like competing
3 forces, patient safety and the ability to take
4 advantage of hospice and home hospice. So,
5 people are dying and we are just not capturing
6 it.

7 In my hospital, we certainly collect
8 measures on mortality and we also use the Social
9 Security Death Registry, I think it is called.
10 And so we captured that even if they leave the
11 hospital, like four days after they left, they
12 died, we will take ownership of that.

13 So, I am just wondering, I went
14 through it and I couldn't find if this issue was
15 addressed or if the developers considered it or
16 if they used the Social Security Death Index in
17 any way because I am concerned that this will
18 really confound the measure.

19 Was that evidence, by the way?

20 CO-CHAIR SEPTIMUS: Yes.

21 DR. ADELMAN: I don't know whether
22 either of you would like to comment on that.

1 DR. ROMANO: Well, yes, it is a
2 potential source of bias in any inpatient risk-
3 adjusted mortality measure, for that matter, any
4 measure that is based on inpatient data. It is
5 an inherent limitation.

6 So, to the extent that some hospitals
7 may be more resourceful than others in
8 transferring patients who are about to die, it is
9 a potential bias.

10 CO-CHAIR SEPTIMUS: Any other comments
11 on evidence? Charlotte, do you have another
12 comment? Okay.

13 Okay, well, let's go ahead and vote on
14 the evidence and then we will talk about the
15 other elements.

16 DR. ROMANO: I'm sorry. Did we address
17 -- Susan, did we address your question --

18 DR. MOFFATT-BRUCE: Disparities.

19 DR. ROMANO: -- with respect to the
20 discrimination?

21 DR. MOFFATT-BRUCE: Not to the fullest
22 but maybe that comes on later in the

1 conversation.

2 CO-CHAIR SEPTIMUS: That will come on
3 later. Okay, we are ready to vote on evidence.

4 MS. IBRAGIMOVA: So, importance to
5 measure and report, 1a evidence health outcome or
6 PRO. Rationale supports the relationship of the
7 health outcome or PRO to at least one healthcare
8 structure, process, intervention, or service.
9 One, yes; two, no.

10 CO-CHAIR SEPTIMUS: Wasn't there a
11 movie on the 50 Shades of Grey?

12 (Laughter.)

13 MS. IBRAGIMOVA: The results are 88
14 percent yes; 13 percent no.

15 CO-CHAIR SEPTIMUS: Okay, so let's
16 have discussion then about reliability, usability
17 and feasibility.

18 Okay, reliability. Do we have any
19 discussion on this because we really didn't
20 finish discussion on the reliability and the
21 other elements for the measure? You don't vote
22 again. Does anybody have any other question?

1 DR. MOFFATT-BRUCE: Is this where the
2 discrimination clarification came in?

3 DR. ROMANO: I'm sorry. So, Table 2,
4 the signal-to-noise ratio is an indicator of the
5 reliability of the indicator overall and the
6 reliability for specific subsets of hospitals
7 stratified by hospital size. And the overall
8 reliability, in terms of the average signal-to-
9 noise ratio, its weighted average across all
10 hospitals is 0.72. And that certainly is in the
11 ballpark for other NQF-endorsed measures.

12 What we show here is that for the
13 smallest hospitals, the reliability does drop
14 below 0.4 or 0.5. So, for example, for the
15 hospitals that have an average of 16 eligible
16 discharges in a year, the reliability is only
17 0.16. So, it basically indicates that this kind
18 of a measure, just like any other risk-adjusted
19 mortality measure should be interpreted very
20 cautiously for the bottom 20 percent of hospitals
21 in terms of size.

22 This is, of course, this is

1 incorporated into our analytic approach because
2 the risk-adjusted rates are smoothed or shrunk
3 towards the overall weighted mean for the
4 population. This is a standard approach that has
5 been adopted by other measurement groups as well.

6 DR. MOFFATT-BRUCE: Thank you very
7 much.

8 CO-CHAIR SEPTIMUS: The NQF staff has
9 correctly reminded me that performance gap is
10 part of evidence. And I apologize. We need to
11 vote on the performance gap.

12 So, Laura.

13 MS. IBRAGIMOVA: So, importance to
14 measure and report, 1b, performance gap. Data
15 demonstrated considerable variation or overall
16 less than optimal performance across providers
17 and/or population groups, disparities in care.
18 One, high; two, moderate; three, low; four,
19 insufficient.

20 So, the results are 38 percent high;
21 42 percent moderate; 21 percent low; zero percent
22 insufficient.

1 CO-CHAIR SEPTIMUS: So, the next one
2 is -- this is a composite. No, no, keep going.

3 I think the next one is reliability.
4 So, we have already passed that.

5 Now, we are in reliability. I
6 apologize. So, any discussion? Any further
7 discussion around reliability of the measure?

8 Okay, so if you will read the
9 question, then, Laura.

10 MS. IBRAGIMOVA: Scientific
11 acceptability of measure properties, 2a,
12 reliability, including 2a1, precise
13 specifications; and 2a2, testing appropriate
14 method and scope of adequate results. One, high;
15 two, moderate; three, low; four, insufficient.

16 MS. THEBERGE: We are missing one
17 vote. If everyone could point their clicker at
18 Laura and vote again.

19 MS. IBRAGIMOVA: And the results are
20 38 percent high; 54 percent moderate; 8 percent
21 low; zero percent insufficient.

22 CO-CHAIR SEPTIMUS: Okay, the next one

1 is validity. Comments on validity. Seeing none,
2 please read the question.

3 MS. IBRAGIMOVA: Scientific
4 acceptability of measure properties, 2b,
5 validity, including 2b1, specifications
6 consistent with evidence; 2b2, testing
7 appropriate method and scope with adequate
8 results and threats address; 2b3, exclusions; 2b4
9 list adjustments and stratification; 2b5,
10 meaningful difference; 2b6, comparability in
11 multiple specifications; and 2b6, missing data,
12 eMeasures, composites, PRO-PMs. One, high; two,
13 moderate; three, low; four, insufficient.

14 So the results are 29 percent high; 63
15 percent moderate; 8 percent low; zero percent
16 insufficient.

17 CO-CHAIR SEPTIMUS: And the next
18 question? No, not a composite.

19 Feasibility. Any questions around
20 feasibility of the measure? Seeing none, read
21 the question, please, Laura.

22 Feasibility, 3a, data generated during

1 care and 3b, electronic sources, and 3c, data
2 collection can be implemented. eMeasure
3 feasibility assessment of data elements and
4 logic.

5 One, high; two, moderate; three, low;
6 four, insufficient.

7 MS. THEBERGE: We're missing one vote.
8 Please try voting again.

9 MS. IBRAGIMOVA: The results are 79
10 percent high; 17 percent moderate; 4 percent low;
11 zero percent insufficient.

12 CO-CHAIR SEPTIMUS: The next question
13 is around usability and use.

14 Comments? Seeing none, read the
15 question.

16 MS. IBRAGIMOVA: Usability and use 4a,
17 accountability and transparency used in
18 accountability within three-year, public
19 reporting within six-year, or if an incredible
20 plan; and 4b, improvement, progress demonstrated
21 if new credible rationale; and 4c, benefits
22 outweigh evidence of unintended negative

1 consequences to patients of populations.

2 One, high; two, moderate; three, low;
3 four, insufficient information.

4 So the results are 46 percent high, 33
5 percent moderate, 21 percent low, and zero
6 percent insufficient information.

7 CO-CHAIR SEPTIMUS: And now the last
8 question is whether or not this measure is
9 suitable for endorsement. So, if you would read
10 the question.

11 MS. IBRAGIMOVA: Overall suitability
12 for endorsement. Does the measure meet NQF
13 criteria for endorsement? Note: This may not
14 yet be a recommendation for endorsement. Final
15 recommendation for endorsement may depend on
16 assessment of any related and competing measures.
17 One, yes; two, no.

18 The results are 96 percent yes, four
19 percent no.

20 CO-CHAIR SEPTIMUS: Excellent. Okay,
21 so we are going to go on to the last measure of
22 the morning, 0352, Failure to Rescue In-Hospital

1 Mortality, Risk-Adjusted from CHOP. Susan, it
2 looks like you are still on the block for this.
3 Are there other developers for that?

4 DR. MOFFATT-BRUCE: Rich is going to
5 actually --

6 CO-CHAIR SEPTIMUS: You guys don't
7 want to stick around for some more abuse? Thank
8 you for your time.

9 DR. MOFFATT-BRUCE: Ed, Rich is going
10 to do this.

11 DR. BRILLI: Ed, I have this one.

12 CO-CHAIR SEPTIMUS: Oh, I'm sorry. I
13 apologize.

14 DR. MOFFATT-BRUCE: No, no, we were
15 tag teamed.

16 DR. BRILLI: So, thank you. This
17 measure has been around for quite a while.

18 CO-CHAIR SEPTIMUS: This is -- the
19 developers go first.

20 DR. MOFFATT-BRUCE: Are the developers
21 here?

22 CO-CHAIR SEPTIMUS: The developer

1 first, then Rich.

2 DR. BRILLI: Sure, I'm sorry.

3 CO-CHAIR SEPTIMUS: Are the developers
4 on the phone?

5 DR. BURSTIN: Is Orit on the phone?
6 Operator, can you see, please and make sure her
7 line --

8 The folks who work with Jeff Silver,
9 can you let the operator know you are on and open
10 your lines?

11 OPERATOR: Press *1, please. No one
12 has joined from that facility.

13 DR. MOFFATT-BRUCE: This will be
14 difficult without them.

15 CO-CHAIR SEPTIMUS: I think we can't
16 consider this measure, then. I mean are we --
17 the time we had was 11:25. We are at 11:33. So,
18 we will go to --

19 Operator, let's go to public comment
20 on this morning's discussion and then we will
21 see, maybe they will pick up.

22 Go ahead, public comment, please,

1 operator.

2 OPERATOR: For public comment, please
3 press *1, at this time.

4 CO-CHAIR SEPTIMUS: And that includes
5 folks in the room, as well as folks on the phone.

6 OPERATOR: Currently, there are no
7 public comments.

8 CO-CHAIR SEPTIMUS: We have one in the
9 room. We have dialed down the voltage. So, go
10 ahead.

11 If you will give us your name and your
12 affiliation, please.

13 DR. ADEBOGUN: Great. Good morning.
14 My name is Akeem Adebogun. I am with the
15 American Hospital Association herein D.C. and
16 thank you for the robust conversation that you
17 had about the PSI90 measure. And I just wanted
18 to add the AHA's perspective on this measure.

19 We have always thought that the notion
20 of using safety measures was incredibly important
21 for public reporting programs but we have always
22 questioned whether PSI90 is the right measure to

1 use to accomplish this purpose.

2 Our concerns really turn on two
3 questions, whether the evidence is there to
4 suggest that using these components together
5 rally results in safer care and for what purpose
6 the measure is really best suited.

7 And to answer the question of purpose,
8 we also look at issues like reliability and
9 validity.

10 I think as we heard as part of the
11 discussion this morning, there remains some
12 questions about whether the individual component
13 measures have clear and consistent evidence to
14 support them.

15 And in terms of reliability and
16 validity, certainly our compliments to AHRQ for
17 undertaking so much analysis and bringing such
18 robust testing to bear, but most of that testing
19 used the HCUP database, which is an all-payer
20 database.

21 We know that the PSI measure is used,
22 generally, on Medicare claims. The data that we

1 have available to us on testing of reliability
2 using Medicare claims is pretty suspect,
3 particularly when one looks at the individual
4 component measures, where we are talking levels
5 of reliability that are R-values and the 0.1 or
6 0.2 range, which when these measures are tied to
7 pay-for-performance programs, that is just not
8 sufficient.

9 So, certainly we commend the work that
10 was done to attempt to improve the measure. We
11 think as a measure for internal purposes, it
12 makes a lot of sense. But if answering the
13 question of whether this measure would meet the
14 test of being appropriate for internal purposes
15 and for accountability purposes, we think the
16 answer is still no.

17 Thank you, very much.

18 CO-CHAIR SEPTIMUS: Thank you. Any
19 other public comments? Has anyone joined the
20 call for Measure 0352?

21 OPERATOR: If you have, you can press
22 *1 at this time. And for public comment, press

1 *1.

2 There are no comments.

3 CO-CHAIR SEPTIMUS: Well, this may be
4 a first but I think we are finished for the
5 morning. We are going to find out what happened
6 to lunch. And then we are scheduled to resume at
7 12:30.

8 MS. THEBERGE: We are trying to get in
9 touch with them. We have emailed and we are
10 calling them now.

11 CO-CHAIR SEPTIMUS: Well, let's do
12 this. Let's take a break. If you can get them
13 on the phone, we can start at 12:15, rather than
14 12:30.

15 Yes, let's do that. So, lunch is
16 going to be in here about five or ten minutes.
17 Go ahead and take a bio break. And then if we
18 can get a hold of them, we will start at 12:15,
19 rather than 12:30. How's that? Is that okay
20 with everybody?

21 CO-CHAIR THRAEN: Sounds good, yes.

22 CO-CHAIR SEPTIMUS: Okay.

1 (Whereupon, the above-entitled matter
2 went off the record at 11:39 a.m. and resumed at
3 12:15 p.m.)

4 CO-CHAIR SEPTIMUS: Good afternoon,
5 everyone. Are the CHOP developers on the line?

6 MS. EVEN-SHOSHAN: Yes.

7 CO-CHAIR SEPTIMUS: Excellent. Okay.
8 Just before we get started, an observation --
9 wait a minute. Okay. If I can have everyone's
10 attention, I have one very important
11 announcement. How many people are going to
12 dinner tonight? As a group. I mean we're all
13 going to go to dinner tonight. Who's going to
14 dinner with the group tonight? Okay.

15 I'll remind you it's going to be at
16 Mio's at 1110 Vermont, and our time is for 6:30.
17 Those of us who are staying up at the Capitol
18 Hilton -- Washington Hilton will probably not
19 want to go all the way back to the hotel and then
20 go to the restaurant but right now, it's
21 scheduled for 6:30 so, we can be flexible with
22 that. Okay.

1 The second thing is that Helen, who
2 always has tremendous insight, went and pulled up
3 the vote for PSI 90 last year. This is just food
4 for thought. It's not a commentary, it's just
5 food for thought because my guess is this is
6 going to come back for us to vote.

7 I think by everybody's admission that
8 this is a much stronger composite than was
9 presented last year, yet the votes were lower
10 this year than last year.

11 So, I'm not sure I understand it, but
12 I'm just pointing it out for observation and
13 something to think about as we think about
14 today's date and think about the discussion this
15 morning. We'll see what the public comment is
16 and then, we'll probably have an opportunity to
17 re-discuss this on a phone call, so --

18 DR. BURSTIN: Just to add one thing,
19 I think sometimes people come up with ideas for
20 how the measure could be better, and that's
21 wonderful, and we love to see that, but you have
22 to evaluate the measure as it is before you.

1 And, you know, the mantra we often hear is,
2 "Can't let the perfect be the enemy of the good."

3 And I think sometimes people's passion
4 perhaps, maybe, you know, even though the
5 commentary suggested the measure was improved, it
6 wasn't clear the voting followed what we heard at
7 the table here. So, hopefully we'll have a
8 chance to reconsider that after we get more
9 public comment.

10 CO-CHAIR SEPTIMUS: And with that,
11 it's my pleasure after this morning, to turn the
12 moderating part for the first part of the
13 afternoon to my much better co-chair Iona.

14 CO-CHAIR THRAEN: Thank you. We're
15 teaching him how to multi-task. Okay.

16 CO-CHAIR SEPTIMUS: Men don't do it as
17 well as women.

18 CO-CHAIR THRAEN: (Laughter) Go away.

19 So we're on measure 0352, Failure to
20 Rescue and Hospital Mortality (risk adjusted).
21 And it's presented by the Children's Hospital of
22 Philadelphia. And 0353, Failure to Rescue 30-Day

1 Mortality (risk adjusted), again presented by the
2 Children's Hospital of Philadelphia. So, we'll
3 turn it over to the developer on the line.

4 MS. EVEN-SHOSHAN: Hi. I just wanted
5 to mention that Dr. Silber, who developed the
6 measure, is not on the call today, so we may not
7 be able to address all the questions. But we'll
8 take good notes, and we'll answer as many as we
9 can.

10 CO-CHAIR THRAEN: All right. Are you
11 planning on presenting a summary? You have three
12 minutes.

13 MS. EVEN-SHOSHAN: No.

14 CO-CHAIR THRAEN: No. Okay. With
15 that we'll turn it over to the team. And the
16 presenter today, for this one would be Susan,
17 right? Okay. Sorry. Dr. Brillli?

18 DR. BRILLI: So, thank you. I think
19 this is a good measure. I'll start with sort of
20 maybe the conclusion and obviously, the group
21 will have to make that final decision. It is a
22 maintenance measure, so it's been around for

1 quite a while. And as part of the packet, they
2 presented 35 publications that I think many of
3 which are pretty good that really add a lot of
4 validity and credibility to the measure.

5 You know, essentially what it does is
6 it records deaths numerator of patients who had
7 complications among all patients who had
8 complications in the denominator. And it's been
9 validated in a number of large populations.

10 It defines itself in general surgery,
11 orthopedics, and vascular surgery patients using
12 specific DRGs. It excludes patients over 90 and
13 patients under 18. Editorial comment as a
14 pediatric ICU doctor, I wish we had a measure
15 like this for pediatrics, so maybe Dr. Silber and
16 his team can do that, but this is not a pediatric
17 measure.

18 I thought the validity and reliability
19 data that they provided was good. They looked at
20 also biases, and I didn't see any problems there
21 as well. And overall, I think it's a good
22 measure that adds to our pantheon of outcomes.

1 It adds to just straight severity adjusted
2 mortality by giving us a failure to rescue
3 measure when we have complications and how many
4 of those patients ultimately die.

5 So those are my overall comments.
6 There are others in the group have other
7 comments.

8 CO-CHAIR THRAEN: Any member of the
9 team want to comment? No? Are there any
10 questions of the group as a whole?

11 Go ahead.

12 DR. SCHULTZ: This is Leslie Schultz.
13 A question about the time frame for the data
14 being used for the reliability. Is it still 1999
15 and 2000, or are there more current data?

16 DR. BRILLI: They have something
17 that's -- at least --- what I could read, they
18 have a publication in 2015, and they also have
19 data from 2 million patients from Medicare claims
20 from 2000 to 2005. So, it's a pretty robust
21 database. And certainly the measure sponsors
22 could answer that even better than I.

1 MS. EVEN-SHOSHAN: We used data from
2 2007 in one of our recently-published papers in
3 HSR. The data that we used could be enhanced by
4 using CPT codes when available, using outpatient
5 claims information. So, every time, if we get
6 more detailed information, we can produce a
7 better measure.

8 CO-CHAIR THRAEN: All right. Yanling?

9 DR. YU: Thank you. My question is
10 very simple. I just wondering "within 30 day of
11 admission". What is the rationale for picking of
12 30 days?

13 MS. EVEN-SHOSHAN: We usually -- the
14 failure to rescue is a measure that complements
15 the death rate, and we usually look at that as a
16 30-day death rate, so that's why we chose 30 days
17 failure to rescue.

18 CHOP REP.: It's the gold standard for
19 surgical mortality measurements.

20 DR. YU: Okay.

21 DR. BRILLI: And the specific measure
22 we're talking about now, 352, is just death

1 within the hospital stay which could actually be
2 a lot longer than 30 days. And in 353, which I
3 think is the next measure, is within 30 days of
4 admission. So they're --

5 CHOP REP: Exactly.

6 DR. BRILLI: --- exactly the same
7 measures only one is within 30 days of admission,
8 353. 352 is during hospitalization which if the
9 hospital lasted 90 days, that would be included
10 as a mortality there.

11 MS. EVEN-SHOSHAN: Correct.

12 CO-CHAIR THRAEN: Steve?

13 DR. LAWLESS: Yes, Richard, the
14 developers, either one. This is Steve Lawless.
15 I was struck --- just looked in the summary, I
16 didn't see as many numbers in terms of different
17 rates and stuff. It was more descriptive in
18 articles, where other measures actually start
19 talking about rates and ratios and different risk
20 factors. Are they there somewhere I just missed
21 it, or in the publications?

22 DR. BRILLI: I agree with you. That's

1 all I saw as well, but maybe the developers could
2 comment on that?

3 MS. EVEN-SHOSHAN: We could provide
4 the new failure to rescue rates that appeared in
5 our more recent publication. For example, the
6 HSR paper that appeared in 2014 is more recent.

7 CO-CHAIR THRAEN: Steve, are you
8 making that request?

9 DR. LAWLESS: Yes, I am actually.
10 Because I have no --- by reading this, I have no
11 comprehension of the scope or size, you know --

12 MS. EVEN-SHOSHAN: Okay. Sure.

13 DR. LAWLESS: --- and then there is the
14 question of our PSI, you know, whatever, in terms
15 is; is it 20 percent, 10 percent, .1 percent?

16 CO-CHAIR THRAEN: Okay.

17 MS. EVEN-SHOSHAN: No, definitely.
18 We'll provide these rates by type of surgery as
19 well.

20 DR. BRILLI: There's a Health Services
21 paper that they reference from 2014 which I did
22 not read, but it looks like that might have -- it

1 might have the information we're looking for,
2 Steve.

3 CO-CHAIR THRAEN: Okay.

4 MS. ARDIZZONE: I just wanted to echo
5 that I would also like to see that, so --

6 CO-CHAIR THRAEN: Okay. So, if it's
7 in their documentation, is that what you're
8 referencing?

9 DR. BRILLI: Well it looks like --
10 reference 34 in the paperwork that they provided
11 is a Health Services Research 2014 paper. And
12 looking at the title, it looks like it might have
13 what we're looking for, but I didn't read that
14 paper. I didn't read all 35 papers.

15 CO-CHAIR THRAEN: Okay.

16 MS. EVEN-SHOSHAN: Yes, it does. It
17 does. Maybe we can point you to the table?

18 CHOP DEVELOPER: Yes. Table 2, I
19 believe would have -- well we'll provide it for
20 you, but we have across hospital distributions of
21 failure to rescue rates in orthopedics general
22 surgery that are directly standardized.

1 CO-CHAIR THRAEN: Thank you.

2 Charlotte?

3 DR. ALEXANDER: I'm curious how they
4 are identifying the co-morbidities. They have a
5 number that they have talked about age, sex,
6 transfer status, whether it's a high-tech
7 hospital, teaching hospital, bed size, bed-to-
8 nurse ratio, staff mix. That's not claims data
9 information, and so I'm wondering how they're
10 gathering that information?

11 MS. EVEN-SHOSHAN: Okay, first of all,
12 I have to say that we made a mistake. The table
13 that you're looking includes, in addition to
14 patient co-morbidities, hospital characteristics.
15 So, when we do the risk adjustment, we use just
16 patient characteristics which are sex, age, co-
17 morbidities, transfer status. All this is
18 available in the claims from Medicare.

19 DR. BRILLI: In the documentation they
20 submitted there's about 40 different diagnostic
21 co-morbidities that they list here;
22 thrombocytopenia, smoking, cancer, abdominal

1 cancer, major small bowel procedures. There's
2 about 35 or 40 of them that they list in the
3 thing that's this thick. So, it looks like they
4 made a pretty good multi-variate analysis on
5 this.

6 CO-CHAIR THRAEN: Okay. Josh?

7 DR. RISING: Hi there. This is Josh
8 Rising. Just a question for the developer on the
9 exclusion of patients over the age 90. Can you
10 talk us through the rationale on that?

11 MS. EVEN-SHOSHAN: Yes. We do not
12 have available DNR status. And the idea was that
13 hospitals may be less aggressive in how they try
14 to treat patients over 90.

15 DR. RISING: That makes sense. I
16 guess the question is, I mean, the measure
17 generally, is designed to identify patients who
18 have died with complications in the hospital?

19 MS. EVEN-SHOSHAN: Mm-hmm.

20 DR. RISING: Right, so, I mean, I
21 understand that the DNR order would affect how
22 much care might be provided but, you know, I

1 mean, the goal is still understanding that
2 complication rate, correct?

3 MS. EVEN-SHOSHAN: Well, the
4 complication rate, as we called it, I just wanted
5 to make a small correction the denominator
6 includes not just complications, but also the
7 number of patients who died without a
8 complication.

9 The idea being that they must have had
10 a complication that was not recorded. So, while
11 that numerator is the number of patients who
12 died, the denominator is the number of patients
13 who died with a complication plus the number of
14 patients who died without a complication.

15 CO-CHAIR THRAEN: Laura, you had yours
16 up, did you change your mind?

17 MS. ARDIZZONE: Well, I just wanted to
18 make a comment that it was a strange assumption
19 that you generally think that people over 90
20 don't get aggressive care. I would say there's
21 lots of variation in that across the country, so
22 I'm not sure if you could make that assumption,

1 unless it's based on something. I mean, is this
2 just your general impression? Or is there some
3 more data?

4 MS. EVEN-SHOSHAN: You know, we do not
5 have data, but when the measure was developed
6 years ago, I think that they put the limit at 85,
7 so as time goes on, maybe next time we'll raise
8 the limit, but --- the age limit. But that was a
9 comment that was made before. I'll bring it to
10 the attention of Dr. Silber.

11 CO-CHAIR THRAEN: Five minutes left for
12 any other discussion before we vote. Are we
13 ready to vote? All right, let's start with the
14 evidence.

15 MS. IBRAGIMOVA: So importance to
16 measure and report 1(a) evidence, health outcome
17 or PRO, question now supports the relationship of
18 the health outcome or PRO to at least one
19 healthcare structure, process, intervention, or
20 service. One yes, two no. The results are 96
21 percent yes, 4 percent no.

22 Importance to measure and report 1(b)

1 performance gap, data demonstrated considerable
2 variation and are overall less than optimal
3 performance across providers and/or population
4 groups, disparities in care. One high, two
5 moderate, three low, four insufficient. The
6 results are 21 percent high, 58 percent moderate,
7 8 percent low, 13 percent insufficient.

8 CO-CHAIR THRAEN: Reliability.

9 MS. IBRAGIMOVA: So, scientific
10 acceptability of measure properties 2(a)
11 reliability including 2(a)(1) precise
12 specifications, and 2(a)(2) testing appropriate
13 method and scope with adequate results. One
14 high, two moderate, three low, four insufficient.

15 CO-CHAIR THRAEN: Can you re-vote on
16 the reliability?

17 MS. IBRAGIMOVA: So, the results are 13
18 percent high, 67 percent moderate, 13 percent
19 low, 8 percent insufficient.

20 CO-CHAIR THRAEN: Okay, the next is
21 validity. Are there any questions that you guys
22 have before we vote? All's good.

1 MS. IBRAGIMOVA: Scientific
2 acceptability of measured properties, 2(b)
3 validity including 2(b)(1) specifications
4 consistent with evidence, 2(b)(2) testing
5 appropriate method and scope with adequate
6 results and threats addressed, 2(b)(3)
7 exclusions, 2(b)(4) risk
8 adjustment/stratification, 2(b)(5) meaningful
9 differences, 2(b)(6) comparability, multiple
10 specifications, and 2(b)(7) missing data, e-
11 measures, composites, PRO-PMs, one high, two
12 moderate, three low, four insufficient.

13 The results are 13 percent high, 71
14 percent moderate, 8 percent low, 8 percent
15 insufficient.

16 CO-CHAIR THRAEN: All right,
17 feasibility.

18 MS. IBRAGIMOVA: Feasibility, 3(a)
19 data generated during care, 3(b) electronic
20 sources, and 3 data collection can be
21 implemented, e-measure feasibility assessment of
22 data elements and logic, one high, two moderate,

1 three low, four insufficient. The results are 50
2 percent high, 42 percent moderate, 8 percent low,
3 0 percent insufficient.

4 CO-CHAIR THRAEN: And then usability.

5 MS. IBRAGIMOVA: Usability and use,
6 4(a) accountability/transparency, used and
7 accountability within three year, public
8 reporting within sixth year or, if new, credible
9 plan. And 4(b) improvement, progress
10 demonstrated if new, credible rationale. And 4 ,
11 benefits outweigh evidence of unintended negative
12 consequences to patient populations. One high,
13 two moderate, three low, four insufficient
14 information.

15 CO-CHAIR THRAEN: Okay. Try it again.

16 MS. IBRAGIMOVA: Can we try usability
17 and use again? We're still missing one vote. No
18 one stepped away, right?

19 CO-CHAIR THRAEN: Once again. Here we
20 go. And then, finally.

21 MS. IBRAGIMOVA: So the results are 29
22 percent high, 58 percent moderate, 8 percent low,

1 and 4 percent insufficient information.

2 CO-CHAIR THRAEN: So are we voting on
3 this --

4 MS. IBRAGIMOVA: Not this one.

5 CO-CHAIR THRAEN: Hold on, guys, hold
6 on.

7 MS. DANFORTH: I thought the other
8 criteria was that it showed performance
9 improvement over time, and I thought that those
10 data were missing. As Steve pointed out. I'm
11 just trying to understand, like, how to apply the
12 criteria. So, if the performance data is missing
13 and, it's never been used in an accountability,
14 how can anyone vote one?

15 DR. QUIGLEY: Accountability's got a
16 broader lens than just public reporting though,
17 so it's --

18 MS. DANFORTH: No, no, no. But the
19 second criteria I thought was performance, like,
20 it showed performance improvement over time. And
21 I thought we were missing, Steve said, the
22 performance data.

1 DR. QUIGLEY: So you don't have that
2 data either?

3 MS. DANFORTH: No, they didn't submit
4 it. They said they were going to get it to us.
5 This is just for my own information.

6 DR. QUIGLEY: Okay, okay. I thought
7 they referenced --- one of the 35 references.
8 They pointed to that as the evidence for that
9 question. Or did we misunderstand?

10 Go ahead, Steve.

11 DR. LAWLESS: No --- this Steve
12 Lawless. No, Missy's right. I didn't see it
13 until they referenced it. I mean, we just went
14 through a lot of scrutiny --

15 CO-CHAIR THRAEN: Right.

16 DR. Lawless: --- on the PSI 9
17 whatever else, and I just didn't see the data at
18 all to even say was there --- it could be a
19 snapshot, but that doesn't mean performance
20 improvement. We have nothing.

21 CO-CHAIR THRAEN: Okay.

22 DR. LAWLESS: So, it's trust but

1 verify.

2 CO-CHAIR THRAEN: Okay.

3 MS. DANFORTH: --- make sure I didn't
4 misunderstand the voting criteria.

5 CO-CHAIR THRAEN: So let's go back and
6 look at that. We want to go back and look at the
7 usability question. That's the one you're
8 referencing, right? And what the criteria is for
9 usability. No, we want the criteria for
10 usability. The question was raised whether not
11 we have information to judge usability at this
12 point in time from the developer. And if we
13 don't, how can we vote yes? Right?

14 MS. EVEN-SHOSHAN: Hi. This is Orit
15 Even-Shoshan from the Children's Hospital,
16 Philadelphia. We have not used this measure
17 specifically, to monitor performance over time.
18 However, in some of our papers we provide failure
19 rates when a certain element of providing care
20 changed.

21 For example, in the set of papers
22 about resident hours, we looked at the impact of

1 the changing in the resident hours when the new
2 law was implemented, and we compared failure to
3 rescue before and after. So, I don't know if
4 this comes as a longitudinal or monitoring
5 performance over time or over intervention. But
6 that, we do have.

7 CO-CHAIR THRAEN: Okay. So, does that
8 answer your question, Missy, or not?

9 MS. DANFORTH: It answers my question
10 but, to me, it doesn't meet the criteria of 4(b)
11 improvement which is progress demonstrated. So,
12 for 4(a), I think we agree it's not --

13 CO-CHAIR THRAEN: Applicable.

14 MS. DANFORTH: --- being used.

15 CO-CHAIR THRAEN: Right.

16 MS. DANFORTH: For 4(b) improvement
17 progress demonstrated, I think that they haven't
18 done that yet and so then, I don't know how we
19 judge 4 , so I would think that we would all say
20 --- or that it would be, like, insufficient
21 information for all of us. Again, it's so I make
22 sure I understand the criteria, so I think all

1 measures need to be compared the same way.

2 So one of the comparable measures that
3 they mentioned which we didn't talk about is PSI
4 4 which is being used in an accountability
5 program, and there's data for, so I just wanted
6 to make sure if this is a comparable measure,
7 we're judging it in the same way or evaluating
8 it, sorry.

9 CO-CHAIR SEPTIMUS: And this is a re-
10 endorsement so, for awhile so, I mean, you raise
11 an excellent question, Missy, but I think we need
12 to answer those questions, but it is a re-
13 endorsement.

14 CO-CHAIR THRAEN: So, based on the
15 argument that Missy has just put forth which is
16 she and, I think, Steve also articulated earlier,
17 there's insufficient evidence -- insufficient
18 information has been provided to the committee to
19 answer this question. Does everybody see that to
20 be true? So should we re-vote on this? You ---
21 go ahead.

22 Go ahead.

1 DR. BRILLI: No, I'm not sure I --
2 there's a difference between whether this measure
3 has been used to show improvement over time and
4 whether it's being used as an accountability
5 measure within a particular organization. They
6 have a bunch of papers that show, you know, you
7 measure it in an organization, and the
8 organization may use that as an accountability
9 measure internally. They may not have been
10 measuring that over time, so --

11 The way I think about a performance
12 improvement is not only do you use it as a spot
13 check but then, you doing it over time, and I'm
14 interpreting her question as has it been done
15 over time? I think the answer to that might be
16 no. But as a spot check, all the papers are
17 talking about using it as a measure of
18 performance as a spot check to individual
19 institutions.

20 That's what all these papers are. So,
21 that's --- to me it's very useable, and it is
22 accountable. I don't know about improvement over

1 time. If that's what (b) means. I'm not sure I
2 -- well I don't -- you can do a spot check. And
3 you can -- well --

4 CO-CHAIR THRAEN: Does the developer
5 have any response to either of those?

6 MS. EVEN-SHOSHAN: I agree with the
7 last comment. It is indeed used to compare
8 institutions before and after. It has not been
9 used as a measure of performance over time,
10 monitoring in the same institution improvement.
11 No, we haven't used it in this way.

12 CO-CHAIR THRAEN: Okay. Any other
13 thoughts about this issue? I think we need to
14 re-vote on this one.

15 MS. IBRAGIMOVA: The poll is open.
16 You can re-vote.

17 Ann and Kimberly, can you resubmit
18 your votes via chat?

19 MS. O'BRIEN: Can you repeat the
20 section we're voting on, please?

21 CO-CHAIR THRAEN: It's usability and
22 use, 4(a) accountability/transparency, used in

1 accountability with three years or public
2 reporting in six or new, has a credible plan.
3 4(b) improvement, progress demonstrated. 4
4 benefits outweigh evidence of unintended negative
5 consequences, and your options are high,
6 moderate, low, or insufficient information.

7 MS. IBRAGIMOVA: Just need one more
8 vote.

9 CO-CHAIR THRAEN: Do it again, please.
10 Okay. Go back.

11 MS. IBRAGIMOVA: So the results are 4
12 percent high, 26 percent moderate, 26 percent
13 low, 43 percent insufficient information.

14 CO-CHAIR THRAEN: So, do we proceed?
15 Okay. Proceed.

16 Okay. Now this is the yes or no
17 question.

18 MS. IBRAGIMOVA: So, overall
19 suitability for endorsement. Does the measure
20 meet NQF criteria for endorsement. Note this may
21 not yet be a recommendation for endorsement.
22 Final recommendation for endorsement may depend

1 on assessment of any related and competing
2 measures. One yes, two no.

3 CO-CHAIR THRAEN: Oh! No. Really?

4 MS. IBRAGIMOVA: Would you like to re-
5 vote?

6 It says 24 responses.

7 CO-CHAIR THRAEN: No, this is real.
8 This is real. So, what is this --- this is the
9 gray area.

10 MS. IBRAGIMOVA: So, 50 percent yes
11 and 50 percent no.

12 CO-CHAIR THRAEN: It passed on
13 everything but the --

14 DR. BURSTIN: Right. Just to be clear
15 though, I mean, the only two criteria that are
16 must pass are importance to measure and report
17 and scientific acceptability. Usability and
18 feasibility in our hierarchy, are considered
19 significantly lower. So, again, you can factor
20 these in however you'd like, but we'd at least
21 want it to reflect the hierarchy.

22 So since you passed it on the first

1 few criteria, I guess the question is does this
2 reflect your overall criteria, or are you really
3 just reflecting on your last vote?

4 CO-CHAIR THRAEN: Do you want to re-
5 vote? What are your thoughts? I hear a yes.
6 Anybody else? No, no, no. Just this final one.

7 DR. BRILLI: My only question is when
8 we've seen errors here, it's exactly 50/50 --

9 CO-CHAIR THRAEN: Same thing. Yeah.

10 DR. BRILLI: --- and so I'm just
11 wondering whether this is a technical error, or
12 not? Not trying to influence anybody's vote. I
13 don't have a dog in the fight here, just to --

14 CO-CHAIR THRAEN: All right. Again.
15 We're going to repeat. Based on the hierarchy of
16 needs here, the two required yeses are that it's
17 important, and that it passes scientific rigor.
18 So it did pass in both of those, and the rest was
19 -- the last one was the one that said no. So why
20 don't you go ahead and vote. One for yes, two
21 for no.

22 MS. IBRAGIMOVA: Still missing one.

1 Here we go, got it. So, 58 percent yes, 42
2 percent no.

3 CO-CHAIR THRAEN: All right. Moving
4 forward.

5 CO-CHAIR SEPTIMUS: Now, we're going
6 to vote on all the PSI 90s again.

7 (Laughter.)

8 CO-CHAIR THRAEN: Oh. So, one of the
9 things that we've been advised is that we want to
10 break each of the conversations down into their
11 component parts. So, we've done a summary
12 already, and so we want to have the -- is it the
13 developer, or the lead?

14 DR. PINES: So what I think we should
15 do, because I think with the way a lot of the
16 voting is going where people are voting sort of
17 the same way for each criteria. At least, that's
18 sort of what it seems, so if we can partition the
19 discussion where the developer first does a
20 presentation, we discuss -- and we'll discuss
21 their comments on the measure, and then we'll
22 assess evidence first, then vote, scientific

1 acceptability, vote, et cetera.

2 CO-CHAIR THRAEN: Okay. Developer,
3 you're on. And this is for 0353, failure to
4 rescue 30-day mortality (risk adjusted).

5 MS. EVEN-SHOSHAN: No comment.

6 (Laughter.)

7 CO-CHAIR THRAEN: All right. Smirz.
8 Who's the lead in this one? Lynda. Go ahead.

9 DR. SMIRZ: That would be me. And I
10 am delighted to see that Richard, Susan, and
11 Charlotte are part of the team here, because I'm
12 the Rodney Dangerfield specialty that gets no
13 respect as an OB/GYN. So, it look me a while to
14 go through this.

15 This is similar to what we had before,
16 except this particular measure is a failure to
17 rescue, 30-day mortality. So, basically what the
18 measure involves is a failure to rescue
19 predicting death after an adverse occurrence but
20 the hospital would have been able to improve the
21 quality of care.

22 The level of analysis was listed as

1 facility, health plan, integrated delivery
2 system, and population. However, according to
3 the measure, they only used facility. It is an
4 outcome measure. It's a patient-reported outcome
5 measure. And, you know, I don't know whether, at
6 this point in time, if the committee wants to
7 discuss that part?

8 CO-CHAIR THRAEN: Would you comment on
9 the importance of this measure?

10 DR. SMIRZ: Well, I think that the
11 measure has a theoretical importance. The
12 developers make note of the fact that knowing a
13 failure to rescue would maybe improve the
14 understanding of a hospital's mortality rate if
15 there is a variation in mortality rate.

16 And they felt that that was important,
17 since the death rate that may appear to be the
18 same one hospital to the other, as far as a
19 mortality rate, may be different if they looked
20 at a failure to rescue, and that we may be better
21 able to understand the variation in those
22 hospital mortality rates as a result of that.

1 CO-CHAIR THRAEN: How did you find the
2 evidence to support the importance?

3 DR. SMIRZ: I, personally, did not
4 feel that the evidence supported the importance
5 of this particular measure. They looked at a
6 number of different -- the developer said that
7 they were looking at various -- let me find this
8 here -- nurse-to-bed ratio, nurse mix, the number
9 of hospital beds, anesthesiologists who were
10 board certified, surgeons who were board
11 certified, the presence of house staff, and high
12 technology as, according to the developer,
13 failure to rescue is influenced by these hospital
14 characteristics. However, as a result of this
15 study, failure rate was a function only of
16 anesthesia board certification and the presence
17 of surgical house staff.

18 CO-CHAIR THRAEN: Dr. Brillli, you had
19 a comment?

20 DR. BRILLI: Just to supplement what
21 Linda's saying, they provided the exact same
22 rationale and the exact same reference list for

1 this Measure as the other one.

2 CO-CHAIR THRAEN: Okay.

3 DR. BRILLI: They really -- the only
4 thing that's different is one is 30 days and one
5 is hospital discharge. But at least as I read
6 them, they looked to be exactly the same
7 justification. We probably have the exact same
8 concerns. At least unless a developer wants to
9 disagree with me on that. But it's the same
10 reference list. It's the same 35 papers.

11 MS. EVEN-SHOSHAN: Hi. That's from
12 CHOP. That's correct. It's the same reference
13 list. I just wanted to mention that one of the
14 advantages of using failure to rescue even more
15 than using death rate is that we found that the
16 contribution of hospital characteristics to the
17 outcome is higher than for the death rate.

18 So we think that this is a very good
19 measure because it is less dependent on the
20 patient characteristics than the death rate. So
21 we found very strong correlations of the failure
22 to rescue, not only with the board certification

1 status of anesthesiologists, but also with
2 teaching status and with the nurse-to-bed ratio
3 and the nurse skill mix in a hospital.

4 DR. PINES: And just to clarify, so for
5 the evidence criteria for a health outcome
6 measure, the question is, is this outcome measure
7 related to one or more actions that providers
8 could potentially take? So it's different for a
9 process measure. So we're -- just want to
10 clarify an outcome measure.

11 CO-CHAIR THRAEN: Lillee?

12 MS. GELINAS: This is Lillee Gelinas.
13 I'm looking at our measure worksheet that we were
14 sent and I just want to clarify under evidence
15 that the developer found that failure to rescue
16 was influenced by hospital characteristics such
17 as nursing skill mix, et cetera, et cetera.

18 So I just want to affirm that what
19 were called hospital characteristics were
20 actually the characteristics of the nursing
21 workforce, including skill mix, percentage of
22 BSN, nurses present, all of those were

1 confounders in the evidence.

2 Again, I'm not going to improvement
3 gap here, but in the evidence, I just want to
4 make sure I'm reading the measure worksheet
5 correctly. I'm on Page 2 if anybody's following
6 me on the measure worksheet.

7 DR. SMIRZ: And I think that's an
8 excellent point, Lillee. Because I'm on Page 1
9 and it says, "In summary, failure rate was a
10 function of anesthesia board certification and
11 the presence of surgical staff, but not a
12 function of admission severity or illness score."

13 It does not mention nurse-to-bed
14 ratio, nurse mix. I thought that was what they
15 were hypothesizing. I didn't know that the
16 evidence showed that. So, maybe I'm reading that
17 incorrectly.

18 MS. GELINAS: So, I'm just on Evidence
19 1A on Page 2 where the -- it's towards the bottom
20 of the evidence piece, don't go to the gap piece.

21 CO-CHAIR THRAEN: Charlotte, then
22 Laura.

1 DR. ALEXANDER: I certainly agree with
2 the nursing staffing evidence that you showed. A
3 question I have, is that many of our hospitals
4 are going towards board certification
5 requirements. I'm wondering how important the
6 references they made toward board certification
7 are going to be as we move forward. I have some
8 other questions a little bit later away from the
9 evidence, I want to wait for that.

10 CO-CHAIR THRAEN: Laura?

11 MS. ARDIZZONE: Hi, Laura Ardizzone.
12 I just wanted to comment on some of their
13 evidence. Number 5, which is their Silber study,
14 anesthesiologist direction, is a highly
15 controversial and actually, in my mind, fatally
16 flawed study in how they compared outcome rates
17 as compared to nurse anesthetists.

18 So, I mean, that's not for a
19 discussion here. But just to kind of clarify
20 that I think some of the evidence that they're
21 using may be off point. I know specifically that
22 one is.

1 On top of the fact they talk about the
2 percentage of board certified anesthesiologists
3 and in 18 states, anesthesiologists are not the
4 only sole providers in 18 states. Nurse
5 anesthetists are sole providers of anesthesia.
6 So I'm just questioning some of the evidence.

7 CO-CHAIR THRAEN: Okay. Missy, you
8 started to have something. No? Okay. Any other
9 comments about the evidence? All right. We'll
10 go to the vote.

11 MS. IBRAGIMOVA: So, importance to
12 measure and report 1A evidence, health outcome or
13 PRO, Measure now supports the relationship of the
14 health outcome or PRO to at least one healthcare
15 structure, process, intervention, or service. 1
16 Yes, 2 No. Just need one more vote. So the
17 results are, 71 percent Yes, 29 percent No.

18 CO-CHAIR THRAEN: Well, we had 24
19 votes. All right. Next one is Performance Gap.
20 Do any of the leads want to talk about the
21 performance gap?

22 DR. SMIRZ: I think with respect to the

1 performance gap, they had a testing sample that
2 was the 65 to 90 year olds for general surgery,
3 but the measure is for 18 to 90 year olds for
4 general, vascular, and orthopedic surgery.

5 I also had a comment or a question
6 about the numerator. There were patients who
7 died with a complication and they also included,
8 as in the previous measure, patients who died
9 without documented complications. So I just have
10 a little concern about including them in the
11 numerator statement.

12 The denominator statement, again, is
13 general surgery, orthopedic and vascular
14 patients, and specific DRGs with complications,
15 plus patients who died in the hospital without
16 any complications. And, once again, exclusions
17 were patients over 90 and patients under the age
18 of 18.

19 CO-CHAIR THRAEN: Any comments by the
20 developer?

21 MS. EVEN-SHOSHAN: Hello. The
22 denominator includes patients who died with a

1 complication -- who died within 30 days with a
2 complication and patients who died without a
3 documented complication also within 30 days from
4 admission. This is the 30 day measure.

5 And the numerator is patients who died
6 with a complication within 30 days from admission
7 plus patients who died without a documented
8 complication within 30 days from admission. So
9 we use this measure usually with the Medicare
10 data, but it can be also used with other claims
11 data.

12 CO-CHAIR THRAEN: So we're getting into
13 the technical definition of the measure. This
14 particular criteria really is looking to see if
15 there's performance gaps. Was there any evidence
16 presented that indicated that there is
17 performance gaps in this measure?

18 MS. GELINAS: No.

19 CO-CHAIR THRAEN: No. Ed?

20 CO-CHAIR SEPTIMUS: I have a question,
21 maybe Lillee can answer this. It seems to me and
22 I was reading through this a week or so ago --

1 this is Ed Septimus by the way. It seems to me
2 that when reading through this, that was the
3 failure to rescue a relationship between nurse
4 training and ratios and, if so, and I'm asking
5 because I'm not sure how to rate this measure, is
6 that covered in other competing measures?

7 Really may be the best -- or other
8 nurses here might want to comment on that, but
9 I'm just having a problem differentiating this --
10 okay, so one's a structure and one's an outcome?
11 Okay. No, I know you do. Okay. I'm just trying
12 to understand that. Because obviously these two
13 are -- they're related. But I understand this is
14 an outcome measure. Okay.

15 CO-CHAIR THRAEN: Pat?

16 DR. QUIGLEY: Thank you. Pat Quigley.
17 I'd like to try and respond to that. In terms of
18 a performance gap, how it would drive practice to
19 improve the outcome, is that there is consistent
20 evidence from the NDNQI research that if you
21 increase the BSN prepared nurses, the patient
22 safety improves and the adverse events go down.

1 So there is that.

2 That could be associated with death as
3 well, I don't -- I'm not as literate on that.

4 But there is consistent evidence that if we -- in
5 dealing with skill mix, care delivery of the
6 nursing staff, that patient outcomes are
7 absolutely affected by nurse staffing and
8 education. That is a component of the skill mix.

9 So in that regard, there is consistent
10 evidence from NDNQI over the years that if you
11 increase the BSN prepared proportion of RNs, that
12 there is improved patient safety and reduced
13 adverse events.

14 CO-CHAIR THRAEN: Lillee, did you have
15 --

16 MS. GELINAS: I was just going to say
17 something very similar -- maybe too many
18 microphones on? That in order to improve the
19 outcome, what we do is a CAT scan of the
20 workforce first. In other words, when we're
21 looking at failure to rescue rates, we do look at
22 the skill mix, nursing hours per patient day, in

1 other words, time at the bedside, type of thing.

2 So I can see how this as an outcome
3 Measure was influenced by the characteristics of
4 the workforce that was in place at the time. So
5 the structural measure of the composition of the
6 workforce impacting the outcomes Measure of
7 failure to rescue rates.

8 CO-CHAIR THRAEN: Okay. Steve?

9 DR. LAWLESS: Yes, Steve Lawless. For
10 the developer, in looking at your 35 references,
11 where you mention a lot of the skill mix and
12 outcomes and various factors or covariates, but
13 your conclusion is down to two, anesthesia
14 presence and surgical house staff.

15 What reconciles the evidence, the
16 evidence that implies lots of other factors, but
17 then your analysis in the end says, but of all
18 the ones we've published, these are the only two
19 that really make a difference.

20 MS. EVEN-SHOSHAN: I actually want to
21 apologize, that was a mistake. We should have
22 listed all the hospital characteristics that

1 appear in the regression. And I would like to
2 take the opportunity to list them now and to make
3 a correction during the next two weeks.

4 So we take the -- what we have
5 measured as contributing to better failure to
6 rescue rates are the nursing skill mix, nurse-to-
7 bed ratio, resident-to-bed ratio, and the
8 technology level of the hospital as we look at
9 different, for example, we look at the
10 availability of CAT scans, availability of organ
11 transfer centers, and things like that. So, it's
12 not just the board certification of
13 anesthesiologists, it's all the other hospital
14 characteristics that I've just mentioned.

15 MS. ARDIZZONE: I just think it's
16 really hard for us to vote when we have a poor
17 connection to hear what she's saying. I can't
18 visually see it and I haven't had an opportunity
19 to review it.

20 MS. EVEN-SHOSHAN: We can send that
21 list of hospital characteristics that's complete
22 and includes not just the anesthesiologists board

1 certification status.

2 CO-CHAIR THRAEN: So Yanling and then
3 we have a proposal. Go ahead.

4 DR. YU: Yanling Yu. I'm new, so I'm
5 learning about all the terminology. But to me,
6 the gap to identify a measure gap is what's
7 missing in a quality measure. That's the gap,
8 what I interpret. But in term of what cause that
9 gap, that's multi-factors. That's a different
10 issue from my perspective of how to identify the
11 gaps. If I understand that correctly.

12 CO-CHAIR THRAEN: So I guess the
13 question is, do you want to proceed on this or do
14 you want to table this measure, number one? If
15 you table this measure, what are the implications
16 of the previous Measure since the previous
17 Measure is based on all the same information? So
18 what are your thoughts? Or do you want to
19 proceed forward? Steve?

20 DR. LAWLESS: I would like to, and I
21 don't know if it would take a motion or not, that
22 I think developers need to go back and clarify a

1 lot of things. Because I don't feel comfortable
2 agreeing to something and it's changing a little
3 bit and we forgot to add this. This is a pretty
4 serious, high level meeting.

5 So I would actually ask that maybe we
6 vote on the developers going back and clarifying
7 and maybe rewriting some of this so we don't have
8 the same questions. Because I don't feel
9 comfortable with a moving target.

10 CO-CHAIR THRAEN: On this only or both
11 measures?

12 DR. LAWLESS: I would say both.

13 CO-CHAIR THRAEN: Missy?

14 MS. DANFORTH: Yes. I would just ask
15 for two things. One is, after the developer's
16 last comment about the sort of finite list of
17 things that they identified as really being
18 impactful to the measure, like availability of
19 anesthesia, high tech, all of those things, if
20 they could clarify then the pretty sophisticated
21 risk-adjusted model that has 160 different
22 characteristics, so the rationale for having a

1 risk-adjustment model that has 160
2 characteristics given that it's things like the
3 availability of a CAT scan and an
4 anesthesiologist.

5 And then also if they could please
6 provide some more detail on how this is
7 calculated? They're saying that it uses
8 administrative data, but it also does seem to
9 have some patient level characteristics in the
10 risk adjustment.

11 So I'm trying to understand, is there
12 software that's been developed? How is the
13 measure actually calculated? So, like, what is
14 the math behind that? Particularly, the risk
15 adjustment piece would be extremely helpful.

16 CO-CHAIR THRAEN: Okay. Any other
17 comments to the developer before we take a vote
18 on Steve's recommendation? Charlotte and then
19 Michelle.

20 DR. ALEXANDER: There are a couple
21 things I would like clarification on. One is
22 that, often nurse staffing is variable during a

1 patient's stay and you may not have exactly the
2 same skill mix every time during the day, nor
3 every day. And where is the count being taken?
4 If they can clarify that.

5 And the other is, they mention at one
6 point gathering unlinked data so that it was
7 patient demographic data that was unlinked. And
8 I'm wondering how they're doing that as well.

9 CO-CHAIR THRAEN: Okay. Michelle?

10 DR. SCHREIBER: Thank you. A couple of
11 other questions for the developers as you're
12 looking to redo this. One is the collection of
13 30 day mortality. That's not something that
14 hospitals normally have. So is the expectation
15 like NSQIP that you actually call all these
16 patients and see where they are 30 days out?

17 And then how you actually know that
18 the complication that may have occurred in the
19 hospital related to their death 30 days out? If
20 you could clarify that as well.

21 CO-CHAIR THRAEN: Any other questions
22 to the developer? So let me repeat, the proposal

1 is that we table this measure and the measure we
2 already voted on, so that would be measures 0352
3 and 0353, asking the developer to provide more
4 detailed information and to clarify based on the
5 questions that we've provided them.

6 All those in favor, just raise your
7 hand. Any opposed? One. Okay.

8 Thank you to the developer. I believe
9 the staff is taking copious notes, so they'll be
10 able to provide you some specific feedback in
11 terms of -- documentation in terms of what we're
12 looking for in the future.

13 All right. Guess what? We're back on
14 time. Next one is 0538, which is Pressure Ulcer
15 Prevention and Care. CMS is the developer. Is
16 CMS here?

17 MS. RICHARD: Yes. This is Angela
18 Richard from University of Colorado.

19 CO-CHAIR THRAEN: All right. One on
20 the phone and we also have one present. Would
21 you like to introduce yourself?

22 DR. NUCCIO: Go ahead, Angela.

1 CO-CHAIR THRAEN: Go ahead.

2 MS. RICHARD: Yes. Do you want me to
3 introduce the measure or just myself?

4 CO-CHAIR THRAEN: Hold on, we're
5 introducing --

6 MS. RICHARD: Okay.

7 CO-CHAIR THRAEN: -- the people first.
8 Go ahead.

9 MS. RICHARD: Okay. I'm Angela Richard
10 from the University of Colorado. I'm one of the
11 measure developers.

12 DR. NUCCIO: And this is Eugene Nuccio
13 from University of Colorado. I'm one of the
14 analysts.

15 DR. MCMULLEN: Hi. It's Tara McMullen
16 from CMS. I am an analyst in the Division of
17 Chronic and Post-Acute Care. Thank you.

18 CO-CHAIR THRAEN: So would you like to
19 present on your measure?

20 MS. RICHARD: Sure. So this measure is
21 titled Pressure Ulcer Prevention and Care. It
22 has been endorsed since 2009 and we're up for re-

1 endorsement.

2 So the introduction is, while pressure
3 ulcers are relatively uncommon in home
4 healthcare, evidence shows that they have
5 significant negative impact on quality of life
6 and can be predictive of other negative outcomes.
7 They're generally thought of as preventable given
8 adequate clinical assessment of risk and
9 implementation of preventative strategies

10 As a result, pressure ulcer prevention
11 is the topic of measures that cross care
12 settings, particularly post-acute care setting.
13 This measure is intended to provide home health
14 agencies and consumers with information that will
15 enable them to monitor their quality of care
16 processes for patients, identifying risk of
17 pressure ulcers, and then also clinical
18 assessment and interventions to prevent the
19 development of pressure ulcers.

20 In addition, home health agencies are
21 -- by virtue of requiring measurements, home
22 health agencies have the incentive to encourage

1 care providers to actually go through the
2 processes of conducting a risk assessment,
3 including pressure ulcer prevention in a plan of
4 care and implementing prevention. Which could
5 have a long-term impact of reducing pressure
6 ulcers in the home healthcare patient population.

7 This measure consists of three rates,
8 each corresponding to a part of the care process,
9 assessment, a second measure for care planning,
10 and a third for intervention. We originally had
11 these separated out, but they are included in
12 this one measure at NQF's recommendation.

13 All the data for the measure are
14 collected through the OASIS at start or
15 resumption of care following the in-patient
16 facility stay and at home healthcare discharge.
17 It's currently used for public reporting and in
18 the CMS Home Health Quality Initiative, which is
19 a quality improvement reporting effort with
20 benchmarking.

21 And then the definitions of the three
22 measures are, pressure ulcer risk assessment

1 conducted is a percentage of home health episodes
2 of care in which the patient was assessed for
3 risk of developing pressure ulcers. Pressure
4 ulcer prevention included in plan of care is the
5 percentage of home health episodes of care in
6 which the physician order plan of care included
7 interventions to prevent pressure ulcers.

8 And then the third is pressure ulcer
9 prevention implemented, the percentage of home
10 health episodes of care during which intervention
11 to prevent pressure ulcers were included in the
12 physician ordered plan of care and implemented.

13 CO-CHAIR THRAEN: Thank you.

14 MS. RICHARD: That's sort of my
15 introduction. Do I need to say anything else?
16 I'm --

17 CO-CHAIR THRAEN: No.

18 MS. RICHARD: -- sorry. I'm new at
19 this.

20 CO-CHAIR THRAEN: No, that's fine. So
21 we'll go to the lead discussants. Who's the lead
22 here? Is that you, Lisa? Go ahead.

1 MS. MCGIFFERT: Okay. This is all new
2 to me. Obviously, most of the introduction has
3 been given here. And I think this is obviously
4 an important area to try to have measures on.

5 This one particularly is a process
6 measure that has been published for a number of
7 years. And from what I can see is pretty much
8 topped out, like most of the performances are
9 above 90 percent. So it doesn't give a whole lot
10 of variation among the providers.

11 It's a process, so some of it is a
12 little bit of check the box, we did this
13 assessment. And there is a component that
14 certain preventions were implemented, but it
15 doesn't really indicate what preventions were
16 implemented or whether they were the appropriate
17 preventions that were implemented.

18 And so, I think it's a very important
19 -- it's something everybody should be doing, but
20 I'm not sure that this measure is really giving
21 us a whole lot of information about quality. So,
22 when I looked at the evidence, there was some

1 evidence cited, there was a Cochrane Review that
2 concluded that there was no evidence for
3 structural assessment being superior to clinical
4 judgment.

5 And the structural assessment is the
6 first step in this. And so it did seem to me
7 that, that review showed that there was not a lot
8 of evidence that it led to reduced pressure
9 ulcers. Also, the other evidence is clinical
10 practice guidelines and all of the evidence got a
11 C rating, which means it's supported by indirect
12 evidence or expert opinion.

13 So there were lots of studies, there
14 were some studies cited including randomized
15 controlled trials, but I think those were
16 included in the Cochrane Review. Let's see. I
17 go through the -- should I just keep going or
18 stop?

19 CO-CHAIR THRAEN: So, no. So hold on
20 there.

21 MS. MCGIFFERT: Okay.

22 CO-CHAIR THRAEN: Missy and Charlotte,

1 you have your cards up? No. Charlotte? No. So
2 in terms of the evidence, how would you sum the
3 evidence at this point?

4 MS. MCGIFFERT: I would say the
5 evidence is pretty low --

6 CO-CHAIR THRAEN: Okay.

7 MS. MCGIFFERT: -- that it actually
8 leads to reduction of pressure ulcers, we just
9 don't have it.

10 CO-CHAIR THRAEN: Okay. Was there any
11 -- go ahead Ed.

12 CO-CHAIR SEPTIMUS: At least in what I
13 read, I mean, Lisa's absolutely right. I mean,
14 if you're looking at prevention, okay, there
15 doesn't appear to be any evidence. But there is
16 evidence in terms of interventions once a
17 pressure ulcer develops. So the question on this
18 measure is -- it says prevention and care. Tell
19 me if I'm reading this correctly --

20 MS. MCGIFFERT: I cannot hear you very
21 well, Ed. You're saying there is an intervention
22 component --

1 CO-CHAIR SEPTIMUS: There is an
2 intervention -- the intervention as a --

3 MS. MCGIFFERT: There is an
4 intervention component.

5 CO-CHAIR SEPTIMUS: -- the prevention
6 does not. And that's why I'm asking you for
7 clarification.

8 DR. PINES: So there is -- this is one
9 measure with three rates. And there is different
10 evidence for each of those separate process
11 measures. So it may be useful to go maybe one by
12 one through the evidence discussion for each of
13 the measures. So there is more evidence for --
14 so the plan of care is not about once someone has
15 a pressure ulcer treating it, it's a prevention
16 plan for a patient who is found to be at risk.

17 CO-CHAIR THRAEN: So there was a risk
18 assessment, a plan of care proposal, and then
19 implementation component to this category of
20 measures. The developer indicated that they were
21 advised to put those together in one. So, Pat
22 and then Victoria and then Leslie. And then

1 Chris.

2 DR. QUIGLEY: Thank you. My question
3 is related to actually indicating that this was
4 done. Because this is a requirement of CMS and
5 it's required in the OASIS database. And it is
6 simply a yes or no, is that not correct? Yes or
7 no there was an assessment. Yes or no there was
8 a plan of care. Yes or no. So yes or no is not
9 quality.

10 MS. RICHARD: Correct.

11 DR. QUIGLEY: Yes or no is a binomial
12 response, was it done or not. So I think that
13 becomes the question, even in terms of the
14 evidence. The evidence is not going to support
15 effectiveness.

16 This is essentially a binomial
17 response was it done or not. So when you're
18 looking at the strength of evidence this is going
19 to be an issue when you're looking in terms of
20 implementation and effectiveness.

21 DR. RICH: I was going to concur with
22 Pat. Also though is that the idea that this is a

1 process measure. And very, very important in
2 care to have a yes or no with this particular --
3 I understand what you're saying about the
4 evidence, but there's also on, I think it's on
5 page here, is talking about what's really
6 impacted me.

7 It says that from the TEP, that the
8 majority of the TEMP members rated the measure as
9 partially or completely meeting the criteria for
10 importance. And that impacted my opinion.

11 CO-CHAIR THRAEN: Leslie?

12 DR. SCHULTZ: Leslie Schultz. I'm
13 sorry, I'm going to tend to disagree and agree
14 with Lisa there. So there's not a lot of empiric
15 support that this really does matter. The
16 binomial issue, yes/no, you can check it off.
17 It's reliable, but that's in the simplicity of
18 it's a check off. And I do think that we
19 possibly might be asking the measure steward to
20 look at outcomes.

21 CO-CHAIR THRAEN: Chris and then we'll
22 come back to the developer.

1 DR. COOK: Yes, this is Chris Cook.
2 And I hear all the things that we're talking
3 about, but I come back to one of the general
4 principles that not all things that matter can be
5 measured. And this falls into one of those
6 categories. Because you can absolutely find out
7 whether something has been done in that binomial
8 yes or no, but you can never assess whether the
9 quality of that assessment actually occurs.

10 When we're look at the maturation of
11 measures across an area, I think that, that is
12 some of our very preliminary things that we have
13 to do. Are you actually doing these processes
14 that are needed to move there?

15 What we see within the evidence, and
16 I'm skipping ahead a little bit on this, is the
17 fact that this is now being done. We have
18 changed the behavior of practitioners to now look
19 and assess. So I think that this may be -- the
20 problem on the measure is not whether or not they
21 should be doing it and what's there.

22 I think that we may need to be moving

1 more towards an outcome type of measure that says
2 what are the outcomes of preventing pressure
3 ulcers, which is a very important issue. And
4 that this measure has served its time and has now
5 basically become topped out just on the yes/no
6 binomial.

7 DR. PINES: Just as a point of
8 clarification. So to distinguish the discussion
9 on evidence versus the scientific acceptability
10 or validity, so the question of evidence for a
11 process measure is for each of the subcomponents
12 is this action associated with some sort of
13 health outcome?

14 And what we've seen is there is
15 variable evidence for each of the subcomponents.
16 And then, I think what Pat was bringing up is the
17 validity, is whether the check box was done was
18 that associated with that action actually
19 happening?

20 CO-CHAIR THRAEN: Developer?

21 MS. RICHARD: Thank you. These are
22 excellent comments. I think clearly by virtue of

1 implementing this measure we have seen more and
2 more compliance in terms of the agencies
3 reporting that they're doing this. And in fact,
4 what we're seeing is a lot are actually putting
5 Braden Scales and putting things into their
6 forms. So I think we do feel like quality has
7 improved by virtue of measuring these processes.

8 In terms of outcomes, we actually do
9 report an adverse event outcome back to home
10 health care agencies, or CMS does that, on
11 worsening of pressure ulcers. So there is
12 currently an outcome measure that's not endorsed
13 by NQF that does get reported to the agencies.

14 I would also like to point out that as
15 a result of the IMPACT Act, there is considerable
16 work going on to develop an outcome measure for
17 pressure ulcer development that crosses provider
18 settings. And that we are also contributing to
19 that discussion.

20 So there is currently an outcome
21 measure, it's just not endorsed. And then, will
22 be an outcome measure that will be crossing

1 provider settings as directed by the IMPACT Act.

2 DR. NUCCIO: Just to provide a little
3 context about the prevalence of pressure ulcers
4 in home health agencies, only about five percent
5 of healthcare episodes in home health agencies
6 have any pressure ulcer related events. And so
7 the rate is only about five percent nationally
8 for pressure ulcers. Of the more serious
9 pressure ulcers, Stages 3 and 4, those rates are
10 approximately 1.5 percent nationally.

11 The outcome measure that, which was
12 basically an adverse event, that Angela was
13 speaking to where we have an increase in pressure
14 ulcers during the home health stay, that rate is
15 four tenths of one percent. So the prevalence of
16 this condition is extremely low in home health
17 environments.

18 CO-CHAIR THRAEN: Thank you. Pat and
19 then Yanling. No? Yanling? And then the
20 developer.

21 DR. YU: Yes, I have a question for
22 developer. Since this measure has been initially

1 endorsed in 2009 and then most recent endorsement
2 in 2012, I just wonder there's any statistic or
3 data that shows how many compliance with this
4 type of a process measure, yes/no, those binomial
5 some type of thing? Do you have some type of
6 numbers you can show us?

7 DR. MCMULLEN: Yes. This is Tara
8 McMullen from CMS. We do have compliance
9 numbers. Agencies need to report this data. So
10 they are submitting data, compliance on the data.
11 We can provide that to an extent of the
12 percentages of what's being reported to CMS.

13 Beyond that, in terms of payment or
14 survey and certification, we're not able to share
15 that data. But we can tell you the percentage
16 that -- assessments that are being submitted to
17 CMS monthly or quarterly or yearly. We do have
18 those.

19 CO-CHAIR THRAEN: Can you --

20 DR. MCMULLEN: If that's what you mean
21 in terms of compliance.

22 CO-CHAIR THRAEN: Can you also

1 demonstrate the incidence of the condition, the
2 outcome, the trend analysis of that along with
3 the change in compliance?

4 DR. NUCCIO: Yes. I have data from
5 calendar years 2011, 2012, and 2013, separated by
6 calendar year. These are episodes of care that
7 end in those calendar years. They may cross over
8 a calendar year time period. So, for example, a
9 2012 episode of care may have started in 2011.

10 CO-CHAIR THRAEN: Yes.

11 DR. NUCCIO: Just that caveat.

12 CO-CHAIR THRAEN: I do --

13 DR. NUCCIO: But for assessment, the
14 percentage has increased from 97 percent in 2011
15 to 98.5 in 2013. For plan of care, it's gone
16 from 94 percent to 97.3 percent in 2013. In
17 terms of moist/wet healing, we've gone from 80
18 percent in 2011 to 86 percent in 2013.

19 In 2011 in terms of implementation of
20 moist/wet, we've gone from 76 percent to 81
21 percent in 2013. In terms of implementation
22 during that period, again in 2011 we're talking

1 93 percent, up to 96.4 percent in 2013.

2 So in terms of compliance and
3 attention to delivering these assessments and
4 implementing the care that would be appropriate,
5 the trends have all been positive in their
6 direction.

7 CO-CHAIR THRAEN: And the trends in the
8 outcome? The actual pressure ulcer outcome at
9 the same time?

10 DR. NUCCIO: The trends in -- for
11 example, that more pressure ulcer have decreased
12 slightly. Again, we're dealing with very, very
13 small numbers. So in 2011, the rate was 0.47 and
14 in 2013, the rate was 0.44.

15 CO-CHAIR THRAEN: Did you have a
16 comment?

17 DR. MCMULLEN: Thank you. It's Tara
18 McMullen from CMS. I did want to draw back many
19 comments ago to Pat, that this is more -- we
20 collect this data to benchmark. I know everyone
21 is very interested in outcomes. We are as well.

22 The IMPACT Act was brought up. This

1 is not an IMPACT Act quality measure. The IMPACT
2 Act quality measure through the domain looking at
3 skin integrity will assess pressure ulcers, new
4 and worsened. So we are working toward that
5 ideal state of being able to collect on care
6 trends, what's really going on in a specific
7 facility setting or care setting, home setting,
8 and working towards those outcomes.

9 At this point, this is what we have in
10 terms of benchmarking. We collect this data not
11 only to improve quality measurement, but to be
12 able to report to providers, multiple levels of
13 reporting providers, beneficiaries, really what's
14 going on at a specific time, that snapshot.

15 So I did want to address the outcome
16 measure topic and let you know that we are very
17 aware of that and we are working toward that
18 ideal state for every PAC setting.

19 CO-CHAIR THRAEN: Chris and then Pat.

20 DR. COOK: Yes. This is Chris Cook
21 again. I guess one of my questions is, if we re-
22 endorse this measure, is this something that CMS

1 actually wants or, according to what CMS policy
2 typically is within its pieces, is that if you
3 have between the 75th and 90th percentile no
4 significant difference, then basically consider
5 it topped out measure and it gets retired?

6 And at this, what was reported is at
7 the 50th percentile, agencies reported 99 percent
8 for the risk assessment and plan of care and
9 98.58 percent for the prevention implemented.
10 Which to me, just guessing, that if that's at the
11 50th percentile, then you're not going to see a
12 significant difference between the 75th and 90th
13 coming back to your policy.

14 DR. MCMULLEN: Right. So Tara McMullen
15 again. We run through a rigorous process of
16 evaluating and analyzing in every PAC setting
17 really the performance of our quality measures.
18 If we see an item within an assessment instrument
19 or a measure which houses our items are topped
20 out, we do move to sunset those types of measures
21 or revise the measures basically to show
22 variation in an outcome.

1 I can tell you, because of multiple
2 mandates that have occurred in the last year that
3 affect post-acute care, we're moving in a way
4 where they're going to change. Lots of
5 assessment tool testing, instrument, OASIS, MDS,
6 so on and so forth. Lots of quality measure
7 testing. A lot of data element testing.

8 So I think the best policy for CMS is
9 to continue to analyze that. If we see that
10 something's not working and we hear from our
11 stakeholders and we hear from NQF that it's not
12 working, we take that into consideration. Your
13 opinion holds weight. And that's pretty much the
14 best response I could give you.

15 DR. COOK: So then by that, you do want
16 this to continue on and then if you all find that
17 it tops out, then you're perfectly fine to retire
18 it on your own?

19 DR. MCMULLEN: We do want it to
20 continue on, which is why we brought it to NQF
21 today. But, like I said, we're working toward
22 the ideal state of having outcomes measures. In

1 fact, Congress pushed the hand on that through
2 the IMPACT Act. So you will see the emergence or
3 the advent of other variations of pressure ulcer
4 or skin integrity quality measures --

5 DR. COOK: Thank you.

6 DR. MCMULLEN: -- inevitably.

7 DR. BURSTIN: I think part of what
8 Chris is asking though is whether since it is
9 already topped out based on, certainly, I think
10 when we bid on gap, it could be a potential for
11 reserve status. Which I think he was trying to
12 get your sense of whether that's something --

13 CO-CHAIR THRAEN: So Yanling, Pat, and
14 then Steve. And then we're going to call for
15 vote.

16 DR. YU: Just a quick, maybe a dumb
17 question. Can CMS continue to collect this data
18 without this approval of this measure?

19 DR. MCMULLEN: Yes.

20 DR. YU: Okay.

21 CO-CHAIR SEPTIMUS: CMS can do whatever
22 it wants.

1 DR. MCMULLEN: Yes, they can.

2 DR. YU: Okay.

3 DR. MCMULLEN: Right. But I can tell
4 you ask the lead analyst in Division of Chronic
5 and Post-Acute Care, what you say, again, like
6 Chris, it holds weight. If we go back and we
7 hear NQF, we go back, our leadership will
8 reanalyze and evaluate whether we should be
9 collecting on this measure or not. It's not a
10 blanket CMS can do what they want. It doesn't
11 work like that. There is a process.

12 CO-CHAIR THRAEN: They theoretically
13 can, but will they is another question. That's a
14 political will question. Pat?

15 DR. QUIGLEY: Thank you for your
16 comments. Pat Quigley. And I, as a nurse's
17 nurse, thank you for collecting this because you
18 have validated the role of nursing. It's
19 registered nurses who go in and do the
20 assessment, start the care planning. So I thank
21 you to show that there is this level.

22 But with the electronic documentation

1 OASIS, there's much more ability to be able to
2 show is it being done or not. However, this
3 measure, just like your falls measure in home
4 care, the falls measure has components of the
5 care plan, what gets assessed. It's not just yes
6 or no for each element. And that's the
7 difference when you move into quality.

8 So to continue to advance it I think
9 is really important in terms of measurement, not
10 just to measure yes or no. Because in the
11 Patient Safety Complications Steering Committee,
12 which I had an opportunity to be on before, we
13 decided not to continue to endorse just yes or no
14 responses, binomial responses. Because it's not
15 an indicator of quality in patient safety.

16 But thank you so much for doing this.
17 And I would also just like to say that there are
18 patients who are excluded in home care from this
19 based on your criteria. And the people who are
20 excluded are the people who are not in Medicaid
21 or Medicare. And the people who don't require
22 skilled nursing care.

1 So I just want to say to everybody
2 that there is exclusion criteria to making sure
3 people read this. But I thank you for measuring
4 the value of nursing. What nurses actually -- so
5 thank you.

6 CO-CHAIR THRAEN: Steve?

7 DR. LAWLESS: Yes. Steve Lawless. I
8 have question. I looked up the IMPACT Act and
9 one of the domains is about skin integrity. But
10 then you said it's not part of the IMPACT Care
11 Act Measure. What would be?

12 So my question would be, so you said
13 -- Medicare can do what they want, they can agree
14 or wait. But if you have no alternative -- if
15 you're required to do this by IMPACT Act, or it
16 looks like or something, until there's an
17 alternative, why endorse it when you're going to
18 be doing it anyway?

19 DR. MCMULLEN: Yes. Steve, this is not
20 an IMPACT Act Measure. This is an OASIS based
21 quality measure for the Home Health Quality
22 Reporting Program, for home health agencies. So

1 as we do have the IMPACT Act and it does contain
2 a domain of skin integrity, that's a different
3 topic, different quality measure.

4 DR. LAWLESS: Thank you.

5 CO-CHAIR THRAEN: All right. We're
6 stopping the conversation at this point. Jesse
7 has advised me to ask for the vote on the
8 performance gap. And if you determine that there
9 is no performance gap, that the measure can then
10 put into reserve status. Now, what that means,
11 I'm not sure. Go ahead.

12 DR. PINES: Again, we would have to
13 vote about putting it on reserve status.

14 CO-CHAIR THRAEN: Oh, and then you'd
15 have to vote about putting it on reserve status.

16 DR. PINES: We might want to tell
17 people what that means.

18 DR. BURSTIN: So reserve status is
19 essentially an endorsement status that we have
20 created for measures that are otherwise
21 excellent, high evidence, reliability, valid, all
22 the rest of it, but topped out.

1 And so the idea would be that perhaps
2 those should enter a realm of periodic
3 surveillance as opposed to being sort of front
4 and center, always measured. So they are still
5 endorsed, but with this special status.

6 CO-CHAIR THRAEN: Okay. So we're going
7 to vote on the performance gap. Laura?

8 MS. IBRAGIMOVA: So importance to
9 measure and report 1B performance gap, data
10 demonstrated considerable variation or overall
11 less than optimal performance across providers
12 and/or population groups, disparities in care. 1
13 High, 2 Moderate, 3 Low, 4 Insufficient.

14 MS. THEBERGE: Kimberly, we need your
15 vote. If you're still there.

16 MS. IBRAGIMOVA: So the results are, 0
17 percent High, 9 percent Moderate, 87 percent Low,
18 4 percent Insufficient.

19 CO-CHAIR THRAEN: And so now you're
20 going to do the question on reserve status. We
21 have to find it.

22 CO-CHAIR SEPTIMUS: We have to have a

1 motion for that.

2 DR. COOK: I make a motion that we put
3 it into reserve status.

4 CO-CHAIR THRAEN: Chris. Pat seconds
5 it.

6 MS. IBRAGIMOVA: So endorsement
7 maintenance potential for reserve status, if a
8 measure is under endorsement maintenance review
9 and did not meet importance to measure and report
10 only due to lack of performance gap 1B, does it
11 meet criteria to consider for potential reserve
12 status?

13 High performance is likely due to
14 actual improvement versus issue with measure
15 construction, strong direct evidence proximal to
16 desired outcome, high ratings for reliability and
17 validity, possibly moderate, demonstrated use,
18 and demonstrated improvement. 1 Yes, 2 No. The
19 results are 92 percent Yes, 8 percent No.

20 CO-CHAIR THRAEN: Are we good? We
21 skipped a step. Go ahead.

22 DR. BURSTIN: It's fine. I mean,

1 technically, as you can see here, you're supposed
2 to have agreed that you believe to other -- the
3 sub-things you just looked at are correct. That
4 the evidence is strong. I don't want to spend a
5 lot of time voting on this when you've got a lot
6 of other work to do. So maybe we could figure
7 out a way to do that offline.

8 But --

9 CO-CHAIR THRAEN: Okay.

10 DR. BURSTIN: -- clearly people want it
11 in reserve status. I don't think it's worth
12 belaboring each of the individual ratings at this
13 point.

14 CO-CHAIR THRAEN: All right. The next
15 one is 0679, Percent of High Risk Residents with
16 Pressure Ulcers in Long Stay. And that's also
17 CMS. Does the developer have a -- they're
18 coming. So Laura and Terry, would you like to
19 introduce yourselves?

20 MS. SMITH: Hi, my name is Laura Smith.
21 I'm from RTI International. I'm here with my
22 colleague, also from RTI, Dr. Terry Eng. And

1 we're joined by Dr. Tara McMullen, from CMS. And
2 Terry's going to do the introduction to this
3 measure.

4 DR. ENG: This measure reports the
5 percentage of long-stay residents in a nursing
6 facility who are at high risk for one or more
7 Stage 2 through 4 pressure ulcer or unstageable
8 pressure ulcers. Patients who are comatose, have
9 impaired bed mobility or transfer, or who suffer
10 from malnutrition are concerned high risk.

11 The data source for this measure is
12 the Minimum Data Set 3.0, which is mandatory for
13 all Medicare/Medicaid certified nursing
14 facilities. We want to note, this is not the
15 measure being proposed in the SNF rule for the
16 IMPACT Act.

17 The long-stay measure addresses the
18 CMS quality strategy priority patient safety as
19 pressure ulcers are serious medical conditions
20 and one of the most important measures of the
21 quality of clinical care in nursing homes.
22 Nursing facility residents are at risk for

1 developing new pressure ulcers that result from
2 prolonged periods of uninterrupted pressure on
3 the skin, soft tissue, muscle, and bone.

4 We tested this measure using data from
5 all eligible long-stay residents in all
6 Medicare/Medicaid certified nursing homes
7 nationwide, as well as previously published
8 studies. The mean facility score for this
9 measure was 6.1 percent and the median facility
10 level score was 5.4 percent in Quarter 3, 2014.

11 These figures show a downward trend in
12 continued quality improvement from the previous
13 three years, where the mean facility score was
14 7.4 percent and the median facility level as 6.7
15 percent. Critical data elements show a high
16 level of reliability and validity with kappas
17 above 0.94 when comparing ratings between pairs
18 of gold standard nurses and between facility and
19 gold standard nurses.

20 We tested the stability of this
21 measure by analyzing the quality measure score
22 change between two quarters. Overall, the scores

1 are stable from quarter to quarter. Quality
2 measure scores improved or declined by no more
3 than one standard deviation between one quarter
4 and the next. The majority of facilities
5 reporting changes in their absolute scores from
6 quarter to quarter were within one standard
7 deviation.

8 The signal-to-noise ratio suggests
9 that only eight percent of the variance for this
10 measure is explained by facility characteristics.
11 About one-third of facilities with scores for
12 this measure significantly differ from the
13 national mean over a single quarter, showing that
14 this measure is reliable in separating facility
15 characteristics from the national mean.

16 Validity testing at the quality
17 measure level indicates convergent validity
18 between this measure and the percent of resident
19 with short-stay pressure ulcers that are new or
20 worsen, which capture similar care processes.

21 In addition, a nonparametric
22 correlational analysis was performed to see if

1 facility scores for this measure were related to
2 facility five-star ratings from Nursing Home
3 Compare. Results showed a strong, significant
4 negative correlation with five-star ratings, as
5 expected.

6 Missing data do not present a threat
7 to validity of this measure as they account for
8 less than one tenth of one percent of the long-
9 stay population. Excluding low-risk residents
10 from the denominator is a risk adjustment
11 strategy. This measure was originally the high-
12 risk measure in a set of stratified measures that
13 reported on resident at high and low risk for
14 pressure ulcers.

15 Additional, risk adjustment analysis
16 was conducted identifying additional risk factors
17 for pressure ulcers, such as cognitive status,
18 but it did not result in a model with sufficient
19 predictive power to justify applying further risk
20 adjustment via the model. C-Statistics for the
21 models tested were consistently low. Applying a
22 weak risk adjustment model could introduce random

1 errors into the predicted Stage 2 through 4 and
2 unstageable pressure ulcer rates and, thus, risk-
3 adjusted scores.

4 Public reporting of this measure via
5 Nursing Home Compare provides valuable
6 information to patients and their families about
7 quality of care in nursing home facilities and
8 provides an incentive for facilities to focus on
9 improving and maintaining preventative care.

10 CO-CHAIR THRAEN: Excellent. Thank
11 you. All right. Would the lead -- who's going
12 to do the lead for this group? Okay, Theresa?

13 MS. EDELSTEIN: Okay. So bear with me,
14 I'm a rookie. So I'm not going to repeat
15 everything that the measure developer just said.
16 I just wanted to raise a couple of questions, if
17 that's okay.

18 CO-CHAIR SEPTIMUS: Please, those of
19 you who are new to this process, don't apologize.
20 You've already added significantly to the
21 conversation just by having new outside
22 perspectives.

1 MS. EDELSTEIN: Okay. So if it's okay
2 with you, I would just like to raise a couple of
3 questions rather than go over the same material.
4 Okay.

5 So my first question has to do with
6 the MDS focused surveys that CMS has now mandated
7 in every state.

8 CMS conducted a pilot, I think it was
9 in three states, 25 facilities, several months
10 ago. Issued a report. They found inaccuracies
11 in MDS coding and one of the areas of the MDS
12 where there were inaccuracies reported was the
13 skin section. Which is where this measure comes
14 from.

15 So my question is, now that you've
16 decided to implement MDS focused surveys
17 nationally, do you anticipate that your findings
18 could affect the accuracy of this measure on a
19 going forward basis? I guess my question goes to
20 whether long-term care nurses can be reliable
21 assessors of stage of pressure ulcer at the
22 bedside.

1 DR. MCMULLEN: This is Tara McMullen
2 from CMS. So the surveys were housed by our
3 Survey and Certification Group. So it's a
4 separate group from us. So I'm reluctant to talk
5 to the survey development and what they found.

6 But I can tell you, through the
7 findings that our colleagues in CMS, through the
8 data collection, things that they find, coding
9 issues that come up, White House mandates, we
10 take that seriously. We provide training for
11 providers to help back-end the coding of the MDS.
12 We look at the reliability, the validity. We
13 look at the construct validity of the MDS. We
14 are constantly testing it.

15 Do I think that the surveys will help
16 with the outcomes of this quality measure because
17 they teach us something? Yes. Through those
18 surveys, CMS is now proactively looking at how to
19 improve outcomes.

20 MS. SMITH: And if it's all right, I'd
21 like to just add one more thing. This is Laura.
22 And that sample of 25 was actually selected

1 deliberately with the thought that they were
2 looking for the types of errors that might come
3 up. So just wanted to caution, while they did
4 show inaccuracies, that was not a representative
5 sample on purpose.

6 MS. EDELSTEIN: And if I could follow
7 up with a question and I know you're not the
8 Survey and Cert folks, so you may not know. But
9 is the sample that they're using now nationally
10 in each state, is that selected the same way with
11 the idea that you're actually going to find
12 inaccuracies? Or is it a more random selection?

13 DR. ENG: Yes. We wouldn't --

14 MS. EDELSTEIN: Okay.

15 DR. ENG: -- know the answer to that.

16 Sorry.

17 MS. EDELSTEIN: Other than that, I
18 would just say, this is an important measure. As
19 a nursing home administrator by profession,
20 there's probably nothing that's more important
21 than maintaining skin integrity. It affects
22 every other area of a resident's life. And I

1 support the continued focus on this measure.

2 CO-CHAIR THRAEN: Thank you. Pat?

3 DR. QUIGLEY: Thank you. Pat Quigley.

4 I have a question related to the inclusion
5 criteria for this measure. In the numerator, you
6 have those who are identified to be high-risk for
7 pressure ulcer. And the high-risk population are
8 those people who are comatose or have impaired
9 bed mobility or transfer mobility or
10 malnutrition. So if you could just clarify, is
11 high-risk not based on what their score is on the
12 Braden Scale?

13 MS. SMITH: That's correct. It's the
14 things that you just listed out.

15 DR. QUIGLEY: So is there consideration
16 to expand this to the high-risk population based
17 on a valid and reliable risk assessment for
18 pressure ulcer? And part of why I include that
19 is in long-term care we have a huge population
20 that is wheelchair dependent. And they are
21 sitting. And they have -- and pressure --
22 immobility associated with wheelchair mobility is

1 a huge opportunity for pressure ulcer
2 development.

3 So would there be consideration for
4 maybe expanding this criteria at some point?
5 Because I know that, that can be collected in
6 your data. It might not be part of the MDS and
7 it might not be part of the RII, but it is part
8 of your medical record.

9 DR. MCMULLEN: So with the advent of a
10 lot of mandates, including the IMPACT Act that
11 was passed by Congress late 2014, we are looking
12 at standardizing across post-acute care settings
13 specific quality measures.

14 And this is not an IMPACT Act measure,
15 so I won't get into this too much, but with the
16 development of those quality measures to meet
17 that mandate, we looked at our models and looked
18 at expanding models to be able to expand the
19 models to assess for risk and different types of
20 covariates that aren't currently collected.

21 CO-CHAIR THRAEN: Charlotte?

22 DR. ALEXANDER: Are we only doing

1 evidence?

2 CO-CHAIR THRAEN: Correct.

3 DR. ALEXANDER: Okay. I'll wait.

4 CO-CHAIR THRAEN: All right. Missy?

5 MS. DANFORTH: Thanks. Can you talk a
6 little bit about how the evidence supports only
7 doing the assessment for the pressure ulcers on a
8 quarterly basis? It seems like for this
9 population, a more frequent assessment for this
10 type of condition would be appropriate. Can you
11 just talk a little bit to that specifically?

12 MS. SMITH: So the MDS is separate from
13 what the processes are that are in the facility.
14 So this is more part of the reporting
15 requirements as part of the conditions of
16 participation for CMS. And kind of how the
17 facilities are doing their monitoring is more
18 being left for the facilities to do that. But
19 the expectation, the understanding would be that,
20 that should be part of the regular practice in
21 the facility to do regular monitoring.

22 CO-CHAIR THRAEN: So the care plan can

1 require assessment on a 24 hour, weekly, whatever
2 basis. But the MDS requirement is once every 90
3 days after they've stabilized that you would go
4 in and do an assessment.

5 MS. DANFORTH: But the only cases that
6 are being counted in the numerator are those that
7 show up on these MDS forms --

8 CO-CHAIR THRAEN: Right.

9 MS. DANFORTH: -- on a quarterly basis.
10 So do you feel like you're actually capturing, I
11 guess, all of the pressure ulcers in the facility
12 is what I'm asking?

13 MS. SMITH: Oh, I see what you're
14 saying. So, I think the idea is, is that we're
15 getting a cross-section by looking at these
16 target assessments in that quarter. That allows
17 us to do some comparison on how well the
18 facilities are doing at preventing and healing
19 pressure ulcers.

20 While you're correct, we're not
21 getting every single one that's in the facility
22 given that it's more sort of about giving that

1 information across all the facilities. That it
2 is still a valid way of assessing how well
3 facilities are doing with regard to skin
4 integrity, pressure ulcers, and care.

5 DR. MCMULLEN: And, Missy, just to add
6 on to what Laura said, this is Tara McMullen. A
7 lot of those decisions quarterly are made for
8 public reporting decisions. So we have to report
9 or be able to report a larger amount of
10 assessment data for generalization purposes. So
11 what you're looking at, like Laura perfectly
12 delineated, are two different concepts. But we
13 do collect more than just once in a quarter, yes.

14 CO-CHAIR THRAEN: Okay. Any other
15 questions about the evidence? Shall we vote?

16 MS. IBRAGIMOVA: For importance to
17 measure and report 1A evidence health outcomes or
18 PRO, rationale supports the relationship of the
19 health outcome or PRO to at least one healthcare
20 structure, process, intervention, or service. 1
21 yes, 2 no.

22 MS. THEBERGE: For the folks on the

1 phone, I've just temporarily lost contact with
2 the webinar, so we're going to have to take your
3 votes either by email or verbally for this one
4 piece and then we'll be back in there in a
5 minute.

6 DR. APPLEGATE: My vote is yes. I'm
7 Kimberly Applegate.

8 MS. O'BRIEN: My vote is yes. This is
9 Ann O'Brien.

10 MS. THEBERGE: Thanks very much.

11 MS. IBRAGIMOVA: Just waiting for one
12 more vote. The results are 100 percent yes, 0
13 percent no.

14 CO-CHAIR SEPTIMUS: That's the first
15 unanimous vote.

16 (Laughter.)

17 CO-CHAIR THRAEN: All right. Moving on
18 to Performance Gap. Would the developers speak
19 to the issue of performance gap? Actually, you
20 did when you talked about the trend, didn't they?
21 So we do know. So are there any questions about
22 performance gap that you have? All right. Let's

1 vote.

2 MS. IBRAGIMOVA: Importance to measure
3 and report, 1B performance gap, data demonstrated
4 considerable variation or overall less than
5 optimal performance across providers and/or
6 population groups, disparities in care. 1 High,
7 2 Moderate, 3 Low, 4 Insufficient. The results
8 are 54 percent High, 38 percent Moderate, 4
9 percent Low, 4 percent Insufficient.

10 CO-CHAIR THRAEN: All right.
11 Reliability. Charlotte?

12 DR. ALEXANDER: So there was a
13 statement looking at the signal-to-noise that it
14 was not very reliable in separating facility
15 variance from population variance. I wonder if
16 you could speak to that, please?

17 MS. SMITH: That's correct. We did do
18 a signal-to-noise analysis and the R was 0.08.
19 We did look at a couple of other ways of looking
20 at the data, but that is what the signal-to-noise
21 analysis showed us.

22 And we did see that when you look at

1 confidence intervals, that 30 percent of
2 facilities do have values that are significantly
3 different than the mean. And we do have very
4 good results at the item level in terms of
5 reliability.

6 CO-CHAIR THRAEN: Charlotte, anything
7 else?

8 DR. ALEXANDER: I don't know how to
9 interpret that. I mean, is that going to mean
10 that the reliability is not there?

11 CO-CHAIR THRAEN: Steve's got his
12 puzzled look on and Ed's got his card up. Is it
13 related to Charlotte's question?

14 CO-CHAIR SEPTIMUS: Well, I'm not 100
15 percent sure. I'm just curious about, in terms
16 of the Stage 2 versus Stage 3 and 4. And I was
17 going to ask that question in terms of the
18 evidence, but I thought I'd wait until we started
19 talking about -- I mean, I'm having a hard time
20 that people can differentiate Stage 2.

21 So do you have reliability data on
22 that? 3 and 4, I kind of get. 2 is not usually

1 included in most reporting. If you look at the
2 HAC reporting, it's Stage 3 and 4. So 2 is what
3 bothers me about this measure.

4 MS. SMITH: In terms of the reliability
5 analyses, as Terry had alluded to that there was
6 -- oh yes. The development studies of the MDS,
7 they did two different types of inter-rater
8 analyses where they compared gold standard nurse
9 ratings to other gold standard nurses and then
10 also had staff in the facilities and comparing
11 their ratings to gold standard nurses.

12 And the results are above 0.95 for the
13 kappas for all of the items that are using in
14 calculating this measure, except for, I think,
15 malnutrition was one that had slightly lower
16 kappas. But in terms of the actual pressure
17 ulcer ones that --

18 CO-CHAIR THRAEN: So let me clarify.
19 So in terms of inter-rater reliability, strong
20 evidence.

21 MS. SMITH: Yes.

22 CO-CHAIR THRAEN: In terms of signal-

1 to-noise reliability, uncertain or low evidence.
2 Is that accurate?

3 MS. SMITH: Yes.

4 CO-CHAIR THRAEN: Okay, Steve? You're
5 puzzled, you don't have your card up.

6 DR. LAWLESS: Yes. No, I'm sorry,
7 Steve Lawless. The puzzlement was about facility
8 population again. Could you just define facility
9 versus population difference? I would have
10 thought that this would looking at differences of
11 facilities across -- I mean, practical
12 experience, there's differences in long-term care
13 facilities. Does this not distinguish that or is
14 it just, am I missing it?

15 MS. SMITH: So reliability, when you're
16 talking about it at the performance measure
17 level, has to do with sort of how much certainty
18 you have in the estimate for the specific
19 provider. And so, basically, looking at how
20 closely clustered are the values across the whole
21 entire range of providers. That is one component
22 of this concept of performance level reliability.

1 Another component is how many people
2 are in those facilities. So it's kind of similar
3 to this idea of sampling, where you kind of think
4 of the facility's denominator as a sample. So if
5 you have a small denominator then you're going to
6 have uncertainty around that estimate.

7 And so we're kind of -- basically the
8 signal-to-noise is saying that we have some
9 clustering of the facility level scores and some
10 small facilities that make it harder to
11 differentiate. But we do have very good -- when
12 you look at ratings on the actual items at the
13 individual level, we have very good results for
14 that.

15 CO-CHAIR THRAEN: Pat?

16 DR. QUIGLEY: Thank you. Pat Quigley.
17 I was just going to say the facilities with 30
18 beds or less are excluded from this measure. So
19 when you're comparing us to who can actually be
20 in this measure. But still, in terms of
21 populations, there can't be an analysis because
22 of the impaired mobility because this is based on

1 conditions. It's not based on risk for pressure
2 ulcer based on the Braden Scale.

3 So people who require help with
4 transfers or people who are immobility, I mean,
5 there still can be some analysis about what
6 happens in terms of pressure ulcer prevention.
7 So it can still be population based.

8 CO-CHAIR THRAEN: Ed?

9 CO-CHAIR SEPTIMUS: Maybe I didn't ask
10 my question well. And I apologize. I got the
11 kappa score for Stage 2, 3, and 4. I got that.
12 What I was wanting to know if you could break it
13 out by Stage and what the kappa score was by
14 Stage?

15 In other words, does Stage 2 have the
16 same level of reliability in terms of the kappa
17 score that, let's say, a Stage 3 or 4 did? Maybe
18 -- that's what I want to -- I should have asked
19 it that way and I apologize.

20 MS. SMITH: Oh, yes. We grouped them
21 together. We do have separate kappa scores for
22 each and the minimum one for across Stage 2, 3,

1 or 4 is 0.95.

2 CO-CHAIR THRAEN: You're saying the
3 nurses know how to assess?

4 DR. QUIGLEY: Exactly.

5 CO-CHAIR SEPTIMUS: Now we need to
6 assess the doctor's assessment.

7 CO-CHAIR THRAEN: I understand. All
8 right. Are there any other questions about
9 reliability? All right, let's take a vote.

10 MS. IBRAGIMOVA: Scientific
11 acceptability of measure properties 2A
12 reliability, including 2A1, precise
13 specifications and 2A2, testing appropriate
14 method and scope with adequate results. 1 High,
15 2 Moderate, 3 Low, and 4 Insufficient. The
16 results are 29 percent High, 58 percent Moderate,
17 13 percent Low, 0 percent Insufficient.

18 CO-CHAIR THRAEN: Validity.

19 MS. IBRAGIMOVA: Scientific
20 acceptability of measure properties 2B validity -
21 -

22 CO-CHAIR THRAEN: Okay. Hold on. Are

1 there any questions about validity? Any
2 additional information that you want to share
3 about validity? Any other discussion about
4 validity? Go.

5 MS. IBRAGIMOVA: 2B validity, including
6 2B1, specifications consistent with evidence,
7 2B2, testing appropriate method and scope with
8 adequate results and threats addressed, 2B3,
9 exclusions, 2B4, risk adjustment/stratification,
10 2B5, meaningful differences, 2B6, comparability
11 in multiple specifications, 2B7, missing data,
12 eMeasures, composites, PRO-PMS.

13 1 High, 2 Moderate, 3 Low, 4
14 Insufficient. Just missing one more vote. The
15 results are 21 percent High, 67 percent Moderate,
16 13 percent Low, and 0 percent Insufficient.

17 CO-CHAIR THRAEN: Okay. Next one is
18 Feasibility.

19 MS. IBRAGIMOVA: Feasibility, 3A, data
20 generated during care, 3B, electronic sources,
21 and 3C, data collection can be implemented,
22 eMeasure feasibility assessment of data elements

1 and logic. 1 High, 2 Moderate, 3 Low, 4
2 Insufficient.

3 CO-CHAIR THRAEN: Any discussion? Any
4 questions? All right.

5 MS. IBRAGIMOVA: I'm just missing one
6 vote. The results are 50 percent High, 50
7 percent Moderate.

8 CO-CHAIR THRAEN: Usability. Any
9 discussion or questions? All right.

10 MS. IBRAGIMOVA: Usability and use, 4A,
11 accountability/transparency, use and
12 accountability within three year, public
13 reporting within six year, or if new, credible
14 plan, and 4B, improvement progress demonstrated,
15 if new, credible rationale, and 4C, benefits
16 outweigh evidence of unintended negative
17 consequences to patients/populations.

18 1 High, 2 Moderate, 3 Low, 4
19 Insufficient Information. Just one more vote.
20 Still missing one vote.

21 CO-CHAIR THRAEN: Still missing one?
22 Do we need to do it again?

1 MS. IBRAGIMOVA: Yes. Just when you're
2 looking at your clicker, make sure the number
3 pops up.

4 CO-CHAIR THRAEN: All right. Once
5 again. All right. Go. Got it.

6 MS. IBRAGIMOVA: The results are 54
7 percent High, 42 percent Moderate, 4 percent Low,
8 0 percent Insufficient Information.

9 CO-CHAIR THRAEN: Okay. Final question
10 on this one.

11 MS. IBRAGIMOVA: Overall suitability
12 for endorsement, does this measure meet NQF
13 criteria for endorsement? Note, this may not yet
14 be a recommendation for endorsement. Final
15 recommendation for endorsement may depend on
16 assessment of any related and competing measures.
17 1 Yes, 2 No.

18 CO-CHAIR THRAEN: Any final comments?
19 Questions? Go.

20 MS. IBRAGIMOVA: The results are 96
21 percent Yes, 4 percent No.

22 CO-CHAIR THRAEN: Okay. It passes.

1 All right. And the next one in this group is
2 0337, Pressure Ulcer Rate (PDI 02) from AHRQ.
3 And are the developers here? Yes, they're still
4 here.

5 CO-CHAIR SEPTIMUS: So after this
6 measure, we're going to have a discussion about
7 competing measures and then we're going to take a
8 break.

9 MS. DAVIES: This is Sheryl Davies.
10 I'll be presenting on the phone, but I'll leave
11 some time for my colleagues there that I assume
12 are moving back up to the table?

13 CO-CHAIR THRAEN: That is correct.

14 MS. DAVIES: Okay.

15 CO-CHAIR THRAEN: Give them a moment to
16 settle in and then we'll have them --

17 MS. DAVIES: Sounds good.

18 CO-CHAIR THRAEN: -- introduce
19 themselves.

20 CO-CHAIR SEPTIMUS: Dr. Romano's gotten
21 --

22 MS. DAVIES: Okay.

1 CO-CHAIR SEPTIMUS: -- more grey hairs
2 since this morning.

3 CO-CHAIR THRAEN: Yes, he has.

4 (Laughter.)

5 CO-CHAIR THRAEN: It looks like the --
6 all right. Would you like to introduce
7 yourselves?

8 MS. PANCHOLI: Good afternoon. My name
9 is Mamatha Pancholi and I'm the Quality
10 Indicators Program Director at the Agency for
11 Healthcare Research and Quality. And I am joined
12 by colleagues at Stanford University, UC Davis
13 here, Patrick Romano, as well as my colleagues at
14 Truven Health, who all comprise the Quality
15 Indicator Team.

16 And we are here again this afternoon
17 to talk about PSI 15. I'm sorry, PDI 02. That's
18 tomorrow. Yes, we're here for the long haul. So
19 I'll let my colleagues on the phone, Sheryl
20 Davies and folks, introduce themselves.

21 DR. ROMANO: And this is Patrick Romano
22 again, I am a general internist and general

1 pediatrician, but I'm not leading this particular
2 effort. So our colleagues at Stanford University
3 are leading the support and enhancement of the
4 Pediatric Quality Indicator Module. So Sheryl
5 will be introducing the indicator.

6 MS. DAVIES: Yes. So this is Sheryl
7 Davies. I'm a research associate here at
8 Stanford. I think in the interest of time, I'll
9 just go ahead and introduce my colleagues on the
10 phone. We have Kathryn McDonald, the PDI Module
11 Lead and Executive Director of our center. We
12 have our clinical leads, Lee Sanders and Corinna
13 Haberland, that are joining us on the phone, who
14 are both pediatricians here at Stanford
15 University.

16 So I want to go ahead and introduce
17 the PDI Measure today. And we'll defer to
18 colleagues if we have questions along the way.
19 But this PDI 02 is a measure of Stage 3 and 4
20 pressure ulcers in pediatric patients. It is
21 stratified by high and low-risk patients.

22 I want to start by explaining a little

1 bit about the history of this PDI, which I think
2 will illuminate and answer some of the questions
3 that were raised both in the comments to our team
4 in the pre-evaluation comment period, as well as
5 perhaps clarify some of the materials that you
6 received.

7 So advanced pressure ulcers are
8 certainly a serious patient safety concern. They
9 have been a focus in children's hospitals
10 recently. They result in significant pain and
11 morbidity for patients. The ulcers may require
12 surgical intervention for debridement or
13 grafting.

14 And among children, which is slightly
15 different than adults, more than half of the
16 ulcers are related to equipment or devices. So
17 the location of those ulcers might be quite
18 different. Efforts such as manual
19 redistribution, support services, or positioning
20 devices are particularly important to prevent
21 ulcers. Head-to-toe screening on admission for
22 high-risk patients and treatment of early stage

1 ulcers to prevent progression to Stage 3 or 4
2 pressure ulcers is important as well.

3 PDI 02 was originally actually
4 designed as part of the pediatric module
5 development effort. And at that time, the
6 indicator was defined as including all pressure
7 ulcers without regard to pressure ulcer staging.
8 This was primarily because there were no codes at
9 that time for the stage of the ulcer. But rather
10 the only codes that were available relied on the
11 location of the pressure ulcer.

12 The indicator was reviewed by an
13 expert panel and those results were included in
14 the packet. The panel at that time was
15 interested in limiting the indicator to Stage 2
16 and above because of the variability in
17 diagnosing Stage 1 pressure ulcers. However, at
18 that time, we did not have staging codes to rely
19 on.

20 Since that time, and in 2009, pressure
21 ulcer staging codes were introduced into the ICD-
22 9 system. And around that same time, CMS chose

1 to focus also on Stage 3 and 4 pressure ulcers in
2 their indicators, as you've just heard. And the
3 PDI was actually aligned to include only Stage 3
4 and 4 pressure ulcers in order to align with the
5 adult PSI 03, or the adult pressure ulcer
6 indicator.

7 The indicator was last endorsed in
8 2012. At that time, this change to the
9 definition had been made. However, data were not
10 yet available to evaluate that change. So when
11 this panel last reviewed the indicator, the
12 evidence was actually based on higher rates that
13 were based on all stages of pressure ulcers.

14 So now we present and we've been able
15 to re-evaluate the indicator based on this more
16 limited definition. So some of you have noticed
17 this drastic change in the rates that we provided
18 down from 1,000 cases --- sorry, a drastic
19 reduction between 2008 and 2009. And that is
20 because prior to 2009, we actually did not have
21 staging, so we had to rely on our old definition.

22 In other words, when the software

1 calculates this indicator for data prior to 2009,
2 it actually includes all cases of pressure ulcer
3 without regard to staging. The new definition,
4 which is applicable to data 2009, is more
5 limited. And we have seen that the change is
6 drastic from 409 in 2008 to 69. We also noticed
7 that from 2009 to 2012, the latest data that
8 we've been able to test at this time, there has
9 been -- it's been relatively stable.

10 CO-CHAIR THRAEN: Thank you.

11 MS. DAVIES: The indicator --

12 CO-CHAIR THRAEN: I'm sorry, go ahead.

13 MS. DAVIES: Okay. The indicator does
14 have high reliability as tested by the signal-to-
15 noise.

16 CO-CHAIR THRAEN: Okay. I'm going to
17 stop --

18 MS. DAVIES: This is something that --

19 CO-CHAIR THRAEN: Excuse me. I'm going
20 to stop you there.

21 MS. DAVIES: Okay.

22 CO-CHAIR THRAEN: Not go into the

1 reliability question, stay with the evidence.

2 MS. DAVIES: Okay.

3 CO-CHAIR THRAEN: Does the --

4 MS. DAVIES: Sure.

5 CO-CHAIR THRAEN: -- any of the
6 discussants -- who's leading this? Okay. Tracy?

7 MS. WANG: Okay. So this Tracy Wang.
8 In terms of evidence, this is an outcomes
9 measure. It's intended to flag hospital-acquired
10 pressure ulcers. And the developers had
11 mentioned a couple of different healthcare
12 practices and interventions that can lead to
13 reduction of the pressure ulcers. So to me, it's
14 pretty strong.

15 CO-CHAIR THRAEN: Are there any
16 comments or questions about the evidence?
17 Michelle?

18 MSS: Thank you. To the developers, I
19 have a question about the preventability of
20 pressure ulcers in kids. I understand the
21 relationship very well in adults, but there is a
22 comment in your write-up that in kids up to maybe

1 50 percent, you say 49 percent, of pediatric
2 pressure ulcers aren't preventable. So is this a
3 measure actually that there is a prevention
4 strategy that's effective for?

5 MS. DAVIES: I'm sorry. I couldn't
6 quite hear the last piece of your sentence there.
7 Are you asking us to comment on the
8 preventability?

9 DR. ROMANO: Yes. So I'll start and
10 Sheryl will add. But, yes. I mean, across all
11 of the indicators of course, almost none of the
12 indicators have 100 percent preventability. That
13 particular estimate comes from a study in which
14 clinicians reviewed the medical record
15 retrospectively and made an assessment about
16 whether they thought based, on their review of
17 the medical record, the pressure ulcer could have
18 reasonably been prevented.

19 But I think people around even this
20 table might disagree about those assessments.
21 Unfortunately, in that particular study, there
22 was no inter-rater reliability assessment to know

1 if you had two different people, maybe a nurse
2 and a physician reviewing the same record, would
3 they have come to the same conclusion. So,
4 that's just the limitation of trying to determine
5 retrospectively what percentage were preventable.
6 Sheryl, did you have anything to add?

7 MS. DAVIES: Yes. I would actually
8 also like to add that that is based on the
9 definition of the indicator that includes all
10 pressure ulcers without regards to staging.
11 Certainly, you could imagine that the
12 preventability, especially when you're including
13 Stage 1 pressure ulcers, may be more tenuous.

14 However, the preventability of Stage
15 3 and 4, we do not have any research studies that
16 have evaluated this new definition, more limited
17 definition in pediatrics. And there has been an
18 effort actually to move the rate of, especially,
19 stage 2 to 4 pressure ulcers down to zero,
20 including a tool kit which has been released by
21 what was formerly known as NACHRI, they released
22 it when they were still NACHRI, but the

1 Children's Hospital Association, in order to
2 reduce pressure ulcers among patients in the
3 hospital and in the PICU.

4 CO-CHAIR THRAEN: Yanling?

5 DR. YU: Yes. Yanling Yu. I have a
6 question about denominator exclusion. Is this
7 evidence -- discussed under evidence?

8 CO-CHAIR THRAEN: Yes.

9 DR. YU: Okay.

10 CO-CHAIR THRAEN: Yes. I think so.

11 DR. YU: Okay. My question is a
12 question for developer. There's on Page 2, it
13 says the case is excluded if they transferred
14 from a nursing home, from another health
15 facility.

16 And I was just wondering the rationale
17 on that and because some patients have been
18 transferred from a nursing home facility then
19 they develop a pressure ulcer while in the
20 hospital, that would be the secondary diagnosis.
21 And in that case, they would be excluded. I
22 wonder, what's the rationale you would do that?

1 Thank you.

2 MS. DAVIES: Sure. So the exclusions
3 that are there are there for twofold. One of
4 those is that during the clinical panel, some of
5 the clinicians actually recommended some these
6 exclusions. The caveat that's important to
7 understand here is that when we developed the
8 indicator, present on admission data was not
9 widely available.

10 And so, most of these exclusions were
11 actually created in order to decrease the
12 likelihood that we were capturing ulcers that
13 were present on admission. We're currently re-
14 evaluating this indicator.

15 We have not finished that re-
16 evaluation at this time, but we will be re-
17 evaluating to determine whether or not these
18 exclusions, in light of increased availability of
19 POA data, are still necessary.

20 CO-CHAIR THRAEN: Steve?

21 DR. LAWLESS: Yes. Steve Lawless here.
22 In Table 1 on Page 3, the mean standard deviation

1 has numbers, but up to the 95th percentile,
2 they're all blank, zeros. Is the evidence
3 showing that -- could you just explain this to me
4 in terms of where that comes -- how you can have
5 -- this is an issue for only 5 percent of
6 hospitals is how I'm interpreting this.

7 MS. DAVIES: I'm sorry. Which table
8 are you on?

9 DR. LAWLESS: It's under 1B.2, Table 1.
10 It's Reference Ranges of Observed Rates in
11 Reference Population. And it says mean 0.2,
12 standard deviation 3.13. But then it give
13 percentiles that are all zero. I just don't
14 understand -- could you just explain that?

15 MS. DAVIES: Yes. So a better way to
16 look at this is actually to look at the Measure
17 Testing Form. I think this will be a little bit
18 easier for you to see. On the Measure Testing
19 Form, under Table 4, we have listed out actually
20 the histogram of the numerators. And what you'll
21 see there is about the majority of hospitals have
22 a rate of zero.

1 So the mean is brought up because some
2 of the higher rates, but most of the -- only 189
3 hospitals have a rate. And, in fact, this brings
4 up that -- like one of the previous measures
5 mentioned, most of the indicators here, although
6 this indicator does have high reliability for
7 signal-to-noise, it actually has pretty poor
8 performance discrimination. Because most of the
9 hospitals actually have zero rate.

10 This may be an indicator to consider
11 at this time for reserve status. AHRQ is
12 considering these additional improvements to the
13 indicator, such as removing the exclusions and
14 expanding to Stage 2.

15 However, that requires extensive study
16 and the involvement of a PDI specific work group.
17 So we don't have that completed right now. So
18 this may be better for reserve status in the
19 short-term and to bring back for full endorsement
20 again once any of those improvements are
21 potentially made.

22 CO-CHAIR THRAEN: Richard?

1 DR. BRILLI: I'm privileged to co-lead
2 the solutions for patient safety work on pressure
3 ulcers in children, representing 90 children's
4 hospitals and we've actually -- so the link
5 between this data and outcomes in a bundle, we
6 have data that we're submitting for publication
7 that shows that if you implement a bundle highly
8 reliably, you will get reduction in these
9 measures.

10 So I wouldn't put this in a sustain
11 mode. I think it's a very important outcome
12 metric that needs to be out there. And there
13 will be publications that support the fact that
14 it will be an outcome measure for process
15 measures that can link to that. So this is
16 relatively new work in pediatrics, but it's going
17 to be highly reliable and I think we need to keep
18 this measure out there based on the work of 90
19 children's hospitals.

20 CO-CHAIR THRAEN: Thank you. Any other
21 comments about the evidence? All right, let's
22 vote. You have a comment?

1 CO-CHAIR SEPTIMUS: Point of
2 consideration. If an article has not been
3 published -- I'm sorry, Ed Septimus. If an
4 article has not been published and is not
5 completely through the peer-review process, what
6 is the NQF standard for accepting that as
7 evidence?

8 (Laughter.)

9 CO-CHAIR SEPTIMUS: Don't laugh. This
10 comes up all the time. Things get presented at
11 meetings and then go through the peer-review
12 process, they found significant flaws. So my
13 question -- by the way, I'm not doubting the
14 study --

15 DR. PINES: I think it's a fair
16 question. I'm just telling you what I know.

17 CO-CHAIR SEPTIMUS: So as a federation,
18 how do we view studies that have not finished
19 going through the peer-review process?

20 MS. THEBERGE: We're actually pulling
21 Helen in to answer this question.

22 DR. BURSTIN: Of course. Yes. It's

1 like any other data a measure developer would
2 submit to us in a table or a chart. It doesn't
3 matter. It's just data.

4 CO-CHAIR THRAEN: All right. Any other
5 questions? Richard, you look like you want to
6 say something.

7 DR. BRILLI: It has been presented at
8 national meetings if that makes any difference.
9 But it hasn't been published yet. It hasn't been
10 through the full peer-review process. So I think
11 it's a very fair thing for you to bring up, Ed.
12 If I wasn't sitting here, you wouldn't have the
13 information except through abstracts and that
14 kind of thing.

15 CO-CHAIR THRAEN: Jason, then Pat.

16 DR. ADELMAN: Jason Adelman. I just
17 want to make sure I understand this correct. So,
18 I think it was the developer who just said that
19 they recommended that it be approved on a reserve
20 status because they might -- a lot of hospitals
21 don't have any events and they, themselves, are
22 thinking about tweaking it.

1 And then Richard pointed out that he's
2 chairing a very important committee that's
3 looking at pediatric pressure ulcers and he sees
4 value in it. But it's like the tables just
5 flipped on us. And I just want to make sure that
6 I got it right and I knew who was talking on the
7 phone and that's the situation that we have. Is
8 that correct?

9 MS. DAVIES: So this is Sheryl Davies,
10 representing the measure developer. Just to be
11 clear, reserve status does not mean -- it
12 certainly is still fully endorsed. Reserve
13 status simply means that the measure has topped
14 out.

15 So it's still an important -- as we
16 understand it, it still meets importance and AHRQ
17 does maintain that these are extremely important
18 events. But we acknowledge that our ability to
19 distinguish one hospital from another or to
20 discriminate between hospital performance is
21 limited because most hospitals have no events.

22 We are considering re-evaluating and

1 increasing. We don't know that we will do that,
2 but we are re-evaluating the indicator,
3 considering expanding the definition to include
4 more numerator events. If that does happen, then
5 we might see more -- we might be able to
6 discriminate better between facilities.

7 At which case, it may not qualify any
8 more for reserve status, but would qualify
9 otherwise for endorsement. But it is our
10 understanding that reserve status is indeed full
11 endorsement, just denotes that it's topped out.

12 CO-CHAIR THRAEN: Pat?

13 DR. QUIGLEY: Thank you. Pat Quigley.
14 And I would like to always thank AHRQ for the
15 great work on it. And even though it might not
16 be a published article, AHRQ has been publishing
17 the reports in public domain on the progress of
18 the Partnership for Patients and the reduction of
19 hospital-acquired conditions. And one of those
20 conditions is pressure ulcers.

21 So even though there may not -- that
22 pressure ulcers are still occurring, there's been

1 less reduction in them, but they're still
2 occurring and we have an aging population that
3 continuing to endorse this in terms of reserve
4 status I think is very important.

5 CO-CHAIR THRAEN: But I think this a
6 pediatric --

7 DR. QUIGLEY: Oh.

8 CO-CHAIR THRAEN: -- Measure.

9 DR. QUIGLEY: Sorry. Okay.

10 CO-CHAIR THRAEN: Yanling?

11 DR. QUIGLEY: Well, same for PEDS.

12 CO-CHAIR THRAEN: No? Richard?

13 Michelle?

14 DR. SCHREIBER: My concern is that if
15 so many hospitals really are at zero, are you
16 penalizing those hospitals that maybe have a
17 larger volume because they're more likely to have
18 kids who are going to do this and, therefore,
19 they're going to perhaps look worse in the public
20 eye than those hospitals that have smaller
21 volume? So it gets back to the discrimination
22 question.

1 CO-CHAIR THRAEN: Does the developer
2 want to respond to that? Did you hear the
3 question?

4 MS. DAVIES: Yes. So we did look at
5 active -- we haven't presented all the detail
6 that we provided based on bed size. But we have
7 provided this based on the histogram of the
8 numerators, based on children's and non-
9 children's.

10 I think that the important thing that
11 we see is that the coefficient of variation,
12 which is a variation that's standardized by the
13 mean, the coefficient of variation for children's
14 hospitals is 2.0, which is fairly moderate
15 variation between children's hospitals and non-
16 children's hospitals among both the high and low-
17 risk status. It's about 10.0 or 4.0
18 respectively.

19 So we see more variation along non-
20 children's hospitals with typically lower volumes
21 and lower acuity patients. I'm not sure if
22 that's exactly getting at what you're wondering

1 about. But it certainly is consideration of that
2 and that's also why we have the low and high-risk
3 strata to get at the complexity of the patients
4 that tend to be seen in the high-volume
5 hospitals.

6 CO-CHAIR THRAEN: All right. Pat did
7 you have something else?

8 DR. ROMANO: I mean, I would just add
9 that -- so there's a wide spectrum of different
10 types of quality measures. Obviously they're
11 used for different purposes. And clearly this is
12 not a measure that has a very high level of
13 discrimination in terms of its ability to
14 discriminate hospital performance. Because most
15 hospitals are at zero.

16 Now, even the hospitals that have
17 relatively high rates, as we talked about
18 earlier, when you take the observed-to-expected
19 ratio, you put them into a risk adjustment, you
20 estimate this observed-to-expected ratio. And
21 then you shrink it based on the reliability of
22 the data from the individual hospital. So,

1 again, that will lead to a situation where you
2 have very, very few hospitals that qualify as
3 outliers.

4 And that's, again, that's why -- but
5 I think everybody agrees, this is an extremely
6 important condition and an important
7 complication. So it seems potentially well-
8 suited for reserve status. But that's obviously
9 for the committee to decide.

10 CO-CHAIR THRAEN: All right. We're
11 going to vote on the importance of the evidence
12 or the evidence.

13 MS. IBRAGIMOVA: So importance to
14 measure and report, 1A evidence, health outcome
15 or PRO, rationale supports the relationship of
16 the health outcome or PRO to at least one
17 healthcare structure, process, intervention, or
18 service. 1 Yes, 2 No.

19 CO-CHAIR SEPTIMUS: Just to clarify, I
20 just talked with Jesse. We are still going to go
21 through the elements and then at the -- because
22 this is already an endorsed measure that's coming

1 back for re-endorsement. And then we'll vote on
2 whether or not we should put this in reserve
3 status because it's topped out. Okay?

4 We're going to go through to see if we
5 want to continue to say this has the evidence and
6 endorsement. But we can go at the end, saying
7 it's topped out, we want to put this in reserve
8 status. Slightly different than what we did
9 before.

10 DR. ADELMAN: Didn't the last time we
11 do this, Helen said that we did it incorrectly
12 and we had to run through it all again?

13 CO-CHAIR THRAEN: She told me to jump
14 to the reserve status and then upon reflection,
15 they decided that we really did need to go
16 through the steps. And so we're going to come
17 back to those steps on that particular measure --

18 DR. ADELMAN: Okay.

19 CO-CHAIR THRAEN: -- later.

20 CO-CHAIR SEPTIMUS: We're trying to
21 correct what we didn't do right the first time,
22 basically.

1 (Laughter.)

2 CO-CHAIR SEPTIMUS: But we were going
3 to sort of look the other way on the other
4 measure and if we have time, we'll come back to
5 it. We want to do it right this time.

6 CO-CHAIR THRAEN: We have 22, we still
7 need two votes. Twenty-three, we've got one more
8 out there. There we go.

9 MS. IBRAGIMOVA: The results are 96
10 percent Yes, 4 percent No.

11 CO-CHAIR THRAEN: All right. Next one
12 is Performance Gap.

13 MS. IBRAGIMOVA: Importance to measure
14 and report 1B performance gap, data demonstrated
15 considerable variation or overall less than
16 optimal performance across providers and/or
17 population groups, disparities in care. 1 High,
18 2 Moderate, 3 Low, 4 Insufficient. Results are 8
19 percent High, 38 percent Moderate, 50 percent
20 Low, 4 percent Insufficient.

21 CO-CHAIR THRAEN: So that's grey?
22 Right. Proceed. 40 to 60 percent is grey. Of

1 the High or Moderate combined. Reliability. Any
2 discussion or questions that you have regarding
3 this measure in reliability? All right.

4 MS. IBRAGIMOVA: Scientific
5 acceptability of measure properties 2A
6 reliability, including 2A1, precise
7 specifications and 2A2, testing appropriate
8 method and scope with adequate results. 1 High,
9 2 Moderate, 3 Low, 4 Insufficient. One more
10 vote. The results are 29 percent High, 67
11 percent Moderate, 4 percent Low, 0 percent
12 Insufficient.

13 CO-CHAIR THRAEN: Usability. Or no,
14 excuse me, Validity.

15 MS. IBRAGIMOVA: Scientific
16 acceptability of measure properties 2B validity,
17 including 2B1, specifications consistent with
18 evidence, 2B2, testing appropriate method and
19 scope with adequate results and threats
20 addressed, 2B3, exclusions, 2B4, risk
21 adjustment/stratification, 2B5, meaningful
22 differences, 2B6, comparability in multiple

1 specifications, 2B7, missing data, eMeasures,
2 composites, PRO-PMS.

3 1 High, 2 Moderate, 3 Low, 4
4 Insufficient. The results are 13 percent High,
5 75 percent Moderate, 13 percent Low, 0
6 Insufficient.

7 CO-CHAIR THRAEN: Feasibility.

8 MS. IBRAGIMOVA: Feasibility, 3A, data
9 generated during care, 3B, electronic sources,
10 and 3C, data collection can be implemented,
11 eMeasure feasibility assessment of data elements
12 and logic. 1 High, 2 Moderate, 3 Low, 4
13 Insufficient.

14 CO-CHAIR THRAEN: Missing one. There
15 it is. We got it.

16 MS. IBRAGIMOVA: The results are 54
17 percent High, 46 percent Moderate, 0 percent Low,
18 and 0 percent Insufficient.

19 CO-CHAIR THRAEN: Okay. Usability.

20 MS. IBRAGIMOVA: Usability and use, 4A,
21 accountability/transparency, use and
22 accountability within three year, public

1 reporting within six year, or if new, credible
2 plan, and 4B, improvement progress demonstrated,
3 if new, credible rationale, and 4C, benefits
4 outweigh evidence of unintended negative
5 consequences to patients/populations. 1 High, 2
6 Moderate, 3 Low, 4 Insufficient Information.

7 CO-CHAIR THRAEN: All right. Missing
8 one. Try it again. There it is.

9 MS. IBRAGIMOVA: The results are 38
10 percent High, 50 percent Moderate, 13 percent
11 Low, and 0 Insufficient Information.

12 CO-CHAIR THRAEN: All right. So the
13 next question is endorsement. Where's the
14 question for reserve status? After this? Okay.
15 So we have to endorse it first before we
16 determine reserve status.

17 DR. PINES: So for the --

18 CO-CHAIR THRAEN: We also have some
19 disagreement.

20 DR. PINES: So for the reserve status
21 question, if something's topped out, meaning that
22 the rate is -- if you can't discriminate between

1 99 percent and 100 percent, it's different than a
2 rare safety event where your event is near zero.
3 And it's something you don't want to happen. So
4 I think we should think about it a little
5 differently.

6 The measure definitely has
7 discrimination issues between hospitals because
8 so many hospitals are zero. But thinking about
9 an analogy of a death in the waiting room in the
10 emergency department, it's an important but rare
11 patient safety event that you may want to
12 measure. But it's going to be -- not a lot of
13 hospitals are going to have that.

14 CO-CHAIR THRAEN: All right. So --

15 MS. WANG: Can I ask a question?

16 CO-CHAIR THRAEN: -- before who's ever
17 -- Tracy, go ahead.

18 MS. WANG: This is Tracy. I have a
19 question. Can you clarify the difference between
20 going to reserve status and then tabling the
21 measure until more information is provided where
22 the metric has gone through additional

1 development?

2 MS. THEBERGE: Reserve status is
3 recommended for endorsement. Tabling the measure
4 means that you haven't made a decision yet.

5 CO-CHAIR THRAEN: Charlotte, did you
6 have a question?

7 DR. ALEXANDER: Do we have to approve
8 it for endorsement to be able to go to reserve
9 status?

10 CO-CHAIR THRAEN: That's what they're
11 telling me.

12 MS. THEBERGE: Yes.

13 CO-CHAIR THRAEN: Any other questions?

14 DR. BURSTIN: I just want to make sure
15 I understand Tracy's question. So are you asking
16 -- is there additional information you would
17 want? Because you want to table it? I'm sorry,
18 I missed a part of that conversation.

19 MS. WANG: So the developer had
20 mentioned that they were thinking about this
21 metric, trying to expand the inclusion to Stage 2
22 pressure ulcers. So I just wasn't clear, would

1 that be considered as tabling because they're
2 bringing additional information?

3 DR. BURSTIN: There we go. If I hit it
4 enough, it'll let me talk. So at any point, the
5 developer can come back in what's called an ad
6 hoc review if they change the measure and ask you
7 to re-review it. So I wouldn't consider that at
8 this point. That's certainly a possibility.

9 If it's endorsed. If it's not
10 endorsed, they'd have to start all over again and
11 bring you the new measure with the modification.
12 And, again, I don't know that we've had this
13 before. But I don't know that we've ever done
14 reserve status for a low rate. I don't think it
15 really applies.

16 I mean, again, there are plenty of
17 safety events. If we went through the list of
18 some of the endorsed safety events that have
19 very, very low rates. And they're there
20 intentionally because these are rare, but serious
21 events. So it doesn't actually apply to a
22 reserve status code.

1 There are safety events that we've
2 endorsed, multiple measures, that have low rates.
3 It doesn't mean they're necessarily ones that you
4 wouldn't continue to assess and look for in the
5 way we would say something is so topped out, it's
6 built into systems, it's -- highly reliable
7 organizations have so built this into their
8 system that continuing to measure it just doesn't
9 seem worth the burden.

10 This is the flip side, these are rare
11 events, but it's not as if we've heard anything
12 to suggest they're rare because all the systems
13 are in place and et cetera. They're just rare.
14 Does that make sense? Okay.

15 CO-CHAIR THRAEN: Other questions?
16 Richard?

17 DR. BRILLI: So let me preface my
18 remarks by, if you don't want me to talk about
19 what I know through 90 children's hospitals, I
20 won't do it. But I --

21 CO-CHAIR THRAEN: That's why you're
22 here.

1 CO-CHAIR SEPTIMUS: No, we definitely
2 do.

3 DR. BRILLI: So I don't totally agree
4 with the data from the claims database. It's
5 uncommon, but I would not call it rare. We have
6 90 children's hospitals searching their
7 electronic medical records. And we get this
8 information, we have it now for two or three
9 years.

10 And I don't know how that correlates
11 with the claims database, but based on our data
12 from these 90 children's hospitals, they're
13 uncommon, but not rare. And they happen
14 regularly. And I would say, every children's
15 hospital in those 90 has at least one or two a
16 year. Not -- so that's uncommon, yes.

17 But a Stage 3 and a Stage 4 pressure
18 ulcer is a big deal. Especially a Stage 4.
19 Those are very rare. Stage 3s are also
20 significant cosmetic issues for children. So I
21 think this is an important measure.

22 I worry about putting it on reserve if

1 that implies somehow that it has less importance.
2 This is a big deal in the safety world, I think,
3 for children. And it just may be that I'm
4 looking at a different database, which is an
5 electronic medical record search, as opposed to a
6 claims database. And those two may have
7 different rates. Clearly my --

8 CO-CHAIR SEPTIMUS: No. I think Helen
9 articulated this extremely well just a moment
10 ago. About measures that are already hard-wired,
11 that have topped out. This is the opposite. And
12 so I think she articulated the issue very nicely.

13 So, yes, it's an important measure.
14 And maybe this does not qualify to go on reserve.
15 We first need to see if we're going endorse it
16 first. But I think Helen's distinction is very
17 important.

18 CO-CHAIR THRAEN: Any other thoughts.
19 Shall we vote?

20 MS. IBRAGIMOVA: Overall suitability
21 for endorsement, does the measure meet NQF
22 criteria for endorsement? Note, this may not yet

1 be a recommendation for endorsement. The final
2 recommendation for endorsement may depend on
3 assessment of any related and competing measures.

4 1 Yes, 2 No. It's just being weird.

5 CO-CHAIR THRAEN: There it is. I
6 guess we have no answer. It's not the one you
7 wanted. We have 24 votes. We'll have to vote
8 again. We have to vote again, guys.

9 MS. IBRAGIMOVA: Yes. Please vote
10 again.

11 CO-CHAIR THRAEN: Technology is
12 wonderful when it works. It sucks when it
13 doesn't. Okay. There we are.

14 MS. IBRAGIMOVA: The results are 96
15 percent Yes, 4 percent No.

16 CO-CHAIR THRAEN: All right. Are we
17 okay with not going down the reserve status
18 question? Anybody have a problem with that? All
19 right. We're done with that one.

20 We are 15 minutes behind and we're
21 scheduled for break. And the conversation
22 related to relating and competing measure

1 discussion was tabled. Is that correct?

2 CO-CHAIR SEPTIMUS: We don't have any.

3 CO-CHAIR THRAEN: We don't have any.

4 So we're good with that. So I suggest you take a
5 break. And come back in 15 minutes.

6 CO-CHAIR SEPTIMUS: Or 10 so we start
7 in 15 minutes.

8 (Whereupon the above-entitled matter
9 went off the record at 2:55 p.m. and resumed at
10 3:08 p.m.)

11 CO-CHAIR SEPTIMUS: Okay, guys. I
12 have some really great news for you here. First
13 of all, you've really been incredibly good about
14 staying on time. I mean, this is about as good
15 as you can get.

16 Second, we've moved up dinner to 6:00
17 p.m. So, that will give you an incentive to
18 finish on time and it's only a couple of blocks
19 from here.

20 And thirdly, as last time, wine is on
21 me. So, ah. I don't know if they're going to
22 have Septimus wine, but we'll have something.

1 Steve said as long as we don't have to drink the
2 Septimus Kool-aid.

3 Okay. So, we're going to start this
4 afternoon with 0204 and 0205. Apparently, the
5 presentation for those two measures are quite
6 similar, correct?

7 So, would you like to present for both
8 and then we'll discuss each of them individually?
9 Would that be okay with everybody?

10 MS. CRAMER: That's what we had kind
11 of planned, if that works for you. I think that
12 will be expedient.

13 CO-CHAIR SEPTIMUS: Sounds like it
14 might expedite things a little bit. So, go.

15 MS. CRAMER: I have never been told I
16 need to speak louder or closer to the mic.
17 Never, ever in my life.

18 I am Emily Cramer. I am with the
19 University of Kansas and I represent one of the
20 measure developers. ANA is actually -- the
21 American Nurses Association is the measure
22 steward for this for actually the next four

1 measures on your list.

2 And I'm here with a couple of
3 colleagues. I'll let them introduce themselves.

4 MS. OLDS: I'm Danielle Olds. I'm
5 also with the University of Kansas and here as a
6 measure developer.

7 DR. NEEDLEMAN: And I'm Jack
8 Needleman. I'm professor and chair of the
9 Department of Health Policy and Management at the
10 UCLA School of Public Health.

11 I did some of the early research that
12 supported the development of the staffing
13 measures that we're talking about.

14 I also served as a member of the
15 Technical Advisory Panel for the committee that
16 looked at hospital nurse staffing -- hospital-
17 sensitive -- nurse-sensitive hospital performance
18 measures back in 2003.

19 And was also on the Joint Commission
20 Technical Advisory Panel that looked at their
21 assessment of the feasibility of the measures.

22 MS. CRAMER: Okay. So, as we said,

1 the next two measures, which are skill mix, which
2 includes RN, LPN and LVN, which is licensed
3 practical/licensed vocational nurses, and
4 unlicensed assistive personnel measure, which is
5 Number 0204, and Number 0205, which is nursing
6 hours per patient day are very similar. So,
7 we're going to do a brief introduction to those
8 two together.

9 I would like to add that all of these
10 measures have been previously endorsed at the
11 unit level.

12 We've added a hospital-level analysis
13 and they have also been conditionally approved by
14 the Measures Application Partnership for
15 inclusion in CMS' Inpatient Quality Reporting
16 System.

17 The conditional approval is based on
18 whether or not they get the hospital-level
19 endorsement from this committee.

20 MS. OLDS: Thank you. Nursing
21 matters, and here's why. Nurses work as a core
22 service of hospital care, nurses have the

1 accountability, responsibility and authority for
2 bedside care that directly and unequivocally
3 impacts patient outcomes.

4 These outcomes include mortality,
5 failure to rescue, length of stay and numerous
6 hospital-acquired conditions.

7 Over 15 years of research has
8 demonstrated that the numbers of nurses and their
9 licensure level, that is, nursing hours per
10 patient day and skill mix, are closely linked to
11 these outcomes.

12 Nursing hours per patient day is the
13 number of productive hours worked by nurses with
14 direct patient care responsibilities per patient
15 day.

16 Skill mix is the percentage of total
17 productive nursing hours worked by each licensure
18 level, that is, RN, LPN and unlicensed personnel.

19 It's important for us to remember that
20 not all harm to patients can be captured through
21 measurable outcomes. These two structural
22 measures embody the ability of nurses to care for

1 patients and provide the surveillance needed for
2 safe and reliable care.

3 Nurses are at the sharp end of care
4 delivery intervening before errors can reach the
5 patient and mitigating harm when errors do slip
6 through the cracks.

7 Therefore, nursing hours for patient
8 day and skill mix are summary measures
9 encompassing all the work that nurses do as a
10 core function of hospitals to keep patients safe
11 and provide quality care.

12 Every patient deserves and expects
13 safe and reliable care. Both of these measures,
14 as Emily had mentioned, have MAP conditional
15 approval for CMS' Inpatient Quality Reporting
16 System for public reporting pending hospital-
17 level endorsement.

18 Hospital-level public reporting of
19 nursing hours per patient day and skill mix would
20 create increased transparency.

21 A proposed five-star quintile system
22 would allow consumers to have critical safety

1 information for decision-making regarding their
2 healthcare and would reflect the core service of
3 nursing to hospital care and safety.

4 So, to conclude, these critical safety
5 structural measures represent a foundation of
6 patient safety evaluation.

7 These measures take into account the
8 totality of nursing care and the ability of
9 nurses to deliver the highest quality care and
10 vigilance impacting safety outcomes, including
11 those that cannot be measured.

12 Public reporting of nursing hours per
13 patient day and skill mix would increase
14 transparency allowing patients and families to
15 make informed choices.

16 Nursing matters for patient safety.
17 Nursing hours per patient day and skill mix touch
18 every patient in every hospital regardless of
19 their diagnosis or procedures.

20 DR. NEEDLEMAN: If I can just add,
21 there's, I think, a preference for outcomes
22 measures and one that I, frankly, share, but

1 there is a role for structural measures. And I
2 think this is one of those cases.

3 We've got a number of outcomes that
4 are considered nursing-sensitive. And they're
5 considered nursing-sensitive because they have
6 been correlated with either the staffing hours,
7 or the skill mix or both.

8 Lisa, this morning, talked about
9 consumers needing when we were talking about
10 composite measures, talked about the need for
11 consumers to have simple summary measures that
12 will help them understand the care they're
13 getting and the risks associated with being in
14 different places.

15 The individual outcome measures that
16 are considered nursing-sensitive are both an
17 incomplete measure of the total work of nurses,
18 but also have not been put into a compiled
19 measure.

20 At the moment, the best single
21 composite measure we have to assess the
22 effectiveness and the ability of nursing systems

1 to deliver care are the number of hours and the
2 skill mix of the staff that are there. And
3 that's the reason why these measures are being
4 put up for re-endorsement and for hospital
5 compare.

6 MS. GELINAS: So, in addition to the
7 introductions by the measure developer, a couple
8 of additional comments since I'm the lead
9 discussant. And we have quite a few others in
10 the room that have worked on these measures.

11 First of all, I think it is enormously
12 important for this committee to recognize that
13 workforce determinants are a foundational element
14 in order to assure patient safety.

15 Much of the testimony that you are
16 hearing today is embellished, but is similar to
17 the same testimony we heard at the National
18 Quality Forum in 2002 and 2003, which in the fall
19 of 2004 nursing hours per patient day and skill
20 mix were endorsed. And they were subsequently
21 endorsed years later.

22 Your measure worksheet is incorrect,

1 because the original endorsement was not 2009.

2 It was actually the fall of 2003.

3 And I wanted that to be an important
4 component for you to understand that we've been
5 collecting these data longitudinally for a very
6 long period of time, but they have not been
7 shared with the public. They have not been
8 shared through public reporting. And I don't
9 know many other measures with over 15 years of
10 longitudinal history that have not been shared
11 with the public.

12 The other piece that is enormously
13 important for you to consider is the evidence is
14 robust. And we have proven that it holds up over
15 time. Now, over two decades of data.

16 So, the evidence that the measure
17 developers have submitted is on Page 14 of your
18 measure worksheet if you brought that with you.

19 Now, I am with you a hundred percent.
20 There were 1,129 pieces of paper that we had to
21 either download or consider for this meeting.

22 Now, I don't know about you, but this

1 isn't my only job and I'm not so sure that I
2 thoroughly and planfully considered every bit.

3 So, what I wanted to do for you is a
4 few high-level pieces that are in your measure
5 worksheet to make sure that you understand the
6 breadth and depth of the evidence that is before
7 you today.

8 And the measure developer evidence
9 table that is on Page 14 clearly shows you the
10 correlation between the skill mix and nursing
11 hours per patient day and patient outcomes.

12 And in order to read it, if there's a
13 minus sign, that means that the higher the skill
14 mix, the less the adverse event or the less the
15 issue. And I don't know about you, but I see a
16 lot of minus signs in that table.

17 The other piece that's enormously
18 important for you to understand is the diagram
19 that is on Page 9 which the measure developer
20 also submitted.

21 And if I am going to really summarize
22 the evidence for you in a table, it is this

1 diagram that shows nursing hours per patient day.
2 Again, this is on Page 9 of your measure
3 worksheet. Page 9. Looks like this -- I'm
4 sorry. I'm getting distracted. Would you mind
5 not typing?

6 You'll see nursing hours per patient
7 day on the left side as the structural measure,
8 and then the outcomes measure on the right-hand
9 side including patient outcomes.

10 When Jack was talking about the
11 importance of structural measures, I have to
12 admit that as a lead discussant I was perplexed
13 when I got the script, because I was supposed to
14 talk about the evidence only in terms of outcome
15 measures or process measures. And this is
16 clearly a structural measure that has been
17 endorsed and re-endorsed many, many times.

18 So, to make sure that we keep plenty
19 of time for you and your discussion, I wanted to
20 make sure that you knew that I do believe that
21 this is an enormous set of measures. And that
22 the evidence is not only clear, but it's robust,

1 which has stood up over time.

2 Well, I mean, those were our summary
3 comments for you. And I think we can open it up
4 for discussion, because it's my understanding
5 that we're going to vote after each piece. So, I
6 wanted to make sure that we save plenty of time,
7 because there's a number of components that we'll
8 need to vote on.

9 CO-CHAIR SEPTIMUS: Okay. So, the
10 first one is going to be evidence.

11 MS. GELINAS: Evidence, correct.

12 CO-CHAIR SEPTIMUS: Okay.

13 Missy.

14 MS. DANFORTH: Actually, can I just
15 ask you to clarify a comment about the measure
16 being available for 15 years and not being
17 publicly reported?

18 Is there any background on why that
19 is? So, like why has the measure not been
20 publicly reported if it's been endorsed since
21 2004?

22 DR. NEEDLEMAN: The measure has been

1 actually measured in a number of different ways.
2 In some of the earlier studies, it's overall
3 staffing divided by number of patient days to get
4 an estimate of that, or the reported RN/LPN mix.

5 Linda Aiken who has also done work
6 related to looking at staffing, and Jeff Silber
7 who developed the earlier measure you were
8 discussing, have used survey-based data based
9 upon the number of patients that nurses have been
10 asked to take care of on any given shift.

11 Some of us have used state-level data,
12 which has a little bit more granularity and are
13 able to better separate inpatient/outpatient
14 staffing.

15 As Lillee said, the evidence is very
16 robust. It almost doesn't matter how you measure
17 it. The same effects keep showing up in research
18 studies that use many different versions of the
19 measure.

20 NDNQI, as I understand it, have to
21 figure out a way to develop a measure that would
22 allow for comparability across hospitals. And

1 they developed their unit-based staffing measure.

2 And they report that to the members of that

3 through -- in a very standardized way.

4 And I think one of the attractive
5 features of what's being proposed here is to
6 bring a standardization and a separation of
7 inpatient from outpatient staffing in a very
8 clean way to the measurement of this that will
9 allow for standardization that would allow easy
10 reporting of it.

11 MS. GELINAS: And I do want to comment
12 that there are some states that are publicly
13 reporting these data; Illinois, Maine,
14 Massachusetts, Minnesota, New York and Vermont,
15 but they are very new to the public reporting of
16 those data. So, there's no trends yet and impact
17 hasn't been measured yet, but those states are
18 publicly reporting.

19 CO-CHAIR SEPTIMUS: So, that was --
20 we're going to get to usability, but that really
21 gets to usability. That's okay, but that's
22 correct and that's in our document.

1 MS. GELINAS: Yes.

2 CO-CHAIR SEPTIMUS: Josh.

3 DR. RISING: All right. Great. Thank
4 you.

5 So, in reading through the brief
6 description of the measure, I just was having --
7 I just wanted to make sure I was understanding
8 kind of it all correctly.

9 So, what it looks like it does, to me,
10 is it adds up the total number of nursing hours
11 at a given facility and then provides a
12 percentage of how much are provided by different
13 categories of nurses.

14 And so, in our -- so, that will tell
15 you what the skill mix is, right? But there's
16 also been a lot of discussion around, you know,
17 how robustly staffed, right, kind of what the
18 ratios are.

19 And so, my understanding is when you
20 do this by the percentage, that will give you
21 information on the skill mix, but not necessarily
22 on is that an adequate total number.

1 Is that -- is that kind of your
2 assessment as well?

3 MS. CRAMER: Well, correct. This
4 measure actually, the 0204, is strictly about
5 skill mix. We have another one, the 0205, is
6 about actual levels of staffing in terms of our
7 nursing care hours per patient day.

8 DR. RISING: Okay.

9 MS. CRAMER: And I don't know if you
10 want to speak to any additional comment.

11 DR. RISING: No, that's all right.
12 Okay. I just wanted to make sure, because the
13 evidence and the description seemed -- had a lot
14 about kind of staffing levels as well. So, I
15 just wanted to make sure. So, this is just about
16 the skill mix.

17 And the second question I had is that
18 the fourth kind of part of this measure like kind
19 of distinguishes the contract staff from, you
20 know, regular employees.

21 And so, I didn't necessarily see kind
22 of evidence kind of around that component of the

1 measure. So, I was curious to have a discussion
2 around that.

3 DR. NEEDLEMAN: Yeah. The most
4 important element to this is probably that RN/LVN
5 mix, because that number shows -- that percentage
6 shows up over and over and over and over again in
7 research as influencing outcomes.

8 The evidence, frankly, on agency mix
9 is a little bit less -- is not a little bit.
10 It's far less developed. There's some
11 conflicting evidence in the field about whether
12 or not it's associated with adverse outcomes for
13 patients.

14 There's a clearer body of evidence
15 being developed which shows it's associated with
16 the efficiency with which care is delivered,
17 things like length of stay, which should be of
18 tremendous interest to CNOs and CFOs.

19 So, given that the data is readily
20 available in the systems that would need to put
21 it in place, I think the assumption has been to
22 make it a slightly broader measure than the one

1 that is guided by the evidence because it is easy
2 to -- once you're compiling the RN and the LVN
3 data, the same data systems provide information
4 on agency and contract nurses. So, that is an
5 area that's going to be relevant in the future.

6 MS. GELINAS: And that was identified
7 as an area of future research in the initial
8 nursing-sensitive measures area when we were
9 recommending to NQF the more robust workforce
10 measures that needed to be built out. And
11 contract personnel was one of them.

12 DR. RISING: I mean, there's a lot of
13 information that, you know, theoretically could
14 be provided to patients and others about the
15 quality of care, but it sounds like there's not
16 really information to distinguish that last one
17 around the quality of care that's provided at
18 this point in time.

19 MS. GELINAS: Not that I know of.

20 CO-CHAIR SEPTIMUS: Yeah. The only
21 one that might fit the contract actually might be
22 in CLABSIs in the ICU, but I agree the data is

1 pretty soft.

2 Okay. Charlotte, Yanling and then
3 Victoria.

4 DR. ALEXANDER: So, as I look at the
5 two metrics, the skill mix seems, to me, to have
6 very good evidence to back it up.

7 When I look at the nursing hours
8 worked, I'm not seeing the same level of
9 evidence.

10 And I've got another question about
11 nursing hours work, and that's in regard to
12 intent.

13 CO-CHAIR SEPTIMUS: I think -- that's
14 0205, isn't it, or did I miss --

15 DR. ALEXANDER: Aren't we doing both
16 of them together?

17 CO-CHAIR SEPTIMUS: No, we're doing
18 0204 first. Then 0205.

19 DR. ALEXANDER: The introduction was
20 around them both.

21 CO-CHAIR SEPTIMUS: The introduction
22 was for both.

1 DR. ALEXANDER: Okay.

2 CO-CHAIR SEPTIMUS: Okay. So, maybe

3 -- I apologize --

4 DR. ALEXANDER: Sorry.

5 CO-CHAIR SEPTIMUS: -- if I did not

6 make that clear.

7 DR. ALEXANDER: I'll hold it off.

8 CO-CHAIR SEPTIMUS: Okay.

9 DR. ALEXANDER: So, we're considering
10 the evidence of 0204.

11 CO-CHAIR SEPTIMUS: 0204 first.

12 Yanling.

13 DR. YU: My questions relate to
14 nursing hours, too. I know in Washington state
15 the nursing association and license --

16 CO-CHAIR SEPTIMUS: If it's about the
17 next measure, let's hold off until the next
18 measure.

19 DR. YU: Oh, okay.

20 CO-CHAIR SEPTIMUS: I'm sorry if I
21 didn't make it clear.

22 DR. YU: All right.

1 CO-CHAIR SEPTIMUS: We're only talking
2 about 0204.

3 Victoria.

4 DR. RICH: I just wanted to add to the
5 contract. Linda Aiken did a study about two or
6 three years ago that looked at the Agency nurses
7 because as a CNO you always worried about how
8 safe those were.

9 And at that time, I think, Jack, you
10 would know that she found no difference. So, I
11 just wanted to add that for what it's worth.

12 DR. SCHREIBER: Just wonder if you
13 looked in this measure, maybe you will look in
14 the future, at the level of training for the RNs,
15 the bachelors prepared versus the not, if there's
16 any difference here, or might you consider that
17 in the future.

18 CO-CHAIR SEPTIMUS: Okay. Good point.
19 Okay.

20 MS. GELINAS: Well, in the NDNQI
21 database we do collect -- I know our organization
22 reports the level of education. I just don't

1 know if correlation studies and so forth have
2 been done.

3 CO-CHAIR SEPTIMUS: Okay. I see no
4 other hands. Let's vote on the evidence.

5 MS. IBRAGIMOVA: Importance to measure
6 and report, 1(a), evidence structure process
7 intermediate outcomes.

8 1(a) evidence. If quantity, quality,
9 consistency from SR was submitted box 5(a) high,
10 box -- oh, skip it.

11 Okay. So one, high. Only eligible if
12 QQC submitted. Two, moderate. Three, low.
13 Four, insufficient evidence.

14 (Voting.)

15 CO-CHAIR SEPTIMUS: One more.

16 (Pause.)

17 MS. IBRAGIMOVA: The results are 46
18 percent high. 50 percent moderate. Four percent
19 low. Zero percent insufficient evidence.

20 CO-CHAIR SEPTIMUS: Next is going to
21 be the gap, performance gap.

22 Any comments on performance gap?

1 (No comments.)

2 CO-CHAIR SEPTIMUS: Seeing none, we
3 can vote.

4 MS. IBRAGIMOVA: Importance to measure
5 and report, 1(b), performance gap. Data
6 demonstrated considerable variation or overall
7 less than optimal performance across providers
8 and/or population groups, disparities and care.

9 One, high. Two, moderate. Three,
10 low. Four, insufficient.

11 (Voting.)

12 MS. IBRAGIMOVA: Results are 38
13 percent high. 58 percent moderate. Four percent
14 low. Zero percent insufficient.

15 CO-CHAIR SEPTIMUS: Okay. The next
16 one is going to be reliability.

17 Do we have any discussion around
18 reliability?

19 MS. GELINAS: Well, referring back to
20 the previous question, remember there's a robust
21 evidence table with the link between the skill
22 mix and the outcomes.

1 And so, when it comes to reliability,
2 do the results demonstrate sufficient reliability
3 so that differences in performance can be
4 identified?

5 I want to refer you back to the table.
6 Page 14.

7 CO-CHAIR SEPTIMUS: We're on Page 14,
8 right?

9 MS. GELINAS: Uh-huh.

10 CO-CHAIR SEPTIMUS: Page 14.

11 MS. GELINAS: Yes. That's why I was
12 curious if -- where the evidence in the previous
13 --

14 CO-CHAIR SEPTIMUS: Yeah, we're going
15 to put this up so we can --

16 MS. GELINAS: I think there was some
17 confusion. I don't know, but it was a lot
18 stronger than the votes indicated. It's a
19 reality. Yes.

20 Any other comments --

21 CO-CHAIR SEPTIMUS: Can you find --
22 no, I'm looking for --

1 MS. GELINAS: -- about reliability?

2 CO-CHAIR SEPTIMUS: There we go.

3 There's Chart 14.

4 MS. GELINAS: There's Chart 14.

5 CO-CHAIR SEPTIMUS: So, we're trying
6 to pull it up as you talk.

7 So, anything else on this that you
8 want to point out on the chart?

9 MS. GELINAS: That was also what we
10 submitted, what KU submitted for 1(b).

11 CO-CHAIR SEPTIMUS: Okay. Any other
12 comments on reliability? Scroll that up. There
13 you go. This is the chart that Lillee described
14 earlier.

15 MS. GELINAS: So, if you scroll down,
16 you can see all the different patient outcomes.
17 So, scrolling down to the bottom is what they --
18 there you go.

19 CO-CHAIR SEPTIMUS: Well, that's still
20 evidence, but you said something about
21 reliability about the skill mix. Is that --

22 Well, that's the workshop. I thought

1 that there was something else on this chart that
2 you were referring to.

3 MS. GELINAS: No, this is just the
4 evidence of the association between skill mix and
5 patient outcomes.

6 CO-CHAIR SEPTIMUS: Okay. We already
7 passed the evidence. So, we're up to
8 reliability.

9 MS. GELINAS: So, do developers want
10 to say anything about the reliability testing?
11 The correlations are on Page 33 for reliability.

12 CO-CHAIR SEPTIMUS: All right.

13 MS. GELINAS: And, again, I appreciate
14 if everyone did not have a chance to look at it
15 all, because I was awash in paper myself.

16 CO-CHAIR SEPTIMUS: Well, that's why
17 we assign discussants and teams.

18 MS. GELINAS: That's great.

19 CO-CHAIR SEPTIMUS: Because it's hard
20 for all of us to --

21 MS. GELINAS: So, on Page 33 of your
22 measure worksheet, I'll just read the measures

1 also demonstrated high reliability at the
2 hospital level well within the recommended
3 thresholds recommended by CMS and others. Unit-
4 level reliability was also just as strong.

5 DR. LAWLESS: Is this --

6 CO-CHAIR SEPTIMUS: Steve, go ahead.

7 DR. LAWLESS: Is this reliability on
8 the measurement of the skill mix, or reliability
9 of the outcomes?

10 MS. GELINAS: The measurement.

11 DR. LAWLESS: The measurement. Okay.

12 CO-CHAIR SEPTIMUS: Yanling.

13 DR. YU: Yeah, I have this question.
14 Maybe just need a clarification, this productive
15 nursing care hours.

16 I know that -- I don't know how they
17 calculate it. Does it depend on how long a nurse
18 work per day, you know, long hours, or that we
19 know that -- this doesn't have RN hours built in
20 there.

21 Is RN hours also built into this mix
22 of skills and --

1 MS. CRAMER: It is a little confusing,
2 because the skill mix is calculated from the
3 total hours. So, I understand your question.

4 The definition is the same that we
5 have productive hours in both the skill mix and
6 the total RN hours measures.

7 And the productive hours is mostly --
8 it's about the time spent at the bedside. So, we
9 are only collecting data from nurses who at least
10 spend a certain percentage of their time in
11 direct patient care and who are not in
12 administrative roles.

13 So, we're trying to tease out all of
14 the people who are mostly dealing with paperwork
15 that nurses deal with a lot of the time versus
16 actually providing patient care at the bedside.

17 So, that's what we mean by productive
18 hours. It's not in terms of the number that
19 they're working in a day, except in if that's a
20 total calculation.

21 DR. YU: Yeah. What I mean is, you
22 know, sometimes the long hours working even at

1 bedside can negatively affect the patient
2 outcome. There was a study shows that.

3 DR. NEEDLEMAN: Yes, it is a term of
4 art. And the two CNOs on that side of the table
5 ought to describe it, but basically it's the
6 number of hours that the nurse is on the unit as
7 opposed to away from the unit doing
8 administrative work or on vacation or doing --

9 MS. GELINAS: Or in education classes.

10 DR. NEEDLEMAN: -- in-service
11 training.

12 MS. CRAMER: Yes.

13 DR. NEEDLEMAN: So, it's basically a
14 count of the hours that nurses are available on
15 the units to provide care to patients no matter
16 how tired they are.

17 DR. SMIRZ: Lillee, I appreciate the
18 fact that you're not holding me personally
19 responsible for not reading every page there.
20 So, this question may already be in there.

21 Is there anybody that's looking at the
22 years of experience that a nurse has, or is it

1 just this whether or not they're an RN versus a
2 BSN versus an LPN, et cetera?

3 MS. OLDS: There is research looking
4 at nurse experience, but that's not included in
5 our measure.

6 MS. GELINAS: So, you're looking for
7 tenure equity.

8 CO-CHAIR SEPTIMUS: Victoria.

9 DR. RICH: What I wanted to add just
10 to tell you, it's called evidence-based staffing.
11 And it's really what as a leader now that we're
12 trying to look at.

13 And so then, you take that in
14 consideration with your education, but that's not
15 for today, but just as an FYI. Good question.

16 CO-CHAIR SEPTIMUS: Okay.
17 Reliability. I see no other names up. So, let's
18 go to a reliability vote.

19 MS. IBRAGIMOVA: So, for reliability
20 the votes are one, high; two, moderate; three,
21 low; four, insufficient.

22 (Voting.)

1 CO-CHAIR SEPTIMUS: Okay. We got it.

2 MS. IBRAGIMOVA: The results are 42
3 percent high. 54 percent moderate. Four percent
4 low. Zero percent insufficient.

5 CO-CHAIR SEPTIMUS: Okay. Validity
6 testing.

7 MS. IBRAGIMOVA: So, for scientific
8 acceptability of measure properties for validity
9 the votes are one, high; two, moderate; three,
10 low; four, insufficient.

11 CO-CHAIR SEPTIMUS: Any comments on
12 that?

13 MS. GELINAS: So, the results
14 demonstrate sufficient validity so that
15 conclusions about quality can be made. That is
16 the component that we should be considering.

17 And I agree from the materials that
18 the developers submitted that the measure should
19 be specified as an indicator of quality.

20 And I do want to talk about threats to
21 validity, because I thought what the measure
22 developer submitted was very strong.

1 And, Jack, the article that you
2 published, you only measured bedside RN care,
3 correct?

4 Nursing Economics. I'm sorry.

5 DR. NEEDLEMAN: Oh. Yeah, I was
6 focused on -- principally on the staff -- the
7 hours -- I may have briefly mentioned staffing
8 skill mix as well, but mostly hours, yes.

9 Lillee asked me about an editorial I
10 recently --

11 MS. GELINAS: Right.

12 DR. NEEDLEMAN: -- wrote for the
13 journal Nursing Economics looking at sort of the
14 state of the art of the staffing literature.

15 And I've got to admit I spent a little
16 bit more time in that looking at hours per
17 patient day rather than the skill mix.

18 MS. GELINAS: So, for 05.

19 CO-CHAIR SEPTIMUS: Okay. Seeing no
20 other comments, we will vote then on validity.

21 (Voting.)

22 MS. THEBERGE: Ann, if you're on the

1 line, I need your vote.

2 (Pause.)

3 MS. IBRAGIMOVA: Is she not there?

4 CO-CHAIR SEPTIMUS: So, we should have
5 23 though, right? Okay. So, we need one more.
6 Okay. Got it.

7 MS. IBRAGIMOVA: The results are 29
8 percent high. 71 percent moderate. Zero percent
9 low. Zero percent insufficient.

10 CO-CHAIR SEPTIMUS: Okay. And the
11 next to the last we'll talk about usability.
12 We've already had a little bit of a discussion
13 about this in terms of what's being publicly
14 reported.

15 MS. GELINAS: Several states do
16 publicly report the data. Is there any other
17 comment that you want to make about usability?
18 Because I know in the NDNQI database that
19 hospitals -- over 2,000 hospitals have been
20 reporting well over a decade and are using that
21 data for improvement internally because it's not
22 publicly reported.

1 And I don't believe that any of the
2 states that are publicly reporting this data have
3 trending data yet, if that's correct.

4 MS. OLDS: Yeah, it's newer for the
5 states. We do a use and usability survey with
6 our -- with the hospitals that collect this data
7 and it's been very positive.

8 We, on Page 39 and 40, detail the
9 outcomes of this survey in terms of asking our
10 users about the burden of the data collection and
11 how they use the data.

12 CO-CHAIR SEPTIMUS: Have we done what?

13 Oh, we got -- oh, you're right. Thank
14 you. How did I miss that? I stand corrected.
15 We're on feasibility. Thank you, Charlotte. How
16 many times have I done this?

17 Thank you. Thank you. I apologize.
18 So, we're doing feasibility.

19 MS. GELINAS: It's okay.

20 DR. SCHULTZ: Leslie Schultz. I
21 apologize. I pored over a lot of the paperwork,
22 but not everything.

1 Are the NDNQI hospitals any different
2 from non-NDNQI hospitals? Do we know that these
3 data are reflective of those who don't report to
4 you?

5 MS. CRAMER: They do tend to be a
6 little bit different from the general population
7 of hospitals. We -- and that's for a couple of
8 reasons.

9 One, these are hospitals that have
10 obviously spent a lot of investment in this
11 quality improvement to participate in NDNQI.

12 A lot of them are magnet hospitals.
13 In fact, almost every magnet hospital in the
14 country participates with NDNQI. So, we have an
15 over representation there.

16 We also have over representation of
17 academic medical centers and larger hospitals who
18 have budgets that allow them to participate in
19 some of these things.

20 So, it's not quite a fair
21 representation of the general population, but it
22 is becoming more balanced all the time. We've

1 added a lot of critical access in smaller
2 hospitals. We're getting some decent
3 representation from the lower end in terms of
4 size.

5 CO-CHAIR SEPTIMUS: Pat.

6 DR. QUIGLEY: Thank you. Pat Quigley.
7 I'd just like to answer that question as well.
8 Recognizing that NDNQI services over 2,000
9 hospitals out of 5,000 in this great country, as
10 well as hospitals in other countries, there are
11 many hospitals in this hospital that use acuity
12 systems. And in acuity systems we have skill
13 mix, and we have hours per patient day.

14 So, they may have different
15 operational definitions which is part of what
16 sets this indicator at such high regard, is that
17 there is such incredible validity and reliability
18 in relationship to the measure.

19 So, that, I think, is really one of
20 the strengths, but this process is in place in
21 other hospitals as well. Thank you.

22 CO-CHAIR SEPTIMUS: We're on

1 feasibility. We've already discussed usability,
2 but we still have to do feasibility.

3 MS. DANFORTH: I had a question
4 related to use and usability. Can I ask that?

5 CO-CHAIR SEPTIMUS: Let's finish up
6 the feasibility --

7 MS. DANFORTH: Okay.

8 CO-CHAIR SEPTIMUS: -- since I screwed
9 it up already. Any other questions about
10 feasibility, and then we'll finish up with -- I
11 apologize. That's my error.

12 CO-CHAIR THRAEN: So, I have a quick
13 question. So, how are these data -- these data
14 are collected through the nursing-sensitive
15 indicator data systems?

16 MS. GELINAS: Correct.

17 CO-CHAIR THRAEN: Okay.

18 MS. GELINAS: By each of the
19 individual hospitals.

20 CO-CHAIR THRAEN: Okay.

21 MS. GELINAS: And then that data is
22 returned back to us quarterly and we use it for

1 internal performance improvement a great deal.

2 CO-CHAIR THRAEN: Is that a manual
3 collection, basically? So, it's not claims, it's
4 not clinical record information. This is a
5 manual --

6 MS. GELINAS: I would say it's a
7 combination of electronic and manual depending on
8 what the systems are.

9 CO-CHAIR THRAEN: Okay. Thank you.

10 CO-CHAIR SEPTIMUS: Pat, did you want
11 to comment?

12 MS. GELINAS: Did you want to comment?

13 MS. CRAMER: I was just going to
14 comment. We do -- as Danielle mentioned, we do
15 ask participants in NDNQI to tell us about what
16 the data collection process is like, because we
17 know that there's sometimes manual corrections,
18 because the way we set it up isn't exactly the
19 way it comes out of their administrative data.

20 And there's some details in that in
21 the worksheet, but most of them say it takes them
22 a little while to get it set up for their first

1 data pull, but then after that it's pretty
2 automated. So, it's not a huge burden in terms
3 of adjusting for the pulling from administrative
4 claims and then slight corrections.

5 MS. OLDS: And I just want to add that
6 93 percent of hospitals get it from some sort of
7 electronic system whether it be electronic
8 payroll account or accounting system at 61
9 percent. And 32 percent get it from electronic
10 staffing systems.

11 CO-CHAIR THRAEN: So, in follow-up of
12 that, how -- so, the research you provided is
13 basically study-based research related to
14 outcomes. You're collecting the manpower, person
15 power of that of this side of the question.

16 How are you -- what's the intent to
17 move that now towards mapping the skill bases to
18 the outcome separate from just -- from a
19 research-based approach to a surveillance kind of
20 modeling?

21 Is there any conversations around
22 that? Does that make sense? Am I asking the

1 question correctly?

2 MS. GELINAS: I don't know if I
3 understand it, because we certainly use it for
4 operational improvement pretty immediately after
5 every quarter, put data back, yes.

6 CO-CHAIR THRAEN: Okay. So, that's
7 the quality improvement methodology. But in
8 terms of the public accountability question and
9 in terms of mapping, this is not a process
10 measure, it's a structural measure to outcomes
11 even though we have individual studies, research
12 studies that indicate that there is a
13 relationship.

14 I guess my question is, where are you
15 moving in terms of being able to move this
16 forward to a point of population health
17 surveillance kind of activity, or does this stand
18 on its own, which is separate?

19 MS. GELINAS: That's Jack's question.

20 DR. NEEDLEMAN: Yes. So, this has
21 been proposed as a -- these have been proposed as
22 measures for the hospital compare dataset, which

1 is about public accountability, summary
2 reporting, assessment of quality for consumer
3 use.

4 And, frankly, my experience with most
5 public reporting systems is they do a better job
6 of shaming hospitals than the public does abusing
7 them, but that's a whole other discussion, but
8 that's the intent.

9 And the MAP endorsed it, gave a
10 conditional endorsement subject to the hospital
11 level measure that's before you now being
12 endorsed in its current form, which is the reason
13 it's here, but the long-term -- the goal and
14 intent is to move it into hospital compare to
15 deal with the accountability issue.

16 CO-CHAIR SEPTIMUS: Okay. Well, let's
17 vote -- oh, I'm sorry. Steve.

18 DR. LAWLESS: One question for you.
19 Steve Lawless. I look at it as a quadrant, per
20 se, and nursing staffing levels or hours and
21 quality.

22 So, are you also looking, are the

1 studies looking at those who are well staffed,
2 higher end, and have worse outcomes? There's a
3 problem there with other systems.

4 Are you looking at it with that much
5 scrutiny versus just skill mix by itself?

6 MS. GELINAS: So, that's for the
7 measure developer. Are you looking -- did you
8 hear -- are you looking at, I guess, the inverse
9 proportion if you're well-staffed, but you have
10 poor outcomes?

11 DR. LAWLESS: Yeah, I mean, I would
12 worry that if you had -- it's a quadrant. So, if
13 you have good staffing or high staffing and
14 despite that you have bad outcomes, for me that
15 would be a, whoa, what's going on in that place
16 more than just high outcomes.

17 And so, are you looking at developing
18 that kind of a quadrant or that kind of a skill
19 mix combination?

20 I'm going to something that she said
21 over here with that in terms of experience. So,
22 your outcomes aren't necessarily just there.

1 MS. CRAMER: We haven't done any of
2 that specifically looking at high skill mix, low
3 outcomes. Ours is mostly the whole trend line
4 rather than dividing into quadrants.

5 DR. NEEDLEMAN: So, the research
6 that's out there right now shows very strong
7 associations. And I would argue enough evidence
8 to argue causal relationships between both the
9 skill mix and the staffing hours, which we're not
10 talking about right now.

11 There are other things that have been
12 found to be correlated and interact with those.
13 The education of the nurses, which was raised
14 earlier, and the hospital work environment.

15 And there's a lot of research that's
16 looked at that, but that is all work that builds
17 upon the fact that the staffing skill mix and the
18 hours matter a lot and it modifies the effects of
19 that.

20 So, people have been looking at who
21 the negative outliers are and what other things
22 seem to be associated with being a negative

1 outlier despite having good staffing or good
2 skill mix.

3 DR. LAWLESS: So, the reason I bring
4 it up is it turns it from a structural measure,
5 which is, do you have the staff, into more of a
6 process measure or performance measure of what am
7 I doing with all this good staff.

8 And I'm asking, because it makes me
9 influence a little bit of structural measure,
10 yeah, it makes sense to do this. But if I'm
11 looking at a hospital performance, I would now
12 expect better outcomes. If I don't, that's where
13 I would like to earmark why am I not getting the
14 better outcomes.

15 I'm not - -maybe one percent or two
16 percent, but that turns it, for me, from just a
17 pure structural into something a little bit more.

18 MS. GELINAS: So, I think the answer
19 from the developer was, no, they're not looking
20 at that, but others are.

21 CO-CHAIR SEPTIMUS: Okay. Is this
22 about feasibility, because we're getting a little

1 bit off.

2 So, let's vote on feasibility so we
3 can get through this. And then I think the next
4 measure will bring up all the issue about hours.

5 MS. IBRAGIMOVA: So, the votes for
6 feasibility is one, high; two, moderate; three,
7 low; four, insufficient.

8 (Voting.)

9 MS. IBRAGIMOVA: The results are 63
10 percent high. 38 percent moderate. Zero percent
11 low. Zero percent insufficient.

12 CO-CHAIR SEPTIMUS: Okay. Now, the
13 last - next one -- I'm trying to get this right -
14 - is usability.

15 Okay. And I think we've had a lot of
16 discussion about public reporting. So, we --
17 Missy has another comment about public reporting.

18 MS. DANFORTH: I have a couple of
19 questions. So, the intent of the measures is to
20 have them used in the Inpatient Quality Reporting
21 Program.

22 Right now there are other registries

1 like NHSN where the data flows directly from NHSN
2 to CMS to be published on hospital compare.

3 Is that a similar vision, or do you
4 somehow envision CMS developing some sort of a
5 structure for the hospitals to report the data to
6 them, or do you report data directly from the
7 NDNQI database?

8 I think it's an important question for
9 hospitals related to burden.

10 MS. GELINAS: So, I know internally we
11 haven't talked about that as a process step,
12 because we already report to NDNQI.

13 So, it seems to be fairly simple to
14 transmit directly to CMS.

15 MS. DANFORTH: And that's what I'm
16 asking. And I think that applies to use and
17 usability.

18 MS. CRAMER: I see that as a
19 possibility. Although, I would hesitate to
20 require, I mean, the availability of something
21 where hospitals can report it directly was
22 probably necessary given that currently NDNQI is

1 a service that hospitals have to pay for.

2 Not everyone enrolls in it. So, it's
3 not quite the same as some of those regulatory
4 things that people are doing with like NHSN.

5 MS. DANFORTH: So, do you have a sense
6 of the burden for collecting this data for non-
7 NDNQI hospitals then?

8 So, like right now hospitals are
9 reporting this, too, electronically and then
10 you're doing the calculations for them.

11 So, if a hospital is just reporting
12 this data not to NDNQI, because they don't want
13 to pay and it becomes part of the IQR, what's
14 your sense on the burden of reporting for those
15 hospitals?

16 MS. OLDS: I would imagine that the
17 reporting would be fairly low since -- the burden
18 of reporting would be fairly low since, I mean,
19 even though NDNQI hospitals are not directly, you
20 know, they over represent in a number of
21 categories, I think the fact that we have 93
22 percent of the hospitals who are able to pull

1 this electronically that I would imagine that's
2 pretty reflective of most hospitals in the
3 country in terms of being able to pull this data
4 electronically.

5 CO-CHAIR SEPTIMUS: Pat.

6 DR. QUIGLEY: Thank you. Pat Quigley.
7 And I would like to respond, too, to Missy in
8 relationship to what gets reviewed and in terms
9 of quality improvement, program evaluation in
10 every hospital.

11 Every nurse executive has a shared --
12 has an executive group working with their chief
13 CEOs and their chief fiscal officers to be able
14 to look at staffing.

15 And while it's not part of this
16 discussion, I will say in terms of patient
17 safety, everyone looks at patient satisfaction,
18 you know.

19 Is patient satisfied with pain
20 management? Response to call light? And it all
21 comes back to nurse staffing.

22 So, you know, when you have the core

1 measures in terms of skill mix and hours, that is
2 the essential foundation to be able to even look
3 at the healthcare as delivered.

4 So, I would say in terms of the
5 usability, everybody is doing this already and
6 trying to link it to care processes and outcomes,
7 ultimately outcomes.

8 And I still go back to the original
9 definition of what patient safety practices were
10 that came out of the 1999 report, IOM report To
11 Err is Human, and we had the first AHRQ report
12 2001 on making healthcare safer, that patient
13 safety practice is a structure and a process. It
14 has the predictability to be able to predict
15 outcomes.

16 So, this is why this is so essential
17 in terms of the usability is that we have to have
18 essential, core measures to be able to measure
19 staffing and outcomes. So, thanks, Missy, for
20 that question.

21 CO-CHAIR SEPTIMUS: I'm going to try
22 to draw this to a close. We're getting a little

1 bit behind and I know at the end of the day it's
2 getting more and more difficult to focus.

3 But if we can hold it together, I
4 think we'll go through the next measure much
5 faster. So, we've had a lot of discussion,
6 except for hours, which we'll come back to, but
7 let's go through usability. And then we'll go
8 through whether or not we should endorse the
9 measure.

10 MS. IBRAGIMOVA: So, the votes for
11 usability and use are one, high; two, moderate;
12 three, low; four, insufficient information.

13 (Voting.)

14 MS. IBRAGIMOVA: The results are 38
15 percent high. 54 percent moderate. Four percent
16 low. Four percent insufficient information.

17 CO-CHAIR SEPTIMUS: Okay. This is for
18 overall suitability for endorsement. I'm not
19 sure you need to read this.

20 MS. IBRAGIMOVA: So, overall
21 suitability for endorsement. One, yes. Two, no.

22 (Voting.)

1 MS. THEBERGE: Ann, your vote hasn't
2 come through on overall.

3 (Pause.)

4 CO-CHAIR THRAEN: Do we need to vote
5 again?

6 MS. IBRAGIMOVA: The results are 96
7 percent yes. Four percent no.

8 CO-CHAIR SEPTIMUS: Okay. So, now
9 we're going to go to what a lot of people want to
10 discuss, if you haven't already discussed. So,
11 again, let's try to stay focused.

12 This one has to do with RN hours per
13 patient day. And so, we'll start off with the
14 evidence.

15 And so, I know a number of you had
16 questions, but I did ask you to hold off until
17 this time.

18 Who's the discussant for this one?

19 MS. ARDIZZONE: I am.

20 CO-CHAIR SEPTIMUS: Okay. Do you have
21 anything you want to add before we start the
22 discussion?

1 MS. ARDIZZONE: I think we've talked
2 about a lot. I just want to remind everybody
3 that this is a re-endorsement. It was originally
4 in 2003. And then 2009. And 2012 it was re-
5 endorsed most recently. This is because we're
6 adding hospital-level data that it needs a full
7 re-endorsement.

8 Again, this is number of productive
9 hours worked by RNs with direct patient care
10 responsibilities per day for each inpatient unit
11 in a calendar month.

12 The evidence, I just wanted to say,
13 again, is strong. There's a systematic review.
14 Nine longitudinal studies and one systematic
15 review were identified. The studies were
16 evaluated for effective nurse-to-patient ratios
17 on outcomes, possible harms, costs, ease of
18 implementation.

19 We conclude that the nurse-staff
20 ratios are consistently associated with a reduced
21 risk of death and all the other things we've
22 talked about before.

1 Although there was a comment from the
2 NQF staff that, you know, it's only moderate
3 level of evidence because they're not randomized
4 control trials. However, it would really not be
5 appropriate to do randomized control trials on
6 patients and change the staffing to see who did
7 better and who did worse.

8 (Laughter.)

9 MS. ARDIZZONE: Again, just to refer
10 you, there's a large table of evidence on Page 15
11 here, which is the same one as before with the
12 positives and the negatives associations.

13 So, I can't give it a high level of
14 evidence because it's not an RCT, but I think
15 it's very, very, very strong.

16 CO-CHAIR SEPTIMUS: Okay. This is
17 open for -- let's go to Charlotte.

18 DR. ALEXANDER: So, as I was looking
19 at the evidence, it looks like most of it is
20 based on staffing and not on hours.

21 And I have a similar concern about
22 hours and this may be unintended consequences

1 more than evidence, but certainly when you look
2 in the patient safety world when you start
3 working more than eight hours, you start having
4 an increase in errors.

5 And I think there's a dichotomy in
6 what we're asking our nurses to do. We ask them
7 to work over to keep our staffing levels up and
8 we're putting them in a position where they're
9 fatigued and they get burned out and errors
10 happen.

11 And so, I have a concern about a
12 measure that is measuring nursing hours that
13 might push a little bit that way. And so, I'm
14 not certain the evidence supports the hours and I
15 think it may have unintended consequences.

16 MS. ARDIZZONE: Unless the developers
17 want to take it, I mean, what I can say is I've
18 seen some of that data and I don't think what
19 they're trying to intimate here is -- they're
20 trying to quantify the nursing hours, not saying
21 you should work 12 or you should work 11.

22 They're just trying to quantify hours

1 delivering patient care, needed patient care
2 versus that -- your unit staff, but half the time
3 your nurse is at an educational meeting, at a
4 committee meeting doing some charting as a CNS.

5 You can't count that into your nurse-
6 patient hours, because that's not delivering
7 patient care.

8 I don't think it's touching the issue
9 of -- I think what you're alluding to is
10 mandatory overtime, 12-hour shifts, flex time,
11 things like that and I don't think this has
12 really much to do with that.

13 I think this is quantifying nurses
14 that you have that are giving direct, needed
15 patient care. And we should know that direct
16 patient needed care saves lives, changes
17 outcomes.

18 CO-CHAIR SEPTIMUS: Missy.

19 MS. DANFORTH: Thanks. And, again,
20 this might just be because it's late in the day,
21 but can you talk about the differences, I guess,
22 since all the evidence is based on the

1 relationship between nurse-to-patient ratios, why
2 the denominator here is patient days, not
3 patient, and then how the patient days are
4 calculated?

5 I know you offer like four methods,
6 but it was a little bit confusing to me. So, a
7 patient day within a unit could potentially be
8 like ten days, but 30 patients.

9 So, could you just talk about your
10 choice of the denominator being days instead of
11 patients when all of the evidence is about nurse-
12 to-patient ratios, and then talk specifically
13 about how you calculate the patient days?

14 DR. NEEDLEMAN: Yes. This has to do,
15 in part, with how the data is collected. We're
16 back to burden a little bit. And there are a
17 variety of different ways of doing that.

18 Because nurses -- some nurses work 12-
19 hour shifts, some work eight, many nurses in
20 hospitals are actually working part time, they
21 may pull one or two twelves rather than three a
22 week, they may work four-hour shifts or split

1 shifts, it's hard to sort of count the number of
2 patients per nurse on any -- at any given time or
3 average that out.

4 The hours that are available during
5 the day or during the week divided by the number
6 of patients represent a way to standardize the
7 different work patterns of nurses.

8 There are researchers that have looked
9 at the hours per patient day and translated that
10 into the number of patients per nurse during a
11 normalized eight-hour shift and estimated the
12 impact of that.

13 So, the Kane meta-analysis of these
14 studies that appeared in Medical Care, for
15 example, took many studies which were basically
16 hours per patient day and translated that to the
17 effect of one additional patient -- the burden of
18 one additional patient on a nurse over the course
19 of a standard shift.

20 So, the two are frequently viewed as
21 simply translations of one another taking into
22 account the variety of work forces -- variety of

1 work times that the -- kinds of work schedules
2 that nurses have. I got it eventually.

3 MS. DANFORTH: So, is it comparable?
4 So, are patient hours comparable to patients, I
5 guess, is what I'm asking.

6 And so, how is that figured into the
7 methods you have for calculating patient days?

8 MS. OLDS: That's a great question.

9 So, we classify a patient day as 24
10 hours. One of the challenges with that is if you
11 have short stay or observation units, patients
12 may not stay a full 24 hours.

13 And so, using our calculation methods
14 which we have on Page 24, units can choose the
15 method. And we make recommendations of which is
16 the most accurate way for them to count their
17 patients.

18 And so, that sort of plays in a bit to
19 the feasibility and use and usability in terms of
20 how units choose to calculate the method.

21 MS. CRAMER: And if you're interested
22 in reading lots of extra stuff, in the technical

1 report is also a reliability study of the patient
2 days indicator itself. So, we've done separate
3 reliability studies on the patient days. So, the
4 denominator has its own reliability associated
5 with it.

6 CO-CHAIR SEPTIMUS: Okay. Pat, and
7 then Steve.

8 DR. QUIGLEY: Thank you. Pat Quigley.
9 And I'd like to comment, too, and add to, Dr.
10 Alexander, to your question in that the NDNQI
11 data in measuring the hours per patient day, this
12 actually quantifies the amount of time in, as you
13 had heard, in productive hours, time at the
14 bedside. And this becomes the core anchor, the
15 structure anchor to then be able to measure other
16 metrics.

17 And I think part of what you were
18 asking is moving us into the usability, is how do
19 you use this as a core anchor to be able to look
20 at the effect of that time, as well as the skill
21 mix on patient outcomes.

22 I think that that's where you were

1 going into the relationship to the usability, but
2 this is that core anchor to be able to look at
3 those other things. And that's where the
4 performance gap is in the usability.

5 CO-CHAIR SEPTIMUS: Steve.

6 DR. LAWLESS: Yes, two. One was a
7 follow-up to something you just said about the
8 observation status.

9 I mean, the 48-hour rule. Somebody
10 being in the hospital 47 hours is not being an
11 inpatient.

12 You said people have an elective. You
13 can either use those patients or not in your
14 calculation, or are they excluded? That's one.

15 And the second thing is the definition
16 of "nonproductive time," you're defining it as
17 education hours, committee time.

18 There are -- and I'm going to use the
19 word "diversion" not in a narcotic sense, but
20 there is diverting activity for a nurse at the
21 bedside which are not -- they're at the bedside,
22 but they're still not doing direct patient care.

1 We're finding with the EMR that
2 patients are complaining more that are you
3 talking to the computer, or are you talking to
4 me?

5 So, maybe you want to consider for
6 future nonproductive bedside time which is
7 diverting actually from direct clinical time,
8 because that may become the newer generation
9 nonproductive time.

10 DR. NEEDLEMAN: Yes, there are an
11 increasing number of studies that are looking at
12 how nurses spend their productive time. And I
13 will tell you about 25 percent of it isn't
14 documentation.

15 And luckily, the EHR doesn't seem to
16 have increased that, but it hasn't decreased it
17 either.

18 So, there are studies that are
19 actually looking at the distribution of how
20 nurses spend their time, but the -- and what
21 impact that has on things like missed care and so
22 forth and those studies are going on.

1 And one of the things that's going to
2 enable them to go on is a good national database
3 on the core understanding what the staffing
4 levels are, what the hours per patient day are.

5 CO-CHAIR SEPTIMUS: Any other question
6 about the observation? They can decide yes or no
7 to include observation hours then?

8 MS. OLDS: So, at this point hospitals
9 choose which units they wish to submit data on.
10 And we do include observation patients. And we
11 have a validated unit typology that we use that -
12 - I believe it's in the scientific supplement on
13 how those units are classified.

14 CO-CHAIR SEPTIMUS: Chris.

15 DR. COOK: Yes, this is Chris Cook.
16 The issue I'm having, I guess, with both
17 measures, the last one and this one, is the fact
18 that, you know, definitely is a structural
19 measure.

20 But if you're using it by itself just
21 from what the definition is, it still doesn't
22 tell you anything. You have to still be able to

1 use it in reference with other quality measures
2 to then be able to push back. And a lot of this
3 is more of a business function as anything else.

4 And since this has been around since
5 2003, it seems to me we've got to be able to get,
6 I mean, I will say this: I applaud you guys for
7 what you've done for nursing and what's there. I
8 will say pharmacists are in the exact same boat.
9 I would say physicians are in that exact same
10 boat of being pressed further and further of how
11 many patients, you know, how many minutes you get
12 with a patient.

13 I don't know how we solve or crack the
14 nut just to solve this, but we've got to be able
15 to do something either that puts it at what is
16 your institution in as a percentile that sort of
17 gets to a point, at what point below percentile
18 do you start really seeing the negative factors,
19 you know, for patient care, or putting it in
20 association with another type of outcome measure
21 that then allows you to equate what's there.

22 But right now just by itself and

1 especially even in -- if it's reported at a state
2 level if you put those numbers out there, I have
3 no idea what that ratio mix is or what that hour
4 is, you know, the ratio between nursing hours to
5 patient unless you put it with something else of
6 some type of outcome quality. Sorry. Just a
7 statement.

8 CO-CHAIR SEPTIMUS: I think we're
9 going to go to Josh, and then Victoria, and then
10 --

11 DR. RISING: Hi, this is --

12 CO-CHAIR SEPTIMUS: Let me see if I
13 understand. When looking at the evidence, and
14 the evidence is does nursing care hours have an
15 impact on patient safety and quality of care, am
16 I correct on that? Okay. So, that's what we're
17 discussing here in terms of the evidence.

18 Is there evidence that the nursing
19 hours have an effect on patient care? I just
20 want to make sure I pose the right question.

21 Josh.

22 DR. RISING: Hi. Josh Rising. Two

1 questions that I have. So, the first has to do
2 with the ability of the hospitals to kind of
3 choose different units.

4 All right. I mean, we all know
5 different units in hospitals will have different
6 staffing ratios, you know. So, theoretically
7 hospitals that don't have, you know, intensive
8 care units or have very small ones would look
9 worse kind of on this measure compared to
10 hospitals that do have intensive care units just
11 due to their usual staffing mix; is that correct?

12 MS. CRAMER: That's correct. And
13 NDNQI initially designed this measure for unit
14 level. So, that's why we did that so that you
15 can compare critical care units to critical care
16 units.

17 When we created the hospital-level
18 measure, we actually standardize it so that each
19 unit type has a weighting based on other units of
20 that type. So, critical care units are all --
21 and then it's also that standardized score is
22 then weighted by the patient volume for that unit

1 type so that if Hospital A has four critical care
2 units and Hospital B only has one, their
3 standardized score is going to be weighted not
4 only by the unit type, but also by the number of
5 patients in that unit type.

6 And then those standardized scores are
7 aggregated to create the hospital-level metric so
8 that those two things are accounted for.

9 DR. RISING: Okay. Great. Thanks.
10 That's helpful.

11 My second question has to do with the
12 -- I know where this may be shifting at just
13 slightly, but to the disparity kind of section
14 kind of where you talk about the range kind of,
15 you know, hospital scores on this.

16 So, it looks like low-performing
17 hospitals, you know, you say it looks like about
18 five hours of nursing care per patient day. And
19 the highest hospitals are about 15 hours of
20 nursing care per patient day.

21 I mean, to me, that seems like a
22 pretty incredible spread kind of to have. So, I

1 was curious if you could kind of comment on, you
2 know, on does that really reflect the reality
3 that you're going to have some hospitals at five
4 and some at 15?

5 CO-CHAIR SEPTIMUS: Well, go ahead and
6 answer. That's going to be covered in the gap
7 question, but go ahead and answer that question
8 now.

9 MS. OLDS: So, you're asking if the
10 range of nursing care hours that's reflected here
11 at the hospital level is what --

12 DR. RISING: I mean, it's just such a
13 large range, right --

14 MS. OLDS: Yes.

15 DR. RISING: -- that you would have
16 some hospitals five hours of nursing per patient
17 day, and some would have 15.

18 So, I was wondering if you could, you
19 know, just comment on, I mean, do you think is
20 that accurate; do you think? You know, what's
21 your sense on kind of what could, you know, why
22 some hospitals would be that different kind of

1 on, you know, an assessment like that.

2 MS. OLDS: I think that that's
3 accurate. I think that you're going to have some
4 hospitals that really allocate resources toward
5 nursing, and some hospitals that, for whatever
6 reason, don't.

7 MS. CRAMER: You can also look at it
8 by the hospital type, because there's certain
9 types of hospitals that staff -- general
10 hospitals tend to staff more broadly. And, like,
11 pediatric hospitals lots of times overstaff or
12 you'll see different types of that range across
13 hospital types would be a little bit -- would be
14 kind of visible, too.

15 CO-CHAIR SEPTIMUS: Victoria.

16 DR. RICH: Some of this that might be
17 helpful is the hours of care and particularly
18 what we did at Penn was we looked at the acuity
19 of the patient. And we always looked at the CMI
20 of what was happening with the severity of
21 illness.

22 And just to share even though this is

1 a structural model, what CNOs are doing across
2 particularly the academic centers, back to kind
3 of what you're saying, Dr. Alexander, is that
4 every time I wanted to look at intuitively from
5 my shared governance that we didn't have enough
6 nurses or hours of care on a unit, we would look
7 at our outcome indicators, all our nursing-
8 sensitive outcomes, our falls, our pressure
9 ulcers and very commonly you could increase hours
10 of care based on what those quality outcomes
11 were.

12 And so, hours of care for us are very
13 important moving forward. And we benchmark those
14 with like organizations.

15 And so, they're not individual nurses.
16 They're to be the amount of the direct care
17 touched by that patient by an RN.

18 And sometimes on a 12-hour shift,
19 unfortunately, and you can't drill down, that
20 might be one or two nurses. And sometimes you
21 have two nurses with one patient, but I want us
22 all to think about its acuity and hours of care

1 are now a metric that we can benchmark to look at
2 the patient and quality safety outcome.

3 So, hours of care are very important
4 and we need to standardize those because that's
5 not only -- that also helps us with outcomes, but
6 it also helps with financial messages to non-
7 nurses within organizations.

8 CO-CHAIR SEPTIMUS: Okay. The last
9 two comments and then we have to go to vote. So,
10 we're going to go to Yanling and then to Jason.

11 DR. YU: I'm still struggling with
12 this. Definitely I see the evidence. I agree
13 the evidence is strong that you have nursing
14 hours that correlate highly with the patient
15 safety, but my concern is if you got down to the
16 facility level, how do you -- when you calculate
17 the parameter, how do you separate it that long
18 working hours versus you hire nurse versus
19 patient ratio.

20 So, you can get the same nursing hours
21 either by increasing the nurse working hours, or
22 by increasing the number of nurses per patient,

1 right?

2 So, when you're down to the facility,
3 how do you make sure that nurses are not burned
4 out and they have adequate ratio?

5 To me, the adequate ratio is more
6 robust than the hours when you come down to
7 really calculate the cumulated how long the hours
8 are worked at a facility, if I understand
9 correctly.

10 DR. RICH: I just think that -- I
11 don't think that's really the intent of what
12 we're trying to look here.

13 I hear what you're saying, but I think
14 that would be for another measure with fatigue
15 and actual bodies.

16 But with hours of care and then what
17 you're drilling down to, I don't think, is the
18 intent of what we're doing now.

19 And I think we could talk off site for
20 that, but what you're saying is there are
21 multiple layers of hours of care.

22 But for us to have hours of care to

1 begin with and start to have that as a measure,
2 it starts us to start to have more powerful
3 meaning to look at that it's not a nurse that
4 works 18 hours, but it's maybe two or three
5 nurses.

6 CO-CHAIR SEPTIMUS: Okay. Jason, and
7 then we're definitely voting and we're going to
8 move through this quickly.

9 DR. ADELMAN: Jason Adelman. I'm
10 struggling with reconciling the previous measure
11 with this measure.

12 From the perspective of the first
13 measure if you look at the numerator definition,
14 there's four numerators. It's almost as if
15 there's four measures and it's -- for nurses and
16 nurse aides there's the total hours. Each
17 numerator is the total hours over the total
18 hours.

19 And then for the second measure it's
20 let's take the sum of all those hours of all
21 those people again and look at patient days.

22 And if you want to know something

1 like, for example, how many nurse hours are
2 there, just nurses per patient day like let's say
3 you believe that the more -- if a nurse spends
4 three hours with a patient, then that will
5 decrease medication errors. And if nurses aides
6 spend two hours with patients, then maybe that
7 will decrease falls. And these are important
8 ratios.

9 You almost have to, like, multiply the
10 two measures together because of the odd way
11 they're structured to get at it. And it feels
12 like a simple change will, like, make them much
13 clearer.

14 Like, for example, why doesn't the
15 second measure also have separate numerators so
16 that I can know how many nurse hours there are
17 per nurse day, how many nurse aides hours. And
18 then I can answer the question, you know, does
19 three hours of nurse aides to help toileting
20 decrease falls?

21 It's just odd to me why the first one
22 is split in that way and the second one is -- so,

1 maybe you can answer that.

2 CO-CHAIR SEPTIMUS: Emily.

3 MS. CRAMER: Actually, we do split
4 them. It may not be clear, but NDNQI does split
5 them and report them separately.

6 So, we give them four -- hospitals
7 that participate, we give them four rates, I
8 think. It's total nursing hours, and then RN
9 hours, LPN hours and UAP hours total divided by
10 the --

11 DR. ADELMAN: So, then why would the

12 --

13 CO-CHAIR SEPTIMUS: Jason, part of the
14 reason why it's parameterized this way is much of
15 the research has been done with total hours or
16 licensed hours per patient day, and then the
17 split of those across the different levels of
18 skill mix.

19 So, you're right. They get multiplied
20 together to get the answer to how many RN hours
21 per patient day do you have, but most of the
22 research actually sort of has looked at it this

1 way. So, the measure is tracking to -- for
2 public reporting is tracking to the way the
3 research has found the effects.

4 And when we did our 2002 study, we
5 also found that these two measures, the skill mix
6 and the number of hours, were actually
7 orthogonal. They were not highly correlated.
8 So, each gives you valuable and distinct
9 information.

10 CO-CHAIR SEPTIMUS: Okay. We're going
11 to vote. And you vote on what you heard and what
12 the level of evidence is, but we need to vote.

13 I think we've got a pretty good
14 discussion. So, Laura.

15 MS. IBRAGIMOVA: So, 1(a) evidence for
16 structure process intermediate outcome. Votes
17 are high only eligible acute UC submitted; two,
18 moderate; three, low and; four, insufficient
19 evidence.

20 (Voting.)

21 CO-CHAIR SEPTIMUS: What happened?

22 MS. IBRAGIMOVA: One second.

1 (Pause.)

2 CO-CHAIR SEPTIMUS: Looks like a

3 revote.

4 MS. IBRAGIMOVA: Revote.

5 CO-CHAIR SEPTIMUS: Okay. Everyone

6 revote.

7 (Revoting.)

8 MS. IBRAGIMOVA: So, the results are

9 high, 25 percent. Moderate, 71 percent. Low,

10 four percent. Insufficient evidence, zero

11 percent.

12 CO-CHAIR SEPTIMUS: Okay. So, let's

13 go to the next, which is gap.

14 MS. IBRAGIMOVA: Importance to measure

15 and report performance gap. The vote is one,

16 high. Two, moderate. Three, low. Four,

17 insufficient.

18 (Voting.)

19 MS. IBRAGIMOVA: So, the results are

20 50 percent high. 38 percent moderate. 13

21 percent low. Zero percent insufficient.

22 CO-CHAIR SEPTIMUS: Okay. The next

1 one, I hope I get this right. Tell me it's
2 reliability.

3 MS. IBRAGIMOVA: Scientific
4 acceptability of measure properties, 2(a),
5 reliability. The votes are one, high. Two,
6 moderate. Three, low. Four, insufficient.

7 MS. ARDIZZONE: If I could just
8 comment, on Page 33 the developers provided a
9 summary table that performed ICC at unit in
10 hospital levels and the numbers were acceptable.

11 (Voting.)

12 MS. IBRAGIMOVA: The results are 42
13 percent high. 58 percent moderate.

14 MS. ARDIZZONE: Just to comment on
15 validity on Page 36, the measures also submitted
16 a table that showed acceptable validity was done
17 at both the unit and the hospital level.

18 CO-CHAIR SEPTIMUS: Okay. Seeing no
19 questions, let's go. Vote.

20 MS. IBRAGIMOVA: So, for scientific
21 acceptability of measure properties, 2(b),
22 validity, the votes are one, high; two, moderate;

1 three, low; four, insufficient.

2 (Voting.)

3 MS. THEBERGE: Ann, we need your vote.

4 (Pause.)

5 MS. IBRAGIMOVA: The results are 25
6 percent high. 75 percent moderate. Zero percent
7 low. Zero percent insufficient.

8 CO-CHAIR SEPTIMUS: Now, I hope I get
9 the next one correct. We're going to talk about
10 feasibility.

11 Any comments on feasibility?

12 (No comments.)

13 CO-CHAIR SEPTIMUS: Okay. Let's vote.

14 MS. THEBERGE: Not yet. Not yet.

15 (Pause.)

16 CO-CHAIR SEPTIMUS: There we go. Go
17 ahead.

18 MS. IBRAGIMOVA: So, for feasibility
19 the votes are one, high; two, moderate; three,
20 low; four, insufficient.

21 (Voting.)

22 MS. THEBERGE: Ann and Kimberly, we

1 need your votes.

2 (Pause.)

3 CO-CHAIR SEPTIMUS: Two more.

4 MS. THEBERGE: Ann, your vote hasn't
5 come through.

6 (Pause.)

7 CO-CHAIR SEPTIMUS: Is everybody still
8 in the room? Okay.

9 (Pause.)

10 MS. IBRAGIMOVA: So, the results are
11 52 percent high. 48 percent moderate. Zero
12 percent low. Zero percent insufficient.

13 CO-CHAIR SEPTIMUS: Okay. The next
14 element is usability. Again, this goes into
15 measure being used and reported.

16 MS. IBRAGIMOVA: So, for usability and
17 use the votes are one, high; two, moderate;
18 three, low; four, insufficient information.

19 CO-CHAIR SEPTIMUS: Okay. We'll vote.

20 (Voting.)

21 CO-CHAIR SEPTIMUS: One more. There
22 we go.

1 MS. IBRAGIMOVA: So, the results are
2 46 percent high, 46 percent moderate, eight
3 percent low, zero percent insufficient
4 information.

5 CO-CHAIR SEPTIMUS: Okay. And the
6 last question is whether or not this is suitable
7 for endorsement.

8 MS. IBRAGIMOVA: Overall suitability
9 for endorsement. One, yes. Two, no.

10 (Voting.)

11 MS. IBRAGIMOVA: Missing one.

12 (Pause.)

13 CO-CHAIR SEPTIMUS: One more.

14 (Pause.)

15 MS. IBRAGIMOVA: The results are 87
16 percent yes. 13 percent no.

17 CO-CHAIR SEPTIMUS: Great. What I
18 would -- we'll try to figure out how we use the
19 rest of our time.

20 I think everyone is getting a little
21 bit tired. So, I think let us take a short ten-
22 minute break and then we're going to sort of

1 regroup and see which measures we want to
2 consider this afternoon.

3 Probably end about a quarter of 6:00.
4 And then we do have a potential additional call
5 if we don't get to all the measures.

6 We have some people coming in from the
7 CDC in the morning. So, we have to try to be
8 sensitive to people's travel and time
9 availability.

10 So, why don't you all take a five or
11 ten-minute break and let us powwow as to what
12 measures we want to consider for the rest of the
13 --

14 MS. GELINAS: So, it's important
15 because our measure developers that were here for
16 today may not be here tomorrow. So, considering
17 --

18 CO-CHAIR SEPTIMUS: That's all --
19 thank you. That's exactly what we're talking
20 about.

21 (Whereupon, at 4:35 p.m. the
22 proceedings went off the record for a short break

1 and went back on the record at 4:41 p.m.)

2 CO-CHAIR SEPTIMUS: Okay. So if we can
3 kind of settle in, we'll tell you what we've
4 planned for the rest of your afternoon. We have
5 a lot of public comment going on in the
6 background. So what we're going to do is we're
7 going to do the next three measures.

8 And we're going to keep to a very
9 tight time frame so we can get all three in the
10 next hour. And then we're going to hold over the
11 last two for tomorrow. Okay? Everybody got
12 that? So this time, Drew is really going to be -
13 - whatever Drew says, goes. So Drew, you have
14 the authority. We're doing the next three.

15 SPEAKER: Can I ask a question?

16 CO-CHAIR SEPTIMUS: Who's asking?

17 SPEAKER: Oh, sorry. This is Apps
18 Associates, one of the Measure developers for
19 Measure 0538. I just have a question. You had
20 mentioned earlier that the process for reserve
21 status wasn't maybe followed the way it should
22 have. Is that something that will have to be

1 presented again tomorrow? Or can be done via
2 email?

3 CO-CHAIR SEPTIMUS: I think for the
4 sake of -- we'll probably do this by email.
5 Because I think --

6 SPEAKER: Okay.

7 CO-CHAIR SEPTIMUS: But thank you for
8 reminding us. Everybody knows Drew, right? So
9 whenever Drew raises that card, we're going to
10 pay attention and we'll try to get used to it
11 this afternoon so we can do it tomorrow. And
12 hopefully we'll get us back on track.

13 We've had some great discussions, but
14 obviously we're just getting a little bit tired.
15 So that's why I thought we needed to take a
16 break. All right. So, Fall with Injury, 0202.
17 I have a funny feeling it's the developers to my
18 left. Change in plans. So we're playing the
19 baby card here? I'm teasing. No, it's
20 legitimate.

21 DR. PINES: So the measure developer
22 for 0674, CMS, is here and has a baby to get home

1 to and actually has to leave. So we're going to
2 have 0674 come in next, and then we're going to
3 have 0202 and 0141. If that's okay.

4 CO-CHAIR SEPTIMUS: Still going to do
5 three. But Drew still has the axe. He's got the
6 hook. All right, 0674, Percent of Residents
7 Experiencing One or More Falls with Major Injury
8 and Long-Stays. Go developers. Clock is
9 running.

10 MS. SMITH: Hi. This is Laura Smith
11 from RTI. I'm here with Dr. Sarah Karen and Dr.
12 Tara McMullen from CMS. I'll hand it to Sarah to
13 do the introduction.

14 DR. KAREN: Good afternoon. This
15 measure captures the percentage of long-stay
16 residents in a nursing facility who have had a
17 fall that resulted in a major injury. Major
18 injuries include bone fractures, joint
19 dislocations, closed head injuries with altered
20 consciousness, or subdural hematoma.

21 The quality measure is based on
22 information from the Nursing Home Minimum Data

1 Set 3.0 and addresses the NQF Patient Safety
2 Domain. This measure is currently endorsed and
3 it is not the measure proposed for the IMPACT
4 Act. This measure has been found to be reliable.

5 The issue of falls with major injuries
6 is a significant one. Approximately three-
7 quarters of nursing facility residents fall at
8 least once a year, a rate twice that of their
9 community living counterparts. While not all
10 these falls result in a serious injury, those
11 that do are often a leading cause of death and
12 disability in this population.

13 They represent a significant cost
14 burden, both for the immediate treatment of the
15 fall-related injury, as well as for the long-term
16 increase in costs. And additionally, can result
17 in fears among residents that lead them to
18 restrict their independent function and reduce
19 engagement in social activities, thereby reducing
20 their quality of life.

21 Using data from the Second Quarter of
22 2014, we tested the measure properties for this

1 measure for long-stay residents in all Medicare
2 and Medicaid certified nursing homes nationwide.
3 We found the average facility score for this
4 measure was 3.2 percent, with a median facility
5 level score of 2.7 percent. Both of these
6 figures are slightly higher than what had been
7 found three years previously, but that rate had
8 then decreased a little bit and was stable since
9 the Third Quarter of 2013.

10 The measure captures variation in
11 performance across facilities. At least 10
12 percent of facilities had 6.6 percent of
13 residents who had fallen with a major injury, a
14 rate more than twice the facility average. And
15 about one in six facilities had had no residents
16 with falls with major injuries during this time
17 period.

18 The measure has a small, but
19 statistically significant correlation with
20 quality measure 0688, the Percent of Residents
21 Whose Need for Help with Activities of Daily
22 Living Has Increased, which offers some measure

1 of convergent validity.

2 This quality measure is not risk-
3 adjusted. The decision not to recommend risk
4 adjustment was based on careful review of the
5 literature and feedback from a technical expert
6 panel in October of 2009. The members of that
7 panel recommended strongly against risk adjusting
8 this quality measure. As one person said, by
9 admitting a resident, the facility is assuming
10 responsibility for them. If they are at high-
11 risk, the facility should deal with it.

12 The measure is unique in the
13 population outcome that it concerns. There are
14 similar NQF endorsed measures that either address
15 different populations, such as hospital
16 inpatients, or address care processes related to
17 falls, but not the outcome of falls. This
18 measure, the Prevalence of Falls with Major
19 Injury Among Long-Stay Residents, is the most
20 appropriate for this population.

21 Public reporting of this measure via
22 Nursing Home Compare offers valuable information

1 to residents and their families. And this
2 measure also is part of the CMS Five Star Rating
3 System. Thank you.

4 CO-CHAIR SEPTIMUS: Okay. So I think
5 Melissa's going to discuss this. No, Missy. I'm
6 sorry, not Melissa. She stepped out.

7 CO-CHAIR THRAEN: Who else is on the
8 team?

9 CO-CHAIR SEPTIMUS: No, she stepped
10 out.

11 CO-CHAIR THRAEN: Ann, on the phone?
12 Ann can you address this from the point of view
13 of evidence? Ann's not there. Missy?

14 CO-CHAIR SEPTIMUS: We had a slight
15 change in schedule, Missy. I'm sorry.

16 CO-CHAIR THRAEN: You're the lead.
17 They changed it up.

18 MS. DANFORTH: I'm sorry. I thought we
19 were --

20 CO-CHAIR SEPTIMUS: No, no. There's
21 someone needed to leave early, so we changed it
22 around. So it's not your fault. But you still

1 have to talk about the evidence now around this
2 measure. They've already done it, yes. And
3 we're trying to keep on time.

4 MS. DANFORTH: The developers did
5 provide a summary of a systematic review. They
6 listed several processes of care associated with
7 major falls with injury, including a
8 multifactoral falls risk assessment, management
9 programs, exercise interventions, Vitamin D
10 prescriptions -- that's the only one I'm the lead
11 discussant on.

12 CO-CHAIR THRAEN: 0674. Who's the lead
13 on this? Okay.

14 MS. MCGIFFERT: Okay. So --

15 MS. DANFORTH: No, I'm sorry. That is
16 the evidence that is with that document. Yes.
17 So I actually have it all printed out in front of
18 me. I know this is the right measure. Okay.

19 So this basically -- the evidence
20 basically suggests that there's actually lots of
21 processes the nursing homes can put into place to
22 reduce falls with major injuries, including the

1 things that I just named, are actually in this
2 document.

3 So I thought that the evidence was
4 extremely strong. They produced several articles
5 and information. So I rated the evidence very
6 high. This measure's been in place for a long
7 time. In addition, it is being public reported
8 right now in Hospital Compare. It is also a
9 standard question in the MDS.

10 CO-CHAIR SEPTIMUS: Okay. Discussion
11 on the evidence. Anybody have any comments? It
12 sounds like Missy was very satisfied with the
13 evidence. Seeing none, let's vote on the
14 evidence.

15 MS. IBRAGIMOVA: So, importance to
16 measure and report evidence, health outcome or
17 PRO, 1 Yes, 2 No.

18 MS. THEBERGE: Kimberly, we need your
19 vote. Kimberly, are you still there?

20 MS. IBRAGIMOVA: The results are, 100
21 percent Yes, 0 percent No.

22 CO-CHAIR SEPTIMUS: That is our second

1 unanimous vote. Okay. Let's move to gap.

2 Missy?

3 MS. DANFORTH: So in terms of the gap
4 in performance for this Measure, again, one of
5 the advantages is that it is being publically
6 reported on Hospital Compare. The reported rates
7 actually range from zero to 20 percent. The mean
8 for the measure is 3.2 percent, and the standard
9 deviation is 2.6 percent.

10 But I think if you look at the ranges,
11 the reported ranges, and compare that to the
12 mean, there's significant opportunities for
13 improvement. And one of the things -- and I
14 apologize for coming in late, I don't know if the
15 measure developer mentioned, but this is only a
16 measure of very serious falls.

17 And so to see this kind of significant
18 gap for things like fractures, joint
19 dislocations, head injuries with disassociation,
20 these are major injuries and the performance gap
21 is significant.

22 CO-CHAIR SEPTIMUS: Okay. So it also

1 looks like there may be some disparities in the
2 data as well. Is that correct, Missy?

3 MS. DANFORTH: So the disparities that
4 they mentioned were two. And I thought that, at
5 least for me, the data they provided was a bit
6 mixed. So there is racial disparity, where some
7 studies show that white residents had higher
8 rates, but then they actually produced a counter-
9 study that showed that black residents had a
10 higher risk.

11 The other disparity was actually in --
12 they look at socioeconomic disparities and used
13 as sort of a proxy is the percentage of residents
14 that were eligible for Medicaid. And there they
15 found that actually in facilities that had
16 greater than 75 percent Medicaid eligible
17 residents, they had a lower rate on this measure.

18 Which I honestly wouldn't have -- so
19 I don't know if you have anything to add on that,
20 but to me the racial disparities literature was
21 mixed. Then the socioeconomic disparity was
22 somewhat surprising.

1 CO-CHAIR SEPTIMUS: Any comment?

2 DR. KAREN: You're right.

3 (Laughter.)

4 DR. KAREN: The data mix --

5 MS. DANFORTH: Okay.

6 DR. KAREN: -- and it's hard and I
7 don't --

8 MS. DANFORTH: To understand what's
9 going on.

10 DR. KAREN: -- know that we have a good
11 answer to what the explanation is and perhaps
12 more time and observing this over a period of
13 time --

14 MS. DANFORTH: Yes.

15 DR. KAREN: -- might give us some
16 information. But it's very interesting. We just
17 don't have a good explanation for it.

18 CO-CHAIR SEPTIMUS: Pat.

19 DR. QUIGLEY: Thank you. Pat Quigley.
20 And I fully support this measure and I'm so
21 thankful for you having this measure. And thank
22 you also for referencing some of the work that

1 we've done in the Department of Veterans Affairs,
2 because there is a huge performance gap here.

3 And our zero is we don't want anyone
4 to die from a fall. That is our zero. And our
5 second zero is we don't want anyone to fracture a
6 hip because, for men who fracture hips and end up
7 in long-term care have a 30 percent higher
8 mortality rate in a year.

9 So, I hope at some point in time, this
10 gets linked to structure and process. Because
11 there are interventions that can be placed to
12 reduce injury. And still to have more refinement
13 because there is no data, hardly any data at all
14 on falls with serious injuries from wheelchairs.

15 And in long-term care, we have a lot
16 of wheelchair users and falls from wheelchairs
17 are a disaster. They're very grave. So I thank
18 you so much for this measure and the opportunity
19 to be able to improve practice.

20 CO-CHAIR SEPTIMUS: Okay. Ten minutes.

21 MS. DANFORTH: Okay.

22 CO-CHAIR SEPTIMUS: No, no, there's

1 time. Drew is telling me ten minutes, so --

2 MS. DANFORTH: Are we voting?

3 CO-CHAIR SEPTIMUS: -- if no one's up,
4 let's go ahead and vote on the gap.

5 MS. IBRAGIMOVA: Importance to measure
6 and report 1B performance gap, the votes are 1
7 High, 2 Moderate, 3 Low, 4 Insufficient. The
8 results are 67 percent High, 29 percent Moderate,
9 4 percent Low, 0 percent Insufficient.

10 CO-CHAIR SEPTIMUS: Okay. Next is
11 reliability. Missy?

12 MS. DANFORTH: Yes. So the developers
13 actually provided quite a bit of detail on the
14 work they did around reliability and validity. I
15 can summarize it for you, but there's actually a
16 lot information in the packet. I believe they
17 had RTI do the work for them.

18 The one thing I'll note about the
19 reliability testing, they may have additional
20 things to add, is there was a note that the
21 measure was best at detecting outliers. So best
22 performers and worst performers. And that there

1 were slightly smaller differences with hospitals
2 who were sort of clustered around the mean.

3 Again, I think that because of the
4 significant performance variation in the measure,
5 it's still something we should be paying close
6 attention to. But I just did want to bring that
7 up. And they may have other things to add. But
8 there's an entire -- two separate reports done by
9 RTI in here for two different time periods, if
10 anyone wants to look at it in detail.

11 CO-CHAIR SEPTIMUS: Any comments from
12 the developers?

13 MS. SMITH: Thank you. And I think the
14 only thing that I would add just is we have a
15 similar situation as we had with the last measure
16 that we talked about. Which is that we have very
17 good item level reliability, but as you mentioned
18 that sort of the distinctions are more, at the
19 Measure level, are more in the tails.

20 MS. DANFORTH: But, again, this is one
21 of those measures where maybe the right number is
22 zero, so anything above zero I think is

1 definitely worth people having access to that
2 information. Vote?

3 CO-CHAIR SEPTIMUS: Pat? Oh,
4 Charlotte.

5 DR. ALEXANDER: So again I want to ask
6 about the signal-to-noise. Because it's
7 something I don't have a real grasp on. When
8 we've got these measures that are coming forward
9 where we're going to be holding facilities
10 responsible and, yet, the signal-to-noise is not
11 good, in other words they're saying, we can't
12 make meaningful statements about comparative data
13 on facilities, where does that put us as far as
14 our recommendation?

15 DR. KAREN: I think that to some extent
16 that signal-to-noise issue gets at what Missy was
17 saying. That we did find that the measure does a
18 good job of distinguishing at the extremes. So I
19 think what we're finding there is that there's a
20 lot overlap in that middle area.

21 But I think really the extremes is
22 what we care about. We want to know who are the

1 good performers that we can learn from and who
2 are the ones that are really struggling so we can
3 target our resources there.

4 CO-CHAIR SEPTIMUS: Okay. Seeing
5 nobody else, let's go ahead and vote. Drew,
6 where are we, five minutes now, Drew? Drew says
7 five minutes.

8 MS. IBRAGIMOVA: So scientific
9 acceptability of measure properties, 2A,
10 reliability, the votes are 1 High, 2 Moderate, 3
11 Low, 4 Insufficient.

12 MS. THEBERGE: Kimberly, we need your
13 vote.

14 MS. IBRAGIMOVA: The results are 35
15 percent High, 65 percent Moderate, 0 percent Low,
16 0 percent Insufficient.

17 CO-CHAIR SEPTIMUS: Next we will go to
18 Validity. Missy, anything?

19 MS. DANFORTH: I wrapped -- I talked
20 about both at the same time. I'm sorry.

21 CO-CHAIR SEPTIMUS: That's fine.

22 MS. DANFORTH: So they produced, again

1 --

2 CO-CHAIR SEPTIMUS: You don't have to
3 be --

4 MS. DANFORTH: -- two separate validity
5 studies from RTI, with very positive results.

6 CO-CHAIR SEPTIMUS: Comments? Let's
7 vote.

8 MS. IBRAGIMOVA: Scientific
9 acceptability of measure properties, 2B,
10 validity, the votes are 1 High, 2 Moderate, 3
11 Low, 4 Insufficient.

12 MS. THEBERGE: Ann and Kimberly, we
13 don't have your votes.

14 MS. IBRAGIMOVA: And the results are 52
15 percent High, 48 percent Moderate, 0 percent Low,
16 0 percent Insufficient.

17 CO-CHAIR SEPTIMUS: Okay. Feasibility.

18 MS. DANFORTH: So first, I actually
19 wanted to thank the measure developers for
20 including the MDS. So you were referring to how
21 the measure gets reported throughout the measure
22 documentation, and actually seeing the assessment

1 tool was incredibly helpful to sort of visualize
2 it. And also to get to some of the feasibility
3 issues.

4 So the measure's reported in this MDS,
5 which they included. It's a single question and
6 it asks the number of falls since admission, and
7 it defines major injury in a very specific way.
8 And so the feasibility I would say is exceptional
9 for this measure. The MDS is something that the
10 nursing homes have to do regularly, on a
11 quarterly basis, and it's built into the standard
12 assessment.

13 CO-CHAIR SEPTIMUS: Seeing no comments,
14 we will then go to vote.

15 MS. IBRAGIMOVA: Feasibility, the votes
16 are 1 High, 2 Moderate, 3 Low, 4 Insufficient.
17 The results are 75 percent High, 25 percent
18 Moderate, 0 percent Low, 0 percent Insufficient.

19 CO-CHAIR SEPTIMUS: Next is usability.

20 MS. DANFORTH: So as I mentioned, the
21 measure is used in Nursing Home Compare. It's
22 being publically reported currently. It has for

1 how many years? For seven years.

2 CO-CHAIR SEPTIMUS: I'm sorry, we can't
3 hear you.

4 DR. KAREN: Six or seven years now.

5 DR. MCMULLEN: This one of the newer
6 measures. So I think this one's just since 2012.
7 Yes. This measure was new with the institution
8 of the MDS 3.0.

9 MS. DANFORTH: Okay.

10 CO-CHAIR SEPTIMUS: Okay. Any
11 questions about usability? So, let's vote.

12 MS. IBRAGIMOVA: Usability and use, the
13 votes are 1 High, 2 Moderate, 3 Low, 4
14 Insufficient Information. The results are 71
15 percent High, 29 percent Moderate, 0 percent Low,
16 0 percent Insufficient Information.

17 CO-CHAIR SEPTIMUS: Okay. And the last
18 question, is this suitable for endorsement?

19 MS. IBRAGIMOVA: So overall suitability
20 for endorsement, does the measure meet NQF
21 criteria for endorsement? 1 Yes, 2 No.

22 MS. THEBERGE: Missing one.

1 MS. IBRAGIMOVA: Missing one vote. The
2 results are 96 percent Yes, 4 percent No.

3 CO-CHAIR THRAEN: All right. I guess
4 I'm taking over.

5 CO-CHAIR SEPTIMUS: Yes. Just one
6 quick thing though.

7 CO-CHAIR THRAEN: Okay.

8 CO-CHAIR SEPTIMUS: We're going to do
9 0202 and 0141 and then there are some relating
10 and competing measure discussion, which if we
11 have time, we'd like to do at the end. Because
12 these measures have some competing measures.
13 Well, we'll -- she almost -- go for it.

14 CO-CHAIR THRAEN: All right. We're
15 going to start with 0202, Falls with Injury,
16 sponsor development organization is American
17 Nurses Association. And what's Rich's first
18 name? Oh, Victoria. Sorry. Victoria will be
19 the lead on this. And would the developers like
20 to present your overview?

21 MS. CRAMER: Again, I'm Emily Cramer
22 with the University of Kansas. And, again, like

1 with the staffing skill mix we just did, I'll do
2 an overall introduction of both 0202 and 0141.
3 And then we can discuss them separately. But
4 they're very similar measures.

5 So these measures address patient
6 falls, and I'm sure it's not surprising to
7 anybody in this room, but patient falls and falls
8 with injury are significant patient safety
9 concerns that have substantial impacts on the --
10 physical, psychological, and financial impacts on
11 both patients and their families, as well as
12 healthcare institutions.

13 Patient falls is the most frequently
14 reported adverse event, and falls with injuries
15 is one of nine hospital-acquired conditions
16 that's been identified as preventable and has
17 been targeted for use in CMS's Partnership for
18 Patients Initiative. And the ANA patient falls
19 measures were actually reported in Partnership
20 for Patient and through that program, they showed
21 reduction in both falls and falls with injuries
22 using these measures over the three year span of

1 the project.

2 The prevention of patient falls and
3 injuries from falls is a critical safety
4 imperative given our aging population. I think
5 it's been mentioned that over 40 percent of
6 hospitalized patients are above the age of 65.
7 Frail elderly patients and vulnerable populations
8 with multiple chronic conditions are at increased
9 risk for falling. But in addition to that,
10 they're at an increased risk of sustaining an
11 injury as a result of a fall.

12 So both measures are extremely
13 important. And the robust measures to reduce
14 these preventable falls, and by extension falls
15 with injury, are extremely important. There's a
16 need for timely, robust, and clinically enriched
17 measures.

18 The data to calculate the measures
19 that we're talking about now are collected
20 predominately through electronic adverse event
21 reporting systems, which exist in most hospitals.
22 And they're fairly low burden, as indicated by

1 our hospital surveys, as well as the fact that
2 they come from electronic sources.

3 Again, I'd like to mention that these
4 measures have been previously endorsed. I think
5 they were first endorsed in 2004 and have
6 undergone a couple of re-endorsement cycles.
7 That was at the unit level. We, again, here are
8 presenting hospital level analysis in addition to
9 the unit level analysis.

10 And also, these measures were
11 conditionally approved by the MAP for inclusion
12 in CMS's Inpatient Quality Reporting System this
13 last year. Again, the conditional approval is
14 based on the hospital level endorsement by the
15 NQF.

16 Patient fall rate as a measure is
17 defined as the number of patient falls per 1,000
18 patient days. And falls with injury is the
19 number of falls per 1,000 patient days in five
20 injury categories ranging from none, minor,
21 moderate, major, and death.

22 These Measures do represent a

1 significant patient safety issue. They have been
2 used in quality and safety programs and
3 successfully reduce rates of these incidents in
4 hospitals. The data to collect the measures
5 exists electronically in most hospitals and they
6 are more timely, sensitive, and accurate than
7 claims-based measures.

8 CO-CHAIR THRAEN: Victoria?

9 DR. RICH: Excuse me. As far as the
10 evidence, there's extensive evidence, as we just
11 heard from our reporters and also from when we're
12 talking about the CMS with the elderly patients.
13 The areas that are looked upon in the evidence
14 are primary, the acute care areas, of the in-
15 patient, the short-stay, the observation, the
16 same-day surgery, and with the adult critical
17 care step-down med/surg and med/surg combined,
18 and the critical access and adult rehab.

19 The exclusions are pediatric,
20 psychiatric, and obstetrics, that I would
21 personally question that we perhaps need to do
22 that eventually. The other key to this, that

1 this is an outcome measure. And it's really
2 based on that we have strong evidence now both
3 for the structural and the process variables.

4 And if you're into the report of this,
5 on page 11, it really shows that we have
6 extensive research and we have attached about 20
7 references. And our Dr. Pat Quigley is probably
8 the world-known patient fall expert. And I said,
9 I don't know why I'm doing this Pat because
10 you're the one that knows all the literature.

11 But the structural variables are very
12 important, are the hospital characteristics, the
13 RN staffing, the skill mix, the RN environment,
14 very much to all the nursing metrics that we're
15 talking about today. And the process variables
16 are the falls risk assessment and the risk-based
17 falls. And so there lies that we're looking at
18 this as an outcome variable.

19 There is, despite all the research,
20 the concern about looking at the risk for this.
21 So there still remains gaps. And there is very
22 little evidence on the effective ways to reduce

1 falls. But what we're really showing through the
2 evidence that we are really moving the dial in a
3 positive way in prevention.

4 CO-CHAIR SEPTIMUS: Okay. So we're
5 talking about evidence now.

6 CO-CHAIR THRAEN: I'm doing this one.
7 Take my job away.

8 DR. RICH: I can quote some more, but
9 I'm trying --

10 CO-CHAIR THRAEN: No, no, it's good.

11 DR. RICH: -- to go fast. I can quote
12 a bunch if you want me to.

13 CO-CHAIR THRAEN: Is there any
14 questions? Charlotte and Lynda.

15 DR. ALEXANDER: Okay. Now I'm here.
16 And why is the therapy unit excluded if it's on a
17 nursing floor?

18 DR. RICH: I'll ask them.

19 MS. CRAMER: So the measures were
20 originally developed at the unit level and really
21 to reflect the nursing care on that unit and the
22 patient outcomes associated with it. And so we

1 didn't -- we designed it so as to not penalize
2 the unit for something that happened when it
3 wasn't on their unit and that they didn't have as
4 much control over it.

5 That is potentially something that
6 could be looked at as a future direction for the
7 measure. But as it is, we excluded those to get
8 the most reliable and valid measure of falls
9 within that unit setting. And to really
10 understand, because there's such differences
11 across unit types, to really get at what's
12 happening within that unit.

13 CO-CHAIR THRAEN: Lynda?

14 DR. SMIRZ: I'm not interested in
15 having any patients fall, but I'm just wondering
16 if you saw any unintended consequences like Foley
17 catheters being left in for longer periods of
18 time so that the patients don't get up? We know
19 that they sometimes get up to toilet whether we
20 tell them not to get up or not. Was that --

21 MS. CRAMER: I don't know. We haven't
22 looked at that directly. I don't know that

1 there's been much research done on that. And
2 maybe Pat can speak to it a little bit more. We
3 haven't seen that many unintended consequences
4 like that.

5 We do know that there are -- we've
6 seen increased fall rates in surgical units over
7 time when we did longitudinal analysis. And we
8 think that's a result of earlier ambulation to
9 try to get surgical patients up and walking
10 faster. So we do think that that's an area that
11 needs targeting for improvement. But we
12 discovered that because we're monitoring these.

13 CO-CHAIR THRAEN: Michelle?

14 MSS: Thank you. My question is if you
15 would consider either now or in the future
16 looking at neurology/neurosurgery units a little
17 bit differently? Because their rates may be
18 quite different.

19 MS. CRAMER: Yes. That's a good
20 question. I do think that's a possibility. And
21 as mentioned, in this measure, we don't yet
22 include pediatric and psychiatric falls either.

1 Those have been developed. NDNQI has developed
2 those and is in the process of collecting enough
3 data to do studies on that. And I think
4 neurology would be another direction that we
5 would look at.

6 CO-CHAIR THRAEN: Pat?

7 DR. QUIGLEY: Thank you. And I wanted
8 to answer the question as well to Dr. Smirz, to
9 share in relationship. Because this is the falls
10 with injury indicator.

11 I had added to the evidence review
12 that was provided for this in the Comments
13 section. Because there is more movement on
14 screening all patients not just for fall risk,
15 but injury risk upon admission. Or injury
16 history.

17 And in addition, there are tool kits
18 in terms of the evidence that have now, from the
19 Agency for Healthcare Research and Quality, from
20 the Institute for Clinical Systems Improvement
21 with Minnesota, that have focused on fall injury
22 reduction as a primary outcome. So this is

1 really an essential component. And this is a
2 proportion of those who fall.

3 So going back to Foley catheters,
4 absolutely. Catheters is an issue. But also
5 sequential compression devices on patients with
6 Alzheimer's who can walk and get up out of bed.
7 So that's just an aside.

8 CO-CHAIR THRAEN: Missy?

9 MS. DANFORTH: Yes. Just two quick
10 things. One is, did you look at the differences
11 between reporting this out as falls per patient
12 days versus patients? And was there something in
13 the evidence that made you choose patient days?
14 And was there something inherent about being in
15 the hospital longer that makes you more
16 susceptible to falls? Because it seems like most
17 inpatients would be at risk for falls.

18 MS. CRAMER: Very true. But the longer
19 the exposure, the more chance there is for falls.
20 So the more days you're in the hospital, the more
21 times you have to get up and the more -- and if
22 you're in particular units, if you're in a bed,

1 the more you have some loss of mobility because
2 of not being mobile. So a longer exposure in the
3 hospital does increase risk of falling and
4 potentially patient injuries.

5 MS. DANFORTH: And then one more
6 question, do you think there's any value, just
7 based on what you just said about the -- there
8 seems to be some significant distinctions within
9 a hospital, within units. So between units
10 within a hospital. Even though you're reporting
11 out this at the hospital level, having those
12 discrete rates by unit available if the sample
13 size is big enough?

14 MS. CRAMER: Okay. Sorry. Say that
15 one more time?

16 MS. DANFORTH: So I know you're
17 proposing to report this out by hospital, so a
18 hospital by fall rate. But given that you just
19 said there's actually a lot of variance between
20 units --

21 MS. CRAMER: Correct.

22 MS. DANFORTH: -- is there also - no,

1 I thought this was being reported --

2 CO-CHAIR THRAEN: Per unit. No.

3 MS. DANFORTH: I thought this was being
4 proposed as a hospital --

5 CO-CHAIR THRAEN: No.

6 DR. RICH: Given that you intended to
7 take this to the hospital level for endorsement
8 that that's based on a unit approach and then
9 you're looking to bring it up to the hospital
10 level.

11 MS. CRAMER: Correct. So similar to --
12 well, so there's two rates. We actually tested
13 two rates. The unit level, which is where the
14 data is actually collected. We collect it at the
15 unit level. And similarly to the process I
16 described for the staffing measures, we roll it
17 up to the hospital level.

18 We use a standardized process to get
19 standardized scores based on unit type and then
20 weight it by the patient population within that
21 given unit type to calculate a hospital score.
22 So it takes into account the variability of, for

1 example, critical care units, where you have a
2 low patient fall rate.

3 So a hospital with two critical care
4 units and six rehab units is expected to have --
5 so we kind of adjust for that based on patient
6 populations of those units. Both are described
7 in the methodology. Both the unit and the
8 hospital level.

9 CO-CHAIR THRAEN: So the unit --

10 MS. CRAMER: Yes.

11 CO-CHAIR THRAEN: Just for
12 clarification, the unit -- the measure tested at
13 the unit level has been endorsed historically.
14 You're bringing this forward in the maintenance
15 phase to get endorsement to do it at the hospital
16 level?

17 MS. CRAMER: Correct.

18 DR. BURSTIN: Are you also seeking it
19 at the unit level? Just to be clear?

20 CO-CHAIR THRAEN: To continue the
21 maintenance at the unit level?

22 MS. CRAMER: Yes.

1 DR. BURSTIN: Yes. So you have testing
2 at both levels?

3 MS. CRAMER: We have provided testing
4 at both levels, yes.

5 CO-CHAIR THRAEN: Okay. Go ahead.

6 MS. GELINAS: And Missy, if it helps,
7 because of the way the data are reported, for
8 those of us in large systems, I even get the
9 NDNQI rolled-up system level. And if you want
10 that to take your breath away, it will.

11 So for those of us in large systems,
12 we actually get it at three levels. Although it
13 has not been tested at the system level. But for
14 purposes of understanding trending and data
15 analysis, we have unit level, hospital level, and
16 then system level already coming at us.

17 CO-CHAIR THRAEN: Okay. Any other
18 questions for clarification and understanding?
19 All right. Let's vote on the evidence.

20 MS. IBRAGIMOVA: Importance to measure
21 and report, 1A, evidence, health outcome or PRO,
22 the votes are 1 Yes or 2 No.

1 MS. THEBERGE: Ann, we need your vote.

2 MS. IBRAGIMOVA: And just to let
3 everyone know, Kimberly had to leave to do
4 clinical work, but she'll be on the phone again
5 tomorrow.

6 CO-CHAIR THRAEN: Try it again, guys.
7 Yes. There we go.

8 MS. IBRAGIMOVA: So the results are 100
9 percent Yes, 0 percent No.

10 CO-CHAIR THRAEN: Okay. Performance
11 gap. Any discussion, question, clarification,
12 need to know? All right. Let's vote. Oh, go
13 ahead Charlotte.

14 DR. ALEXANDER: So have you looked at
15 disparities and in particular, I'm interested in
16 language? Because I know in our hospital, we
17 find we'll tell people not to get out of bed,
18 wait for a nurse, et cetera, and they don't
19 understand. So have you been able to --

20 MS. CRAMER: I don't --

21 DR. RICH: No, I don't believe that we
22 have ever -- I think that's an excellent

1 question, but I don't think we've -- that's not
2 measured in any way. Now, that might be in the
3 incident reports. But I don't believe anybody's
4 really pulled that out as an aggregate.

5 Because what you usually do if you
6 have falls with injury, you have a root cause or
7 human factors study of it. The question is was
8 that ever pulled out because of not understanding
9 someone's language? I don't know if -- no, we
10 don't have that.

11 DR. ALEXANDER: Might be an
12 opportunity.

13 DR. RICH: Excellent point.

14 CO-CHAIR THRAEN: All right. Anybody
15 else? Shall we vote?

16 MS. IBRAGIMOVA: Importance to measure
17 and report, 1B, performance gap. The votes are 1
18 High, 2 Moderate, 3 Low, 4 Insufficient. The
19 results are 61 percent High, 30 percent Moderate,
20 9 percent Low, 0 percent Insufficient.

21 CO-CHAIR THRAEN: All right.
22 Reliability.

1 DR. RICH: With reliability, I'm going
2 to also go to my colleagues here. But at the
3 unit level, what we actually did three tests for
4 reliability. Signal-to-noise. We did also the
5 interclass correlation, and we actually did a
6 qualitative RN survey of falls reporting. And so
7 it's indicated there was strong reliability. I
8 don't know if you want to add to that Emily or
9 Danielle or Pat, particularly.

10 MS. CRAMER: I'll be happy to answer
11 specific questions if anybody has any.

12 CO-CHAIR THRAEN: Charlotte, do you
13 have a question? No? Any other questions or
14 discussions on reliability? We'll vote.

15 MS. IBRAGIMOVA: Scientific
16 acceptability of measure properties, 2A,
17 reliability. The votes are 1 High, 2 Moderate, 3
18 Low, 4 Insufficient. The results are 65 percent
19 High, 30 percent Moderate, 4 percent Low, 0
20 percent Insufficient.

21 CO-CHAIR THRAEN: All right. Validity.

22 DR. RICH: Okay. With validity, I

1 think again I'm going to express that there's
2 strong validity testing for it. The mean
3 hospital score percentile rank was strongly
4 associated with the rank of the true hospital
5 injury rate at a 0.98.

6 And also the idea of, what I had to
7 find out, what the experiment rank coalition
8 between the bootstrap hospital scores and the
9 true injury fall was at 0.79. And so I don't
10 know if any of you know what a bootstrap was, but
11 I sure learned what that was. But it seemed like
12 there was a strong validity, not only for face,
13 but also for construct.

14 CO-CHAIR THRAEN: Any discussions or
15 questions? Let's vote.

16 MS. IBRAGIMOVA: Scientific
17 acceptability of measure properties, 2B,
18 validity. The votes are 1 High, 2 Moderate, 3
19 Low, 4 Insufficient. And the results are 52
20 percent High, 39 percent Moderate, 4 percent Low,
21 4 percent Insufficient.

22 CO-CHAIR THRAEN: Feasibility.

1 DR. RICH: Okay. Feasibility. We
2 heard earlier that there are the eMeasures and
3 with the electronic adverse reporting with the
4 incident reports, whether they're electronic or
5 manual, is highly feasible. And the performance
6 -- we're look at probably about, what was it?
7 About 75 or 80 percent of them being reported.

8 CO-CHAIR THRAEN: Any questions? Okay.
9 Vote.

10 MS. IBRAGIMOVA: Feasibility, the votes
11 are 1 High, 2 Moderate, 3 Low, 4 Insufficient.
12 The results are 52 percent High, 48 percent
13 Moderate, 0 percent Low, 0 percent Insufficient.

14 CO-CHAIR THRAEN: Usability.

15 DR. RICH: The usability is very, very
16 keen and very important. It's currently used in
17 public reporting in several states currently.
18 It's also used by us with Magnet Organizations
19 and Pathway to Organization and probably about 80
20 to 90 percent of the hospitals. It's used --
21 it's very, very important.

22 I would say in acute care setting, if

1 not the top, the second important to pressure
2 ulcers and how we prevent injury and how we do
3 care. It is also one of the highest malpracticed
4 areas. And I know we don't talk about dollars,
5 but the idea of that impact being there, it's
6 usability is absolutely imbedded in the nursing
7 profession.

8 CO-CHAIR THRAEN: All right. Any
9 questions? Charlotte?

10 DR. ALEXANDER: Sorry. Is there a
11 definition of injury? I mean, is it clearly
12 stated exactly what the injury is?

13 DR. RICH: I think you're best to go
14 through this. Yes, there's different degrees of
15 injury. Absolutely. I think there's six -- what
16 are they Pat? I'm thinking minor --

17 MS. CRAMER: We have different
18 categories and in each category we provide a
19 definition of what a minor versus a moderate is
20 and then we also give examples. And I can --

21 DR. RICH: No, it's in there. There's
22 also -- what they updated is the definition of a

1 fall. Because if you're walking and you just go
2 down to your knees or do you fall to the floor?
3 And so, I really say that we've really gotten
4 excellent more tools to describe so that we can
5 report more effectively.

6 MS. CRAMER: And we've done separate
7 reliability or validity studies that, I mean,
8 that are published now that were done prior to
9 this to really outline the definitions and test
10 specifically for the definitions. Rather than
11 some of this just signal-to-noise analysis that
12 we've done for this particular project.

13 CO-CHAIR THRAEN: Pat?

14 DR. QUIGLEY: Thank you. And I'd just
15 like to add and confirm in terms of usability,
16 that the falls with injury and related immobility
17 was one of the hospital-acquired conditions that
18 CMS went after in the HACs for the Partnership
19 for Patients.

20 So this really was a focus and there
21 was reporting of it for the first three years.
22 It was pulled from 2015, but it's still being

1 reported. But this was -- the focus was
2 injurious falls.

3 CO-CHAIR THRAEN: Any other question?
4 Shall we vote?

5 MS. IBRAGIMOVA: Usability and use.
6 The votes are 1 High, 2 Moderate, 3 Low, 4
7 Insufficient. And the results are 57 percent
8 High, 43 percent Moderate, 0 percent Low, 0
9 percent Insufficient Information.

10 CO-CHAIR THRAEN: And the last one is
11 endorsement.

12 MS. IBRAGIMOVA: Overall suitability
13 for endorsement, does the measure meet NQF
14 criteria for endorsement? 1 Yes, 2 No.

15 CO-CHAIR THRAEN: There we go.

16 MS. IBRAGIMOVA: The results are 100
17 percent Yes, 0 percent No.

18 CO-CHAIR THRAEN: Three.

19 (Laughter.)

20 CO-CHAIR SEPTIMUS: Four.

21 CO-CHAIR THRAEN: Four? Okay.

22 CO-CHAIR SEPTIMUS: Four.

1 CO-CHAIR THRAEN: Four. All right.
2 And 0141, Patient Fall Rate. The developer is
3 American Nurses Association. And Schreiber,
4 who's -- there we are Michelle, okay.
5 Developers, do you have anything you want to add
6 to the earlier presentation?

7 MS. CRAMER: I think the earlier
8 introduction holds for this measure as well. So
9 I'll let them go ahead and start stepping us
10 through it.

11 CO-CHAIR THRAEN: Michelle?

12 DR. SCHREIBER: In a way, the prior
13 measure was really a subset of this measure.
14 That was falls with injury and this is all falls.
15 And this is really the rate of all falls
16 regardless of whether or not there was injury,
17 regardless of whether or not the patient was
18 assisted.

19 But other than that, it looks at very
20 similar things. It's collected in the same way.
21 It has the strength of evidence that the other
22 measure had as well. It has been extensively

1 studied, has been used by NDNQI as well. There
2 is extensive reliability and validity testing.
3 So the big difference here is that this is all
4 falls regardless of injury and regardless of
5 assistance.

6 CO-CHAIR THRAEN: Questions? Or -- go
7 ahead. Helen?

8 DR. BURSTIN: There we go. Just a
9 quick question because I remember this going
10 through CSAC last time. And so, since we know
11 we're going to go through that process again and
12 a lot of the same players are there, this measure
13 had a significantly harder time the last round.

14 And I think there were concerns about
15 -- and I just want to make sure we at least put
16 those questions on the table so we can start
17 talking about it. What is the additive value of
18 having both? Was a major issue.

19 And I remember the hospitals at the
20 table in particular had concerns about the burden
21 of collecting all of them and of the squishiness
22 of the ones without injury. So I'm just putting

1 it on the table, and I can find the exact details
2 if we want it. But just so we can inform the
3 discussion and have a -- since time is limited.

4 MS. CRAMER: Certainly. Yes. There
5 was some concern about the additive value of
6 collecting total falls in addition to injury
7 falls. Injury falls are often viewed as the more
8 important measure because of the cost associated
9 with that and obviously the patient harm.

10 Our argument is that the total fall
11 rate really informs the injury fall rate and
12 that's prevention of all preventable falls, if
13 you can prevent the fall, you can prevent the
14 injury. So we're not just trying to stop injury,
15 we're trying to stop the falls. And I know Pat's
16 got some thoughts on this too, so I'd be happy to
17 let her take the floor on it.

18 CO-CHAIR THRAEN: Okay.

19 DR. QUIGLEY: Thank --

20 CO-CHAIR THRAEN: Pat, then --

21 DR. QUIGLEY: Thank you. I will be
22 brief.

1 CO-CHAIR THRAEN: -- then Lisa and then
2 Steve.

3 DR. QUIGLEY: I would say the reason in
4 working with hospitals in relationship to falls
5 is that falls overall remains the top adverse
6 reported event in terms of incident reports. And
7 to be able to prevent falls, there has to be an
8 interdisciplinary approach to it. So hospitals
9 need to be able to step up to the plate and get
10 the best of the team there to be able to go after
11 fall prevention.

12 Fall prevention is different than
13 injury prevention. If someone doesn't fall, they
14 don't get injured. But falls remains the top
15 reported adverse event in incident reports. So
16 it is the measure, it is the measure for patient
17 safety. And that's in the literature.

18 CO-CHAIR THRAEN: Lisa?

19 MS. MCGIFFERT: I would just add that
20 I think if you're looking at all falls, that
21 injury is more of a function of the condition of
22 the patient. And so often if you have a patient

1 who is very, very ill and they fall, that injury
2 is greater than if you have a patient who's not
3 very, very ill and falls. But still, they fell.
4 And that's what you want to prevent.

5 CO-CHAIR THRAEN: Okay. Steve?

6 DR. LAWLESS: Just a question about
7 definition of fall. Because I could see falling
8 with injury, I mean, the injury is the earmark.
9 If I'm falling and caught, my knee doesn't hit
10 the ground, I mean, it might've been hit, but I
11 sprain my back. Is that counted?

12 MS. CRAMER: Yes. The definition is
13 really specific. It includes assisted falls. So
14 if you don't necessarily hit the ground or if
15 somebody catches you, we still count that.
16 There's specific things in the definition about
17 whether you fell back onto the bed versus fell
18 out of bed onto the floor.

19 All of these are counted in the
20 definition. And it lays it out very
21 specifically. And we've refined the definition
22 over the years to include all of those issues.

1 DR. LAWLESS: And is the data then, I
2 mean, because I haven't read all the details of
3 it, has there been an inter-rater reliability on
4 the definition of fall?

5 MS. CRAMER: There has been. Yes.

6 DR. LAWLESS: Okay.

7 CO-CHAIR THRAEN: Lynda?

8 DR. SMIRZ: Okay. So, I think you
9 probably already answered my question. As an
10 OB/GYN, I've had a lot of patients with
11 epidurals. First time they get up, they've got a
12 nurse on each side because we're not convinced
13 that their legs are going to be able to support
14 them. Turns out they can't, they're lowered to
15 the floor, that's considered a fall in this
16 measure?

17 MS. CRAMER: Yes. But we would count
18 that as an assisted fall. NDNQI tracks them as
19 assisted falls.

20 CO-CHAIR THRAEN: Pat?

21 DR. QUIGLEY: Thank you. The other
22 comment that I really wanted to make in

1 relationship to why hospitals need to be able to
2 prevent all falls is because we still do not know
3 what a best practice is. And that's the
4 opportunity for improvement in relationship to
5 the gap. Is we don't know what needs to be done,
6 what combination, what dose, what intensity versus
7 what population. So there's just a lot of
8 opportunity.

9 CO-CHAIR THRAEN: Anything else? Shall
10 we vote?

11 MS. IBRAGIMOVA: Importance to measure
12 and report, 1A, evidence, health outcome or PRO,
13 1 Yes, 2 No.

14 CO-CHAIR THRAEN: Try again. We're
15 missing one.

16 MS. IBRAGIMOVA: The results are 100
17 percent Yes, 0 percent No.

18 CO-CHAIR THRAEN: All right.
19 Performance gap.

20 MS. IBRAGIMOVA: Importance to measure
21 and report, 1B, performance gap. The votes are 1
22 High, 2 Moderate, 3 Low, 4 Insufficient.

1 CO-CHAIR THRAEN: Charlotte, did you
2 have a question?

3 DR. ALEXANDER: Just another plea to
4 put language in this one. When we're looking at
5 disparities.

6 CO-CHAIR THRAEN: We're missing some
7 responses. Try again.

8 CO-CHAIR SEPTIMUS: I think Helen may
9 want to comment. This certainly is an interest
10 and a push for NQF, I think, to make sure that we
11 include disparities. Would that be correct,
12 Helen?

13 DR. BURSTIN: It's a major issue.
14 Absolutely.

15 CO-CHAIR SEPTIMUS: They share your
16 comments.

17 MS. IBRAGIMOVA: The results are 57
18 percent High, 35 percent Moderate, 9 percent Low,
19 0 percent Insufficient.

20 CO-CHAIR THRAEN: All right.
21 Reliability. Any comments, questions,
22 assertions? Then we will vote. Charlotte?

1 DR. ALEXANDER: I'm sorry.

2 CO-CHAIR THRAEN: It's all right.

3 DR. ALEXANDER: We're not gathering
4 incident reports is what I understand and as I
5 read this. Is that a place where we're missing
6 some opportunity?

7 DR. RICH: Repeat what you said about
8 the incident reports?

9 DR. ALEXANDER: So as I'm reading this,
10 I'm seeing that we're not gathering information
11 from incident reports.

12 MS. CRAMER: Well, that's one of the
13 mechanisms that hospitals use to actually collect
14 this data, yes. Is their incident reports.

15 DR. ALEXANDER: Okay.

16 DR. RICH: Yes. Because -- no, we're
17 not publically reporting it, but how we give our
18 data to NDNQI is primarily through our electronic
19 incident reports or our paper incident reports.

20 CO-CHAIR THRAEN: Okay. Any other
21 questions, thoughts? Lynda, you threw something
22 there. Okay. Shall we vote?

1 MS. IBRAGIMOVA: Scientific
2 acceptability of measure properties, 2A,
3 reliability. The votes are 1 High, 2 Moderate, 3
4 Low, 4 Insufficient. The results are 48 percent
5 High, 48 percent Moderate, 4 percent Low, 0
6 percent Insufficient.

7 CO-CHAIR THRAEN: All right. Validity.
8 Any comments? Questions? All right. Vote.

9 MS. IBRAGIMOVA: Scientific
10 acceptability of measure properties, 2B,
11 validity. The votes are 1 High, 2 Moderate, 3
12 Low, 4 Insufficient. The results are 57 percent
13 High, 39 percent Moderate, 4 percent Low, 0
14 percent Insufficient.

15 CO-CHAIR THRAEN: Feasibility. Any
16 questions? Keep going. Wait, wait, we don't
17 vote yet. We're still on composite. There we
18 go.

19 MS. IBRAGIMOVA: Feasibility. The
20 votes are 1 High, 2 Moderate, 3 Low, 4
21 Insufficient. Just one more vote.

22 CO-CHAIR THRAEN: Try again, guys.

1 We're missing one. All right. Go.

2 MS. IBRAGIMOVA: The results are 53
3 percent High, 43 percent Moderate, 4 percent Low,
4 0 percent Insufficient.

5 CO-CHAIR THRAEN: All right.
6 Usability. Any questions, comments? Let's vote.

7 MS. IBRAGIMOVA: Usability and use.
8 The votes are 1 High, 2 Moderate, 3 Low, 4
9 Insufficient Information. The results are 61
10 percent High, 35 percent Moderate, 4 percent Low,
11 0 percent Insufficient Information.

12 CO-CHAIR THRAEN: And finally,
13 endorsement.

14 MS. IBRAGIMOVA: Overall suitability
15 for endorsement, does the measure meet NQF
16 criteria for endorsement? 1 Yes, 2 No. And the
17 results are 96 percent Yes, 4 percent No.

18 CO-CHAIR THRAEN: So staff have a
19 comment about competing and related measures.
20 You want to go ahead and chair?

21 MS. THEBERGE: Sure. So we have two
22 measures that were recommended, Falls with Injury

1 0202, and then 0674, Percent of Residents
2 Experiencing One or More Falls with Major Injury.
3 And we just have to have a quick conversation
4 about whether those are related measures or
5 they're competing.

6 Competing would be if they have the
7 same measure focus and target population. Okay.
8 So, yes, that's what I thought, just had to --
9 yes, okay. Conversation is done.

10 DR. BURSTIN: But they are still
11 related measures. So in that instance, the key
12 issue here is harmonization. So a lot of the
13 discussion you've just had about the definitions
14 of falls, et cetera, an injury should be --
15 ensure that they are in fact comparable across
16 settings.

17 Particularly if you heard what CMS
18 said earlier about the IMPACT Act and if they
19 need to in fact harmonize and have a set of
20 measures that flow with patients across all
21 settings, that's probably an exercise worth doing
22 the side-to-side, and Pat could probably do it

1 over drinks tonight.

2 DR. QUIGLEY: Thank you. But you know,
3 the issue with harmonization for CMS and
4 hospitals is CMS gets their data from MDS. So
5 their falls data is falls per person year. It is
6 not falls per person day. So that's the issue.
7 Is the -- yes.

8 DR. BURSTIN: I think the bigger issue,
9 and it's probably okay based on the discussions
10 we've already had today, is a couple of key
11 definitions. Are they defining falls in the same
12 way? Are they defining --

13 DR. QUIGLEY: No.

14 DR. BURSTIN: -- injury in the same
15 way?

16 DR. QUIGLEY: They're not, and they
17 don't define severity of injury the same way. We
18 have --

19 DR. BURSTIN: It's just an opportunity
20 to -

21 DR. QUIGLEY: Yes.

22 DR. BURSTIN: -- at least put out some

1 side-by-sides --

2 DR. QUIGLEY: Yes.

3 DR. BURSTIN: -- so that the two groups
4 can inform each other going forward.

5 DR. QUIGLEY: Absolutely.

6 DR. BURSTIN: It's not going to get
7 solved today.

8 DR. QUIGLEY: Right.

9 DR. BURSTIN: But again, if you think
10 about an IMPACT Measure coming forward on falls,
11 which you heard, it would likely -- well, that
12 was pressure ulcers, but I assume falls is
13 probably -- they're nodding back there, yes.
14 Then it's logical that they're going to want to
15 make sure with the care tool, for example --

16 DR. QUIGLEY: Yes.

17 DR. BURSTIN: -- and the IMPACT Act,
18 that in fact those definitions start to do this.

19 DR. QUIGLEY: Well, if I can help, let
20 me know.

21 DR. BURSTIN: Okay.

22 DR. QUIGLEY: I am here.

1 MS. CRAMER: I will say that ANA did
2 start to pull these falls measures that are in
3 NQF and sort of line them up side-by-side. So
4 there's still some work to be done, but I think
5 that there is probably the beginnings of a report
6 out there. It needs a lot more work. But we at
7 least lined the measures up next to each to see
8 where there were differences.

9 CO-CHAIR THRAEN: There's also supposed
10 to be public comment. So does anybody from the
11 public want to come up and comment?

12 CO-CHAIR SEPTIMUS: Operator, can you
13 ask?

14 OPERATOR: Okay. At this time, if
15 you'd like to make a comment, please press Star
16 then the Number 1. And there are no comments
17 from the phone line.

18 CO-CHAIR THRAEN: Go ahead.

19 CO-CHAIR SEPTIMUS: We have a comment.

20 DR. BURSTIN: And there's also a
21 comment on the call when we're done.

22 DR. NEEDLEMAN: Okay. So I apologize,

1 I know I'm the last thing between you and dinner.

2 DR. BURSTIN: We still have the comment
3 on the phone.

4 DR. NEEDLEMAN: So I'm the next to the
5 last thing between you and dinner, and I
6 appreciate how hard everybody has worked, and
7 it's been an incredible day watching you work. I
8 want to speak to the Failure to Rescue Measure
9 that was voted on.

10 I'm speaking now as the developer of
11 PSI 04, the Failure to Rescue Measure that's
12 included in the AHRQ data set. That measure and
13 the CHOP Measure are sometimes considered
14 competing measures. There's enough philosophical
15 difference between them in terms of the
16 underlying philosophy of who gets counted and why
17 that I think at this point they're complementary
18 Measures, and it's not at all obvious that one
19 should pick one versus the other for endorsement.

20 I saw Jeff Silber at the Academy
21 Health Meeting and he was very, very upset that
22 he was not, because of prior commitments, he was

1 not going to be able to join you. And between
2 you and me, his staff did not serve him well.
3 Jeff has been very -- I appreciate that. I knew
4 that, Helen. And I will stand by that statement.

5 The key thing is, one of the reasons
6 that people tend to often pick the AHRQ PSI is
7 because the code is available and everything is
8 automated in it. Jeff has been extraordinarily
9 good about sharing his code with people.

10 So recent -- and the main use of his
11 Measure and a substantial use of the PSI 04
12 Measure is to look for structural and process
13 correlates with mortality, particularly among
14 surgical patients. And it's been very effective
15 as a use of that. We can argue about individual
16 studies. I have some arguments with his
17 anesthesia studies. But it sheds a light on
18 where to look and suggest things that are in fact
19 actionable.

20 And it has been a usable study. It's
21 been used in some very important ways. The
22 Future of Nursing Study of 2010 endorsed a move

1 towards 80 percent baccalaureate degrees among
2 RNs. And the principle study that led the
3 Institute of Medicine to do that was a study that
4 used failure to rescue as the dependent variable
5 and the proportion of nurses that were
6 baccalaureate prepared in the hospitals as the
7 right-hand side, the independent variable.

8 With that -- so I think if you think
9 about usability in terms of the research base
10 that's going to let us analyze what kinds of
11 structural and process measures make a
12 difference, so we find things to act on, this is
13 a very important measure to have in the
14 portfolio. And I would encourage you to consider
15 that when it comes up again after it comes off
16 the table. Thank you.

17 CO-CHAIR THRAEN: Someone on the phone
18 has a comment.

19 OPERATOR: We have a comment from
20 Hardeep Singh.

21 MR. SINGH: Yes. Hi. This is Hardeep
22 Singh. Can you hear me?

1 CO-CHAIR THRAEN: Hardeep. Yes. We can
2 hear you.

3 MR. SINGH: Okay. Wonderful. Thank
4 you. My name is Hardeep Singh. I'm actually a
5 patient safety researcher at the Houston VA
6 Center for Innovation and the College of
7 Medicine. I'm also the co-chair of the recently
8 formed NQF Health IT Safety Committee.

9 I'm calling in to support Jason
10 Adelman's Wrong Patient Retrack and Reorder
11 Measure that you're going to be discussing
12 tomorrow. Just a little bit of background on why
13 this is relevant. We implemented electronic
14 health records many years ago, thinking we're
15 going to use them to improve patient safety.

16 But now we're finding is we also have
17 some new risks and new unintended consequences
18 that have been introduced with the use of
19 electronic health records. We actually never
20 predicted the risks so I think it's important
21 that we have sort of measures that can be used to
22 find problems for us to fix, new types of

1 problems.

2 So before we use health IT to improve
3 patient safety, we need to make sure the
4 technology's safe and that the technology is used
5 safely. Within the last five years, as everybody
6 knows, health IT has changed the way we deliver
7 healthcare. And this measure is so unique
8 because it addresses a gap in patient safety
9 that's not met by the other current measures.

10 And I would strongly -- you're going
11 to hear about the measure in a lot more detail
12 tomorrow, but it's been well-tested and it sort
13 of addresses a unique area that is unmet by the
14 other current measures. Most of the health
15 systems right now are just trying to sort of
16 follow meaningful use, and they don't know how to
17 measure the unintended consequences and the risks
18 that come with health information technology use.

19 So it would be a good measure to have
20 on the table so that they can start getting aware
21 of these risks. Thank you very much. And that's
22 all I have to say. Thank you for considering it.

1 CO-CHAIR SEPTIMUS: Well, thank you for
2 that comment. How much did Jason pay you to say
3 that?

4 (Laughter.)

5 MR. SINGH: Not enough, I guess.

6 CO-CHAIR THRAEN: Are there any other
7 public comments to be made? Either in the room
8 or on the phone?

9 OPERATOR: There are no comments from
10 the phone line.

11 CO-CHAIR THRAEN: Thank you.

12 CO-CHAIR SEPTIMUS: I think -- well,
13 no. The real thanks go to the NQF staff.
14 Without them, we couldn't get it. So to the NQF
15 staff.

16 (Whereupon, the above-entitled matter
17 went off the record at 5:51 p.m.)
18
19
20
21
22

A			
A&M 1:13 11:10	409:1 418:18	acuity 297:21 348:11	386:11
a.m 1:9 7:2 178:2	accident 52:21	348:12 380:18 381:22	Adelman's 455:10
abdomen 56:21 57:2	accidental 56:9,13 57:4	acute 43:4 54:4 387:17	adequate 35:3 142:18
abdominal 43:9 58:3	58:3 80:14 88:5 89:2	418:14 433:22	143:9 168:14 169:7
188:22	92:2 115:9	ad 9:6 31:15,21 57:19	192:13 193:5 226:8
ability 76:11 114:12	accidentally 115:13	118:16 307:5	273:14 274:8 302:8
163:3 247:1 294:18	124:14	add 20:11 144:2 174:18	302:19 327:22 383:4
298:13 316:22 318:8	accommodation	179:18 182:3 221:3	383:5
319:22 377:2	100:15	259:21 265:5 285:10	Adjourn 6:6
able 60:5 63:18 75:21	accomplish 175:1	286:6,8 298:8 315:9	adjust 80:6 427:5
87:9 101:19 138:12	account 256:7 318:7	318:20 333:4,11	adjusted 4:17,21 58:19
181:7 206:20 207:21	351:8 369:22 426:22	342:9 351:5 363:21	114:10,10 128:12
224:10 239:14 242:5	accountability 26:6	371:9 404:19 407:20	157:13 164:3 180:20
242:12 247:1 262:18	40:8,12,13 145:2	408:7,14 431:8	181:1 183:1 206:4
265:9 282:14 283:8	170:17,18 176:15	435:15 437:5 440:19	257:3 399:3
295:5 306:8 325:13	194:7 195:13 199:4	added 32:6 33:17 53:7	adjusting 158:2 351:3
352:15 359:22 360:3	200:4,8 202:1 275:12	61:22 62:3 257:20	399:7
360:13 361:2,14,18	303:22 316:1 352:8	315:12 348:1 423:11	adjustment 58:8,9
371:15,19 372:2	353:1,15	adding 59:12 161:17,18	61:21 62:5 66:11
374:22 375:2,5,14	Accountability's	364:6	94:17 95:1 157:1
406:19 429:19 440:7	195:15	addition 35:19 43:16	158:12 188:15 222:10
440:9,10 442:13	accountability/transp...	49:15 55:9 96:4 128:4	222:15 256:10,15,20
443:1 453:1	145:1 194:6 201:22	188:13 226:20 255:21	256:22 298:19 399:4
above-entitled 178:1	275:11 303:21	320:6 402:7 416:9	adjustment/stratifica...
312:8 457:16	accountable 89:22	417:8 423:17 439:6	143:10 193:8 274:9
abroad 78:4	90:15 200:22	additional 53:7 66:18	302:21
absence 49:11 95:5	accounted 378:8	96:18 113:9 139:9	adjustments 169:9
absolute 130:16 255:5	accounting 351:8	150:4 256:15,16	adjusts 158:9
absolutely 38:5 123:18	accuracy 258:18	274:2 290:12 305:22	Administration 1:21
217:7 231:13 235:6	accurate 118:12 270:2	306:16 307:2 320:8	administrative 84:21
424:4 434:6,15	370:16 379:20 380:3	328:10 369:17,18	112:3,8,21 222:8
444:14 450:5	418:6	393:4 407:19	340:12 341:8 350:19
abstractors 91:19	achieve 26:18 28:2	additionally 397:16	351:3
abstracts 293:13	achieved 27:1 107:5	additive 438:17 439:5	administrator 19:22
abuse 172:7	achieving 26:15,16	address 32:6 74:19	260:19
abusing 353:6	acknowledge 107:7	93:12 95:13 130:6	admission 87:7 179:7
academic 80:1,5	294:18	164:16,17 169:8	184:11 185:4,7
347:17 381:2	ACSW 1:14	181:7 242:15 399:14	211:12 215:4,6,8
Academy 452:20	act 44:14 237:15 238:1	399:16 400:12 415:5	280:21 288:8,13
accept 32:22 160:11	241:22 242:1,2 245:2	addressed 51:22 53:4	412:6 423:15
acceptability 26:2	248:8,11,15,20 249:1	88:4,6,10 143:9	admit 156:10 323:12
33:16 35:2 53:13	253:16 262:10,14	163:15 193:6 274:8	344:15
142:15 143:5,21	397:4 448:18 450:17	302:20	admitted 58:13 150:21
168:11 169:4 192:10	454:12	addresses 67:1 117:14	151:14 152:3
193:2 203:17 206:1	acted 152:21	253:17 397:1 456:8	admittedly 52:3
236:9 273:11,20	action 48:16 101:20	456:13	admitting 399:9
302:5,16 343:8 389:4	236:12,18	addressing 54:1 114:19	adopted 50:11 51:6
389:21 410:9 411:9	actionable 453:19	130:15	69:22 70:12 167:5
431:16 432:17 446:2	actions 78:3 210:7	adds 133:11 182:22	adult 84:14 282:5,5
446:10	active 297:5	183:1 327:10	418:16,18
acceptable 34:13 74:7	actively 16:14 86:15	Adebogun 3:8 174:13	adults 49:14 280:15
94:12 389:10,16	activities 28:4 397:19	174:14	284:21
acceptance 70:14	398:21	Adelman 1:15 29:21	advance 247:8
accepted 14:1 35:5	activity 78:11 352:17	30:4,5 87:14,14,17	advanced 280:7
accepting 292:6	372:20	93:2 124:10,10	advantage 163:4
access 7:11 64:3	actual 117:2 241:8	135:13 162:5,11,12	advantaged 153:17
103:21 112:2 348:1	251:14 269:16 271:12	163:21 293:16,16	advantages 60:4 68:16
	328:6 383:15	300:10,18 384:9,9	209:14 403:5

advent 245:3 262:9
adverse 89:6,12,15
 90:2,16 91:5 101:21
 104:2 106:12 110:7
 111:7 206:19 216:22
 217:13 237:9 238:12
 322:14 329:12 415:14
 416:20 433:3 440:5
 440:15
advised 205:9 232:21
 249:7
Advisory 19:15 314:15
 314:20
advocate 3:1,1 15:16
 16:5
Affairs 2:12 14:9 406:1
affect 83:18 102:7
 128:2 189:21 244:3
 258:18 341:1
affiliation 10:2 174:12
affirm 210:18
afford 126:2,22 127:1
afternoon 116:8 178:4
 180:13 278:8,16
 313:4 393:2 394:4
 395:11 396:14
age 40:20 58:10,10
 114:6,8,9 188:5,16
 189:9 191:8 214:17
 416:6
agencies 226:14,20,22
 237:2,10,13 238:4,5
 239:9 243:7 248:22
agency 3:15 4:13,15
 5:7 46:5 109:19 111:9
 125:17 278:10 329:8
 330:4 333:6 423:19
agenda 7:8,8 8:6,22 9:9
ages 16:16
aggregate 106:4 430:4
aggregated 378:7
aggregating 68:20
 74:17
aggregation 33:12
 141:3,13 144:3
aggressive 56:7 189:13
 190:20
aggressively 100:13
aging 296:2 416:4
ago 115:18,18 123:2
 191:6 215:22 241:19
 258:10 310:10 333:6
 455:14
agree 37:17 44:18
 113:4,21 156:7
 185:22 198:12 201:6
 212:1 234:13 248:13
 309:3 330:22 343:17

382:12
agreed 57:18 70:2 90:7
 252:2
agreeing 38:6 221:2
agreement 24:5
agrees 55:17,18 299:5
ah 312:21
AHA's 174:18
ahead 30:15 41:12 80:9
 149:11 164:13 173:22
 174:10 177:17 183:11
 196:10 199:21,22
 204:20 206:8 220:3
 224:22 225:1,8
 228:22 231:11 235:16
 249:11 251:21 279:9
 279:16 283:12 305:17
 339:6 379:5,7 390:17
 407:4 410:5 428:5
 429:13 437:9 438:7
 447:20 451:18
AHRQ 46:6,19 51:6
 53:17 85:14 91:18,21
 92:10 93:3 94:15
 101:16 102:2 114:18
 135:17 136:13 175:16
 277:2 290:11 294:16
 295:14,16 361:11
 452:12 453:6
AHRQ's 49:15,18
aides 384:16 385:5,17
 385:19
Aiken 325:5 333:5
Akeem 3:8 174:14
Alexander 1:16 18:21
 19:2,3 40:4 77:22
 99:22 100:6 129:3,4
 130:20 131:1,7,15
 161:16 188:3 212:1
 222:20 262:22 263:3
 267:12 268:8 306:7
 331:4,15,19 332:1,4,7
 332:9 365:18 371:10
 381:3 409:5 420:15
 429:14 430:11 434:10
 444:3 445:1,3,9,15
Alexander's 130:7
align 282:4
aligned 66:5 282:3
All's 192:22
all-payer 175:19
allergic 15:6
allocate 86:4 380:4
allocated 86:17
allow 106:16 136:9
 317:22 325:22 326:9
 326:9 347:18
allowed 124:18

allowing 318:14
allows 264:16 375:21
alluded 47:7 86:5 269:5
alluding 367:9
altered 116:7 396:19
alternative 112:11
 113:14 156:2 248:14
 248:17
alternatives 34:13
Alzheimer's 424:6
Ambulate 5:19
ambulation 100:17
 422:8
America 1:13
American 3:8 5:10,12
 5:13,15 95:21 111:11
 174:15 313:21 414:16
 437:3
AMI 155:18
amount 67:5 69:2 91:15
 101:18 130:17 265:9
 371:12 381:16
ANA 313:20 415:18
 451:1
analogy 305:9
analyses 35:2 63:15
 71:2 76:15 86:4
 143:22 269:5,8
analysis 34:2 51:2 67:4
 67:19 77:1 81:21
 110:1 155:2 175:17
 189:4 206:22 218:17
 240:2 255:22 256:15
 267:18,21 271:21
 272:5 315:12 417:8,9
 422:7 428:15 435:11
analyst 3:5 225:16
 246:4
analysts 225:14
analytic 50:22 167:1
analyze 244:9 454:10
analyzing 74:21 243:16
 254:21
anatomy 56:13
anchor 371:14,15,19
 372:2
and/or 140:10 167:17
 192:3 250:12 267:5
 301:16 335:8
Anderson 3:4 4:6 22:7
 22:9 23:5
Andrew 3:4 4:6 22:9
anesthesia 14:15
 208:16 211:10 213:5
 218:13 221:19 453:17
anesthesiologist
 212:14 222:4
anesthesiologists

208:9 210:1 213:2,3
 219:13,22
Anesthetist 1:19
anesthetists 212:17
 213:5
Angela 224:17,22 225:9
 238:12
angles 71:1
Ann 2:10 41:15,22
 138:16 140:13 201:17
 266:9 344:22 363:1
 390:3,22 391:4
 400:11,12 411:12
 429:1
Ann's 400:13
announcement 178:11
announcements 7:10
answer 13:16 35:18
 45:22 46:13 77:17
 80:9 82:3 104:19
 175:7 176:16 181:8
 183:22 198:8 199:12
 199:19 200:15 215:21
 260:15 280:2 292:21
 311:6 348:7 356:18
 379:6,7 385:18 386:1
 386:20 405:11 423:8
 431:10
answered 442:9
answering 176:12
answers 198:9
Anthem 17:16
anticipate 66:10,18
 258:17
anticoagulant 55:19
anybody 11:2 20:16
 21:18 29:15 41:10
 92:20 165:22 204:6
 311:18 341:21 402:11
 415:7 430:14 431:11
 451:10
anybody's 204:12
 211:5 430:3
any more 45:6
anyway 31:11 108:14
 248:18
apologize 131:17
 139:17,19 162:5
 167:10 168:6 172:13
 218:21 257:19 272:10
 272:19 332:3 346:17
 346:21 349:11 403:14
 451:22
apparently 148:16
 313:4
appeals 25:19
appear 20:19 207:17
 219:1 231:15

appeared 186:4,6 369:14 appearing 73:6 appears 54:1 134:4 151:10 applaud 375:6 applause 8:2 Applegate 1:17 10:21 10:22 12:3,4 97:5,7 99:15 138:10,20 140:16 141:6,9 266:6 266:7 apples 84:2,3,3,16 applicable 198:13 283:4 application 40:17 84:9 315:14 applications 112:8 applied 61:18 120:18 129:13 applies 307:15 358:16 apply 104:12,15 133:6 195:11 307:21 applying 256:19,21 appointment 11:10 appointments 14:17 appreciate 7:4 67:10 87:21 338:13 341:17 452:6 453:3 appreciates 119:2 approach 41:1 50:11,22 51:5,5,7 59:11 61:18 61:20 65:10 69:21 70:12 74:18 76:15 127:18 167:1,4 351:19 426:8 440:8 approaching 71:19 appropriate 36:8 41:8 58:15 96:1,11 123:9 128:10 142:17 143:8 153:22 168:13 169:7 176:14 192:12 193:5 229:16 241:4 263:10 273:13 274:7 302:7 302:18 365:5 399:20 appropriately 72:8 129:13,14 approval 245:18 315:17 317:15 417:13 approve 306:7 approved 136:15 293:19 315:13 417:11 approving 40:7 approximately 238:10 397:6 Apps 394:17 archives 13:15 Ardizzone 1:19 14:13	14:15 187:4 190:17 212:11,11 219:15 363:19 364:1 365:9 366:16 389:7,14 area 33:6 146:12 147:22 203:9 229:4 235:11 260:22 330:5 330:7,8 409:20 422:10 456:13 areas 24:14 28:15,20 29:1 49:1 124:3 258:11 418:13,14 434:4 argue 72:2 98:19 115:8 355:7,8 453:15 argument 199:15 439:10 arguments 453:16 ARNP 2:11 art 341:4 344:14 arthroplasty 96:8 article 78:6 89:6,7 99:20,22 109:21 292:2,4 295:16 344:1 articles 89:10 185:18 402:4 articulated 71:22 141:1 141:11 199:16 310:9 310:12 ascertainment 54:8 aside 23:21 424:7 asked 44:12 68:4 89:1,4 120:14 127:4 272:18 325:10 344:9 asking 10:5 89:20 216:4 224:3 232:6 234:19 245:8 264:12 285:7 306:15 346:9 351:22 356:8 358:16 366:6 370:5 371:18 379:9 394:16 asks 412:6 aspect 73:10 aspects 44:4 assertions 444:22 assess 86:4 205:22 235:8,19 242:3 262:19 273:3,6 308:4 319:21 assessed 228:2 247:5 assessing 265:2 assessment 5:18 34:14 59:19 72:10 73:7 76:14 100:11 144:15 145:20 170:3 171:16 193:21 203:1 226:8 226:18 227:2,9,22 229:13 230:3,5	232:18 233:7 235:9 240:13 243:8,18 244:5 246:20 261:17 263:7,9 264:1,4 265:10 273:6 274:22 276:16 285:15,22 303:11 311:3 314:21 328:2 353:2 380:1 401:8 411:22 412:12 419:16 assessments 239:16 241:3 264:16 285:20 assessors 258:21 assign 86:9 338:17 assigned 66:2 assigning 72:12 assignments 24:11 assistance 438:5 assisted 437:18 441:13 442:18,19 assistive 5:9 315:4 associate 2:11,15,20 11:16 14:2,9 279:7 associated 28:19 51:8 51:15 60:12 64:1,4 66:1 82:11 85:17 121:20 130:14 131:2 133:10 134:8 217:2 236:12,18 261:22 319:13 329:12,15 355:22 364:20 371:4 401:6 420:22 432:4 439:8 Associates 394:18 association 2:4 3:8 5:10,12,13,15 19:20 111:12 174:15 287:1 313:21 332:15 338:4 375:20 414:17 437:3 associations 112:6 355:7 365:12 assume 277:11 450:12 assumed 41:7 62:17 assuming 399:9 assumption 190:18,22 329:21 Assurance 5:17 assure 320:14 asymptomatic 54:12 at-risk 61:12 Atlanta 12:5 attached 419:6 attempt 106:21 176:10 attempts 130:11 attention 18:22 55:4 101:15 124:2 178:10 191:10 241:3 395:10 408:6	attractive 326:4 attributable 43:19 attributed 32:15 author 97:10 authority 316:1 394:14 automated 351:2 453:8 availability 219:10,10 221:18 222:3 288:18 358:20 393:9 available 26:5 35:18 55:2 112:13,19 118:16 176:1 184:4 188:18 189:12 281:10 282:10 288:9 324:16 329:20 341:14 369:4 425:12 453:7 average 69:14 75:8,10 76:2,7 108:1,9 160:7 166:8,9,15 369:3 398:3,14 averaged 69:13 avoid 47:21 48:2 52:21 aware 98:10 242:17 456:20 awash 338:15 awhile 199:10 axe 396:5
B			
b 201:1 378:2 BA 2:2 baby 395:19,22 baccalaureate 454:1,6 bachelors 333:15 back 8:10 9:17 38:18 42:6 45:3 50:5 67:10 91:1,10 92:3 95:2 99:18 111:17 118:10 118:11 120:22 122:21 123:4 136:14 147:11 151:19 152:13 160:14 178:19 179:6 197:5,6 202:10 220:22 221:6 224:13 234:22 235:3 237:9 241:18 243:13 246:6,7 266:4 277:12 290:19 296:21 300:1 300:17 301:4 307:5 312:5 314:18 331:6 335:19 336:5 349:22 352:5 360:21 361:8 362:6 368:16 375:2 381:2 394:1 395:12 424:3 441:11,17 450:13 back-end 259:11 background 11:7,14 15:11 18:4 19:21 42:2			

92:22 103:9 139:1
 324:18 394:6 455:12
backgrounds 20:9
bacteremia 133:20
 134:19 135:4
bad 57:8 354:14
balance 48:4
balanced 65:12 347:22
ballpark 166:11
bar 138:17
barrier 82:12,13 84:1
 85:6
base 34:14 117:5 454:9
based 38:12 51:19
 59:10,10 60:16 76:4,8
 76:11 83:17 84:12,13
 84:20 85:1 97:14
 106:20 107:5,16,17
 112:21 128:6,12
 134:6 149:6 158:12
 164:4 191:1 199:14
 204:15 220:17 224:4
 245:9 247:19 248:20
 261:11,16 271:22
 272:1,2,7 282:12,13
 282:15 285:16 286:8
 291:18 297:6,7,8
 298:21 309:11 315:17
 325:8 365:20 367:22
 377:19 381:10 396:21
 399:4 417:14 419:2
 425:7 426:8,19 427:5
 449:9
bases 351:17
basic 40:4
basically 50:6 63:8 68:9
 72:1 73:1,13,21 75:3
 76:22 99:1 106:9
 120:14 151:3 166:17
 206:17 236:5 238:12
 243:4,21 270:19
 271:7 300:22 341:5
 341:13 350:3 351:13
 369:15 401:19,20
basing 23:11
basis 258:19 263:8
 264:2,9 412:11
bear 175:18 257:13
beauty 9:19
becoming 347:22
bed 89:16 96:15 188:7
 219:7 253:9 261:9
 297:6 424:6,22
 429:17 441:17,18
bed-to 188:7
beds 81:18,19 153:17
 208:9 271:18
bedside 218:1 258:22

316:2 340:16 341:1
 344:2 371:14 372:21
 372:21 373:6
beginning 17:1 88:12
 133:18
beginnings 451:5
begun 80:10
behaving 71:5
behavior 73:7 235:18
behavioral 29:8
belaboring 252:12
believe 44:14 51:12
 53:12 96:17,22 98:17
 99:12 100:12 115:5
 115:14,15 125:8
 150:12 187:19 224:8
 252:2 323:20 346:1
 374:12 385:3 407:16
 429:21 430:3
believed 93:18
benchmark 241:20
 381:13 382:1
benchmarking 40:18
 227:20 242:10
benchmarks 75:5
beneficiaries 242:13
benefits 47:15 145:5
 170:21 194:11 202:4
 275:15 304:3
best 13:10 26:12 29:6
 71:3 75:12 117:18,22
 175:6 216:7 244:8,14
 319:20 407:21,21
 434:13 440:10 443:3
better 21:7 48:3,17 50:1
 52:12 54:9 66:4 69:20
 75:7 87:9 92:18
 100:19 103:21 117:14
 119:4 126:16 127:2
 129:11 132:7 136:13
 136:14,16 179:20
 180:13 183:22 184:7
 207:20 219:5 289:15
 290:18 295:6 325:13
 353:5 356:12,14
 365:7
beyond 37:19 95:10
 239:13
bias 10:12,12 13:11
 21:5 54:10 80:4,6
 99:10 164:2,9
biases 182:20
bid 245:10
big 17:3 39:11,14 43:19
 92:6 98:11 103:17
 309:18 310:2 425:13
 438:3
bigger 449:8

billing 125:21
binary 41:3
binomial 233:11,16
 234:16 235:7 236:6
 239:4 247:14
bio 8:9,10 177:17
bit 24:7 30:14 31:22
 33:17 35:16 36:16
 42:10 70:14 75:1 82:7
 82:8 83:6,20 84:2
 86:6 92:11 102:18
 130:10 138:16 154:21
 155:4 156:14 159:15
 212:8 221:3 229:12
 235:16 263:6,11
 280:1 289:17 313:14
 322:2 325:12 329:9,9
 344:16 345:12 347:6
 356:9,17 357:1 362:1
 366:13 368:6,16
 370:18 380:13 392:21
 395:14 398:8 404:5
 407:13 422:2,17
 455:12
black 404:9
blank 289:2
blanket 246:10
bleeders 131:13
bleeding 129:19 130:17
block 172:2
blocks 312:18
blood 54:14,14 55:12
 132:6,10
bloodstream 64:1,4
 85:17 131:20 132:1
 132:20 133:4,7 134:8
board 25:16 208:10,10
 208:16 209:22 211:10
 212:4,6 213:2 219:12
 219:22
boat 375:8,10
bodies 383:15
body 95:16 110:20
 115:14 329:14
Bolden 109:21
bone 254:3 396:18
bootstrap 432:8,10
borderline 123:13
borrow 59:18 60:5
boss 80:13
Boston 11:18 19:7
bothers 269:3
bottom 75:17 138:18
 166:20 211:19 337:17
bought 21:21
bowel 189:1
bowl 82:11
box 138:13 229:12

236:17 334:9,10
Braden 237:5 261:12
 272:2
brain 162:18
branch 129:19
breadth 322:6
break 8:8,11,12 177:12
 177:17 205:10 272:12
 277:8 311:21 312:5
 392:22 393:11,22
 395:16
breaks 8:9,10 23:20
breath 428:10
brief 25:9 47:1 315:7
 327:5 439:22
briefly 8:6 20:20 26:15
 29:13 344:7
Brilli 1:20 16:18,19 17:8
 131:18 132:5 133:8
 134:13 172:11,16
 173:2 181:17,18
 183:16 184:21 185:6
 185:22 186:20 187:9
 188:19 200:1 204:7
 204:10 208:18,20
 209:3 291:1 293:7
 308:17 309:3
bring 10:12 13:14 18:21
 20:9 78:16 99:16
 101:15 123:4 191:9
 290:19 293:11 307:11
 326:6 356:3 357:4
 408:6 426:9
bringing 121:21 122:21
 175:17 236:16 307:2
 427:14
brings 124:2 153:3
 290:3
broad 42:4 115:4
broader 195:16 329:22
broadly 380:10
Bronx 30:7
brought 13:9 107:21
 148:9 241:22 244:20
 290:1 321:18
BROVIACs 133:1
BSc 2:8
BSN 1:19 210:22
 216:21 217:11 342:2
BTE 28:19
bucket 90:19
buckets 31:7
Buckeyes 17:6,8
budgets 347:18
build 8:11 95:9
builds 355:16
built 13:12 86:14 308:6
 308:7 330:10 339:19

339:21 412:11
bullet 86:6
bunch 51:18 120:15
 200:6 420:12
bundle 131:12 291:5,7
burden 121:20 308:9
 346:10 351:2 358:9
 359:6,14,17 368:16
 369:17 397:14 416:22
 438:20
burned 366:9 383:3
Burstin 3:4 4:4 9:16
 11:1,22 12:10 14:4
 20:15 21:21 22:3 36:4
 37:5 38:5,8 39:13,16
 39:21 40:15 41:14,19
 42:6 45:7,12 92:20
 148:1,20 149:1 173:5
 179:18 203:14 245:7
 249:18 251:22 252:10
 292:22 306:14 307:3
 427:18 428:1 438:8
 444:13 448:10 449:8
 449:14,19,22 450:3,6
 450:9,17,21 451:20
 452:2
business 375:3
buy 22:1,4

C

c 157:20 230:11
c-statistic 157:13,18
 158:3
C-Statistics 256:20
cab 139:20
calculate 104:10
 339:17 368:13 370:20
 382:16 383:7 416:18
 426:21
calculated 222:7,13
 340:2 368:4
calculates 283:1
calculating 269:14
 370:7
calculation 340:20
 370:13 372:14
calculations 359:10
calendar 240:5,6,7,8
 364:11
calf 43:13 54:15 55:10
 55:14 98:12
calibrate 61:6
California 46:18
call 27:6 50:14 51:10
 68:4 125:10 176:20
 179:17 181:6 223:15
 245:14 309:5 360:20
 393:4 451:21

called 38:21 50:13 54:9
 61:18 90:14 134:7
 163:9 190:4 210:19
 307:5 342:10
calling 90:9 177:10
 455:9
cancer 1:19 14:16
 152:6 188:22 189:1
cancers 124:16
CANDIDATE 4:10
capability 95:11
Capitol 178:17
capture 129:22 255:20
captured 55:21 163:10
 316:20
captures 55:16 396:15
 398:10
capturing 160:4 163:5
 264:10 288:12
card 24:21 25:4,5
 268:12 270:5 395:9
 395:19
cardiac 155:7,8
cardiothoracic 18:18
cardiovascular 29:8
cards 24:21 231:1
care 2:4 5:2,16 11:9
 16:1,13 19:19 25:8
 49:20 66:7 93:17 99:7
 100:10 101:12 112:14
 140:10 144:13 151:5
 152:16 167:17 170:1
 175:5 189:22 190:20
 192:4 193:19 197:19
 206:21 217:5 224:15
 225:17,21 226:11,12
 226:15 227:1,4,8,9,15
 228:2,4,5,6,10,12
 231:18 232:14,18
 233:8 234:2 237:10
 240:6,9,15 241:4
 242:5,7 243:8 244:3
 246:5,20 247:4,5,18
 247:22 248:10 250:12
 253:21 255:20 257:7
 257:9 258:20 261:19
 262:12 263:22 265:4
 267:6 270:12 274:20
 301:17 303:9 315:22
 316:2,14,22 317:2,3
 317:11,13 318:3,8,9
 319:12 320:1 325:10
 328:7 329:16 330:15
 330:17 335:8 339:15
 340:11,16 341:15
 344:2 361:6 364:9
 367:1,1,7,15,16
 369:14 372:22 373:21

375:19 376:14,15,19
 377:8,10,15,15,20
 378:1,18,20 379:10
 380:17 381:6,10,12
 381:16,22 382:3
 383:16,21,22 399:16
 401:6 406:7,15
 409:22 418:14,17
 420:21 427:1,3
 433:22 434:3 450:15
careful 399:4
carefully 125:9
carries 65:13
carry 63:6
carrying 86:8
case 7:14 21:21 22:2
 34:18 62:2 76:8 91:1
 96:7 108:4 135:14
 137:5 153:2 287:13
 287:21 295:7
cases 34:6 73:15 96:9
 102:21 153:1,2 264:5
 282:18 283:2 319:2
CAT 217:19 219:10
 222:3
catch 129:10
catches 441:15
catching 92:21
categories 36:21 39:5
 58:12 150:22 235:6
 327:13 359:21 417:20
 434:18
categorization 39:12
category 39:1 127:10
 155:5,12 232:19
 434:18
catheter 84:12 127:20
 132:11,21 133:10,20
 134:7,15,17,19 135:5
catheter-associated
 131:22 132:8,14
 134:14,18
catheter-related 131:20
 132:5,12,17 133:3,7
 133:10 134:15 135:1
catheters 128:1,1
 421:17 424:3,4
caught 83:16 441:9
causal 355:8
cause 134:20 220:8
 397:11 430:6
caused 49:16
caution 260:3
cautiously 166:20
CAUTIs 91:14,16 116:9
 125:4
caveat 84:17 117:16
 240:11 288:6

CDC 84:10 85:14 114:5
 116:9 125:15 393:7
CDC/NHSN 64:3
celebration 83:13
center 1:13,15,20 2:12
 3:13,17 11:11 14:10
 14:11,17 15:2 18:17
 30:7 67:21 80:5 250:4
 279:11 455:6
centered 65:4
centers 3:11 5:2,5,20
 6:3 80:2 219:11
 347:17 381:2
central 31:10 63:22
 64:3 82:8,10 85:12,16
 132:21 134:8
CEOs 360:13
Cert 260:8
certain 56:6 78:3 104:4
 150:22 197:19 229:14
 340:10 366:14 380:8
certainly 40:20 67:11
 74:17 75:20 98:9
 113:7 117:11,22
 118:5,8,10 119:2
 128:15 136:13 156:4
 163:7 166:10 175:16
 176:9 183:21 212:1
 245:9 280:8 286:11
 294:12 298:1 307:8
 352:3 366:1 439:4
 444:9
certainty 117:9 270:17
certification 208:16
 209:22 211:10 212:4
 212:6 219:12 220:1
 239:14 259:3
certified 208:10,11
 213:2 253:13 254:6
 398:2
cetera 34:3 105:10
 206:1 210:17,17
 308:13 342:2 429:18
 448:14
CFOs 329:18
chair 314:8 447:20
chaired 12:18 50:8
chairing 294:2
chairs 11:4
challenge 157:3
challenges 153:8
 370:10
challenging 68:22 69:8
chance 20:21 73:20
 149:18 180:8 338:14
 424:19
change 39:6,22 42:10
 43:11,20 57:16 58:1

78:6 80:15 81:3
 100:22 116:2 124:4
 150:12 190:16 240:3
 244:4 254:22 282:8
 282:10,17 283:5
 307:6 365:6 385:12
 395:18 400:15
changed 31:18 39:1,19
 47:2 58:2 82:8 84:1
 85:14,15 91:5 197:20
 235:18 400:17,21
 456:6
changes 43:14 51:9
 115:16,21 255:5
 367:16
changing 65:17 118:17
 198:1 221:2
characteristic 155:6
characteristics 81:21
 128:7,12,13 158:12
 188:14,16 208:14
 209:16,20 210:16,19
 210:20 218:3,22
 219:14,21 221:22
 222:2,9 255:10,15
 419:12
Charitable 2:14 16:11
Charlotte 1:16 19:2
 38:17 40:3 77:20 88:7
 124:9 129:1,3 161:15
 162:1 164:11 188:2
 206:11 211:21 222:18
 230:22 231:1 262:21
 267:11 268:6 306:5
 331:2 346:15 365:17
 409:4 420:14 429:13
 431:12 434:9 444:1
 444:22
Charlotte's 99:18
 268:13
chart 91:18,19 92:18
 293:2 337:3,4,8,13
 338:1
charting 367:4
charts 125:9
chat 137:11 138:9,13
 201:18
check 10:18 20:15 45:8
 200:13,16,18 201:2
 229:12 234:16,18
 236:17
checklist 91:14
chemoprophylaxis
 100:14,16,18,20
chest 60:10,17 107:1
 119:13,22 120:10,16
 153:11 154:22 155:1
 155:12

chief 1:19,20 2:5,9,15
 2:17,20 3:4 12:13
 13:22 16:20 17:21
 18:12,15 19:9 360:12
 360:13
children 280:14 291:3
 309:20 310:3
children's 1:21 3:9 4:18
 4:21 16:21 17:1
 180:21 181:2 197:15
 280:9 287:1 291:3,19
 297:8,9,13,15,16,20
 308:19 309:6,12,14
Chneil 100:5
choice 368:10
choices 76:20 318:15
choose 26:11 149:6
 370:14,20 374:9
 377:3 424:13
CHOP 172:1 178:5
 184:18 185:5 187:18
 209:12 452:13
chose 184:16 281:22
chosen 59:9 72:7
Chris 18:2 233:1 234:21
 235:1 242:19,20
 245:8 246:6 251:4
 374:14,15
Christmas 22:4
CHRISTOPHER 2:1
CHRISTUS 2:5 12:13
chronic 225:17 246:4
 416:8
cited 93:20 230:1,14
CLABS 125:4
CLABSI 84:10 85:4
 112:12 127:6 128:3
CLABSIs 88:19 91:11
 92:11 93:3 116:9
 330:22
claim 118:21
claims 175:22 176:2
 183:19 184:5 188:8
 188:18 215:10 309:4
 309:11 310:6 350:3
 351:4
claims-based 418:7
clarification 31:22
 35:22 77:11 119:11
 119:19 121:15 129:7
 131:19 135:12 155:14
 166:2 222:21 232:7
 236:8 339:14 427:12
 428:18 429:11
clarify 84:22 97:12
 129:21 137:9 146:9
 157:9 158:5 210:4,10
 210:14 212:19 220:22

221:20 223:4,20
 224:4 261:10 269:18
 280:5 299:19 305:19
 324:15
clarifying 221:6
clarity 153:3
class 26:12
classes 341:9
classification 75:18
 76:3 80:18
classified 75:3,9,15,22
 374:13
classify 76:1 370:9
clean 326:8
cleaning 86:16
clear 39:10 93:6 132:18
 134:13 144:21 147:21
 175:13 180:6 203:14
 294:11 306:22 323:22
 332:6,21 386:4
 427:19
clearer 329:14 385:13
clearly 69:7 76:10
 160:10,14 236:22
 252:10 298:11 310:7
 322:9 323:16 434:11
clicker 137:6,12 168:17
 276:2
climate 15:12
clinical 2:10,15,16 14:2
 18:5 42:3 46:11 52:4
 56:11 61:3 76:18
 94:11,14 98:14,20
 106:18,20 110:4
 226:8,17 230:3,9
 253:21 279:12 288:4
 350:4 373:7 423:20
 429:4
clinically 55:17 63:5
 99:13 416:16
clinician 61:6 133:6
clinicians 54:18 60:13
 60:16 106:19 107:6
 120:15 285:14 288:5
clips 129:13
Clock 396:8
close 81:8 95:8 361:22
 408:5
closed 396:19
closely 45:20 270:20
 316:10
closer 58:21 59:1 79:2
 79:12 112:17 313:16
clot 99:2
clots 54:14,15 55:12,16
 55:16 96:3,3 99:6,13
clustered 270:20 408:2
clustering 271:9

CMI 380:19
CMS 44:21 61:10 79:22
 92:14 127:7 224:15
 224:16 225:16 227:18
 233:4 237:10 239:8
 239:12,17 241:18
 242:22 243:1 244:8
 245:17,21 246:10
 252:17 253:1,18
 258:6,8 259:2,7,18
 263:16 281:22 315:15
 317:15 339:3 358:2,4
 358:14 395:22 396:12
 400:2 418:12 435:18
 448:17 449:3,4
CMS's 128:16 415:17
 417:12
CNO 333:7
CNOs 329:18 341:4
 381:1
CNS 367:4
co-chair 1:11,14 4:2,2
 7:3,18,19 8:5 11:5,13
 16:7 17:6 20:4 21:16
 22:1,5 29:17 30:1
 36:15 38:14 40:3 41:9
 42:8 45:10 67:3,16
 77:6,16 78:18 79:1
 80:8 81:14,22 82:12
 84:19 87:12,15 93:9
 97:2,6 99:17 101:12
 103:4 104:18 108:19
 114:14 116:5 118:14
 119:7 121:9,14
 123:15 124:8 128:22
 131:5,16 133:12
 134:11 135:8 136:7
 136:22 137:14,22
 138:6,22 139:13
 140:2,4,20 142:4,7,12
 143:2,18 144:10,21
 145:13 146:2,6,11,18
 147:2 149:8 150:1
 151:18 154:10,15
 159:4 160:21 161:12
 162:9 163:20 164:10
 165:2,10,15 167:8
 168:1,22 169:17
 170:12 171:7,20
 172:6,12,18,22 173:3
 173:15 174:4,8
 176:18 177:3,11,21
 177:22 178:4,7
 180:10,13,14,16,18
 181:10,14 183:8
 184:8 185:12 186:7
 186:16 187:3,6,15
 188:1 189:6 190:15

191:11 192:8,15,20
 193:16 194:4,15,19
 195:2,5 196:15,21
 197:2,5 198:7,13,15
 199:9,14 201:4,12,21
 202:9,14 203:3,7,12
 204:4,9,14 205:3,5,8
 206:2,7 207:8 208:1
 208:18 209:2 210:11
 211:21 212:10 213:7
 213:18 214:19 215:12
 215:19,20 216:15
 217:14 218:8 220:2
 220:12 221:10,13
 222:16 223:9,21
 224:19 225:1,4,7,18
 228:13,17,20 230:19
 230:22 231:6,10,12
 232:1,5,17 234:11,21
 236:20 238:18 239:19
 239:22 240:10,12
 241:7,15 242:19
 245:13,21 246:12
 248:6 249:5,14 250:6
 250:19,22 251:4,20
 252:9,14 257:10,18
 261:2 262:21 263:2,4
 263:22 264:8 265:14
 266:14,17 267:10
 268:6,11,14 269:18
 269:22 270:4 271:15
 272:8,9 273:2,5,7,18
 273:22 274:17 275:3
 275:8,21 276:4,9,18
 276:22 277:5,13,15
 277:18,20 278:1,3,5
 283:10,12,16,19,22
 284:3,5,15 287:4,8,10
 288:20 290:22 291:20
 292:1,9,17 293:4,15
 295:12 296:5,8,10,12
 297:1 298:6 299:10
 299:19 300:13,19,20
 301:2,6,11,21 302:13
 303:7,14,19 304:7,12
 304:18 305:14,16
 306:5,10,13 308:15
 308:21 309:1 310:8
 310:18 311:5,11,16
 312:2,3,6,11 313:13
 324:9,12 326:19
 327:2 330:20 331:13
 331:17,21 332:2,5,8
 332:11,16,20 333:1
 333:18 334:3,15,20
 335:2,15 336:7,10,14
 336:21 337:2,5,11,19
 338:6,12,16,19 339:6

339:12 342:8,16
 343:1,5,11 344:19
 345:4,10 346:12
 348:5,22 349:5,8,12
 349:17,20 350:2,9,10
 351:11 352:6 353:16
 356:21 357:12 360:5
 361:21 362:17 363:4
 363:8,20 365:16
 367:18 371:6 372:5
 374:5,14 376:8,12
 379:5 380:15 382:8
 384:6 386:2,13
 387:10,21 388:2,5,12
 388:22 389:18 390:8
 390:13,16 391:3,7,13
 391:19,21 392:5,13
 392:17 393:18 394:2
 394:16 395:3,7 396:4
 400:4,7,9,11,14,16,20
 401:12 402:10,22
 403:22 405:1,18
 406:20,22 407:3,10
 408:11 409:3 410:4
 410:17,21 411:2,6,17
 412:13,19 413:2,10
 413:17 414:3,5,7,8,14
 418:8 420:4,6,10,13
 421:13 422:13 423:6
 424:8 426:2,5 427:9
 427:11,20 428:5,17
 429:6,10 430:14,21
 431:12,21 432:14,22
 433:8,14 434:8
 435:13 436:3,10,15
 436:18,20,21,22
 437:1,11 438:6
 439:18,20 440:1,18
 441:5 442:7,20 443:9
 443:14,18 444:1,6,8
 444:15,20 445:2,20
 446:7,15,22 447:5,12
 447:18 451:9,12,18
 451:19 454:17 455:1
 455:7 457:1,6,11,12
Co-Chairs 1:9
co-lead 291:1
co-morbidities 188:4
 188:14,21
co-occur 85:21
coalition 432:7
Cochrane 230:1,16
code 53:1 85:15 132:19
 132:19 133:5 307:22
 453:7,9
codeable 54:19
coded 56:22 83:18
 112:2,2,8 120:10

131:10
coders 85:2 92:4 133:5
codes 85:12 117:6,19
 125:21 129:16 133:8
 184:4 281:8,10,18,21
coding 52:21 65:17
 87:6 125:5 133:11
 135:2,4 258:11 259:8
 259:11
coefficient 74:2 297:11
 297:13
coefficients 34:3
cognitive 47:21 256:17
cohort 61:11 96:12
Collaboration 28:14
colleague 109:9 130:4
 252:22
colleagues 109:22
 110:9 111:9 259:7
 277:11 278:12,13,19
 279:2,9,18 314:3
 431:2
collect 163:7 241:20
 242:5,10 245:17
 265:13 333:21 346:6
 418:4 426:14 445:13
collected 227:14 262:5
 262:20 349:14 368:15
 416:19 426:14 437:20
collecting 246:9,17
 321:5 340:9 351:14
 359:6 423:2 438:21
 439:6
collection 144:14 170:2
 193:20 223:12 259:8
 274:21 303:10 346:10
 350:3,16
College 1:13 11:11,17
 11:18 95:21 455:6
Colorado 3:13 224:18
 225:10,13
Colorectal 100:9
Columbia 14:18
Columbus 16:21
comatose 253:8 261:8
combination 86:20
 350:7 354:19 443:6
combine 69:5 74:10
combined 69:9 70:22
 71:12 302:1 418:17
combining 69:18 72:4
come 8:10 21:6 26:17
 31:13 58:21 99:17
 116:14 117:10 118:11
 147:19 150:9 165:2
 179:6,19 234:22
 235:3 259:9 260:2
 286:3 300:16 301:4

307:5 312:5 362:6
 363:2 383:6 391:5
 396:2 417:2 451:11
 456:18
comes 30:11 81:9
 118:6 155:5 164:22
 198:4 258:13 285:13
 289:4 292:10 336:1
 350:19 360:21 454:15
 454:15
comfortable 221:1,9
coming 7:4 12:21 52:13
 67:10 100:9 105:14
 107:17 116:9 136:14
 151:19 243:13 252:18
 299:22 393:6 403:14
 409:8 428:16 450:10
commend 136:13 176:9
comment 4:19 6:5
 25:12 27:11,18 41:10
 92:8,9 99:18,20,21
 101:13 114:13 116:6
 118:2,3 123:16
 127:13 129:1 130:9
 133:22 135:9 146:14
 146:17 148:6,8
 149:13,22 150:3,6
 153:14,20 154:11
 163:22 164:12 173:19
 173:22 174:2 176:22
 179:15 180:9 182:13
 183:9 186:2 190:18
 191:9 201:7 206:5
 207:8 208:19 212:12
 214:5 216:8 221:16
 241:16 280:4 284:22
 285:7 291:22 324:15
 326:11 328:10 345:17
 350:11,12,14 357:17
 365:1 371:9 379:1,19
 389:8,14 394:5 405:1
 442:22 444:9 447:19
 451:10,11,15,19,21
 452:2 454:18,19
 457:2
commentary 179:4
 180:5
commented 88:2 125:1
comments 7:18 8:18
 24:1,12,14 25:14
 27:12 44:6 45:1 93:9
 104:21 109:1 111:16
 116:11 117:10 121:10
 146:15 147:7 148:8
 149:5,7,16,19 159:6
 159:11 164:10 169:1
 170:14 174:7 176:19
 177:2 183:5,7 205:21

213:9 214:19 222:17
 236:22 241:19 246:16
 276:18 280:3 284:16
 291:21 320:8 324:3
 334:22 335:1 336:20
 337:12 343:11 344:20
 382:9 390:11,12
 402:11 408:11 411:6
 412:13 423:12 444:16
 444:21 446:8 447:6
 451:16 457:7,9
Commission 103:14
 314:19
commitments 452:22
committee 1:3,8 5:17
 9:20 10:19 12:19 15:9
 18:19 19:14,17 20:17
 26:20 27:1,17 29:8,9
 30:22 31:13 41:15
 44:1,2 45:17 49:7
 50:8 67:10 94:1
 117:10 118:15 126:19
 148:2,18 199:18
 207:6 247:11 294:2
 299:9 314:15 315:19
 320:12 367:4 372:17
 455:8
committee's 24:12 47:6
committees 21:10
 149:4
common 85:22 103:13
 120:3 151:12
commonly 61:17 381:9
communicate 50:1
community 48:21 397:9
comorbidities 58:11
 155:10
comparability 143:11
 169:10 193:9 274:10
 302:22 325:22
comparable 53:12
 199:2,6 370:3,4
 448:15
comparative 409:12
compare 76:6 201:7
 256:3 257:5 320:5
 352:22 353:14 358:2
 377:15 399:22 402:8
 403:6,11 412:21
compared 61:13 68:19
 74:4 75:5,7,9 120:16
 153:18 198:2 199:1
 212:16,17 269:8
 377:9
compares 159:18
comparing 254:17
 269:10 271:19
comparison 35:9 61:16

264:17
compelled 54:18
compelling 111:5
competing 26:9 35:10
 35:11 145:20 154:3
 163:2 171:16 203:1
 216:6 276:16 277:7
 311:3,22 414:10,12
 447:19 448:5,6
 452:14
compile 48:9
compiled 319:18
compiling 330:2
complaining 373:2
complementary 452:17
complements 184:14
complete 77:1 219:21
completed 290:17
completely 38:6 84:11
 101:11 113:4 234:9
 292:5
complex 46:2 49:22
complexity 45:18 298:3
compliance 237:2
 239:3,8,10,21 240:3
 241:2
complicated 76:19,20
 124:16
complication 190:2,4,8
 190:10,13,14 214:7
 215:1,2,3,6,8 223:18
 299:7
complications 31:8
 161:20 182:7,8 183:3
 189:18 190:6 214:9
 214:14,16 247:11
compliments 129:4
 175:16
component 32:8,19,21
 33:6,7,11,13 34:5,6
 34:15 47:9,10 54:6
 59:12 63:7 66:3,11
 70:5 94:17 108:2
 122:10,13,14 144:1
 175:12 176:4 205:11
 217:8 229:13 231:22
 232:4,19 270:21
 271:1 321:4 328:22
 343:16 424:1
components 32:9
 35:11 36:1 42:22 51:1
 51:18 58:16 59:21
 66:9 74:12 77:13
 81:11 94:5,6 105:3
 108:10 123:6,7 141:2
 141:12 175:4 247:4
 324:7
composite 29:14,18

32:3,6,8,12,18 33:1,4
 33:8,9,10,19,20 34:8
 34:10,19 35:12,17,22
 36:5,8,9,12 37:1,3,7
 37:11 38:17 44:4 47:4
 47:6,15,21 49:5 50:9
 50:17 51:11,19 58:5,6
 63:18 64:6,9 67:12
 68:3,11,16,21 70:16
 71:5,11,15 72:10,15
 72:18,19 73:4 74:13
 74:18 81:10 90:10,12
 91:6 93:4 103:7 104:6
 104:11 105:22 106:8
 108:7 109:3,16
 110:18 111:14,22
 112:10 113:20 114:21
 123:6,10 124:22
 125:2 126:9 127:12
 127:16 128:17 135:21
 136:5 140:22 141:10
 141:20 143:13,22,22
 150:20 168:2 169:18
 179:8 319:10,21
 446:17
composites 34:22
 37:14 38:4 48:1,6,14
 48:22 49:6,8 50:7,12
 50:13,14,15 104:14
 169:12 193:11 274:12
 303:2
composition 218:5
comprehension 186:11
comprehensive 37:14
 68:18 77:2 102:11
compression 424:5
comprise 278:14
computer 373:3
concept 47:11 49:10
 51:19 66:5 270:22
concepts 4:10 59:18
 265:12
conceptual 47:4 49:9
 76:15 151:17
concern 21:3 52:18,19
 54:8,22 56:8 74:15
 77:22 101:2 214:10
 280:8 296:14 365:21
 366:11 382:15 419:20
 439:5
concerned 163:17
 253:10
concerns 21:5 24:15
 51:21 53:5 67:1 68:21
 175:2 209:8 399:13
 415:9 438:14,20
concise 24:1,4
conclude 318:4 364:19

concluded 230:2
conclusion 65:9 181:20
 218:13 286:3
conclusions 76:11
 343:15
concur 233:21
condition 79:22 238:16
 240:1 263:10 299:6
 440:21
conditional 315:17
 317:14 353:10 417:13
conditionally 315:13
 417:11
conditions 60:21 154:5
 253:19 263:15 272:1
 295:19,20 316:6
 415:15 416:8 435:17
conducted 5:19 35:4
 228:1 256:16 258:8
conducting 227:2
Conference 1:8
confidence 268:1
confirm 132:11 435:15
conflict 11:12 12:6 21:5
 30:7 42:5
conflicting 329:11
conflicts 10:3,7,12,15
 29:20 30:12 139:18
 140:2,3
confound 62:10 163:18
confounders 81:16
 211:1
confusing 90:10 92:11
 340:1 368:6
confusion 336:17
congratulated 67:8
Congress 245:1 262:11
congressional 49:15
 49:18
connection 219:17
connections 12:16
consciousness 396:20
consensus 26:15,17,18
 27:5,10 28:2 29:16
 141:21 143:19 144:11
 145:14 146:5,15
 148:11,17 149:3
consent 89:20
consequence 126:16
consequences 62:20
 65:16 71:1,7 97:1
 145:7 171:1 194:12
 202:5 275:17 304:5
 365:22 366:15 421:16
 422:3 455:17 456:17
consider 36:7 48:5
 52:14 106:12 118:7
 148:8 173:16 243:4

251:11 290:10 307:7
 321:13,21 333:16
 373:5 393:2,12
 422:15 454:14
considerable 140:8
 167:15 192:1 237:15
 250:10 267:4 301:15
 335:6
considerably 31:18
consideration 4:10
 62:9 109:14 244:12
 261:15 262:3 292:2
 298:1 342:14
considerations 118:6
considered 66:19 89:15
 94:1 107:10 131:11
 163:15 203:18 307:1
 319:4,5,16 322:2
 442:15 452:13
considering 37:20
 50:12 290:12 294:22
 295:3 332:9 343:16
 393:16 456:22
consistency 334:9
consistent 33:13 49:17
 70:11 73:8 143:7
 169:6 175:13 193:4
 216:19 217:4,9 274:6
 302:17
consistently 256:21
 364:20
consists 227:7
constant 152:19
constantly 117:4
 259:14
constraints 107:9
construct 33:5,14
 50:18,20 51:4 141:1
 141:12 144:2,4
 259:13 432:13
constructed 127:16
constructing 33:9
 128:17 154:19
construction 51:18
 111:22 144:1 251:15
constructive 116:10
consultant 2:17 35:15
 68:3
consumer 15:17,20
 353:2
consumers 2:8 16:6
 37:15 48:18 114:22
 226:14 317:22 319:9
 319:11
CONT 5:1 6:1
contact 266:1
contagious 15:5
contain 249:1

content 34:14
contentious 119:15
contentment 118:13
CONTENTS 4:1 5:1 6:1
context 48:11,12
 157:21 238:3
continue 141:22 149:3
 244:9,16,20 245:17
 247:8,13 300:5 308:4
 427:20
continued 152:21 154:7
 254:12 261:1
continues 110:16 153:7
continuing 96:21 296:3
 308:8
continuum 123:19
contract 5:10 123:2
 328:19 330:4,11,21
 333:5
contractor 46:19
contribute 34:7 73:6
contributes 107:22
contributing 73:3
 107:19 219:5 237:18
contribution 209:16
control 95:17 96:7
 365:4,5 421:4
controlled 129:11
 230:15
controlling 137:12
controls 96:9
controversial 212:15
convene 102:5
convened 40:22
convergent 255:17
 399:1
conversation 67:9 82:5
 138:3 165:1 174:16
 249:6 257:21 306:18
 311:21 448:3,9
conversations 20:12
 205:10 351:21
convinced 442:12
Cook 2:1 18:2,3 235:1,1
 242:20,20 244:15
 245:5 251:2 374:15
 374:15
copious 224:9
copy 13:9
cord 146:21,22
core 315:21 317:10
 318:2 360:22 361:18
 371:14,19 372:2
 374:3
Corinna 3:10 279:12
cornerstone 117:2
Corporation 1:13
correct 84:21 120:5

133:16 138:5 146:7
 151:20 185:11 190:2
 209:12 233:6,10
 252:3 261:13 263:2
 264:20 267:17 277:13
 293:17 294:8 300:21
 312:1 313:6 324:11
 326:22 328:3 344:3
 346:3 349:16 376:16
 377:11,12 390:9
 404:2 425:21 426:11
 427:17 444:11
corrected 139:8 346:14
correction 139:8 190:5
 219:3
corrections 350:17
 351:4
correctly 157:4 167:9
 211:5 220:11 231:19
 327:8 352:1 383:9
correlate 85:3 382:14
correlated 69:14 71:16
 72:6 73:22 319:6
 355:12 387:7
correlates 309:10
 453:13
correlation 34:3 71:11
 71:16,21 72:4,18,22
 74:2 256:4 322:10
 334:1 398:19 431:5
correlational 255:22
correlations 71:17 72:9
 72:17,19 209:21
 338:11
corresponding 65:21
 227:8
corresponds 137:7,7
cosmetic 309:20
cost 397:13 439:8
costs 125:18,19 364:17
 397:16
Council 19:15,15
count 163:1 223:3
 341:14 367:5 369:1
 370:16 441:15 442:17
counted 104:14 264:6
 441:11,19 452:16
counter 404:8
countered 90:5
counterparts 397:9
counterpoint 124:12
countless 95:20
countries 348:10
country 16:3 190:21
 347:14 348:9 360:3
couple 7:10 20:18
 30:20 43:14 54:21
 57:6 59:3 63:14 93:11

105:15 118:22 121:10
 124:11 132:6 222:20
 223:10 257:16 258:2
 267:19 284:11 312:18
 314:2 320:7 347:7
 357:18 417:6 449:10
course 46:10 48:6,15
 49:3 50:3 54:19 61:15
 66:16 84:10 86:7 87:4
 95:16 105:17 113:15
 121:2 131:9,13 147:6
 147:18 150:11 151:6
 156:8 166:22 285:11
 292:22 369:18
covariates 218:12
 262:20
covered 216:6 379:6
CPHIMS 2:10
CPHQ 2:16
CPsych 3:18
CPT 184:4
crack 375:13
cracks 317:6
Cramer 313:10,15,18
 314:22 328:3,9 340:1
 341:12 347:5 350:13
 355:1 358:18 370:21
 377:12 380:7 386:3
 414:21,21 420:19
 421:21 422:19 424:18
 425:14,21 426:11
 427:10,17,22 428:3
 429:20 431:10 434:17
 435:6 437:7 439:4
 441:12 442:5,17
 445:12 451:1
create 317:20 378:7
created 78:12 119:13
 249:20 288:11 377:17
creativity 124:3
credibility 182:4
credible 145:3,5 170:21
 194:8,10 202:2
 275:13,15 304:1,3
criteria 23:13 25:20
 27:10,19 30:17 32:2,4
 32:5,7 33:4,18 34:16
 35:1 41:5 70:17 90:8
 96:10 126:13 145:17
 148:4 153:22 171:13
 195:8,12,19 197:4,8,9
 198:10,22 202:20
 203:15 204:1,2
 205:17 210:5 215:14
 234:9 247:19 248:2
 251:11 261:5 262:4
 276:13 310:22 413:21
 436:14 447:16

criterion 32:17 33:15
34:22 35:6
critical 254:15 317:22
318:4 348:1 377:15
377:15,20 378:1
416:3 418:16,18
427:1,3
critically 7:9
criticism 109:5,7,12
135:17,22
criticisms 76:21
critique 47:6 52:8
CRNA 1:19
cross 28:21 226:11
240:7
cross-section 264:15
cross-tolerance 82:22
crosses 237:17
crossing 237:22
CRRN 2:11
CSAC 25:16 438:10
CTA 97:21
culture 116:2
cultures 132:7,10
cumulated 383:7
curbing 48:11
curious 154:16 160:17
188:3 268:15 329:1
336:12 379:1
current 32:10 41:1
43:20 183:15 353:12
456:9,14
currently 17:20 19:5
40:15 53:8 55:21
93:21 127:9,15 174:6
227:17 237:12,20
262:20 288:13 358:22
397:2 412:22 433:16
433:17
cut 93:5 121:12 124:17
cutoff 89:13
CV 10:6
CVs 10:4
cycle 9:3
cycles 417:6

D

D 401:9
D.C 1:9 174:15
Daily 398:21
damage 162:18
Danforth 2:2 139:19,21
140:3 195:7,18 196:3
197:3 198:9,14,16
221:14 263:5 264:5,9
324:14 349:3,7
357:18 358:15 359:5
367:19 370:3 400:18

401:4,15 403:3 404:3
405:5,8,14 406:21
407:2,12 408:20
410:19,22 411:4,18
412:20 413:9 424:9
425:5,16,22 426:3
Dangerfield 206:12
Danielle 3:14 314:4
350:14 431:9
data 26:4 54:22 55:2
57:6 59:17 61:11
68:20 71:13 75:2 76:4
82:14 83:18 84:21
87:5,7,8,8 104:10
107:9,17,20 110:2
111:8 112:3,5,8,21
114:5 117:19 120:18
129:9 140:7 143:12
144:12,14,15 164:4
167:14 169:11,22
170:1,3 175:22
182:19 183:13,15,19
184:1,3 188:8 191:3,5
192:1 193:10,19,20
193:22 195:10,12,22
196:2,17 199:5
215:10,11 222:8
223:6,7 227:13 239:3
239:9,10,10,15 240:4
241:20 242:10 244:7
245:17 250:9 253:11
253:12 254:4,15
256:6 259:8 262:6
265:10 267:3,20
268:21 274:11,19,21
274:22 282:9 283:1,4
283:7 288:8,19 291:5
291:6 293:1,3 298:22
301:14 303:1,8,10,11
309:4,11 321:5,15
325:8,11 326:13,16
329:19 330:3,3,22
335:5 340:9 345:16
345:21 346:2,3,6,10
346:11 347:3 349:13
349:13,15,21 350:16
350:19 351:1 352:5
358:1,5,6 359:6,12
360:3 364:6 366:18
368:15 371:11 374:9
396:22 397:21 404:2
404:5 405:4 406:13
406:13 409:12 416:18
418:4 423:3 426:14
428:7,14 442:1
445:14,18 449:4,5
452:12
database 175:19,20

183:21 233:5 309:4
309:11 310:4,6
333:21 345:18 358:7
374:2
dataset 352:22
date 67:7 179:14
Davies 3:8 277:9,9,14
277:17,22 278:20
279:6,7 283:11,13,18
283:21 284:2,4 285:5
286:7 288:2 289:7,15
294:9,9 297:4
Davis 3:16,19 46:17
57:21 278:12
day 5:11 9:4 12:22
25:19 40:20 96:16
159:17,21 160:1,10
184:10 215:4 217:22
223:2,3,13 315:6
316:10,12,15 317:8
317:19 318:13,17
320:19 322:11 323:1
323:7 328:7 339:18
340:19 344:17 348:13
362:1 363:13 364:10
367:20 368:7 369:5,9
369:16 370:9 371:11
374:4 378:18,20
379:17 385:2,17
386:16,21 449:6
452:7
days 30:20 62:7,8 84:12
127:20 160:8 162:20
162:21 163:11 184:12
184:16 185:2,3,7,9
209:4 215:1,3,6,8
223:16,19 264:3
325:3 368:2,3,8,10,13
370:7 371:2,3 384:21
417:18,19 424:12,13
424:20
dead 160:9
deal 161:19 309:18
310:2 340:15 350:1
353:15 399:11
dealing 217:5 241:12
340:14
Dean 14:2
death 4:14 86:8,10
104:2 106:12,13
107:10 151:6 154:4
159:16,16,21 163:9
163:16 184:15,16,22
206:19 207:17 209:15
209:17,20 217:2
223:19 305:9 364:21
397:11 417:21
deaths 150:20 151:3,10

161:2 182:6
debate 98:4,7,10,11,22
debridement 280:12
decade 345:20
decades 152:13 321:15
decent 348:2
decide 97:15 116:14
136:11 299:9 374:6
decided 100:9 247:13
258:16 300:15
decides 148:19
deciles 158:22
decision 27:15 41:3
181:21 306:4 399:3
decision-making 318:1
decisionmaking 47:18
47:20 48:17
decisions 111:6 265:7
265:8
deck 39:4
declare 17:13
declined 255:2
decrease 288:11 385:5
385:7,20
decreased 55:7 241:11
373:16 398:8
decreasing 55:3
deep 95:15
defer 279:17
Deficit 44:13
define 89:12 270:8
449:17
defined 49:11 281:6
417:17
defines 89:11 182:10
412:7
defining 59:22 372:16
449:11,12
definitely 186:17 305:6
309:1 374:18 382:12
384:7 409:1
definition 82:8,16 83:21
84:1 85:4,7 88:12,14
99:1 113:1,9 119:14
132:9 133:14 134:5
134:22 215:13 282:9
282:16,21 283:3
286:9,16,17 295:3
340:4 361:9 372:15
374:21 384:13 434:11
434:19,22 441:7,12
441:16,20,21 442:4
definitions 134:14
227:21 348:15 435:9
435:10 448:13 449:11
450:18
degree 129:22 130:10
degrees 89:16 434:14

454:1
Delaware 2:18 17:22
delay 126:5
delaying 126:16
deliberately 260:1
delighted 206:10
delineated 265:12
deliver 318:9 320:1
 456:6
delivered 32:14 329:16
 361:3
delivering 241:3 367:1
 367:6
delivery 207:1 217:5
 317:4
Deloria's 100:5
demand 48:22
demographic 223:7
demonstrate 33:21
 144:1 152:20 240:1
 336:2 343:14
demonstrated 32:20
 33:20 34:10,20 140:8
 145:4 167:15 170:20
 192:1 194:10 198:11
 198:17 202:3 250:10
 251:17,18 267:3
 275:14 301:14 304:2
 316:8 335:6 339:1
demonstration 34:4,15
denominator 127:21
 154:18 182:8 190:5
 190:12 214:12,22
 256:10 271:4,5 287:6
 368:2,10 371:4
denotes 295:11
department 1:14 2:12
 11:20 14:8 305:10
 314:9 406:1
depend 38:11 145:19
 171:15 202:22 276:15
 311:2 339:17
dependent 209:19
 261:20 454:4
depending 29:9 44:2
 62:15 350:7
depends 70:14 75:19
 120:12
depth 28:9 322:6
derangement 54:3
describe 36:2 157:21
 341:5 435:4
described 142:3 337:13
 426:16 427:6
describing 59:22 68:10
description 327:6
 328:13
descriptive 185:17

deserves 317:12
design 51:17
designed 47:20 58:6
 189:17 281:4 377:13
 421:1
designing 48:1
desirable 73:4
desire 76:19
desired 77:4 251:16
despite 354:14 356:1
 419:19
detail 222:6 297:5
 346:8 407:13 408:10
 456:11
detailed 184:6 224:4
details 350:20 439:1
 442:2
detect 48:7 130:11
detecting 407:21
determinants 320:13
determine 249:8 286:4
 288:17 304:16
determines 70:7
Detroit 19:11
develop 237:16 287:19
 325:21
developed 12:17 21:10
 122:5 181:5 191:5
 222:12 288:7 325:7
 326:1 329:10,15
 420:20 423:1,1
developer 12:16 30:8
 42:14 147:19 150:16
 172:22 181:3 187:18
 189:8 197:12 201:4
 205:13,19 206:2
 208:6,12 209:8
 210:15 214:20 218:10
 222:17 223:22 224:3
 224:8,15 232:20
 234:22 236:20 238:20
 238:22 252:17 257:15
 287:12 293:1,18
 294:10 297:1 306:19
 307:5 314:6 320:7
 322:8,19 343:22
 354:7 356:19 395:21
 403:15 437:2 452:10
developer's 221:15
developers 24:8 27:14
 27:16 70:12 71:14
 72:1 73:2 75:11 147:3
 150:8,11 153:13
 163:15 172:3,19,20
 173:3 178:5 185:14
 186:1 207:12 220:22
 221:6 223:11 225:11
 266:18 277:3 284:10

284:18 313:20 321:17
 338:9 343:18 366:16
 389:8 393:15 394:18
 395:17 396:8 401:4
 407:12 408:12 411:19
 414:19 437:5
developing 70:2 228:3
 254:1 354:17 358:4
development 10:10
 40:11 46:19 68:2
 71:19 226:19 237:17
 259:5 262:2,16 269:6
 281:5 306:1 314:12
 414:16
develops 231:17
deviation 255:3,7
 288:22 289:12 403:9
devices 2:14 16:2,13
 280:16,20 424:5
diagnose 155:9
diagnosed 130:13
diagnoses 53:20
diagnosing 281:17
diagnosis 4:15 53:22
 54:3,5,17,20 155:18
 155:19 156:3 287:20
 318:19
diagnostic 188:20
diagram 322:18 323:1
dial 420:2
dialed 174:9
dialysis 54:5 60:8
dichotomy 366:5
die 57:13 124:19 151:15
 152:17 160:2 162:21
 164:8 183:4 406:4
died 86:7 151:15
 163:12 189:18 190:7
 190:12,13,14 214:7,8
 214:15,22 215:1,2,5,7
differ 255:12
difference 73:20 81:1
 84:16 133:9 158:1
 169:10 200:2 218:19
 243:4,12 247:7 270:9
 293:8 305:19 333:10
 333:16 438:3 452:15
 454:12
differences 24:15 48:7
 76:12 83:9 143:11
 193:9 270:10,12
 274:10 302:22 336:3
 367:21 408:1 421:10
 424:10 451:8
different 15:13 39:3
 59:20 60:2,7,14,18
 64:18 69:6 70:7 71:1
 72:1 73:12 75:4,4

83:6 84:11 85:5
 104:15 105:13 106:19
 111:15 132:2,15,16
 134:9,15 135:21,22
 160:10 185:16,19
 188:20 207:19 208:6
 209:4 210:8 219:9
 220:9 221:21 232:9
 249:2,3 262:19
 265:12 268:3 269:7
 280:15,18 284:11
 286:1 298:9,11 300:8
 305:1 310:4,7 319:14
 325:1,18 327:12
 337:16 347:1,6
 348:14 368:17 369:7
 377:3,5,5 379:22
 380:12 386:17 399:15
 408:9 422:18 434:14
 434:17 440:12
differentiate 73:16
 268:20 271:11
differentiating 216:9
differently 9:8 305:5
 422:17
difficult 56:12,13
 102:22 107:7 108:16
 155:9 173:14 362:2
dinner 21:17 178:12,13
 178:14 312:16 452:1
 452:5
direct 46:6 75:1 87:11
 251:15 316:14 340:11
 364:9 367:14,15
 372:22 373:7 381:16
directed 238:1
direction 80:16 81:2
 114:2 137:8 212:14
 241:6 421:6 423:4
directly 187:22 316:2
 358:1,6,14,21 359:19
 421:22
director 1:11,14,17 2:1
 2:2,7,10,11,14,19 3:5
 4:3 11:19 14:9,15
 15:2 16:10 17:15 18:3
 42:2 278:10 279:11
Directors 25:16
disability 397:12
disadvantages 60:4
disagree 209:9 234:13
 285:20
disagreement 304:19
disassociation 403:19
disaster 406:17
discharge 62:13 107:4
 209:5 227:16
discharged 57:12

discharges 84:13 166:16	disorder 82:20	31:14 56:6 71:2 78:13	154:16 155:3,16
disclose 12:17 14:4,6 14:12,20 16:5 17:5 18:1,7 19:12 20:22	disparities 19:6 140:10 161:17 164:18 167:17 192:4 250:12 267:6 301:17 335:8 404:1,3 404:12,20 429:15 444:5,11	86:3 91:12 103:22 118:16 200:13 223:8 229:19 235:13,21 237:3 247:16 248:18 262:22 263:7,17 264:18 265:3 331:15 331:17 341:7,8 346:18 356:7 359:4 359:10 361:5 367:4 368:17 372:22 381:1 383:18 394:14 419:9 420:6 448:21	156:7,8,12,13,16 157:5,5,6,7,9,12,19 157:20 158:7,11,14 158:16,18 159:2,13 159:21,22 160:6,17 161:10,16 162:5,11 163:21 164:1,16,18 164:19,21 166:1,3 167:6 172:4,9,11,14 172:16,20 173:2,5,13 174:13 179:18 181:5 181:17,18 182:15 183:12,16 184:9,20 184:21 185:6,13,22 186:9,13,20 187:9 188:3,19 189:7,15,20 191:10 195:15 196:1 196:6,11,16,22 200:1 203:14 204:7,10 205:14 206:9 207:10 208:3,18,20 209:3 210:4 211:7 212:1 213:22 216:16 218:9 220:4,20 221:12 222:20 223:10 224:22 225:12,15 232:8 233:2,11,21 234:12 235:1 236:7 238:2,21 239:7,20 240:4,11,13 241:10,17 242:20 243:14 244:15,19 245:5,6,7,16,19,20 246:1,2,3,15 248:7,19 249:4,12,16,18 251:2 251:22 252:10,22 253:1,4 259:1 260:13 260:15 261:3,15 262:9,22 263:3 265:5 266:6 267:12 268:8 270:6 271:16 273:4 277:20 278:21 285:9 287:5,9,11 288:21 289:9 291:1 292:15 292:22 293:7,16 295:13 296:7,9,11,14 298:8 300:10,18 304:17,20 306:7,14 307:3 308:17 309:3 314:7 318:20 324:22 327:3 328:8,11 329:3 330:12 331:4,15,19 332:1,4,7,9,13,19,22 333:4,12 339:5,7,11 339:13 340:21 341:3 341:10,13,17 342:9 344:5,12 346:20 348:6 352:20 353:18
disclosure 4:4 12:1 17:18	disparity 378:13 404:6 404:11,21	dollars 434:4	
disclosures 12:15 15:4 18:20 19:7 20:3 41:21	distal 54:15 55:6 98:15 98:18,19	domain 242:2 249:2 295:17 397:2	
discomfort 148:14	distill 69:2	domains 48:13 248:9	
discovered 422:12	distilled 69:3	dominated 76:9	
discrete 425:12	distinct 387:8	dose 12:9 443:6	
discriminate 294:20 295:6 298:14 304:22	distinction 81:13 310:16	doubt 96:1	
discrimination 48:8 158:15,19,21 164:20 166:2 290:8 296:21 298:13 305:7	distinctions 408:18 425:8	doubting 292:13	
discuss 25:14 205:20 205:20 207:7 313:8 363:10 400:5 415:3	distinctive 33:10	download 321:21	
discussant 24:10 150:14 320:9 323:12 363:18 401:11	distinctive/additive 141:3,13	downward 254:11	
discussants 228:21 284:6 338:17	distinguish 75:6 236:8 270:13 294:19 330:16	Dr 8:19 9:16 10:21 11:1 11:22 12:3,10 13:20 14:4,5,7,7,21 15:8 16:9,18 17:8,9,9,19 18:2,9,14,21 19:1,2,8 20:15 21:21 22:3,21 29:21 30:4,18 35:21 36:4 37:5 38:5,8 39:13,16,21 40:4,15 41:14,19 42:6,14 45:3 45:7,12 46:10,15,22 47:7 57:20 67:15,17 67:20 68:15 77:10,22 78:20 79:3,7,17 80:10 81:20 82:1,13 83:15 83:19 84:6 85:10 87:14,17 88:11 89:1 91:21 92:20 93:2,11 97:5,7 98:9 99:15,21 99:22 100:4,6 101:13 103:5 105:12 108:22 109:8 111:21 114:3 119:6,9,21 120:1,2,5 120:6,11,13 121:5,7 121:19 124:10 125:12 127:10,15 129:3 130:2,2,5,6,7,8,20,22 131:1,7,8,15,18 132:3 132:5,18 133:8 134:2 134:13 135:11,11,13 138:10,20 139:7 140:16 141:6,9 142:2 142:5,11,13 146:9 148:1,20 149:1,12 150:17 152:1 154:13	
discussed 36:7 43:22 44:9 67:1 88:9 287:7 349:1 363:10	distinguished 75:12		
discussing 325:8 376:17 455:11	distinguishes 328:19		
discussion 9:13 24:8 31:1,17 37:2 38:15 40:9 42:17,20 43:7,17 45:4 77:4 78:17 97:8 97:17 109:8 111:5,11 113:6 118:19,20 121:12 128:8 136:8 136:17 147:7 149:15 150:14 154:12 165:16 165:19,20 168:6,7 173:20 175:11 179:14 191:12 205:19 212:19 232:12 236:8 237:19 274:3 275:3,9 277:6 302:2 312:1 323:19 324:4 327:16 329:1 335:17 345:12 353:7 357:16 360:16 362:5 363:22 387:14 402:10 414:10 429:11 439:3 448:13	distinguishing 409:18		
discussions 21:11 43:21 395:13 431:14 432:14 449:9	distracted 323:4		
disease 11:8 155:7	distractions 23:16		
dislocations 396:19 403:19	distributed 106:2		
	distribution 106:10 111:15 373:19		
	distributions 187:20		
	disutilities 66:9		
	disutility 51:10 60:12 61:8 64:17,21 65:5		
	dive 41:13		
	diversion 372:19		
	diversity 20:8		
	diverting 372:20 373:7		
	divided 325:3 369:5 386:9		
	dividing 355:4		
	Division 225:16 246:4		
	DNP 1:19		
	DNR 189:12,21		
	doctor 182:14		
	doctor's 273:6		
	document 13:10 134:4 326:22 401:16 402:2		
	documentation 85:2 133:13 187:7 188:19 224:11 246:22 373:14 411:22		
	documented 214:9 215:3,7		
	documents 133:6		
	dog 204:13		
	doing 9:18 18:8 19:5		

354:11 355:5 356:3 360:6 365:18 368:14 371:8,9 372:6 373:10 374:15 376:11,22 378:9 379:12,15 380:16 381:3 382:11 383:10 384:9 386:11 395:21 396:11,11,14 405:2,4,6,10,15,19 409:5,15 413:4,5 418:9 419:7 420:8,11 420:15,18 421:14 423:7,8 426:6 427:18 428:1 429:14,21 430:11,13 431:1,22 433:1,15 434:10,13 434:21 435:14 437:12 438:8 439:19,21 440:3 441:6 442:1,6,8 442:21 444:3,13 445:1,3,7,9,15,16 448:10 449:2,8,13,14 449:16,19,21,22 450:2,3,5,6,8,9,16,17 450:19,21,22 451:20 451:22 452:2,4 draft 25:13 drastic 282:17,18 283:6 draw 14:22 241:18 361:22 Drew 137:11 394:12,13 394:13 395:8,9 396:5 407:1 410:5,6,6 DRG 58:12 153:11,14 DRGs 152:4 160:3 182:12 214:14 drill 381:19 drilling 383:17 drink 313:1 drinks 449:1 drive 216:18 driven 133:13 driver 161:22 drivers 48:13 drop 93:5 127:8,11 166:13 dropped 156:6 dropping 160:9 drove 13:11 due 54:10 132:20 161:2 161:7 251:10,13 377:11 Duke 3:13 45:14 50:10 67:21 dumb 245:16 dump 92:18 DVT 43:12 78:1 95:14 97:11,20 100:22	101:4 DVTs 43:13 55:3,6,10 55:12,14 95:19 dying 163:5 dynamic 117:4 <hr/> E e 95:7 193:10 e-measure 193:21 eager 66:21 earlier 23:19 24:2,19 50:3 122:3 128:2 199:16 298:18 325:2 325:7 337:14 355:14 394:20 422:8 433:2 437:6,7 448:18 early 39:3 96:17 100:17 147:18 148:21 280:22 314:11 400:21 earmark 356:13 441:8 ease 364:17 easier 76:3 289:18 easily 56:16 easy 39:17 65:17 94:4 326:9 330:1 echo 8:19 87:20 129:4 187:4 Economics 344:4,13 Ed 1:9,11 4:2 8:19 11:4 11:7,12 13:19 21:14 42:7 132:7 172:9,11 215:19 216:1 231:11 231:21 272:8 292:3 293:11 Ed's 268:12 Edelstein 2:3 19:16,18 257:13 258:1 260:6 260:14,17 editorial 182:13 344:9 education 217:8 333:22 341:9 342:14 355:13 372:17 educational 367:3 effect 32:15 81:4,12 83:14 86:13 369:17 371:20 376:19 effective 285:4 364:16 419:22 453:14 effectively 435:5 effectiveness 3:17 233:15,20 319:22 effects 325:17 355:18 387:3 efficiency 329:16 effort 52:20 113:6 119:3 147:4 227:19 279:2 281:5 286:18 Efforts 280:18	EHR 373:15 eight 16:16 42:22 52:2 53:6 255:9 366:3 368:19 392:2 eight-hour 369:11 either 27:9 57:9 81:11 104:11 155:8 163:22 185:14 196:2 201:5 266:3 319:6 321:21 372:13 373:17 375:15 382:21 399:14 422:15 422:22 457:7 elderly 416:7 418:12 elective 372:12 electronic 144:13 170:1 193:19 246:22 274:20 303:9 309:7 310:5 350:7 351:7,7,9 416:20 417:2 433:3,4 445:18 455:13,19 electronically 359:9 360:1,4 418:5 electrophysiologist 119:16 element 31:17 137:15 197:19 244:7 247:6 320:13 329:4 391:14 elements 9:1 36:5 47:2 138:4 144:15 164:15 165:21 170:3 193:22 254:15 274:22 299:21 303:11 eleven 42:22 53:6 eligible 73:14 108:5 166:15 254:5 334:11 387:17 404:14,16 eliminated 99:11 eliminating 43:13 email 266:3 395:2,4 emailed 177:9 emails 22:11 embellished 320:16 embody 316:22 eMeasure 144:15 170:2 274:22 303:11 eMeasures 9:4 19:13 143:13 169:12 274:12 303:1 433:2 emergence 245:2 emergency 18:11,11 23:2 156:10 305:10 Emily 313:18 317:14 386:2 414:21 431:8 Emory 1:18 12:5 emphasis 47:22 emphasize 24:14 36:13 37:6 emphasized 37:10	emphasizing 130:11 empiric 234:14 empirical 34:11 35:2 75:10 86:4,20 143:22 155:1 empirically 34:10,20 59:1 72:13 73:8 85:19 86:11 95:10 105:22 106:5 156:15 employee 15:3 employees 328:20 emtor 84:17 empty 138:18 EMR 373:1 enable 226:15 374:2 encompassing 317:9 encourage 52:19 93:19 226:22 454:14 encouraged 90:21 endorse 44:10,11,16,21 49:7 126:4 242:22 247:13 248:17 296:3 304:15 310:15 362:8 endorsed 26:10 30:22 36:2,6 43:2 50:4 53:8 53:11 74:5,13 93:22 95:20 103:9 109:14 109:17 121:17 123:10 135:20 147:12 148:19 151:19 225:22 237:12 237:21 239:1 250:5 282:7 294:12 299:22 307:9,10,18 308:2 315:10 320:20,21 323:17 324:20 353:9 353:12 364:5 397:2 399:14 417:4,5 427:13 453:22 endorsement 31:19 34:12,17 41:3,4 44:20 53:10 94:2 116:15 121:21 122:6 123:4 145:16,17,18,19 151:20 171:9,12,13 171:14,15 199:10,13 202:19,20,21,22 226:1 239:1 249:19 251:6,8 276:12,13,14 276:15 290:19 295:9 295:11 300:6 304:13 306:3,8 310:21,22 311:1,2 315:19 317:17 321:1 353:10 362:18,21 392:7,9 413:18,20,21 417:14 426:7 427:15 436:11 436:13,14 447:13,15 447:16 452:19
---	--	--	--

endpoints 68:21 74:21
ends 160:18
enemy 180:2
energy 15:1
Eng 3:9 252:22 253:4
 260:13,15
engaged 10:10 23:15
 60:13
engagement 397:19
enhanced 184:3
enhancement 46:20
 279:3
enjoyed 18:20
enormous 323:21
enormously 20:11
 320:11 321:12 322:17
enriched 416:16
enroll 64:14
enrolls 359:2
ensure 448:15
ensuring 71:6
enter 250:2
enterprise 53:3
entire 112:4 270:21
 408:8
entirely 99:11
envelope 160:14
environment 156:21
 355:14 419:13
environments 238:17
envision 358:4
Epic's 19:14
epidemiology 1:12 11:8
epidurals 442:11
episode 240:9
episodes 228:1,5,10
 238:5 240:6
equate 375:21
equipment 280:16
equity 342:7
erase 124:19
Err 361:11
error 33:21 64:12 86:14
 103:17 124:19 161:2
 161:7 162:17,21
 204:11 349:11
errors 16:1 204:8 257:1
 260:2 317:4,5 366:4,9
 385:5
escalates 83:3
especially 103:18
 156:18 286:12,18
 309:18 376:1
essence 53:2 63:2
essential 114:22 116:1
 361:2,16,18 424:1
essentially 56:17 60:20
 61:20 63:20 64:6

72:21 86:18 106:2
 108:1 182:5 233:16
 249:19
established 12:20
 155:18,19 156:3
estimate 66:14 106:4
 108:16 270:18 271:6
 285:13 298:20 325:4
estimated 59:2 66:10
 104:6 106:7 107:11
 369:11
estimates 60:7,16,22
 61:8 64:13,17,22 65:5
 73:16 87:2
estimating 86:21
estimation 61:21 66:20
 86:9,19 108:13 120:6
 120:14
et 34:3 105:10 206:1
 210:17,17 308:13
 342:2 429:18 448:14
ethnicity 161:18
Eugene 3:13 225:12
evaluate 29:6 104:1
 123:11 179:22 246:8
 282:10
evaluated 69:19 73:15
 286:16 364:16
evaluating 50:9 68:11
 69:18 199:7 243:16
 288:14,17
evaluation 4:6 23:13
 29:14 32:4,5 36:7,10
 37:21 147:6 288:16
 318:6 360:9
evaluations 23:12
 45:15
Even-Shoshan 3:9
 178:6 181:4,13 184:1
 184:13 185:11 186:3
 186:12,17 187:16
 188:11 189:11,19
 190:3 191:4 197:14
 197:15 201:6 206:5
 209:11 214:21 218:20
 219:20
evenly 65:12
event 54:2 56:15,22
 57:3 60:12 61:12,14
 61:15,17 62:15,16
 65:22 89:6,15 91:6
 106:13,14 107:10
 111:7 120:9,20
 152:16 155:8 237:9
 238:12 305:2,2,11
 322:14 415:14 416:20
 440:6,15
events 47:12 52:1,2,3,5

52:7,15 53:6,6,7
 54:19 55:21 56:3,10
 59:7,11,13,15 60:9,14
 62:11,19 63:3,6 65:15
 65:20 83:4 89:12 90:2
 90:16 93:18 96:22
 98:15,20 101:21
 103:11 104:2 105:18
 105:20 106:1,5,12
 109:10 110:7 122:20
 151:7 152:18 153:13
 160:12,16 161:8
 216:22 217:13 238:6
 293:21 294:18,21
 295:4 307:17,18,21
 308:1,11
eventually 370:2
 418:22
everybody 7:6,20,22
 8:3 9:16,17 16:18
 30:5 38:9 105:11
 131:12 137:1 149:19
 177:20 199:19 229:19
 248:1 299:5 313:9
 361:5 364:2 391:7
 394:11 395:8 452:6
 456:5
everybody's 7:4 179:7
everyone's 178:9
evidence 12:9 27:4
 32:7,7,10,11 43:21
 53:16 68:11,13 88:17
 90:13 91:15 93:13,13
 93:16,22 95:12,19
 100:8,8 101:2 104:22
 105:6,8,9 108:21
 117:5 118:16 136:12
 137:15,17 143:7
 145:6 159:6,10,11
 161:5,13 162:2,3,7
 163:19 164:11,14
 165:3,5 167:10 169:6
 170:22 175:3,13
 191:14,16 193:4
 194:11 196:8 199:17
 202:4 205:22 208:2,4
 210:5,14 211:1,3,16
 211:18,20 212:2,9,13
 212:20 213:6,9,12
 215:15 216:20 217:4
 217:10 218:15,16
 226:4 229:22 230:1,2
 230:8,9,10,12 231:2,3
 231:5,15,16 232:10
 232:12,13 233:14,14
 233:18 234:4 235:15
 236:9,10,15 249:21
 251:15 252:4 263:1,6

265:15,17 268:18
 269:20 270:1 274:6
 275:16 282:12 284:1
 284:8,16 287:7,7
 289:2 291:21 292:7
 299:11,12,14 300:5
 302:18 304:4 321:13
 321:16 322:6,8,22
 323:14,22 324:10,11
 325:15 328:13,22
 329:8,11,14 330:1
 331:6,9 332:10 334:4
 334:6,8,13,19 335:21
 336:12 337:20 338:4
 338:7 355:7 363:14
 364:12 365:3,10,14
 365:19 366:1,14
 367:22 368:11 376:13
 376:14,17,18 382:12
 382:13 387:12,15,19
 388:10 400:13 401:1
 401:16,19 402:3,5,11
 402:13,14,16 418:10
 418:10,13 419:2,22
 420:2,5 423:11,18
 424:13 428:19,21
 437:21 443:12
evidence-based 26:1
 92:1 102:3 103:2
 342:10
evolve 116:17
exact 29:10 208:21,22
 209:7 375:8,9 439:1
exactly 48:18,21 69:15
 78:9 130:9 148:3
 157:9 185:5,6 204:8
 209:6 223:1 273:4
 297:22 350:18 393:19
 434:12
example 36:22 52:21
 58:19 60:8 62:7 63:3
 72:20 80:2 86:1 97:15
 97:18 98:13 104:1
 106:13,22 122:8
 127:19 162:17 166:14
 186:5 197:21 219:9
 240:8 241:11 369:15
 385:1,14 427:1
 450:15
examples 34:1 35:6
 132:22 434:20
Excel 149:17
excellent 171:20 178:7
 199:11 211:8 236:22
 249:21 257:10 429:22
 430:13 435:4
exception 27:4 73:5
exceptional 412:8

excited 8:21 9:7 46:9
excluded 47:8 62:4
 247:18,20 271:18
 287:13,21 372:14
 420:16 421:7
excludes 152:6 182:12
Excluding 256:9
exclusion 153:21 189:9
 248:2 287:6
exclusions 143:10
 169:8 193:7 214:16
 274:9 288:2,6,10,18
 290:13 302:20 418:19
excuse 23:21 79:7
 138:7 283:19 302:14
 418:9
executive 279:11
 360:11,12
exercise 24:20 401:9
 448:21
exist 126:21,21 416:21
existing 135:17 136:3
exists 89:6 159:19
 418:5
expand 112:22 122:22
 261:16 262:18 306:21
expanded 42:22 53:5
 112:7 113:8
expanding 113:21
 262:4,18 290:14
 295:3
expansions 113:14
expect 10:13 31:1
 128:15 356:12
expectation 40:16
 223:14 263:19
expected 58:18 61:22
 73:4 80:17 81:6,18
 95:3 107:13 108:8
 151:14 256:5 427:4
expecting 40:12
expects 317:12
expedient 313:12
expedite 313:14
expensive 125:8
experience 61:14,16
 78:3 101:1 107:6
 120:9,19 158:3
 270:12 341:22 342:4
 353:4 354:21
experienced 61:13
Experiencing 6:2 396:7
 448:2
experiment 432:7
expert 61:3,6 64:15
 95:20 133:11 230:12
 281:13 399:5 419:8
expertise 10:13 106:21

experts 64:14 115:19
explain 289:3,14
explained 73:19 255:10
explaining 72:14
 279:22
explanation 103:15
 142:6 147:21 405:11
 405:17
explicitly 140:22
 141:11
explore 80:11 156:14
exploring 70:22 85:20
exposure 127:20
 424:19 425:2
express 432:1
extension 416:14
extensive 290:15
 418:10 419:6 438:2
extensively 60:6 437:22
extent 65:19 84:18
 117:21 144:3,5 164:6
 239:11 409:15
extra 33:3,15,17 40:21
 136:9 370:22
extract 51:2
extraordinarily 453:8
extreme 99:5
extremely 71:16 76:16
 222:15 238:16 294:17
 299:5 310:9 402:4
 416:12,15
extremes 409:18,21
extremities 54:16
eye 296:20

F

FAAN 2:5,11,13 3:12
FAANP 2:11
FAAP 1:20 2:6
face 94:10 432:12
FACEP 2:20
facet 69:4
facilitate 48:12
facilities 57:13 78:13
 85:3 253:14 255:4,11
 257:7,8 258:9 263:17
 263:18 264:18 265:1
 265:3 268:2 269:10
 270:11,13 271:2,10
 271:17 295:6 398:11
 398:12,15 404:15
 409:9,13
facility 60:9 104:7
 107:12 152:8 173:12
 207:1,3 227:16 242:7
 253:6,22 254:8,9,13
 254:14,18 255:10,14
 256:1,2 263:13,21

264:11,21 267:14
 270:7,8 271:9 287:15
 287:18 327:11 382:16
 383:2,8 396:16 397:7
 398:3,4,14 399:9,11
facility's 271:4
FACR 1:17
FACS 2:8
fact 37:2 41:3 51:8 55:7
 67:6 72:5 80:12 85:22
 88:14 95:4 126:3
 127:5 134:17 148:11
 207:12 213:1 235:17
 237:3 245:1 290:3
 291:13 341:18 347:13
 355:17 359:21 374:17
 417:1 448:15,19
 450:18 453:18
factor 95:10 98:10
 127:20 203:19
factored 121:4
factors 48:4 62:3,10
 98:1 185:20 218:12
 218:16 256:16 375:18
 430:7
faculty 11:10 14:1,17
failure 4:17,20 43:4,5
 53:9 57:11 62:19
 107:3 155:19 171:22
 180:19,22 183:2
 184:14,17 186:4
 187:21 197:18 198:2
 206:3,16,18 207:13
 207:20 208:13,15
 209:14,21 210:15
 211:9 216:3 217:21
 218:7 219:5 316:5
 452:8,11 454:4
failures 151:11
fair 116:22 292:15
 293:11 347:20
Fairfield 14:18
fairly 93:15 297:14
 358:13 359:17,18
 416:22
fall 5:14,18 60:18
 109:15,15 110:12
 111:3,13,18,18 113:3
 113:12 125:14 127:10
 135:15,18,18 320:18
 321:2 395:16 396:17
 397:7 406:4 416:11
 417:16 419:8 421:15
 422:6 423:14,21
 424:2 425:18 427:2
 432:9 435:1,2 437:2
 439:10,11,13 440:11
 440:12,13 441:1,7

442:4,15,18
fall-related 397:15
fallen 398:13
falling 129:20 416:9
 425:3 441:7,9
falls 5:13,16,16 6:2 13:1
 13:1 28:19 31:7
 110:10,10 111:6,13
 113:17 114:4 125:13
 235:5 247:3,4 381:8
 385:7,20 396:7 397:5
 397:10 398:16 399:17
 399:17,18 401:7,8,22
 403:16 406:14,16
 412:6 414:15 415:6,7
 415:7,13,14,18,21,21
 416:2,3,14,14 417:17
 417:18,19 419:16,17
 420:1 421:8 422:22
 423:9 424:11,16,17
 424:19 430:6 431:6
 435:16 436:2 437:14
 437:14,15 438:4
 439:6,7,7,12,15 440:4
 440:5,7,14,20 441:3
 441:13 442:19 443:2
 447:22 448:2,14
 449:5,6,11 450:10
 450:12 451:2
false 65:19
familiar 23:7 25:10,21
 31:12
families 257:6 318:14
 400:1 415:11
family 150:18
far 98:11 105:15 116:14
 207:18 329:10 409:13
 418:9
fast 420:11
faster 362:5 422:10
fatally 212:15
fatigue 383:14
fatigued 366:9
fault 400:22
favor 224:6
FCAHS 3:18
FCCM 1:20 2:6
fear 15:5
fears 397:17
feasibility 26:4 35:8
 144:12,15,22 159:8
 165:17 169:19,20,22
 170:3 193:17,18,21
 203:18 274:18,19,22
 303:7,8,11 314:21
 346:15,18 349:1,2,6
 349:10 356:22 357:2
 357:6 370:19 390:10

390:11,18 411:17
 412:2,8,15 432:22
 433:1,10 446:15,19
feasible 34:12 433:5
features 326:5
federation 292:17
Fee-for-Service 61:10
feedback 224:10 399:5
feel 8:13 20:10 27:13
 54:18 80:3 128:9
 208:4 221:1,8 237:6
 264:10
feeling 395:17
feels 385:11
fell 52:2 441:3,17,17
fellowship 19:6
felt 62:9 152:4 207:16
field 329:11
fight 204:13
figure 123:22 252:6
 325:21 392:18
figured 8:11 126:1
 370:6
figures 254:11 398:6
final 63:8 67:8 86:22
 145:18 147:9 171:14
 181:21 202:22 204:6
 276:9,14,18 311:1
finally 25:17 26:7 52:17
 73:10 107:11 194:20
 447:12
financial 10:9 382:6
 415:10
find 13:15 54:14 60:6
 66:7 86:7 89:4 99:6
 116:2 134:20 138:17
 153:11 163:14 177:5
 208:1,7 235:6 244:16
 250:21 259:8 260:11
 336:21 409:17 429:17
 432:7 439:1 454:12
 455:22
finding 101:9 154:17
 373:1 409:19 455:16
findings 98:19 258:17
 259:7
fine 41:12 228:20
 244:17 251:22 410:21
finish 104:19 165:20
 312:18 349:5,10
finished 177:4 288:15
 292:18
finishes 159:12
finite 221:16
firing 83:14
first 7:21 8:7 25:2 51:22
 53:5 77:20 78:20
 83:16 87:20 103:10

115:17 121:14 137:15
 154:11 172:19 173:1
 177:4 180:12 188:11
 203:22 205:19,22
 217:20 225:7 230:6
 258:5 266:14 300:21
 304:15 310:15,16
 312:12 320:11 324:10
 331:18 332:11 350:22
 361:11 377:1 384:12
 385:21 411:18 414:17
 417:5 435:21 442:11
fiscal 360:13
fit 144:2,4 330:21
fits 37:2
five 9:11 25:4,5 64:9
 160:8 161:10,12
 177:16 191:11 238:4
 238:7 378:18 379:3
 379:16 393:10 400:2
 410:6,7 417:19 456:5
five-star 256:2,4 317:21
fix 21:8 39:17 91:19
 125:5 455:22
fixing 54:2
flag 284:9
flagging 9:11
flags 153:12
flawed 48:3 212:16
flaws 292:12
flex 367:10
flexible 178:21
flip 308:10
flipped 294:5
floor 1:8 420:17 435:2
 439:17 441:18 442:15
Florida 2:21 14:1,3,10
 18:10
flow 448:20
flows 358:1
focus 20:11 38:3 56:19
 96:21 151:9 257:8
 261:1 280:9 282:1
 362:2 435:20 436:1
 448:7
focused 24:1 26:16
 103:6 113:5 130:12
 258:6,16 344:6
 363:11 423:21
focusing 15:22 36:11
 49:13 52:20 53:1
folded 106:7
Foley 421:16 424:3
folks 20:18 21:13 27:13
 29:6 101:15 173:8
 174:5,5 260:8 265:22
 278:20
follow 41:6 101:5 102:6

116:12 152:10 260:6
 456:16
follow-up 81:14 99:19
 122:17 351:11 372:7
followed 62:14 117:11
 180:6 394:21
following 25:11 91:13
 92:9 102:13 211:5
 227:15
food 179:3,5
forces 163:3 369:22
Ford 2:16 19:10 79:18
forefront 78:17
foreign 103:12
forget 12:2
forgot 139:14 221:3
form 289:17,19 353:12
format 42:10 43:16
formative 51:17
formed 455:8
formerly 286:21
forming 51:11
forms 50:6 237:6 264:7
formula 50:15 51:6
forth 51:2 105:17 128:2
 133:2 199:15 244:6
 334:1 373:22
fortunately 60:5
forum 1:1,8 12:18
 109:18 110:21 119:20
 320:18
forward 20:2 21:6 26:21
 27:9,11 105:3 111:5
 136:5 141:22 146:4,7
 146:14 148:9 149:18
 150:9 205:4 212:7
 220:19 258:19 352:16
 381:13 409:8 427:14
 450:4,10
forwarding 40:10
found 55:10 96:12
 100:19 154:7 209:15
 209:21 210:15 232:16
 258:10 259:5 292:12
 333:10 355:12 387:3
 387:5 397:4 398:3,7
 404:15
foundation 47:4 49:9
 318:5 361:2
foundational 13:12
 320:13
four 12:21 18:19 23:4
 24:16 80:20 140:11
 140:15 141:5,15
 142:19,22 143:14
 144:6,8,17 145:8
 146:22 147:17 160:8
 163:11 167:18 168:15

169:13 170:6 171:3
 171:18 192:5,14
 193:12 194:1,13
 238:15 313:22 334:13
 334:18 335:10,13
 342:21 343:3,10
 357:7 362:12,15,16
 363:7 368:5 378:1
 384:14,15 386:6,7
 387:18 388:10,16
 389:6 390:1,20
 391:18 436:20,21,22
 437:1
four-hour 368:22
fourth 328:18
fracture 63:5 72:21
 82:18 85:19 109:6
 110:11 111:2,19
 135:19 406:5,6
fractures 110:13 113:2
 113:11,18 114:4
 396:18 403:18
Frail 416:7
frame 9:10 31:3 183:13
 394:9
framework 36:10 68:5
 68:10,10 71:20
frankly 108:12 318:22
 329:8 353:4
FRCP(C) 2:9
free 8:13
frequency 52:16 59:10
frequent 56:10 263:9
frequently 39:7 71:10
 75:5 369:20 415:13
front 38:12 250:3
 401:17
full 31:16 57:18 93:4
 290:19 293:10 295:10
 364:6 370:12
fullest 164:21
fully 77:12 148:3
 294:12 405:20
fun 146:18
function 208:15 211:10
 211:12 317:10 375:3
 397:18 440:21
funny 395:17
further 136:12 168:6
 256:19 375:10,10
future 5:16 48:20
 113:22 224:12 330:5
 330:7 333:14,17
 373:6 421:6 422:15
 453:22
FYI 342:15

G

gain 68:19	297:22 319:13 323:4	305:17 306:8 307:3	313:3 315:7 322:21
gap 32:17,19 140:7	348:2 356:13,22	310:14 313:14 337:2	324:5,10 326:20
167:9,11,14 192:1	361:22 362:2 392:20	337:13,18 339:6	330:5 334:20 335:16
211:3,20 213:19,21	395:14 456:20	342:18 361:8 362:4,7	336:14 350:13 354:15
214:1 216:18 220:6,6	give 8:1 10:5 15:6 35:16	362:7 363:9 365:17	354:20 361:21 363:9
220:7,9 245:10 249:8	45:19 47:1 60:16	374:2 376:9 379:5,7	372:1,18 373:22
249:9 250:7,9 251:10	67:18 116:15 132:3	382:9,10 388:13	374:1 376:9 378:3
266:18,19,22 267:3	161:18 174:11 229:9	389:19 390:16,16	379:3,6 380:3 382:10
301:12,14 334:21,21	244:14 277:15 289:12	391:22 396:8 407:4	384:7 387:10 390:9
334:22 335:5 372:4	312:17 327:20 365:13	410:5,17 412:14	392:22 394:5,6,7,8,10
379:6 388:13,15	386:6,7 405:15	414:13 420:11 428:5	394:12 395:9 396:1,2
403:1,3,18,20 406:2	434:20 445:17	429:7,12 431:2	396:4 400:5 405:9
407:4,6 429:11	given 45:18 48:17 65:7	434:13 435:1 436:15	409:9 414:8,15 424:3
430:17 443:5,19,21	87:22 88:5 107:9	437:9 438:6,8,11	431:1 432:1 438:9,11
456:8	113:14 222:2 226:7	440:10 446:18 447:1	442:13 446:16 450:4
gaps 215:15,17 220:11	229:3 264:22 325:10	447:20 451:18 457:13	450:6,14 453:1
419:21	327:11 329:19 358:22	goal 62:5 190:1 353:13	454:10 455:11,15
GARTH 3:19	369:2 416:4 425:18	goes 61:21 63:11,12	456:10
gathering 188:10 223:6	426:6,21	120:13 191:7 258:19	gold 184:18 254:18,19
445:3,10	gives 21:3 102:5 387:8	391:14 394:13	269:8,9,11
geeks 122:9	giving 46:9,12 101:3	going 7:16 8:7,21,22	good 7:3 8:2 9:16 11:6
Gelinas 2:5 12:11,12	183:2 229:20 264:22	9:4,5,7,8,22 13:18	11:13 12:11 13:20
38:18 39:15,20 40:2	367:14	14:22 17:3 22:7 23:5	14:21 15:8,9,19 16:9
210:12,12 211:18	glad 7:6 8:20 15:13,18	23:6 24:20 28:3 30:10	16:17,18 17:14,19
215:18 217:16 320:6	GlaxoSmithKline 2:2	30:19 31:16 38:18	18:2,14 19:8,16 22:18
324:11 326:11 327:1	18:4	41:12 42:9,9,11,13	29:2 30:4 41:17 45:3
330:6,19 333:20	go 9:22 17:6,8 27:11	43:6 45:11 46:22	46:3,15 62:18 87:6
335:19 336:9,11,16	28:8 29:17 30:16,19	48:19,21 68:9 69:16	90:6 97:7 99:7 101:3
337:1,4,9,15 338:3,9	31:16 32:1 42:9,17	70:16 71:5 77:8 82:19	101:18 116:10 121:6
338:13,18,21 339:10	44:3 51:18 62:20	92:7,15 94:21,22 97:3	125:12,14 126:6
341:9 342:6 343:13	68:12 77:9,20 78:18	100:18 104:19 105:12	147:17 150:14 162:18
344:11,18 345:15	80:9 81:15 91:1 92:3	108:14 110:8 116:17	174:13 177:21 178:4
346:19 349:16,18,21	97:3 105:2,7,9 108:9	117:6,18,19 120:7	180:2 181:8,19 182:3
350:6,12 352:2,19	108:20,20 111:5,17	124:9,11,13 129:17	182:19,21 189:4
354:6 356:18 358:10	119:1 120:22 123:6	130:2 136:18,21	192:22 209:18 251:20
393:14 428:6	124:9 136:5,12 138:3	138:2 140:20 147:16	268:4 271:11,13
gender 58:10 114:10	140:5 141:22 142:10	150:2,13 154:21	277:17 278:8 312:4
general 19:11 21:9	147:11 148:1,14	155:20,21 157:5	312:13,14 331:6
46:17 47:13 59:3	149:10,18 150:2,5	159:5,6,7 160:20	333:18 342:15 354:13
102:19 103:19 126:22	154:18 155:17 162:2	162:2,3 168:2 171:21	356:1,1,7 374:2
148:13 182:10 187:21	162:20 164:13 171:21	172:4,9 177:5,16	387:13 396:14 405:10
191:2 214:2,4,13	172:19 173:18,19,22	178:11,13,13,15	405:17 408:17 409:11
235:3 278:22,22	174:9 177:17 178:13	179:6 196:4 204:15	409:18 410:1 420:10
347:6,21 380:9	178:19,20 180:18	205:5,16 211:2 212:4	422:19 453:9 456:19
generalization 265:10	183:11 194:20 196:10	212:7 217:16 221:6	gotten 43:18 277:20
generally 37:13 50:22	197:5,6 199:21,22	230:17 233:14,18,21	435:3
64:18 92:16 155:22	202:10 204:20 205:1	234:13 237:16 242:6	governance 381:5
175:22 189:17 190:19	206:8,14 211:20	242:14 243:11 244:4	government 102:22
226:7	213:10 216:22 220:3	245:14 248:17 250:6	grafting 280:13
generated 144:13	220:22 224:22 225:1	250:20 253:2 257:11	grant 119:18
169:22 193:19 274:20	225:8 227:1 228:21	257:14 258:19 260:11	granularity 325:12
303:9	228:22 230:17 231:11	266:2 268:9,17 271:5	grasp 409:7
generating 61:7	232:11 246:6,7,19	271:17 277:6,7	grave 406:17
generation 373:8	249:11 251:21 258:3	283:16,19 291:16	gray 27:6 141:20 142:7
germs 15:7	264:3 274:4 276:5,19	292:19 296:18,19	142:9 143:2 146:11
getting 22:12 29:2	279:9,16 283:12,22	299:11,20 300:4,16	147:22 148:7 203:9
36:16 60:17 64:17	292:11 299:20 300:4	301:2 305:12,13,20	great 12:10 28:21 29:12
215:12 264:15,21	300:6,15 301:8	310:15 311:17 312:21	42:6 45:12 91:3,12,20

91:22 109:2 115:11
 161:19 174:13 295:15
 312:12 327:3 338:18
 348:9 350:1 370:8
 378:9 392:17 395:13
greater 27:2 78:14
 404:16 441:2
green 24:21
grey 165:11 278:1
 301:21,22
ground 23:6 441:10,14
group 2:3 20:6 24:16
 32:11,16 38:20 60:13
 67:18 100:10 105:9
 118:5 139:22 158:22
 178:12,14 181:20
 183:6,10 257:12
 259:3,4 277:1 290:16
 360:12
grouped 272:20
groups 4:15 48:8
 140:10 167:5,17
 192:4 250:12 267:6
 301:17 335:8 450:3
guess 14:13 68:8
 116:13 120:12 179:5
 189:16 204:1 220:12
 224:13 242:21 258:19
 264:11 311:6 352:14
 354:8 367:21 370:5
 374:16 414:3 457:5
guessing 243:10
Guest 7:13,14
guided 330:1
guidelines 26:18 101:3
 101:6 230:10
guys 21:10 22:11 23:7
 23:9,11 24:10 172:6
 192:21 195:5 311:8
 312:11 375:6 429:6
 446:22
GW 23:3

H

Haberland 3:10 279:13
HAC 91:9 92:14 93:2
 269:2
HACs 44:12 435:18
hair 123:22
hairs 278:1
Hal 157:19
Haley 14:11
half 75:20,21 96:2
 280:15 367:2
halfway 24:22
hallway 8:15 124:14
hand 1:16 19:3 224:7
 245:1 396:12

handle 104:16
handling 16:4
hands 334:4
happen 65:20,22 82:20
 295:4 305:3 309:13
 366:10
happened 151:15 177:5
 387:21 421:2
happening 122:20
 236:19 380:20 421:12
happens 135:3 147:21
 149:1 272:6
happy 46:12 79:17
 158:6 431:10 439:16
hard 91:16 138:16
 219:16 268:19 338:19
 369:1 405:6 452:6
hard-wired 310:10
Hardeep 3:17 454:20
 454:21 455:1,4
harder 76:2 271:10
 438:13
harm 43:19 49:11,16
 51:19,20 61:2 62:6
 63:1,6,9 65:21 66:6
 78:10,12,15 90:18
 103:11,21 104:1
 106:15 115:5 121:3
 125:3,10 126:20
 129:15 316:20 317:5
 439:9
harmonization 26:8,12
 448:12 449:3
harmonize 448:19
harms 59:16 62:12 66:1
 66:18,20 86:5,9,18
 106:16,20,21 120:4
 120:14,18 121:8
 364:17
HARVEY 3:18
haul 278:18
HCA 1:12 11:9
HCUP 71:13 175:19
head 120:8 396:19
 403:19
Head-to-toe 280:21
headquartered 12:14
healing 240:17 264:18
health 1:13,14,16 2:6
 2:16,18,19,22 3:12,16
 3:19 11:9,10,20 12:14
 17:15,22 18:5 19:4,10
 20:2 23:3 29:9 51:9
 51:15 52:11,12,13
 59:21 60:1,2,7,18
 65:15 88:13 89:10
 112:5 126:18 137:17
 137:19 165:5,7

186:20 187:11 191:16
 191:18 207:1 210:5
 213:12,14 226:13,20
 226:22 227:18 228:1
 228:5,10 236:13
 237:10 238:4,5,14,16
 248:21,22 265:17,19
 278:14 287:14 299:14
 299:16 314:9,10
 352:16 402:16 428:21
 443:12 452:21 455:8
 455:14,19 456:2,6,14
 456:18
healthcare 3:15 4:13,15
 5:7 16:11 17:11 19:4
 28:18 46:5 48:18,19
 49:12,13 96:20 98:2
 109:19 111:9 126:22
 137:19 165:7 191:19
 213:14 226:4 227:6
 227:16 238:5 265:19
 278:11 284:11 299:17
 318:2 361:3,12
 415:12 423:19 456:7
hear 21:7 42:13 67:15
 77:19 87:15,18 97:5
 101:4 105:13 119:5
 136:10 142:5 150:4
 180:1 204:5 219:17
 231:20 235:2 244:10
 244:11 246:7 285:6
 297:2 354:8 383:13
 413:3 454:22 455:2
 456:11
heard 42:11 43:7 70:20
 92:12 116:11 175:10
 180:6 282:2 308:11
 320:17 371:13 387:11
 418:11 433:2 448:17
 450:11
hearing 320:16
heart 155:19
heavier 63:6
heavily 65:16,18
held 41:1 89:22 90:14
Helen 3:4 4:4 13:6
 44:12 118:18 179:1
 292:21 300:11 310:8
 438:7 444:8,12 453:4
Helen's 310:16
Hello 214:21
help 48:3 97:11 103:3
 129:21 259:11,15
 272:3 319:12 385:19
 398:21 450:19
helped 21:10
helpful 79:11,13 222:15
 378:10 380:17 412:1

helping 22:17
helps 382:5,6 428:6
hematoma 43:3 53:21
 53:22 122:17 129:9
 130:13,18 396:20
hemorrhage 43:3 53:21
 53:22 122:17 129:8
 129:15 130:13
Henry 2:16 19:10 79:18
Hermann 1:16 19:4
hesitate 358:19
hi 11:1 16:9 181:4 189:7
 197:14 209:11 212:11
 225:15 252:20 376:11
 376:22 396:10 454:21
HICKMANS 133:1
hierarchy 203:18,21
 204:15
high 5:4 27:3 71:17,22
 72:8 74:9 83:13
 140:11,14,18 141:4
 141:14,16 142:9,19
 142:21 143:13,15
 144:5,7,16,18 145:7
 145:10 158:3 167:18
 167:20 168:14,20
 169:12,14 170:5,10
 171:2,4 192:4,6,14,18
 193:11,13,22 194:2
 194:12,22 202:5,12
 208:11 221:4,19
 249:21 250:13,17
 251:13,16 252:15
 253:6,10 254:15
 256:11,13 267:6,8
 273:14,16 274:13,15
 275:1,6,18 276:7
 279:21 283:14 290:6
 297:16 298:12,17
 301:17,19 302:1,8,10
 303:3,4,12,17 304:5
 304:10 334:9,11,18
 335:9,13 339:1
 342:20 343:3,9 345:8
 348:16 354:13,16
 355:2 357:6,10
 362:11,15 365:13
 387:17 388:9,16,20
 389:5,13,22 390:6,19
 391:11,17 392:2
 399:10 402:6 407:7,8
 410:10,15 411:10,15
 412:16,17 413:13,15
 430:18,19 431:17,19
 432:18,20 433:11,12
 436:6,8 443:22
 444:18 446:3,5,11,13
 446:20 447:3,8,10

high-level 28:9 93:15
322:4
high-risk 261:6,7,11,16
280:22 298:2
high-tech 188:6
high-volume 298:4
higher 58:22 74:20 81:5
151:4,11 155:20
209:17 282:12 290:2
322:13 354:2 398:6
404:7,10 406:7
highest 318:9 378:19
434:3
highly 69:14 212:14
291:7,17 308:6
382:14 387:7 433:5
Hilton 178:18,18
hip 63:5 72:21 82:18
85:19 109:6 110:11
110:13 111:2,19
113:17 114:4 135:19
406:6
hips 406:6
hire 382:18
histogram 289:20
297:7
historically 59:8 427:13
history 280:1 321:10
423:16
hit 307:3 441:9,10,14
hits 27:8
hoc 9:6 31:15,21 57:19
118:16 307:6
hold 38:15 177:18
195:5,5 225:4 230:19
273:22 332:7,17
362:3 363:16 394:10
holding 341:18 409:9
holds 244:13 246:6
321:14 437:8
home 19:21 92:3
162:20 163:4 226:3
226:13,20,21 227:6
227:16,18 228:1,5,9
237:9 238:4,5,14,16
242:7 247:3,18
248:21,22 256:2
257:5,7 260:19
287:14,18 395:22
396:22 399:22 412:21
homes 253:21 254:6
398:2 401:21 412:10
homogenous 56:20
honestly 84:7 404:18
hook 396:6
hooked 146:21,21,22
hope 61:9 66:22 102:15
111:4 137:2 389:1

390:8 406:9
hopefully 31:2,21 44:21
48:2 49:7 87:8 121:10
180:7 395:12
hospice 162:20 163:4,4
hospices 162:16
hospital 1:13,21 2:2,4
2:13 3:8,9 4:18,21
11:8 16:21 17:1 19:19
49:20 58:13,15,20,22
60:11 76:4 81:21
91:11 92:6,14 94:21
96:6 97:19 99:4
102:20 104:7 107:2
107:18,19 108:4
109:5 112:6 113:12
127:9 128:6 139:22
150:21 151:5,14
152:17 158:12,22
159:20 160:7 162:19
162:22 163:7,11
166:7 174:15 180:20
180:21 181:2 185:1,9
187:20 188:7,7,14
189:18 197:15 206:20
207:18,22 208:9,13
209:5,16 210:3,16,19
214:15 218:22 219:8
219:13,21 223:19
287:1,3,20 294:19,20
298:14,22 309:15
314:16,16,17 315:22
317:16 318:3,18
320:4 339:2 347:13
348:11 352:22 353:10
353:14 355:14 356:11
358:2 359:11 360:10
372:10 378:1,2,15
379:11 380:8,13
389:10,17 399:15
402:8 403:6 417:1,8
417:14 419:12 424:15
424:20 425:3,9,10,11
425:17,18 426:4,7,9
426:17,21 427:3,8,15
428:15 429:16 432:3
432:4,8
hospital's 207:14
hospital-acquired
79:21 284:9 295:19
316:6 415:15 435:17
hospital-level 106:3
315:12,18 317:18
364:6 377:17 378:7
hospitalist 156:21
162:13
hospitalization 185:8
hospitalizations 130:12

hospitalized 84:14
416:6
hospitals 50:2 52:14
54:12 55:3,11 56:1,3
56:6 63:20 64:10 65:7
69:19 75:11 80:19,20
80:21,22 81:7,7 94:19
94:22 96:9 101:20
102:4,10,15 104:8
106:3,10 108:13,17
110:3 112:14,19,20
113:3,18 114:6 115:3
123:21 127:7,22
132:13,13 153:17,18
157:2,15 158:10,20
160:16 162:14 164:6
166:6,10,13,15,20
189:13 212:3 223:14
280:9 289:6,21 290:3
290:9 291:4,19
293:20 294:21 296:15
296:16,20 297:14,15
297:16,20 298:5,15
298:16 299:2 305:7,8
305:13 308:19 309:6
309:12 317:10 325:22
345:19,19 346:6
347:1,2,7,9,12,17
348:2,9,10,11,21
349:19 351:6 353:6
358:5,9,21 359:1,7,8
359:15,19,22 360:2
368:20 374:8 377:2,5
377:7,10 378:17,19
379:3,16,22 380:4,5,9
380:10,11 386:6
408:1 416:21 418:4,5
433:20 438:19 440:4
440:8 443:1 445:13
449:4 454:6
hotel 178:19
Houchens 157:6
hour 124:16 264:1
368:19 376:3 394:10
hours 5:11 12:22
197:22 198:1 217:22
315:6 316:9,12,13,17
317:7,19 318:12,17
319:6 320:1,19
322:11 323:1,6
327:10 328:7 331:7
331:11 332:14 339:15
339:18,19,21 340:3,5
340:6,7,18,22 341:6
341:14 344:7,8,16
348:13 353:20 355:9
355:18 357:4 361:1
362:6 363:12 364:9

365:20,22 366:3,12
366:14,20,22 367:6
369:4,9,16 370:4,10
370:12 371:11,13
372:10,17 374:4,7
376:4,14,19 378:18
378:19 379:10,16
380:17 381:6,9,12,22
382:3,14,18,20,21
383:6,7,16,21,22
384:4,16,17,18,20
385:1,4,6,16,17,19
386:8,9,9,15,16,20
387:6
house 208:11,17
218:14 259:9
housed 259:2
houses 243:19
Houston 11:11 19:5
455:5
How's 177:19
HSR 184:3 186:6
huge 261:19 262:1
351:2 406:2
human 361:11 430:7
hundred 321:19
hyperglycemia 122:11
hypoglycemia 122:11
hypothessizing 211:15
hypothetically 112:9
hypoxic 162:18

I

iatrogenic 62:16 119:13
121:2
Ibragimova 3:5 22:14
22:15 137:3,13,16
138:5 139:5,11 140:6
140:17,21 141:8,10
142:14 143:4,20
144:12,22 145:15
146:20 165:4,13
167:13 168:10,19
169:3 170:9,16
171:11 191:15 192:9
192:17 193:1,18
194:5,16,21 195:4
201:15 202:7,11,18
203:4,10 204:22
213:11 250:8,16
251:6 265:16 266:11
267:2 273:10,19
274:5,19 275:5,10
276:1,6,11,20 299:13
301:9,13 302:4,15
303:8,16,20 304:9
310:20 311:9,14
334:5,17 335:4,12

342:19 343:2,7 345:3
 345:7 357:5,9 362:10
 362:14,20 363:6
 387:15,22 388:4,8,14
 388:19 389:3,12,20
 390:5,18 391:10,16
 392:1,8,11,15 402:15
 402:20 407:5 410:8
 410:14 411:8,14
 412:15 413:12,19
 414:1 428:20 429:2,8
 430:16 431:15 432:16
 433:10 436:5,12,16
 443:11,16,20 444:17
 446:1,9,19 447:2,7,14
IC-9 83:17
ICC 87:2 389:9
ICD 112:8 281:21
ICD-10 117:7,20
ICD-10-CM 112:2
ICD-9-CM 85:12,15
 112:2 132:19 133:5
ICU 182:14 330:22
idea 11:6 37:10 50:16
 51:7 54:11 115:12
 189:12 190:9 233:22
 250:1 260:11 264:14
 271:3 376:3 432:6
 434:5
ideal 242:5,18 244:22
ideas 179:19
identification 48:12
identified 89:4 151:1
 154:9 221:17 261:6
 330:6 336:4 364:15
 415:16
identifies 57:7
identify 59:16 79:10,15
 148:12 151:3 189:17
 220:6,10
identifying 61:11 188:4
 226:16 256:16
ill 441:1,3
Illinois 326:13
illness 211:12 380:21
illuminate 280:2
image 99:8
imagine 124:17 286:11
 359:16 360:1
imaging 97:15,20 98:2
 98:3
imbedded 434:6
immediate 397:14
immediately 352:4
immensely 18:20
immobility 261:22
 272:4 435:16
immunocompromised

152:6
impact 39:8 47:12
 52:10 56:5 57:5 59:13
 65:1,2,7 72:7 82:4,15
 95:7 152:11 197:22
 226:5 227:5 237:15
 238:1 241:22 242:1,1
 245:2 248:8,10,15,20
 249:1 253:16 262:10
 262:14 326:16 369:12
 373:21 376:15 397:3
 434:5 448:18 450:10
 450:17
impacted 83:1 84:5
 234:6,10
impactful 221:18
impacting 218:6 318:10
impacts 316:3 415:9,10
impaired 253:9 261:8
 271:22
imperative 416:4
impetus 112:3
implement 258:16
 291:7
implementation 128:16
 226:9 232:19 233:20
 240:19,21 364:18
implemented 55:5
 144:14 170:2 193:21
 198:2 228:9,12
 229:14,16,17 243:9
 274:21 303:10 455:13
implementing 227:4
 237:1 241:4
implications 94:18
 220:15
implicitly 94:9
implies 218:16 310:1
importance 25:21 33:3
 33:15 49:4 52:15
 53:12 59:13 110:17
 123:8 137:16 140:6
 140:21 165:4 167:13
 191:15,22 203:16
 207:9,11 208:2,4
 213:11 234:10 250:8
 251:9 265:16 267:2
 294:16 299:11,13
 301:13 310:1 323:11
 334:5 335:4 388:14
 402:15 407:5 428:20
 430:16 443:11,20
important 7:9 28:15
 37:20 40:10 43:15
 48:13 51:13,14 52:6
 62:9 71:21 72:3,5
 73:3 96:22 99:12,13
 105:20 106:6 110:15

110:22 113:19 115:6
 116:4 121:12 174:20
 178:10 204:17 207:16
 212:5 229:4,18 234:1
 236:3 247:9 253:20
 260:18,20 280:20
 281:2 288:6 291:11
 294:2,15,17 296:4
 297:10 299:6,6
 305:10 309:21 310:13
 310:17 316:19 320:12
 321:3,13 322:18
 329:4 358:8 381:13
 382:3 385:7 393:14
 416:13,15 419:12
 433:16,21 434:1
 439:8 453:21 454:13
 455:20
imprecision 135:3
impression 191:2
improve 52:13 74:19
 103:3 123:20 147:4
 147:12 176:10 206:20
 207:13 216:19 217:18
 242:11 259:19 406:19
 455:15 456:2
improved 102:17
 114:20 129:6 155:14
 180:5 217:12 237:7
 255:2
improvement 1:18
 32:22 48:16 53:2 68:1
 101:19 102:13,14
 145:4 153:4 170:20
 194:9 195:9,20
 196:20 198:11,16
 200:3,12,22 201:10
 202:3 211:2 227:19
 251:14,18 254:12
 275:14 304:2 345:21
 347:11 350:1 352:4,7
 360:9 403:13 422:11
 423:20 443:4
improvements 26:7
 290:12,20
improves 216:22
improving 257:9
in-between 60:18
In-Hospital 4:17 171:22
in-patient 227:15
in-service 341:10
inaccuracies 258:10,12
 260:4,12
inadequate 52:10
 122:19
incentive 226:22 257:8
 312:17
incidence 57:17 59:14

240:1
incident 111:7 430:3
 433:4 440:6,15 445:4
 445:8,11,14,19,19
incidentally 131:9
incidents 418:3
include 34:1,13 48:8
 53:19 66:20 92:15
 113:1,9 127:6 128:21
 261:18 282:3 295:3
 316:4 374:7,10
 396:18 422:22 441:22
 444:11
included 13:2 32:12
 33:1 36:1 52:1 65:8
 66:9 85:8 103:15
 108:6 110:1,3 121:16
 185:9 214:7 227:11
 228:4,6,11 230:16
 269:1 281:13 342:4
 412:5 452:12
includes 16:12 33:5
 49:16 127:16 128:18
 174:4 188:13 190:6
 214:22 219:22 283:2
 286:9 315:2 441:13
including 33:6 61:3
 68:2 109:14 111:18
 132:22 141:2,12
 142:16 143:6 168:12
 169:5 192:11 193:3
 210:21 214:10 227:3
 230:14 262:10 273:12
 274:5 281:6 286:12
 286:20 302:6,17
 318:10 323:9 401:7
 401:22 411:20
inclusion 261:4 306:21
 315:15 417:11
inclusive 152:3 153:10
incomplete 319:17
incorporate 94:8
incorporated 94:9
 167:1
incorporating 58:10
 59:12 61:20
incorrect 320:22
incorrectly 52:5 211:17
 300:11
increase 74:11 152:15
 216:21 217:11 238:13
 318:13 366:4 381:9
 397:16 425:3
increased 240:14
 288:18 317:20 373:16
 398:22 416:8,10
 422:6
increases 33:2 161:20

increasing 55:8 295:1 373:11 382:21,22	infarction 156:1	injuriously 436:2	301:18,20 302:9,12
incredible 10:5 20:6 67:5 78:21 79:4 88:17 91:3 109:21 125:11 125:22 136:8 147:4 170:19 348:17 378:22 452:7	infection 1:12 31:9 64:1 64:4 82:9 85:12,17 132:20 133:4,7,14	injury 5:13 6:2 13:1 54:4 56:16 85:6 109:15 110:11 111:13 111:18 135:18 395:16 396:7,17 397:10,15 398:13 399:19 401:7 406:12 412:7 414:15 415:8 416:11,15 417:18,20 423:10,15 423:15,21 430:6 432:5,9 434:2,11,12 434:15 435:16 437:14 437:16 438:4,22 439:6,7,11,14,14 440:13,21 441:1,8,8 447:22 448:2,14 449:14,17	303:4,6,13,18 304:6 304:11 334:13,19 335:10,14 342:21 343:4,10 345:9 357:7 357:11 362:12,16 387:18 388:10,17,21 389:6 390:1,7,20 391:12,18 392:3 407:7,9 410:11,16 411:11,16 412:16,18 413:14,16 430:18,20 431:18,20 432:19,21 433:11,13 436:7,9 443:22 444:19 446:4 446:6,12,14,21 447:4 447:9,11
incredibly 174:20 312:13 412:1	infectious 11:8	Innovation 455:6	integrated 207:1
incremental 66:22	influence 48:14 204:12 356:9	Innovations 3:17	integrity 242:3 245:4 248:9 249:2 260:21 265:4
indefinitely 62:21	influenced 63:19 208:13 210:16 218:3	inpatient 49:13,20 66:7 114:7,8 164:2,4 315:15 317:15 326:7 357:20 364:10 372:11 417:12	intended 41:5 112:1 129:10 151:9 226:13 284:9 426:6
independent 42:16 397:18 454:7	inform 439:2 450:4	inpatient/outpatient 325:13	intensity 443:6
independently 34:7	informatics 2:10 11:15 18:13 42:3	inpatients 399:16 424:17	intensive 377:7,10
index 131:9 163:16	information 2:21 27:14 27:16 35:17 48:9 58:13 69:2,5,8 70:16 79:8 103:2 116:1 145:9,12 161:19 171:3,6 184:5,6 187:1 188:9,10 194:14 195:1 196:5 197:11 198:21 199:18 202:6 202:13 220:17 224:4 226:14 229:21 257:6 265:1 274:2 275:19 276:8 293:13 304:6 304:11 305:21 306:16 307:2 309:8 318:1 327:21 330:3,13,16 350:4 362:12,16 387:9 391:18 392:4 396:22 399:22 402:5 405:16 407:16 409:2 413:14,16 436:9 445:10 447:9,11 456:18	insight 179:2	intensivist 16:20
indexed 133:4	informed 318:15	instance 448:11	intent 4:4 76:18,22 133:17 331:12 351:16 353:8,14 357:19 383:11,18
indexing 132:21	informs 439:11	Institute 37:9 49:3 423:20 454:3	intention 73:9
indicate 10:2,6 24:4 161:3 229:15 352:12	inherent 56:15 108:15 111:21 119:11 164:5 424:14	institution 98:3 120:12 152:22 153:4 201:10 375:16 413:7	intentionally 307:20
indicated 215:16 232:20 336:18 416:22 431:7	inherently 70:5,6	institutions 200:19 201:8 415:12	inter-rater 269:7,19 285:22 442:3
indicates 109:10 148:3 166:17 255:17	initial 13:3 34:12 131:12 147:10 330:7	instruct 101:20	inter-unit 34:2
indicating 233:3	initially 94:14 238:22 377:13	instruction 93:6	interact 355:12
indicator 55:15,15 56:5 58:10 65:13 94:19,20 95:7 102:2 107:20,21 109:4,7 110:14,18 135:15,16 151:9,17 154:19 166:4,5 247:15 278:15 279:4 279:5 281:6,12,15 282:6,7,11,15 283:1 283:11,13 286:9 288:8,14 290:6,10,13 295:2 343:19 348:16 349:15 371:2 423:10	injured 440:14	Instructor 11:16	interactions 58:11
indicators 4:12 46:6,20 47:17 48:10 51:3 59:9 65:8 94:18 101:9,17 112:4 135:18 150:19 278:10 282:2 285:11 285:12 290:5 381:7	injuries 113:2,10 396:18,19 397:5 398:16 401:22 403:19 403:20 406:14 415:14 415:21 416:3 425:4	instrument 243:18 244:5	interclass 431:5
indirect 230:11		insufficient 27:4 140:12 140:15,19 141:5,15 141:18 142:20 143:1 143:14,17 144:6,9,17 144:20 145:8,12 167:19,22 168:15,21 169:13,16 170:6,11 171:3,6 192:5,7,14,19 193:12,15 194:1,3,13 195:1 198:20 199:17 199:17 202:6,13 250:13,18 267:7,9 273:15,17 274:14,16 275:2,19 276:8	interdisciplinary 440:8
indirectly 58:17 107:14			interest 10:3 12:6 21:6 279:8 329:18 444:9
individual 34:5 36:5 58:6 102:4 123:14 128:12 175:12 176:3 200:18 252:12 271:13 298:22 319:15 349:19 352:11 381:15 453:15			interested 10:15 51:11 112:7 241:21 281:15 370:21 421:14 429:15
individually 33:11 74:21 128:5 158:13 313:8			interesting 97:8 405:16
inevitable 56:14			interference 47:11
inevitably 245:6			interim 114:1
			intermediate 334:7 387:16
			internal 1:12 39:17 40:10 115:2,3 123:21 176:11,14 350:1
			internally 200:9 345:21 358:10
			International 3:9,19 252:21
			internationally 12:8

internet 7:11
internist 19:11 278:22
interpolate 60:21
interpret 158:21 220:8
 268:9
interpretation 47:5,17
interpreted 166:19
interpreting 200:14
 289:6
interrupt 79:8 134:2
intervals 268:1
intervening 317:4
intervention 137:20
 165:8 191:19 198:5
 213:15 227:10 228:10
 231:21 232:2,2,4
 265:20 280:12 299:17
interventions 32:12,14
 32:16 96:2 102:7
 226:18 228:7 231:16
 284:12 401:9 406:11
intimate 366:19
intraclass 34:3
introduce 10:1 12:1
 20:21 22:8 29:19
 36:16 41:20 67:17
 129:2 139:17 224:21
 225:3 252:19 256:22
 277:18 278:6,20
 279:9,16 314:3
introduced 281:21
 455:18
introducing 225:5
 279:5
introduction 4:5 7:18
 35:14 226:2 228:15
 229:2 253:2 315:7
 331:19,21 396:13
 415:2 437:8
introductions 4:4 320:7
intuitively 381:4
inverse 61:19 354:8
invest 117:12
investment 347:10
involve 54:1
involved 28:7 68:1
involvement 290:16
involves 54:3 206:18
involving 56:20
IOM 361:10
Iona 1:9,14 4:2 7:17
 11:13 43:1 121:13
 124:7 180:13
IQR 359:13
Irving 12:14
ischemia 82:11
isolate 106:21,22
isolated 55:6,10,14

83:4
issue 44:1 78:15 83:16
 83:16 95:14 103:18
 121:18 122:2 151:12
 163:14 201:13 220:10
 233:19 234:16 236:3
 251:14 266:19 289:5
 310:12 322:15 353:15
 357:4 367:8 374:16
 397:5 409:16 418:1
 424:4 438:18 444:13
 448:12 449:3,6,8
Issued 258:10
issues 7:5 15:22 21:7
 68:7 69:1 101:18
 114:19 117:15 148:4
 151:4 175:8 259:9
 305:7 309:20 412:3
 441:22
it'll 307:4
item 51:1 72:17 243:18
 268:4 408:17
items 69:9,12,18 70:7
 70:22 71:12,15 72:16
 73:1 74:10,10,18
 105:16 243:19 269:13
 271:12
IV 82:14

J

Jack 3:12 314:7 323:10
 333:9 344:1
Jack's 352:19
JAMA 78:7 100:1
James 14:10
Jason 1:15 29:19,21
 30:5 87:12,14,16
 124:8,10 162:4,10,11
 293:15,16 382:10
 384:6,9 386:13 455:9
 457:2
Jason's 31:4
Jeff 173:8 325:6 452:20
 453:3,8
Jefferson 17:12
Jersey 2:4 19:19 20:1
Jesse 3:5 4:3,7 8:17
 22:5,21 23:19 24:19
 30:16 45:10 78:19
 249:6 299:20
job 8:2 322:1 353:5
 409:18 420:7
Johnson 45:21
join 453:1
joined 20:16 41:15 45:8
 173:12 176:19 253:1
 278:11
joining 20:2 35:16

279:13
joint 103:13 314:19
 396:18 403:18
Josh 16:10 189:6,7
 327:2 376:9,21,22
JOSHUA 2:14
journal 96:5 109:22
 344:13
judge 197:11 198:19
judging 199:7
judgment 74:9 230:4
jump 30:15 68:8 300:13
June 1:6
justification 32:22 35:4
 72:4 209:7
justify 256:19

K

Kaiser 2:10 42:2
Kane 369:13
Kansas 3:14 313:19
 314:5 414:22
kappa 272:11,13,16,21
kappas 65:3,4 254:16
 269:13,16
Karen 45:21 396:11,14
 405:2,4,6,10,15
 409:15 413:4
Kathryn 279:10
keen 433:16
keep 9:9 24:1 115:12
 121:11 140:20 168:2
 230:17 291:17 317:10
 323:18 325:17 366:7
 394:8 401:3 446:16
Kendall 2:20 18:9
Kettering 1:19 14:16
key 47:1 51:21 54:8
 57:6 63:21 418:22
 448:11 449:10 453:5
Keystone 88:20 89:8
 91:13
kidney 54:4
kids 16:15 284:20,22
 296:18
Kim 97:4 138:8
Kimberly 1:17 10:21
 11:1,22 12:4 20:17
 140:13 201:17 250:14
 266:7 390:22 402:18
 402:19 410:12 411:12
 429:3
kind 28:9 47:1 48:2,18
 68:4,5 70:11 71:20
 72:13 86:14 104:19
 108:14 153:10 157:1
 166:17 212:19 263:16
 268:22 271:2,3,7

293:14 313:10 327:8
 327:17 328:1,14,18
 328:18,21,22 351:19
 352:17 354:18,18
 377:2,9 378:13,14,14
 378:22 379:1,21,22
 380:14 381:2 394:3
 403:17 427:5
kinds 48:22 81:19
 108:16 112:7 370:1
 454:10
kit 286:20
kits 423:17
knee 96:8 441:9
knees 435:2
knew 9:20 122:2 294:6
 323:20 453:3
know 7:7,12 8:14 9:14
 10:16 13:6 19:14 22:3
 23:17 26:16 28:2
 41:20 42:21 44:11
 46:2,10 48:18,21 50:7
 85:15 90:21 97:18
 98:16 102:18 103:8
 104:6 112:16 113:10
 114:22 115:2 122:10
 123:19 124:19 125:7
 127:4,19 128:4 137:1
 147:5 149:13,17
 152:22 159:16 161:19
 162:10 163:21 173:9
 175:21 180:1,4 182:5
 186:11,14 189:22
 191:4 198:3,18 200:6
 200:22 207:5,5
 211:15 212:21 216:11
 220:21 223:17 241:20
 242:16 260:7,8,15
 262:5 266:21 268:8
 272:12 273:3 285:22
 292:16 295:1 307:12
 307:13 308:19 309:10
 312:21 321:9,22
 322:15 327:16 328:9
 328:20 330:13,19
 332:14 333:10,21
 334:1 336:17 339:16
 339:16,18,19 340:22
 345:18 347:2 350:17
 352:2 358:10 359:20
 360:18,22 362:1
 363:15 365:2 367:15
 368:5 374:18 375:11
 375:13,19 376:4
 377:4,6,7 378:12,15
 378:17 379:2,19,20
 379:21 380:1 384:22
 385:16,18 401:18

403:14 404:19 405:10
 409:22 419:9 421:18
 421:21,22 422:5
 425:16 429:3,12,16
 430:9 431:8 432:10
 432:10 434:4 438:10
 439:15 443:2,5 449:2
 450:20 452:1 456:16
knowing 207:12
knowledge 107:6
known 17:16 286:21
knows 9:18 132:7 395:8
 419:10 456:6
Kool-aid 313:2
KU 337:10
kudos 119:1

L

L 2:13
label 77:14
laceration 56:13 58:3
 80:15
lacerations 43:8 52:22
 56:9 115:10
lack 78:11 251:10
language 161:18
 429:16 430:9 444:4
laptops 23:17
large 31:7 69:2 78:13
 80:1 89:8 157:14
 182:9 365:10 379:13
 428:8,11
larger 153:16 157:1
 158:9 265:9 296:17
 347:17
lasted 185:9
Lastly 153:21
late 20:18 140:1 147:17
 262:11 367:20 403:14
latent 50:18 51:4
latest 117:5 283:7
laugh 292:9
Laughter 139:4 165:12
 180:18 205:7 206:6
 266:16 278:4 292:8
 301:1 365:8 405:3
 436:19 457:4
Laura 1:19 3:5,19 14:14
 22:13,14 136:20
 137:1 139:2 140:5
 167:12 168:9,18
 169:21 190:15 211:22
 212:10,11 250:7
 252:18,20 259:21
 265:6,11 387:14
 396:10
law 198:2
Lawless 2:6 17:9,9 82:1

82:1,13 83:19 159:13
 159:14,22 160:17
 185:13,14 186:9,13
 196:11,12,16,22
 218:9,9 220:20
 221:12 248:7,7 249:4
 270:6,7 288:21,21
 289:9 339:5,7,11
 353:18,19 354:11
 356:3 372:6 441:6
 442:1,6
layers 383:21
lays 441:20
lead 17:17 24:10 46:11
 98:21 136:18,21
 151:21 205:13 206:8
 228:21,21 246:4
 257:11,12 279:11
 284:12 299:1 320:8
 323:12 397:17 400:16
 401:10,12 414:19
leader 138:14 342:11
leadership 19:6 246:7
leading 57:21 279:1,3
 284:6 397:11
leads 54:19 106:14
 149:13 213:20 231:8
 279:12
Leapfrog 2:3 139:22
learn 133:19 410:1
learned 147:8 432:11
learning 220:5
leave 162:19,22 163:10
 277:10 396:1 400:21
 429:3
led 230:8 454:2
Lee 3:16 279:12
left 93:22 156:14
 163:11 191:11 263:18
 323:7 395:18 421:17
legitimate 395:20
legs 442:13
length 316:5 329:17
lengthen 97:9
lens 195:16
Leslie 2:16 14:21 77:10
 183:12 232:22 234:11
 234:12 346:20
let's 38:15 44:5 90:22
 105:6 126:8 162:1
 164:13 165:15 173:19
 177:11,12,15 191:13
 197:5 230:16 266:22
 272:17 273:9 291:21
 332:17 334:4 342:17
 349:5 353:16 357:2
 362:7 363:11 365:17
 384:20 385:2 388:12

389:19 390:13 402:13
 403:1 407:4 410:5
 411:6 413:11 428:19
 429:12 432:15 447:6
level 27:8 42:2 58:20,22
 87:3 94:13,21 104:7
 107:12 110:9 152:8
 206:22 219:8 221:4
 222:9 246:21 254:10
 254:14,16 255:17
 268:4 270:17,22
 271:9,13 272:16
 298:12 315:11 316:9
 316:18 317:17 331:8
 333:14,22 339:2,4
 353:11 365:3,13
 376:2 377:14 379:11
 382:16 387:12 389:17
 398:5 408:17,19
 417:7,8,9,14 420:20
 425:11 426:7,10,13
 426:15,17 427:8,13
 427:16,19,21 428:9
 428:13,15,15,16
 431:3
levels 176:4 242:12
 328:6,14 353:20
 366:7 374:4 386:17
 389:10 428:2,4,12
liability 87:3
license 332:15
licensed 5:9 19:22
 315:2 386:16
licensure 316:9,17
lies 419:17
life 16:12 226:5 260:22
 313:17 397:20
light 288:18 360:20
 453:17
likelihood 83:2 151:4
 151:11 288:12
Lillee 2:5 12:12 38:17
 210:11,12 211:8
 215:21 217:14 325:15
 337:13 341:17 344:9
limit 191:6,8,8
limitation 164:5 286:4
limitations 52:18 65:11
 122:4
limited 108:17 282:16
 283:5 286:16 294:21
 439:3
limiting 281:15
Linda 325:5 333:5
Linda's 208:21
line 31:10 35:15 63:22
 64:3 67:14 82:9,10
 84:20 85:12,16 173:7

178:5 181:3 345:1
 355:3 451:3,17
 457:10
line-associated 134:8
lined 451:7
lines 108:18 173:10
link 291:4,15 335:21
 361:6
linked 59:17 135:5,6
 316:10 406:10
links 135:2
Lisa 2:7 15:20 36:18
 41:9 90:5 108:20
 114:15 116:5,17
 123:17 124:12,18
 125:1 228:22 234:14
 319:8 440:1,18
Lisa's 90:19 231:13
list 18:22 28:10 122:15
 122:22 131:19 169:9
 188:21 189:2 208:22
 209:10,13 219:2,21
 221:16 307:17 314:1
listed 206:22 218:22
 261:14 289:19 401:6
listening 67:4 139:15
literate 217:3
literature 59:19 60:3,6
 60:11,15 61:2 64:16
 85:11 95:16 122:9
 344:14 399:5 404:20
 419:10 440:17
literature-based 60:22
 61:7
little 9:8 24:7 31:22
 32:21 33:17 35:16
 36:16 42:10 49:9 75:1
 82:7,8 83:5,20 84:2
 86:6,12 91:2 102:18
 129:13,18 130:9
 136:9 138:16 154:21
 155:4 156:14 159:15
 160:7,13 212:8
 214:10 221:2 229:12
 235:16 238:2 263:6
 263:11 279:22 289:17
 305:4 313:14 325:12
 329:9,9 340:1 344:15
 345:12 347:6 350:22
 356:9,17,22 361:22
 366:13 368:6,16
 380:13 392:20 395:14
 398:8 419:22 422:2
 422:16 455:12
lives 367:16
living 397:9 398:22
Liz 50:10
LNHA 2:3

location 280:17 281:11
logic 144:16 170:4
 193:22 275:1 303:12
logical 141:1,11 450:14
login 7:13
long 5:4 6:3 13:4 19:1
 37:5 38:21 50:10
 252:16 256:8 278:18
 313:1 321:6 339:17
 339:18 340:22 382:17
 383:7 402:6
long-stay 253:5,17
 254:5 396:15 398:1
 399:19
Long-Stays 396:8
long-term 227:5 258:20
 261:19 270:12 353:13
 397:15 406:7,15
longer 65:18 185:2
 421:17 424:15,18
 425:2
longitudinal 198:4
 321:10 364:14 422:7
longitudinally 321:5
look 8:6 12:8 29:6
 35:10 37:18 39:6,7
 54:14 55:1,9 56:21
 63:17 71:10 72:10,13
 72:17,18 75:2,6 93:19
 96:4 97:21 98:12,18
 99:12 102:6 111:8,17
 114:5 118:9 125:9
 132:3 175:8 184:15
 197:6,6 206:13
 217:21 219:8,9
 234:20 235:10,18
 259:12,13 267:19,22
 268:12 269:1 271:12
 289:16,16 293:5
 296:19 297:4 301:3
 308:4 331:4,7 333:13
 338:14 342:12 353:19
 360:14 361:2 366:1
 371:19 372:2 377:8
 380:7 381:4,6 382:1
 383:12 384:3,13,21
 403:10 404:12 408:10
 423:5 424:10 433:6
 453:12,18
looked 44:9 64:16 78:8
 182:19 185:15 197:22
 207:19 208:5 209:6
 229:22 248:8 252:3
 262:17,17 314:16,20
 333:6,13 355:16
 369:8 380:18,19
 386:22 418:13 421:6
 421:22 429:14

looking 9:5 20:2 26:2
 28:22 30:16 31:6 39:8
 40:6,7 41:1 43:8,18
 44:3 68:6 73:13 79:21
 101:18 102:21 115:1
 116:16 153:9 160:22
 161:1 187:1,12,13
 188:13 208:7 210:13
 215:14 217:21 218:10
 223:12 224:12 231:14
 233:18,19 242:2
 259:18 260:2 262:11
 264:15 265:11 267:13
 267:19 270:10,19
 276:2 294:3 310:4
 325:6 336:22 341:21
 342:3,6 344:13,16
 353:22 354:1,4,7,8,17
 355:2,20 356:11,19
 365:18 373:11,19
 376:13 419:17,20
 422:16 426:9 440:20
 444:4
looks 82:17 97:19,19
 102:3 114:20 141:20
 172:2 176:3 186:22
 187:9,12 189:3
 248:16 278:5 323:3
 327:9 360:17 365:19
 378:16,17 388:2
 404:1 437:19
loosens 82:15
lose 99:19
loss 69:8 425:1
lost 266:1
lot 12:7 13:11 24:2
 30:20 31:12 43:17
 46:8 52:20 56:12
 73:12 74:4 84:15
 85:11 88:4,9 91:3
 98:1,4 105:5,14
 113:11 117:20 122:18
 122:19 147:8 148:13
 149:14,20 176:12
 182:3 185:2 196:14
 205:15 218:11 221:1
 229:9,21 230:7
 234:14 237:4 244:7
 252:5,5 262:10 265:7
 293:20 305:12 322:16
 327:16 328:13 330:12
 336:17 340:15 346:21
 347:10,12 348:1
 355:15,18 357:15
 362:5 363:9 364:2
 375:2 394:5 406:15
 407:16 409:20 425:19
 438:12 442:10 443:7

448:12 451:6 456:11
lots 28:14 190:21
 218:16 230:13 244:4
 244:6 370:22 380:11
 401:20
louder 313:16
love 67:3 179:21
low 12:9 58:20 71:17
 72:9,20 74:10,20
 83:12 140:11,15,19
 141:4,15,17 142:19
 142:22 143:14,16
 144:6,8,16,19 145:8
 145:11 151:1 160:3
 161:1,6 167:18,21
 168:15,21 169:13,15
 170:5,10 171:2,5
 192:5,7,14,19 193:12
 193:14 194:1,2,13,22
 202:6,13 231:5
 238:16 250:13,17
 256:13,21 267:7,9
 270:1 273:15,17
 274:13,16 275:1,18
 276:7 297:16 298:2
 301:18,20 302:9,11
 303:3,5,12,17 304:6
 304:11 307:14,19
 308:2 334:12,19
 335:10,14 342:21
 343:4,10 345:9 355:2
 357:7,11 359:17,18
 362:12,16 387:18
 388:9,16,21 389:6
 390:1,7,20 391:12,18
 392:3 407:7,9 410:11
 410:15 411:11,15
 412:16,18 413:13,15
 416:22 427:2 430:18
 430:20 431:18,19
 432:19,20 433:11,13
 436:6,8 443:22
 444:18 446:4,5,12,13
 446:20 447:3,8,10
Low-Mortality 4:14
low-performing 378:16
low-risk 256:9 279:21
lower 54:15 74:14
 121:3 158:15,20
 179:9 203:19 269:15
 297:20,21 348:3
 404:17
lowered 442:14
lowest 80:3
LPN 315:2 316:18 342:2
 386:9
luckily 373:15
lunch 150:2,5 177:6,15

lung 96:3
lungs 55:12,17 99:14
LVN 315:2 330:2
LVN/LPN 5:9
Lynda 2:17 17:19 206:8
 420:14 421:13 442:7
 445:21

M

MA 3:8
magnet 347:12,13
 433:18
magnitude 160:11
main 72:9 453:10
Maine 326:13
maintain 294:17
maintaining 257:9
 260:21
maintenance 34:17
 53:10 181:22 251:7,8
 427:14,21
major 6:2 43:11 96:3
 162:12 189:1 396:7
 396:17,17 397:5
 398:13,16 399:18
 401:7,22 403:20
 412:7 417:21 438:18
 444:13 448:2
majority 234:8 255:4
 289:21
making 26:4 67:11
 86:16 111:6 119:3
 186:8 248:2 361:12
malnutrition 253:10
 261:10 269:15
malpracticed 434:3
Mamatha 3:15 46:4
 278:9
management 3:12
 82:19 314:9 360:20
 401:8
Manager 3:4,6 22:10,20
mandate 49:16,18
 262:17
mandated 258:6
mandates 244:2 259:9
 262:10
mandatory 253:12
 367:10
manpower 351:14
mantra 180:1
manual 125:16,17
 280:18 350:2,5,7,17
 433:5
MAP 28:12 317:14
 353:9 417:11
mapping 351:17 352:9
marginal 52:10 59:12

62:6
markedly 81:4
Massachusetts 326:14
massive 83:13
masters 20:1
match 133:14
matching 61:18 62:3
material 149:20 258:3
materials 63:16 68:14
93:14 157:11,16
280:5 343:17
math 28:1 160:7,14
222:14
matter 69:15 70:4
113:17 126:3 164:3
178:1 234:15 235:4
293:3 312:8 325:16
341:15 355:18 457:16
matters 32:2 315:21
318:16
maturation 235:10
MBA 2:6,17
MBI 85:6
MBOE 2:8
McDonald 279:10
McGiffert 2:7 15:19,20
36:19 38:2,7 114:17
147:20 148:18,22
229:1 230:21 231:4,7
231:20 232:3 401:14
440:19
McMullen 3:11 225:15
225:15 239:7,8,20
241:17,18 243:14,14
244:19 245:6,19
246:1,3 248:19 253:1
259:1,1 262:9 265:5,6
396:12 413:5
MD 1:11,15,16,17,20
2:6,8,14,15,17,20 3:4
3:5,8,10,16,16,17,19
4:3,7
MDS 244:5 258:6,11,11
258:16 259:11,13
262:6 263:12 264:2,7
269:6 402:9 411:20
412:4,9 413:8 449:4
mean 9:13 39:3 80:16
84:11 107:16 117:4
120:3 121:19 133:16
156:4 160:6 167:3
173:16 178:12 189:16
189:20 190:1 191:1
196:13,19 199:10
203:15 212:18 231:13
231:13 239:20 251:22
254:8,13 255:13,15
268:3,9,9,19 270:11

272:4 285:10 288:22
289:11 290:1 294:11
297:13 298:8 307:16
308:3 312:14 324:2
330:12 340:17,21
354:11 358:20 359:18
366:17 372:9 375:6
377:4 378:21 379:12
379:19 403:7,12
408:2 432:2 434:11
435:7 441:8,10 442:2
meaning 75:13,16
115:12 304:21 384:3
meaningful 51:16 97:21
143:11 169:10 193:8
274:10 302:21 409:12
456:16
meaningfully 98:5
means 10:12 24:21
36:3 72:6 92:13
160:20 201:1 230:11
249:10,17 294:13
306:4 322:13
measurable 316:21
measure 9:10 11:12
12:16,20 13:3 23:13
24:7,17,18 25:1,2,22
25:22,22 26:3,21
27:11,13,18 29:7,10
29:14,18 30:9,11 31:4
31:5 32:4,13,18,21
33:4,9,20 34:11,19
35:5,12 36:10,17
37:12,21 38:12,20
40:16 42:14,21 43:20
43:22 44:8,19 45:5,18
45:21 48:1 50:19 51:8
51:12 58:7,19,21 60:3
64:3,5 69:10 70:9
71:5,12,14,19,22 72:3
72:11 73:19,21,22
74:1,11 76:22 79:6,20
84:10,19 88:1 90:1,14
90:17,20 91:3 92:17
92:18 93:6 94:1 97:10
97:11 98:8 101:17
102:16 109:4,5,11,16
110:14,19 111:14
112:11,12,12 114:1
114:20 115:4 116:4
116:16 117:17 118:4
121:13 122:7 125:2,6
125:7,11,14 126:12
126:17,20 127:20
128:5,11,16 129:5
131:22 136:5,13,14
137:17 140:7,22
142:15 143:5,21

145:16 146:15 147:4
147:9,12,22 148:1,6
148:10 150:16,20
151:2,6,19 152:2,9,20
153:18 154:7 161:3,9
161:17 162:13 163:18
164:3,4 165:5,21
166:18,19 167:14
168:7,11 169:4,20
171:8,12,21 172:17
173:16 174:17,18,22
175:6,21 176:10,11
176:13,20 179:20,22
180:5,19 181:6,19,22
182:4,14,17,22 183:3
183:21 184:7,14,21
185:3 189:16 191:5
191:16,22 192:10
197:16 199:6 200:2,5
200:7,9,17 201:9
202:19 203:16 205:21
206:16,18 207:3,4,5,9
207:11 208:5 209:1
209:19 210:6,6,9,10
210:13 211:4,6
213:12,13 214:3,8
215:4,9,13,17 216:5
216:14 218:3,5,6
220:6,7,14,15,16,17
221:18 222:13 224:1
224:1 225:3,11,19,20
226:13 227:7,9,12,13
229:6,20 231:18
232:9 234:1,8,19
235:20 236:1,4,11
237:1,12,16,21,22
238:11,22 239:4
242:1,2,16,22 243:5
243:19 244:6 245:18
246:9 247:3,3,4,10
248:11,20,21 249:3,9
250:9 251:8,9,14
253:3,4,11,15,17
254:4,9,21,21 255:2
255:10,12,14,17,18
256:1,7,11,12 257:4
257:15 258:13,18
259:16 260:18 261:1
261:5 262:14 265:17
267:2 269:3,14
270:16 271:18,20
273:11,20 276:12
277:6 279:17,19
284:9 285:3 289:16
289:18 291:14,18
293:1 294:10,13
296:8 298:12 299:14
299:22 300:17 301:4

301:13 302:3,5,16
305:6,12,21 306:3
307:6,11 308:8
309:21 310:13,21
311:22 313:20,21
314:6 315:4 319:17
319:19,21 320:7,22
321:16,18 322:4,8,19
323:2,7,8,16 324:15
324:19,22 325:7,16
325:19,21 326:1
327:6 328:4,18 329:1
329:22 332:17,18
333:13 334:5 335:4
338:22 342:5 343:8
343:18,21 348:18
352:10,10 353:11
354:7 356:4,6,6,9
357:4 361:18 362:4,9
366:12 371:15 374:19
375:20 377:9,13,18
383:14 384:1,10,11
384:13,19 385:15
387:1 388:14 389:4
389:21 391:15 393:15
394:18,19 395:21
396:15,21 397:2,3,4
397:22 398:1,4,10,18
398:20,22 399:2,8,12
399:18,21 400:2
401:2,18 402:16
403:4,8,15,16 404:17
405:20,21 406:18
407:5,21 408:4,15,19
409:17 410:9 411:9
411:19,21,21 412:9
412:21 413:7,20
414:10 417:16 419:1
421:7,8 422:21
427:12 428:20 430:16
431:16 432:17 436:13
437:8,13,13,22
438:12 439:8 440:16
440:16 442:16 443:11
443:20 446:2,10
447:15 448:7 450:10
452:8,11,12,13
453:11,12 454:13
455:11 456:7,11,17
456:19
measure's 402:6 412:4
measured 70:8 128:3
152:21 193:2 219:5
235:5 250:4 318:11
325:1 326:17 344:2
430:2
measurement 2:1
10:10 33:21 46:2

102:12 167:5 242:11
 247:9 326:8 339:8,10
 339:11
measurements 74:6
 184:19 226:21
measures 4:8,11 10:8
 12:7,17,19,21 13:2,5
 13:17 18:6 23:10 24:3
 26:8,9 28:16,17 29:1
 29:5,9 30:19,21,21
 31:3,6,9,9,12,20 33:6
 33:7,11,13,19 34:5,6
 34:15 35:10,11 36:21
 37:9,13,18,19 38:22
 40:7,9,13 41:4 43:6
 45:16 47:15 49:5
 50:10 53:19 54:6 58:7
 68:3,12,16,18,20,22
 69:6 71:11 72:1,14
 73:5 74:5 78:10,11
 82:22 83:3 85:5 88:16
 88:17 93:21 94:2
 102:20 105:4 108:2
 109:15,17 110:16,17
 111:1 112:16,18,20
 114:11,21 116:7,8
 121:16,21,22 122:4
 124:22 126:4,6,7,10
 126:20 127:2 136:3
 144:2 145:20 148:14
 149:2 154:3 163:8
 166:11 171:16 174:20
 175:13 176:4,6 185:7
 185:18 193:11 199:1
 199:2 203:2 216:6
 221:11 224:2 226:11
 227:22 229:4 232:11
 232:13,20 235:11
 243:17,20,21 244:22
 245:4 249:20 253:20
 256:12 262:13,16
 276:16 277:7 290:4
 291:9,15 298:10
 308:2 310:10 311:3
 313:5 314:1,13,18,21
 315:1,10,14 316:22
 317:8,13 318:5,7,22
 319:1,10,11,15 320:3
 320:10 321:9 323:11
 323:15,15,21 330:8
 330:10 338:22 340:6
 352:22 357:19 361:1
 361:18 374:17 375:1
 384:15 385:10 387:5
 389:15 393:1,5,12
 394:7 399:14 408:21
 409:8 413:6 414:12
 414:12 415:4,5,19,22

416:12,13,17,18
 417:4,10,22 418:4,7
 420:19 426:16 447:19
 447:22 448:4,11,20
 451:2,7 452:14,18
 454:11 455:21 456:9
 456:14
measuring 69:6,15
 124:2 200:10 237:7
 248:3 366:12 371:11
mechanical 95:18
 96:14 100:16
mechanism 85:8
mechanisms 445:13
med/surg 418:17,17
median 254:9,14 398:4
Medicaid 3:11 5:2,5,20
 6:3 247:20 398:2
 404:14,16
medical 1:11,15,20
 2:14,17,20 3:13 11:15
 14:11 16:2,13,20
 17:21 18:13,17 30:6,9
 66:7 67:21 80:1,5
 110:5 115:4 253:19
 262:8 285:14,17
 309:7 310:5 347:17
 369:14
Medicare 3:11 5:2,5,20
 6:3 44:13 59:17 61:10
 103:18 112:15 175:22
 176:2 183:19 188:18
 215:9 247:21 248:13
 398:1
Medicare/Medicaid
 253:13 254:6
medication 18:8 28:18
 55:19 103:17 385:5
medicine 1:12,13 11:11
 23:2 37:9 46:17,18
 49:4 96:6 110:5 454:3
 455:7
meet 23:1 34:16 35:5
 94:12 145:16 148:11
 171:12 176:13 198:10
 202:20 251:9,11
 262:16 276:12 310:21
 413:20 436:13 447:15
meeting 1:3 21:4 25:14
 89:1 148:5 221:4
 234:9 321:21 367:3,4
 452:21
meetings 40:6 292:11
 293:8
meets 126:12 294:16
Melissa 2:2 400:6
Melissa's 400:5
member 4:19 6:5 15:17

16:22 25:15 41:15
 183:8 314:14
members 10:19 137:10
 234:8 326:2 399:6
Memorial 1:16,19 14:16
 19:4
men 20:6 180:16 406:6
mention 8:8 21:2 181:5
 209:13 211:13 218:11
 223:5 417:3
mentioned 42:15 43:1
 68:15 83:5,19 97:12
 116:18 128:21 199:3
 219:14 284:11 290:5
 306:20 317:14 344:7
 350:14 394:20 403:15
 404:4 408:17 412:20
 416:5 422:21
mentions 129:18
merit 154:6
merits 37:22 38:12
message 72:22 113:16
 113:17 114:2
messages 382:6
met 1:8 22:22 32:8,18
 35:1 42:1 96:10 456:9
meta 59:13
meta-analysis 369:13
metabolic 82:19
method 70:2 132:13
 142:18 143:8 168:14
 169:7 192:13 193:5
 273:14 274:7 302:8
 302:18 370:15,20
methodological 68:6
methodologist 45:21
methodologists 71:19
methodology 50:9
 352:7 427:7
methods 50:22 60:3
 76:17,19 117:12
 368:5 370:7,13
metric 49:21 291:12
 305:22 306:21 378:7
 382:1
metrics 117:3 331:5
 371:16 419:14
MI 156:11
mic 313:16
mice 78:7
Michelle 2:15 19:9
 77:21 78:19 79:18
 222:19 223:9 284:17
 296:13 422:13 437:4
 437:11
Michigan 88:20 89:9
microbiologic 134:7
microphone 79:13

105:13
microphones 217:18
middle 409:20
might've 441:10
mike 77:18 79:1
million 183:19
mind 71:9 79:12 154:14
 190:16 212:15 323:4
mindset 116:2
minimal 33:22 56:15
 65:2,7 91:4
minimally 54:13
minimize 127:22
minimum 253:12
 272:22 396:22
Minnesota 326:14
 423:21
minor 417:20 434:16,19
minus 64:19,20 322:13
 322:16
minute 25:4,5,8 132:3
 178:9 266:5 392:22
minutes 9:11,12,12
 24:9 25:1,4,6 41:11
 147:17 150:15 177:16
 181:12 191:11 311:20
 312:5,7 375:11
 406:20 407:1 410:6,7
Mio's 178:16
misallocation 52:19
missed 39:1,11 82:4
 97:14 131:16 185:20
 306:18 373:21
missing 122:18,19
 143:12 168:16 169:11
 170:7 193:10 194:17
 195:10,12,21 204:22
 220:7 256:6 270:14
 274:11,14 275:5,20
 275:21 303:1,14
 304:7 392:11 413:22
 414:1 443:15 444:6
 445:5 447:1
Missy 129:1 139:14,16
 139:21 198:8 199:11
 199:15 213:7 221:13
 230:22 263:4 265:5
 324:13 357:17 360:7
 361:19 367:18 400:5
 400:13,15 402:12
 403:2 404:2 407:11
 409:16 410:18 424:8
 428:6
Missy's 196:12
mistake 188:12 218:21
misunderstand 196:9
 197:4
mitigating 317:5

mix 5:8 12:22 88:16
 90:1,3 188:8 208:8
 210:3,17,21 211:14
 217:5,8,22 218:11
 219:6 223:2 315:1
 316:10,16 317:8,19
 318:13,17 319:7
 320:2,20 322:10,14
 325:4 327:15,21
 328:5,16 329:5,8
 331:5 335:22 337:21
 338:4 339:8,21 340:2
 340:5 344:8,17
 348:13 354:5,19
 355:2,9,17 356:2
 361:1 371:21 376:3
 377:11 386:18 387:5
 405:4 415:1 419:13
mixed 404:6,21
mixing 90:8
Mm-hmm 189:19
mobile 425:2
mobility 96:17 253:9
 261:9,9,22 271:22
 425:1
mode 291:11
model 58:9 61:21 68:2
 77:12 82:16 83:11
 157:22 158:8 221:21
 222:1 256:18,20,22
 381:1
modeling 351:20
models 66:14 256:21
 262:17,18,19
moderate 27:3 140:11
 140:15,18 141:4,14
 141:17 142:9,19,22
 143:14,16 144:5,8,16
 144:19 145:8,11
 167:18,21 168:15,20
 169:13,15 170:5,10
 171:2,5 192:5,6,14,18
 193:12,14,22 194:2
 194:13,22 202:6,12
 250:13,17 251:17
 267:7,8 273:15,16
 274:13,15 275:1,7,18
 276:7 297:14 301:18
 301:19 302:1,9,11
 303:3,5,12,17 304:6
 304:10 334:12,18
 335:9,13 342:20
 343:3,9 345:8 357:6
 357:10 362:11,15
 365:2 387:18 388:9
 388:16,20 389:6,13
 389:22 390:6,19
 391:11,17 392:2

407:7,8 410:10,15
 411:10,15 412:16,18
 413:13,15 417:21
 430:18,19 431:17,19
 432:18,20 433:11,13
 434:19 436:6,8
 443:22 444:18 446:3
 446:5,11,13,20 447:3
 447:8,10
moderating 180:12
modification 307:11
modifications 47:7
modifies 355:18
module 279:4,10 281:4
Moffatt-Bruce 2:8
 18:14,15 19:1 119:6,9
 120:1,5,11 121:5
 152:1 154:13 155:3
 156:7,12,16 157:12
 158:7,16 161:10
 164:18,21 166:1
 167:6 172:4,9,14,20
 173:13
moist/wet 240:17,20
moment 118:9 277:15
 310:9 319:20
momentarily 45:11
Mona 124:18
money 125:19,19
monitor 197:17 226:15
monitoring 198:4
 201:10 263:17,21
 422:12
Montefiore 1:15 30:6,9
month 62:18 364:11
monthly 239:17
months 62:15 66:17
 258:9
morbidity 188:17
morbidity 107:14
 280:11
morning 7:3,5 9:16
 11:13 12:11 13:20
 14:21 15:8,19 16:9,18
 17:14,19 18:2,14 19:8
 19:16 22:18 30:4
 41:17 46:3,15 171:22
 174:13 175:11 177:5
 179:15 180:11 278:2
 319:8 393:7
morning's 173:20
mortality 4:17,20 62:7
 62:12 151:2,9 152:5
 154:20 160:3 161:1,6
 163:8 164:3 166:19
 172:1 180:20 181:1
 183:2 184:19 185:10
 206:4,17 207:14,15

207:19,22 223:13
 316:4 406:8 453:13
motion 220:21 251:1,2
move 26:21 27:9 41:2
 139:13,14 146:6,13
 147:16 149:9,11
 212:7 235:14 243:20
 247:7 286:18 351:17
 352:15 353:14 384:8
 403:1 453:22
moved 14:1 100:20
 312:16
movement 423:13
moves 146:4
movie 165:11
moving 9:9 205:3 221:9
 235:22 244:3 266:17
 277:12 352:15 371:18
 381:13 420:2
MPH 2:3,11,14,19 3:4,6
 3:11,16,16,17 4:7
MS-DRG 150:22 155:17
 156:6
MS-DRGs 154:18
 155:20
MSc 3:19
MSN 2:5,10
MSS 284:18 422:14
mucosal 82:12,13 84:1
 85:6
multi-factors 220:9
multi-task 180:15
multi-variate 189:4
multidimensional 69:4
Multifactor 5:18
multifactorial 401:8
multifactorial 101:10
multiple 32:13 47:16
 48:9,14 51:3 68:20
 69:5,12 74:10,18
 104:3 143:12 169:11
 193:9 242:12 244:1
 274:11 302:22 308:2
 383:21 416:8
multiplied 386:19
multiply 385:9
muscle 254:3
music 139:1
mute 11:5 92:21 119:8
 139:20
myocardial 156:1
myocarditis 155:8
 156:18

N

N.W 1:9
NACHRI 286:21,22
name 12:3 14:14 16:19

18:9,22 19:1,17 21:17
 22:9,14 29:21 30:5
 41:22 46:4,16 58:2
 79:10,15 118:11
 174:11,14 252:20
 278:8 414:18 455:4
named 402:1
names 342:17
narcotic 372:19
narrow 81:4
Nashville 11:9
nation 37:10
national 1:1,8 2:10 5:17
 12:18 42:2 109:17
 110:21 125:18 126:7
 255:13,15 293:8
 320:17 374:2
nationally 12:8 17:4
 238:7,10 258:17
 260:9
nationwide 1:21 16:21
 254:7 398:2
nature 76:16
NDNQI 110:1 111:12
 112:16 125:18 126:8
 136:2 216:20 217:10
 325:20 333:20 345:18
 347:1,11,14 348:8
 350:15 358:7,12,22
 359:7,12,19 371:10
 377:13 386:4 423:1
 428:9 438:1 442:18
 445:18
NEA-BC 2:16
near 305:2
necessarily 9:13 84:2
 99:11 308:3 327:21
 328:21 354:22 441:14
necessary 57:4,19
 288:19 358:22
need 8:9 20:9 23:20
 24:3 27:13 28:8 37:6
 48:19 52:14 55:18
 116:19 117:13 138:8
 138:17 139:3 140:14
 140:14 149:9,11
 167:10 199:1,11
 201:13 202:7 213:16
 220:22 228:15 235:22
 239:9 250:14 273:5
 275:22 291:17 300:15
 301:7 310:15 313:16
 319:10 324:8 329:20
 339:14 345:1,5
 362:19 363:4 382:4
 387:12 390:3 391:1
 398:21 402:18 410:12
 416:16 418:21 429:1

429:12 440:9 443:1
448:19 456:3
needed 13:16 235:14
317:1 330:10 367:1
367:14,16 395:15
400:21
needing 319:9
Needleman 3:12 314:7
314:8 318:20 324:22
329:3 341:3,10,13
344:5,12 352:20
355:5 368:14 373:10
451:22 452:4
needs 9:13 13:13
204:16 291:12 364:6
422:11 443:5 451:6
negative 145:6 170:22
194:11 202:4 226:5,6
256:4 275:16 304:4
355:21,22 375:18
negatively 341:1
negatives 365:12
Nemours 2:7 17:10
82:2
network 15:18 16:3
neurology 423:4
neurology/neurosurgical 422:16
neurosurgical 110:13
never 81:20 89:4 92:12
99:5 195:13 235:8
313:15,17 455:19
new 2:4 9:1,3 15:9
19:17,19,22 20:1
21:13 22:10 23:1
24:20 26:10,10 31:3,6
34:22 36:1 57:8 59:11
63:10 64:8 65:10
77:14 78:6 103:8
112:7 117:6,6,7,12,12
118:15 145:3,5
170:21 186:4 194:8
194:10 198:1 202:2
220:4 228:18 229:1
242:3 254:1 255:19
257:19,21 275:13,15
283:3 286:16 291:16
304:1,3 307:11
326:14,15 413:7
455:17,17,22
newer 346:4 373:8
413:5
news 312:12
NHSN 85:4 92:11,17
93:4 125:16 126:8
127:8,19 128:3,4
133:15 134:6,14
358:1,1 359:4

nice 23:1
nicely 152:5 310:12
nine 66:17 364:14
415:15
Nissen 68:13 82:6,7
83:20,22
nodding 450:13
noise 73:20 76:12
92:22 122:13 166:9
283:15
non 297:8,15,19 359:6
382:6
non-cardiac 156:20
non-CDP 28:11
non-endorsed 121:15
non-issue 160:18
non-NDNQI 347:2
non-STEMI 155:9
non-teaching 80:20,22
81:7
non-zero 104:11
nonparametric 255:21
nonproductive 372:16
373:6,9
noon 8:8
normal 159:16 160:4
normalized 369:11
normally 159:19 223:14
normative 70:6
NOS 133:4
notably 88:19
note 125:3 145:17
171:13 202:20 207:12
253:14 276:13 310:22
407:18,20
notes 181:8 224:9
noticed 282:16 283:6
notion 174:19
NQF 3:3 4:19 6:5 7:14
13:15 22:20 23:3
28:15 36:2,4,22 38:3
39:18 40:16 41:2,8
42:16 43:2 50:4,8
53:8 57:18 62:4 68:3
74:4,13 89:4 93:22
105:19 116:21 119:2
121:15,21 122:6
123:4 126:13,18
128:8 134:3 135:18
135:20 136:3 145:16
167:8 171:12 202:20
237:13 244:11,20
246:7 276:12 292:6
310:21 330:9 365:2
397:1 399:14 413:20
417:15 436:13 444:10
447:15 451:3 455:8
457:13,14

NQF's 13:10 26:16
34:16 227:12
NQF-endorsed 32:9
166:11
NQI 125:14
NSQIP 125:6,19 126:9
223:15
Nuccio 3:13 224:22
225:12,12 238:2
240:4,11,13 241:10
number 52:1,9 59:5,6,7
65:8 73:14 80:1 137:4
182:9 188:5 190:7,11
190:12,13 208:6,8
212:13 220:14 229:6
276:2 315:5,5 316:13
319:3 320:1 324:7
325:1,3,9 327:10,22
329:5 340:18 341:6
359:20 363:15 364:8
369:1,5,10 373:11
378:4 382:22 387:6
408:21 412:6 417:17
417:19 451:16
numbers 28:2 83:8,8
120:7 132:15 133:19
185:16 239:6,9
241:13 289:1 316:8
376:2 389:10
numerator 43:14 55:14
59:9 153:10 182:6
190:11 214:6,11
215:5 261:5 264:6
295:4 384:13,17
numerators 289:20
297:8 384:14 385:15
numerous 316:5
nurse 1:19 5:8,9 13:22
14:12,14,15,22 188:8
208:8 210:3 211:14
212:17 213:4 216:3
217:7 222:22 246:17
269:8 286:1 314:16
339:17 341:6,22
342:4 360:11,21
367:3,5 368:11 369:2
369:10,18 372:20
382:18,21 384:3,16
385:1,3,16,17,17,19
429:18 442:12
nurse's 246:16
nurse-sensitive 314:17
nurse-staff 364:19
nurse-to 219:6
nurse-to-bed 208:8
210:2 211:13
nurse-to-patient
364:16 368:1

nurses 5:10,12,13,15
61:4 111:11 210:22
216:8,21 246:19
248:4 254:18,19
258:20 269:9,11
273:3 313:21 315:3
315:21,22 316:8,13
316:22 317:3,9 318:9
319:17 325:9 327:13
330:4 333:6 340:9,15
341:14 355:13 366:6
367:13 368:18,18,19
369:7 370:2 373:12
373:20 381:6,15,20
381:21 382:7,22
383:3 384:5,15 385:2
385:5 414:17 437:3
454:5
nursing 2:5 3:14 5:11
12:13,18,20,22 13:17
14:19 19:21 31:8
57:12 60:9 210:17,20
212:2 217:6,22 219:6
246:18 247:22 248:4
253:5,13,21,22 254:6
256:2 257:5,7 260:19
287:14,18 315:5,20
316:9,12,17 317:7,19
318:3,8,12,16,17
319:22 320:19 322:10
323:1,6 327:10 328:7
331:7,11 332:14,15
339:15 344:4,13
353:20 366:12,20
375:7 376:4,14,18
378:18,20 379:10,16
380:5 381:7 382:13
382:20 386:8 396:16
396:22 397:7 398:2
399:22 401:21 412:10
412:21 419:14 420:17
420:21 434:6 453:22
nursing-sensitive
319:4,5,16 330:8
349:14
nut 375:14

O

O 95:7
O'Brien 2:10 3:13 35:15
41:15,17,22 42:1,15
45:8 67:15,17,20,20
138:15 201:19 266:8
266:9
O/E 58:21 59:1,4 107:20
OASIS 227:14 233:5
244:5 247:1 248:20
ob 82:16

OB/GYN 17:20 206:13
442:10
object 103:12
objective 70:2
obligated 98:18
obscure 89:3,9
observation 178:8
179:12 370:11 372:8
374:6,7,10 418:15
observed 58:18 61:22
76:4 80:16 81:6,17
95:2 107:13 108:8
289:10
observed-to-expected
298:18,20
observing 405:12
obstacles 117:22
obstetrics 418:20
obvious 452:18
obviously 8:7 62:20
85:10 99:4 116:3
128:8 148:17 155:18
181:20 216:12 229:2
229:3 298:10 299:8
347:10 395:14 439:9
occur 101:22 106:14,17
113:11
occurred 88:2 223:18
244:2
occurrence 106:22
206:19
occurrences 52:9
occurring 57:20 59:16
107:4 113:3,18
160:16 295:22 296:2
occurs 66:6 130:13
235:9
Oceanographer 3:1
oceanography 15:12
October 399:6
odd 385:10,21
odds 96:14,16
offer 128:14 368:5
offered 27:17
offering 103:1
offers 102:4 398:22
399:22
office 2:8 27:6
officer 1:15,21 2:5,9,16
2:17,21 3:4 12:13
16:20 17:21 18:13,16
19:10 30:6 46:5 92:6
officers 88:18 360:13
official 8:7
offline 252:7
oh 11:1 13:9 41:13
136:22 142:5 172:12
203:3 205:8 249:14

264:13 269:6 272:20
296:7 332:19 334:10
344:5 346:13,13
353:17 394:17 409:3
414:18 429:12
Ohio 2:9 16:21 18:16
okay 8:5,17 11:3 13:8
22:5 26:14 38:7 39:20
40:2 41:10,11 42:8
45:12 46:22 77:15
78:18 87:12,17 97:7
99:15 103:4 105:11
108:19 114:17 119:6
119:21 121:6,9 130:6
133:8 137:14 138:4
140:4 142:11,12
143:18 144:11 146:3
146:8 148:22 150:7
150:15 151:20 154:15
158:18 164:12,13
165:3,15,18 168:8,22
171:20 177:19,22
178:7,9,14,22 180:15
181:14,17 184:20
186:12,16 187:3,6,15
188:11 189:6 192:20
194:15 196:6,6,21
197:2 198:7 201:12
202:10,15,16 206:2
209:2 213:7,8 216:10
216:11,11,14 218:8
222:16 223:9 224:7
225:6,9 229:1 230:21
231:6,10,14 245:20
246:2 250:6 252:9
257:12,13,17 258:1,1
258:4 260:14 263:3
265:14 270:4 273:22
274:17 276:9,22
277:14,22 283:13,16
283:21 284:2,6,7
287:9,11 296:9 300:3
300:18 303:19 304:14
308:14 311:13,17
312:11 313:3,9
314:22 324:9,12
326:21 328:8,12
331:2 332:1,2,8,19
333:18,19 334:3,11
335:15 337:11 338:6
339:11 342:16 343:1
343:5 344:19 345:5,6
345:10 346:19 349:7
349:17,20 350:9
352:6 353:16 356:21
357:12,15 362:17
363:8,20 365:16
371:6 376:16 378:9

382:8 384:6 387:10
388:5,12,22 389:18
390:13 391:8,13,19
392:5 394:2,11 395:6
396:3 400:4 401:13
401:14,18 402:10
403:1,22 405:5
406:20,21 407:10
410:4 411:17 413:9
413:10,17 414:7
420:4,15 425:14
428:5,17 429:10
431:22 433:1,8
436:21 437:4 439:18
441:5 442:6,8 445:15
445:20,22 448:7,9
449:9 450:21 451:14
451:22 455:3
old 77:13 282:21
older 85:11
olds 3:14 214:2,3 314:4
314:4 315:20 342:3
346:4 351:5 359:16
370:8 374:8 379:9,14
380:2
once 194:19 214:16
231:16 232:14 264:2
265:13 276:4 290:20
330:2 397:8
oncology 82:9
one's 216:10,10 407:3
413:6
one-third 255:11
ones 78:14 156:11
218:18 269:17 308:3
377:8 410:2 438:22
ongoing 113:5 128:7
open 77:9 154:12 173:9
201:15 324:3 365:17
operating 43:10 57:1
122:21 129:12
operation 56:12 57:3
103:13 130:19,21
131:10,12,14
operational 348:15
352:4
operationalized 59:15
operations 56:20
122:16
operator 173:6,9,11,19
174:1,2,6 176:21
451:12,14 454:19
457:9
opinion 24:15 230:12
234:10 244:13
opportunities 59:6
96:18 403:12
opportunity 21:1 22:8

32:21 45:13 102:5
117:7 123:3 124:3
146:16 148:7 179:16
219:2,18 247:12
262:1 406:18 430:12
443:4,8 445:6 449:19
opposed 39:9 44:20
84:12,14 96:14 224:7
250:3 310:5 341:7
opposite 134:4 310:11
optimal 140:9 167:16
192:2 250:11 267:5
301:16 335:7
optimization 8:8
optimize 49:19
option 27:17 64:7
128:15 137:5
options 137:6 141:8
202:5
oranges 84:3,16
order 30:15 160:10
189:21 217:18 228:6
282:4 287:1 288:11
320:14 322:12
ordered 228:12
organ 219:10
organization 17:17
88:13 89:11 200:5,7,8
333:21 414:16 433:19
organizations 53:18
95:22 96:20 112:1,5
308:7 381:14 382:7
433:18
original 12:19 13:9,14
97:13 112:3 321:1
361:8
originally 16:13 50:13
97:16 122:5 227:10
256:11 281:3 364:3
420:20
origins 11:20
Orit 3:9 173:5 197:14
orthogonal 387:7
orthopedic 1:16 19:3
214:4,13
orthopedics 182:11
187:21
ought 8:1 341:5
outcome 36:22 42:21
45:16 67:9 102:17
137:17,19 147:9
152:2,9 165:5,7
191:16,18 207:4,4
209:17 210:5,6,10
212:16 213:12,14
216:10,14,19 217:19
218:2 236:1,13 237:9
237:12,16,20,22

238:11 240:2 241:8,8
 242:15 243:22 251:16
 265:19 291:11,14
 299:14,16 319:15
 323:14 341:2 351:18
 375:20 376:6 381:7
 382:2 387:16 399:13
 399:17 402:16 419:1
 419:18 423:22 428:21
 443:12
outcomes 18:3 32:15
 39:7 45:17 49:20 51:7
 57:8,15 59:21 88:19
 98:6 99:13 100:10
 102:8 103:3 182:22
 217:6 218:6,12 226:6
 234:20 236:2 237:8
 241:21 242:8 244:22
 259:16,19 265:17
 284:8 291:5 316:3,4
 316:11,21 318:10,21
 319:3 322:11 323:8,9
 329:7,12 334:7
 335:22 337:16 338:5
 339:9 346:9 351:14
 352:10 354:2,10,14
 354:16,22 355:3
 356:12,14 361:6,7,15
 361:19 364:17 367:17
 371:21 381:8,10
 382:5 420:22
outlier 356:1
outliers 299:3 355:21
 407:21
outline 435:9
outpatient 184:4 326:7
output 80:12
outputting 104:13
outside 257:21
outweigh 145:6 170:22
 194:11 202:4 275:16
 304:4
over-diagnosis 54:9
over-treatment 98:21
overall 33:5,8 44:7 56:4
 72:19 81:10 107:16
 111:18,19 118:21
 140:8 145:15 166:5,7
 167:3,15 171:11
 182:21 183:5 192:2
 202:18 204:2 250:10
 254:22 267:4 276:11
 301:15 310:20 325:2
 335:6 362:18,20
 363:2 392:8 413:19
 415:2 436:12 440:5
 447:14
overcome 117:21

overlap 409:20
overstaff 380:11
overtime 367:10
overuse 98:2
overview 4:5 24:9 25:9
 42:12 47:1 414:20
overwhelming 80:19
ownership 163:12

P

P-R-O-C-E-E-D-I-N-G-S

7:1
p.m 178:3 312:9,10,17
 393:21 394:1 457:17
PAC 242:18 243:16
package 102:11
packed 8:22
packet 182:1 281:14
 407:16
page 211:5,8,19 234:5
 287:12 288:22 321:17
 322:9,19 323:2,3
 336:6,7,10 338:11,21
 341:19 346:8 365:10
 370:14 389:8,15
 419:5
pages 42:19
paid 55:4
pain 153:11 154:22
 155:1,12 280:10
 360:19
paint 124:17
pairs 254:17
Pancholi 3:15 46:3,4,21
 101:14 117:1 278:8,9
panel 40:21 61:3 94:11
 94:14 106:18 281:13
 281:14 282:11 288:4
 314:15,20 399:6,7
panels 64:15 95:20
pantheon 182:22
paper 100:5 186:6,21
 187:11,14 321:20
 338:15 445:19
papers 96:6 184:2
 187:14 197:18,21
 200:6,16,20 209:10
paperwork 187:10
 340:14 346:21
parameter 382:17
parameterized 386:14
parameters 66:12
 108:16
parlance 151:13
parsimony 144:3
part 13:4 36:7 38:11
 44:11,13,17 93:3
 100:7 105:18 109:11

111:13 122:1 127:5
 135:15 136:4 150:19
 167:10 175:10 180:12
 180:12 182:1 206:11
 207:7 227:8 245:7
 248:10 261:18 262:6
 262:7,7 263:14,15,20
 281:4 306:18 328:18
 348:15 359:13 360:15
 368:15,20 371:17
 386:13 400:2
partially 88:6 234:9
participants 350:15
participate 68:4 77:3
 347:11,18 386:7
participates 347:14
participation 263:16
particular 80:14 84:8
 85:21 94:2 96:18
 107:20 200:5 206:16
 208:5 215:14 234:2
 279:1 285:13,21
 300:17 424:22 429:15
 435:12 438:20
particularly 10:14
 51:14 52:5 68:22
 113:10 176:3 222:14
 226:12 229:5 280:20
 380:17 381:2 431:9
 448:17 453:13
partition 205:18
partly 133:12
Partnership 295:18
 315:14 415:17,19
 435:18
parts 82:3 205:11
pass 27:19 35:1,1,6
 203:16 204:18
passed 26:21 168:4
 203:12,22 262:11
 338:7
passes 126:14,15
 204:17 276:22
passion 180:3
password 7:14
Pat 14:7 108:19 114:14
 125:13 133:22 135:8
 149:9 216:15,16
 232:21 233:22 236:16
 238:18 241:19 242:19
 245:13 246:14,16
 251:4 261:2,3 271:15
 271:16 293:15 295:12
 295:13 298:6 348:5,6
 350:10 360:5,6 371:6
 371:8 405:18,19
 409:3 419:7,9 422:2
 423:6 431:9 434:16

435:13 439:20 442:20
 448:22
Pat's 439:15
path 149:4
Pathway 433:19
patient 1:3,14,15 2:7,9
 2:11 3:1,2 4:8,12 5:11
 5:14 7:9 11:19 12:22
 14:9 15:13,16,17,21
 15:22 17:2,17 18:16
 19:14 22:10,20 28:4,6
 28:12,13,16,17 30:6
 31:5 37:7 46:20 49:5
 49:10,12 50:1 51:9
 52:6 56:18 58:12 59:8
 66:5 84:12 86:7 88:14
 88:18 89:11,20 90:2,9
 90:14 91:5,7 92:5
 105:20 106:17 107:2
 109:4,22 110:21
 111:2 115:11 135:15
 136:3 150:18 152:15
 163:3 188:14,16
 194:12 209:20 216:21
 217:6,12,22 222:9
 223:7 227:6 228:2
 232:16 247:11,15
 253:18 280:8 291:2
 305:11 315:6 316:3
 316:10,12,14,14
 317:5,7,12,19 318:6
 318:13,16,17,18
 320:14,19 322:11,11
 323:1,6,9 325:3 328:7
 337:16 338:5 340:11
 340:16 341:1 344:17
 348:13 360:16,17,19
 361:9,12 363:13
 364:9 366:2 367:1,1,6
 367:7,15,16 368:2,3,3
 368:7,13 369:9,16,17
 369:18 370:4,7,9
 371:1,3,11,21 372:22
 374:4 375:12,19
 376:5,15,19 377:22
 378:18,20 379:16
 380:19 381:17,21
 382:2,14,19,22
 384:21 385:2,4
 386:16,21 397:1
 415:5,7,8,13,18,20
 416:2 417:16,17,18
 417:19 418:1,15
 419:8 420:22 424:11
 424:13 425:4 426:20
 427:2,5 437:2,17
 439:9 440:16,22,22
 441:2 455:5,10,15

456:3,8
patient's 59:20 98:6
 104:1 223:1
patient-centered 60:19
patient-reported
 120:17 207:4
patients 5:19 16:6,15
 49:17 51:16 52:13
 54:13 56:22 57:7,9,10
 57:11,12,13 59:5 61:8
 61:9,12,13,14,16
 62:14 82:9 84:14 86:5
 86:17 90:18 96:7,10
 96:12,13,15 99:8
 100:13 103:18 108:5
 108:7 112:15 113:3
 113:11 114:6,7 120:9
 120:19,22 122:21
 125:10 150:21 151:13
 152:3,7,17 155:11,17
 155:22 156:9 160:9
 164:8 171:1 182:6,7
 182:11,12,13 183:4
 183:19 189:9,14,17
 190:7,11,12,14 214:6
 214:8,14,15,17,17,22
 215:2,5,7 223:16
 226:16 247:18 253:8
 257:6 279:20,21
 280:11,22 287:2,17
 295:18 297:21 298:3
 316:20 317:1,10
 318:14 325:9 329:13
 330:14 341:15 365:6
 368:8,11 369:2,6,10
 370:4,11,17 372:13
 373:2 374:10 375:11
 378:5 385:6 415:11
 415:18 416:6,7
 418:12 421:15,18
 422:9 423:14 424:5
 424:12 435:19 442:10
 448:20 453:14
patients/populations
 145:7 275:17 304:5
Patricia 2:11 108:22
Patrick 3:16 46:10,16
 114:18 119:10 152:1
 153:19 154:9,11,15
 156:22 158:17 278:13
 278:21
patterns 85:21,22 369:7
pause 29:15 76:13
 334:16 345:2 363:3
 388:1 390:4,15 391:2
 391:6,9 392:12,14
pay 125:7 359:1,13
 395:10 457:2

pay-for-performance
 91:9 176:7
pay-per-surgery 104:8
paying 408:5
payment 40:19 155:20
 239:13
payroll 351:8
PDI 277:2 278:17
 279:10,17,19 280:1
 281:3 282:3 290:16
PDI2 5:6
PE 97:11,21
pediatric 12:4 16:19
 17:10 18:11 182:14
 182:16 279:4,20
 281:4 285:1 294:3
 296:6 380:11 418:19
 422:22
pediatrician 16:14
 17:10 279:1
pediatricians 279:14
pediatrics 17:12 46:17
 132:9 182:15 286:17
 291:16
PEDS 296:11
peer-review 292:5,11
 292:19 293:10
pelvic 43:9 58:3
pelvis 56:21 57:2
penalize 421:1
penalizing 296:16
penalties 40:19
pending 317:16
Penn 380:18
Pennsylvania 2:13
 13:22
people 16:3 20:13 23:1
 37:6 47:22 48:3 52:20
 71:10 79:12,14 83:11
 83:20,22 92:17 115:1
 124:19 135:4 149:21
 160:1 163:5 178:11
 179:19 190:19 205:16
 225:7 247:19,20,21
 248:3 249:17 252:10
 261:8 268:20 271:1
 272:3,4 285:19 286:1
 340:14 355:20 359:4
 363:9 372:12 384:21
 393:6 409:1 429:17
 453:6,9
people's 180:3 393:8
Pepcid 89:17
percent 5:4 6:2 26:19
 27:2,7,9,20 52:2
 55:21 56:1,2 57:9,10
 57:10,13,17 64:10,19
 64:20 65:13 81:2,5,8

86:14 100:21 110:10
 110:11 114:7,8
 115:19 120:9,19,21
 128:18,19 138:7
 139:9,10,12,12
 140:18,18,18 141:16
 141:17,17,17 142:8
 142:21,22,22,22
 143:15,16,16,16,19
 144:7,8,8,8,18,19,19
 144:19 145:10,11,11
 145:11,22 146:1,3,7
 152:4 154:20 160:8
 160:13,13,15 162:6
 165:14,14 166:20
 167:20,21,21,21
 168:20,20,20,21
 169:14,15,15,15
 170:10,10,10,11
 171:4,5,5,6,18,19
 186:15,15,15 191:21
 191:21 192:6,6,7,7,18
 192:18,18,19 193:13
 193:14,14,14 194:2,2
 194:2,3,22,22,22
 195:1 202:12,12,12
 202:13 203:10,11
 205:1,2 213:17,17
 229:9 238:4,7,10,15
 240:14,16,16,18,18
 240:20,21 241:1,1
 243:7,9 250:17,17,17
 250:18 251:19,19
 252:15 254:9,10,14
 254:15 255:9,18
 256:8 266:12,13
 267:8,8,9,9 268:1,15
 273:16,16,17,17
 274:15,15,16,16
 275:6,7 276:7,7,7,8
 276:21,21 285:1,1,12
 289:5 301:10,10,19
 301:19,19,20,22
 302:10,11,11,11,11
 303:4,5,5,17,17,17,18
 304:10,10,10 305:1,1
 311:15,15 321:19
 334:18,18,18,19
 335:13,13,13,14
 343:3,3,3,4 345:8,8,8
 345:9 351:6,9,9
 356:15,16 357:10,10
 357:10,11 359:22
 362:15,15,15,16
 363:7,7 373:13 388:9
 388:9,10,11,20,20,21
 388:21 389:13,13
 390:6,6,6,7 391:11,11

391:12,12 392:2,2,3,3
 392:16,16 396:6
 398:4,5,12,12,20
 402:21,21 403:7,8,9
 404:16 406:7 407:8,8
 407:9,9 410:15,15,15
 410:16 411:15,15,15
 411:16 412:17,17,18
 412:18 413:15,15,15
 413:16 414:2,2 416:5
 429:9,9 430:19,19,20
 430:20 431:18,19,19
 431:20 432:20,20,20
 432:21 433:7,12,12
 433:13,13,20 436:7,8
 436:8,9,17,17 443:17
 443:17 444:18,18,18
 444:19 446:4,5,5,6,12
 446:13,13,14 447:3,3
 447:3,4,10,10,10,11
 447:17,17 448:1
 454:1
percentage 55:22 75:11
 210:21 213:2 228:1,5
 228:9 239:15 240:14
 253:5 286:5 316:16
 327:12,20 329:5
 340:10 396:15 404:13
percentages 239:12
percentile 75:13,16
 243:3,7,11 289:1
 375:16,17 432:3
percentiles 289:13
perfect 117:17 180:2
perfectly 244:17 265:11
performance 2:1 26:7
 32:12,17,18,19 33:19
 34:19 48:14 56:4
 73:17 75:14,18 140:7
 140:9 167:9,11,14,16
 192:1,3 195:8,12,19
 195:20,22 196:19
 197:17 198:5 200:11
 200:18 201:9 213:19
 213:21 214:1 215:15
 215:17 216:18 243:17
 249:8,9 250:7,9,11
 251:10,13 266:18,19
 266:22 267:3,5
 270:16,22 290:8
 294:20 298:14 301:12
 301:14,16 314:17
 334:21,22 335:5,7
 336:3 350:1 356:6,11
 372:4 388:15 398:11
 403:4,20 406:2 407:6
 408:4 429:10 430:17
 433:5 443:19,21

performances 229:8
performed 255:22
 389:9
performers 407:22,22
 410:1
period 25:12,19 27:11
 27:18 67:5 95:11
 110:2 146:17 240:8
 240:22 280:4 321:6
 398:17 405:12
periodic 250:2
periods 254:2 408:9
 421:17
peroperative 53:21
Permanente 2:10 42:3
peroneal 98:14
perplexed 323:12
person 22:22 155:6
 351:14 399:8 449:5,6
personally 208:3
 341:18 418:21
personnel 5:10 315:4
 316:18 330:11
perspective 41:7 46:13
 103:1 149:15 174:18
 220:10 384:12
perspectives 45:22
 61:9 257:22
perturbation 64:21
perturbing 65:5
PEs 55:3
Peter 88:20 91:13
petitions 85:13
Pew 2:14 16:11
Pew's 16:12
Ph.D 11:15 15:12
pharmacist 18:5
pharmacists 375:8
pharmacologic 95:17
 96:13
pharmacological 78:5
PharmD 2:1
phase 22:10 427:15
PhD 1:14 2:1,8,11,13,16
 3:1,9,11,12,13,13,14
 3:18,19
phenomenon 160:4
Philadelphia 3:10 4:18
 4:22 180:22 181:2
 197:16
philosophical 70:11
 126:11 452:14
philosophy 452:16
phone 20:16 45:9 77:17
 77:18 92:20,21 97:3
 130:3,5 137:11
 139:20 147:5 150:7
 157:6,7 173:4,5 174:5

177:13 179:17 224:20
 266:1 277:10 278:19
 279:10,13 294:7
 400:11 429:4 451:17
 452:3 454:17 457:8
 457:10
phones 23:17
physical 3:1 415:10
physically 139:15
physician 16:1 18:11
 18:12 85:1 133:13
 228:6,12 286:2
physicians 61:4 95:21
 375:9
physiologic 54:3
PICCs 132:22
pick 173:21 452:19
 453:6
picked 110:8
picking 184:11
picture 38:13
PICU 287:3
piece 33:3 211:20,20
 222:15 266:4 285:6
 321:12 322:17 324:5
pieces 243:2 321:20
 322:4
pilot 258:8
Pines 3:5 4:3,7 8:19
 22:21,21 30:18
 205:14 210:4 232:8
 236:7 249:12,16
 292:15 304:17,20
 395:21
pink 25:7
place 86:10 127:14
 218:4 308:13 329:21
 348:20 354:15 401:21
 402:6 445:5
placed 406:11
places 99:9 319:14
plan 5:16 116:20 145:4
 170:20 194:9 202:2
 207:1 227:3 228:4,6
 228:12 232:14,16,18
 233:8 240:15 243:8
 247:5 263:22 275:14
 304:2
planfully 322:2
planned 313:11 394:4
planning 181:11 227:9
 246:20
plans 395:18
plate 440:9
plausibly 130:14
players 438:12
playing 395:18
plays 370:18

plea 444:3
please 8:13 21:6 41:19
 67:19 92:21 146:9
 154:13 169:2,21
 170:8 173:6,11,22
 174:2,12 201:20
 202:9 222:5 257:18
 267:16 311:9 451:15
pleasure 180:11
plenty 307:16 323:18
 324:6
plus 53:22 64:19,20
 190:13 214:15 215:7
pneumonias 89:14,19
pneumothorax 62:16
 86:2 107:1 119:13
 121:2
pneumothoraxes 139:9
POA 288:19
point 9:14 21:4 24:22
 47:14 53:13 63:21
 79:8 83:10 85:9,17
 86:22 88:9 89:5,10,13
 89:14 90:4,6,19 91:8
 91:21 111:11 112:15
 112:17,22 119:11,15
 121:14 124:6 125:12
 126:11 127:3 128:8
 128:14 129:7 131:18
 135:12 137:8 149:2
 160:12 168:17 187:17
 197:12 207:6 211:8
 212:21 223:6 231:3
 236:7 237:14 242:9
 249:6 252:13 262:4
 292:1 307:4,8 330:18
 333:18 337:8 352:16
 374:8 375:17,17
 400:12 406:9 430:13
 452:17
pointed 195:10 196:8
 294:1
pointing 179:12
points 57:6 87:19 93:8
 124:11
policy 2:4 18:4 19:19
 23:3 243:1,13 244:8
 314:9
political 246:14
poll 201:15
poor 57:15 85:13
 219:16 290:7 354:10
popliteal 99:2
pops 276:3
population 52:11,12
 66:13 87:6 103:19
 111:2 114:7,8 140:10
 156:17 159:17 160:2

161:21 167:4,17
 192:3 207:2 227:6
 250:12 256:9 261:7
 261:16,19 263:9
 267:6,15 270:8,9
 272:7 289:11 296:2
 301:17 335:8 347:6
 347:21 352:16 397:12
 399:13,20 416:4
 426:20 443:7 448:7
populations 159:22
 171:1 182:9 194:12
 271:21 399:15 416:7
 427:6
pored 346:21
portacaths 133:1
portfolio 4:8 28:16 29:2
 29:12,16 30:16
 454:14
portion 160:15
pose 376:20
position 123:18 366:8
positioning 280:19
positive 15:1 98:4,5
 241:5 346:7 411:5
 420:3
positively 71:15
positives 65:20 97:22
 365:12
possibility 307:8
 358:19 422:20
possible 24:4 84:9
 102:10 112:10 117:11
 144:3,5 364:17
possibly 234:19 251:17
post 62:12 148:8
post-acute 2:4 19:18
 225:17 226:12 244:3
 246:5 262:12
post-op 109:6 110:13
 111:1 135:15,19
postoperative 43:3,4
 53:8 54:2,10 57:11
 62:19 63:4,5 72:21
 86:1,2 122:11
potential 21:5 40:17
 49:6 69:7 113:13
 164:2,9 245:10 251:7
 251:11 393:4
potentially 35:5 113:8
 210:8 290:21 299:7
 368:7 421:5 425:4
power 256:19 351:15
powerful 384:2
powwow 393:11
practical 270:11
practical/licensed
 315:3

practice 1:18 13:3 14:3
71:6 216:18 230:10
263:20 361:13 406:19
443:3
practices 65:18 284:12
361:9
practicing 19:11
practitioners 235:18
pre-billing 52:22
pre-evaluation 24:14
280:4
precise 73:16 134:16
134:21 142:16 168:12
192:11 273:12 302:6
precision 68:19
predict 361:14
predictability 361:14
predicted 257:1 455:20
predicting 206:19
predictive 226:6 256:19
predominately 416:20
preface 308:17
prefer 50:14 64:5
127:18 128:11,14,20
preference 39:22
318:21
preferences 59:20
preferred 155:21
preliminary 235:12
Premier 2:17 15:2,3
premise 78:2
prepare 23:9
prepared 216:21
217:11 333:15 454:6
prescriptions 401:10
presence 208:11,16
211:11 218:14
present 1:10 3:7,21
23:18,22 24:17 42:11
42:16 87:7 150:16
210:22 224:20 225:19
256:6 282:14 288:8
288:13 313:7 414:20
presentation 45:2
46:12 57:22 88:11
97:13 205:20 313:5
437:6
presented 42:11,19
71:3 73:11 76:9,15
179:9 180:21 181:1
182:2 215:16 292:10
293:7 297:5 395:1
presenter 181:16
presenting 181:11
277:10 417:8
president 2:3,5,7,18
12:13 15:15 17:21
19:18 139:21

presiding 1:9
press 173:11 174:3
176:21,22 451:15
pressed 375:10
pressure 5:2,4,6 28:19
31:8 224:14 225:21
226:2,10,17,19 227:3
227:5,22 228:3,3,7,8
228:11 230:8 231:8
231:17 232:15 236:2
237:11,17 238:3,6,8,9
238:13 241:8,11
242:3 245:3 252:16
253:7,8,19 254:1,2
255:19 256:14,17
257:2 258:21 261:7
261:18,21 262:1
263:7 264:11,19
265:4 269:16 272:1,6
277:2 279:20 280:7
281:2,6,7,11,17,20
282:1,4,5,13 283:2
284:10,13,20 285:2
285:17 286:10,13,19
287:2,19 291:2 294:3
295:20,22 306:22
309:17 381:8 434:1
450:12
presumably 57:2 74:13
99:6 133:19,21
pretty 27:22 32:4 35:8
46:2 69:16 147:17
148:20 176:2 182:3
183:20 189:4 221:3
221:20 229:7 231:5
244:13 284:14 290:7
331:1 351:1 352:4
360:2 378:22 387:13
prevalence 156:5 238:3
238:15 399:18
prevent 5:16 65:17 89:8
91:16 92:2 93:17,18
94:20 95:19 96:2,22
101:4 129:15 226:18
228:7,11 280:20
281:1 434:2 439:13
439:13 440:7 441:4
443:2
preventability 88:8,15
94:9,13 95:5,6,9
115:7,15 118:3
123:17 284:19 285:8
285:12 286:12,14
preventable 49:11
51:20 52:6 78:2 94:6
94:7 115:10,20 151:7
226:7 285:2 286:5
415:16 416:14 439:12

preventative 226:9
257:9
prevented 285:18
preventing 236:2
264:18
prevention 1:12 5:2
96:19 133:15 224:15
225:21 226:10 227:3
227:4 228:4,9 231:14
231:18 232:5,15
243:9 272:6 285:3
416:2 420:3 439:12
440:11,12,13
preventions 229:14,15
229:17
previous 40:9 80:18
113:6 123:2 214:8
220:16,16 254:12
290:4 335:20 336:12
384:10
previously 17:16 45:15
47:8 127:4 254:7
315:10 398:7 417:4
primarily 134:6 281:8
445:18
primary 418:14 423:22
principal 51:1
principally 344:6
principle 123:5 454:2
principles 235:4
printed 401:17
prior 43:21 282:20
283:1 435:8 437:12
452:22
priorities 49:2
prioritize 48:16
priority 36:21 37:3 38:3
38:8,9 153:6 253:18
privileged 291:1
PRO 137:18,19 165:6,7
191:17,18 213:13,14
265:18,19 299:15,16
402:17 428:21 443:12
PRO-PMs 143:13
169:12 193:11 274:12
303:2
proactively 259:18
probably 11:6 22:16
40:10 44:21 72:9
74:20 92:22 118:19
128:19 132:7 149:6
178:18 179:16 209:7
260:20 329:4 358:22
393:3 395:4 419:7
433:6,19 442:9
448:21,22 449:9
450:13 451:5
problem 55:4 121:17

216:9 235:20 311:18
354:3
problems 107:4 124:1
182:20 455:22 456:1
procedure 54:1,4 56:14
130:14
procedures 53:20
122:22 189:1 318:19
proceed 202:14,15
220:13,19 301:22
proceedings 393:22
process 4:6 25:10,11
26:16 49:12 51:22
66:6,22 67:1 86:3
90:22 102:6 105:19
106:18 108:15 113:5
119:4,4 125:16,17
137:20 147:13 148:21
149:13,22 151:5,11
153:3 165:8 191:19
210:9 213:15 227:8
229:5,11 232:10
234:1 236:11 239:4
243:15 246:11 257:19
265:20 291:14 292:5
292:12,19 293:10
299:17 323:15 334:6
348:20 350:16 352:9
356:6 358:11 361:13
387:16 394:20 406:10
419:3,15 423:2
426:15,18 438:11
453:12 454:11
processes 50:21 93:17
123:21 152:11 226:16
227:2 235:13 237:7
255:20 263:13 361:6
399:16 401:6,21
produce 184:6
produced 402:4 404:8
410:22
product 63:8 108:17
121:8
productive 21:12
316:13,17 339:14
340:5,7,17 364:8
371:13 373:12
profession 260:19
434:7
professional 67:22
professor 1:12 17:11
23:2 46:16 314:8
program 2:19 17:15
19:7 46:4 91:10,21
92:15 93:3 100:11
101:17 112:4 117:2
199:5 248:22 278:10
357:21 360:9 415:20

programs 16:11 26:6
53:1 174:21 176:7
401:9 418:2
progress 145:4 170:20
194:9 198:11,17
202:3 275:14 295:17
304:2
progression 281:1
project 2:7 3:4,5,6 4:5
15:17,21 17:3 22:10
22:19 28:15,22 416:1
435:12
projects 28:5,8,11 29:5
29:16
prolonged 254:2
promise 15:6
Pronovost 91:13
Pronovost's 88:21
propensity 61:18,19
62:2
properly 48:2
properties 142:15
143:5,21 168:11
169:4 192:10 193:2
273:11,20 302:5,16
343:8 389:4,21
397:22 410:9 411:9
431:16 432:17 446:2
446:10
prophylactic 96:1
prophylaxis 78:5 95:18
95:18 96:13,14
100:16
proportion 73:18 75:9
217:11 354:9 424:2
454:5
proportionately 121:4
proposal 220:3 223:22
232:18
proposed 94:14 126:13
253:15 317:21 326:5
352:21,21 397:3
426:4
proposing 161:5,14
425:17
protection 12:8
protest 95:14
protocols 55:5
proven 40:18 321:14
provide 24:13 35:3
48:15 49:1 73:16 99:7
102:10 111:4 186:3
186:18 187:19 197:18
222:6 224:3,10
226:13 238:2 239:11
259:10 317:1,11
330:3 341:15 401:5
434:18

provided 35:4,7 68:11
68:13 72:16 182:19
187:10 189:22 199:18
208:21 224:5 282:17
297:6,7 305:21
327:12 330:14,17
351:12 389:8 404:5
407:13 423:12 428:3
provider 48:20 237:17
238:1 270:19
providers 48:7 140:9
167:16 192:3 210:7
213:4,5 227:1 229:10
242:12,13 250:11
259:11 267:5 270:21
301:16 335:7
provides 33:10 96:18
132:22 257:5,8
327:11
providing 49:21 68:5
197:19 340:16
proximal 55:2,11,16
96:3 99:13 251:15
proxy 52:10 404:13
PS15 118:5
PSI 42:20 52:9 61:12,13
62:8,11 65:15 66:3,7
86:19 92:10 94:15
103:10 108:10 175:21
179:3 186:14 196:16
199:3 205:6 278:17
282:5 452:11 453:6
453:11
PSI02 4:15
PSI04 154:5
PSI08 72:20
PSI10 53:14 122:8,10
PSI12 43:12 54:7,7
55:22 63:11 81:3
96:21 113:7
PSI15 31:15,18 43:7
56:8 57:17 58:2 63:12
77:14 80:14,19
PSI6 119:11 120:20
PSI7 63:22 64:5,6,8
82:6 84:8 127:16
128:20,21 133:17
PSI8 63:4 112:10 113:1
113:7,15
PSI9 53:14 122:15
129:8 130:10
PSI90 4:12 9:5 25:2
29:19 34:18 38:15
42:9 44:17,22 46:8
52:19 63:17 66:4
91:18 93:3 117:3,14
118:8,20 119:12
128:18 136:9 150:19

174:17,22
PSIs 47:8,9 59:17 65:12
65:19 85:21 86:17,20
93:16 94:10 102:4
108:5,6 158:4
psychiatric 418:20
422:22
psychological 415:10
psychometric 50:13
71:20
public 2:19 3:12 4:19
6:5 17:15 20:1 25:12
40:8,19 115:22 145:2
146:14 148:5,8
149:16,21 150:3,6
170:18 173:19,22
174:2,7,21 176:19,22
179:15 180:9 194:7
195:16 202:1 227:17
257:4 265:8 275:12
295:17 296:19 303:22
314:10 317:16,18
318:12 321:7,8,11
326:15 352:8 353:1,5
353:6 357:16,17
387:2 394:5 399:21
402:7 433:17 451:10
451:11 457:7
publically 403:5 412:22
445:17
publication 183:18
186:5 291:6
publications 182:2
185:21 291:13
publicly 112:13 115:5
324:17,20 326:12,18
345:13,16,22 346:2
published 96:5 218:18
229:6 254:7 292:3,4
293:9 295:16 344:2
358:2 435:8
publishing 295:16
pull 100:2 158:21 337:6
351:1 359:22 360:3
368:21 451:2
pulled 179:2 430:4,8
435:22
pulling 123:21 159:3,5
292:20 351:3
puncture 57:4 58:3
80:14 89:2
punctures 43:8 52:22
56:9 88:5 92:2 115:10
puncturing 115:13
124:15
purchasing 44:18
pure 356:17
purpose 49:19 118:13

136:17 147:13 175:1
175:5,7 260:5
purposes 41:8 79:9
86:18 115:2,3 176:11
176:14,15 265:10
298:11 428:14
pursue 122:5
push 37:13 100:18
366:13 375:2 444:10
pushed 245:1
put 25:7 47:22 90:19
92:21 119:8 160:8
191:6 199:15 232:21
249:10 251:2 291:10
298:19 300:2,7
319:18 320:4 329:20
336:15 352:5 376:2,5
401:21 409:13 438:15
444:4 449:22
puts 375:15
putting 16:17 25:3,5,13
93:13 237:4,5 249:13
249:15 309:22 366:8
375:19 438:22
puzzled 268:12 270:5
puzzlement 270:7

Q

QI 118:11
QQC 334:12
quadrant 353:19
354:12,18
quadrants 355:4
qualify 295:7,8 299:2
310:14
qualitative 431:6
qualities 36:13 37:19
quality 1:1,8,18 2:1,7,9
2:15,18 3:15,17 4:13
4:16 5:7,17 12:18
17:22 18:3,15 19:9
33:5,6,14,22 40:11,18
42:4 46:6,6 47:16
48:13,16 50:18 53:2
69:3,4 101:8,17,19
102:2,12,14,19
109:18,20 110:21
111:10 112:4 117:3
141:1,11 144:2,4
206:21 220:7 226:5
226:15 227:18,19
229:21 233:9 235:9
237:6 242:1,2,11
243:17 244:6 245:4
247:7,15 248:21,21
249:3 253:18,21
254:12,21 255:1,16
257:7 259:16 262:13

262:16 278:9,11,14
 279:4 298:10 315:15
 317:11,15 318:9
 320:18 330:15,17
 334:8 343:15,19
 347:11 352:7 353:2
 353:21 357:20 360:9
 375:1 376:6,15
 381:10 382:2 396:21
 397:20 398:20 399:2
 399:8 417:12 418:2
 423:19
quantifies 371:12
quantify 366:20,22
quantifying 367:13
quantity 334:8
quarter 254:10 255:1,1
 255:3,6,6,13 264:16
 265:13 352:5 393:3
 397:21 398:9
quarterly 239:17 263:8
 264:9 265:7 349:22
 412:11
quarters 254:22 397:7
quartile 63:21 64:10
 80:3
question 13:16 20:20
 35:22 40:5,14 77:11
 79:20 80:11 84:22
 85:18,20 93:13 97:9
 103:6,10,20 104:5
 109:13 111:17 123:18
 127:3 130:7 142:1
 149:10 156:22 157:8
 159:14 162:12 164:17
 165:22 168:9 169:2
 169:18,21 170:12,15
 171:8,10 175:7
 176:13 183:13 184:9
 186:14 189:8,16
 191:17 196:9 197:7
 197:10 198:8,9
 199:11,19 200:14
 202:17 204:1,7 210:6
 212:3 214:5 215:20
 220:13 231:17 233:2
 233:13 236:10 238:21
 245:17 246:13,14
 248:8,12 250:20
 258:5,15,19 260:7
 261:4 268:13,17
 272:10 276:9 284:1
 284:19 287:6,11,12
 292:13,16,21 296:22
 297:3 304:13,14,21
 305:15,19 306:6,15
 311:18 328:17 331:10
 335:20 339:13 340:3

341:20 342:15 348:7
 349:3,13 351:15
 352:1,8,14,19 353:18
 358:8 361:20 370:8
 371:10 374:5 376:20
 378:11 379:7,7
 385:18 392:6 394:15
 394:19 402:9 412:5
 413:18 418:21 422:14
 422:20 423:8 425:6
 429:11 430:1,7
 431:13 436:3 438:9
 441:6 442:9 444:2
questioned 174:22
questioning 213:6
questions 20:22 29:15
 35:18 38:16 46:1,13
 77:9 82:2 87:19 103:6
 104:20 105:1,6 153:9
 154:8,11 169:19
 175:3,12 181:7
 183:10 192:21 199:12
 212:8 221:8 223:11
 223:21 224:5 242:21
 257:16 258:3 265:15
 266:21 273:8 274:1
 275:4,9 276:19
 279:18 280:2 284:16
 293:5 302:2 306:13
 308:15 332:13 349:9
 357:19 363:16 377:1
 389:19 413:11 420:14
 428:18 431:11,13
 432:15 433:8 434:9
 438:6,16 444:21
 445:21 446:8,16
 447:6
quick 7:10 35:13,21
 47:3 63:14 121:10
 124:11 131:18 245:16
 349:12 414:6 424:9
 438:9 448:3
quickly 9:22 22:8 28:3
 66:17 100:3 139:17
 150:17 384:8
Quigley 2:11 14:7,8
 35:21 79:7 108:22
 109:1 114:3 134:2
 135:11 146:9 149:12
 195:15 196:1,6
 216:16,16 233:2,11
 246:15,16 261:3,3,15
 271:16,16 273:4
 295:13,13 296:7,9,11
 348:6,6 360:6,6 371:8
 371:8 405:19,19
 419:7 423:7 435:14
 439:19,21 440:3

442:21 449:2,13,16
 449:21 450:2,5,8,16
 450:19,22
quintile 317:21
quite 15:13 46:8 69:21
 70:14 77:1 83:15
 118:8 172:17 182:1
 280:17 285:6 313:5
 320:9 347:20 359:3
 407:13 422:18
quorum 8:1 26:19
quote 420:8,11

R

R 267:18
R-values 176:5
race 161:18
racial 404:6,20
radiation 12:7,9
radiologist 1:17 12:5
Radiologist/Pediatric
 1:17
radiologists 98:16
Radiology 1:18
raise 191:7 199:10
 224:6 257:16 258:2
raised 21:2 47:14
 114:19 148:5 197:10
 280:3 355:13
raises 395:9
rally 175:5
random 64:21 73:20
 76:10 106:9 122:12
 161:7 256:22 260:12
randomized 95:16
 230:14 365:3,5
randomly 94:22 106:2
 160:16
range 40:18 56:1 64:20
 65:6 72:20 123:19
 176:6 270:21 378:14
 379:10,13 380:12
 403:7
ranges 289:10 403:10
 403:11
ranging 28:18 71:17
 417:20
rank 60:14 106:19
 120:15 432:3,4,7
ranked 80:2
ranking 60:17 64:11
 65:7 69:17
rankings 61:6 63:21
 79:22
rapport 21:11
rare 106:1 109:10 114:4
 153:2,12 305:2,10
 307:20 308:10,12,13

309:5,13,19
rarity 106:9
rasa 14:6
rate 4:14 5:6 55:2,6
 81:5 109:15 128:3
 135:18,18 152:18
 154:20 159:16,16,21
 184:15,16 190:2,4
 207:14,15,17,19
 208:15 209:15,17,20
 211:9 216:5 238:7,14
 241:13,14 277:2
 286:18 289:22 290:3
 290:9 304:22 307:14
 397:8 398:7,14
 404:17 406:8 417:16
 425:18 427:2 432:5
 437:2,15 439:11,11
rated 94:10 234:8 402:5
rates 5:14 78:6,8 97:12
 97:19,20,22 100:19
 100:20,22 106:7
 109:16 111:18,19
 167:2 185:17,19
 186:4,18 187:21
 197:19 207:22 212:16
 217:21 218:7 219:6
 227:7 232:9 238:9
 257:2 282:12,17
 289:10 290:2 298:17
 307:19 308:2 310:7
 386:7 403:6 404:8
 418:3 422:6,17
 425:12 426:12,13
ratification 25:17
rating 230:11 400:2
ratings 2:3 139:22
 251:16 252:12 254:17
 256:2,4 269:9,11
 271:12
ratio 80:17 81:6 96:15
 96:16 107:21 166:4,9
 188:8 208:8 210:2
 211:14 219:7,7 255:8
 298:19,20 376:3,4
 382:19 383:4,5
rationale 33:9,14
 137:18 141:2,12
 145:5 165:6 170:21
 184:11 189:10 194:10
 208:22 221:22 265:18
 275:15 287:16,22
 299:15 304:3
ratios 58:18,21 59:1,4
 61:22 95:3,8 107:14
 107:15,15 108:8
 185:19 216:4 327:18
 364:16,20 368:1,12

377:6 385:8
RCT 365:14
re-discuss 179:17
re-endorsed 323:17
re-endorsement 300:1
 320:4 364:3,7 417:6
re-evaluate 282:15
re-evaluating 294:22
 295:2
re-review 307:7
re-specified 122:6
re-test 83:6
re-vote 192:15 199:20
 201:14,16
reach 142:8 146:2
 149:2 317:4
reached 26:20 27:5,10
 29:16 141:21 146:5
 146:16
read 36:20 38:2 42:18
 129:9,10 137:15
 168:8 169:2,20
 170:14 171:9 183:17
 186:22 187:13,14
 209:5 231:13 248:3
 322:12 338:22 362:19
 442:2 445:5
readily 26:5 329:19
reading 36:20 43:12
 134:3 157:4 186:10
 211:4,16 215:22
 216:2 231:19 327:5
 341:19 370:22 445:9
readmission 104:2
 107:3
reads 119:15
ready 40:12,13 165:3
 191:13
real 21:7 28:9 44:1
 83:12,13 88:16 90:13
 90:17 122:20 127:3
 136:17 162:14 203:7
 203:8 409:7 457:13
realistic 70:3
reality 92:5 124:1 126:5
 336:19 379:2
realized 30:14
really 7:4 10:6 20:8
 21:11,12 27:14 28:3
 35:13 36:11 37:20
 52:9,11 54:9 57:7
 67:9 70:7,10,14,17,22
 71:3 73:16,19 75:17
 76:17,20 85:13 88:3
 89:18,21 91:2,20 92:1
 97:1 103:17 110:22
 114:20 115:4 116:10
 125:8 126:6 134:16

134:17 135:10 138:22
 149:9,10 154:1
 156:17 163:18 165:19
 175:2,6 182:3 203:3
 204:2 209:3 215:14
 216:7 218:19 219:16
 221:17 229:15,20
 234:5,15 242:6,13
 243:17 247:9 296:15
 300:15 307:15 312:12
 312:13 322:21 326:20
 330:16 342:11 348:19
 365:4 367:12 375:18
 379:2 380:4 383:7,11
 394:12 409:21 410:2
 419:1,5 420:1,2,20
 421:9,11 424:1 430:4
 435:3,3,9,20 437:13
 437:15 439:11 441:13
 442:22
realm 250:2
reanalyze 246:8
reason 8:8,13 89:17
 125:15 156:2 320:3
 353:12 356:3 380:6
 386:14 440:3
reasonable 65:6 87:3
 152:7 153:16 157:14
reasonably 66:8 123:8
 285:18
reasons 127:17 128:21
 347:8 453:5
recalibrated 60:15
recall 58:5 89:1 120:8
received 24:10 96:13
 118:21 280:6
receiving 137:10
recently-published
 184:2
recitation 10:6
recognize 10:11 320:12
recognized 47:15 49:4
 151:8
Recognizing 348:8
recommend 57:18
 399:3
recommendation
 145:18,19 171:14,15
 202:21,22 222:18
 227:12 276:14,15
 311:1,2 409:14
recommendations
 23:12 370:15
recommended 26:22
 27:20 53:10 288:5
 293:19 306:3 339:2,3
 399:7 447:22
recommending 330:9

reconciles 218:15
reconciling 384:10
reconsider 180:8
record 118:15 178:2
 262:8 285:14,17
 286:2 310:5 312:9
 350:4 393:22 394:1
 457:17
recorded 190:10
records 182:6 309:7
 455:14,19
recovering 17:20
redesign 43:11
redesigned 43:5 53:15
 54:7
redesigning 47:9
redid 36:9
redistribution 280:19
redo 223:12
reduce 57:17 88:18
 89:18 287:2 397:18
 401:22 406:12 416:13
 418:3 419:22
reduced 65:21 81:12
 217:12 230:8 364:20
reduces 66:2
reducing 49:16 66:6
 227:5 397:19
reduction 44:14 231:8
 282:19 284:13 291:8
 295:18 296:1 415:21
 423:22
reemphasizing 38:10
refer 13:13 73:12 87:1
 88:20 336:5 365:9
reference 66:13 87:6
 89:3 93:20 106:11
 186:21 187:10 208:22
 209:10,12 289:10,11
 375:1
referenced 196:7,13
references 196:7 212:6
 218:10 419:7
referencing 187:8
 197:8 405:22
referred 38:22 100:7
referring 100:4 157:10
 158:14,17,19 335:19
 338:2 411:20
refined 441:21
refinement 406:12
reflect 59:20 61:8,9
 203:21 204:2 318:2
 379:2 420:21
reflected 379:10
reflecting 50:20 59:14
 151:4 204:3
reflection 300:14

reflective 50:14,17
 154:1 347:3 360:2
refocused 43:9
regard 115:7 217:9
 265:3 281:7 283:3
 331:11 348:16
regarded 73:2
regarding 68:1 146:15
 302:2 318:1
regardless 67:8 72:3
 74:8 136:10 147:8,11
 318:18 437:16,17
 438:4,4
regards 286:10
registered 5:8 246:19
registries 67:22 357:22
Registry 163:9
regression 61:5 219:1
regroup 393:1
regular 32:5 263:20,21
 328:20
regularly 309:14 412:10
regulatory 359:3
rehab 418:18 427:4
reinforce 7:20
relate 133:9 332:13
related 4:15 26:9,10
 28:11 31:10 35:9,11
 41:5 57:3 74:2 81:6
 93:16 109:6 124:22
 133:20 134:16,17
 135:14 136:2 145:20
 149:12 151:5 171:16
 203:1 210:7 216:13
 223:19 233:3 238:6
 256:1 261:4 268:13
 276:16 280:16 311:3
 311:22 325:6 349:4
 351:13 358:9 399:16
 435:16 447:19 448:4
 448:11
relating 47:10 311:22
 414:9
relationship 33:7 62:11
 137:18 165:6 191:17
 213:13 216:3 265:18
 284:21 299:15 348:18
 352:13 360:8 368:1
 372:1 423:9 440:4
 443:1,4
relationships 355:8
relative 33:22 59:10
 60:17 76:6
relatively 52:1 56:10
 58:20 73:2 74:6 76:3
 77:1 86:12 95:6
 121:10 158:2 226:3
 283:9 291:16 298:17

released 286:20,21	remove 23:16 55:13 64:6 84:8 128:20	321:8 326:10,13,15 326:18 345:20 346:2 353:2,5 357:16,17,20 359:9,11,14,17,18 387:2 399:21 416:21 417:12 424:11 425:10 431:6 433:3,17 435:21 445:17	314:11 316:7 325:17 329:7 330:7 342:3 351:12,13 352:11 355:5,15 386:15,22 387:3 419:6,19 422:1 423:19 454:9
relevant 15:4 61:4 108:11 111:1 114:11 118:12 330:5 455:13	removed 64:9	removes 55:20	research-based 351:19
reliability 26:3 33:2,18 33:19 34:2,4,8 52:16 58:18 73:11,12,17 74:3,9,12,14,16,17,19 74:22 94:17 105:10 107:17 123:7,14 142:16,16 143:6 159:8 162:7 165:16 165:18,20 166:5,6,8 166:13,16 168:3,5,7 168:12 175:8,15 176:1,5 182:18 183:14 192:8,11,16 249:21 251:16 254:16 259:12 267:11 268:5 268:10,21 269:4,19 270:1,15,22 272:16 273:9,12 283:14 284:1 285:22 290:6 298:21 302:1,3,6 335:16,18 336:1,2 337:1,12,21 338:8,10 338:11 339:1,4,7,8 342:17,18,19 348:17 371:1,3,4 389:2,5 407:11,14,19 408:17 410:10 430:22 431:1 431:4,7,14,17 435:7 438:2 442:3 444:21 446:3	removing 290:13	renal 43:4 62:19	researcher 15:10 18:6 455:5
reliable 34:7 108:14 234:17 255:14 258:20 261:17 267:14 291:17 308:6 317:2,13 397:4 421:8	rename 118:4	renaming 118:7	researchers 369:8
reliably 48:7 291:8	reopen 57:1	reports 15:20 253:4 295:17 333:22 408:8 430:3 433:4 440:6,15 445:4,8,11,14,19,19	reserve 245:11 249:10 249:13,15,18 250:20 251:3,7,11 252:11 290:11,18 293:19 294:11,12 295:8,10 296:3 299:8 300:2,7 300:14 304:14,16,20 305:20 306:2,8 307:14,22 309:22 310:14 311:17 394:20
relied 281:10	reoperation 57:14	represent 58:12 116:21 313:19 318:5 359:20 369:6 397:13 417:22	resident 197:22 198:1 255:18 256:13 399:9
reluctant 259:4	Reorder 31:5 455:10	representation 347:15 347:16,21 348:3	resident's 260:22
rely 112:20 125:20 281:18 282:21	REP 184:18 185:5	representative 260:4	resident-to-bed 219:7
remain 23:15,22 59:1 111:6	repair 57:2 122:16	represented 39:6	residents 5:4 6:2 252:15 253:5,22 254:5 256:9 396:6,16 397:7,17 398:1,13,15 398:20 399:19 400:1 404:7,9,13,17 448:1
remains 175:11 419:21 440:5,14	repaired 56:16	representing 291:3 294:10	resigned 13:21
remarked 20:5	repeat 141:6 157:8 201:19 204:15 223:22 257:14 445:7	repurpose 125:22	resourceful 164:7
remarks 308:18	repeating 24:5	request 128:20 186:8	resources 13:16 35:19 380:4 410:3
remember 21:17,18 22:16 36:19 90:4 120:7 316:19 335:20 438:9,19	report 25:13 37:9 42:13 85:3 98:19 111:8 133:15 137:17 140:7 140:22 148:2 165:5 167:14 191:16,22 203:16 213:12 237:9 239:9 242:12 250:9 251:9 258:10 265:8,9 265:17 267:3 299:14 301:14 326:2 334:6 335:5 345:16 347:3 358:5,6,12,21 361:10 361:10,11 371:1 386:5 388:15 402:16 407:6 419:4 425:17 428:21 430:17 435:5 443:12,21 451:5	require 36:4 107:2 121:1 130:19 247:21 264:1 272:3 280:11 358:20	respect 63:22 71:10 75:22 83:17 123:12 164:19 206:13 213:22
remind 178:15 364:2	reportable 105:18	required 26:5 99:1 119:14,22 125:15 127:9 204:16 233:5 248:15	respectfully 109:9
reminded 167:9	reported 71:14 75:8,11 87:2 111:7 115:5 237:13 239:12 243:6 243:7 256:13 258:12 324:17,20 325:4 345:14,22 376:1 391:15 402:7 403:6,6 403:11 411:21 412:4 412:22 415:14,19 426:1 428:7 433:7 436:1 440:6,15	requiring 107:1 125:17 226:21	respectively 297:18
reminding 395:8	reporters 418:11	rerun 83:7	respiratory 43:5 53:9 57:11 107:3,4 121:1
reminds 146:19	reporting 25:22 40:8,19 68:17 79:9 85:8 115:22 124:2 145:3 170:19 174:21 194:8 195:16 202:2 227:17 227:19 237:3 242:13 248:22 255:5 257:4 263:14 265:8 269:1,2 275:13 304:1 315:15 317:15,16,18 318:12	rerunning 83:8	respond 124:21 135:13 216:17 297:2 360:7
		rescued 63:1,8 120:17	responded 76:21
		rescue 4:17,20 11:12 171:22 180:20,22 183:2 184:14,17 186:4 187:21 198:3 206:4,17,18 207:13 207:20 208:13 209:14 209:22 210:15 216:3 217:21 218:7 219:6 316:5 452:8,11 454:4	response 51:1 53:16 85:13 113:6 201:5 233:12,17 244:14 360:20
		research 3:15 4:13,15 5:7 39:7 46:5 48:11 89:16 90:17 109:20 110:1 111:10 117:13 132:13 187:11 216:20 278:11 279:7 286:15	responses 203:6 247:14,14 444:7
			responsibilities 316:14 364:10
			responsibility 316:1 399:10
			responsible 341:19 409:10
			responsive 76:17 129:6
			responsiveness 87:22

rest 77:3 138:2 204:18
 249:22 392:19 393:12
 394:4
restaurant 178:20
restrict 397:18
restroom 23:21
restrooms 8:14,15
resubmit 201:17
result 120:4 142:3
 207:22 208:14 226:10
 237:15 254:1 256:18
 280:10 397:10,16
 416:11 422:8
resulted 396:17
results 35:3 62:7,8
 69:21 74:3 75:2,19
 76:8,9 95:6 139:11
 140:17 141:16 142:18
 142:21 143:9,15
 144:7,18 145:10,22
 165:13 167:20 168:14
 168:19 169:8,14
 170:9 171:4,18 175:5
 191:20 192:6,13,17
 193:6,13 194:1,21
 202:11 213:17 250:16
 251:19 256:3 266:12
 267:7 268:4 269:12
 271:13 273:14,16
 274:8,15 275:6 276:6
 276:20 281:13 301:9
 301:18 302:8,10,19
 303:4,16 304:9
 311:14 334:17 335:12
 336:2 343:2,13 345:7
 357:9 362:14 363:6
 388:8,19 389:12
 390:5 391:10 392:1
 392:15 402:20 407:8
 410:14 411:5,14
 412:17 413:14 414:2
 429:8 430:19 431:18
 432:19 433:12 436:7
 436:16 443:16 444:17
 446:4,12 447:2,9,17
resume 177:6
resumed 178:2 312:9
resumption 227:15
retained 105:16
retire 244:17
retired 243:5
Retrack 455:10
Retract 31:5
retrospectively 285:15
 286:5
return 57:1 131:3,3,5
returned 43:10 349:22
revalidated 152:14

reverse 81:2,3,12
reverses 80:15
review 4:9 23:10 25:3
 25:11 31:21 38:11
 45:20 47:3,5 49:7
 53:1 57:19,19 61:3
 64:15 93:22 151:22
 153:1 154:17 219:19
 230:1,7,16 251:8
 285:16 307:6 364:13
 364:15 399:4 401:5
 423:11
reviewed 53:9 63:16
 80:12 95:19 281:12
 282:11 285:14 360:8
reviewing 24:11 286:2
reviews 9:6 31:15
 118:17
revise 243:21
revised 55:15
revision 51:22
revisions 50:5
revisited 113:8
revote 27:18 146:17
 148:10,19 149:6
 388:3,4,6
Revoting 388:7
rewriting 221:7
Rich 2:13 13:20,21 14:5
 16:19 172:4,9 173:1
 233:21 333:4 342:9
 380:16 383:10 418:9
 420:8,11,18 426:6
 429:21 430:13 431:1
 431:22 433:1,15
 434:13,21 445:7,16
Rich's 414:17
Richard 1:20 185:13
 206:10 224:17,18
 225:2,6,9,9,20 228:14
 228:18 233:10 236:21
 290:22 293:5 294:1
 296:12 308:16
right 8:16 9:20 16:15
 17:7 29:3 30:13 41:10
 41:13 42:9 44:3 84:6
 85:10 87:17,18 92:3
 93:2 105:7 126:20
 127:12 128:22 133:18
 156:12 158:11,18
 159:9 161:12 174:22
 178:20 181:10,17
 184:8 189:20 191:13
 193:16 194:18 196:12
 196:15 197:8,13
 198:15 203:14 204:14
 205:3 206:7 213:9,19
 224:13,19 231:13

243:14 246:3 249:5
 252:14 257:11 259:20
 263:4 264:8 266:17
 266:22 267:10 273:8
 273:9 275:4,9 276:4,5
 277:1 278:6 290:17
 291:21 293:4 294:6
 298:6 299:10 300:21
 301:5,11,22 302:3
 304:7,12 305:14
 311:16,19 327:3,15
 327:17 328:11 332:22
 336:8 338:12 344:11
 345:5 346:13 355:6
 355:10 357:13,22
 359:8 375:22 376:20
 377:4 379:13 383:1
 386:19 389:1 395:8
 395:16 396:6 401:18
 402:8 405:2 408:21
 414:3,14 428:19
 429:12 430:14,21
 431:21 434:8 437:1
 443:18 444:20 445:2
 446:7,8 447:1,5 450:8
 456:15
right-hand 323:8 454:7
rigor 204:17
rigorous 118:22 243:15
Rll 262:7
risen 121:22
Rising 2:14 16:9,10
 189:7,8,15,20 327:3
 328:8,11 330:12
 376:11,22,22 378:9
 379:12,15
risk 4:17,21 5:4,18
 56:15,17 58:7,8,9,17
 59:6 61:20 62:5 66:11
 68:2 72:7 78:14 95:1
 99:9 113:11 121:5
 128:11 143:10 151:1
 152:5 157:1 158:2
 161:6,20 164:2
 180:20 181:1 185:19
 188:15 193:7 206:4
 222:10,14 226:8,16
 227:2,22 228:3
 232:16,17 243:8
 252:15 253:6,10,22
 256:10,12,13,15,16
 256:19,22 257:2
 261:17 262:19 272:1
 274:9 297:17 298:19
 302:20 364:21 399:2
 399:3,7,11 401:8
 404:10 416:9,10
 419:16,20 423:14,15

424:17 425:3
risk-adjusted 106:7
 128:5 151:8 158:13
 166:18 167:2 172:1
 221:21
risk-adjustment 157:22
 222:1
Risk-Assessment 5:16
risk-based 419:16
risks 319:13 455:17,20
 456:17,21
RN 2:5,10,13,16 3:9,14
 5:8 315:2 316:18
 330:2 339:19,21
 340:6 342:1 344:2
 363:12 381:17 386:8
 386:20 419:13,13
 431:6
RN/LPN 325:4
RN/LVN 329:4
RNs 217:11 333:14
 364:9 454:2
road 124:6
robust 7:8 66:8 174:16
 175:18 183:20 321:14
 323:22 325:16 330:9
 335:20 383:6 416:13
 416:16
robustly 327:17
robustness 63:15
Rodney 206:12
role 18:12 68:3 246:18
 319:1
roles 340:12
roll 426:16
rolled-up 428:9
Romano 3:16 42:14
 45:3,5 46:11,15,16,22
 68:15 80:10 81:20
 83:15 84:6 85:10
 88:11 89:2 91:21
 93:11 98:9 99:21
 100:4 101:13 105:12
 109:8 111:21 119:21
 120:2,6,13 121:7,19
 125:12 127:11,15
 130:2,6 131:8 132:3
 132:18 139:7 150:17
 154:16 155:16 156:8
 156:13 157:5,9,19
 158:14,18 159:21
 160:6 164:1,16,19
 166:3 278:13,21,21
 285:9 298:8
Romano's 277:20
rookie 257:14
room 1:8 43:10 57:1
 115:1 122:21 129:12

139:16 174:5,9 305:9
320:10 391:8 415:7
457:7
rooms 156:10
root 430:6
rough 147:6
roughly 81:5 120:19
round 8:2 76:21 104:20
105:1,6 438:13
routinely 54:12
RTI 3:9,19 252:21,22
396:11 407:17 408:9
411:5
rule 253:15 372:9
ruled 75:14,16 156:1,10
rules 23:6
run 31:2 75:2 243:15
300:12
running 20:18 41:11
101:5 150:13 396:9

S

Sacramento 46:18
safe 2:7 15:17,20 317:2
317:10,13 333:8
456:4
safely 456:5
safer 175:5 361:12
safety 1:3,14,15 2:7,9
2:12 3:1,2,17 4:8,12
7:9 11:19 14:10 15:2
15:13,16,22 16:2 17:2
17:2,17 18:16 19:15
22:11,20 28:4,6,12,13
28:13,16,17,18 30:6
37:7,11 42:5 46:20
49:5,10 50:2 59:9
66:5 88:14,18 89:11
90:2,9,14 91:5,7 92:5
109:4,22 110:18,21
126:19 135:16 136:4
150:19 152:15 163:3
174:20 216:22 217:12
247:11,15 253:18
280:8 291:2 305:2,11
307:17,18 308:1
310:2 317:22 318:3,4
318:6,10,16 320:14
360:17 361:9,13
366:2 376:15 382:2
382:15 397:1 415:8
416:3 418:1,2 440:17
455:5,8,15 456:3,8
safety-related 29:4
52:7 105:20
sake 395:4
same-day 418:16
sample 73:14 75:20

214:1 259:22 260:5,9
271:4 425:12
sampling 76:10 271:3
Sanders 3:16 279:12
Sarah 396:11,12
sat 14:13 30:2
satisfaction 360:17
satisfied 360:19 402:12
save 324:6
saves 367:16
saw 67:12 100:7 131:2
186:1 421:16 452:20
saying 23:19 24:2,19
79:4,19 114:3 115:12
115:20,21 117:16
134:10,12 208:21
219:17 222:7 231:21
234:3 264:14 271:8
273:2 300:6 366:20
381:3 383:13,20
409:11,17
says 131:19 132:17
134:6 135:1 203:6
211:9 218:17 231:18
234:7 236:1 287:13
289:11 394:13 410:6
Scale 261:12 272:2
Scales 237:5
scan 217:19 222:3
scans 219:10
scar 56:12
schedule 121:11
400:15
scheduled 177:6
178:21 311:21
schedules 370:1
School 3:12,14 14:19
46:18 314:10
Schreiber 2:15 19:8,9
78:20 79:3,17,18
223:10 296:14 333:12
437:3,12
Schultz 2:16 14:21,22
77:10,10 183:12,12
234:12,12 346:20,20
Science 1:13 11:11
scientific 3:4 26:1
33:16 35:2 53:13
142:14 143:4,20
168:10 169:3 192:9
193:1 203:17 204:17
205:22 236:9 273:10
273:19 302:4,15
343:7 374:12 389:3
389:20 410:8 411:8
431:15 432:16 446:1
446:9
SCIP 96:10

scope 53:6 142:18
143:8 168:14 169:7
186:11 192:13 193:5
273:14 274:7 302:8
302:19
score 33:20 34:11
61:18 211:12 254:8
254:10,13,21 261:11
272:11,13,17 377:21
378:3 398:3,5 426:21
432:3
scores 60:15 254:22
255:2,5,11 256:1
257:3 271:9 272:21
378:6,15 426:19
432:8
screen 7:13
screening 5:16 54:12
132:14 280:21 423:14
screens 146:22 147:1
screwed 349:8
script 323:13
scroll 138:17,17 337:12
337:15
scrolling 7:12 337:17
scrutiny 196:14 354:5
scurrying 100:15
se 353:20
Sean 3:13 35:15 42:15
45:8,13,13 67:13,17
67:17,20 77:7 147:5
search 310:5
searching 309:6
seated 10:14
seats 30:10 150:12
second 52:8 54:6 60:1
82:17 83:3 92:9 94:16
103:16 119:19 124:18
179:1 195:19 227:9
312:16 328:17 372:15
378:11 384:19 385:15
385:22 387:22 397:21
402:22 406:5 434:1
secondary 287:20
seconds 251:4
section 28:22 134:3
157:10 201:20 258:13
378:13 423:13
Security 163:9,16
see 9:17 10:19 15:9
20:15 29:11,15 30:2
44:5 45:3,8 63:2,10
64:8,22 65:1,3 72:13
73:8 74:21 75:3,6,8
77:12 78:15 87:13
102:16 105:2,8
113:10 125:10 129:16
129:17 131:2 135:4,4

135:5 138:18,20
148:9,10 173:6,21
179:15,21 182:20
185:16 187:5 196:12
196:17 199:19 206:10
215:14 218:2 219:18
223:16 229:7 230:16
235:15 243:11,18
244:9 245:2 252:1
255:22 264:13 267:22
289:18,21 295:5
297:11,19 300:4
310:15 322:15 323:6
328:21 334:3 337:16
342:17 358:18 365:6
376:12 380:12 382:12
393:1 403:17 441:7
451:7
seeing 16:14 78:13
83:9 156:19 160:3
169:1,20 170:14
237:4 331:8 335:2
344:19 375:18 389:18
402:13 410:4 411:22
412:13 445:10
seek 27:12 146:15
148:5
seeking 427:18
seen 9:2 10:4 30:9,22
37:8 54:21 156:5
162:14 204:8 236:14
237:1 283:5 298:4
366:18 422:3,6
sees 294:3
select 110:17 137:4
selected 4:12 26:8
103:10 259:22 260:10
selection 260:12
sell 92:1
send 90:22 113:16,16
114:1 138:13 219:20
Senior 2:2 3:5,6 4:3
22:19 42:2
sense 36:10 38:1 68:17
70:18 71:8 73:18
74:16 87:9 88:3 108:3
176:12 189:15 245:12
308:14 351:22 356:10
359:5,14 372:19
379:21
sensitive 12:19,20
13:17 63:17 69:21
99:4 314:17 381:8
393:8 418:6
sensitivity 63:14 71:2
122:19
sent 210:14
sentence 285:6

separate 30:19 31:14
31:17 90:19 104:13
105:19 107:10 232:10
259:4 263:12 272:21
325:13 351:18 352:18
371:2 382:17 385:15
408:8 411:4 435:6
separated 85:7 104:3
227:11 240:5
separately 123:10
131:10 386:5 415:3
separating 255:14
267:14
separation 106:11
107:5 326:6
sepsis 57:10 63:4 86:1
118:20 146:19
Septimo 21:19
Septimus 1:9,11 4:2 7:3
8:5 11:5,7 16:7 17:6
20:4 21:16,19 22:1,5
29:17 30:1 36:15
38:14 40:3 41:9 42:8
45:10 47:7 67:3,16
77:6,16 78:18 79:1
80:8 81:14,22 82:12
84:19 87:12,15 93:9
97:2,6 99:17 101:12
103:4 104:18 108:19
114:14 116:5 118:14
119:7 121:9 124:8
128:22 131:5,16
133:12 134:11 135:8
135:11 136:7,22
137:14,22 138:6,22
139:13 140:2,4,20
142:4,7,12 143:2,18
144:10,21 145:13
146:2,6,11,18 147:2
149:8 150:1 151:18
154:10,15 159:4
160:21 161:12 162:9
163:20 164:10 165:2
165:10,15 167:8
168:1,22 169:17
170:12 171:7,20
172:6,12,18,22 173:3
173:15 174:4,8
176:18 177:3,11,22
178:4,7 180:10,16
199:9 205:5 215:20
216:1 231:12 232:1,5
245:21 250:22 257:18
266:14 268:14 272:9
273:5 277:5,20 278:1
292:1,3,9,17 299:19
300:20 301:2 309:1
310:8 312:2,6,11,22

313:2,13 324:9,12
326:19 327:2 330:20
331:13,17,21 332:2,5
332:8,11,16,20 333:1
333:18 334:3,15,20
335:2,15 336:7,10,14
336:21 337:2,5,11,19
338:6,12,16,19 339:6
339:12 342:8,16
343:1,5,11 344:19
345:4,10 346:12
348:5,22 349:5,8
350:10 353:16 356:21
357:12 360:5 361:21
362:17 363:8,20
365:16 367:18 371:6
372:5 374:5,14 376:8
376:12 379:5 380:15
382:8 384:6 386:2,13
387:10,21 388:2,5,12
388:22 389:18 390:8
390:13,16 391:3,7,13
391:19,21 392:5,13
392:17 393:18 394:2
394:16 395:3,7 396:4
400:4,9,14,20 402:10
402:22 403:22 405:1
405:18 406:20,22
407:3,10 408:11
409:3 410:4,17,21
411:2,6,17 412:13,19
413:2,10,17 414:5,8
420:4 436:20,22
444:8,15 451:12,19
457:1,12
sequential 424:5
serious 63:3,6 97:1
103:11 105:18 221:4
238:8 253:19 280:8
307:20 397:10 403:16
406:14
seriously 259:10
serosal 56:16
serve 19:14 453:2
served 236:4 314:14
service 137:20 165:8
191:20 213:15 265:20
299:18 315:22 318:2
359:1
services 3:11 5:3,5,20
6:4 14:16 17:22 18:5
156:21 162:15,19
186:20 187:11 280:19
348:8
set 12:20 13:3 26:17
37:9 56:20 197:21
253:12 256:12 323:21
350:18,22 397:1

448:19 452:12
sets 348:16
setting 226:12 242:7,7
242:7,18 243:16
421:9 433:22
settings 226:12 237:18
238:1 262:12 448:16
448:21
settle 277:16 394:3
seven 413:1,4
severity 59:14,15 130:1
130:10 183:1 211:12
380:20 449:17
sex 58:11 188:5,16
Shades 165:11
shaming 353:6
share 10:17 45:22 93:1
239:14 274:2 318:22
380:22 423:9 444:15
shared 51:2 321:7,8,10
360:11 381:5
sharing 453:9
sharp 317:3
she'll 429:4
sheds 453:17
Sheryl 3:8 277:9 278:19
279:4,6 285:10 286:6
294:9
shift 64:10 325:10
369:11,19 381:18
shifting 378:12
shifts 367:10 368:19,22
369:1
short 8:4 67:5 91:1
122:18 123:7 125:20
370:11 392:21 393:22
short-stay 255:19
418:15
short-term 290:19
shortcuts 47:21
show 63:18 137:12
166:12 200:3,6 239:6
243:21 246:21 247:2
254:11,15 260:4
264:7 404:7
showed 78:8 195:8,20
211:16 212:2 230:7
256:3 267:21 389:16
404:9 415:20
showing 85:11 255:13
289:3 325:17 420:1
shown 93:17 152:12
shows 63:19 64:12
155:1 226:4 239:3
291:7 322:9 323:1
329:5,6,15 341:2
355:6 419:5
shrink 107:15 298:21

shrunk 95:2,3 167:2
shy 16:8
sicker 61:17
side 48:20 129:18
308:10 323:7,9 341:4
351:15 442:12 454:7
side-by-side 451:3
side-by-sides 450:1
side-to-side 448:22
sign 89:20 322:13
signal 33:22 48:15 49:1
58:20,22 76:12 94:21
106:3 107:17 269:22
signal-to 166:8 283:14
signal-to-noise 34:2
166:4 255:8 267:13
267:18,20 271:8
290:7 409:6,10,16
431:4 435:11
signaling 52:4
significance 52:4 56:11
98:15,21
significant 55:18 98:12
152:14 161:22 226:5
243:4,12 256:3
280:10 292:12 309:20
397:6,13 398:19
403:12,17,21 408:4
415:8 418:1 425:8
significantly 203:19
255:12 257:20 268:2
438:13
signs 37:8 322:16
Silber 181:5 182:15
191:10 212:13 325:6
452:20
Silver 173:8
similar 32:4 33:17
206:15 217:17 255:20
271:2 313:6 315:6
320:16 358:3 365:21
399:14 408:15 415:4
426:11 437:20
similarities 154:4
similarly 54:2 426:15
Simmons 11:18
simple 40:1 75:1 137:4
160:7 184:10 319:11
358:13 385:12
simplicity 144:4 234:17
simplify 47:17 68:17
simply 151:8 233:6
294:13 369:21
simulation 83:7
simulations 64:22
Singh 3:17 454:20,21
454:22 455:3,4 457:5
single 49:21 63:17

65:12 68:18,19 69:10 70:1 72:17 74:11 115:11 255:13 264:21 319:20 412:5 sit 20:7 155:11 156:20 site 103:11 105:16 383:19 sits 155:11 sitting 18:18 261:21 293:12 situation 294:7 299:1 408:15 six 124:15 202:2 275:13 304:1 398:15 413:4 427:4 434:15 six-year 145:3 170:19 sixth 194:8 size 75:20 81:18 130:17 166:7,21 186:11 188:7 297:6 348:4 425:13 sizes 73:14 skill 5:8 12:22 210:3,17 210:21 217:5,8,22 218:11 219:6 223:2 315:1 316:10,16 317:8,19 318:13,17 319:7 320:2,19 322:10,13 327:15,21 328:5,16 331:5 335:21 337:21 338:4 339:8 340:2,5 344:8 344:17 348:12 351:17 354:5,18 355:2,9,17 356:2 361:1 371:20 386:18 387:5 415:1 419:13 skilled 57:12 60:9 247:22 skills 339:22 skimming 23:14 skin 242:3 245:4 248:9 249:2 254:3 258:13 260:21 265:3 Skinner 3:18 157:6,7,20 158:11 159:2 skip 334:10 skipped 251:21 skipping 235:16 slice 29:2 slide 39:4 62:22 138:15 slides 30:14 38:19 slight 139:7 351:4 400:14 slightly 55:7 66:12 69:6 80:22 81:1 241:12 269:15 280:14 300:8 329:22 378:13 398:6	408:1 slip 124:18 317:5 Sloan 1:19 14:16 small 52:1 81:1 95:6 160:15 189:1 190:5 241:13 271:5,10 377:8 398:18 smaller 28:20 69:3 108:12,17 153:18 157:2 158:9,20 296:20 348:1 408:1 smallest 166:13 smartly 47:20 Smirz 2:17 17:19,20 206:7,9 207:10 208:3 211:7 213:22 341:17 421:14 423:8 442:8 Smith 3:19 252:20,20 259:20 261:13 263:12 264:13 267:17 269:4 269:21 270:3,15 272:20 396:10,10 408:13 smoking 188:22 smoothed 167:2 snapshot 196:19 242:14 SNF 253:15 social 11:14,17 162:15 162:18 163:8,16 397:19 society 51:16 67:22 socioeconomic 62:3,10 404:12,21 soft 79:14 254:3 331:1 software 222:12 282:22 sole 213:4,5 soleal 98:13 solid 90:13 solution 124:4,5 solutions 17:1 116:3 291:2 solve 123:22 375:13,14 solved 450:7 somebody 21:2 97:3 124:15 126:7 162:17 372:9 441:15 someone's 430:9 something's 244:10 304:21 somewhat 99:3 404:22 soon 87:9 112:2 sophisticated 221:20 sorry 41:13 57:9 100:2 134:2 136:22 138:10 140:1,16 164:16 166:3 172:12 173:2 181:17 199:8 228:18	234:13 260:16 270:6 278:17 282:18 283:12 285:5 289:7 292:3 296:9 306:17 323:4 332:4,20 344:4 353:17 376:6 394:17 400:6,15,18 401:15 410:20 413:2 414:18 425:14 434:10 445:1 sort 9:1,14 17:3 31:2 36:2 40:4 45:1 83:21 84:16,17 86:14,16 89:3,19 90:5 102:10 121:22 151:16 152:15 155:8 181:19 205:16 205:18 221:16 228:14 236:12 250:3 264:22 270:17 301:3 344:13 351:6 358:4 369:1 370:18 375:16 386:22 392:22 404:13 408:2 408:18 412:1 451:3 455:21 456:12,15 sorted 94:22 sound 47:10 159:15 sounds 177:21 277:17 313:13 330:15 402:12 source 47:11 164:2 253:11 sources 64:18 144:13 170:1 193:20 274:20 303:9 417:2 South 14:3 space 138:19 span 415:22 speak 29:13 77:17,18 79:2 110:17 161:16 266:18 267:16 313:16 328:10 422:2 452:8 SPEAKER 394:15,17 395:6 speaking 79:12 92:16 238:13 452:10 speaks 157:13 special 250:5 specialist 82:14 specialties 61:5 specialty 206:12 specific 9:10 13:1 35:6 37:12 46:1 62:15 84:13 85:16 86:20 101:20 102:6,20,21 132:6,22 166:6 182:12 184:21 214:14 224:10 242:6,14 262:13 270:18 290:16 412:7 431:11 441:13 441:16	specifically 9:3 27:12 32:1 41:5 61:19 88:1 95:13 101:19 109:6 123:11 146:14 197:17 212:21 263:11 355:2 368:12 435:10 441:21 specification 32:1 57:8 61:2 80:21 specifications 77:15 123:1 142:17 143:7 143:12 168:13 169:5 169:11 192:12 193:3 193:10 273:13 274:6 274:11 302:7,17 303:1 specified 77:12 343:19 specify 37:11 spectrum 298:9 spend 52:20 252:4 340:10 373:12,20 385:6 spends 385:3 spent 340:8 344:15 347:10 split 90:12 94:5 148:15 368:22 385:22 386:3 386:4,17 sponsor 414:16 sponsors 183:21 spot 200:12,16,18 201:2 sprain 441:11 spread 378:22 spreadsheets 149:17 squishiness 438:21 SR 334:9 stability 83:11 87:1,10 254:20 stabilized 264:3 stable 55:8 255:1 283:9 398:8 staff 3:3 22:9 35:19 167:8 188:8 208:11 208:17 211:11 217:6 218:14 224:9 269:10 320:2 328:19 344:6 356:5,7 365:2 367:2 380:9,10 447:18 453:2 457:13,15 staffed 327:17 354:1 staffing 38:21 39:2,9 212:2 217:7 222:22 314:12,16 319:6 325:3,6,14 326:1,7 328:6,14 342:10 344:7,14 351:10 353:20 354:13,13 355:9,17 356:1
---	---	---	--

- 360:14,21 361:19
365:6,20 366:7 374:3
377:6,11 415:1
419:13 426:16
stage 253:7 257:1
258:21 268:16,16,20
269:2 272:11,13,14
272:15,17,22 279:19
280:22 281:1,9,15,17
282:1,3 286:13,14,19
290:14 306:21 309:17
309:17,18,19
stages 238:9 282:13
staging 281:7,18,21
282:21 283:3 286:10
stakeholders 70:15,19
244:11
stand 346:14 352:17
453:4
standard 152:16 167:4
184:18 254:18,19
255:3,6 269:8,9,11
288:22 289:12 292:6
369:19 402:9 403:8
412:11
standardization 58:8
326:6,9
standardize 369:6
377:18 382:4
standardized 58:17
107:14 128:6 187:22
297:12 326:3 377:21
378:3,6 426:18,19
standardizing 262:12
standards 25:11
standing 1:3 9:20 21:9
30:21 47:5
stands 152:20
Stanford 3:8,10,16
278:12 279:2,8,14
Star 400:2 451:15
start 7:22 24:9,11 25:7
45:2 47:3 49:10 68:9
107:13 177:13,18
181:19 185:18 191:13
227:14 246:20 279:22
285:9 307:10 312:6
313:3 363:13,21
366:2,3 375:18 384:1
384:2 414:15 437:9
438:16 450:18 451:2
456:20
started 23:6 79:11,15
115:17 147:18 178:8
213:8 240:9 268:18
starts 384:2
state 2:9 18:16 87:6
89:8 106:15 112:5,6
242:5,18 244:22
258:7 260:10 332:14
344:14 376:1
state-level 325:11
stated 33:14 434:12
statement 214:11,12
267:13 376:7 453:4
statements 115:9
409:12
states 19:22 51:15 60:1
60:2,7,19 106:16
112:14 160:2 213:3,4
258:9 326:12,17
345:15 346:2,5
433:17
static 105:14 116:16
statistic 157:21 239:2
statistical 35:14 45:20
68:6 74:16 157:3
statistically 398:19
statistician 45:14
statistics 48:3
status 81:18 188:6,17
189:12 210:1,2 220:1
245:11 249:10,13,15
249:18,19 250:5,20
251:3,7,12 252:11
256:17 290:11,18
293:20 294:11,13
295:8,10 296:4
297:17 299:8 300:3,8
300:14 304:14,16,20
305:20 306:2,9
307:14,22 311:17
372:8 394:21
statute 44:15
stay 5:4 6:3 107:2 160:8
185:1 223:1 227:16
238:14 252:16 256:9
284:1 316:5 329:17
363:11 370:11,12
stayed 78:8 152:18
staying 64:19 178:17
312:14
Steering 247:11
step 230:6 251:21
358:11 440:9
step-down 418:17
STEPHEN 2:6
stepped 194:18 400:6,9
stepping 437:9
steps 61:1 300:16,17
Steve 17:9 78:19 81:15
81:22 82:1 92:9
159:13 185:12,14
186:7 187:2 195:10
195:21 196:10,11
199:16 218:8,9
220:19 245:14 248:6
248:7,19 270:4,7
288:20,21 313:1
339:6 353:17,19
371:7 372:5 440:2
441:5
Steve's 222:18 268:11
steward 234:19 313:22
stick 172:7
stood 324:1
stop 77:4 230:18
283:17,20 439:14,15
stopping 249:6
straight 183:1
straightforward 27:22
strange 190:18
strata 298:3
strategies 226:9
strategy 2:1 253:18
256:11 285:4
stratification 169:9
stratified 166:7 256:12
279:21
street 1:9 115:9
strength 233:18 437:21
strengthening 79:19
strengths 20:8 65:10
157:22 348:20
strenuously 95:14
strictly 61:15 328:4
strong 90:17 123:8
209:21 251:15 252:4
256:3 269:19 284:14
339:4 343:22 355:6
364:13 365:15 382:13
402:4 419:2 431:7
432:2,12
stronger 44:8,19,22
67:11 79:5 119:4
179:8 336:18
strongly 128:10 399:7
432:3 456:10
struck 185:15
structural 218:5 230:3
230:5 316:21 318:5
319:1 323:7,11,16
352:10 356:4,9,17
374:18 381:1 419:3
419:11 453:12 454:11
structure 84:11 137:20
165:8 191:19 213:15
216:10 265:20 299:17
334:6 358:5 361:13
371:15 387:16 406:10
structured 385:11
struggling 382:11
384:10 410:2
studied 161:4 438:1
studies 32:13 53:16
89:9 122:3 230:13,14
254:8 269:6 286:15
292:18 325:2,18
334:1 352:11,12
354:1 364:14,15
369:14,15 371:3
373:11,18,22 404:7
411:5 423:3 435:7
453:16,17
study 78:7 96:7 98:4,5
110:4 208:15 212:13
212:16 285:13,21
290:15 292:14 333:5
341:2 371:1 387:4
404:9 430:7 453:20
453:22 454:2,3
study-based 351:13
stuff 128:19 147:10
185:17 370:22
sub 82:2 97:10
sub-criteria 26:22
sub-criterion 34:21
sub-things 252:3
subcomponents
236:11,15
subdural 396:20
subject 353:10
subjected 117:19
subjective 70:5 74:8
subjects 32:14
submit 196:3 293:2
374:9
submitted 25:16 74:5
93:14 149:16 188:20
239:16 321:17 322:20
334:9,12 337:10,10
343:18,22 387:17
389:15
submitting 239:10
291:6
subsequent 51:9 62:11
66:1 151:12
subsequently 320:20
subset 56:22 57:7
151:10 437:13
subsets 166:6
substantial 50:5 82:4
415:9 453:11
substantially 57:16
substitute 64:5 83:20
112:11,18
substitution 92:10 93:7
successfully 418:3
sucks 311:12
suction 89:16
suffer 253:9
sufficient 13:7 34:5

176:8 256:18 336:2
343:14
suggest 83:10 111:12
122:2 175:4 308:12
312:4 453:18
suggested 111:10
180:5
suggestions 136:16
suggests 161:6 255:8
401:20
suitability 145:15
171:11 202:19 276:11
310:20 362:18,21
392:8 413:19 436:12
447:14
suitable 171:9 392:6
413:18
suited 175:6 299:8
sum 63:2,8 121:7 231:2
384:20
summarize 28:4 322:21
407:15
summarizes 62:22
summarizing 47:16
summary 24:13 68:5
69:3,10 93:15 148:4
151:17 181:11 185:15
205:11 211:9 317:8
319:11 324:2 353:1
389:9 401:5
sunset 243:20
superb 147:7
superior 230:3
supplement 208:20
374:12
support 48:17 54:22
101:16 102:2 113:22
121:1 143:22 175:14
208:2 233:14 234:15
261:1 279:3 280:19
291:13 405:20 442:13
455:9
supported 53:17,17,18
208:4 230:11 314:12
supporter 109:3
supporting 95:17 129:9
supports 137:18 165:6
191:17 213:13 263:6
265:18 299:15 366:14
supposed 79:15 252:1
323:13 451:9
sure 12:3 13:14 20:18
23:11 26:4 29:21
30:18 39:18 42:18
45:13 67:6,20 79:17
83:15,22 86:16 89:5
93:11 95:11 101:21
102:9 117:13 118:9

119:21 130:8 131:21
132:16 149:19 162:6
162:22 173:2,6
179:11 186:12 190:22
197:3 198:22 199:6
200:1 201:1 211:4
216:5 225:20 229:20
248:2 249:11 268:15
276:2 284:4 288:2
293:17 294:5 297:21
306:14 322:1,5
323:18,20 324:6
327:7 328:12,15
392:19 376:20 383:3
415:6 432:11 438:15
444:10 447:21 450:15
456:3
surgeon 1:16 18:18
19:3 57:21 119:17
surgeons 92:2 208:10
surgeries 124:16
surgery 43:10 54:13
96:16 99:9 103:12
105:16 110:6 113:18
125:1 131:2,3,4,6
182:10,11 186:18
187:22 214:2,4,13
418:16
surgical 100:10 105:16
110:5 130:3 154:5
184:19 208:17 211:11
218:14 280:12 422:6
422:9 453:14
surprising 156:9
404:22 415:6
surveillance 54:11 56:7
132:8 133:14 250:3
317:1 351:19 352:17
survey 239:14 259:3,5
260:8 346:5,9 431:6
survey-based 325:8
surveys 258:6,16 259:2
259:15,18 417:1
Susan 2:8 18:15,21
114:15 119:5 159:12
164:17 172:1 181:16
206:10
susceptible 424:16
suspect 149:5,6 176:2
sustain 291:10
sustaining 416:10
Suzanne 3:6 4:7,9 7:20
22:19 26:13 136:18
150:13 151:21
Suzanne's 38:19
SVP 2:15
sweet 8:4
swiping 38:19

switch 30:10
switched 89:19
switching 63:20
symptomatic 54:13
symptoms 99:8
system 1:16 2:5,16
3:16,19 11:9 12:12
17:11 19:5,10 47:10
129:18 207:2 281:22
308:8 315:16 317:16
317:21 351:7,8 400:3
417:12 428:9,13,16
systematic 364:13,14
401:5
systemic 34:13
systems 2:18,22 30:9
308:6,12 319:22
329:20 330:3 348:12
348:12 349:15 350:8
351:10 353:5 354:3
416:21 423:20 428:8
428:11 456:15

T

table 4:1 5:1 6:1 10:8,8
10:13 20:7 35:20
38:10 93:14,19 94:3
158:21 166:3 180:7
187:17,18 188:12
220:14,15 224:1
277:12 285:20 288:22
289:7,9,19 293:2
306:17 322:9,16,22
335:21 336:5 341:4
365:10 389:9,16
438:16,20 439:1
454:16 456:20
tabled 312:1
tables 72:16 294:4
tabling 305:20 306:3
307:1
tabula 14:6
tag 172:15
tailed 58:9
tails 408:19
take 8:7,9,10 22:8 29:18
62:9 92:5 101:21
112:10,14 113:19
118:10 163:3,12
177:12,17 181:8
210:8 219:2,4 220:21
222:17 244:12 259:10
266:2 273:9 277:7
298:18 312:4 318:7
325:10 342:13 366:17
384:20 392:21 393:10
395:15 420:7 426:7
428:10 439:17

taken 85:2 90:12,15
113:15 223:3
takes 350:21 426:22
talk 21:6 26:15 30:17
37:1 159:8 164:14
189:10 199:3 213:1
213:20 259:4 263:5
263:11 278:17 307:4
308:18 323:14 337:6
343:20 345:11 367:21
368:9,12 378:14
383:19 390:9 401:1
434:4
talked 88:8 188:5
266:20 298:17 299:20
319:8,10 358:11
364:1,22 408:16
410:19
talking 9:1 13:18 38:15
38:20 100:1 102:19
105:5,12 124:13,15
127:7 176:4 184:22
185:19 200:17 234:5
235:2 240:22 268:19
270:16 294:6 314:13
319:9 323:10 333:1
355:10 373:3,3
393:19 416:19 418:12
419:15 420:5 438:17
Tampa 14:10
Tara 3:11 225:15 239:7
241:17 243:14 253:1
259:1 265:6 396:12
target 221:9 264:16
410:3 448:7
targeted 415:17
targeting 422:11
taxonomy 39:18
teach 259:17
teaching 80:19,21 81:7
81:18 96:8 180:15
188:7 210:2
team 13:4 22:15,20
57:21 67:4 118:11
139:8 181:15 182:16
183:9 206:11 278:15
280:3 400:8 440:10
teamed 172:15
teams 83:14 102:5
149:14 338:17
tease 340:13
teasing 395:19
tech 221:19
technical 46:1,12,19
76:16 204:11 215:13
314:15,20 370:22
399:5
technically 252:1

technology 208:12
219:8 311:11 456:4
456:18
technology's 456:4
teleconference 3:21
telephone 10:20
tell 8:6 14:12 20:5 76:5
80:11 101:4 154:21
160:22 231:18 239:15
244:1 246:3 249:16
259:6 327:14 342:10
350:15 373:13 374:22
389:1 394:3 421:20
429:17
telling 7:21 292:16
306:11 407:1
TEMP 234:8
temporarily 266:1
ten 9:11 25:4 115:17,18
177:16 368:8 392:21
406:20 407:1
ten-minute 45:2 393:11
tend 47:22 74:11
234:13 298:4 347:5
380:10 453:6
tenth 256:8
tenths 238:15
tenuous 286:13
tenure 342:7
TEP 234:7
term 39:18,22 133:3
220:8 341:3
terminology 220:5
terms 9:15 12:15 37:17
39:2,12 58:4 59:16
71:4 76:14 81:10,17
82:9 85:4,18 98:5
116:20 122:1 123:13
136:11 149:21 156:13
166:8,21 175:15
185:16 186:14 216:17
224:11,11 231:2,16
233:13,19 237:2,8
239:13,21 240:17,19
240:21 241:2 242:10
247:9 268:4,15,17
269:4,16,19,22
271:20 272:6,16
284:8 289:4 296:3
298:13 323:14 328:6
340:18 345:13 346:9
348:3 351:2 352:8,9
352:15 354:21 360:3
360:8,16 361:1,4,17
370:19 376:17 403:3
423:18 435:15 440:6
452:15 454:9
terrible 162:17

Terry 3:9 252:18,22
269:5
Terry's 253:2
test 75:2 83:6 95:21
126:14,15 176:14
283:8 435:9
tested 254:4,20 256:21
283:14 397:22 426:12
427:12 428:13
testify 118:19
testimony 320:15,17
testing 33:18,21 34:1,9
34:11 35:17 73:10
75:10 134:7 142:17
143:8 168:13 169:6
175:18,18 176:1
192:12 193:4 214:1
244:5,7,7 255:16
259:14 273:13 274:7
289:17,18 302:7,18
338:10 343:6 407:19
428:1,3 432:2 438:2
tests 431:3
Texas 1:12 11:10
thank 11:21 12:10
13:19 14:7 42:6 46:14
77:6,15 78:20,21 79:3
79:4,16,19 82:14 93:1
103:5 104:17,18
108:22 109:1 111:19
114:12,18 116:5
119:6,9 121:6 124:7
129:3,6 131:7,15
135:11 136:6 139:22
147:2,15 149:22
162:1,11 167:6 172:7
172:16 174:16 176:17
176:18 180:14 181:18
184:9 188:1 216:16
223:10 224:8 225:17
228:13 233:2 236:21
238:18 241:17 245:5
246:15,17,20 247:16
248:3,5 249:4 257:10
261:2,3 271:16
283:10 284:18 288:1
291:20 295:13,14
315:20 327:3 346:13
346:15,17,17 348:6
348:21 350:9 360:6
371:8 393:19 395:7
400:3 405:19,21
406:17 408:13 411:19
422:14 423:7 435:14
439:19,21 442:21
449:2 454:16 455:3
456:21,22 457:1,11
thankful 405:21

thanks 114:14 142:13
147:5 263:5 266:10
361:19 367:19 378:9
457:13
Theberge 3:6 4:7,9
22:18,19 26:14 30:13
32:3 136:20 137:9
138:8,12,21 140:13
141:19 146:4,13
168:16 170:7 177:8
250:14 265:22 266:10
292:20 306:2,12
344:22 363:1 390:3
390:14,22 391:4
402:18 410:12 411:12
413:22 429:1 447:21
theoretical 207:11
theoretically 246:12
330:13 377:6
theory 51:1
therapy 420:16
Theresa 2:3 19:17
257:12
they'd 307:10
thick 189:3
thing 71:9 72:9 74:1
78:2 90:11 91:13
102:22 104:20 123:16
141:9 179:1,18 189:3
204:9 209:4 218:1
239:5 259:21 293:11
293:14 297:10 372:15
407:18 408:14 414:6
452:1,5 453:5
things 9:9 20:5 24:5
32:6 36:20 43:17
50:19 51:12,13 54:21
59:4 62:1 69:7,15
72:2 73:13 74:5 84:15
87:21 88:22 90:13,15
90:16 93:12 101:14
104:9 105:15 120:16
126:2 127:21 129:10
129:17 137:1 205:9
219:11 221:1,15,17
221:19 222:2,21
235:2,4,12 237:5
259:8 261:14 292:10
313:14 329:17 347:19
355:11,21 359:4
364:21 367:11 372:3
373:21 374:1 378:8
402:1 403:13,18
407:20 408:7 424:10
437:20 441:16 453:18
454:12
think 7:8 8:1,14,21
13:10 18:19 20:4,13

21:9 22:21 28:6 30:10
31:11 36:19 37:12
42:14 43:15,19 44:7,7
44:14,18 47:14 65:9
66:4 67:11,13 68:15
70:3,8,10 71:3,18
73:7 75:22 76:13 77:8
79:5,8,14 81:9 87:1
87:10 88:1,7,10 90:7
91:4 92:16 94:4 95:22
96:4 97:16,22 99:10
101:10 104:20 110:2
110:12,15,16 113:4,7
113:15 114:1,21
115:15 116:3,10,12
116:15,17,19,19
117:1 118:3,14,18,21
119:2 123:5 126:12
134:11 135:3 136:8
136:10,14,15,17
147:8,8,9,13,14
151:16 152:7,11,19
153:4,6,15,16,21,22
154:6,6 155:3,4 156:4
156:16 158:18 161:4
161:17 163:9 168:3
173:15 175:10 176:11
176:15 177:4 179:7
179:13,13,14,19
180:3 181:18 182:2
182:21 185:3 190:19
191:6 198:12,17,19
198:22 199:11,16
200:11,15 201:13
205:14,15 207:10
209:18 211:7 212:20
213:22 219:15 220:22
229:3,18 230:15
233:12 234:4,18
235:11,19,22 236:16
236:22 237:6 244:8
245:7,9,11 247:8
252:11 258:8 259:15
264:14 269:14 271:3
279:8 280:1 285:19
287:10 289:17 291:11
291:17 292:15 293:10
293:18 296:4,5
297:10 299:5 305:4,4
307:14 309:21 310:2
310:8,12,16 313:11
318:21 319:2 320:11
324:3 326:4 329:21
331:13 333:9 336:16
348:19 356:18 357:3
357:15 358:8,16
359:21 362:4 364:1
365:14 366:5,15,18

367:8,9,11,13 371:17
 371:22 376:8 379:19
 379:20 380:2,3
 381:22 383:10,11,13
 383:17,19 386:8
 387:13 392:20,21
 395:3,5 400:4 403:10
 408:3,13,22 409:15
 409:19,21 413:6
 416:4 417:4 422:8,10
 422:20 423:3 425:6
 429:22 430:1 432:1
 434:13,15 437:7
 438:14 440:20 442:8
 444:8,10 449:8 450:9
 451:4 452:17 454:8,8
 455:20 457:12
thinking 70:3 80:7
 160:19 293:22 305:8
 306:20 434:16 455:14
third 47:10 56:2 83:3,5
 103:20 227:10 228:8
 398:9
thirdly 312:20
Thomas 17:12
thoracic 119:16
thoracostomy 120:10
thorough 43:7 147:7
thoroughly 322:2
thought 45:19 66:19
 90:6 100:2,17 174:19
 179:4,5 182:18 195:7
 195:9,19,21 196:6
 211:14 226:7 260:1
 268:18 270:10 285:16
 337:22 343:21 395:15
 400:18 402:3 404:4
 426:1,3 448:8
thoughts 201:13 204:5
 220:18 310:18 439:16
 445:21
thousand 64:22 160:1,9
Thraen 1:9,14 4:2 7:19
 11:13,14 121:14
 123:15 124:7 177:21
 180:14,18 181:10,14
 183:8 184:8 185:12
 186:7,16 187:3,6,15
 188:1 189:6 190:15
 191:11 192:8,15,20
 193:16 194:4,15,19
 195:2,5 196:15,21
 197:2,5 198:7,13,15
 199:14 201:4,12,21
 202:9,14 203:3,7,12
 204:4,9,14 205:3,8
 206:2,7 207:8 208:1
 208:18 209:2 210:11

211:21 212:10 213:7
 213:18 214:19 215:12
 215:19 216:15 217:14
 218:8 220:2,12
 221:10,13 222:16
 223:9,21 224:19
 225:1,4,7,18 228:13
 228:17,20 230:19,22
 231:6,10 232:17
 234:11,21 236:20
 238:18 239:19,22
 240:10,12 241:7,15
 242:19 245:13 246:12
 248:6 249:5,14 250:6
 250:19 251:4,20
 252:9,14 257:10
 261:2 262:21 263:2,4
 263:22 264:8 265:14
 266:17 267:10 268:6
 268:11 269:18,22
 270:4 271:15 272:8
 273:2,7,18,22 274:17
 275:3,8,21 276:4,9,18
 276:22 277:13,15,18
 278:3,5 283:10,12,16
 283:19,22 284:3,5,15
 287:4,8,10 288:20
 290:22 291:20 293:4
 293:15 295:12 296:5
 296:8,10,12 297:1
 298:6 299:10 300:13
 300:19 301:6,11,21
 302:13 303:7,14,19
 304:7,12,18 305:14
 305:16 306:5,10,13
 308:15,21 310:18
 311:5,11,16 312:3
 349:12,17,20 350:2,9
 351:11 352:6 363:4
 400:7,11,16 401:12
 414:3,7,14 418:8
 420:6,10,13 421:13
 422:13 423:6 424:8
 426:2,5 427:9,11,20
 428:5,17 429:6,10
 430:14,21 431:12,21
 432:14,22 433:8,14
 434:8 435:13 436:3
 436:10,15,18,21
 437:1,11 438:6
 439:18,20 440:1,18
 441:5 442:7,20 443:9
 443:14,18 444:1,6,20
 445:2,20 446:7,15,22
 447:5,12,18 451:9,18
 454:17 455:1 457:6
 457:11
threat 256:6

threats 143:9 169:8
 193:6 274:8 302:19
 343:20
three 9:6 16:15 24:9,16
 24:21 31:14 51:21
 53:5,7 55:1,10 82:2,2
 103:6 123:1 132:10
 140:11,15 141:4,14
 142:19 143:14 144:6
 144:16 145:8 162:21
 167:18 168:15 169:13
 170:5 171:2 181:11
 192:5,14 193:12
 194:1,7,13 202:1
 227:7,21 232:9
 254:13 258:9 275:12
 303:22 309:8 333:6
 334:12 335:9 342:20
 343:9 357:6 362:12
 368:21 384:4 385:4
 385:19 387:18 388:16
 389:6 390:1,19
 391:18 394:7,9,14
 396:5 397:6 398:7
 415:22 428:12 431:3
 435:21 436:18
three-quarters 75:21
three-year 145:2
 170:18
threshold 94:12 130:16
thresholds 339:3
threw 445:21
thrombocytopenia
 188:22
thromboprophylaxis
 55:5 96:11
thrombosis 82:21
 95:15
tie 131:13
tied 129:14,19 176:6
tight 9:9 394:9
time 7:4,22 9:10,17
 13:4 20:6 21:8 23:9
 27:15 30:2,2 31:3
 34:12,17 37:5 38:21
 39:11,14 41:2 46:7
 47:2 52:20 55:1 56:17
 67:6 77:8 79:9 87:10
 87:22 90:4 97:15
 107:9 115:16,22
 116:7,21 119:20
 136:9 139:15 141:7
 147:15 156:5 172:8
 173:17 174:3 176:22
 178:16 183:13 184:5
 191:7,7 195:9,20
 197:12,17 198:5
 200:3,10,13,15 201:1

201:9 207:6 218:1,4
 223:2 224:14 236:4
 240:8 241:9 242:14
 252:5 268:19 277:11
 279:8 281:5,9,14,18
 281:20,22 282:8
 283:8 288:16 290:11
 292:10 300:10,21
 301:4,5 312:14,18,20
 321:6,15 323:19
 324:1,6 330:18 333:9
 340:8,10,15 344:16
 347:22 363:17 367:2
 367:10 368:20 369:2
 371:12,13,20 372:16
 372:17 373:6,7,9,12
 373:20 381:4 392:19
 393:8 394:9,12
 398:16 401:3 402:7
 405:12,13 406:9
 407:1 408:9 410:20
 414:11 421:18 422:7
 425:15 438:10,13
 439:3 442:11 451:14
timekeeping 24:20
timely 416:16 418:6
times 7:22 55:10 104:3
 161:10,13 323:17
 346:16 370:1 380:11
 424:21
timing 9:15 121:17
 122:1
tired 341:16 392:21
 395:14
tissue 56:12 254:3
title 91:4 187:12
titled 225:21
to-noise 270:1
to-patient 368:12
today 8:20 10:9 12:22
 13:18 14:6 30:8,17
 118:6 124:4 181:6,16
 244:21 279:17 320:16
 322:7 342:15 393:16
 419:15 449:10 450:7
today's 41:6 114:5
 179:14
toilet 421:19
toileting 385:19
told 41:14 45:5,10
 101:6 300:13 313:15
tolerance 82:22
tomorrow 30:10 57:20
 116:8 278:18 393:16
 394:11 395:1,11
 429:5 455:12 456:12
tonight 178:12,13,14
 449:1

tool 102:12,13 132:14
151:3 154:2 244:5
286:20 412:1 423:17
450:15
toolkit 102:2,3
toolkits 103:2
tools 102:1 435:4
top 76:5 95:10 111:6
120:7 122:1 213:1
434:1 440:5,14
top-notch 75:14
topic 15:4 28:20 29:10
226:11 242:16 249:3
topped 229:8 236:5
243:5,19 245:9
249:22 294:13 295:11
300:3,7 304:21 308:5
310:11
tops 244:17
total 65:11,14 72:16
96:8 316:16 319:17
327:10,22 340:3,6,20
384:16,17,17 386:8,9
386:15 439:6,10
totality 318:8
totally 104:15 309:3
touch 177:9 318:17
touched 381:17
touching 367:8
track 50:1 69:10 118:15
395:12
tracked 13:5
tracking 116:1 159:18
387:1,2
tracks 442:18
Tracy 2:19 17:15 284:6
284:7 305:17,18
Tracy's 306:15
tradition 62:4
trained 16:13
training 16:17 216:4
259:10 333:14 341:11
transfer 152:7 188:6,17
219:11 253:9 261:9
transferred 58:14
287:13,18
transferring 164:8
transfers 272:4
Transformation 2:15
translated 369:9,16
translations 369:21
transmit 358:14
transparency 170:17
317:20 318:14
transparent 49:21,22
70:21 148:16
trauma 78:14 152:6
travel 7:5 393:8

treat 54:5,18 130:19,21
189:14
treatable 154:5
treated 55:19
treating 232:15
treatment 280:22
397:14
tremendous 91:15
119:2 121:20 179:2
329:18
trend 97:14 240:2
254:11 266:20 355:3
trending 346:3 428:14
trends 241:5,7,10 242:6
326:16
trials 95:17 230:15
365:4,5
tricky 98:1
tried 93:12 94:7 98:10
trigger 154:2
triggers 153:1
triplelumens 133:1
tripping 124:14
true 21:20 73:19 76:12
199:20 424:18 432:4
432:9
trumps 106:14
truncate 159:5
trust 196:22
Trusts 2:14 16:12
Truven 278:14
try 9:8 23:15,16,18 24:4
102:9 103:21 105:12
117:18 121:11 125:5
127:22 128:1 139:5
148:12,15 170:8
189:13 194:15,16
216:17 229:4 304:8
361:21 363:11 392:18
393:7 395:10 422:9
429:6 443:14 444:7
446:22
trying 11:5 21:8 24:20
74:1 76:1 91:19 95:9
99:7,7 101:5 118:4
123:20,22 125:21
129:22 135:6 177:8
195:11 204:12 216:11
222:11 245:11 286:4
300:20 306:21 337:5
340:13 342:12 357:13
361:6 366:19,20,22
383:12 401:3 420:9
439:14,15 456:15
tube 60:10,17 107:1
119:14,22 120:10,16
turn 7:16 8:15 21:14
22:6 26:13 175:2

180:11 181:3,15
turned 122:12,15
turns 55:20 86:11
105:21 356:4,16
442:14
tweaking 293:22
twelves 368:21
Twenty-three 301:7
twice 86:8 119:10,12
397:8 398:14
two 9:12 16:16 19:22
23:20 25:6,8 26:11
31:19 36:1 39:2 43:1
43:5 47:8,9 50:6,12
52:3 53:11 54:6 59:21
61:11 81:2,11 85:5
86:17 87:4,19 90:12
93:8 94:8 96:6 109:17
110:11,22 116:8
121:15,22 123:2,12
123:13 132:10 137:6
137:7,21 140:11,14
141:4,14 142:19
143:13 144:5,16
145:8,21 152:12
154:8 160:13 165:9
167:18 168:15 169:12
170:5 171:2,17 175:2
191:20 192:4,14
193:11,22 194:13
203:2,15 204:16,20
216:12 218:13,18
219:3 221:15 254:22
265:12 269:7 286:1
301:7 309:8,15 310:6
313:5 315:1,8 316:21
321:15 331:5 333:5
334:12 335:9 341:4
342:20 343:9 356:15
357:6 362:11,21
368:21 369:20 372:6
376:22 378:8 381:20
381:21 382:9 384:4
385:6,10 387:5,17
388:16 389:5,22
390:19 391:3,17
392:9 394:11 404:4
408:8,9 411:4 424:9
426:12,13 427:3
447:21 450:3
twofold 288:3
type 50:21 102:16
105:22 106:8 138:13
156:21 186:18 218:1
236:1 239:4,5,5
263:10 375:20 376:6
377:19,20 378:1,4,5
380:8 426:19,21

types 50:12 113:1,2,9
243:20 260:2 262:19
269:7 298:10 380:9
380:12,13 421:11
455:22
typical 92:5
typically 108:13 133:6
156:9 243:2 297:20
typing 323:5
typology 374:11

U

U.S 50:2
UAP 5:10 386:9
UC 3:16,19 46:17 57:21
278:12 387:17
UCLA 3:12 314:10
Uh-huh 336:9
ulcer 5:2,6 224:14
225:21 226:10 227:3
227:22 228:4,8
231:17 232:15 237:17
238:6 241:8,11 245:3
253:7 257:2 258:21
261:7,18 262:1
269:17 272:2,6 277:2
281:7,9,11,21 282:5
283:2 285:17 287:19
309:18
ulcers 5:4 28:20 31:8
226:3,17,19 227:6
228:3,7,11 230:9
231:8 236:3 237:11
238:3,8,9,14 242:3
252:16 253:8,19
254:1 255:19 256:14
256:17 263:7 264:11
264:19 265:4 279:20
280:7,11,16,17,21
281:1,2,7,17 282:1,4
282:13 284:10,13,20
285:2 286:10,13,19
287:2 288:12 291:3
294:3 295:20,22
306:22 381:9 434:2
450:12
ultimate 70:17 106:13
ultimately 183:4 361:7
ultrasonographers
98:17
ultrasound 97:20
ultrasounds 99:5
unabashed 16:5
unanimous 266:15
403:1
uncertain 56:10 98:20
270:1
uncertainty 76:6 271:6

unchanged 56:5
unclear 135:14
uncommon 226:3
 309:5,13,16
undeniably 106:6
under-recognized
 96:19
undergoing 31:21 96:8
undergone 417:6
underlined 88:15
underlying 49:19 50:17
 73:7 74:1 76:18 80:4
 154:19 452:16
underscore 123:18
 124:6
understand 48:4 50:1
 52:12 62:6 64:2,14
 70:20 89:17 92:13
 93:7 101:10 103:22
 138:1 160:11 162:16
 179:11 189:21 195:11
 198:22 207:21 216:12
 216:13 220:11 222:11
 234:3 273:7 284:20
 288:7 289:14 293:17
 294:16 306:15 319:12
 321:4 322:5,18
 325:20 340:3 352:3
 376:13 383:8 405:8
 421:10 429:19 445:4
understandable 37:15
understanding 71:4
 82:7 129:8 157:17
 190:1 207:14 263:19
 295:10 324:4 327:7
 327:19 374:3 428:14
 428:18 430:8
understood 130:9
undertaking 175:17
undifferentiated 155:12
 156:18
unduly 63:19
unequivocally 316:2
unfortunate 127:1
unfortunately 70:1
 285:21 381:19
unintended 71:7 145:6
 170:22 194:11 202:4
 275:16 304:4 365:22
 366:15 421:16 422:3
 455:17 456:17
uninterrupted 254:2
Union 2:8 15:17
unique 154:22 399:12
 456:7,13
unit 110:9,13 128:6,13
 315:11 339:3 341:6,7
 364:10 367:2 368:7

374:11 377:13,19,22
 378:4,5 381:6 389:9
 389:17 417:7,9
 420:16,20,21 421:2,3
 421:9,11,12 425:12
 426:2,8,13,15,19,21
 427:7,9,12,13,19,21
 428:15 431:3
unit-based 326:1
United 112:14 160:1
units 69:17,19 73:15,17
 75:3,7 76:1 84:13
 110:4,5,6,9 341:15
 370:11,14,20 374:9
 374:13 377:3,5,8,10
 377:15,16,19,20
 378:2 422:6,16
 424:22 425:9,9,20
 427:1,4,4,6
Universal 2:18 17:22
universe 112:19
University 1:18 2:9,13
 2:21 3:8,10,13,13,14
 3:16,18 11:15,17 12:5
 13:22 14:3,18,18
 15:11 17:12 18:10,17
 50:10 67:21 224:18
 225:10,13 278:12
 279:2,15 313:19
 314:5 414:22
unlicensed 5:9 315:4
 316:18
unlinked 223:6,7
unmet 456:13
unnecessary 127:22
unobserved 50:18,20
 50:21
unquestionably 57:14
 105:19
unrecognized 58:2
 155:7
unstageable 253:7
 257:2
update 46:9 66:13
 116:20
updated 116:7 434:22
updating 117:4
upset 452:21
usability 26:5 35:9 90:8
 159:9 165:16 170:13
 170:16 194:4,5,16
 197:7,9,10,11 201:21
 203:17 275:8,10
 302:13 303:19,20
 326:20,21 345:11,17
 346:5 349:1,4 357:14
 358:17 361:5,17
 362:7,11 370:19

371:18 372:1,4
 391:14,16 412:19
 413:11,12 433:14,15
 434:6 435:15 436:5
 447:6,7 454:9
usable 37:15 453:20
use 8:14 16:17 23:20
 40:11 41:6 45:6 50:22
 60:20 77:14 87:5
 89:16 90:8 91:22
 92:19 95:12 97:21
 112:1 115:3 126:6
 127:2 128:1 132:14
 137:1 138:17 144:22
 145:1 157:21 162:15
 163:8 170:13,16
 175:1 188:15 194:5
 194:17 200:8,12
 201:22 215:9 251:17
 275:10,11 303:20,21
 325:18 346:5,11
 348:11 349:4,22
 352:3 353:3 358:16
 362:11 370:19 371:19
 372:13,18 374:11
 375:1 391:17 392:18
 413:12 415:17 426:18
 436:5 445:13 447:7
 453:10,11,15 455:15
 455:18 456:2,16,18
useable 200:21
useful 45:19 76:11
 232:11
user 84:7,7 92:13
users 64:2 70:15,18
 71:8 127:17 128:9,20
 346:10 406:16
uses 39:18 98:3 127:20
 222:7
usual 377:11
usually 132:10 149:4,4
 156:20 184:13,15
 215:9 268:22 430:5
Utah 1:14 11:16,17,20
utilities 61:7 120:18
utility 51:10 59:19,19
 60:6,20 64:13 106:15
 121:3
Utter 3:19 57:20 130:3
 130:5,8,22

V

VA 14:11 53:17 455:5
vacation 341:8
vague 153:10 155:4,5
valid 153:5 249:21
 261:17 265:2 421:8
validated 182:9 246:18

374:11
validation 53:16 122:3
 152:12
validity 26:2 34:9,9,14
 34:16,18 70:13 94:11
 105:10 123:8 143:6
 153:15 157:15 162:7
 169:1,1,5 175:9,16
 182:4,18 192:21
 193:3 236:10,17
 251:17 254:16 255:16
 255:17 256:7 259:12
 259:13 273:18,20
 274:1,3,4,5 302:14,16
 343:5,8,14,21 344:20
 348:17 389:15,16,22
 399:1 407:14 410:18
 411:4,10 431:21,22
 432:2,12,18 435:7
 438:2 446:7,11
valuable 90:6 257:5
 387:8 399:22
valuation 60:7
value 33:11 141:3,13
 144:2 248:4 294:4
 425:6 438:17 439:5
value-based 44:17
values 59:2,19 60:20,21
 268:2 270:20
valuing 60:1
variability 117:20
 162:15 281:16 426:22
variable 52:4 127:14
 222:22 236:15 419:18
 454:4,7
variables 419:3,11,15
variance 51:3 255:9
 267:15,15 425:19
variation 54:10 55:11
 64:16 66:8,15 72:15
 73:18 76:10 133:17
 140:8 159:19 160:3
 167:15 190:21 192:2
 207:15,21 229:10
 243:22 250:10 267:4
 297:11,12,13,15,19
 301:15 335:6 398:10
 408:4
variations 73:3 245:3
varies 56:2
variety 15:21 60:2 61:4
 368:17 369:22,22
various 70:15 75:5
 102:4 208:7 218:12
vary 55:22 66:12
vascular 182:11 214:4
 214:13
vein 55:14 95:15 98:13

98:14 99:2
veins 54:15 98:18,19
venous 132:21
ventilator 121:1
ventilator-associated
 89:14,18
verbally 266:3
verify 197:1
Vermont 178:16 326:14
verses 82:16
version 50:3 64:8
 117:14
versions 325:18
versus 81:7,17 83:4
 104:2 157:2 158:9
 161:7 236:9 251:14
 268:16 270:9 333:15
 340:15 342:1,2 354:5
 367:2 382:18,18
 424:12 434:19 441:17
 452:19
verus 443:6
vessels 129:14
Veterans 2:12 14:8
 406:1
Vice 2:3,5,6,18 12:12
 17:21 19:18 139:21
Victoria 2:13 13:20
 232:22 331:3 333:3
 342:8 376:9 380:15
 414:18,18 418:8
view 44:8 91:6 158:1
 292:18 400:12
viewed 369:20 439:7
vigilance 318:10
virtue 226:21 236:22
 237:7
visible 380:14
vision 358:3
VISN 2:11
visualize 412:1
visually 219:18
Vital 37:8
Vitamin 401:9
vocational 315:3
Vocational/Practical
 5:9
voltage 174:9
volume 43:18 48:11
 63:7,9 88:3 149:7
 159:1 296:17,21
 377:22
volumes 297:20
vote 31:17 124:9
 126:14 127:12 134:1
 135:9,10 138:1,8
 139:2,3,3 140:5
 142:10 149:3 159:7,9

162:3 164:13 165:3
 165:21 167:11 168:17
 168:18 170:7 179:3,6
 191:12,13 192:22
 194:17 195:14 197:13
 202:8 203:5 204:3,5
 204:12,20 205:6,22
 206:1 213:10,16
 219:16 221:6 222:17
 245:15 249:7,13,15
 250:7,15 265:15
 266:6,8,12,15 267:1
 273:9 274:14 275:6
 275:19,20 291:22
 299:11 300:1 302:10
 310:19 311:7,8,9
 324:5,8 334:4 335:3
 342:18 344:20 345:1
 353:17 357:2 363:1,4
 382:9 387:11,11,12
 388:15 389:19 390:3
 390:13 391:4,19
 402:13,19 403:1
 407:4 409:2 410:5,13
 411:7 412:14 413:11
 414:1 428:19 429:1
 429:12 430:15 431:14
 432:15 433:9 436:4
 443:10 444:22 445:22
 446:8,17,21 447:6
voted 13:3 224:2 452:9
votes 27:2,21 137:10
 140:14 148:3,14
 179:9 201:18 213:19
 266:3 301:7 311:7
 336:18 342:20 343:9
 357:5 362:10 387:16
 389:5,22 390:19
 391:1,17 407:6
 410:10 411:10,13
 412:15 413:13 428:22
 430:17 431:17 432:18
 433:10 436:6 443:21
 446:3,11,20 447:8
voting 22:17 25:15 28:1
 105:1,7 127:13
 136:11,19,21 139:6
 142:3 170:8 180:6
 195:2 197:4 201:20
 205:16,16 252:5
 334:14 335:11 342:22
 344:21 357:8 362:13
 362:22 384:7 387:20
 388:18 389:11 390:2
 390:21 391:20 392:10
 407:2
vulnerable 416:7

W

wait 149:8 178:9 212:9
 248:14 263:3 268:18
 429:18 446:16,16
waiting 266:11 305:9
walk 424:6
walking 124:13 422:9
 435:1
Wang 2:19 17:14,15
 284:7,7 305:15,18
 306:19
want 7:11,14,17 8:18
 10:16,17,18 13:6
 19:13 26:14 29:13,19
 45:7 52:11 63:18 80:8
 83:22 84:21 86:8
 92:22 93:10 95:13
 97:8 98:6,7 99:17,18
 99:19,20 101:4 105:1
 105:2,7 114:18 116:6
 119:10 121:11,12
 129:1,4 132:16 137:5
 141:8 147:2 150:9,10
 151:21 154:10 159:9
 172:7 178:19 183:9
 197:6,9 203:21 204:4
 205:9,12 210:9,14,18
 211:3 212:9 213:20
 216:8 218:20 220:13
 220:14,18 225:2
 241:18 242:15 244:15
 244:19 246:10 248:1
 248:13 249:16 252:4
 252:10 253:14 272:18
 274:2 279:16,22
 293:5,17 294:5 297:2
 300:5,7 301:5 305:3
 305:11 306:14,17,17
 308:18 326:11 328:10
 336:5 337:8 338:9
 343:20 345:17 350:10
 350:12 351:5 359:12
 363:9,21 364:2
 366:17 373:5 376:20
 381:21 384:22 393:1
 393:12 406:3,5 408:6
 409:5,22 420:12
 428:9 431:8 437:5
 438:15 439:2 441:4
 444:9 447:20 450:14
 451:11 452:8
wanted 7:19 87:20
 99:15 123:16 124:6
 124:21 131:21 135:13
 174:17 181:4 187:4
 190:4,17 199:5
 209:13 212:12 257:16
 260:3 311:7 321:3

322:3 323:19 324:6
 327:7 328:12,15
 333:4,11 342:9
 364:12 381:4 411:19
 423:7 442:22
wanting 272:12
wants 44:2 207:6 209:8
 243:1 245:22 408:10
Washington 1:9 3:1
 15:11,15 100:12
 178:18 332:14
wasn't 21:20 76:18
 94:14 124:11 165:10
 180:6 293:12 306:22
 394:21 421:3
watching 452:7
way 20:7 30:1 40:19
 44:13 47:16 49:1 58:5
 70:3,17 74:22 75:1
 97:4 104:4 107:12
 116:17 118:18 125:5
 125:22 132:7 138:15
 146:20 150:1 163:17
 163:19 178:19 199:1
 199:7 200:11 201:11
 205:15,17 216:1
 244:3 252:7 260:10
 265:2 272:19 279:18
 289:15 292:13 301:3
 308:5 325:21 326:3,8
 350:18,19 366:13
 369:6 370:16 385:10
 385:22 386:14 387:1
 387:2 394:21 412:7
 420:3 428:7 430:2
 437:12,20 449:12,15
 449:17 456:6
ways 94:8 267:19 325:1
 368:17 419:22 453:21
we'll 179:15,16 180:7
 181:2,7,8,15 186:18
 187:19 191:7 205:20
 205:21 213:9 228:21
 234:21 266:4 277:16
 279:17 300:1 301:4
 311:7 312:22 313:8
 324:7 345:11 349:10
 362:4,6,7 363:13
 391:19 392:18 394:3
 395:4,10,12 414:13
 429:17 431:14
we're 22:7 170:7 178:12
 180:14,19 184:22
 187:1,13 194:17
 199:7 201:20 204:15
 205:5 210:9 215:12
 217:20 224:11,13
 225:4,22 235:2,10

237:4 239:14 240:22
 241:12 244:3,21
 245:14 249:5 250:6
 253:1 264:14,20
 266:2 271:7 277:6,7
 278:18 288:13 291:6
 292:20 299:10 300:4
 300:16,20 310:15
 311:19,20 312:4
 313:3 314:13 315:7
 324:5 326:20 331:17
 332:9 333:1 336:7,14
 337:5 338:7 340:13
 342:11 346:15,18
 348:2,22 355:9
 356:22 361:22 363:9
 364:5 366:6,8 368:15
 373:1 376:8,16
 382:10 383:12,18
 384:7,7 387:10 390:9
 392:22 393:19 394:6
 394:6,8,10,14 395:9
 395:14,18 396:1,2
 401:3 409:9,19 414:8
 414:14 416:19 418:11
 419:14,17 420:1,4
 422:12 433:6 438:11
 439:14,15 442:12
 443:14 444:4,6 445:3
 445:5,10,16 446:17
 447:1 451:21 455:14
 455:16
we've 204:8 205:9,11
 218:18 224:5 236:14
 240:17,20 282:14
 283:8 291:4 301:7
 307:12,13 308:1,11
 312:16 315:12 319:3
 321:4 345:12 347:22
 349:1 357:15 362:5
 364:1,21 371:2 375:5
 375:14 387:13 394:3
 395:13 406:1 409:8
 422:5 430:1 435:3,6
 435:12 441:21 449:10
weak 100:8 256:22
Webb 2:20 18:9,9
webinar 266:2
WEDNESDAY 1:5
week 215:22 368:22
 369:5
weekly 264:1
weeks 219:3
weigh 84:4
weighing 43:16
weight 52:2 59:4,7 63:8
 65:11,14 66:2 69:16
 70:6 88:4 125:4

244:13 246:6 426:20
weighted 65:3,4,16,18
 69:13 80:16 103:21
 108:1,9 121:7 166:9
 167:3 377:22 378:3
weighting 33:12 58:4
 59:10 61:19 63:11,11
 63:12 69:1 70:4,13
 83:1 86:13 88:2
 103:22 104:4,9
 111:14 135:22 141:4
 141:14 144:4 377:19
weightings 69:22
weights 63:1,6,9 65:21
 66:11 70:3,18,21 71:1
 71:7 72:7,13 77:12
weird 311:4
welcome 4:1 8:3 9:17
 9:21 21:12 45:3
well-staffed 354:9
well-tested 456:12
WellPoint 2:19 17:16
went 115:8 163:13
 178:2 179:2 196:13
 307:17 312:9 393:22
 394:1 435:18 457:17
weren't 40:11 67:6
 151:14 158:2
Wexner 18:17
wheelchair 261:20,22
 406:16
wheelchairs 406:14,16
whilst 152:17
white 138:19 259:9
 404:7
whoa 354:15
wide 298:9
widely 288:9
win 25:11
wine 21:18 312:20,22
wise 136:8
wish 90:11 182:14
 374:9
wished 84:8
women 20:6 180:17
wonder 239:2 267:15
 287:22 333:12
wonderful 179:21
 311:12 455:3
wondering 80:3 103:14
 104:12,16 158:7
 163:13 184:10 188:9
 204:11 212:5 223:8
 287:16 297:22 379:18
 421:15
woods 62:17
word 88:15 135:1
 372:19

words 37:4 53:20
 217:20 218:1 272:15
 282:22 409:11
work 10:11 11:14,17
 12:7 13:10,12,14
 15:21 16:2,12 17:2
 18:8 24:8,17 28:12,14
 39:9 42:16 46:8 49:17
 64:13 66:16 67:5,21
 68:2 78:21 79:5 88:18
 88:21 91:3,11 96:5
 101:6 105:22 106:5
 109:2 110:14 125:3
 162:15 173:8 176:9
 237:16 246:11 252:6
 290:16 291:2,16,18
 295:15 315:21 317:9
 319:17 325:5 331:11
 339:18 341:8 355:14
 355:16 366:7,21,21
 368:18,19,22 369:7
 369:22 370:1,1
 405:22 407:14,17
 429:4 451:4,6 452:7
worked 45:14,20 50:8
 129:12 147:14 162:13
 316:13,17 320:10
 331:8 364:9 383:8
 452:6
workforce 38:22 39:2,8
 39:9,22 210:21
 217:20 218:4,6
 320:13 330:9
working 78:1 86:15
 91:16 92:4 101:7,18
 115:17 159:2 242:4,8
 242:17 244:10,12,21
 340:19,22 360:12
 366:3 368:20 382:18
 382:21 440:4
works 90:22 107:12
 311:12 313:11 384:4
worksheet 210:13
 211:4,6 320:22
 321:18 322:5 323:3
 338:22 350:21
workshop 337:22
world 88:13 89:10
 117:6 310:2 366:2
world-known 419:8
worried 20:10 333:7
worry 16:16 309:22
 354:12
worse 65:15 69:20 75:7
 121:3 296:19 354:2
 365:7 377:9
worsen 255:20
worsened 242:4

worsening 237:11
worst 407:22
worth 252:11 308:9
 333:11 409:1 448:21
worthy 44:19
wouldn't 79:12 113:22
 260:13 291:10 293:12
 307:7 308:4 404:18
wrapped 131:11 410:19
wrapping 25:7
write-up 284:22
Writing 100:10
wrong 31:4 37:4 103:11
 105:16 113:16 114:2
 160:22 455:10
wrote 157:16 344:12

X

Y

Yanling 3:1 15:8 103:4
 103:5 184:8 220:2,4
 238:19,19 245:13
 287:4,5 296:10 331:2
 332:12 339:12 382:10
yeah 204:9 329:3
 330:20 336:14 339:13
 340:21 344:5 346:4
 354:11 356:10
year 21:17 22:15 25:18
 25:18 42:1,12,12,20
 43:18 44:9,17,20,20
 45:4 66:12,12 67:2,12
 78:7 83:12,12 136:1
 166:16 179:3,9,10,10
 194:7,8 214:2,3 240:6
 240:8 244:2 275:12
 275:13 303:22 304:1
 309:16 397:8 406:8
 415:22 417:13 449:5
yearly 239:17
years 18:19 23:1,4 28:6
 53:15 55:1 61:11 87:4
 115:18,18 119:1
 123:2 152:19 191:6
 202:1 217:10 229:7
 240:5,7 254:13 309:9
 316:7 320:21 321:9
 324:16 333:6 341:22
 398:7 413:1,1,4
 435:21 441:22 455:14
 456:5
yellow 25:5
yes/no 41:3 234:16
 236:5 239:4
yeses 204:16
yesterday 7:6 80:13
York 3:18 20:1 326:14

young 155:6
younger 156:17
Yu 3:1 15:8,9 103:5,5
 142:2,5,11,13 184:9
 184:20 220:4,4
 238:21 245:16,20
 246:2 287:5,5,9,11
 332:13,19,22 339:13
 340:21 382:11

Z

zero 56:2 72:22 104:11
 104:14 106:15 140:19
 141:17 145:11 167:21
 168:21 169:15 170:11
 171:5 286:19 289:13
 289:22 290:9 296:15
 298:15 305:2,8
 334:19 335:14 343:4
 345:8,9 357:10,11
 388:10,21 390:6,7
 391:11,12 392:3
 403:7 406:3,4,5
 408:22,22
zeros 289:2
zone 27:6 141:21 142:8
 142:9 148:7

0

0 194:3 250:16 266:12
 273:17 274:16 276:8
 302:11 303:5,17,18
 304:11 402:21 407:9
 410:15,16 411:15,16
 412:18,18 413:15,16
 429:9 430:20 431:19
 433:13,13 436:8,8,17
 443:17 444:19 446:5
 446:13 447:4,11
0.011 63:13
0.08 71:18 267:18
0.1 176:5
0.16 166:17
0.2 176:6 289:11
0.21 63:12
0.232 63:4
0.3 96:16
0.4 166:14
0.44 241:14
0.47 241:13
0.5 96:15 154:20 160:8
 166:14
0.72 166:10
0.76 87:2
0.79 432:9
0.88 158:2
0.8833 157:14
0.94 254:17

0.95 269:12 273:1
0.98 65:4 432:5
0101 5:16
0138 31:20
0139 31:20
0141 5:14 396:3 414:9
 415:2 437:2
02 277:2 278:17 279:19
 281:3
0202 5:13 395:16 396:3
 414:9,15 415:2 448:1
0204 5:8 313:4 315:5
 328:4 331:18 332:10
 332:11 333:2
0205 5:11 313:4 315:5
 328:5 331:14,18
03 282:5
0337 5:6 277:2
0347 4:14 147:19
0352 4:17 171:22
 176:20 180:19 224:2
0353 4:20 180:22 206:3
 224:3
04 452:11 453:11
05 344:18
0531 4:12
0537 5:18
0538 5:2 224:14 394:19
0674 6:2 395:22 396:2,6
 401:12 448:1
0679 5:4 252:15
0688 398:20

1

1 173:11 174:3 176:22
 177:1 186:15 211:8
 213:15 250:12 251:18
 265:20 267:6 273:14
 274:13 275:1,18
 276:17 281:17 286:13
 288:22 289:9 299:18
 301:17 302:8 303:3
 303:12 304:5 311:4
 402:17 407:6 410:10
 411:10 412:16 413:13
 413:21 428:22 430:17
 431:17 432:18 433:11
 436:6,14 443:13,21
 446:3,11,20 447:8,16
 451:16
1(a) 191:16 334:6,8
 387:15
1(b) 191:22 335:5
 337:10
1,000 282:18 417:17,19
1,129 321:20
1.5 238:10
10 57:13 120:21 186:15

312:6 398:11
10.0 297:17
100 162:6 266:12
 268:14 285:12 305:1
 402:20 429:8 436:16
 443:16
1030 1:8
11 77:13 366:21 419:5
11:25 173:17
11:33 173:17
11:39 178:2
1110 178:16
12 62:15 366:21 368:18
12-hour 367:10 381:18
12:15 177:13,18 178:3
12:30 177:7,14,19
1200 110:3
13 144:19 165:14 192:7
 192:17,18 193:13
 273:17 274:16 303:4
 303:5 304:10 388:20
 392:16
130 96:9
14 321:17 322:9 336:6,7
 336:10 337:3,4
147 4:16
15 54:7 64:19,20 77:15
 86:14 96:8 113:7
 278:17 311:20 312:5
 312:7 316:7 321:9
 324:16 365:10 378:19
 379:4,17
15-minute 8:11
15th 1:8
16 166:15
16,120 100:12
160 221:21 222:1
17 1:6 55:20 142:21
 143:15 144:7 170:10
171 4:18
173 4:19
18 182:13 213:3,4 214:3
 214:18 384:4
181 4:22
189 290:2
1989 152:13
1999 183:14 361:10
1a 137:17 165:5 211:19
 213:12 265:17 299:14
 428:21 443:12
1b 44:3 140:7 167:14
 250:9 251:10 267:3
 301:14 407:6 430:17
 443:21
1B.2 289:9
1d 33:4 140:22 141:10
 141:19
1d1 141:1,11

1d2 33:8 141:2,12
1d3 33:12 141:3,13

2

2 9:4 166:3 183:19
 187:18 211:5,19
 213:16 250:13 251:18
 253:7 257:1 265:21
 267:7 268:16,20,22
 269:2 272:11,15,22
 273:15 274:13 275:1
 275:18 276:17 281:15
 286:19 287:12 290:14
 299:18 301:18 302:9
 303:3,12 304:5
 306:21 311:4 402:17
 407:7 410:10 411:10
 412:16 413:13,21
 428:22 430:18 431:17
 432:18 433:11 436:6
 436:14 443:13,22
 446:3,11,20 447:8,16
2(a) 192:10 389:4
2(a)(1) 192:11
2(a)(2) 192:12
2(b) 193:2 389:21
2(b)(1) 193:3
2(b)(2) 193:4
2(b)(3) 193:6
2(b)(4) 193:7
2(b)(5) 193:8
2(b)(6) 193:9
2(b)(7) 193:10
2,000 345:19 348:8
2.0 297:14
2.6 403:9
2.7 398:5
2:55 312:9
20 25:1 81:5 89:16
 166:20 186:15 403:7
 419:6
20-minute 31:2
200 42:19
2000 183:15,20
2001 11:21 361:12
2002 320:18 387:4
2003 314:18 320:18
 321:2 364:4 375:5
2004 12:21 320:19
 324:21 417:5
2005 44:13 183:20
2007 184:2
2008 282:19 283:6
2009 50:4 225:22 239:1
 281:20 282:19,20
 283:1,4,7 321:1 364:4
 399:6
2010 152:14 453:22

2011 240:5,9,14,18,19
 240:22 241:13
2012 71:14 239:2 240:5
 240:9 282:8 283:7
 364:4 413:6
2013 87:8 109:20 240:5
 240:15,16,18,21
 241:1,14 398:9
2014 186:6,21 187:11
 254:10 262:11 397:22
2015 1:6 183:18 435:22
20th 75:16
21 167:21 171:5 192:6
 274:15
22 4:6 301:6
224 5:3
23 30:18 345:5
24 203:6 213:18 264:1
 311:7 370:9,12,14
25 128:18 140:18
 141:16 145:10,11
 152:4 258:9 259:22
 373:13 388:9 390:5
 412:17
252 5:5
26 4:7 202:12,12
26.1 110:10
27-month 110:2
277 5:7
29 141:16 143:16 144:8
 169:14 194:21 213:17
 273:16 302:10 345:7
 407:8 413:15
2a 142:15 143:5 168:11
 273:11 302:5 410:9
 431:16 446:2
2a1 142:16 168:12
 273:12 302:6
2a2 33:18 142:17
 168:13 273:13 302:7
2b 143:6 169:4 273:20
 274:5 302:16 411:9
 432:17 446:10
2b1 143:6 169:5 274:6
 302:17
2b2 143:7 169:6 274:7
 302:18
2b3 143:9 169:8 274:8
 302:20
2b4 143:10 169:8 274:9
 302:20
2b5 143:11 169:9
 274:10 302:21
2b6 143:11 169:10,11
 274:10 302:22
2b7 143:12 274:11
 303:1
2d 34:21 143:21

2d1 144:1
2d2 144:3

3
3 193:20 238:9 250:13
 254:10 267:7 268:16
 268:22 269:2 272:11
 272:17,22 273:15
 274:13 275:1,18
 279:19 281:1 282:1,3
 286:15 288:22 301:18
 302:9 303:3,12 304:6
 309:17 407:7 410:10
 411:10 412:16 413:13
 430:18 431:17 432:18
 433:11 436:6 443:22
 446:3,11,20 447:8
3(a) 193:18
3(b) 193:19
3.0 253:12 397:1 413:8
3.13 289:12
3.2 398:4 403:8
3:08 312:10
30 4:7 25:18 65:13
 72:22 115:19 184:10
 184:12,16 185:2,3,7
 209:4 215:1,3,4,6,8
 223:13,16,19 268:1
 271:17 368:8 406:7
 430:19 431:19
30-day 4:20 180:22
 184:16 206:4,17
30-minute 8:12
30s 71:18
31 57:12
311 153:14
313 5:10 153:14
316,000 110:10
32 4:9 351:9
328 5:12
33 139:12 144:18 171:4
 338:11,21 389:8
34 57:9 187:10
35 81:8 182:2 187:14
 189:2 196:7 209:10
 218:10 410:14 444:18
 447:10
352 184:22 185:8
353 185:2,8
36 87:5 389:15
38 140:18,18 142:22
 167:20 168:20 267:8
 301:19 304:9 335:12
 357:10 362:14 388:20
39 346:8 432:20 446:13
396 6:4
3a 144:12 169:22
 274:19 303:8

3b 144:13 170:1 274:20
 303:9
3c 144:14 170:1 274:21
 303:10
3s 309:19

4
4 144:19 170:10 191:21
 194:10 195:1 198:19
 199:4 202:3,11 238:9
 250:13,18 253:7
 257:1 267:7,8,9
 268:16,22 269:2
 272:11,17 273:1,15
 274:13 275:1,18
 276:7,21 279:19
 281:1 282:1,4 286:15
 286:19 289:19 301:10
 301:18,20 302:9,11
 303:3,12 304:6
 309:17,18 311:15
 407:7,9 410:11
 411:11 412:16 413:13
 414:2 430:18 431:18
 431:19 432:19,20,21
 433:11 436:6 443:22
 446:4,5,12,13,20
 447:3,8,10,17
4(a) 194:6 198:12
 201:22
4(b) 194:9 198:10,16
 202:3
4.0 297:17
4:35 393:21
4:41 394:1
40 27:6,20 114:6 138:6
 188:20 189:2 301:22
 346:8 416:5
400 153:17
409 283:6
414 5:13
42 142:22 145:22
 167:21 194:2 205:1
 276:7 343:2 389:12
43 202:13 436:8 447:3
437 5:15
451 6:5
457 6:6
46 4:13 141:17 143:15
 171:4 303:17 334:17
 392:2,2
463 96:9
47 372:10
48 391:11 411:15
 433:12 446:4,5
48-hour 372:9
49 285:1
4a 145:1 170:16 275:10

303:20
4b 145:4 170:20 275:14
 304:2
4c 145:5 170:21 275:15
 304:3

5
5 212:13 289:5
5(a) 334:9
5,000 348:9
5.4 254:10
5:51 457:17
50 144:7,18 145:10
 165:11 194:1 203:10
 203:11 275:6,6 285:1
 301:19 304:10 334:18
 388:20
50/50 204:8
50th 243:7,11
52 391:11 411:14
 432:19 433:12
53 447:2
54 168:20 267:8 276:6
 303:16 343:3 362:15
55 27:9
57 436:7 444:17 446:12
58 145:22 192:6 194:22
 205:1 273:16 335:13
 389:13

6
6 57:10
6.1 254:9
6.6 398:12
6.7 254:14
6:00 312:16 393:3
6:30 178:16,21
60 27:2,6 62:7 142:8
 146:2,7 301:22
61 351:8 430:19 447:9
6100 110:4
63 139:8 143:18 169:14
 357:9
64 28:17
65 114:6 214:2 410:15
 416:6 431:18
66 26:19
67 139:12 192:18
 274:15 302:10 407:8
69 283:6

7
7 4:2,2 133:18
7.4 254:14
70 52:2 115:21 120:8,19
 139:9
70s 74:4
71 193:13 213:17 345:8

388:9 413:14
75 120:8 128:18 303:5
390:6 404:16 412:17
433:7
75th 243:3,12
76 240:20
79 170:9

8

8 2:11 4:3 143:16
168:20 169:15 192:7
192:19 193:14,14
194:2,22 251:19
301:18
8:30 1:9 7:2
80 240:17 433:7,19
454:1
80th 75:13
81 240:20
85 114:8 191:6
86 240:18
87 250:17 392:15
88 165:13

9

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Patient Safety Standing Committee

Before: NQF

Date: 06-17-15

Place: Washington, DC

was duly recorded and accurately transcribed under
my direction; further, that said transcript is a
true and accurate record of the proceedings.



Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com