

NATIONAL QUALITY FORUM
+ + + + +
PERINATAL AND REPRODUCTIVE HEALTHCARE
ENDORSEMENT MAINTENANCE STEERING COMMITTEE
+ + + + +
TUESDAY
NOVEMBER 29, 2011

+ + + + +
The Steering Committee met at the
National Quality Forum, Suite 900, 1030 15th
Street, NW, Washington, DC, at 8:00 a.m.,
Laura Riley and Carol Sakala, Co-Chairs,
presiding.

PRESENT:

LAURA RILEY, MD, Co-Chair
CAROL SAKALA, PhD, MSPH, Co-Chair
JOANNE ARMSTRONG, MD, MPH, Aetna
JENNIFER BAILIT, MetroHealth Medical Center
SCOTT BERNS, MD, MPH, FAAP, March of Dimes
JENNIFER BRANDENBURG, RN, MSN, Decatur

Memorial Hospital
SARAH BROWN, MSPH, National Campaign to
Prevent Teen and Unplanned Pregnancy
WILLIAM CALLAGHAN, MD, MPH, Centers for
Disease Control and Prevention
KATE CHENOK, MBA, Pacific Business Group on
Health

CHARLES DENK, PhD, New Jersey Department of
Health and Senior Services
ELIZABETH DRYE, MD, SM, Yale School of
Medicine
REBECCA GEE, MD, MPH, MS, Louisiana State
University School of Public Health
ANDREA GELZER, MD, MS, FACP, AmeriHealth Mercy

Family of Companies
CRAIG GILLIAM, BSMT, MT (ASCP), CIC, Arkansas
Children's Hospital

KIMBERLY GREGORY, MD, MPH, Cedars-Sinai
Medical Center
WILLIAM GROBMAN, MD, MBA, Society for
Maternal-Fetal Medicine
MAMBARAMBATH JALEEL, MD, University of Texas
Southwestern Medical Center
BARBARA KELLY, MD, A.F. Williams Family
Medicine Center
TERI KIEHN, MS, RNC, Intermountain Healthcare
MARYI SALGADY LESLIE, CNM, MSN, EdD(c), The
George Washington University
NANCY LOWE, CNM, PhD, FACNM, FAAN, University
of Colorado Denver
LEE PARTRIDGE, National Partnership for Women
and Families
JOCHEN PROFIT, MD, MPH, Baylor College of
Medicine
KATHLEEN RICE SIMPSON, PhD, RNC, FAAN,
St. John's Mercy Health Care
SHARON SUTHERLAND, MD, Cleveland Clinic
ROBERT WATSON, MD, MMM, CPE, Baylor Andrews
Women's Hospital
JANET YOUNG, MD, Carilion Health Systems

NQF STAFF:

HEIDI BOSSLEY, MSN, MBA
JANET CORRIGAN

SHEILA CRAWFORD
EUGENE CUNNINGHAM
ANN HAMMERSMITH, JD
LAURA MILLER
SUZANNE THEBERGE
REVA WINKLER, MD, MPH
DON WASHINGTON

ALSO PRESENT:

JOSEPH CARPENTER, MS, Vermont Oxford Network
(via telephone)
JEFFREY HORBAR, MD, Vermont Oxford Network
(via telephone)
ELLIOT MAIN, MD, California Department of

Public Health
JANET MURI, The Joint Commission
(via telephone)

TRUDY MURPHY, MD, Centers for Disease Control

PAUL NORDBERG, MS, Massachusetts General
Hospital

MAMATHA PANCHOLI, MS, Agency for Healthcare
Research and Quality (via telephone)

PATRICK ROMANO, MD, University of California
- Davis

JAY SCHURR, MD, Brigham & Women's Hospital
(via telephone)

CATHERINE SULLIVAN, California Department of
Public Health (via telephone)

C-O-N-T-E-N-T-S

Call to Order and Opening Remarks Reva Winkler, MD, MPH	21
Introductions and Disclosures of Interest Ann Hammersmith, JD	22, 192
Project Introductions and Overview of Evaluation Process Reva Winkler, MD, MPH	41
Questions and Comments	53
Consideration of Candidate Measures	
475: Measurement of hepatitis B vaccine administration to all newborns prior to hospital or birthing facility discharge (Centers for Disease Control) Teri Kiehn	43
582: Diabetes and pregnancy (Resolution Health) Barbara Kelly	75
473: Appropriate DVT prophylaxis in women undergoing cesarean delivery (Hospital Corporation of America) William Grobman	81
477: Under 1500-gram infant not delivered at appropriate level of care (California Maternal Quality Care Collaborative) Sharon Sutherland	110

474: Birth trauma - injury to neonate (AHRQ) Charles Denk	135
478: Nosocomial bloodstream infections in neonates (AHRQ) Craig Gilliam	152
483: Proportion of infants 22 to 29 weeks gestation screened for retinopathy of prematurity (Vermont Oxford Network) Andrea Gelzer	181
484: Proportion of infants 22 to 29 weeks gestation treated with surfactant who are treated within two hours of birth (Vermont Oxford Network) Mambarambath Jaleel	214
481: First temperature measured within one hour of admission to the NICU (Vermont Oxford Network) Jennifer Brandenburg	224
482: First NICU temperature less than 36 degrees C (Vermont Oxford Network) Jennifer Brandenburg	224
303: Late sepsis or meningitis in neonates, risk-adjusted (Vermont Oxford Network) Jochen Profit	265

304: Late sepsis or meningitis 300
 in very-low-birth-weight
 neonates, risk-adjusted
 (Vermont Oxford Network)

Jochen Profit

472: Prophylactic antibiotic 313
 received within one hour
 prior to surgical incision or
 at the time of delivery -
 cesarean section

(Massachusetts General
 Hospital/Partners)

Carol Sakala

1746: Intrapartum antibiotic 330
 prophylaxis for

Group B streptococcus (GBS)
 (Massachusetts General Hospital
 Kathleen Rice

479: Birth dose of hepatitis B 348
 vaccine and hepatitis
 immune globulin for newborns
 of mothers with chronic
 hepatitis B

(California Department of Public
 Health)

Rebecca Gee

502: Pregnancy test for female 354
 abdominal pain patients
 (American College of Emergency

Physicians)

Janet Young

NQF Member/Public Comment

404

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P-R-O-C-E-E-D-I-N-G-S

9:30 a.m.

DR. WINKLER: Good morning,
everyone.

I'm Reva Winkler. I am a Senior
Director, Performance Measures, here at the
National Quality Forum.

Welcome, all of you.

Joining us here today for this
meeting of the Steering Committee for
Perinatal Reproductive Health, our endorsement
maintenance project for 2011 -- apparently,
two of our colleagues are experiencing travel
delays, and so they will be joining us when
those are corrected and they arrive in town.

But just a couple of things to
orient you.

This call, as you notice, we are
on the phone. This is an open and public
call. Anyone out there could be calling in
and listening into your conversation.

This discussion is also being

1 recorded and will be transcribed. The
2 transcript will be posted on our website. So,
3 everything you say is on the record.

4 We will be giving folks on the
5 phone and anybody in the audience here an
6 opportunity for public comment at different
7 opportunities through the agenda as we go
8 forward.

9 So, I wanted you to be aware that
10 your audience might be a little bigger than
11 just in this room.

12 With that, I think it is time for
13 us to get to know each other. And so, I would
14 like to turn it over to Ann Hammersmith, who
15 is NQF's in-house counsel, and we will do
16 introductions and disclosures.

17 Ann?

18 MS. HAMMERSMITH: Good morning,
19 everyone.

20 If you recall, several months ago
21 you received a conflict-of-interest disclosure
22 form. What we would like to do now is go

1 around the table, have you introduce
2 yourselves, tell us who you are with, and
3 disclose anything that you believe is relevant
4 regarding your service on this Committee. We
5 do not expect you to recount your CV to us,
6 just things that are relevant.

7 We are particularly interested in
8 your oral disclosure of any consulting work
9 that may be relevant to the matters before
10 this Committee, any grants or contracts, and
11 any speaking engagements that are relevant to
12 the matters before this Committee.

13 I would like to remind you of two
14 things before we begin the disclosures. You
15 serve as an individual on this Committee.
16 Very often, Committee members will say, "I am
17 Suzie Smith and I am here representing the
18 American College of" whatever. You are not
19 here representing anybody but yourselves. You
20 sit as an expert. You don't represent the
21 interests of your employer or of someone who
22 may have nominated you for service on the

1 Committee.

2 The other thing that I would like
3 to remind you of also relates to something
4 that I often hear Committee members say, which
5 is, "I have no financial conflict of
6 interest." Certainly, a financial conflict of
7 interest is important and relevant, but for
8 the purposes of the kind of work we do in
9 these committees, it is possible to have an
10 apparent or real conflict of interest where no
11 money is involved whatsoever.

12 You may have served on a
13 committee. You may have done work with a
14 professional organization where you were
15 uncompensated, but you have a very direct
16 interest in it, perhaps to the point where
17 there might be some question about objectivity
18 even.

19 So, those kinds of things are also
20 relevant to your disclosure. So, it is not
21 just whether or not you have received money.

22 With that, I am going to start

1 with the Chairs, and if we could just go
2 around the table?

3 CO-CHAIR RILEY: Good morning,
4 everybody.

5 I am Laura Riley. I'm a high-risk
6 obstetrician at Mass General Hospital. That
7 is probably my major disclosure, is I am at
8 Massachusetts General Hospital, and there are
9 two measures put forward by that hospital.
10 The only thing I have to do with that is that
11 I am a doctor there. And so, I have to do
12 those measures.

13 I am also an active participant at
14 the American College of Obstetricians and
15 Gynecologists, but I don't have anything
16 particularly else.

17 MS. HAMMERSMITH: Thank you.

18 CO-CHAIR SAKALA: Good morning.

19 I am Carol Sakala. I am Director
20 of Programs at Childbirth Connection. We
21 prioritize performance measurement as a
22 strategy for maternity care quality

1 improvement and are involved in things such as
2 being active participants in NQF and working
3 with ACOG and offices on Capitol Hill for a
4 bill, partnering to improve maternity care
5 quality.

6 I would say, with respect to
7 disclosure, my interest is in bringing good
8 measures into the pipeline and having them be
9 used to improve quality. I don't know that
10 there are specific concerns.

11 Thanks.

12 MEMBER BAILIT: Hi. My name is
13 Jennifer Bailit. I am a maternal-fetal
14 medicine specialist at MetroHealth Medical
15 Center in Cleveland, associated with Case
16 Western University.

17 I think the only thing I have in
18 terms of disclosures is, along with Dr.
19 Grobman, I am co-PI for the APEC Study from
20 the Maternal-Fetal Network. This is a study
21 looking at developing new measures and
22 validating older, more established measures.

1 This has not yet been presented or published,
2 and none of the measures that we are
3 discussing for this study are being presented
4 at this meeting.

5 MEMBER GREGORY: Hi. I'm
6 Kimberley Gregory. I'm also a high-risk
7 specialist. I am at Cedars-Sinai Medical
8 Center and UCLA School of Medicine and School
9 of Public Health.

10 I have an AHRQ grant on maternal
11 quality indicators. I was a member of the AMA
12 PCPI, where they looked at perinatal measures.
13 I am also a member of the California Maternal
14 Care Quality Collaborative, which I believe
15 put forth the healthy newborn measure. And I
16 am a member of ACOG and have been involved in
17 a lot of their activities, as well as on the
18 Board of Directors of the Society of Maternal-
19 Fetal Medicine.

20 MEMBER PROFIT: Hi. I'm Jochen
21 Profit. I'm a neonatologist at Texas
22 Children's Hospital, Baylor College of

1 Medicine.

2 I have an NICHD grant to develop a
3 composite indicator of neonatal intensive care
4 quality.

5 I am a consultant for the Vermont
6 Oxford Network's most recent Quality
7 Improvement Collaborative, which looks at
8 improving value of quality of care, and
9 especially improvements of quality of care, so
10 whether quality of care results in value
11 savings or higher costs.

12 I am a member of the American
13 Academy of Pediatrics' Technical Advisory
14 Committee for Neonatal Quality Measures. And
15 let's see, well, the composite measure that I
16 am working on for NICHD includes several
17 measures from the California Perinatal Quality
18 Care Collaborative, which are largely
19 identical with those of the Vermont Oxford
20 Network, and there are several measures before
21 us that are part of that. Some of the work
22 has been published already.

1 MEMBER GILLIAM: I'm Craig
2 Gilliam. I'm an infection preventionist at
3 Arkansas Children's Hospital in Little Rock,
4 Arkansas.

5 As far as disclosures, I am on the
6 Speakers' Bureau for CareFusion and for
7 Johnson & Johnson Ethicon.

8 MEMBER CALLAGHAN: I'm Bill
9 Callaghan. I am an obstetrician and
10 gynecologist and preventative medicine
11 specialist working in the Division of
12 Reproductive Health at the CDC. I also serve
13 as CDC liaison to ACOG's Committee on
14 Obstetric Practice.

15 There is one measure here,
16 proposed measure, that was proposed by CDC.
17 I was not involved in the development that
18 measure. It was developed in another part of
19 CDC outside of my Division, outside of my
20 Center.

21 MEMBER GELZER: Hi. I'm Andrea
22 Gelzer, and I'm Corporate Chief Medical

1 Officer of the AmeriHealth Mercy Family of
2 Companies. We do Medicaid managed care. I am
3 an internist by training. I am a Fellow of
4 the American College of Physicians. I have
5 been a previous Board member of a chapter of
6 the March of Dimes, and I serve on their
7 Public Policy Council. And I have also
8 participated in several PCPI Committee measure
9 development activities, none related to this
10 group.

11 Thank you.

12 MEMBER JALEEL: Hi. My name is
13 Mambarambath Jaleel. You can call me Jaleel.
14 I am a neonatologist and I work at UT
15 Southwestern Medical Center. I am the Medical
16 Director for one of the neonatal intensive
17 care units at Parkland over there.

18 No disclosures.

19 MEMBER BERNS: Hi. Good morning.

20 I'm Scott Berns. I'm Senior Vice
21 President of Chapter Programs at the March of
22 Dimes National Office.

1 I am a pediatrician by training;
2 also, a pediatric emergency physician. I am
3 also on the voluntary faculty at Brown
4 University where I am a clinical professor.

5 As far as disclosures, I work at
6 the March of Dimes. We are in the midst of a
7 national prematurity campaign, and my
8 responsibility is to help our chapters,
9 basically, our state-based chapters around the
10 country implement programs to improve the
11 health of moms and babies, which includes
12 partnerships with hospitals to initiate
13 quality improvement programs, particularly to
14 help eliminate elective deliveries before 39
15 weeks.

16 And we are actually embarking this
17 quarter on selling a service package to
18 hospitals to help them implement those
19 initiatives. I wanted to make sure that I
20 disclosed that as well.

21 MEMBER KIEHN: I'm Teri Kiehn.
22 I'm from Intermountain Healthcare.

1 I am on the Board of Directors for
2 the March of Dimes in Utah. So, I also have
3 a significant interest in the initiatives they
4 are putting forth of the 39-week induction.
5 And other than that, I have no disclosures.

6 MEMBER BRANDENBURG: I'm Jenny
7 Brandenburg from Decatur Memorial Hospital in
8 Illinois. I am the Director of Women and
9 Children's Services.

10 The only thing I have to disclose
11 is I have done a number of speaking
12 engagements for the March of Dimes and A-1 as
13 well on eliminating the elective deliveries
14 less than 39 weeks. And I am the project lead
15 sponsor for -- we are part of the Big Five in
16 Illinois for eliminating the elective
17 deliveries less than 39 weeks.

18 MEMBER SUTHERLAND: I'm Sharon
19 Sutherland. I represent -- actually, I don't
20 represent the Cleveland Clinic. I am an
21 OB/GYN there, and I'm in the Quality
22 Improvement Office. I oversee maternity

1 quality at six community hospitals.

2 MEMBER KELLY: I'm Barbara Kelly.

3 I am a family physician at the University of
4 Colorado, Medical Director in a family
5 medicine residency program, and perform
6 maternity care.

7 I have no disclosures.

8 MEMBER LESLIE: Good morning
9 again.

10 I am Mary Leslie. I am a
11 Certified Nurse Midwife. I am currently on
12 the faculty at George Washington University
13 School of Nursing.

14 My participation with NQF, in the
15 past, I was on the Consumer Council in 2008,
16 during the last measure round.

17 And my only disclosure, I think,
18 is that I am formerly from Yale University and
19 was there during the adverse outcome index
20 work that they were doing, which is a little
21 relevant to today. And I don't think I have
22 any other disclosures.

1 MEMBER WATSON: Good morning.

2 My name is Rob Watson, and I am an
3 actively-practicing obstetrician/gynecologist.
4 I also am a physician executive for the Baylor
5 Healthcare System in Dallas-Fort Worth. My
6 role is primarily overseeing perinatal quality
7 for about eight or nine different hospitals.

8 And I don't believe I have any
9 disclosures.

10 MEMBER PARTRIDGE: Good Morning.

11 I'm Lee Partridge, Senior Health
12 Policy Advisor at the National Partnership for
13 Women and Families here in Washington, D.C.
14 And I am not a clinician. Instead, much of my
15 role is devoted to promoting the use of
16 measures once they are endorsed by the NQF
17 process.

18 I have been particularly working
19 recently in conjunction with the March of
20 Dimes and Childbirth Connection and several
21 others to promote the use of the elective
22 deliveries measure prior to 39 weeks. It

1 seems as though it is working.

2 MEMBER CHENOK: I'm Kate Chenok
3 from the Pacific Business Group on Health.

4 I have participated in other PCPI
5 and AAOS committees about orthopedic surgery
6 measures, but they are not related to this.
7 So, I have no disclosures related to this.

8 MEMBER DENK: Thanks. I'm Chuck
9 Denk. I am from the Department of Health and
10 Senior Services in New Jersey. I am also not
11 a clinician, never delivered a baby, never
12 even been visited by a drug rep.

13 (Laughter.)

14 What I am responsible for is
15 measurements about quality and community
16 health. I am responsible for report cards on
17 breastfeeding and cesarean delivery and
18 community needs assessment kind of analysis.
19 So, I am that kind of statistician, and I am
20 addicted to administrative datasets. Sorry.

21 (Laughter.)

22 And my background is in sociology.

1 Disclosures: I am on the
2 leadership of New Jersey's Perinatal
3 Collaborative and I speak at conferences there
4 for them all the time. But I represent the
5 State of New Jersey, and so nobody else.

6 And I also work a little bit with
7 the March of Dimes chapter in New Jersey and
8 have been involved in the 39-week initiative
9 and pushing it out to clinicians in that
10 State. And I work with other community
11 groups, too, as a speaker and sometimes
12 providing data.

13 Thank you.

14 MEMBER DRYE: Hi. My name is
15 Elizabeth Drye. I am a general pediatrician
16 by training, but I spend most of my time now
17 developing quality measures, but for the other
18 end of the age spectrum, mostly for Medicare
19 recipients, working at the Center for Outcomes
20 Research and Evaluation at Yale New Haven
21 Hospital and Yale Medical School.

22 And I am funded by the Center for

1 Medicare and Medicaid Services to do that
2 work, but none of those -- we have developed
3 many measures, all outcomes measures that have
4 come through NQF are in front of NQF right
5 now, but there is no overlap with the group we
6 are looking at today.

7 MEMBER YOUNG: Good morning.

8 I am Janet Young. I am an
9 emergency physician at Carilion Medical
10 Systems in Roanoke, Virginia. I am core
11 faculty at Virginia Tech Carilion Medical
12 School and also the Department of Emergency
13 Medicine Residency Program.

14 I have very few disclosures,
15 except that I am an international and national
16 speaker for gynecologic emergencies. I have
17 been funded to do that for the last several
18 years. I do not currently having any speaking
19 engagements, nor am I paid by anybody present,
20 March of Dimes or other.

21 Thank you.

22 MEMBER LOWE: Good morning.

1 My name is Nancy Lowe, and I am
2 professor and Chair of the Division of Women,
3 Children, and Family Health in the College of
4 Nursing at the University of Colorado.

5 In terms of disclosures, I serve
6 on the Board of Directors on the Nursing
7 Alliance for Quality Care. I also have an
8 ongoing consulting contract with A-1, which is
9 to serve as Editor and Chief of JOGNN, which
10 is the Journal of Obstetric, Gynecologic, and
11 Neonatal Nursing.

12 And I have been co-I on AHRQ-
13 funded projects to study training for
14 obstetrical emergencies in rural and critical-
15 access hospitals in the Pacific Northwest.

16 MEMBER SIMPSON: I'm Kathleen
17 Simpson. I'm a perinatal clinical specialist
18 in St. Louis, Missouri, at Mercy Hospital.

19 I have participated on the NQF
20 Committee that previously developed the
21 measures, some of which we will be looking at
22 again today.

1 I am the Chair of the National
2 Advisory Council for the March Dimes, which is
3 also promoting the 39-weeks, elimination of
4 elective birth before 39 weeks.

5 I am the Co-Chair of the A-1
6 Patient Safety Committee, and we have been
7 looking at patient safety measures.

8 And I am the PI of the Michigan
9 Keystone Obstetric Safety Project -- and I
10 just wanted to disclose that as well -- where
11 we have 77 hospitals in Michigan and we are
12 using some of these measures to evaluate care.

13 MEMBER ARMSTRONG: Good morning.

14 I am Joanne Armstrong. I am an
15 obstetrician. I am the Director of Women's
16 Health for Aetna, a large national health
17 insurance plan, and I am also in part-time
18 clinical practice at Baylor College of
19 Medicine.

20 Most of my work at Aetna is trying
21 to drive some of these quality efforts,
22 including 39 weeks, which was mentioned here

1 before.

2 I also work on the edges of Pay
3 for Performance activity, trying to take some
4 best practices and translate those into
5 contracts.

6 I don't have anything to disclose.

7 MEMBER GROBMAN: I'm Bill Grobman,
8 a perinatologist from Northwestern University.

9 In terms of disclosures, I am on
10 the Board of the Society for Maternal-Fetal
11 Medicine, I'm a member of ACOG, on the
12 Practice Bulletin Committee. And as Dr.
13 Bailit said, I am on a project with her
14 looking at development of quality indicators.

15 MS. HAMMERSMITH: Are there any
16 Committee members on the phone?

17 (No response.)

18 No? Okay.

19 Thank you for those disclosures.

20 Do any of you have anything you
21 would like to discuss with each other? Or do
22 you have any questions of me, based upon the

1 disclosures that have been made this morning?

2 (No response.)

3 Okay. Thank you.

4 DR. WINKLER: Thank you, Ann, very
5 much.

6 Well, that's an impressive group
7 of people. Thank you for taking time out of
8 your clearly very busy lives to come work with
9 us for these two days.

10 I am going to take the opportunity
11 now to just do a little bit of introduction.
12 I would like this to be informal. So, if you
13 have any questions, don't hesitate to jump in.

14 Once we are finished with that, it
15 is time to get to work, and we will start
16 looking at measures very shortly.

17 Could I have the next one?

18 Just a reminder that the purpose
19 of this entire project is to look at NQF's
20 portfolio of measures for perinatal and
21 reproductive healthcare. Some new measures
22 have been submitted. The majority, however,

1 are the 20 NQF-endorsed measures that are due
2 for maintenance review.

3 Most of those measures were
4 endorsed three years ago. A lot has changed
5 in the world in three years. And so, it is
6 important that we look at those measures
7 through all of the standard criteria that we
8 evaluate all measures. Lots of things change
9 in evidence. Lots of things change in
10 experience. As people gain experience with
11 use of these measures in the field, certainly
12 feedback with potential logistic problems,
13 unintended consequences, and all of those are
14 important areas for us to explore to be sure
15 that the measures do retain good, robust
16 utility out in the field.

17 Go ahead, next.

18 Just to recall, on our orientation
19 call we talked about how the work of NQF is
20 grounded in support of the Department of
21 Health and Human Services' National Quality
22 Strategy, and the AIMS Patient-Centeredness

1 Family Engagement I think is something that we
2 are all very familiar with in obstetrical
3 care. Quality care for patients of all ages,
4 which I think a lot of these measures address.
5 Elimination of disparities and alignment of
6 public and private sectors.

7 So, affordable care. We do have
8 some measures that address appropriate use.
9 Certainly, quality of care and various
10 processes for mother and baby. So, the work
11 that we are doing is very much supportive of
12 this national quality enterprise.

13 Our task today, essentially, is to
14 evaluate these submitted measures against
15 NQF's measure evaluation criteria. Over the
16 last few weeks, in preparation for this
17 meeting the Committee has had the opportunity
18 to become familiar with the criteria through
19 your orientation conference calls, the
20 tutorial conference calls on the measure
21 evaluation criteria, and then the four Work
22 Group calls where the preliminary reviews were

1 discussed. So, hopefully, everybody has had
2 the opportunity to become very familiar with
3 the evaluation criteria.

4 As a result of the Work Group
5 calls that were held several weeks ago,
6 measure developers have responded to some of
7 the discussion points and the questions that
8 you presented. As a result, we have had some
9 juggling of the submissions for the measures.
10 In fact, one measure has been withdrawn; two
11 pairs have been combined into single measures.
12 And so, it is really hard to keep the math up-
13 to-date on these. So, when somebody asks me
14 how many measures, it is a little hard.

15 So, just also, within NQF's
16 portfolio of measures, there were 10 endorsed
17 measures from prior efforts that were not
18 resubmitted by the developers for continued
19 endorsement. And so, just to be aware that
20 those measures will drop from our portfolio at
21 the end of this project.

22 So, go ahead. All right.

1 What we are going to do today to
2 evaluate the measures using our evaluation
3 criteria, we have collated all of the
4 preliminary reviews and discussion points by
5 the Workgroups. We have created slides. We
6 have shared those with you prior to the
7 meeting, but we will be projecting them up on
8 the screen.

9 Each of the measures has an
10 assigned lead discussant, and that lead
11 discussant will lead discussion of the measure
12 through the criteria. We will go in order
13 through the main criteria: importance first,
14 scientific acceptability, usability, and
15 feasibility. There have been, as I said,
16 responses and modifications.

17 And how we are going to do this
18 is, as we discuss each of the main criteria,
19 first, importance, all discussion points, and
20 then the Committee as a group will vote on
21 those criteria. We will talk in a minute
22 about how you are going to do those votes.

1 At the end of the assessment of
2 the four main criteria there will be a vote on
3 whether the measure meets criteria for
4 endorsement. All right? This is sort of the
5 first preliminary assessment for the measure.

6 Then, we do have a measure
7 tomorrow that is a composite measure that has
8 10 component measures. So, that will be a
9 somewhat different analysis as we look at the
10 components, though they are not presented for
11 individual endorsement, just the overall
12 composite.

13 Then, the last thing we will
14 address are related and competing measures,
15 those measures that are very similar,
16 identified by the Work Group, particularly
17 measures around hospital-acquired infections.
18 We will look at them side-by-side and try to
19 determine if all the measures meet the
20 criteria, whether some perhaps are better than
21 others. Are they all needed? Do they add,
22 having all of them or only some of them, add

1 value to the portfolio?

2 Once all of those discussions have
3 taken place, then your recommendations become
4 final. And we are hoping that, having gone
5 through the Workgroups and everybody having a
6 lot of good preliminary discussion and
7 evaluation of the measures, that the votes and
8 discussions we have today will pretty much get
9 us to the point that we have a good set of
10 final recommendations when you finish
11 tomorrow. So, that is our plan.

12 Does anybody have any questions
13 about what we are going to do?

14 (No response.)

15 Okay. We will walk you through
16 the first couple of ones, but it will sort of
17 get pretty obvious, once we get rolling.

18 Okay. In terms of voting, now
19 each of you were handed a little keypad.
20 Okay? And it is important that you keep your
21 keypad. It is assigned to you. They are
22 assigned numerically. All right? And we keep

1 a roster of them with the voting results
2 spreadsheet that we get out of this voting
3 tool. If we had to -- it would take some
4 tedious work -- we could go back and figure
5 out who voted what. Most of the time we don't
6 have any interest in doing that. But, if it
7 were needed, we could. So, it is important
8 that you maintain the keypad you were assigned
9 and not switch them are change them.

10 The keypad is automatically on.
11 We start off with a 60-second timer to cast
12 the vote. You press the number that
13 corresponds with the voting slide, which would
14 be on the smaller screen when we do it. Then,
15 once everybody has cast their votes, Gene will
16 conclude it and the results will come up on
17 the screen. Okay?

18 So, we are going to give it a
19 couple of tests.

20 Gene, do you have yours up there?

21 Okay.

22 So, your first voting exercise,

1 here's the question: did you have any
2 difficulties traveling to Washington, D.C.?

3 So, everybody, you can just press
4 it.

5 Gene, go ahead and get started.

6 Now go ahead and press.

7 (Whereupon, a test vote was
8 taken.)

9 I can't see how many have
10 responded. There should be 26 of you.

11 You don't need to press Send, just
12 as long as you pressed it. If there's any
13 question, you can press it again.

14 Okay, Gene, go ahead and close it
15 down. Close it. There you go. Okay?

16 So, this is what we will do for
17 each of the voting opportunities. Want to try
18 it again or do you feel comfortable you're
19 good with it? I think we're good with it.
20 Okay.

21 And there are 23 votes. There
22 should be 26. We will see if we can figure

1 that one out. Okay.

2 All right. Just a couple of
3 issues I wanted to raise to you. We have
4 talked probably around the edges of these in
5 some of these preliminary discussions. But,
6 again, just to emphasize, we do want to think
7 about disparities in terms of these measures.
8 Are the measures able to provide information
9 about disparities of care around that
10 particular topic or process of care? And how
11 has the measure been developed to address
12 disparities? It is an important priority for
13 NQF and HHS.

14 Also, we are in the several-year
15 conversion to ICD-10 codes. Everybody is
16 really excited about that. Some folks are
17 farther ahead of the game than others. We
18 have had submitted ICD-9 to ICD-10 conversion
19 codes. We are certainly going to be asking
20 the measure developers what their status is
21 because, hopefully, very shortly we will have
22 conversion codes from everyone.

1 The other issue is harmonization.
2 Harmonization is the concept of defining and
3 coding the same concept in the same way. I
4 think for those of you in the field the idea
5 of harmonization comes home most apparently
6 when you are trying to implement two measures
7 that define the same term slightly
8 differently, and it drives everybody crazy.

9 So, some of the biggest feedback
10 we get from the field is, if you are going to
11 give us more measures, make sure they are all
12 defined the same way. Harmonization is truly
13 a critical thing.

14 So, when you see elements of
15 harmonization issues or concerns, particularly
16 a lot of you have experience with the
17 measures, be sure to bring them up. They are
18 important discussion points as we go forward.

19 Any questions on those?

20 (No response.)

21 Okay. The last one, I think, is
22 just, as we discuss the measures, we have got

1 39 measures in our portfolio. Twenty of them
2 are under review. Ten are not being
3 resubmitted. We have given you a list of all
4 the measures in the portfolio. There are nine
5 measures that are not up for maintenance
6 review because they were endorsed within the
7 last, I think, 18 months. And so, it is a
8 little too soon. But we need to get a big
9 picture of the whole portfolio. We have
10 provided that list for you.

11 So, frequently, during discussion
12 you will say, "Gee, it would be really great
13 if we had a measure do this" or "This measure
14 is okay, but it would be great if it did
15 something else," or more or had some other
16 characteristic. So, we will be capturing
17 those suggestions and ideas into a set of
18 maybe the recommendations or wishlist, if you
19 will, of measures that we would like to see.

20 Also, we know that there are
21 measures in the development pipeline,
22 particularly the measures that some of you

1 have worked with with PCPI. When those
2 measures are available and fully developed and
3 tested, we will come up with an opportunity to
4 bring them into NQF for evaluation, for
5 endorsement.

6 So, with that, are there any
7 questions from anybody?

8 Okay, Lee, go ahead.

9 MEMBER PARTRIDGE: Excuse me. I
10 probably should have said this one slide back,
11 Reva, but how much weight do you want to
12 encourage us to put on whether or not a
13 measure is now, or could easily be, suitable
14 for electronic measurement?

15 I raise this because in some other
16 committees that I serve on there's a lot of
17 pressure to move toward e-measures.

18 DR. WINKLER: Yes. Under
19 feasibility, I think we have talked about it
20 in a lot of the Workgroups. The amenability
21 of the measure for use in electronic health
22 records, I think it is an important

1 feasibility subcriteria. It has come up in
2 discussion.

3 So, again, none of these are
4 absolutes. That is why we have got a group of
5 people, and you are all going to sort of offer
6 your opinions for a collective conclusion.
7 So, I think it is an important thing because
8 certainly there is a lot of movement and lot
9 of emphasis on use of electronic health
10 records, and we want measures that certainly
11 can be used there.

12 So, I think it is an important
13 criteria. Again, there's no one single
14 subcriteria that is going to sway the day for
15 any single measure, but I think it is
16 important for consideration, as members of the
17 Workgroup have brought up during the
18 preliminary discussions.

19 MEMBER DENK: Can I comment on
20 that, Reva?

21 DR. WINKLER: Yes.

22 MEMBER DENK: Do you mind?

1 I have the opportunity a couple of
2 summers ago to sit on a State working group
3 with the National Center for Health
4 Statistics' Task Force to sort of create
5 functional profiles and definitions for
6 transfer of data between electronic hospital-
7 based record systems and vital record systems.
8 And that time track is still a long way off.

9 The standards just to get things
10 into vital records, which is a thing of great
11 concern to me. To get a medical record
12 transcribed automatically and submitted to the
13 state as opposed to being done manually, we
14 are still probably three, four, five years
15 away from that. And after that comes the
16 public health profiles where data is
17 transferred from hospital-based medical
18 records to other public health uses which are
19 reportable events, and so on and so forth.

20 So, considering that this activity
21 happens every three to five years, is that the
22 usual thing for these maintenance --

1 DR. WINKLER: Every three years.

2 MEMBER DENK: Yes. So, it will
3 probably come up again as closer to being
4 reality at the next cycle.

5 DR. WINKLER: Maryi, I think you
6 had a question?

7 MEMBER LESLIE: Yes, I have a
8 question regarding the composite measures. In
9 the guide about evaluating composite measures,
10 it was both different and additional criteria,
11 but the form submitted by the developers is
12 basically the older form, which doesn't really
13 provide answers to those criteria.

14 Are we going to get that
15 information?

16 DR. WINKLER: We are going to talk
17 about evaluating the composite a little bit
18 more before we do that tomorrow. Okay?

19 MEMBER LESLIE: So, as somebody
20 who is preparing that, we don't have all the
21 information.

22 DR. WINKLER: Okay. We will check

1 that.

2 Okay. With all the preliminaries
3 out of the way, I am going to turn things over
4 to our Co-Chairs, Carol and Laura, and it is
5 time to get to work.

6 CO-CHAIR SAKALA: Great. So,
7 first up, we are going to look at 475, which
8 is administration of a hep B vaccine to
9 newborns before facility discharge.

10 And we will start with Teri.
11 Thank you.

12 For the beginning, we are going to
13 look at importance, questions of impact,
14 opportunity for improvement, and evidence.

15 Thanks.

16 MEMBER KIEHN: Do you want me to
17 read it outloud or how do you want me to --

18 CO-CHAIR SAKALA: Well, you could
19 do it in your own words, just cover those
20 areas, and then we will open it up for
21 comments that people may have, and then vote.
22 So, it is a fairly structured process to go

1 through all four criteria.

2 MEMBER KIEHN: All right.

3 Initially, I will just go over exactly what
4 this is. It is put forth by the CDC. It is
5 the percent of live newborns that received the
6 hepatitis B vaccine prior to discharge from
7 the birthing facility. The numerator is the
8 number that received the vaccine, and the
9 denominator is the number of live newborns
10 born at the birthing facility during the
11 calendar year.

12 There is a possibility of
13 exclusions, once the ICD-10s come in, if
14 parents choose not to give the newborn their
15 immunizations. It is a process measure.

16 Again, a bit of a summary: HBV
17 causes acute infection and chronic infections.
18 Women with high viral loads transmit 90
19 percent to their infants, and most of the
20 morbidity and mortality occurs among infants
21 who develop chronic infection. Approximately
22 90 percent will develop chronic and about 25

1 percent of these infants will have premature
2 death from complications of the chronic
3 infection.

4 As we looked at this as a
5 Workgroup, our importance to measure and
6 report, three of us felt it was a high impact,
7 two moderate. Felt the opportunity for
8 improvement, again, high, three; moderate,
9 two, and we all felt that it did meet
10 importance.

11 Some of the rationale for whether
12 it was high impact or a moderate, we were
13 wondering if the case incidence of hepatitis
14 B was decreasing, and we were wondering what
15 percent gets the entire series. We are really
16 measuring the initial impact.

17 Without vaccination and the
18 globulins, 6,000 to 9,000 of these infants
19 would become chronically infected HBV and
20 approximately 2550 would be expected to die of
21 chronic liver disease.

22 The primary goal of getting this

1 started at birth is to prevent the chronic HBV
2 infection when the risk is highest, at birth
3 through five years.

4 Do you want me to keep going here
5 or how do you want to go forward with this?

6 CO-CHAIR SAKALA: Are you finished
7 with your comments about importance to
8 measure?

9 MEMBER KIEHN: I am right now,
10 yes. I don't know how much you want me to go
11 through.

12 CO-CHAIR SAKALA: Sure.

13 MEMBER KIEHN: This is my first
14 time.

15 CO-CHAIR SAKALA: Well, I think
16 now we can open it up to see if any members of
17 the Steering Committee have comments on those
18 issues.

19 (No response.)

20 Okay.

21 DR. WINKLER: I guess the question
22 I would ask is, it seemed to me from the

1 Workgroup, the whole question of impact was
2 really, I think, the cornerstone of the
3 conversation. A relatively small number, the
4 incidence seems to be decreasing. So, the
5 opportunity to drive further improvement
6 seemed to be the discussion point.

7 CO-CHAIR SAKALA: And we have our
8 developers here for consultation. So, thank
9 you.

10 Trudy?

11 DR. MURPHY: Thank you for the
12 opportunity to speak.

13 There is actually an increasing
14 number of women who are hepatitis B surface
15 antigen positive, women in the U.S. This is
16 primarily from people who are immigrants and
17 refugees, many of whom may not be in the
18 system.

19 So, I don't think we can say,
20 overall, hepatitis B infections in the U.S.,
21 the acute infections, are decreasing. The
22 number of women delivering babies has actually

1 increased and is estimated now to be around
2 25,000 a year.

3 CO-CHAIR SAKALA: Okay. If there
4 are no other comments, I think we can all vote
5 on the question of importance.

6 DR. WINKLER: Let's wait until
7 Gene gets it up on the screen.

8 You are voting on just the
9 importance to measure and report criterion.

10 It is not a matter of concurring
11 with the subgroup. It is a matter of, having
12 heard all that input, how do you rate the
13 measure on that criterion?

14 CO-CHAIR SAKALA: So, 1 would be
15 saying that you agree that it meets the
16 criteria.

17 (Whereupon, a vote was taken.)

18 DR. WINKLER: The results are yes,
19 22; no, 2.

20 CO-CHAIR SAKALA: Okay. So, let's
21 move on to scientific acceptability, which is
22 reliability and validity.

1 MEMBER KIEHN: All right. The
2 number of newborn infants, again. The
3 reliability, it is available within pharmacy
4 records, vaccine/medication administration.
5 A lot of this is manual abstraction right now,
6 which is an issue.

7 Difficulty because there is no
8 ICD-9 code with a parental refusal. It is
9 common for parents to refuse a vaccine,
10 especially when the mother is not infected.
11 It is difficult to look at for public
12 reporting if there are differences in the
13 populations for refusal rates.

14 Our group felt high, 3; moderate,
15 2. And as far as the validity, high, 3;
16 moderate, 2.

17 Again, our concerns were there are
18 some disparities. It is difficult to tell if
19 the disparities are due to the populations or
20 if it is due to refusal rates from the
21 parents.

22 DR. MURPHY: Right. When we

1 submitted the proposal, we submitted it as an
2 overall measure that would not have included
3 refusals. We were asked by NQF to include
4 refusals in the measure.

5 Because, currently, there are not
6 data available in every hospital to account
7 for refusals, we proposed two ways of
8 reporting the measure. One, an overall
9 coverage, hepatitis B vaccine coverage at
10 birth, and the other, the measure that we
11 would hope would improve or increase over time
12 would be one that included refusals as that
13 information becomes available.

14 DR. WINKLER: Which one are you
15 intending to be endorsed?

16 DR. MURPHY: Well, we would hope
17 that both would be endorsed, but certainly the
18 overall would be the most critical to be
19 endorsed.

20 MEMBER GROBMAN: Could I just ask
21 about that, the overall being the most
22 critical? It does seem that if it is really

1 like the underlying quality that you are
2 trying to get at, that patient refusals of the
3 parent, that there is no way to overcome that
4 if we are honoring autonomy. And so, why is
5 that the more important one to endorse than
6 the patient refusal? In fact, it seems like
7 it would be key to have patient refusals in
8 there.

9 DR. MURPHY: Right, and your
10 question is really pertinent, and it depends
11 on the perspective. So, if it is from the
12 public health perspective of preventing
13 disease, the overall coverage would be the
14 most important. But if it is from the
15 perspective of autonomy of the parents and
16 making a decision for their child, then, of
17 course, the refusal would be the more
18 important.

19 MEMBER GROBMAN: So, I guess my
20 question would be -- and this might be the
21 lack of my understanding of sort of the design
22 of these measures -- but it seems like it is

1 a very different thing if it is sort of the
2 public health sense that we are trying to get,
3 which I totally see as really important,
4 versus a quality indicator for a hospital,
5 institution, or provider, which it seems to me
6 hard to hold them accountable if their
7 population -- let's say they take care of a
8 very particular population -- jointly and
9 fully refused to accept the vaccine. And it
10 would look like they were providing low-
11 quality care if we were using it as a quality
12 indicator, when, in fact, they weren't. In
13 fact, from some perspective, if you look at
14 quality as autonomy, they would be high-
15 quality care.

16 So, I guess I would advocate that
17 refusals be the key measure or included in the
18 key measure.

19 DR. MURPHY: Well, we are not
20 arguing that refusals should be excluded. We
21 are saying that that is an alternative or,
22 let's say, an ancillary measure that could be

1 added as the information becomes available.

2 But I guess from a public health
3 perspective, we might say that the quality
4 measure really is in helping parents to
5 understand what the implications are of not
6 giving a vaccine and making sure that all
7 infants receive the vaccine before discharge
8 from the hospital. So, that really is the
9 quality: did the infant get protected before
10 leaving the hospital?

11 MEMBER GELZER: This is an
12 Advisory Committee on immunization practices
13 recommendation, is it not?

14 DR. MURPHY: It is.

15 MEMBER GELZER: It is? And so, I
16 would not think that the measure -- the
17 measure isn't valid if you don't include the
18 refusals, and folks will be measured side-by-
19 side. So, I would advocate strongly, also,
20 that the refusals be included in the
21 denominator.

22 DR. MURPHY: Right, and it was

1 simply a practical issue because not all
2 facilities, in our feasibility study not all
3 facilities had the information available to
4 put in the refusals. So, we felt, as a
5 beginning, the overall coverage plus refusals
6 when the information is available. The
7 refusals make the numbers look better, the
8 coverage rates look better. So, it would be
9 in the interest of the hospital to develop
10 methods for being able to report refusals.

11 So, we are not against doing that,
12 but it is simply that not all hospitals have
13 the capability of doing that at this time.
14 They do have the capability of doing the
15 overall measure.

16 CO-CHAIR SAKALA: Yes?

17 MEMBER DRYE: So, I assume this
18 isn't the first time this has come up in an
19 immunization rate measure. I am wondering if
20 there are examples of NQF-endorsed measures of
21 how refusals have been handled.

22 DR. WINKLER: You're right.

1 Refusals are always an issue because that is
2 just a difficult data element and it is
3 challenging.

4 I don't think there is a standard
5 yet, though I think around the criteria of,
6 and so the priority of partnering with the
7 patients and the patient/parent engagement,
8 you certainly need to balance those with
9 perhaps the public health issue.

10 So, there isn't a standard way of
11 doing it. I think at this point you all can
12 see what you think is the most important at
13 this point in time. Yes, there really isn't
14 a standard.

15 CO-CHAIR SAKALA: Jennifer? Yes,
16 Rob?

17 MEMBER WATSON: Yes. I assume
18 that there are probably regional differences
19 in the refusal rate, but do we have any kind
20 of an idea about a national? Are we talking
21 a 0.5 percent or a 20 percent refusal rate?
22 Does anybody have any idea?

1 DR. MURPHY: For hepatitis B, I do
2 not have any information, but overall it is
3 less than 3 percent. There are parts of the
4 country, pockets of the country, where it is
5 considerably higher than the 10 percent, maybe
6 even 12 percent range. But, overall, it is
7 quite low, less than 3 percent.

8 CO-CHAIR SAKALA: Jennifer?

9 MEMBER BAILIT: I just have a
10 practical question. I am not so familiar with
11 ICD-10. Does anybody know if there is a
12 refusal code in ICD-10.

13 DR. MURPHY: There are several.
14 There are several, but maybe not as specific
15 as we might like. But at least the direction
16 is to include those codes.

17 MEMBER BAILIT: So, the
18 possibility exists that, when ICD-10 is
19 implemented, the refusal issue goes away
20 because you won't have to handpick through
21 that data, that it should be available?

22 DR. MURPHY: Well, it will be

1 interesting to see how that plays out, but
2 certainly that is the potential.

3 MEMBER BAILIT: Can you comment
4 about the ICD-9 coding for refusal? You said
5 it wasn't specific. What neighborhood of not
6 specific is it?

7 DR. MURPHY: No, I am not familiar
8 that ICD-9 coding has any coding for refusal.

9 MEMBER BAILIT: ICD-10?

10 DR. MURPHY: For 10, yes, it is in
11 your information, the codes that are for
12 refusal, and I can look for it.

13 In 2A(1)(a)(8), under the
14 denominator exclusion, "not carried out
15 because of immune-compromised, not carried out
16 because of patient allergy, patient decision
17 for reasons of belief or group pressure, not
18 carried out because of patient decision for
19 unspecified reason, not carried out because of
20 patient refusal, patient decision for other
21 reason, not carried out because of caregiver
22 refusal." Those are the current codes in 10.

1 CO-CHAIR SAKALA: So, Lee had a
2 question.

3 I need to encourage us to wrap
4 this up because we have five more minutes and
5 two more topic areas to deal with.

6 MEMBER PARTRIDGE: I just wanted
7 to volunteer the information that I have
8 served for a number of years on the American
9 Academy of Pediatrics' Medical Home Advisory
10 Panel. In the course of the most recent
11 discussions of our face-to-face meeting this
12 year, the subject of parent refusals on
13 vaccines came up repeatedly among the
14 pediatricians. And they recognize that it is
15 pushing their quality scores in the wrong
16 direction, but they also recognize that they
17 feel they have a role in educating the
18 parents.

19 Now it is a little harder in the
20 discharge situation, but certainly the doctors
21 that I have spent a lot of time with take this
22 very seriously as part of their job.

1 CO-CHAIR SAKALA: Yes, Nancy,
2 quickly, and then maybe we will need to do the
3 vote.

4 MEMBER LOWE: It was just a
5 question. Is it appropriate for us to vote on
6 whether it is, the patient refusal is in the
7 denominator or not?

8 DR. WINKLER: If you read the
9 specifications that are presented, it includes
10 those exclusions. Now we have certainly seen
11 measures where not everybody could implement
12 them today because of data sources or
13 availability or all sorts of logistical
14 reasons.

15 However, that does not preclude
16 you from recommending the measure. Certainly,
17 as measures gain use and adoption, they figure
18 out how to collect the data.

19 So, I think that in this
20 particular question, you have got denominator
21 exclusions optional. Now optional causes a
22 bit of a problem when you are trying to

1 standardize things, and some choose to select
2 the option versus not select the option.

3 So, I think that in this
4 particular case, you may choose to leave it
5 open-ended like that. However, you may choose
6 to recommend the measure only by including the
7 exclusions. That is within your
8 decisionmaking.

9 MEMBER LOWE: Yes, could I make a
10 motion then, that we approve the denominator
11 with the exclusion in it of refusal? So that
12 the measure becomes, the denominator becomes
13 with the exclusions?

14 CO-CHAIR SAKALA: A second for
15 that?

16 MEMBER GROBMAN: I would second.

17 MEMBER DRYE: Can I just clarify?
18 Do you mean exclusively? I am sorry to use
19 that word, but basically the measure would
20 only be approved for use with the exclusions?
21 So, we are disapproving the overall --

22 CO-CHAIR SAKALA: Chuck's previous

1 point that we have a system in transition, so
2 this is for now, is also well-taken.

3 So, do we have the capability to
4 jump into a vote for that?

5 DR. WINKLER: Well, remember, what
6 you are doing is voting on whether the
7 measure, as specified -- and you have
8 specified it to remove the option to include
9 the exclusion. So, you are voting on that.
10 Does that meet the criteria of scientific
11 acceptability to measure properties?

12 MEMBER DRYE: Sorry, can I clarify
13 further? This, to me, feels like a vote -- I
14 just want to be clear -- that would be a vote
15 against reporting the measure without the
16 exclusion. Can that be separate from a final
17 vote?

18 DR. WINKLER: We can do both.

19 MEMBER DRYE: Yes.

20 DR. WINKLER: Sure. Okay. It
21 sounds like you would like to take two votes.

22 MEMBER DRYE: I think it is two

1 separate questions. One, do we want to -- we
2 are treating it as two different measures,
3 basically, yes. Right?

4 DR. WINKLER: Okay, sure. You can
5 do that.

6 MEMBER PROFIT: Just to clarify,
7 Reva, there's no more option for a time-
8 limited endorsement.

9 DR. WINKLER: Right.

10 MEMBER PROFIT: Because this would
11 seem like a great measure to just get a little
12 more information on --

13 DR. WINKLER: Okay.

14 MEMBER PROFIT: -- for a couple of
15 years.

16 DR. WINKLER: A couple of things.
17 I know there is no more opportunity for time-
18 limited endorsement. This measure was granted
19 time-limited endorsement three years ago, and
20 the new information is what you have in front
21 of you.

22 So, then, I think what we will

1 need to do is take two votes on scientific
2 acceptability. The first one will be as
3 presented in this document where it is
4 optional. All right? So, an overall rate
5 might include refusals or not. Is that clear?

6 Is everybody ready to vote on
7 that?

8 All right, Gene, go ahead.

9 (Whereupon, a vote was taken.)

10 So, 11 yes and 13 no on scientific
11 acceptability for the measure as written.

12 Now you want to revote for the
13 measure where the optional exclusions part is
14 eliminated and it is with the exclusions for
15 parental refusal, is that correct?

16 MEMBER PROFIT: So, I think this
17 really becomes very complicated because the
18 decision we make right now has consequences on
19 all the other measure criteria, like
20 feasibility and usability. So, we would have
21 to vote on all of these twice. I am a little
22 worried that it is going to get really

1 confusing.

2 DR. WINKLER: All right.

3 MEMBER PROFIT: Because an overall
4 measure will be a lot more feasible than a
5 measure where everybody has go dig into ICD-10
6 codes.

7 So, I would just caution that I
8 feel like this is getting confusing.

9 DR. WINKLER: If the vote you have
10 just taken is the one you want to stick with
11 for this measure, we are finished with this
12 measure because it did not pass scientific
13 acceptability. If that's what you would like,
14 that's fine.

15 MEMBER GELZER: I think we should
16 vote, at least take the next vote.

17 CO-CHAIR SAKALA: Okay.

18 DR. WINKLER: This is a vote on
19 the scientific acceptability of the measure
20 where the exclusions for patient/parent
21 refusal are not optional, but are part of the
22 measure specifications. Correct?

1 All right, Gene has got to get us
2 up there.

3 MEMBER PROFIT: Could you comment
4 on the reliability of extracting the refusal
5 codes from the chart?

6 DR. MURPHY: Again, the
7 reliability was determined -- well, again, it
8 is a little bit confusing the way the
9 reliability versus validity was interpreted in
10 the instructions when the feasibility study
11 was done. So, validity was determined by
12 chart review and estimates from chart review.

13 So, without the ICD-10 codes, it
14 would depend on each hospital's system for
15 determining that information.

16 In a given institution that is not
17 using ICD-10 codes, using the same system, it
18 should be very reliable from one year to the
19 next. However, across institutions, it would
20 not be as reliable without using ICD-10 codes.

21 MEMBER PROFIT: And are there any
22 studies looking at what the chart review as

1 compared to then going back to the parents
2 maybe and checking with them whether they
3 truly refused or not?

4 DR. MURPHY: No. No, they're not.
5 No, the chart reviews did look to see whether
6 the parents had refused. So, from that
7 standpoint, there was some reliability
8 estimate.

9 CO-CHAIR SAKALA: Okay. So, can
10 we have a vote now on keeping parental
11 refusals in the denominator?

12 (Technical difficulties with
13 attempting to take the vote.)

14 MEMBER BERNES: So, Reva, for the
15 sake of time, Dr. Profit alluded to this;
16 actually, he was specific about it. This is
17 sort of moot, isn't it? Because you already
18 said we can't move forward with the measure is
19 written. So, even if we vote yes here, I
20 mean, I already had feasibility questions
21 without the exclusions in the denominator.
22 So, I don't understand where we are going to

1 be going with this.

2 DR. WINKLER: Well, essentially,
3 what you are doing is giving a conditional
4 recommendation. You believe it meets the
5 scientific acceptability criteria conditional
6 on the exclusions being non-optional. So, I
7 mean, since they are in there, you are not
8 really changing anything. What you are doing
9 is taking just the optional out and saying
10 that your opinion is the measure needs to
11 maintain those exclusions.

12 MEMBER BERNS: Again, I'm new at
13 this as well. But that is the usability and
14 feasibility assessment, so --

15 CO-CHAIR SAKALA: So, we will come
16 to those quickly.

17 MEMBER BERNS: Okay. Thank you.

18 CO-CHAIR SAKALA: Okay. Are we
19 ready, Gene, it looks like?

20 DR. WINKLER: Okay. Let's do it
21 by hand.

22 How many for the measure that is

1 take the option out; the exclusions are a
2 mandatory part of the measure? Who feels it
3 meets the criteria of scientific
4 acceptability?

5 (Whereupon, a vote was taken by
6 hand.)

7 Twenty-two.

8 CO-CHAIR SAKALA: Do you want no
9 for the record?

10 DR. WINKLER: Yes.

11 CO-CHAIR SAKALA: Yes. Noes for
12 the record?

13 (Whereupon, a vote was taken by
14 hand.)

15 DR. WINKLER: One, two, three.

16 CO-CHAIR SAKALA: Okay. Thank
17 you.

18 So, now we will move on to
19 usability, which is internal quality
20 improvement and external public reporting.

21 MEMBER KIEHN: All right.

22 Currently, it is not being used in public

1 reporting, although the National Immunization
2 Survey does currently produce hepatitis B
3 birth dose rates. And again, our concern was
4 how we capture and report patients who refuse
5 with contraindications and, as this last
6 discussion brought up, there is no way right
7 now to capture it accurately, validly.

8 Is it even useful without it?

9 There is a big question that we brought up in
10 our group.

11 Again, the suitability, we felt it
12 seems to be a largely-solved problem, although
13 you mentioned that, especially in the
14 minorities. While it meets criteria, we had
15 questions regarding the implementation and
16 hospital capacity to report the measure.

17 ICD-10s, again, was our big issue right now
18 until ICD-10s come up. And they are wondering
19 if the value had decreased over time as we are
20 moving forward.

21 CO-CHAIR SAKALA: Comments on
22 usability?

1 Jennifer?

2 MEMBER BAILIT: So, when we do
3 these kinds of things at our hospital, you
4 look at the patients who didn't get it, which
5 already whittles down the number greatly, and
6 you do it by hand. We are talking about
7 handfuls except in the largest hospital
8 systems or in these pockets.

9 So, I think nationally this is
10 doable. It is a little more work-intensive
11 than if ICD-10 is implemented, but I still
12 think that this is feasible, at least in the
13 interim time until ICD-10 comes along.

14 CO-CHAIR SAKALA: Anything else on
15 usability?

16 (No response.)

17 Okay. Can we take a vote then?

18 MEMBER BERNS: I'm sorry, just
19 clarification: are we voting on the measure
20 as written now or with the --

21 CO-CHAIR SAKALA: Yes, excuse me,
22 the developer wants to make a comment.

1 DR. MURPHY: Yes, in terms of
2 usability, currently, the National Perinatal
3 Hepatitis B Coordinators, once every five
4 years, review the charts in 90 percent of the
5 hospitals that birth or deliver 90 percent of
6 infants. The resources to continue doing that
7 will not be continuing. They simply will not.

8 And this quality measure would be
9 a way for hospitals to look at their own
10 outcomes as well as help the coordinators
11 identify hospitals or birthing centers that do
12 need some help with how they can facilitate
13 getting the birth dose.

14 So, I think there is a great value
15 to public reporting of this measure. I would
16 strongly urge your support for it.

17 CO-CHAIR SAKALA: Okay. Let's
18 vote, then, on usability.

19 DR. WINKLER: This, the rating
20 scale is high, moderate, low; 1, 2, 3, 4,
21 where 4 is insufficient information.

22 MEMBER BERNS: I apologize, Reva.

1 I just need clarification. Are we voting on
2 the measure as written or with this amendment
3 that we -- I mean, what are we voting on here?

4 DR. WINKLER: I think you will
5 have to be voting on the one that you, the
6 conditional one that was approved.

7 (Whereupon, a vote was taken.)

8 Okay. It is high, 4; moderate,
9 14; low, 6.

10 CO-CHAIR SAKALA: So, now,
11 finally, we have feasibility, which would be
12 things like errors, unintended consequences,
13 and burden to report.

14 Teri, any comments?

15 MEMBER KIEHN: From someone who
16 actually pays for the person to pull it out,
17 I am really concerned with, now the way we
18 have got it written, with the exclusions, it
19 is going to be very costly for someone to pull
20 out, go through all the charts to find the
21 family refusal piece. That is a big concern
22 with the feasibility for me.

1 CO-CHAIR SAKALA: Other comments?

2 MEMBER PROFIT: Well, I think I
3 would like to second that. I think, as the
4 day progresses -- and maybe this is because
5 this was the very first measure that we chose.
6 And so, I wonder whether there is like a
7 harsher, there is going to be maybe like a
8 harsher cutoff for this than maybe for others.

9 But I think we have to be careful
10 about entering a Faustian bargain about every
11 single possible thing that would make a
12 measure as valid as could possibly be, and
13 then trading that off for feasibility.

14 CO-CHAIR SAKALA: Trudy?

15 DR. MURPHY: Yes, on the
16 feasibility study, for those that did look at
17 exclusions, the cost was actually relatively
18 low for those who had the information, even if
19 it was on the paper forms.

20 I think the advantage to
21 eventually going to electronic systems and
22 having this measure in place would be that it

1 can be programmed into the electronic medical
2 records as people go forward. The highest
3 cost was for the programming initially. So,
4 people who had paper systems were usually the
5 smaller facilities and the cost was relatively
6 low.

7 CO-CHAIR SAKALA: Other comments?

8 (No response.)

9 Okay. Let's take a vote, please,
10 on feasibility.

11 And again, it is going to be the
12 scale from high to insufficient.

13 (Whereupon, a vote was taken.)

14 DR. WINKLER: Three high; 19
15 moderate; 3 low.

16 CO-CHAIR SAKALA: Okay. Now there
17 is one more vote for this measure, and then we
18 will be moving on. And that is, overall, is
19 it your view that this measure meets the
20 clearly-demarcated NQF criteria for
21 endorsement? As amended.

22 (Whereupon, a vote was taken.)

1 DR. WINKLER: Everybody push the
2 number again.

3 When we tested them, it worked. I
4 don't know.

5 Twenty-two yes; 3 no.

6 CO-CHAIR SAKALA: Okay. So, we
7 will be recommending that this measure be
8 endorsed.

9 DR. MURPHY: Thank you very much.

10 CO-CHAIR SAKALA: Yes.

11 So, we are going to move on to
12 582, which is avoidance of oral hypoglycemic
13 agents with diabetes and pregnancy.

14 Do we have a developer here who
15 wants can join us on this or on the phone?
16 Okay, great.

17 And this is Barbara Kelly.

18 So, we will begin with importance
19 to measure.

20 MEMBER KELLY: So, our group
21 discussed this at length. This measure
22 initially is identifying pregnant women with

1 diabetes, not gestational diabetes but pre-
2 existing diabetes, who are not taking oral
3 hypoglycemic agent. The denominator was
4 pregnant with a diagnosis of non-gestational
5 diabetes, and the numerator was those not
6 taking hypoglycemic agent.

7 And we discussed a few things.

8 One was the performance gap did not seem to be
9 huge. The numbers we got were between 81 and
10 100 percent in terms of the reported measures
11 to us.

12 The other issue raised that it was
13 not taken an oral hypoglycemic agent, but it
14 wasn't that they were on insulin or that they
15 were well-controlled. So, this is a process
16 measure and not a clinical outcome measure.

17 And the biggest debate within our
18 Workgroup was that there is increasing use of
19 oral hypoglycemics for these women, including
20 metformin and glyburide, and that this measure
21 may not meet the importance criteria.

22 I have to admit that my vote

1 initially was the one that said, yes, for
2 meets importance. And after the conversation
3 in the Workgroup, I moved my vote to no.

4 So, our Workgroup actually did not
5 take this measure any further. We voted that
6 it did not meet the importance criteria.

7 Now, since that time, I think the
8 measure has been revised to take metformin and
9 glyburide off the list of banned agents.
10 However, I think this measure needs more work.
11 In our opinion, it did not meet the importance
12 criteria. So, we actually did not go further
13 to discuss any of the rest.

14 So, I guess I will leave it open
15 for discussion or to answer questions.

16 CO-CHAIR SAKALA: Can we have
17 comments from the measure developer about
18 interim proposals?

19 PARTICIPANT: Sure. Yes. We
20 updated the guideline to the most recent
21 (phone technical difficulties) knowledge in
22 2011, which does reiterate some of the

1 concerns that were said on the Workgroup call,
2 that although (phone technical difficulties)
3 would prefer a treatment approach, metformin
4 and glyburide have been shown to be effective
5 alternatives and without adverse effects.

6 So, essentially, we took glyburide
7 and metformin out of the numerator. We
8 basically said, if a woman is on those
9 medications, it's okay. But, beyond that, we
10 thought that it was, I guess, representative
11 or in line with the guidelines.

12 Those were the changes that we
13 made, and we wanted to hear what your feedback
14 was.

15 CO-CHAIR SAKALA: Do you have any
16 data on what happens when you make that
17 change?

18 PARTICIPANT: No, we do not. Do
19 you mean like writing the percentages and
20 finding out what the compliance is?

21 CO-CHAIR SAKALA: Yes.

22 PARTICIPANT: Taking those off the

1 table?

2 CO-CHAIR SAKALA: Yes.

3 PARTICIPANT: No.

4 MEMBER ARMSTRONG: So, it sounds
5 like that measure, then, hasn't been tested
6 before. This new measure that you have come
7 up with has not actually been tested?

8 PARTICIPANT: Not run against a
9 (phone technical difficulties).

10 MEMBER ARMSTRONG: Okay. Thank
11 you.

12 CO-CHAIR SAKALA: Okay. Any other
13 comments?

14 DR. WINKLER: I would just like to
15 go back to Barbara's comment on the 1B
16 criteria, which is opportunity for
17 improvement. And that was another issue and
18 is an important subcriteria.

19 Any discussion from the group?

20 CO-CHAIR SAKALA: Okay. Nancy?

21 MEMBER LOWE: Yes, only that, as I
22 reviewed the materials, there was insufficient

1 data to even evaluate whether or not there was
2 a performance gap.

3 CO-CHAIR SAKALA: Okay. Any other
4 comments?

5 (No response.)

6 So, I think we can vote on the
7 question of importance to measure and report,
8 whether it meets the criteria for impact, high
9 impact, opportunity for improvement, and
10 evidence all together.

11 (Whereupon, a vote was taken.)

12 DR. WINKLER: Yes, one; no, 24.

13 CO-CHAIR SAKALA: Okay. So,
14 according to the guidelines, that means that
15 this measure will not go forward in our
16 process, and we will move on to the next
17 measure.

18 MS. MURI: Excuse me. Hi.

19 CO-CHAIR SAKALA: Yes.

20 MS. MURI: This is Janet Muri.

21 I apologize, I was on a different
22 time zone and didn't realize my timing in

1 joining this Committee meeting, on behalf of
2 Dr. Stephen Clark.

3 And I just wanted to make sure
4 that the measure for appropriate DVT
5 prophylaxis, has that been opined on yet?

6 CO-CHAIR SAKALA: We are just
7 getting to it. Thank you.

8 MS. MURI: Thank you. I
9 appreciate it.

10 CO-CHAIR SAKALA: Okay. Glad you
11 could be here.

12 MS. MURI: Thank you.

13 CO-CHAIR SAKALA: So, this is 473,
14 appropriate DVT prophylaxis in women with
15 cesareans, and it is a Hospital Corporation of
16 America measure.

17 And Bill is going to lead the
18 discussion.

19 MEMBER GROBMAN: So, in terms of
20 importance, I think sort of the discussion on
21 the Committee and sort of the conflict, if one
22 exists, is that it is designed to prevent

1 catastrophic event, that is, maternal death,
2 from deep venous, DVT, but it is an uncommon
3 event.

4 So, in terms of just the
5 importance, that is sort of the discussion
6 that went on. I think largely the subgroup
7 agreed that it was important. You can see the
8 votes up there: high, 3; moderate, 4; low, 1.

9 But the moderates and lows were
10 sort of the discussion was just about the
11 relative infrequency. Of course, the highs
12 were that, even albeit infrequent, it is such
13 a catastrophe. And if we are thinking about
14 maternal death, it is oftentimes cited as the
15 No. 1 cause of maternal death.

16 In one of Steve Clark's papers
17 from HCA, it was certainly the No. 1
18 preventable cause of maternal death in their
19 HCA series of maternal deaths. And so, that
20 is really what it is about in terms of
21 importance to measure and report.

22 In terms of opportunity for

1 improvement, this is a little sketchy in that
2 there is just not great data on what people
3 are or are not doing. I think from a sort of
4 anecdotal perspective the use of DVT
5 prophylaxis is certainly not widespread for
6 every cesarean. I think there are many
7 institutions that still do not do it
8 universally. And I can even speak from our
9 institution, which is just to say that we
10 weren't doing it universally until relatively
11 recently.

12 It was not an ACOG explicit
13 recommendation. So, people weren't following
14 it for that reason. And I think we only have
15 reason to believe that there is opportunity
16 for improvement in the application of DVT
17 prophylaxis during every cesarean. It is just
18 not terribly well-described.

19 In terms of evidence, the quantity
20 of evidence is relatively moderate to low.
21 There just aren't that many studies about it
22 because it is a rare event, so really hard to

1 do. An institution like HCA, with many
2 thousands, hundreds of thousands of births,
3 has the capacity to do it. There's just not
4 that many studies about DVT in pregnancy and
5 prophylaxis. It is a relatively-uncommon
6 event, like we discussed.

7 And most of the work about DVT
8 prophylaxis, quite frankly, that has been done
9 has been done, if an OB/GYN at all, on the
10 gynecology side and not in OB specifically.

11 Also, it is difficult to get good
12 data because so many of the DVTs that occur
13 are post-discharge, oftentimes patients going
14 to other hospitals. So, it is very, very
15 difficult in terms of ascertainment.

16 So, the quantity of the studies
17 that have been done is relatively small. As
18 you can tell, the quality, accordingly, is
19 relatively reduced as well, although what
20 exists is consistent in that, of maternal
21 deaths that occur, it is high on the list of
22 causes.

1 It is preventable, largely from
2 all data that exists in other specialties, if
3 not in obstetrics specifically. There is some
4 cost-effectiveness data. It is a relatively
5 low-cost intervention, easy to do.

6 And so, anyway, that would be my
7 take on the evidence and sort of reflects what
8 the Subcommittee discussed as well. So,
9 overall, we came down that it was important to
10 measure and report because it is the potential
11 to drive practice that is relatively easy and
12 could prevent a true catastrophe.

13 And I have nothing more to opine.

14 MEMBER ARMSTRONG: I have a
15 question.

16 Any insight into, beyond these
17 general limitations you talked about, why ACOG
18 hasn't recommended it?

19 MEMBER GROBMAN: Literally, a
20 practice bulletin just came out, I don't know,
21 three months ago where they did. Yes, so they
22 did. So, now they do.

1 So, now I think it would have been
2 hard to hold people's feet to the fire before
3 that, but now with an explicit recommendation
4 from ACOG, literally in a practice bulletin,
5 that says that all pregnant women undergoing
6 cesarean should receive DVT prophylaxis, it is
7 kind of hard to, you know --

8 MEMBER BERNS: Just in terms of
9 numbers, I did see the number in here for
10 fatal PE rate in terms of a goal in terms of
11 reduction. It is a relatively rare
12 occurrence, but a catastrophic one.

13 What about numbers in terms of the
14 incidence or prevalence of DVTs in this
15 scenario? Is there any --

16 MEMBER GROBMAN: In which scenario
17 specifically?

18 MEMBER BERNS: Well, you have a
19 woman just in general in terms of a woman who
20 comes in for a C-section. Or, I mean, does it
21 depend on their weight? Do we have any data
22 on that? I am asking the developer, I guess.

1 MEMBER GROBMAN: Yes.

2 MEMBER YOUNG: I can speak to some
3 of those numbers, actually.

4 My name is Janet Young. I am an
5 emergency physician.

6 This is a problem that we deal
7 with on a daily basis, if not shiftly basis.
8 So, patients who come in with DVT who are
9 currently pregnant is a relatively-common
10 issue, actually, especially in the second and
11 third trimesters.

12 Most patients who do present with
13 PE do it in the postpartum period, in the
14 first three to five days postpartum. So, the
15 emergency department oftentimes sees these
16 patients as a first pass caregiver.

17 It is not uncommon at all. So, it
18 is not relatively rare. I just don't think
19 you are seeing it on the OB/GYN side because
20 those patients are coming back into the
21 emergency department, and the diagnosis is
22 made in the ER, and then they go to the ICU,

1 oftentimes where they are not cared for by an
2 OB/GYN. They are cared for by pulmonologists
3 and critical care medical specialists. So,
4 sometimes you are outside of that care window
5 in changing providers from service to service,
6 from OB/GYN to critical care medicine.

7 About 4 to 8 percent of patients
8 who come in with shortness of breath in the
9 postpartum period have a PE. And
10 unfortunately, it is not at all uncommon.

11 So, as far as your numbers for
12 DVT, usually, it is second and third
13 trimesters, and those patients who are more
14 overweight have underlying comorbidities. It
15 is just like every other health system
16 problem; when there are additional
17 comorbidities, your rate of DVT goes up.

18 In the postpartum period, patients
19 who undergo C-sections seem to have a higher
20 PE rate. That is just my anecdotal
21 experience, having done emergency medicine for
22 15 years. I can't give you numbers as to

1 exactly how many C-sections versus regular
2 standard or, sorry, normal spontaneous vaginal
3 deliveries, but I do know that it is slightly
4 increased. So, maybe perhaps because those
5 patients are on bed rest for a longer period
6 of time. I don't have that data.

7 MEMBER GROBMAN: So, yes, I could
8 speak to that. I think a couple of things are
9 relevant.

10 One, of course, is that this
11 wouldn't take care of any of the ante-partum
12 DVTs. But a significant portion, whether it
13 is the total majority, like 50 percent, or a
14 third, occur sort of intra-postpartum.

15 Cesarean section, in terms of a
16 relative risk, is thought to convey about a
17 fivefold increase risk of deep venous
18 thrombosis. The frequency of deep venous
19 thrombosis in the pregnant population is
20 probably on the order of 3 per 1,000. And so,
21 if you then imagine that cesarean is a
22 fivefold increase, you can see that maybe half

1 of those are occurring intra to postpartum,
2 and cesarean increases it by fivefold, and is,
3 I think, in some ways the most important
4 thing, right.

5 So, it is a low-frequency event,
6 but, conceptually, an easily and cheaply
7 preventable one. I think that is at the end
8 of day.

9 But it is not like DVTs are --
10 yes, there's huge ascertainment problems. In
11 the ER or anywhere, they are not a huge
12 frequency event, but, again, they are an event
13 that in PE causes death and in terms of DVT
14 causes long-lasting morbidity, like post
15 venous thrombosis syndrome.

16 MEMBER BERNS: Well, you said 3
17 per 1,000, right? Is that what you said?

18 MEMBER GROBMAN: Right, 3 per
19 1,000 overall about. Again, ascertainment
20 issues, but, yes, so 3 per 1,000, but that is
21 not per cesarean. That is the overall
22 obstetric population, three-quarters of which

1 are having NSVDs, more or less.

2 MEMBER BERNS: But if there are 4
3 million births, that is still a significant
4 number.

5 MEMBER GROBMAN: Oh, totally.

6 MEMBER BERNS: Okay.

7 MEMBER GROBMAN: Yes, it is a low
8 per-capita event, but it is a cumulative risk,
9 absolutely.

10 MEMBER CALLAGHAN: There are
11 longstanding recommendations for DVT
12 prophylaxis in gynecologic surgery, other
13 pelvic surgery. And yet, we have not done a
14 good job in another pelvic surgery in women
15 who also are in a hypercoagulable state. So,
16 that inconsistency has existed longstanding.
17 And pulmonary embolism accounts for about 10
18 percent of all pregnancy-related deaths in the
19 United States, as best as we can determine.
20 So, again, low frequency, but maternal death
21 is the worst thing that can happen.

22 CO-CHAIR SAKALA: Other comments

1 on importance?

2 (No response.)

3 Okay. I think we could take a
4 vote then.

5 And a yes would mean that you
6 agree that this measure meets criteria for
7 high impact, opportunity for improvement, and
8 evidence.

9 MS. MURI: This is -- I am getting
10 an echo.

11 As (phone technical difficulties)
12 this measure, (phone technical difficulties)
13 I am not sure, but the voting rights, are we
14 allowed to vote on the measure or is this
15 something that we are not allowed to
16 participate in at this point?

17 CO-CHAIR SAKALA: Yes, this is
18 just members of the Committee.

19 MS. MURI: Thank you.

20 (Whereupon, a vote was taken.)

21 DR. WINKLER: Yes, 20, and three
22 no.

1 CO-CHAIR SAKALA: Okay. So, that
2 means that we will go on to consider
3 scientific acceptability, validity and
4 reliability.

5 MEMBER GROBMAN: So, in terms of
6 scientific acceptability, we can start with
7 reliability. You can see that the Committee
8 was kind of split: high, 3; moderate, 2, and
9 low, 2.

10 In terms of, well, I will just say
11 validity at the same time: high, 3; moderate,
12 4, and low, zero.

13 The discussion that went on, you
14 can see the bullet points up there.
15 Essentially, the data elements were fairly
16 straightforward. It is pretty easy to
17 ascertain whether or not someone had
18 compression boots on, and there was only
19 exclusion, which was that someone was already
20 on pharmacologic prophylaxis for some other
21 reason.

22 There is confusion in the section

1 as terminology switches to -- I am not sure
2 what the "PCD" means. Ah, pneumatic
3 compression devices.

4 So, I think the confusion about
5 this was we had a long discussion about the
6 exclusion criteria, meaning that you are
7 already on some form of anticoagulation. If
8 you are already on some form of pharmacologic
9 anticoagulation, there is no compelling
10 evidence that you require additional
11 mechanical anticoagulation for just a general
12 run-of-the-mill cesarean.

13 And so, from sort of a validity
14 perspective, it makes total sense that for
15 these rare patients who are on pharmacologic
16 prophylaxis during their cesarean, that they
17 need not be counted because they don't need to
18 get compression boots.

19 And then, there was a whole bunch
20 of discussion about how easy this was to
21 ascertain and how frequent this population
22 was, and should we just throw compression

1 boots on them anyway. And at the end of the
2 day, well, I am not sure we completely had
3 agreement -- (laughter) -- but there was at
4 least a general sense that it was okay to keep
5 the exclusions; it was certainly most valid to
6 keep them, although there was a remaining
7 strain of concern regarding just the ability
8 to extract those from the medical record.

9 There hadn't been a great amount
10 of reliability analysis, testing, certainly
11 widespread outside of HCA.

12 And the rest of it is about
13 heparin versus boots. So, it is really about
14 the heparin versus boots. This is unlikely to
15 cause a big problem, anyway, since it is a
16 very small number of patients who are on
17 pharmacological heparin at the time of their
18 cesarean. It occurs, but uncommon.

19 So, anyway, we were generally on
20 the side of yes.

21 CO-CHAIR SAKALA: Okay. Comments
22 on scientific acceptability?

1 (No response.)

2 Okay. I think we are ready to
3 take a vote then on this.

4 (Whereupon, a vote was taken.)

5 DR. WINKLER: Twenty-four yes, 1
6 no.

7 CO-CHAIR SAKALA: Okay. So, we
8 will go on to usability then.

9 MEMBER GROBMAN: Usability, we
10 thought was relatively high. Basically, it is
11 an easy-to-understand metric. It is easy to
12 sort of drive practice at hospitals. It is
13 easy to get insight in between practice and
14 your outcome.

15 In any case, we thought usability
16 was high. It is understandable, and it is
17 easy to drive quality improvement.

18 CO-CHAIR SAKALA: Comments?

19 (No response.)

20 Okay. I think we can vote on
21 usability then, please.

22 MEMBER DENK: While we are voting,

1 can I ask a simple question?

2 If the measure of when we are done
3 voting is that all 25 people have voted, that
4 sort removes the possibility that one would
5 quietly abstain.

6 DR. WINKLER: Yes, I mean, we
7 really have asked you here to participate.
8 So, abstaining, unless there is a really,
9 really strong reason for it, is probably not
10 something we want to encourage.

11 MEMBER GREGORY: I just want to
12 raise one question or comment. That is that
13 this measure as written, or as read it, is
14 only talking about at the time of delivery or
15 at the time of surgery. And so, some of the
16 data on especially the compression stockings
17 is that they are supposed to be worn at least
18 23 hours a day. How long do you wear them,
19 until after the delivery or until discharge?

20 I guess I am a little concerned in
21 terms of the scientificness of this. The real
22 benefit may even be a little further

1 downstream, and we are not really capturing
2 that with the measure. We are just knowing
3 that at the time of surgery they had the boots
4 on.

5 MEMBER GROBMAN: Right, and so
6 this was talked a little bit about in the
7 Committee as well. I think I would say a
8 couple of things.

9 One is, again, leveraging from
10 sort of gynecologic surgery, because it is
11 practically, from a usability and feasibility
12 perspective, practically impossible to collect
13 whether people are wearing it usefully after
14 their operation. I mean, yes, you can have
15 whether it is like written for and you can
16 have whether the nurse -- but, you know,
17 whether it is actually on their legs, the
18 machine and cycling, but that is pervasive
19 throughout all the literature, essentially.

20 And so, again, all of this is in
21 the context of leveraging from gynecologic and
22 non-gynecologic surgeries, which is that at

1 the time of surgery is the greatest import for
2 DVT reduction. But it is absolutely an
3 extrapolation, admittedly.

4 (Whereupon, a vote was taken.)

5 CO-CHAIR SAKALA: Okay. So, the
6 vote was 18 high; 6 moderate, and 1 low.

7 And finally, we can do
8 feasibility, please.

9 MEMBER GROBMAN: So, feasibility,
10 we thought was also, I mean, you can tell that
11 most of the Committee thought it was high. Of
12 the two people who did not, one thought it was
13 moderate and one thought it was low.

14 Generally, it is feasible. It is easy to
15 ascertain. It is oftentimes, for hospitals
16 that have EMR, it is in the order itself. If
17 not, it is in a written order. If not, it is
18 easy to ascertain from what happens at the
19 time of the surgery. Most often it is easily
20 documented. So, that was really the rationale
21 for most people believing it to be feasible.

22 DR. WINKLER: Just to ask about

1 the question Lee asked, for any of you who are
2 using this measure, is it something that is in
3 your electronic records at all yet?

4 MEMBER GREGORY: Yes.

5 DR. WINKLER: Okay.

6 MEMBER KIEHN: Interestingly
7 enough, though, at Intermountain Healthcare,
8 this was our Board goal this year, was to do
9 this. What we found, we did do exactly what
10 Bill said. We went to the bedside and we
11 found that only 55 percent of the time were
12 the moms even wearing them, even though they
13 were ordered and documented they were on. So,
14 we actually went to manual just bedside. So,
15 it is a hard one to actually see if you are
16 making a difference.

17 MS. MURI: This is Janet, speaking
18 on behalf of AHCA.

19 Our standard electronic orders has
20 it as well as our computer-based documentation
21 system.

22 CO-CHAIR SAKALA: Thank you.

1 Jennifer?

2 MEMBER BAILIT: I think whether
3 the compression boots are on at surgery is
4 fairly easily ascertainable. It is the
5 exceptions to the rule. So, whether they are
6 on heparin, whether they have been on heparin
7 but they have been off for 12 hours, whether
8 that was appropriate or not, the devil is in
9 the details there.

10 So, while the compression boots is
11 the easy one, it is part about the heparin and
12 the medicalization and those exceptions that
13 are going to soak up the resources to
14 ascertain. So, in my mind, that lowers the
15 feasibility of this. If this were just, are
16 compression boots on, yes or no, this would be
17 much more feasible.

18 MEMBER GROBMAN: Yes, and I think
19 the way that the Committee kind of reasoned
20 that out was kind of what you said about
21 hepatitis thing, which is that the vast
22 majority -- you know, heparin use is a less-

1 than-1-percent event. And so, we didn't want
2 it to ding hospitals that might have
3 particular high-risk populations or choose --
4 I mean, it is an acceptable practice if you
5 wanted to use prophylactic pharmacologic
6 heparin instead of compression boots. I
7 wouldn't say it is common practice. But if,
8 for whatever local reason, someone wanted to
9 do that, it certainly wouldn't be wrong or bad
10 quality.

11 And so, if those hospitals want to
12 go to town and delve into their medical
13 records -- but for most hospitals, for these
14 rare, rare instances, it is literally going to
15 be per thousand. We thought it wasn't going
16 to soak up. That would be my argument for it.

17 CO-CHAIR SAKALA: Nancy?

18 MEMBER LOWE: Yes, Teri, I wanted
19 to ask you, what you just talked about,
20 though, you were talking about postpartum use,
21 correct? Or time of surgery? This measure is
22 at time of surgery.

1 MEMBER KIEHN: It was very
2 interesting because what we found, the nurses
3 thought they had pushed the button; they were
4 on correctly, and that was during our initial
5 piece. Then, we went forward. Once we really
6 made sure everyone was really aware of all the
7 pieces -- and again, that was just the spot-
8 checks over a month -- but, then, postpartum
9 also was included, yes.

10 MEMBER LOWE: Okay. So, what you
11 are reporting on is just not documenting at
12 the time of surgery?

13 MEMBER KIEHN: Surgery, correct.

14 MEMBER LOWE: Okay.

15 MEMBER BRANDENBURG: We have this
16 measure as well, and what we record is in
17 surgery, but we also look at postpartum
18 because that is typically what we are looking
19 at, is trying to make sure that they are on
20 after the surgery, when they are typically in
21 bed for a little bit after the surgery.

22 So, that would be the kind of

1 difficult thing for us to change, is looking
2 at just surgery. That would be change for us
3 because we would look at it after surgery.
4 That would be in our data elements.

5 The other thing is we do use
6 pharmacologic, not just the compression boots.
7 And I think a lot of our docs, I think we
8 would probably meet the measure just simply
9 from that. So, it would be easier for us to
10 track it electronically in our medical record,
11 looking at the pharmacologic and being able to
12 meet the measure that way versus the
13 compression boots. They are documented, but,
14 like she said, I am not sure how accurate that
15 would be. Pharmacologically, it would be
16 easier to track.

17 CO-CHAIR SAKALA: Other comments
18 on feasibility?

19 MS. MURI: This is Janet.

20 I think a shift that has occurred,
21 too, is what we have done is we have changed
22 our definition to go ahead and align and

1 harmonize with the ACOG definition. So, I
2 think some of the comments that are being
3 brought up right now will be some of the
4 shifts that we will see in our own uses and
5 aligning our definitions.

6 DR. WINKLER: Janet, this is Reva.
7 It wasn't totally clear. You say
8 you have made changes to align or you are
9 planning to make changes?

10 MS. MURI: We are in the process.
11 So, Reva, what we are doing is we are making
12 sure that, where we have these elements in our
13 documentation, we are making sure that we are
14 aligning with ACOG's data points.

15 MEMBER GROBMAN: But ACOG just
16 asks -- but, right now, we are voting on this
17 measure, right? And ACOG really doesn't
18 weigh-in on terribly a great amount of this.
19 I mean, it basically just says put them on at
20 C-section for women who aren't
21 pharmacologically anticoagulated.

22 MS. MURI: That's correct. All I

1 wanted to say was making sure that we were
2 harmonizing with the national definition as a
3 process that (phone technical difficulties)
4 occurs (phone technical difficulties).

5 MEMBER GROBMAN: Okay. So, we are
6 going ahead and voting on everything we have
7 talked about.

8 CO-CHAIR SAKALA: Comments?

9 (No response.)

10 Okay. Let's vote on feasibility
11 then.

12 (Whereupon, a vote was taken.)

13 Okay. So, we have 13 high, 11
14 moderate, and 1 low.

15 And we have one final vote, and
16 that is your judgment overall whether this
17 measure meets all four of the main NQF
18 criteria.

19 MEMBER GROBMAN: Am I supposed to
20 say anything about that or do we just --

21 CO-CHAIR SAKALA: If you have
22 anything to say.

1 MEMBER GROBMAN: Good. No,
2 nothing to say.

3 CO-CHAIR SAKALA: Yes?

4 MEMBER BERNS: I just have a
5 question. On page 10, there is a note about
6 other competing or related measures. If you
7 can help me reconcile or perhaps harmonizing
8 my brain how these relate or not, which are
9 surgery patients who receive appropriate
10 venous thromboembolism prophylaxis?

11 I mean there are three of them
12 listed there. So, is this the same? Is it
13 different? I mean, I know this is specific
14 for C-section, but it looks like there are a
15 number of other measures that are very close,
16 and C-section is a surgery.

17 MEMBER GROBMAN: Yes, but
18 C-section has never -- this is like Dr.
19 Callaghan was saying -- we can debate the
20 historical reasons for this, but C-section has
21 never fallen under, even though it is a major
22 abdominal surgery --

1 MEMBER BERNS: Okay.

2 MEMBER GROBMAN: -- has never
3 somehow fallen under the rubric of other
4 surgeries. I mean, it has just been whatever.
5 It is out there in its own little bubble.

6 (Laughter.)

7 CO-CHAIR SAKALA: Kim?

8 MEMBER GREGORY: Yes, I would
9 actually say that the benefit of those other
10 measures is that you can tell the hospitals to
11 do everything you did for those other
12 measures. Just now they are pregnant women.
13 And it actually increases the feasibility, and
14 it means that the recording process and the
15 documentation process is already in place
16 because almost all hospitals are either
17 reporting these to the Joint Commission or as
18 part of their SHIP measures. So, every
19 hospital could do this tomorrow, if they
20 wanted to.

21 MEMBER GROBMAN: Right. It just
22 let's pregnant women join the club.

1 (Laughter.)

2 MEMBER GREGORY: Yes.

3 CO-CHAIR SAKALA: Other comments
4 before the overall vote?

5 (No response.)

6 Okay. Let's go.

7 (Whereupon, a vote was taken.)

8 So, 21 yes and 2 no.

9 We will be recommending that the
10 Board agree to endorse this measure.

11 Okay. Elliot, we would love to
12 have you join us.

13 The next measure is the California
14 Maternal Quality Care Collaborative measure,
15 No. 477, that infants who weigh less than 1500
16 grams are born in the appropriate facility.

17 And Sharon Sutherland will lead
18 this.

19 So, we will begin with importance
20 to measure.

21 MEMBER SUTHERLAND: Okay. So,
22 this measure was endorsed in 2008, and it has

1 been developed by CMQCC. It measures the
2 number of infants weighing less than 1500
3 grams not delivered at a Level 3 facility.
4 The denominator are all live births of 24
5 weeks or more, and the numerator is, of those
6 infants, how many are born weighing less than
7 1500 grams?

8 The consensus within our Workgroup
9 is that under importance to measure all three
10 areas were either high or moderate, and we had
11 a consensus that all five of us felt that this
12 measure met importance.

13 Based on impact, there is evidence
14 to show a 60 percent higher mortality of very-
15 low-birth-weight infants are born outside of
16 a Level 3 nursery. There is evidence in
17 California data of a performance gap. It was
18 felt that some of this gap was due to economic
19 factors, when, in fact, there were Level 3
20 centers located in a very short proximity to
21 hospitals with lower levels of care.

22 There was anecdotal information on

1 the telephone call with the developer that
2 they felt that economic factors may be more of
3 an issue, and that transfer facilities are not
4 being taken advantage of in a way that they
5 should be.

6 The benchmark proposed by the
7 developer is 1 to 3 per 1,000 births would be
8 expected to occur in less-than-Level-3 centers
9 due to precipitous labor or geographic
10 barriers.

11 Evidence since this was initially
12 proposed, a meta-analysis came out in 2010 by
13 Lasswell that showed significant survival
14 benefit for infants weighing both less than
15 1500 grams and less than 2500 grams born in
16 high-level centers.

17 Any comments?

18 CO-CHAIR SAKALA: Comments about
19 importance?

20 MEMBER BERNS: I mean, clearly, it
21 is important that these high-risk babies go to
22 these higher-level facilities, but my comment

1 here is that the Level 3 status is not
2 consistent across the country. I think it is
3 just important for us to recognize that may be
4 consistent in California. And I think as long
5 as we are comparing apples to apples, we are
6 probably okay. But I am wondering if maybe
7 Elliot has a comment on that.

8 DR. MAIN: Thank you.

9 First of all, this is an older
10 public health measure that has been around a
11 long time. What we did in California was to
12 operationalize it to be a measure of hospital
13 performance because it has been used at the
14 State level for a long time, and there have
15 been large gaps in performance and differences
16 among states.

17 When we initially proposed this at
18 the last meeting of this group three years
19 ago, that point was brought out. What we have
20 done is to use the American Academy of
21 Pediatric definitions of Level 3, recognizing
22 that different states tweak that slightly

1 differently to meet the local state needs.

2 And I don't see any other way
3 around it, other than using the states'
4 definitions of what a Level 3 center is, as we
5 go around state-to-state on that. I don't
6 think there are huge differences, though, I
7 must say, between states on that.

8 MS. MURI: Dr. Main, this is Janet
9 Muri from the AHCA.

10 I have doing an extensive study on
11 the various states and the levels of services,
12 and how they have been defining level of
13 service, and then, also, looking at new
14 definitions and then comparing those to the
15 American Academy of Pediatrics.

16 There are significant differences
17 in various states. Florida, in particular,
18 comes to mind. They definitely have a higher
19 (phone technical difficulties) newborns to be
20 cared for in Level 2 hospitals. Their Level
21 2 definition aligns with the American Academy
22 of Pediatrics' Level 3.

1 So, again, I do support the theory
2 of newborns, low-birth-weight newborns being
3 born in an appropriate environment (phone
4 technical difficulties). I do caution on
5 drawing generalities on the notion that this
6 (phone technical difficulties).

7 MEMBER PROFIT: Could you try to
8 get to a better phone because we can hear only
9 about every second word you say?

10 MS. MURI: Does that help at all?

11 (Chorus of yeses.)

12 CO-CHAIR SAKALA: Thank you.

13 MS. MURI: Okay. That's fine.

14 I'm sorry, I had you on speaker phone. Let me
15 see if I can go back, just make this very
16 brief.

17 We did do an extensive analysis on
18 looking at hospitals, trying to do this
19 leveling activity to assure that high-risk
20 newborns, low-birth-weight babies were born in
21 the most appropriate care setting.

22 Based upon our extensive study in

1 all of our various states that we have
2 representation in, we found that there was a
3 significant disparity between the way the
4 states do define level of service as it aligns
5 with the American Academy of Pediatrics' level
6 of service.

7 And as I said, Florida was one
8 that probably came to mind with the most
9 discrepancy between having a finer level of
10 service as compared to the AAP's.

11 So, while I do support the mission
12 and the vision of this proposal, I am just a
13 little bit cautious in making assumptions that
14 the states aren't going to vary that much from
15 the AAP's definition.

16 CO-CHAIR SAKALA: Thank you.

17 Because of time constraints, I
18 think we are going to have to limit developer
19 input to the measures that they are stewards
20 of.

21 Lee, did you have comments?

22 MEMBER PARTRIDGE: Actually, I was

1 on the Workgroup that discussed this at some
2 length. I am very cognizant of the NICU
3 issue. At the Medicaid Directors' Annual
4 Meeting a couple of weeks ago, Texas presented
5 on this very subject and said that preliminary
6 to looking at this issue is to conform their
7 NICU definitions across the State.

8 The more compelling evidence in
9 the presentation that Dr. Main sent us to
10 consider, I think, is what you might think is
11 that the incidence of this kind of
12 inappropriate delivery would be in the rural
13 area, the tiny, small hospital, and so on.
14 That did not prove to be true in California.

15 That, for me, was a pretty
16 compelling thing, that if an innercity, a
17 major city with all these facilities was not
18 delivering women who are high risk at the
19 right place, there ought to be an effort at
20 that city's level to do better.

21 CO-CHAIR SAKALA: Jennifer?

22 MEMBER BAILIT: Just as a

1 researcher and having worked with birth
2 certificate data locally in Ohio and done a
3 project, I would concur this data is
4 unpublished except in the abstract form.

5 The rural communities do a great
6 job of getting the babies out. It is the
7 innercity where we want the dollars is my
8 speculation as well, where they keep the
9 deliveries and ship the babies.

10 Having said that, while it is
11 difficult if you are looking at a national
12 dataset to figure out who is really a Level 3
13 and who is not, at the local level it is
14 painfully obvious.

15 So, if these are looked at at
16 state level and people are looking at it at
17 state level, and each state sort of knows what
18 their true Level 1s and 2s and 3s are, I think
19 this is a very reportable measure, and that
20 there is the possibility for AHA or somebody
21 else to harmonize across these measures.

22 So, I wouldn't let the varying

1 state definitions get in the way of this being
2 a useful measure.

3 CO-CHAIR SAKALA: Other comments
4 on importance?

5 (No response.)

6 Okay. Let's vote on importance,
7 please.

8 Oh, I'm sorry.

9 MEMBER DRYE: Hi.

10 This is the first time I have
11 seen -- I develop hospital measures full-time
12 pretty much -- this is the first time I have
13 seen a measure that is so system-dependent.
14 Really, I am surprised at what Lee is saying,
15 which is the rural areas do better.

16 I can totally see how it works as
17 a system- or a state-level measure, but at a
18 hospital level are there hospitals that just
19 this is not actionable for them because there
20 is nowhere to transfer these babies in a safe
21 way, pregnant women prior to delivery in a
22 safe way; the time, it is just too far?

1 How do you account for that in the
2 measure, to make sure it is really a true
3 measure of quality at all the hospitals you
4 are considering including?

5 DR. MAIN: When we took this down
6 to the hospital level, we looked at a couple
7 of factors that would take that under
8 consideration, including we had data on the
9 length of time that the mother was at the
10 hospital before she delivered, which gets to
11 the issue of did she come in to deliver. The
12 average time that the mothers were there was
13 between eight and twelve hours. So, there was
14 plenty of time to transport moms, and they
15 just weren't.

16 In most every state I believe that
17 there are networks now for transferring babies
18 to Level 3 centers. What has happened is
19 deregionalization, driven by economics. This
20 is a balancing measure to address that issue.

21 MEMBER DRYE: So, just to clarify,
22 you are wanting to report at the hospital

1 level to drive system change, not hospital
2 change per se? In other words, unless those
3 regional -- I am just trying to understand the
4 difference between reporting this at a
5 regional level or a state level and a hospital
6 level, because you are really wanting to
7 switch the focus.

8 What if you are in a state that
9 isn't going to support the infrastructure you
10 need to have transfers within a reasonable
11 amount of time?

12 DR. MAIN: I am not aware of any
13 state that doesn't support transfers of these
14 kinds of babies.

15 MEMBER DRYE: You want the
16 hospital to do the right thing because they
17 have incentives to maybe do the wrong thing.

18 MEMBER BRANDENBURG: I'm from a
19 Level 2 facility. So, we do ship a lot of our
20 babies out. Getting the babies out is usually
21 not a problem and it is not hard. I mean,
22 there's multiple places we can get the babies

1 to. But there are certain circumstances that
2 we run into -- for instance, weather sometimes
3 is an issue for us. I mean, typically, we fly
4 them, especially if we are in a hurry, and
5 they can't fly under the weather conditions.
6 And we have even had blizzards where they
7 can't come by ambulance. And so, we have
8 ended up with a baby delivering that we really
9 didn't want to deliver.

10 So, I mean, there are certain
11 circumstances that happen, but they are rare,
12 but it does happen.

13 CO-CHAIR SAKALA: And I think that
14 fits with the 3 percent. We are not looking
15 for zero here.

16 MEMBER DENK: I just want to
17 comment on New Jersey. We are one of the
18 states that licenses facilities. We don't
19 rely on AAP definitions for what is a Level 1,
20 2, 3. We actually license hospitals. We
21 have, you know, Certificate of Need calls and
22 the whole thing. So, there can't be any

1 mistake about where a baby is supposed to wind
2 up, and we have a fair degree of compliance.

3 But I just wanted to point out
4 that the definition of appropriate level of
5 care is often a matter of licensure rather
6 than a squishy definition of who meets care.
7 And there are some hospitals that aren't
8 transferring babies because they are in
9 hospital systems where they can call on staff
10 that make them meet AAP standards, even though
11 they are not licensed.

12 And so, this is a really good
13 measure if it is licensure that is the metric.

14 MEMBER JALEEL: This is my first
15 time at NQF. But if we think, as I am not
16 sure what NQF can do with this, but if we
17 think that truly this is an important measure,
18 can we not endorse what the AAP criteria is
19 for the definition?

20 DR. WINKLER: If you look at the
21 specifications, that is what is included. So,
22 that is what is in front of you to opine on.

1 MEMBER JALEEL: Because it says,
2 "as defined by the State Department of Health
3 or a similar party". Why not directly say
4 "American Academy of Pediatrics"?

5 DR. MAIN: I wish I could tell
6 states what to do on this.

7 MEMBER JALEEL: Yes.

8 DR. MAIN: But AAP has been used
9 by many of the states, though there are
10 individual circumstances in different states.
11 Interestingly, some of the very rural states,
12 like North and South Dakota, have done very,
13 very well on this with 96-plus percent of
14 babies delivering in Level 3 centers. So, it
15 certainly can be done in rural areas.

16 We are 50 states and it is tricky
17 sometimes.

18 CO-CHAIR SAKALA: Okay. Time-
19 wise, I think we would like to move on, unless
20 there is any other urgent comment.

21 Could we have a vote, please, on
22 importance to measure and report?

1 (Whereupon, a vote was taken.)

2 DR. WINKLER: Twenty-five yes,
3 zero noes.

4 CO-CHAIR SAKALA: Okay. Sharon,
5 so scientific acceptability, please.

6 MEMBER SUTHERLAND: The Workgroup
7 felt that for scientific acceptability the
8 definitions were precise and there was
9 standard reporting under state vital
10 statistics that made this measure very
11 reliable.

12 The validity will require
13 reporting of all events due to this being a
14 rare occurrence. So, we cannot sample.

15 And I don't know if anybody has
16 any comments about their experience with
17 EMTALA violations. That was something that
18 came up with the issues in the Workgroup, if
19 anybody has any comment on that.

20 CO-CHAIR RILEY: I was on the
21 Workgroup. I mean, I think we may have
22 misinterpreted the EMTALA violation situation

1 because really what it says is that you need
2 to evaluate. You are required to evaluate
3 someone who shows up in labor. It doesn't say
4 that you can't, then, appropriately transfer
5 them to the right place.

6 So, I think it has nothing to do
7 with this. I think we sort of misinterpreted
8 that on the call. And then, as Elliot already
9 said, those patients tended to be there for
10 eight to twelve hours, which has nothing to do
11 with the EMTALA violation.

12 So, I think we may have taken care
13 of that one.

14 MEMBER PROFIT: When I read this,
15 I was kind of surprised. In my -- I guess
16 about 10 years now -- practice, I had never
17 heard that being given as a reason for why a
18 baby was born at an outlying hospital and not
19 transferred. So, it was an interesting, but
20 I had just never heard of that as a very
21 common problem, I guess.

22 MEMBER KELLY: I come from a rural

1 state, Colorado, with a lot of small hospitals
2 and weather issues. I am wondering why or if
3 there is a way to track those small hospitals
4 with less than 50 deliveries that have been
5 excluded.

6 DR. MAIN: California actually has
7 a very large amount of small, rural hospitals,
8 big, big State that it is. We have a lot of
9 weather issues in northern California, not
10 with snow, but with fog.

11 And there's very rare occurrences,
12 though the problem with this being a rare
13 event is that one occurrence throws you off
14 greatly. And so, we did exclude very small
15 hospitals from the measurement for that
16 reason, that there can be one event, and if
17 you have 50 births, that is five years of
18 events right there in that one case.

19 MEMBER KIEHN: I'm from Utah, and
20 we have a small amount also. Within our
21 system, we have hospitals that are less than
22 50 births, and we do track this internally and

1 it does throw it off. It is a significant
2 issue, and, again, weather-related. So, I
3 agree that we should have the exemption of the
4 less-than-50.

5 DR. MAIN: And, indeed, they
6 account for a very, very small amount overall
7 of the babies not delivered at Level 3
8 centers.

9 CO-CHAIR SAKALA: Other comments
10 on scientific acceptability?

11 (No response.)

12 Okay. I think we can have a vote
13 then on this criteria.

14 (Whereupon, a vote was taken.)

15 Okay. We have a unanimous result
16 of 25 supporting and no one saying no.

17 So, we will move on, then, to
18 usability, please.

19 MEMBER SUTHERLAND: So, the
20 usability criterion was the one with the
21 lowest consensus ratings in our Workgroup.
22 CMQCC proposes this measure to increase

1 scrutiny of practice patterns and encourage
2 hospital administration to transfer pre-term
3 labor patients when appropriate.

4 Medi-Cal has started to track this
5 metric in California, and it is unclear if
6 performance will impact reimbursements.

7 The consensus of the Workgroup was
8 that the public may not understand this
9 measure. And I think, as was already brought
10 up by Elizabeth, the issue is more that of a
11 hospital level or an administrative level
12 rather than one that is made one-on-one as far
13 as at the bedside, as far as a quality
14 measure.

15 CO-CHAIR SAKALA: Comments from
16 others?

17 MEMBER BAILIT: I guess my
18 question is, is public reporting all about the
19 consumer necessarily or some of the other
20 stakeholders? For example, insurance
21 companies? I would actually be very curious
22 to see what Joanne Armstrong says about this.

1 Because I can envision a system
2 where you have to write a response about why
3 that baby didn't get to the right center. And
4 maybe it is because it was in the middle of a
5 snowstorm, and then Medicaid accepts it or
6 Aetna accepts it. But you have to have some
7 sort of explanation for why.

8 MEMBER ARMSTRONG: Yes, I would
9 say, from a health plan point of view, systems
10 issues are very important. I think as we move
11 into the ACO, accountable care organization,
12 environment, they are going to become even
13 more so. So, I think that is fine.

14 Some of the health plan issues
15 are, when we go in and try to independently
16 confirm some of the reporting, and then we
17 have this issue of whether you are using ICD-9
18 and what the administrative database
19 limitations are, but it is not this one.

20 I would say, for this one the
21 challenge we have when we try to look at this
22 is what you define a Level 3 NICU to be. And

1 it is all over the boards.

2 CO-CHAIR SAKALA: Kim?

3 MEMBER GREGORY: I think another
4 issue down the road, actually, if and when
5 this gets implemented, is the ambulance.
6 There are times when the ambulance doesn't
7 take them to the right level of care. If we
8 really want this to happen, probably that
9 needs to be thought about as well, because the
10 ambulance is going to take them to the closest
11 hospital, even though the most appropriate
12 hospital may be a little bit further down the
13 road.

14 MEMBER YOUNG: I can actually
15 speak a little bit to that because we have a
16 very large transfer center, and we also
17 support several county EMS systems in our
18 emergency department.

19 Their standard of care is to take
20 the patient, if the patient is in active labor
21 -- and that to most EMS or at least basically
22 EMT-trained people is screaming loudly every

1 three minutes -- then that means that that
2 patient needs to go to the nearest emergency
3 department or nearest hospital. They don't
4 get to bypass care. That is not their
5 standard of care. But their standard of
6 training is that they go to the nearest
7 emergency department or the nearest hospital
8 to be evaluated, treated, and stabilized.

9 CO-CHAIR SAKALA: Lee?

10 MEMBER PARTRIDGE: I served for --
11 is this on (referring to microphone)? As a
12 former hospital board member for five years in
13 a hospital that delivered a lot of babies
14 because it was the public hospital here in the
15 District of Columbia, I can tell you that
16 public reporting of this kind of information
17 would make a major impact on the hospital's
18 trustees. It is the kind of thing that you
19 might not know anything about as a member of
20 the hospital board, but if it showed up,
21 particularly if it was picked up by your local
22 news shows, you would ask questions and you

1 would try to encourage changes.

2 DR. MAIN: If I might add, I think
3 one of the directions of this kind of a
4 measure is to change systems, including things
5 like EMS. In San Francisco, the EMS providers
6 do bypass hospitals and take them to
7 designated higher-level obstetric facilities.

8 So, it is possible. But, again, I
9 think you need direction from quality
10 indicators to do that.

11 CO-CHAIR SAKALA: We are a little
12 tight on time. Any other urgent comments?

13 Sorry. Yes?

14 MEMBER DRYE: I just wanted to
15 clarify really quickly that I think
16 philosophically it is fine to hold hospitals,
17 to put the locus of reporting on a hospital to
18 drive system change to a certain degree
19 because hospitals are clearly critical actors
20 within the health system. So, I wasn't
21 objecting to that per se.

22 And what I have heard from the

1 group is hospitals can act and it is rare that
2 they don't have the option they need here to
3 perform on the measure.

4 CO-CHAIR SAKALA: Okay. Could we
5 have a vote, please, on usability?

6 (Whereupon, a vote was taken.)

7 Okay. So, 17 high, 8 moderate,
8 and no lows.

9 So, let's turn, finally, to
10 feasibility, please.

11 MEMBER SUTHERLAND: So, the
12 Workgroup felt that this measure would be easy
13 to report because it is collected in the state
14 birth certificate data. The survey showed
15 that less than 1 percent of this data was
16 missing information that would be needed for
17 this measure. So, this, in general, got a
18 score 4 high and 1 moderate for feasibility in
19 our Workgroup.

20 CO-CHAIR SAKALA: Comments?

21 (No response.)

22 Ready to vote?

1 Okay. Let's vote.

2 (Whereupon, a vote was taken.)

3 DR. WINKLER: Twenty-three high, 2
4 moderate, zero low.

5 CO-CHAIR SAKALA: Okay, and
6 finally an overall suitability-for-endorsement
7 vote, please.

8 MEMBER PROFIT: Maybe I could just
9 have a comment in the meantime. I would hope
10 to retire this measure soon because I think it
11 could be fixed with appropriate incentives.
12 So, hopefully, next go-round it won't be an
13 issue anymore.

14 CO-CHAIR SAKALA: Nancy?

15 MEMBER LOWE: Yes, just related to
16 that, though, I have been in this business
17 more than 40 years. And I remember the
18 beginning of perinatal regionalization in
19 Illinois, and here we are still talking about
20 the same problem. So, I doubt it highly.

21 (Laughter.)

22 CO-CHAIR SAKALA: Okay. One

1 equals yes and 2 no, please.

2 (Whereupon, a vote was taken.)

3 Okay. Twenty-five yes and no
4 noes.

5 Thank you.

6 Okay. Now we are going to move on
7 to two measures that were moved to the morning
8 session. One is 474, birth trauma, injury to
9 neonate, an AHRQ measure.

10 Do we have a developer here or on
11 the phone? Good.

12 MS. PANCHOLI: Hello. Yes.
13 Mamatha Pancholi from AHRQ.

14 CO-CHAIR SAKALA: Welcome.

15 And this is Chuck Denk, right?

16 MEMBER DENK: That's right.

17 CO-CHAIR SAKALA: Oh, I'm sorry.
18 Is that okay? Yes. All right.

19 MEMBER DENK: We are doing them
20 out of order. Is that okay?

21 Okay. Just as a little bit of
22 context, I just want to say that this

1 particular measure, entry to neonate, is part
2 of a portfolio that is implemented by AHRQ at
3 the state level at least; I don't know if it
4 is implemented at other levels.

5 It is a whole package of patient
6 safety indicators, and it is rolled out with
7 computer programs for analyzing universal
8 billing records. And that is how it is used
9 in New Jersey in any case.

10 So, the methodology which you see
11 here is driven by the normal sort of ICD-9
12 codes, but this is all done electronically, to
13 my experience. New Jersey does it and reports
14 it back to hospitals more than it does to the
15 public.

16 Given that, this measure ran into
17 some problems. They basically fell into two
18 categories.

19 The first one was a bit of
20 confusion because brachial plexus injuries
21 are, in fact, excluded from consideration in
22 this measure. That was felt to be a pretty

1 strange exclusion. The rest, you know, the
2 other birth injuries, most of them are
3 associated with -- well, let's see. I won't
4 say that.

5 I will say that, as originally
6 submitted, there was no real explanation for
7 why BPIs were excluded, but the literature
8 review that you see in the measure's
9 presentation focuses explicitly on brachial
10 plexus injuries as an important thing to be
11 avoided.

12 Since that time, the developers
13 have submitted an appendix or something which
14 I found on my drive here. Didn't know it
15 existed. But, anyway, I got a chance to skim
16 it. And I will just read you a summary
17 paragraph because it is not available anywhere
18 else.

19 "In summary, the clinical evidence
20 indicates that most depressed skull fractures
21 and some intracranial hemorrhages are related
22 to application of forceps, vacuum-related.

1 Subaponeurotic hemorrhages are related to how
2 the vacuum device is applied. Most spinal
3 cord injuries are related to entrapment of the
4 fetal head, and most cutaneous lacerations are
5 related to scalpel manipulation during the
6 delivery process."

7 So, that sort of shortcoming was
8 addressed by the developers, but still leaves
9 a sort of an issue of whether or not brachial
10 plexus issues should be excluded. I imagine
11 that the logic is because shoulder dystocia is
12 an unpredictable and hardly preventable thing.

13 But I think it is appropriate,
14 right, that we should ask the developers to
15 comment on that?

16 DR. ROMANO: Yes, I can comment on
17 that. This is Patrick Romano. This is
18 Patrick Romano from UC-Davis, representing
19 AHRQ.

20 So, first of all, let me apologize
21 for the confusion in the submission form.
22 Because we have separately submitted and

1 discussed the literature for shoulder
2 dystocias and for other types of birth
3 injuries with our expert panels. And so,
4 unfortunately, we put the wrong literature
5 review in the document. So, we apologize for
6 that confusion.

7 So, we did present -- we have two
8 separate expert panels, one focused on the
9 obstetrics side and one focused on the
10 neonatology side, that reviewed this
11 indicator. And both of those panels
12 recommended excluding the brachial plexus
13 injuries, the shoulder dystocia-related
14 injuries, the clavicular fractures as well,
15 from the numerator specification for this
16 indicator.

17 So, that is why this indicator, as
18 specified, now focuses on intracranial
19 hemorrhages, skull fractures, long bone
20 fractures, scalpel lacerations, and the other
21 types of nerve injuries that you see there.

22 And the rationale for the

1 exclusion was, as you say, primarily because
2 of concern that many of the shoulder dystocia-
3 related injuries are transient injuries. They
4 have no particular clinical significance. The
5 duration of the injury may be unpredictable,
6 and the degree of preventability was
7 uncertain.

8 Many of the panelists felt that
9 these complications were, therefore, very
10 difficult to avoid relative to the other types
11 of complications that remain in the indicator
12 numerator specification.

13 MEMBER DENK: Thank you.

14 And that brings me to the second
15 issue under importance that the Subcommittee
16 sort of struggled with, which is actually an
17 extension of your comment, which is that all
18 of these injuries seem to be sort of diverse,
19 a little bit difficult of ascertainment
20 perhaps, and specifically not relatable to any
21 specific kind of quality improvement strategy
22 which would reduce those kinds of injuries,

1 except one. That is one that I can vouch for
2 being a very important one in New Jersey,
3 which is to just section everybody.

4 (Laughter.)

5 So, one of the issues that we are
6 supposed to be considering here is the adverse
7 consequences of measuring a certain thing in
8 a certain way and then having the measure be
9 gamed. And so, that became a serious
10 consideration.

11 Basically, it was a pretty split
12 vote. It was the most diverse ranking of any
13 of the measures in my Working Group. It was
14 based on that, the lack of a connection
15 between a positively-oriented quality
16 improvement strategy and the sort of mixed bag
17 of injuries that fall under this category.

18 MEMBER BAILIT: Hi, Dr. Romano.

19 When I have used this measure in
20 the past, it has been completely dominated by
21 lacerations of C-section, which, I think, the
22 vast majority of which are minor and of no

1 long-term consequence.

2 Can you comment as to the
3 construct of this measure, about what
4 proportion is taken up by the lacerations?

5 DR. ROMANO: Yes. I think that
6 when we examined this, actually -- well, the
7 data that I have in front of me are that two-
8 thirds of them were actually skeletal
9 injuries. Most of those skeletal injuries
10 were skull fractures, and the remaining one-
11 third were mostly in the category of
12 lacerations. Specifically, they fall into
13 767.8, which is other specified birth trauma.

14 So, those two codes account for
15 the majority. The intracranial hemorrhages,
16 the spinal cord injuries, and the other
17 cranial and peripheral nerve injuries together
18 account for less than 10 percent.

19 And I would say, just to follow up
20 on your comments, that, well, this is sort of
21 precisely why our expert panels wanted to
22 retain this definition where you have some

1 components that are more common with cesarean
2 delivery and other components that are more
3 common with vaginal delivery or instrumented
4 vaginal delivery.

5 Because, obviously, the cutaneous
6 lacerations, although they are not very
7 clinically-significant, they are very
8 difficult for parents to deal with, and they
9 are largely limited to the cesarean
10 deliveries.

11 On the other hand, the other types
12 of trauma here are more common with vaginal
13 deliveries, and specifically instrumented
14 vaginal deliveries. And those categories are
15 clearly associated with higher length of stay
16 and higher charges. So, we do have a mixture
17 of some that are cesarean-related that don't
18 have a lot of clinical consequence, but are
19 distressing to parents, and others that have
20 serious clinical consequences that are more
21 common with instrumented vaginal deliveries.

22 MEMBER DENK: Please, Bill

1 Grobman?

2 MEMBER GROBMAN: So, I guess I
3 would just say a couple of things. I mean,
4 one, I think, Dr. Romano, what you brought up
5 is this sort of balancing, though. They
6 really are so profoundly different, you know,
7 lacerations, although clearly sad for parents,
8 much less sad than a spinal cord injury or a
9 major subaponeurotic bleed. That would be No.
10 1.

11 No. 2, I would actually question
12 whether or not, just from a personal
13 experience in the last few days, whether
14 lacerations are really just related to
15 C-section. For example, we just had a baby
16 coded with a laceration from a scalp bleed
17 that was literally just a tiny, little -- it
18 was .3 millimeters, it was in the chart, but
19 it got coded; it was denoted on the physical
20 exam by the pediatrician and subsequently
21 coded as a scalp laceration by coding people.

22 And then, the other thing I would

1 tell you is there is -- and this was mentioned
2 -- a tremendous amount of ascertainment bias.
3 Because, true, for a facial palsy or
4 something, that might be picked up, but the
5 intracranial hemorrhages, that is so dependent
6 on what kind of radiologic investigations is
7 done.

8 And actually, I would view the
9 documentation that was sent as of greater
10 concern in the sense of it is well-described
11 that those things occur with spontaneous
12 deliveries, both bleeds and skull fractures,
13 but yet it is rare that babies who are
14 delivered from spontaneous deliveries get the
15 kind of workover that babies, even
16 asymptomatic babies, that are delivered by
17 operative vaginal delivery receive.

18 And so, there is a tremendous
19 amount of ascertainment, and it is also not
20 entirely clear, then, that this drives quality
21 toward any particular way. Because if my rate
22 was bad, I wouldn't know what to do.

1 MEMBER DENK: Well, I was putting
2 this off until the next slide, but probably it
3 is worth talking about it right now. One of
4 the scientific questions had to do with why
5 the measure is not stratified by the method of
6 delivery. And so, there may be a past history
7 of that, but we would sort of like to think
8 about that, too, as to whether stratifying it
9 by cesarean delivery versus an attempted
10 vaginal delivery, because I guess you could
11 have both occur, would actually greatly
12 improve -- we'll talk about scientific
13 validity in a minute, but talk about how we
14 can sort of get rid of this problem of having
15 adverse consequences and gaming.

16 Dr. Berns, did you have something
17 to say?

18 MEMBER BERNS: Oh, I just had a
19 quick question. I am just curious, in the
20 denominator exclusions, the first one, pre-
21 term infants, I get it, but with a birth
22 weight less than 2,000 grams. I am just

1 curious as to why you choose 2,000. Was it
2 because it was halfway between 1500 and 2500?
3 I'm not sure.

4 MEMBER DENK: Maybe that is what
5 we should talk about when we get to the next
6 slide.

7 (Laughter.)

8 After this vote, that is the next
9 thing, isn't it? Denominator, that kind of
10 stuff is usually the second vote?

11 CO-CHAIR SAKALA: Yes.

12 Mary, and then we are going to
13 need to move on because of time.

14 MEMBER LESLIE: Okay. I just
15 wanted to clarify, we don't actually have the
16 evidence then for this measure. The evidence
17 that was submitted was on shoulder dystocia?
18 So, we can't actually evaluate the evidence
19 for this measure, is that correct?

20 DR. WINKLER: This was sent to you
21 last night after we received it from AHRQ. It
22 is also on the flash drive. So, we have given

1 it to you as soon as we got it.

2 MEMBER DENK: Right. I can
3 summarize. The last paragraph I think is what
4 is relevant here. There was a study of 669
5 newborns at Georgetown University Hospital who
6 had a discharge diagnosis of birth trauma. It
7 basically concludes to say additional
8 validation work is planned, but has not yet
9 been completed in collaboration with the
10 National Perinatal Information Center.

11 So, do you want to expand on that,
12 Dr. Romano?

13 DR. ROMANO: No. I would just
14 point out I am not sure if Janet Muri is on
15 the phone.

16 MS. MURI: Yes, I am. Hi.

17 DR. ROMANO: Okay. So, the
18 National Perinatal Information Center has been
19 a co-steward of this measure with AHRQ. And
20 so, I will let Janet speak.

21 MS. MURI: I think that we have
22 used the measure for reporting back to our

1 hospitals for a number of years. I think that
2 there are a total of about 12 ICD-9 codes, I
3 think, that can be generated for birth trauma.
4 This is a subset. AHRQ uses a subset of codes
5 that are the most serious codes and are not
6 prone to miscoding by the coders or
7 overcoding. So, it really is a subset that
8 gets to the seriousness of the injury.

9 We have not stratified by type of
10 delivery, which might be something to think
11 about. As I said, we have given our hospital
12 a lot of data over the years, and many of our
13 member hospitals have actually used this to
14 drill down and look at their processes around
15 labor and delivery to see whether or not there
16 is opportunity for improved training or
17 decisionmaking during the process of the
18 delivery to mitigate birth trauma.

19 So, we have gotten good feedback
20 from our hospitals. I think they appreciate
21 monitoring this measure on an ongoing basis.
22 And many of them will include this measure on

1 their dashboards that they bubble up to the
2 board of directors or the quality management
3 group.

4 CO-CHAIR SAKALA: Thank you.

5 Laura?

6 CO-CHAIR RILEY: A quick question
7 for you, and this may be an unfair question.
8 But do you have any idea of what the C-section
9 rate is in those hospitals that you do this
10 reporting, just out of curiosity?

11 MS. MURI: Yes. I think we have
12 about, let's see, I think it is averaging
13 right now about 34.5 percent. I can check
14 that for you and give you a specific number.
15 This is data as of 3/31/2011.

16 CO-CHAIR RILEY: For the non-
17 clinicians, that is high.

18 (Laughter.)

19 MEMBER DENK: Yes, yes.

20 CO-CHAIR RILEY: A little bit
21 high.

22 MEMBER KIEHN: From a health plan

1 perspective, that is low. The national rate
2 is 38 percent in 200,000 commercial births.

3 MEMBER DENK: Right. Yes.

4 I think we could go ahead and
5 probably --

6 MS. MURI: Yes, I think it is in
7 the neighborhood of about 35 percent.

8 CO-CHAIR SAKALA: Okay. So, could
9 we please have a vote, importance to measure
10 and report? Yes says you agree that it meets
11 criteria for impact, opportunity for
12 improvement, and evidence.

13 (Whereupon, a vote was taken.)

14 Okay. So, 4 yes and 20 no.

15 And that means that we are moving
16 on to the next measure, which is -- and
17 apologies for getting this out of order --
18 Craig Gilliam will lead the discussion on 478,
19 nosocomial bloodstream infections in neonates.

20 DR. WINKLER: Craig, just a
21 second. Let me jump in.

22 Is Rebecca Gee on the phone?

1 Rebecca, have you joined us yet?

2 (No response.)

3 Rebecca got bumped from her flight
4 last night and is flying in this morning, but
5 she just emailed in saying she is on her way
6 and wanted to call in. So, we just want to
7 see if she is there.

8 CO-CHAIR SAKALA: Okay. Thank
9 you.

10 MEMBER GILLIAM: So, the
11 description of this, this is a percentage of
12 high-risk newborns with an ICD-9 code for
13 bloodstream infection. The numerator is
14 discharges among those cases that meet the
15 inclusion and exclusion rules, and the
16 denominator is those newborns or outborns that
17 are between a birth weight of 5 to almost 1500
18 grams or a gestational weight of 24 to 30
19 weeks or those that have maybe a high birth
20 weight of greater than 1500 grams, but have a
21 death, an operating room procedure,
22 mechanically ventilated, or they stay in the

1 hospital less than two days and are
2 transferred to another facility.

3 And I apologize, I was not on the
4 call, but I am summarizing the notes from the
5 group. I will let them also give their
6 opinion as well.

7 This is, in our opinion, high
8 impact. It is something that is measured in
9 many facilities. There is room for
10 improvement.

11 If you look at the data, the
12 Centers for Disease Control, their NHSN system
13 would suggest that the rate is significantly
14 higher for those that are lower birth weight.
15 And when you actually look at individual
16 quartiles, or quintiles I guess is the latest
17 one, those that are of very low birth weight,
18 the rate of infection is higher in that
19 particular group.

20 CO-CHAIR SAKALA: Comments on
21 importance to measure?

22 DR. ROMANO: Could I just make a

1 prefatory comment?

2 CO-CHAIR SAKALA: Please.

3 DR. ROMANO: So, I think it is
4 important for the Committee to know that we
5 have been through, I think, about a three-
6 month process with the Joint Commission to
7 harmonize the specifications for this
8 indicator with the corresponding Joint
9 Commission measure that will be reviewed
10 tomorrow morning.

11 I am not sure if Celeste Milton
12 from the Joint Commission is on the phone.

13 There are some remaining
14 differences between the measures that really
15 relate to the Joint Commission's use of chart
16 data as well as ICD-9-coded data. But as far
17 as the ICD-9 CM codes are concerned, we have
18 harmonized exactly with the Joint Commission.

19 DR. WINKLER: Just to follow up on
20 that, what is your timeline and plan on the
21 conversion to ICD-10?

22 DR. ROMANO: We both have draft

1 specifications based on ICD-10 codes, but, as
2 I think most people here know, the ICD-10 CM
3 codes are significantly more specific than the
4 ICD-9 CM codes. And so, they raised some
5 clinical issues about which of the codes we
6 want to capture. In some cases, the exact
7 mapping may not be what we want for the
8 indicator specification.

9 So, I think we have planned a
10 process of going back jointly to a clinical
11 group to get input regarding the ICD-10 CM
12 specification. That would be done over the
13 next few months.

14 CO-CHAIR SAKALA: Other comments
15 on importance?

16 MEMBER BRANDENBURG: This is just
17 one more comment. This is kind of like the
18 DVT measure. This is one of those ones where
19 NICU and obstetrics is just catching up to all
20 the other specialties that are already looking
21 at nosocomial infections.

22 CO-CHAIR SAKALA: Jochen?

1 MEMBER PROFIT: I just had a
2 question. It is not really about importance.
3 But since we are now starting to like talk
4 about all these infection measures, many of
5 these infection measures are good, but maybe
6 we don't want hospitals to report five
7 different infection measures.

8 So, I guess I am not sort of clear
9 about how our vote on each individual measure
10 is kind of converted into a real endorsement
11 of the measure. So, I don't know if we could
12 specify that.

13 Now my other question for the
14 measure developer was -- and maybe we will get
15 to that in feasibility, but the difference
16 between the AHRQ measure and the Joint
17 Commission measure. AHRQ does everything on
18 the back-end without the hospital and
19 everything actually having to do something to
20 collect a measure (sic) versus Joint
21 Commission will require the hospital to
22 collect some data.

1 So, I guess if you could clarify
2 that along the way, that would be important
3 for me. Thank you.

4 DR. WINKLER: In terms of multiple
5 similar measures, yes, the Work Group
6 certainly identified that there are at least
7 four measures that are very similar. The
8 question is, do we need them all?

9 The way we do this is in a
10 stepwise approach. What we want to do is
11 evaluate each of the individual measures on
12 their own merits to determine if they do meet
13 the criteria. That is why that last question
14 is really, does it meet criteria?

15 Then, tomorrow afternoon, if you
16 notice the agenda as well as the memo that
17 came, we will put them side-by-side and see
18 how they are different and how they are alike,
19 and ask you to address that question of, are
20 any clearly superior? Do we need all of them?
21 What would be your final recommendation, based
22 on that comparison.

1 But the first step is to be sure
2 that all of them meet the criteria. If, for
3 instance, one of them doesn't, then they are
4 less in the side-by-side.

5 CO-CHAIR SAKALA: Other comments
6 on importance?

7 (No response.)

8 Okay. Let's take a vote on
9 importance.

10 (Whereupon, a vote was taken.)

11 Okay. Unanimous. For those who
12 voted, 25 yes, no noes.

13 So, scientific acceptability,
14 please.

15 MEMBER GILLIAM: So, as far as the
16 scientific acceptability, the reliability, as
17 you can see, two voted high and three voted
18 moderate. I think the issue is, as alluded to
19 earlier, one of the issues is that this is
20 using coding data after discharge versus
21 prospective that the Joint Commission would
22 suggest.

1 So, you can see there is a concern
2 about biases related to transfer and if that
3 would have any impact or not. I am going to
4 let the other members, if they had any
5 comments to make, about that.

6 MEMBER DRYE: I think this measure
7 is in use already, right? And I would just be
8 interested to hear the experience of users
9 because it is a really complex measure the way
10 it is specified. What is the experience?

11 MEMBER GILLIAM: We don't use it
12 in my facility currently.

13 CO-CHAIR SAKALA: Do you have a
14 comment on that?

15 DR. ROMANO: Yes. This is one of
16 our more recently-developed measures. This is
17 one of the neonatal quality indicators, which
18 is the most recently-developed module of
19 quality indicators. And therefore, we haven't
20 yet undertaken a detailed kind of chart-based
21 validation work.

22 We do have extensive user

1 experience and user feedback that comes into
2 us as well as from the Joint Commission. So,
3 as part of the harmonization process, we
4 basically reviewed that feedback with the
5 Joint Commission. In every case where
6 hospitals told us that they found some
7 discrepancy or some error in their own
8 internal review of those cases, we tried to
9 identify the cause of that and fix that in the
10 harmonized specifications.

11 So, that is why, unfortunately,
12 the specifications that have been submitted
13 here are slightly different from the
14 specifications that are posted currently on
15 the website, because of that user feedback
16 process that we have incorporated into the
17 revision.

18 Just to comment on the transfer
19 status, so the way that we have dealt with
20 that is to have the risk-adjustment model
21 include a variable for patients who are
22 transferred in from another facility. And so,

1 that has a positive coefficient in the risk-
2 adjustment model. So, those patients get a
3 little bit extra credit for a little higher
4 risk of mortality. Of course, that may not
5 take into consideration local factors that may
6 drive differences in referral across hospitals
7 and different communities. But at least,
8 overall, it is an average effect of transfer
9 in that is accounted for.

10 CO-CHAIR SAKALA: Thank you.

11 Other comments on scientific
12 acceptability?

13 MEMBER PARTRIDGE: I'm a little
14 confused. Are the specifications that AHRQ is
15 currently using different from the measures
16 before us?

17 DR. ROMANO: Yes, that is what I
18 am saying. The measure before you is a result
19 of the harmonization with the Joint Commission
20 to ensure that we are using a consistent set
21 of ICD-9 codes. If you want me to go through
22 the details of --

1 MEMBER PARTRIDGE: No.

2 DR. ROMANO: -- how it is
3 different, I can.

4 (Laughter.)

5 They are minor differences, but
6 there are some slight differences.

7 MEMBER PARTRIDGE: So, in the
8 future your AHRQ specs will change to be what
9 is in front of us? Okay.

10 DR. ROMANO: That's correct. I
11 think that is slated for Version 4.4.

12 DR. WINKLER: Patrick, do you have
13 any sense of the degree of changes that have
14 some impact on your previous evaluations of
15 reliability and validity of the measure?

16 DR. ROMANO: Actually, our
17 preliminary estimate is that it has very
18 little impact on the overall rate of the
19 indicator and the distribution of the
20 indicator. I think it actually has more of an
21 impact on the Joint Commission measure because
22 the Joint Commission measure, it had a broader

1 denominator exclusion, that it was excluding
2 a larger group of patients that are now
3 recaptured in their denominator.

4 So, what we are finding is very
5 little impact overall on the mean rate and the
6 distribution of rates.

7 MEMBER PROFIT: I had a question
8 about -- I'm sorry if I make a fool out of
9 myself here -- but under Section 2A1.9, the
10 denominator exclusion details, I just can't
11 figure out why all these bacteria or
12 septicemias are excluded from the denominator.

13 DR. ROMANO: Right. That is a
14 little bit tricky. You have to look at the
15 beginning of that section, and they are
16 excluded if the patient has a principal
17 diagnosis or a secondary diagnosis present on
18 admission. So, in other words, if the
19 hospital says that the patient was transferred
20 into us with this infection, then we exclude
21 the patient from the denominator.

22 MEMBER PROFIT: Okay.

1 DR. ROMANO: So, those exclusions
2 only apply if they are reported by the
3 hospital as present on admission or the
4 principal reason for admission.

5 MEMBER YOUNG: And regarding the
6 future for ICD-9 versus ICD-10 conversion,
7 have you all already done that because you
8 clearly have listed ICD-9 codes in --

9 DR. ROMANO: Right.

10 MEMBER YOUNG: -- Section 22A1.9,
11 and also for your 22A1.3.

12 DR. ROMANO: Here we go.

13 Yes. So, I am looking at a draft
14 ICD-10 CM specification, which is based on the
15 application of the code maps. But in the case
16 of some bacteria, in other words, our expert
17 panels helped us identify the specific
18 bacteria that were felt to be the most
19 important causes of nosocomial bacteremia in
20 this neonatal population. In some cases, the
21 ICD-10 CM codes are a bit more specific. And
22 so, we will want to review those more specific

1 organisms with some clinical consultants. But
2 we have a draft specification that will go
3 through review over the next few months.

4 CO-CHAIR SAKALA: Jaleel?

5 MEMBER JALEEL: Yes, I had a
6 question about the denominator statement,
7 which No. 3 is birth weight greater than or
8 equal to 1500 grams, plus all those other
9 criteria, hospital death, operating room
10 procedure, mechanical ventilation.

11 I know that, yes, these factors
12 will increase the risk for infection, but is
13 this going to muddy the water? Why not
14 clearly cut it at 1500 grams because those are
15 the babies which we are really worried about
16 having bloodstream infections? Why include
17 them in this denominator?

18 DR. ROMANO: Well, I can tell you
19 the clinical concept was to include babies who
20 are high-risk, and that is predominantly
21 babies who are likely to be in an NICU. So,
22 given that people operationalize this

1 indicator without knowing exactly which babies
2 were in the NICU and which ones were not, this
3 represents an effort to identify the babies
4 who are likely to have been in an NICU, either
5 because of their birth weight or because of
6 their congenital anomalies or their need for
7 a major operation during the neonatal period
8 or the fact that they were transferred in for
9 high-risk conditions. So, that is the
10 clinical concept of the indicator.

11 As with the previous indicator, it
12 is common practice in the RQIs to stratify.
13 And so, that would be an option. But,
14 currently, it is not part of the
15 specification, but that would certainly be an
16 option.

17 MEMBER JALEEL: Is there any
18 scientific basis? Is there any literature to
19 back it up, that, yes, these are factors which
20 are important?

21 DR. ROMANO: There is certainly
22 ample evidence on babies admitted to NICUs

1 being at risk, even if they are not low birth
2 weight. We have not specifically validated
3 whether this set of denominator inclusion
4 rules captures babies who were in a neonatal
5 intensive care setting. So, that is something
6 that we could evaluate over the next year or
7 two, if it is of interest to NQF.

8 MEMBER GILLIAM: Can I just
9 mention, I mean, from a clinical standpoint
10 from doing surveillance, we are going to
11 survey all of those neonates, whether they are
12 above 1500 grams or not. They are not a huge
13 population, but they are ones.

14 And for us, excepting those
15 neonates that have a central line as an
16 additional risk factor, those are
17 mechanically-ventilated. Those are the ones
18 that we more likely are going to have an
19 operative procedure and have post-operative
20 problems and at risk for developing a
21 bloodstream infection.

22 So, from a surveillance

1 standpoint, it is not that much more work. In
2 fact, it is harder to exclude them, from our
3 perspective.

4 CO-CHAIR SAKALA: Thank you.

5 Other comments? We are getting a
6 little behind schedule here.

7 MEMBER DRYE: I am always
8 following that comment.

9 (Laughter.)

10 I just wonder, in particular,
11 using mechanical ventilation as an indicator,
12 how consistent -- can you speak to how the
13 ICD-9 code is consistently used across
14 hospitals and whether CPAP or intubation, or
15 is there some clear threshold for use of that
16 code that is consistently coded? Because I
17 know in our work we have been reluctant to use
18 it to classify patients.

19 DR. ROMANO: That is an
20 interesting question. I think our experience,
21 and the feedback that we have received from
22 users, has been that it is very well-coded

1 because it is how they justify the prolonged
2 stay of the patients in the hospital. Without
3 those codes, it is hard to justify why these
4 babies are staying so long in the hospital.

5 But we haven't specifically
6 validated that. It is just the feedback we
7 have received from users.

8 CO-CHAIR SAKALA: Final comment?

9 MEMBER DRYE: Yes, final comment.

10 To me, it is interesting to see
11 death as part of the denominator definition
12 when, obviously, death is a potential outcome
13 of bloodstream infection. I just wonder from
14 a surveillance standpoint, you know, how does
15 that group of babies fit into what you would
16 normally do in surveillance to look for
17 preventable bloodstream infections?

18 MEMBER GILLIAM: It doesn't, to be
19 honest, it doesn't impact one way or the
20 other. I mean, the only way that it might
21 impact is when we talk about prevention
22 strategies. If we look at why that neonate

1 died related to infection, then we may go back
2 and address something. It is not
3 inconsequential, but it is not a huge impact
4 I think in most NICUs.

5 DR. ROMANO: Yes, again, this was
6 a product of user feedback where a user said,
7 "Well, we had some neonates who were in the
8 NICU for a short period of time, and because
9 of their profound anomalies, it was determined
10 that they would not be mechanically
11 ventilated."

12 But during the time that they were
13 in the NICU they were at risk for nosocomial
14 infection. And so, we decided to capture them
15 to maintain that clinical concept. But it is,
16 I agree, it is a bit unusual.

17 CO-CHAIR SAKALA: Last comment,
18 please.

19 MEMBER DENK: Yes, from the non-
20 clinician, from a statistical point of view,
21 this one shares probably some characteristics
22 with the last one in that it is really a

1 composite measure. There's several different
2 clinical things going on. There's several
3 different denominator bins for people to fall
4 into.

5 And maybe it might be more helpful
6 if measures like this get treated explicitly
7 as composites from the very beginning because
8 the mixing and matching seems to be throwing
9 a lot of people off; whereas, a weighting
10 scheme, even if it turned out to be equal
11 weighting, you know, might help clarify to
12 people sort of what's the justification for
13 combining them as a composite.

14 CO-CHAIR SAKALA: Okay. Could we
15 please have a vote now on scientific
16 acceptability of the measurement properties?

17 (Whereupon, a vote was taken.)

18 So, 23 yes and 2 no.

19 So, we will move on to usability,
20 please.

21 MEMBER GILLIAM: So, the
22 usability, it was high and then two were

1 moderate. As we may discuss, in the future it
2 is going to be harmonized with the Joint
3 Commission. We have already briefly mentioned
4 that transfers is not a huge impact.

5 CO-CHAIR SAKALA: Other usability
6 comments?

7 (No response.)

8 So, if not, we can vote on that,
9 please.

10 (Whereupon, a vote was taken.)

11 So, 13 high, 11 moderate, and no
12 low.

13 Finally, feasibility, please.

14 MEMBER GILLIAM: Feasibility, I
15 mean, you may or may not be aware there is
16 ongoing initiatives through the Centers for
17 Medicaid and Medicare that bloodstream
18 infections related to central lines will be
19 reported. There are at least 25 states or
20 more that have mandatory reporting.

21 And so, for those hospitals that
22 are in the IPPS system, beginning in January,

1 they are required to have that data to be
2 reported as part of their reimbursement
3 process. So, it is something that all of us
4 are going to be doing or are already in the
5 process of doing. And there are several
6 avenues that you have as far as reporting
7 that.

8 So, from feasibility, it is
9 doable. I mean, we are going to have to do
10 it. So, it's doable.

11 (Laughter.)

12 CO-CHAIR SAKALA: Other comments?

13 DR. WINKLER: Just a question.

14 Just to clarify your comment, Craig, is it
15 this measure?

16 MEMBER GILLIAM: It is not this
17 specific measure, but it is reporting -- under
18 the IPPS system, they are going to require
19 that you report central-line-associated
20 bloodstream infections in an ICU setting. And
21 so, this would be one of the settings that you
22 would be expected, but it is not this

1 specific.

2 CO-CHAIR SAKALA: Other comments?

3 Okay. Please vote for

4 feasibility.

5 (Whereupon, a vote was taken.)

6 Eighteen high, 7 moderate, no low.

7 Finally, an overall vote, please,

8 on the suitability of this measure for

9 endorsement.

10 (Whereupon, a vote was taken.)

11 Okay. Unanimous. Twenty-five

12 yes, no noes.

13 Now we will move to public comment

14 about anything that we have discussed in this

15 session, first in the room and then on the

16 phone.

17 Anyone in the room want to say

18 anything?

19 Patrick?

20 DR. ROMANO: Yes, I just wanted to

21 say that, with respect to PSI 17 on birth

22 trauma, I can't speak for AHRQ on this

1 question, but they may be interested in
2 resubmitting a revised version of this
3 indicator at sometime.

4 So, it would be helpful for us to
5 understand the reasons for the Committee's
6 vote on importance and whether it was due to
7 the lack of inclusion of shoulder dystocia-
8 related injury or whether it was due to the
9 heterogeneity of the indicator and the fact
10 that it mixes different types of injury. So,
11 those would obviously be responded to in two
12 very different ways. So, that would be
13 helpful for us to understand.

14 CO-CHAIR SAKALA: Okay. Other
15 public -- Committee about this now? Or in the
16 report?

17 DR. WINKLER: Well, we will need
18 to capture it. I may need to get back with
19 you all about it, once I have gone through the
20 notes and everything, to see if we can respond
21 to Patrick's comments. But we will certainly
22 want to be able to clearly delineate the

1 rationale.

2 CO-CHAIR SAKALA: Other public
3 comments in the room?

4 (No response.)

5 On the phone, please?

6 Operator?

7 THE OPERATOR: Thank you.

8 CO-CHAIR SAKALA: Yes.

9 THE OPERATOR: If you would like
10 to make a comment over the phone, please press
11 *1 at this time.

12 (No response.)

13 We have none at this time. CO-CHAIR
14 SAKALA: So, we are going to break for lunch
15 now from 12:30 to one o'clock.

16 And where is it located? Right
17 around the corner, okay.

18 Thank you, everyone.

19 (Whereupon, the foregoing matter
20 went off the record for lunch at 12:26 p.m.
21 and went back on the record at 1:12 p.m.)

22

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 1:12 p.m.

3 DR. WINKLER: If everybody can
4 take their seats, then we can get going and
5 work again.

6 Dr. Gee, would you like to
7 introduce, Rebecca. I can do that because she
8 was my former resident.

9 MEMBER GEE: Good afternoon,
10 everybody.

11 Rebecca Gee. I am an
12 obstetrician/gynecologist, former Robert Wood
13 Johnson clinical scholar and live in New
14 Orleans, which is fabulous except when they
15 cancel a flight because there is not a lot of
16 transportation. We have infrastructure
17 problems, as some of you may have heard with
18 Katrina.

19 But I direct the Birth Outcomes
20 Initiative, which is a targeted effort to
21 decrease infant mortality and prematurity and
22 improve outcomes for women delivering babies

1 in the State of Louisiana. We fare 49th in
2 the nation on most metrics, unless Mississippi
3 does better than us, in which case we are
4 50th. We have the highest cesarean section
5 rates in the nation.

6 We are developing a number of
7 initiatives. One is -- and I have heard many
8 of you are involved in this -- we are changing
9 our vital records system so that we can
10 accurately collect data on non-medically-
11 indicated elective inductions prior to 39
12 weeks. We are doing statewide benchmarking
13 and report-carding. We are doing an IHI
14 collaborative with all of our large maternity
15 hospitals.

16 And my work is cross-sector. So,
17 I work across Medicaid, our Department of
18 Public Health, and Title V program. I am also
19 chairing the ASTHO Presidential Challenge, the
20 Data Committee, where we are working on
21 creating a regional collaborative around
22 improving prematurity in the southern states,

1 which are the most affected by health
2 disparities and prematurity.

3 So, I am delighted to be here and
4 so sorry to have been late.

5 CO-CHAIR RILEY: Rebecca, we all
6 had to tell our disclosures, if there is
7 anything in particular that you want to
8 disclose that would relate to what we are
9 doing today or tomorrow, I should say.

10 MEMBER GEE: So, I use metrics for
11 benchmarking and for quality improvement in my
12 State. I am not a measure developer. I am
13 not on any boards that would have any conflict
14 of interest, and have no financial interest in
15 the outcome of this meeting.

16 CO-CHAIR RILEY: Thank you.

17 MEMBER GEE: That's enough? Yes.

18 CO-CHAIR RILEY: Are the measure
19 developers from Vermont Oxford on the line
20 yet?

21 PARTICIPANT: Yes, we're here.

22 CO-CHAIR RILEY: Awesome. Thank

1 you.

2 So, we are on to No. 483, which is
3 proportion of infants 22 to 29 weeks gestation
4 screened for retinopathy of prematurity. And
5 so, that would be Dr. Gelzer, or Andrea.

6 MEMBER GELZER: So, this measure
7 was submitted for maintenance review, and it
8 has been previously endorsed. The measure was
9 developed and submitted by Vermont Oxford.

10 The screening is recommended by
11 the American Academy of Pediatrics and the
12 American Academy of Ophthalmology and measures
13 the proportion of infants 22 to 29 weeks
14 gestation who are in the reporting hospital at
15 the recommended postnatal screening age and
16 who receive this screening.

17 So, if the screening is done in a
18 timely manner, then ablative surgery can be
19 done in a timely manner and vision can be
20 preserved, is the theory and the evidence
21 presented.

22 As far as impact, retinopathy

1 prematurity affects significant numbers of
2 low-birth-weight premature infants and leads
3 to blindness in a significant portion. So,
4 the timed retinal exams are recommended.

5 And most Committee members felt
6 that the impact was high.

7 Do you want me to stop there?

8 CO-CHAIR RILEY: Yes.

9 Comments or questions?

10 (No response.)

11 Do you want to do the improvement?

12 MEMBER GELZER: Oh, the
13 improvement? Okay.

14 There was general agreement that
15 there was moderate opportunity for
16 improvement. There was one study that was
17 published that supported that, and then there
18 have been surveys done by Vermont Oxford
19 Network that show that there is a significant,
20 there appears to e a significant performance
21 gap. But, again, there was a lot of
22 discussion because that is not published

1 evidence.

2 There are a couple of studies.
3 There has been a lot of work on this and study
4 that appropriately timed retinal exams are
5 required, and the infants do benefit. So, the
6 evidence exists. The opportunity for
7 improvement is moderate.

8 There was Committee discussion
9 also regarding the American Academy of
10 Pediatrics recommendations for screening to
11 extend to infants with a birth weight less
12 than 1500 grams or a gestational age of 32
13 weeks or less. So, we had considerable
14 discussion around why were we not screening
15 infants; why did the measure just go to the 29
16 weeks.

17 And that would be it.

18 CO-CHAIR RILEY: And so, on that
19 conference call, just out of curiosity, did
20 anyone from Vermont Network have an answer for
21 why they stopped at 29 weeks as opposed to --

22 MEMBER GELZER: I think there was

1 some input. I am sorry, I don't know who the
2 person was.

3 MR. CARPENTER: Joe Carpenter.

4 MEMBER GELZER: I think she is
5 asking for some input.

6 CO-CHAIR RILEY: Can you comment
7 on have you now extended it? I just want to
8 be sure. I thought I read an email that you
9 had extended it to 32 weeks, but I just wanted
10 to be certain.

11 DR. HORBAR: No. What we said was
12 that we agree with the point that the
13 Committee made to rescind. We have not at
14 this point rescinded.

15 This is Jeff Horbar from Vermont
16 Oxford.

17 I think part of the problem here
18 is that many of these babies get discharged
19 and transferred to other hospitals. The
20 higher their gestational age, the more likely
21 that hasn't happened.

22 And so, we had originally felt

1 that targeting a population where most of
2 these babies would still be in the hospital at
3 the time of the first recommended visual exam
4 would give a better measure than having an
5 expanded denominator for whom the data would
6 be unobtainable by most hospitals.

7 CO-CHAIR RILEY: Okay.

8 DR. HORBAR: So, that was the
9 logic for restricting it. And clearly,
10 although the recommendation does cover larger
11 babies, the risk is highest at lowest
12 gestational ages. So, as a measure that would
13 give a hospital a good indication on their
14 highest-risk population, what's going on, we
15 felt that this was a reasonable alternative in
16 trying to cover the full population
17 recommended by the AAP for whom we know there
18 will be missing data on a large proportion of
19 higher gestation.

20 CO-CHAIR RILEY: Okay. Thank you.

21 That is very helpful.

22 Other people? Yes, Nancy?

1 MEMBER LOWE: I did have a
2 question about the data on the performance
3 gap. I was really struck by the fact -- and
4 perhaps our representative from Vermont Oxford
5 could answer this -- I was really struck by
6 the fact that we are still dealing with 2007
7 data, and nothing since the measure was
8 originally endorsed was presented to show a
9 continuing performance gap or whether there
10 has been improvement or anything in the
11 subsequent years.

12 MR. CARPENTER: Yes, I am looking
13 at the measure maintenance that we did.

14 This is Joe Carpenter again.

15 I thought for sure that we looked
16 at the last five years, up to 2010. Now there
17 is a gap in our reporting because we report
18 based on birth year. And so, as far as the
19 network as a whole is concerned, we are always
20 a year behind, if you will.

21 But I thought I reported -- and
22 again, I am looking at the measure

1 maintenance. Let's see. Excuse me. Yes, I
2 reported data for 2006 to 2010.

3 There were two things we looked
4 at. One was what percent of babies were
5 excluded and then what percent of babies was
6 screened prior to discharge of those infants
7 who were hospitalized at the recommended age.
8 And we also provided percentile data on that
9 measure.

10 So, I'm not sure why you only have
11 up to 2007 data.

12 MEMBER LOWE: So, if I could just
13 ask you for clarification, I am looking on the
14 report under 1B.2. So, you're telling me that
15 what it says about unpublished data, that is
16 from 2006 to 2010?

17 MR. CARPENTER: I'm sorry. I am
18 going to have to find that.

19 I was looking at 2B3.3 and 2B4.3.
20 So, you said 1B -- I'm sorry, say that
21 reference again?

22 MEMBER LOWE: It is 1B.2, summary

1 of data demonstrating performance gap.

2 DR. WINKLER: Page 16.

3 MEMBER LOWE: It wasn't where I
4 expected to find it. I found it.

5 MR. CARPENTER: Yes. Okay.

6 MEMBER LOWE: It is just in a
7 different place. Thank you.

8 MR. CARPENTER: Right.

9 CO-CHAIR RILEY: Other questions
10 or concerns? Questions for the developer?

11 Yes, Lee.

12 MEMBER PARTRIDGE: I am just
13 curious to know if we have any sense of what
14 group, how large a group we are excluding if
15 we cut off at 29 as opposed to 32. Is it
16 tiny? Is it large?

17 MR. CARPENTER: Yes, we do have a
18 table in 2B3.3 which shows the exclusion. Now
19 that is percent of babies excluded, including
20 all infants 401 to 1500 grams or 22 to 29
21 weeks. So, we are looking at that by, you
22 know, the data are presented by year from 2006

1 to 2010.

2 But it doesn't really address your
3 specific question. Basically, you are asking,
4 for infants, you know, how many 30-to-32-week
5 infants are we excluding --

6 MEMBER PARTRIDGE: Right.

7 MR. CARPENTER: -- if I understand
8 you correctly.

9 MEMBER PARTRIDGE: Yes. I just
10 wondered if it was tiny or significant.

11 MR. CARPENTER: Well, I guess it
12 depends what you mean by that. I mean, there
13 would be 30-to-32-week infants who were never
14 admitted to an NICU or cared for in some kind
15 of other lower-level-of-care unit, which we
16 don't capture in our database. So, we
17 wouldn't even know how many of those there
18 might be.

19 I think if you are looking for a
20 population-based number, for a measure like
21 this it is going to be extremely difficult.

22 MEMBER PROFIT: I think an

1 important component will be the at-risk
2 population, sort of population that truly
3 develop severe retinopathy prematurity at 30
4 to 32 weeks; it is not very significant
5 compared to the lower-birth-weight babies or
6 lower-gestation-rate babies.

7 MR. CARPENTER: Yes, I would agree
8 with that. I mean, that was why we decided to
9 target those who were at the highest risk for
10 whom the denominator would be likely to be
11 obtainable.

12 MEMBER JALEEL: Hi. This is Dr.
13 Jaleel.

14 I was on the Workgroup, and I was
15 the person who brought this up in the
16 Workgroup.

17 So, my question would be the
18 American Academy of Pediatrics, at least until
19 30 weeks, says that, definitely, you should be
20 screening for that. And 31 and 32 weeks are
21 where it is optional depending on the severity
22 of the criticalness of the patient's

1 condition. So, why not extend it to 30 weeks
2 and why 29?

3 I know that Vermont Oxford Network
4 collects data for 22 to 29 weeks. But if the
5 American Academy of Pediatrics is saying that,
6 yes, we have to do this for 30 weeks, how
7 difficult is it to get the data for those 30
8 weeks? And why not be consistent, send a
9 consistent message with the American Academy
10 of Pediatrics instead of putting another
11 variable in there?

12 MR. CARPENTER: We could do that,
13 I mean if the Committee felt strongly. The
14 issue would be that we collect data on infants
15 either 401 to 1500 grams or 22 to 29 weeks.
16 So, we have complete denominators in either of
17 those categories.

18 There will be probably some 30-
19 week infants who are outside of the birth-
20 weight category. So, our members wouldn't
21 currently be collecting data on a very small
22 fraction of those.

1 But if the Committee felt it was
2 critical to change the denominator from 29 to
3 30, we would be pleased to do it. I don't see
4 the merit of it, frankly, but I don't think it
5 would be any problem for us to do that.

6 CO-CHAIR RILEY: Others? Okay?

7 MEMBER PROFIT: Can I just
8 clarify? Isn't the Academy's still less than
9 30 weeks? And we are capturing 29 and six-
10 sevenths, anyway. So, is it 30 completed
11 weeks?

12 MEMBER JALEEL: It is up to 32
13 weeks, and up to 30 weeks you definitely have
14 the screen, and 31 and 32 weeks are the ones
15 who are optional.

16 MEMBER PROFIT: Right. I guess my
17 question was, the obligatory screening, is
18 that up to, so 29 and six, essentially, or
19 less than 30 weeks?

20 MEMBER JALEEL: No.

21 MEMBER PARTRIDGE: Or is it 30 and
22 six weeks?

1 MEMBER JALEEL: It is 30 and six.

2 MEMBER PROFIT: Thirty and six?

3 Okay. All right.

4 Okay. I would vote for not
5 changing it, but whatever the rest of the
6 Committee would feel about it.

7 MEMBER BAILIT: Hi. This is an OB
8 question. This is a question for the
9 neonatologists from an OB, and whether the
10 developer or the people in the room can answer
11 it.

12 Are there kids who would be
13 eligible for this screening but are too sick
14 to get it? And is there an exclusion for
15 that? So, for example, if they are still on
16 a vent, they are still on an oscillator, are
17 those kids all still eligible for screening or
18 is that something that only occurs when they
19 are well enough to get it?

20 MEMBER JALEEL: Yes, that is an
21 important point. There are some kids who will
22 be so sick that will not tolerate the eye

1 exam, and that happens sometimes. But that is
2 a minority of the patients.

3 MEMBER BAILIT: But, to the extent
4 that those are not randomly distributed,
5 either because the tertiary care centers get
6 the sickest kids or because other quality
7 problems in the hospital create those sick
8 kids, that would lead to some heterogeneity in
9 the reporting measure, correct?

10 MEMBER JALEEL: I would, yes,
11 think so, but the number is so small that it
12 would be very significant, I would guess.

13 MEMBER PROFIT: Please correct me
14 if I understand the measure wrong, because I
15 had some trouble understanding this measure
16 for a long time, but I think what it means is
17 that the baby is still in the hospital at the
18 time when he would be eligible for the
19 screening. It is actually not sort of a great
20 measure for whether that screening really
21 occurred in that week that is recommended by
22 the AAP.

1 So, if the baby is a 25-weeker
2 and, let's say, should be screened when he is
3 about 30 weeks old, it could be screened when
4 he is about 33 weeks old and would still be
5 captured as a yes to baby is screened. Is
6 that correct?

7 MR. CARPENTER: That is correct.

8 MEMBER PROFIT: So, in that sense,
9 it is a low bar really for accuracy.

10 MEMBER KELLY: Are there issues
11 with babies who are transferred versus
12 discharged? Because the measure seems to
13 measure those who are discharged. I wonder if
14 the developer could address that.

15 MR. CARPENTER: If the baby is
16 discharged or transferred prior to the day at
17 which the first exam would have been required,
18 they are not in the denominator.

19 CO-CHAIR RILEY: So, we need to
20 make a decision about how strongly we feel
21 about going up to 30 weeks versus what they
22 have given us, I am just going to point out,

1 versus what they have given us data for, which
2 is 29 weeks. So, that is what we have all
3 read about. Obviously, they have mentioned
4 that they are willing to go up to 30 weeks.
5 Yet, we don't have a good idea of how well
6 that works because it hasn't been tested.

7 So, I just throw that out there as
8 we go forward.

9 MEMBER PROFIT: So, our NICU is a
10 VON member, and I am just a little hesitant
11 because a lot of effort goes into data
12 collection. One of the nice things about that
13 is it is pretty simple to remember 22 to 29
14 weeks for all the people that extract the data
15 to collect it.

16 So, I am just worried that we will
17 introduce an extra week for just this specific
18 measure, and then it will start to spill out
19 to data collectors for something that may be
20 really low yield. I understand, yes, and
21 appreciate that trying to be in compliance
22 with the AAP guidelines is really valuable.

1 I am just wondering about the practicalities,
2 I guess the gain for the pain kind of thing.

3 MR. CARPENTER: Yes, there should
4 not be a data collection issue from the VON
5 standpoint because we collect whether or not
6 the baby received the exam or not.

7 As Jeff said before, Dr. Horbar
8 said before, we will be excluding some babies
9 that are 30 weeks that are over 1500 grams.
10 But as far as additional data collection, I
11 mean, we would not be adding, we would not be
12 changing our eligibility criteria for this
13 measure.

14 DR. HORBAR: And this is Dr.
15 Horbar.

16 I would agree with Dr. Profit that
17 the change for the sake of consistency will
18 not be much of an improvement in the measure.
19 It really is hard for me to see why it would
20 be justified.

21 MEMBER JALEEL: I probably have a
22 difference in opinion. We are also a member

1 of the Vermont Oxford Network. So, on one
2 side, I feel that, yes, we should not change
3 it because it is a measure which Vermont
4 Oxford collects and it has been collecting for
5 some time. But at the same time, I also feel
6 that this is not just for the Vermont Oxford
7 Network. It is for neonatal units all over
8 the country.

9 So, if the American Academy of
10 Pediatrics is recommending something, it would
11 be better to be consistent with that is what
12 my feeling is. But I'm okay --

13 DR. HORBAR: Can I ask a question?
14 Because I am not sure that I fully understand
15 the philosophy behind the NQF decisions at
16 this point.

17 Originally, when we proposed these
18 measures, I thought that other people could
19 propose related measures, such as if the
20 American Academy of Pediatrics wanted to
21 propose a measure that included exactly their
22 own criteria, that they would be able to do

1 that.

2 I mean, we are proposing a measure
3 because 900 hospitals are currently collecting
4 it in a certain way and have been doing so for
5 a number of years. I guess I am not sure what
6 the philosophy here is. I mean, if hospitals
7 can and are collecting a measure that is of
8 use to them, is that enough? Or it now has to
9 meet some higher standard, and there is really
10 only one right way to do this, even if nobody
11 is doing it or could do it?

12 DR. WINKLER: This is Reva from
13 NQF.

14 Just to respond to your question,
15 generally, NQF is looking to endorse measures
16 that can be used widely in a standardized
17 fashion for comparative purposes. Therefore,
18 we do not encourage multiple measures
19 addressing the same topic that are specified
20 differently.

21 So, part of the evaluation
22 criteria that the Steering Committee is using,

1 that we use for all of our measures, looks at
2 some of these issues. None of them are black-
3 and white. That is why there is a Committee
4 here to weigh the pros and cons, risks and
5 benefits, and all of that. So, there are no
6 absolutes here. But these are very important
7 issues for the Committee to grapple with.

8 DR. HORBAR: No, I understand
9 that, and I guess I would just ask you to
10 consider, if the goal is a widely-used
11 measure, I am not quite sure where else you
12 are going to get a widely-used measure on this
13 topic. If you set it for 30 weeks and we
14 decide it is not worth changing after so many
15 years, then you are not going to have any
16 measure.

17 So, I guess I am just trying to
18 understand what is good enough versus what
19 would be considered perfect in an ideal world,
20 and how the Committee is going to sort of
21 weigh those kind of tradeoffs, because it
22 seems that is what is involved in this

1 decision.

2 CO-CHAIR RILEY: Thank you.

3 So, I think in order to move this
4 along, we need to make a decision. So, we're
5 going to vote. We are going to vote -- sorry,
6 is that okay?

7 (Laughter.)

8 DR. WINKLER: Yes.

9 CO-CHAIR RILEY: We are going to
10 vote to look at the measure 22 to 29 weeks
11 gestation, which is what was presented to us,
12 for which they had evidence, first. And then,
13 we will vote on whether we should actually ask
14 them to go 22 to 30 weeks gestation.

15 And just for clarity, I think we
16 want to do 22 to 29 and six-sevenths -- is
17 that what it really is; is that the important
18 piece? -- versus 22 to 31 or 30 and six-
19 sevenths. Thirty and six-sevenths, right?
20 Six days. Okay.

21 Is that all right?

22 Okay. So, the first one that we

1 are going to vote on is 22 to 29 weeks
2 gestation. Yes or no, as presented, 22 to 29
3 weeks gestation.

4 (Whereupon, a vote was taken.)

5 DR. WINKLER: Twenty-one yes, 4
6 no.

7 CO-CHAIR SAKALA: Okay. So, now
8 we can move on, right? Okay.

9 So, now we will move on because I
10 think we all are deciding that we are going to
11 go with the evidence that was presented for 22
12 to 29 weeks. And now, we can go to the next
13 step. Is that okay? It's up there? Okay.

14 MEMBER GELZER: So, with regard to
15 reliability and validity, again, the
16 eligibility, gestational age was questioned.
17 Otherwise, there are no questions really and
18 a high rating.

19 CO-CHAIR RILEY: Are there any
20 final questions or concerns about this?

21 (No response.)

22 Okay. Let's vote on this.

1 (Whereupon, a vote was taken.)

2 DR. WINKLER: Twenty-three yes, 2
3 no.

4 CO-CHAIR RILEY: So, we can move
5 on.

6 MEMBER GELZER: With regard to
7 usability and feasibility, in the usability
8 discussion we talked quite a bit about the
9 fact that this has been mainly used for
10 quality improvement, internal quality
11 improvement activities and not accountability.
12 And this may be a good thing to move toward,
13 going forward.

14 And other than that, the usability
15 and feasibility were both generally rated
16 high.

17 Oh, there was also a question
18 about followup. So, we are measuring, you
19 just got to have this screening during the
20 hospitalization. And if you get this
21 screening, then you are going to, hopefully,
22 get this timely ablative surgery and,

1 hopefully, have a better health outcome. And
2 I know I am oversimplifying.

3 But having said that, what
4 happened? So, you got the screening. Did you
5 get the surgery? Did you get the surgery in
6 a timely manner? There are lots of other
7 questions that remain following discharge.

8 CO-CHAIR RILEY: Questions,
9 comments?

10 MEMBER DENK: I just want to say
11 real quick that it is a good question about
12 screening, but following up on screening is an
13 incredible resource commitment. It is one
14 thing to work with your own internal charts,
15 but to make sure that a screening got done and
16 got done properly, you know, that it was
17 actually there was a content to the screening
18 and things like that. We would be really
19 upping the ante on a lot of these process
20 measures.

21 CO-CHAIR RILEY: Right.

22 DR. WINKLER: I just had one

1 question just of everyone around the table.
2 Do you know whether this measure is being used
3 in any other way except for the internal QI
4 that VON does with its members?

5 MEMBER JALEEL: We are a part of
6 the Neonatal Research Network, the NICHD
7 Neonatal Research Network. And the Network
8 does collect data on this, and it collects
9 data on all the infants who are less than 1500
10 grams, I think.

11 So, yes, we do collect it, but it
12 is for internal quality assurance. It is not
13 published outside. Every once in a few years,
14 that data is published as a journal article
15 and with all the centers de-identified.

16 MEMBER DENK: I'm sorry, a quick
17 question. The real question, though, is, is
18 it suitable for public reporting as opposed to
19 is it actually done. Because I don't see the
20 difference between this and reporting about
21 aspirin therapy for chest pain admissions. It
22 is just that maybe there is no audience for

1 it, or whatever, right? But it is certainly
2 interpretable by the general public and could
3 be an accountability measure in the future,
4 right?

5 MEMBER KIEHN: We have been
6 talking about using it in the State of Utah,
7 but have not made a decision yet as to whether
8 we are going to use it for a measure.

9 CO-CHAIR RILEY: Did you mean for
10 measurement and then public reporting?

11 MEMBER KIEHN: Eventually, yes,
12 but we are in the very early stages now
13 because not all of our sites within Utah
14 participate in VON. So, we are having to look
15 at other measures.

16 CO-CHAIR RILEY: Is there another
17 question? I saw a hand.

18 MEMBER JALEEL: One of the other
19 questions which was brought up in the
20 Workgroup was the denominator exclusions,
21 outborn infants at maternity reporting
22 hospitals more than 28 days after birth. I

1 was not sure what the reasons for excluding
2 those babies are. If those babies are between
3 22 and 29 weeks at birth, they are equally at
4 risk for developing retinopathy of
5 prematurity. So, why exclude them? I was not
6 sure about that.

7 CO-CHAIR RILEY: Can our
8 developers answer that question?

9 MR. CARPENTER: Our eligibility
10 criteria for enrollment in the database is
11 admitted within 28 days of birth. If there
12 were no cutoff, it would become very difficult
13 to decide what the right population of infants
14 to study is. It is arbitrary, but it is
15 functional.

16 I think the NICHD Network, which
17 was mentioned earlier, I think they only
18 collect data on babies within seven days of
19 birth. Someone can correct me if that is not
20 right.

21 So, I think we made that choice as
22 a practical matter to make it clear who the

1 population of eligibility for our database is.
2 Once you get over 28 days, babies are going to
3 be going to multiple locations within the
4 hospital, not necessarily in NICU.

5 And so, that is how we came to
6 that choice as eligibility criteria. I agree
7 that there will be infants at risk in that
8 population that this measure wouldn't capture.

9 MEMBER PROFIT: With regard to
10 use, I think essentially all of the measures
11 or most of the measures we will be discussing
12 from the Vermont Oxford Network are also being
13 used in California by the California Perinatal
14 Quality Care Collaborative, and the CPQCC
15 transmits those data to the state. So, I
16 guess in a sense that is public reporting. I
17 don't think it is released to the public, but
18 the data is released to the state.

19 MEMBER DRYE: Can I just make one
20 more comment? I think it is great to use
21 registries to build quality measures, and we
22 have worked with the American College of

1 Cardiology to build great measures. But I
2 think you are making a really important point
3 about this transition of a measure from the
4 use just within the registry to the rest of
5 the world, which is something that really
6 there is not a lot of that done yet.

7 And the point, as I am
8 understanding it, is that the registry has a
9 set of infants in it. And so, the denominator
10 criteria here is specified and limited by the
11 infants the registry collects data on, and
12 that is probably, if we started de novo and
13 created a measure like that, not how we would
14 define the denominator, is what I am hearing.

15 You know, the measure is in use,
16 and people are finding it constructive, but I
17 think this is an important thing to think
18 about. I will just give you an example.

19 So, registry measures we have
20 built for the Center for Medicare and Medicaid
21 Services specified off of the American College
22 of Cardiology registry, we have built

1 measures, hospital-based measures for PCI,
2 mortality and readmission and ICD
3 complications. And there, there I think --
4 and I am not allowed to speak for CMS -- but
5 what we have talked about in implementation
6 potentially is that you would just specify the
7 data elements. You wouldn't limit the
8 universe to what the private physician and
9 registry is set up to do when you implement
10 it.

11 And so, I think this is just a
12 really critical kind of thing to be thinking
13 about, transitioning measures. I think it is
14 great to build it on registries. It is
15 fantastic data. It is usually physician- or
16 clinician-initiated data collection and self-
17 monitoring within a subspecialty. But this is
18 a transition I think we have to be thinking
19 about as people are thinking about moving
20 measures more broadly into use.

21 I am not sure what the right
22 answer is. I just wanted to frame it more

1 broadly. It is not just an issue for this
2 measure.

3 MEMBER JALEEL: Thank you for
4 that. I think I was finding it difficult to
5 put those words into good sentences.

6 (Laughter.)

7 And you put it very well. Thank
8 you.

9 CO-CHAIR SAKALA: So, I strongly
10 support that sentiment. I think the question
11 is, what does the data support, not a group,
12 an organization, whatever, but what is
13 supported by the data? And I felt a little at
14 a disadvantage because I didn't know, for
15 example, the AAP guideline, what is in it.

16 MEMBER PARTRIDGE: One of the
17 roles that NQF Steering Committees have is to
18 make recommendations for the future. And I
19 think what Elizabeth has just said might be
20 one of those; i.e., as we go forward, all of
21 the measures we are about to consider are VON
22 measures and they are dictated by the

1 registry.

2 But we now do have some new
3 resources to develop measures. There are,
4 through the CHIPRA reauthorization and also
5 the adult Medicaid provisions, there is
6 substantially money available to develop new
7 measures or refine existing ones.

8 And I think perhaps that, Reva,
9 might be one recommendation for the future,
10 that when measures like this come up, we
11 consider encouraging the field to move toward
12 a less-registry-limited kind of specification.

13 CO-CHAIR RILEY: I think we are
14 ready for a vote on usability.

15 (Whereupon, a vote was taken.)

16 DR. WINKLER: High, 11; moderate,
17 13; low, 1.

18 CO-CHAIR RILEY: Shall we move on?

19 DR. WINKLER: Yes.

20 CO-CHAIR RILEY: Feasibility?

21 DR. WINKLER: You're ready to
22 vote? Yes? Okay.

1 (Whereupon, a vote was taken.)

2 DR. WINKLER: Fifteen high, 9
3 moderate, 1 low.

4 CO-CHAIR SAKALA: And we're ready
5 to go on. So, we will now vote on overall.

6 (Whereupon, a vote was taken.)

7 DR. WINKLER: Twenty-three yes, 2
8 no.

9 CO-CHAIR RILEY: Thank you.

10 So, let's move on to the next
11 measure, which is proportion of infants 22 to
12 29 weeks gestation treated with surfactant who
13 are treated within --

14 DR. HORBAR: Can I ask a question?
15 We heard your voting, but are you making final
16 decisions today or what? I am just trying to
17 understand how to interpret the voting, your
18 voting in the background.

19 DR. WINKLER: These votes will
20 become final if no further issues are raised
21 during the course of the discussion today and
22 tomorrow that might affect it, such as with

1 related or competing measures or whatever.

2 DR. HORBAR: Okay. So, we
3 shouldn't pay much attention to you voting in
4 the background?

5 DR. WINKLER: No, the votes they
6 are voting right now are the ones that are
7 going to count.

8 So, this particular measure I
9 don't think has any of those issues that we
10 are likely to deal with subsequently. So,
11 these are likely to become final votes.

12 DR. HORBAR: Okay. Thank you.

13 CO-CHAIR RILEY: Okay. So, we are
14 going to move on to 484, proportion of infants
15 22 to 29 weeks gestation treated with
16 surfactant who are treated within two hours of
17 birth.

18 Jaleel?

19 MEMBER JALEEL: Yes. So, this, as
20 you see on the slide, after the Workgroup
21 call, the developer asked to withdraw the
22 measure because of the discussions which we

1 had in the Workgroup call.

2 So, this is an extremely high-risk
3 population, 22 to 29 weeks, who have a high
4 incidence of developing hyaline membrane
5 disease. And for severe hyaline membrane
6 disease, one of the treatments I surfactant
7 therapy.

8 And the measure was previously
9 endorsed a few years ago based on the evidence
10 which was available at that point, which
11 mainly was multiple studies which have been
12 done in the past and a meta-analysis which
13 looked at all these studies. And the
14 conclusion from the meta-analysis was that
15 early surfactant, within the first two hours
16 of life, is beneficial in terms of reducing
17 the incidence of chronic lung disease and some
18 of the other pulmonary outcomes as well.

19 But in the last two to three years
20 there has been new evidence which has come in
21 terms of two major studies. One was called
22 the COIN trial, which had 610 infants in that

1 trial. And then, there was another study
2 called the SUPPORT trial, which is from the
3 NICHD Neonatal Research Network, which had a
4 significant number of babies, 1,316 babies,
5 who are randomized either to CPAP or to
6 intubation in the delivery room and early
7 surfactant.

8 And it was a multi-center trial.
9 When they looked at the outcome, there was no
10 difference in the primary outcome which the
11 primary outcome was mortality or incidence of
12 bronchial pulmonary dysplasia or chronic lung
13 disease. So, there was no difference whether
14 you were intubated in the delivery room and
15 given early surfactant or you were tried on
16 CPAP and then followed up.

17 So, based on that study, the
18 practice has changed in the last two to three
19 years, that more and more of the units, they
20 are trying to see if we can start them on
21 CPAP, and if the CPAP doesn't work, then you
22 intubate the baby and then you give

1 surfactant.

2 So, now with that evidence coming
3 out, this measure as a quality measure is not
4 as important as it used to be previously. So,
5 that is the gist of it.

6 DR. WINKLER: This is Reva.

7 Just for the folks from Vermont
8 Oxford, I just will allow you to withdraw the
9 measure and indicate it that way, as sort of
10 a retired-by-the-developer measure going
11 forward, because it was previously endorsed.

12 Any comments from the developer?

13 DR. HORBAR: We are pleased to
14 have it removed as a quality. There actually
15 has been a large third trial now that was
16 conducted by the Vermont Oxford Network that
17 also concluded that there is no major
18 difference between early surfactant treatment
19 and early CPAP.

20 I think what the trials haven't
21 answered, though, is whether by delaying the
22 use of surfactant in an attempt to give CPAP,

1 whether some delay will ultimately lead to
2 worst outcomes. I don't think that answer has
3 been fully answered.

4 And originally, the Working Group
5 had asked us, because of that, to stratify the
6 measure by whether the baby had been tried on
7 CPAP or not. So, although I think it still
8 will be a useful measure for hospitals to use
9 in tracking their own internal practices and
10 what the tradeoffs may be because of these new
11 attempts at early CPAP, I would agree with the
12 presenter that the new evidence would suggest
13 we should withdraw this.

14 CO-CHAIR RILEY: Okay. Thank you.

15 Okay. So, now we are going to
16 move on, and these next two measures, we are
17 going to consider them separately, correct,
18 Reva, consider them separately, recognizing
19 that there may be some combination? Is that
20 fair?

21 DR. WINKLER: I think that the
22 Workgroup discussed these as individual

1 measures and had some questions about how they
2 worked together, and the followup from the
3 developer indicated a willingness to combine
4 them. We will have to talk about what that
5 means and how that might happen.

6 So, why don't we just talk about
7 these measures as they were submitted and then
8 where we might want to go with them?

9 CO-CHAIR RILEY: Jennifer?

10 MEMBER BRANDENBURG: The first
11 measure, it was temperature measured within
12 one hour of admission to the NICU.

13 The group sort of felt like this
14 was a low-bar standard that most hospitals
15 wouldn't have trouble meeting. It did have
16 high impact, but it was a common practice at
17 this point. But, yet, a lot of the discussion
18 was that it didn't hold much weight on its
19 own, that it was kind of a low-bar standard.
20 So that combining the other measure, which is
21 482, which was measuring temp less than 36
22 degrees, combining the two actually made the

1 one measure stronger, which is what a lot of
2 the conversation was about.

3 We did think it did have a high
4 impact. Three of us thought it was high; two
5 of us thought it was moderate.

6 As far as improvement, though,
7 there was not a lot of improvement because a
8 lot of hospitals were already meeting the
9 standard. Ninety-eight percent of them were
10 already meeting the standard. Even though we
11 all thought it should be 100 at this point, 98
12 percent of them were meeting it already.

13 A vital sign in the NICU, that is
14 sort of a critical thing that is a standard of
15 care at this point. However, it was more
16 important what the temperature was when you
17 got the baby to the NICU versus that you just
18 actually took one. So, that is why we thought
19 combining the two made the measure more
20 powerful.

21 CO-CHAIR RILEY: Other comments?

22 MEMBER PROFIT: I guess another

1 thought would be to just omit this measure if
2 98 percent meet it anyway. I am not sure
3 whether combining it with a performance
4 assessment of hospitals would truly change if
5 the baseline is already 98 percent rather than
6 just using the hypothermia measure.

7 DR. HORBAR: The 25th percentile
8 is 98 percent; the 10th percentile is 92
9 percent.

10 MEMBER PROFIT: So, would you
11 treat it as a missing variable? Or I guess
12 how would you handle the missing if you
13 combined them?

14 DR. HORBAR: Yes, that was my
15 question. I mean, one way to combine them
16 would be to consider a not-done, a failure
17 would be a low temperature. The other way
18 would just be to restrict the denominator to
19 those who have it done.

20 Since we collect both items, we
21 could create a measure doing either of those.
22 So, I would leave it to the Committee's

1 judgment to recommend to us which way to use
2 the two individual data elements to create a
3 combined measure.

4 MEMBER BRANDENBURG: I guess that
5 was one of the things I didn't go over, was
6 some of the exclusions that they excluded.
7 Outborn infants admitted more than 28 days
8 after birth are excluded. Infants outside the
9 birth weight of 501 to 1500 grams, and then
10 outborn infants who have been home prior to
11 admission, and then infants not admitted to
12 the NICU. Those are all excluded from the
13 first temp taken within one hour.

14 And then, on the 482 measure, they
15 also exclude infants' temperatures who were
16 just not measured at all within the one hour
17 of admission to the NICU. So, there is one
18 more exclusion on that measure.

19 CO-CHAIR RILEY: So, I think that
20 probably the most reasonable way of handling
21 this would be to vote on this measure first
22 and then go to the second measure and figure

1 out if there is anything that we want to do
2 differently there, because I don't know else
3 we are going to reconcile this.

4 DR. HORBAR: Could you get a sense
5 from the Committee whether people really want
6 to combine them? Because I think having the
7 discussion about what the options are for
8 combining them, if that turns out to be a
9 choice that the Committee wants, that will
10 influence the votes on both.

11 CO-CHAIR RILEY: I think we will
12 vote separately, and then we will go
13 backwards, if we need to.

14 Okay. So --

15 MEMBER GILLIAM: Can I ask, just
16 for clarification --

17 CO-CHAIR RILEY: Absolutely.

18 MEMBER GILLIAM: -- if we approve
19 the first, we still vote on the second?

20 CO-CHAIR RILEY: Yes.

21 MEMBER GILLIAM: And I we don't
22 approve the first, we still vote on the

1 second?

2 CO-CHAIR RILEY: We still vote on
3 the second.

4 MEMBER GILLIAM: All right. Thank
5 you.

6 CO-CHAIR RILEY: Exactly.

7 Okay. So, can we vote on
8 importance to measure and report for 481?

9 (Whereupon, a vote was taken.)

10 DR. WINKLER: It is 4 yes and 21
11 no.

12 So, now we can move on. Okay.

13 CO-CHAIR RILEY: Okay. So, now we
14 will move on to 482, and I'll turn it back
15 over to you.

16 MEMBER BRANDENBURG: Okay. On
17 482, this was taking the first NICU temp and
18 having it measure if it was less than 36
19 degrees Centigrade. Okay. This one was
20 measuring the temp, the first NICU temp, and
21 having it be whether or not it was less than
22 36 degrees Centigrade.

1 It was infants with the birth
2 weight of 501 to 1500 grams and the temp
3 measured within one hour of admission to the
4 NICU, and whether or not it was below 36
5 degrees Centigrade.

6 Let's see, essentially, it is the
7 same as the other one. It is just what the
8 temp actually was, not whether or not they
9 just took the temp.

10 The exclusions, the only
11 difference in the exclusion is that they
12 excluded infants who temps were not measured
13 within the one hour. So, anybody that they
14 didn't take a temp on, they just didn't
15 include in this measure at all.

16 CO-CHAIR RILEY: Comment?

17 MEMBER LOWE: Yes. Laura, if I
18 understood our earlier discussion, it would be
19 to include in the numerator babies who didn't
20 get a temp taken within the first 24 hours.
21 Do we want to talk about that now before we
22 move forward? Because it is the idea that if

1 it wasn't taken -- I said "24 hours"; I meant
2 within the first hour -- if it wasn't taken in
3 the first hour, we should treat that the same
4 as though it was low, correct, the neo people?

5 MEMBER PROFIT: Yes, I think that
6 is one of the options, to treat it that way.
7 So, I am a little bit agnostic, quite
8 honestly, to say why people would not measure
9 a temperature, and I don't know if Dr. Horbar
10 has any thoughts about or gets feedback why
11 that is.

12 I wonder, if you are actively
13 coding a baby, probably you are not taking a
14 temperature at that time, you know, if there
15 is a really difficult delivery in a very high-
16 risk situation. Otherwise, it should probably
17 always be done.

18 So, I don't know if the 2 percent
19 represents part of that, but I would think it
20 would be quite uncommon to code a baby for an
21 hour. So, I don't have a great sense for what
22 it might be. But if they are coding a baby,

1 you probably shouldn't penalize them for not
2 taking the temperature, but if they just
3 forgot, then maybe you should.

4 But I don't really know what the
5 answer. I feel like the underlying reasons
6 for not measuring kind of determine how you
7 would treat it, and I just don't know what the
8 truth there is.

9 MEMBER JALEEL: The only babies
10 you would list with this is babies whom they
11 have not measured the temperature within the
12 first one hour and their code. So, that is
13 something we should nest with this measure.

14 CO-CHAIR RILEY: So, getting back
15 to Nancy's question, though, wouldn't a way of
16 in some ways combining 481 and 482 be taking
17 that exclusion out?

18 MEMBER JALEEL: Yes. Yes, in some
19 way, if we can make it that if the baby's
20 temperature has not been measured in the first
21 one hour, you take it that that baby's
22 temperature is less than 36 or --

1 MEMBER CHENOK: This is
2 undoubtedly a stupid question. So, if the
3 baby's temperature is taken and the baby is
4 cold, don't you want them to do something
5 other than just record they took the
6 temperature and the baby is cold? I mean, is
7 this actually actionable?

8 MEMBER JALEEL: Oh, yes.

9 MEMBER CHENOK: But, then, don't
10 you want to know, did they take an action?

11 MEMBER BAILIT: The action is
12 actually before the baby gets cold. So, you
13 start using things like warm T-shirts, making
14 sure the baby doesn't lay cold and wet. So,
15 it is the prevention of the cold temperature
16 rather than the treatment of it.

17 MEMBER CHENOK: Okay. Oh, I might
18 have been out. I'm sorry, I had to take
19 another phone call.

20 Thanks.

21 CO-CHAIR RILEY: Bill?

22 MEMBER GROBMAN: So, this is

1 probably also an undoubtedly stupid question
2 from an obstetrician. So, this is the first
3 temperature less than 36 degrees. So, for
4 example, if you had a transport that came in
5 -- and it didn't seem to me, are transports
6 excluded?

7 MEMBER JALEEL: It says one hour
8 after admission to the NICU.

9 DR. HORBAR: Transports are not
10 excluded. We stratify the reporting based on
11 whether they were inborn or outborn.

12 MEMBER GROBMAN: But even to
13 stratify, for example, I guess I would
14 question. You bring a transport, they hit the
15 door, you take their temperature like in a
16 minute, and they are 35 degrees. That is not
17 really your fault, right? They came from
18 somewhere else. So, I am not even sure why
19 they would be stratified as opposed to
20 completely excluded, right, because it is
21 within the first hour? So, the first one you
22 get, you are kind of responsible for it.

1 I don't know how oftentimes they
2 come down form like the LDR just cold. I
3 guess that is in your -- yes, you should fix
4 that. Yes, okay, fine.

5 But the transport, you definitely
6 have no control over.

7 MEMBER PROFIT: But a lot of the
8 transport units, like a lot of the transport
9 services are actually your own transport
10 teams. So, they are your own teams. So, they
11 can do things to keep the baby warm.

12 MEMBER GROBMAN: They're your own
13 team? Really? Okay, well, then, right. So,
14 I'm an obstetrician.

15 (Laughter.)

16 And then, my other question, which
17 I just sort of forgot -- you go.

18 MEMBER DRYE: I was just going to
19 mention that combining them you lose some
20 information, right, because if hospitals don't
21 have the one-hour temp for some reason
22 -- maybe it is not good at getting it to the

1 registry; I don't know why. They may have
2 taken it and it got lost. That is a different
3 question than, are they keeping babies warm in
4 the first hour.

5 And so, I am not sure I like the
6 idea of combining it because, if we are really
7 concerned about hypothermia primarily, you are
8 going to lose some of the resolution of the
9 measure by combining them.

10 MEMBER GROBMAN: Yes, that was my
11 second thing, which is that it seems that, if
12 you combine them, you suddenly lose any
13 granularity. You don't know if you are
14 hypothermic or just a bad measurer.

15 MEMBER JALEEL: I do agree with
16 that.

17 MEMBER DRYE: And just one option
18 is, which it is a little unorthodox, but you
19 can report the results, the hypothermia
20 result, with a percent missing number, so that
21 you know for that hospital here was their rate
22 of babies with below temp and this was their

1 missing rate. And that aids an interpretation
2 of the result.

3 MEMBER GEE: I am just concerned
4 about unintended consequences if they are only
5 being measured on the temperature and not
6 whether they took it or not, where there might
7 be an incentive not to measure so you don't
8 get dinged. So, having that be represented
9 somehow in this might be important.

10 MEMBER KIEHN: One way to address
11 that would be we would just say the first NICU
12 temperature, was it less than 36 degrees?
13 Then you would catch those that were measured
14 at one hour and 10 minutes.

15 MEMBER DENK: This is essentially
16 the same question about every piece of missing
17 data that ever existed, right? On the
18 substantive side, you can't take care of a
19 hypothermic baby if you don't know it is
20 hypothermic. On the other hand, you're right,
21 if you think it is hypothermic, don't take its
22 temperature.

1 Plus, you have the issue of the
2 quality improvement is directed in different
3 directions for the two outcomes. If you
4 didn't measure it, you have got to fix what
5 you do. If you did measure it and the baby is
6 hypothermic, it is probably not on you; it is
7 on the transport team.

8 So, you know, I mean this question
9 gets asked all the time. And the answer is,
10 you know, that we do all the time, is that we
11 collect the missing data and we collect the
12 yes/no clinical outcome data, and we report
13 them both. Whenever there is any kind of a
14 issue at all, we report the missing data rate,
15 too.

16 So, I think we are back to 481 and
17 482, if those are the right numbers, are two
18 elements of the same measurement process, and
19 there are two outcomes to report from the same
20 measurement process. So, they should be a
21 combined, they are a combined measurement
22 process with two outcomes. That is what we

1 always decide.

2 CO-CHAIR RILEY: I think the other
3 thing is that when we talked about 481, we
4 felt as though there wasn't as much room for
5 improvement. It was -- what was it? -- it was
6 2 percent in the highest and then 8 percent at
7 worst.

8 So, I think that is just something
9 else to consider. I understand what you are
10 saying is that you may lose a little bit, but
11 it sounds like there is not as much of a need
12 in that particular area.

13 MEMBER JALEEL: But I still feel
14 that it is still an important measure because,
15 why is it 98 percent? Why is it not 100
16 percent?

17 And if we take this measure off,
18 then are we giving them some slack, saying,
19 hey, it is okay not to measure the temperature
20 within the first hour because NQF has said
21 this measure doesn't apply anymore?

22 MEMBER ARMSTRONG: Are there other

1 measures where you have one that exists mostly
2 so that you can't game the measurement of the
3 second one?

4 MEMBER DENK: I'm sorry, maybe I
5 wasn't clear enough.

6 (Laughter.)

7 In everyday statistical practice
8 where missing data exists, we do not report no
9 plus missing as one number, right? We always
10 report missing data values separately, and we
11 report yes or noes out of the total available
12 data. I mean, that is normal practice.

13 So, I would never say -- I think
14 it would be very confusing to the rest of the
15 world to have a measure that was either they
16 were hypothermic or we didn't measure it,
17 right. I think you have to report -- well,
18 you don't have to do anything, but it is just
19 a standard practice to combine those, a
20 missing outcome with a substantive outcome.

21 MEMBER PROFIT: So, this is
22 actually an approach that ended up happening

1 in the project that I am working on. So, for
2 my research project, in the composite index we
3 actually used registry measures from CPQCC,
4 which is essentially identical to Vermont
5 Oxford and had a representative expert panel,
6 a Delphi panel, to look over these measures.
7 And they selected nine measures, among which
8 the hypothermia was included and the
9 temperature measure was recommended to be just
10 reported as a missing variable.

11 MEMBER DENK: That's why you never
12 say never. Okay?

13 MEMBER KELLY: Can I ask the
14 Workgroup to address two things? One is the
15 conversation around 36 to 36.5 degrees, and
16 your votes on the last measure, which was
17 meets importance, because it doesn't appear to
18 be listed here.

19 MEMBER BRANDENBURG: It was a
20 recommendation in our Workgroup that they
21 change it to be 36.5 instead of the 36
22 degrees. And I'm sorry, I don't know which

1 person on the Workgroup brought that up.

2 MEMBER JALEEL: Once again, me.

3 So, the recommendation is for
4 normal temperature is 36.5 to 37.5, and
5 neonatal resuscitation program, their
6 recommendation is also 36.5 to 37.5. So, why
7 are we having 36 as the measure and why not
8 36.5?

9 And even with 36, the data shows
10 that even 30 to 40 percent of these babies are
11 less than 36. So, if you are putting that bar
12 low, you are giving more chances for having
13 lower temperature. So, keep it at 36.5 rather
14 than 36 was my thought on that.

15 DR. HORBAR: Can I answer the
16 logic that we used?

17 MEMBER JALEEL: Sure.

18 DR. HORBAR: When we originally
19 discussed this measure with the technical
20 committee that was in charge of these at
21 sometime in the past, we actually had
22 originally had it at 36.5, and the Committee

1 recommended that we lower it to 36 because the
2 World Health Organization defines 36.0 to 36.4
3 as cold stress and 32.0 to 35.9 as moderate
4 hypothermia. And I think it was based on that
5 classification by the World Health
6 Organization that they asked us actually to
7 lower it.

8 We collect the exact temperature
9 and could report any cutoff that the Committee
10 felt was important. I think one possible
11 reason for raising it, in addition to what the
12 previous speaker just said, is that there has
13 been considerable improvement in this measure,
14 although still the performance is quite poor
15 at many places. It has been improving. And
16 so, raising the bar at this point in history
17 might make sense.

18 But, anyway, that is the reason we
19 did it.

20 CO-CHAIR RILEY: Other questions
21 or comments?

22 MEMBER PROFIT: Yes. So, I would

1 just have concerns whether a temperature of
2 36.3 would have any clinical relevance, and if
3 you use 36.5 as a national cutoff, you know,
4 I think the neonatal community would just look
5 at you and say like, "So what? Demonstrate to
6 me that this had any negative effect on the
7 baby whatsoever."

8 Even the data on the less than 36
9 degrees, I mean I think the data is
10 reasonable, but certainly not very strong.
11 Even there, you will have people kind of
12 arguing whether that is really a very tight,
13 like an intermediate outcome that is tightly-
14 linked to an long-term outcome.

15 So, I feel like, yes, I agree with
16 what you are saying, it would capture more
17 people, but I would be concerned that the
18 acceptability among the neonatal community
19 would be quite low about temperature is now in
20 the 36.4 or 36.3 region.

21 MEMBER JALEEL: Okay. Here is,
22 again, two neonatologists disagreeing with

1 each other.

2 (Laughter.)

3 So, it would come back again to
4 consistency with what we are saying. If WHO
5 and AAP are saying one thing, why should we be
6 off that as one of the things?

7 And the second thing is about the
8 data which we are talking about. The data
9 which is available, the literature which is
10 available is very scant, I would agree.

11 But one of the prospective studies
12 which was done by Dr. Laptook and the others,
13 which we talked about in the Workgroup
14 meeting, is to look at the temperature and
15 look at the outcomes. And for every one-
16 degree drop in the temperature, from 37 to 36
17 and from 36 to 35, and less than 35, there was
18 an increased incidence of late-onset sepsis
19 and an increase in the incidence of mortality.

20 So, again, I agree that it is a
21 prospective analysis of the Neonatal Research
22 Network Centers, but that is the only evidence

1 which we have. We don't have anything against
2 it.

3 So, for those two reasons, I would
4 say raise the bar up to 36.5.

5 DR. WINKLER: Just a question.
6 This is just a type of a measure construct in
7 which there is a threshold, but you can handle
8 the data differently, in that you can report
9 the percentages at each, at a strata, you
10 know, 36 to 36.5 --

11 DR. HORBAR: We do that. We
12 report the data by half-degree strata.

13 DR. WINKLER: Would you be willing
14 to say that the measure should be reported
15 that way rather than having the cutoff of
16 debatable thresholds?

17 DR. HORBAR: I think it is up to
18 you what the measure says. We currently do
19 report it in strata. I think for a hospital
20 that is trying to use this for quality
21 improvement, having a threshold level that
22 they can monitor over time is easier than

1 trying to decide whether a distribution has
2 shifted. But I would defer to you on how you
3 want to define this from the NQF's
4 perspective.

5 Our current reporting allows
6 people both to see the distribution and we use
7 the dichotomous cutoff of 36. As I said, we
8 did that because of the recommendation from
9 the NQF Technical Committee and because of the
10 World Health Organization recommendation for
11 what the level of moderate hypothermia was as
12 opposed to cold stress.

13 So, I think you will get a lot of
14 different opinions on this, if you ask
15 multiple different people.

16 CO-CHAIR RILEY: Any others?

17 MEMBER BRANDENBURG: There was one
18 other concern raised by the Committee with
19 this measure, in that the measure doesn't
20 exactly spell out how temperature is to be
21 taken. Because depending on whether they are
22 recording rectal temps or axillary temps, it

1 doesn't really spell that out in the measure.

2 DR. HORBAR: I will just address
3 that briefly.

4 We don't feel like we can mandate
5 to the hospitals how they have to take the
6 temperatures, and if we did, the number of
7 missing values would go way up because it is
8 not routine policy across the nation to do it
9 one way or the other.

10 MEMBER GROBMAN: So, then, an
11 obstetrician has a question. It might not be
12 routine policy, but aren't they systematically
13 different? Yes, right.

14 (Laughter.)

15 So, I guess my question would be,
16 if they are systematically different,
17 shouldn't there, then, be different
18 thresholds? I mean, one way we were talking
19 about gaming the system is just like -- I
20 don't know -- take everything in the armpit,
21 or whatever.

22 Like it seems to me you would want

1 to have one threshold for oral, one threshold
2 for rectal. I mean, otherwise, it seems like
3 I would know what I would do in my NICU if I
4 didn't really care about the babies.

5 CO-CHAIR RILEY: That might be the
6 argument to use the higher threshold.

7 DR. HORBAR: What would you do?
8 I'm missing the point. What would you do?

9 MEMBER GROBMAN: I would take it
10 the way that it gives me the highest measure
11 and that gives me the highest temperature.
12 So, from a public accountability standpoint,
13 I always had the lowest hit rate.

14 I mean, there is no incentive --

15 DR. HORBAR: That would make
16 complete sense, but I don't see why we should
17 mandate it. If people inspect their data and
18 come to the conclusion that their rate looks
19 higher than the benchmark, and it is because
20 they are taking it in a systematic way from a
21 different body location, if the improvement is
22 as simple as changing the method of taking the

1 temperature, that would be a success to the
2 measure, I guess.

3 MEMBER GROBMAN: No, but that, to
4 me, wouldn't be improvement at all because the
5 underlying state of the baby --

6 DR. HORBAR: I think having the
7 complexity of either asking people to record
8 the method and reporting separately by the
9 method or trying to mandate it would be more
10 complicated than we would be interested in
11 taking on.

12 MEMBER ARMSTRONG: But it might be
13 an argument to use a higher threshold
14 temperature, right, given there is variability
15 in how you take it?

16 MEMBER JALEEL: Yes, I would agree
17 with that because the NICHD trial which was
18 done looking at it prospectively, these are 16
19 big centers in the United States, and 77
20 percent of the them take their temperature in
21 the axilla; 15 percent take the rectal
22 temperature, and skin would be 7 percent.

1 So, if the majority is taking it
2 by axilla, and we know that the axilla
3 temperature is lower than the rectal
4 temperature, if it was me, I would recommend
5 an axillary temperature of 36.5. So, it is a
6 slightly higher temperature and you are doing
7 it in the axilla.

8 MEMBER KELLY: So, I have a
9 process question as to how we might move ahead
10 with this decision point.

11 CO-CHAIR RILEY: Okay. So, one
12 thing is that we do have the data for meets
13 importance from that last group that is up
14 there now. And that was a resounding yes.

15 So, we are going to vote on the
16 measure as it exists in front of us now
17 without tweaking it. Correct? Okay.

18 So, if we look at importance to
19 measure and report?

20 (Whereupon, a vote was taken.)

21 DR. WINKLER: It's 19 yes, 7 no.

22 MEMBER KELLY: So, does that mean

1 there is no proposal of a different degree
2 level?

3 DR. WINKLER: At this point, well,
4 you still have to vote on scientific
5 acceptability. But at this point I think that
6 voting on what is presented to you is the
7 decisionmaking with all your discussion and
8 recommendations and feedback to the developer
9 for them to consider. At this point, I would
10 say, if you really can't live with the measure
11 as it is, vote against it, if it really
12 doesn't meet your criteria.

13 Is everybody aware you are voting
14 for scientific acceptability? Just checking.

15 (Whereupon, a vote was taken.)

16 Oh, 13 yes, 12 no.

17 Is everybody okay with that?

18 Okay.

19 So, how many of you are voting
20 yes, to make sure we capture everybody?

21 (Show of hands.)

22 One, two, three, four, five, six,

1 seven, eight.

2 Okay, did I miscount?

3 (Laughter.)

4 Okay. So, yes is 8.

5 No?

6 (Show of hands.)

7 One, two, three, four, five, six,
8 seven, eight, nine, ten, eleven, twelve,
9 thirteen, fourteen, fifteen, sixteen,
10 seventeen, eighteen.

11 Okay, the final votes are for
12 scientific acceptability, yes, 8; no, 18.

13 I actually think that with more
14 anonymity the votes are different than in a
15 more open kind of thing. We have tested this
16 many times, and it comes out exactly the way
17 you punch the buttons. So, I don't think so.
18 But on a close one like that, when it looks
19 like one was missing, that is why I wanted to
20 be sure we captured everybody.

21 Let's put it this way: is
22 everybody comfortable with the result of that

1 vote? You all feel good about it? Yes?

2 MEMBER GELZER: Can we not ask the
3 measure developer to submit with the 37.5
4 degrees instead of the -- I'm sorry -- 36.5
5 degrees instead of 36?

6 DR. WINKLER: Is that the kind of
7 thing that would change your --

8 MEMBER GELZER: It might, uh-hum.

9 DR. WINKLER: It might? Okay.
10 Then, what you could do is a conditional, that
11 on the condition it were changed, how would it
12 change your criteria, and then it would be up
13 to them as to whether they changed it or not.

14 MEMBER GELZER: So, what exactly
15 will we be voting on?

16 DR. WINKLER: Remember that the
17 last vote was on scientific acceptability.
18 And so, the question is, if that were changed,
19 hypothetical, if it were changed to a
20 different threshold, 36.5, would you feel
21 differently about how well it met the
22 criteria?

1 MEMBER YOUNG: So, I think the
2 measure developer has already said that he is
3 willing to change that, correct?

4 DR. WINKLER: Yes.

5 DR. HORBAR: Yes, we would be
6 willing to change it to 36.5.

7 CO-CHAIR RILEY: So, I think there
8 are two issues, though, because I think under
9 this it was two pieces. One, the temperature
10 itself and, two, the reliability of the site.

11 DR. WINKLER: Correct.

12 CO-CHAIR RILEY: So, we have to be
13 able to take into consideration that I think
14 some of us -- I personally would feel
15 differently if they said they were going to
16 record rectal or, you know, make a mandate or
17 somehow standardize it, so we could actually
18 believe in whatever temperature you chose.

19 So, I don't know how we would go
20 about dealing with that, but I think it might
21 change the vote for some people if we changed
22 two things as opposed to just the temperature.

1 MEMBER YOUNG: But did we ask this
2 group about the importance of the site of the
3 temperature? If the threshold changes, is the
4 site where the temperature is taken, is that
5 still important?

6 MEMBER BAILIT: Is it worth just a
7 quick poll to see which issue is more
8 important? Because if the issue is the site
9 and the validity of the data, then it doesn't
10 matter the threshold; the developer doesn't
11 have -- it is a different kind of feedback.

12 DR. WINKLER: Sure.

13 CO-CHAIR RILEY: So, should we do
14 a hand vote for that?

15 DR. WINKLER: Probably.

16 MEMBER BERNS: Or both.

17 CO-CHAIR RILEY: Okay. A hand
18 vote, okay. All right.

19 MEMBER KELLY: You need to put
20 your microphone on.

21 CO-CHAIR RILEY: I think the issue
22 is we want to vote, would a temperature change

1 change your idea about the validity of this?

2 That is one question.

3 The second question is, would you
4 be willing to change if it was temperature and
5 site?

6 And the third one would be just
7 site?

8 I don't know. There's those two.
9 Okay. All right. Is that fair? No? Okay.

10 MEMBER PROFIT: So, actually, I
11 would disagree with that because, to me, I
12 would be concerned that the validity would go
13 in the other direction. Like a temperature
14 change would make this measure more concerning
15 for me than it is now in terms of
16 acceptability of the neonatal community to
17 accept this as a quality measure.

18 And I would want to say that, for
19 instance, currently, in California about 50
20 NICUs are engaged in a delivery room
21 improvement project where hypothermia is like
22 their primary outcome, and it is recorded like

1 this, 36.0, yes.

2 MEMBER BAILIT: But, then, the
3 vote on the first question, would raising the
4 threshold help, then your answer would be no?

5 MEMBER PROFIT: I think it would
6 make it worse.

7 MEMBER BAILIT: Which is no.

8 MEMBER DRYE: Well, I think you
9 can just rephrase the question. Not would it
10 change your answer, but would you support the
11 measure if it was respecified as --

12 MEMBER GREGORY: I'm sorry, there
13 is a part of me that totally understands where
14 we are going, and then there is a question of,
15 okay, but where is our data that says we
16 should change the temperature when the
17 developers have given us data saying what the
18 temperature should be?

19 MEMBER YOUNG: It goes back to
20 harmonization, I believe, across the World
21 Health Organization and the American Academy
22 of Pediatrics.

1 MEMBER GREGORY: There is data?

2 MEMBER PROFIT: Yes.

3 MEMBER GREGORY: Okay.

4 MEMBER JALEEL: The literature
5 recommends 36.5 to 37.5 as a normal
6 temperature. And NRP during resuscitation
7 wants to keep the temperature, maintain the
8 temperature between 36.5 and 37.5. So, that
9 is their recommendation, too.

10 So, there are two bodies, reputed,
11 recognized bodies, who are just saying that is
12 the normal temperature. Now what you want to
13 measure is another story.

14 DR. HORBAR: Could I ask about the
15 NICHD data? I thought that they were only
16 collecting data under 1,000 grams. Is that
17 now some kind of broader recommendation of
18 that temperature for all below-birth-weight
19 babies?

20 MEMBER JALEEL: No.

21 DR. HORBAR: Or could you just
22 clarify that? I'm uncertain.

1 MEMBER JALEEL: Yes, I'm not
2 talking --

3 DR. HORBAR: I thought the generic
4 database was now only under 1,000 grams.

5 MEMBER JALEEL: No, I am not
6 talking about NICHD Neonatal Research Network.
7 What I mentioned was WHO and the Neonatal
8 Resuscitation Program of the American Academy
9 of Pediatrics.

10 DR. HORBAR: Thanks.

11 MEMBER DENK: I would like to
12 point out that one of the issues here has to
13 do with how the data is analyzed once it is
14 collected. And that is a lot more flexible.
15 You know, as the developer said, they could
16 report 36.5 to 36.0. You know, they could
17 report it in ranges.

18 But, for me, the issue was the
19 site, right. If you are not going to make a
20 recommendation about site, that is where I
21 have an issue. And there is no way to fix it
22 after the fact.

1 So, that is a question of how you
2 influence, how this process influences the way
3 data is collected, right? How data is
4 analyzed once it is collected in a
5 standardized way isn't really that hard to
6 change as life goes on or as different
7 hospitals want to interpret their data
8 differently.

9 MEMBER PROFIT: So, I think that
10 is a question about longitudinal measurement
11 for quality improvement versus comparative
12 measurement in public reporting. Because for
13 an individual NICU, you know, they probably
14 won't change it just from one year to the next
15 just to look a little bit better. They
16 usually work on ways to improve the fact of
17 keeping babies well in the delivering process.

18 Now I think there is a concern
19 when you do public reporting on this that
20 people will start gaming the system and try to
21 elevate the temperatures with ways that don't
22 really benefit the baby per se.

1 MEMBER DENK: But there is also
2 the issue that the VON network is a network,
3 right? I mean, you are comparing data across
4 sites for performance improvement reasons,
5 too.

6 MEMBER GEE: I would just like to
7 note we are focusing so much on the
8 variation/location of measurement, how
9 relevant is that? How much variation is
10 there? Are we talking one degree? Are we
11 talking .1 degree? I'm not sure. I don't
12 understand the variation to the extent that I
13 could make a reasonable decision on how
14 important it would be to have the site
15 documented.

16 CO-CHAIR RILEY: Jeff, can you
17 speak to that?

18 DR. HORBAR: I am not aware of
19 good data on that. There probably are data.
20 But has someone on the Working Group reviewed
21 that? I haven't.

22 MEMBER GROBMAN: I mean, in adults

1 it is substantial. It is a degree. So, I
2 have no idea about baby human beings, but
3 adults, I mean, it is substantial.

4 MEMBER PROFIT: I can't cite any
5 studies. I have heard more frequently data
6 about .5 degrees Celsius, but I can't cite
7 anything right now. And that is between
8 axillary and rectal.

9 MEMBER BRANDENBURG: In nursing,
10 when we chart, we add a degree. So, it is a
11 degree. It is the same as the adult.

12 MEMBER SUTHERLAND: So, I would
13 say that the lack of putting the site on the
14 measure is an unnecessary confounding
15 variable, and that would be an argument from
16 one site to the other as to why their results
17 are different.

18 And so, part of it is I think the
19 goal of this group should be to take out as
20 many confounders as possible. So that, if we
21 are going to develop a national measure, focus
22 on what is really best for the baby and take

1 away all the variables, if possible, in the
2 measure.

3 DR. WINKLER: At this point what I
4 heard from you all is that the last vote we
5 did is you felt that the measure as existing
6 did not meet the criteria for scientific
7 acceptability. The question was, was that
8 primarily because of the temperature threshold
9 number or was it because of the site issue?

10 The developers have indicated that
11 on the temperature-level issue, that is
12 something, since they already collect the
13 data, is something they can work with. But
14 the site isn't something that is part of the
15 way they capture data and do the work in the
16 Vermont Oxford Network.

17 So, one seems like it is a
18 possible, and the other does not seem well.
19 So, I think we have to determine where that
20 vote on scientific acceptability really comes
21 from. Is it the issue of the variation in the
22 site the temperature was taken or is to around

1 the threshold level of the temperature? So,
2 I think that is what has to be determined, to
3 determine exactly the rationale behind your
4 vote that it doesn't meet the scientific
5 acceptability criteria.

6 CO-CHAIR RILEY: Lee?

7 MEMBER PARTRIDGE: If the VON
8 network isn't willing to go to the second
9 route, in other words, to specify the site as
10 part of their measurement, do we need to vote
11 on it? I mean, they have indicated that they
12 can deal with the temperature threshold, but
13 if they are unwilling to change their measure,
14 then the measure as submitted to us without
15 change failed, right?

16 MEMBER DRYE: Can you just take a
17 vote on whether the measure specified with a
18 threshold temperature of 36.5 meets the
19 scientific criteria? And if it does, then it
20 would not fail. And if it doesn't, it failed,
21 right?

22 MEMBER BAILIT: But that also

1 saves them a lot of work to stop revising if
2 the answer is, it doesn't matter what you do,
3 we don't like it unless you change the site,
4 and they are not willing to do it. Then they
5 are done, and that saves a lot of people-
6 hours.

7 DR. HORBAR: Yes, if I could just
8 say something? I mean, I think the tension
9 that is arising here, which is an interesting
10 one, is between the perfect measure and the
11 measure that is good enough for a site to use
12 for quality improvement. Our philosophy has
13 been to provide measures that are good enough
14 and simple, so that individual sites can use
15 them for quality improvement.

16 If your bar is for national public
17 reporting, pay-for-performance, et cetera,
18 that was never the purpose of our database and
19 never will be. I think that it probably is
20 worth getting that issue out now because we
21 could save all of ourselves a lot of time.

22 If what you are looking for is the

1 perfect national measure rather than the good-
2 enough-for-quality-improvement measure, many
3 of ours are probably not in that category. I
4 think, as Dr. Profit mentioned, it is being
5 used for that purpose in California and in
6 many other places, and it is turning out to be
7 quite useful.

8 But would you want to pay for
9 performance based on this measure? No, you
10 wouldn't. So, if that is your bar, I think we
11 ought to get that clarified.

12 DR. WINKLER: Okay. We've got a
13 lot of background noise.

14 I will be happy to clarify. NQF,
15 from its very origins more than a decade ago,
16 has been all about endorsing measures for
17 public reporting and accountability. That is
18 what these evaluation criteria are attempting
19 to identify, are those measures that are
20 suitable for that level of use. We are not
21 looking at measures that are solely useful for
22 quality improvement internally.

1 CO-CHAIR RILEY: Okay. So, with
2 that clarification, can we take a vote in
3 terms of, first, temperature, right? Would
4 changing temperature alone make this work?
5 How's that?

6 DR. WINKLER: Your vote on
7 scientific acceptability, does it meet the
8 criteria, if the threshold temperature was
9 changed from 36 to 36.5? If it were 36.5,
10 does it meet the criteria? That is your
11 question.

12 Because we did it as a hand vote
13 last time, let's go ahead, just for
14 reproducibility, interrater reliability.

15 Yes or no? A temperature
16 threshold at 36.5 degrees, does it meet the
17 criteria for scientific acceptability, yes or
18 no?

19 How many say yes?

20 (Show of hands.)

21 Seven.

22 How many say no?

1 (Show of hands.)

2 So, it is 7 yes and 18 no.

3 And I am going to interpret from
4 that that one of the major reasons you are
5 voting against it is the site variation,
6 correct? I am seeing nodding heads around the
7 room. Okay.

8 Thank you.

9 MEMBER ARMSTRONG: Can I just ask
10 a question to Jaleel?

11 Did WHO stipulate it should be
12 36.5 rectally? Do they stipulate what the
13 site is?

14 MEMBER JALEEL: No.

15 MEMBER ARMSTRONG: No?

16 MEMBER JALEEL: WHO is looking at
17 the whole newborn population as a whole, not
18 just the babies who are less than 1500 grams.

19 And in one of the locations, it
20 doesn't specify, but in one of the locations
21 it does mention axillary. But it doesn't
22 specify in the main area where it classifies

1 as cold stress normothermia and hypothermia,
2 it doesn't mention that it is axillary or
3 rectal. But in one of their discussions they
4 do mention axillary. That is all I could see.

5 MEMBER ARMSTRONG: And AAP has no
6 guidance whatsoever on this at all?

7 MEMBER JALEEL: No.

8 MEMBER ARMSTRONG: So, maybe you
9 could take that back to AAP -- maybe.

10 (Laughter.)

11 CO-CHAIR RILEY: Okay. So, we are
12 now on 303, late sepsis or meningitis in
13 neonates, risk-adjusted.

14 Dr. Profit?

15 MEMBER PROFIT: Okay. So, late
16 sepsis or meningitis, again by the VON.
17 Essentially, this is a standardized rate and
18 morbidity ratio for nosocomial bacteria
19 infection after day three of life for very-
20 low-birth-weight infants, other infants who
21 are admitted to a NICU within 28 days of
22 birth, which is one of the exclusion criteria,

1 and other infants who die in the hospital
2 within 28 days of birth.

3 The numerator of this measure is
4 -- this measure is somewhat different from the
5 AHRQ measure. In this measure, an infant is
6 eligible if any or one of the bacterial
7 pathogens that are listed further down in the
8 list below is recovered from a blood or
9 cerebral spinal fluid obtained after day three
10 of life, or if three criteria are met: the
11 infant has coag negative staph plus has sort
12 of positive culture with coag negative staph,
13 either central or peripheral or from a CSF
14 source; has signs or symptoms of a generalized
15 infection, and is being treated with five or
16 more days of IV antibiotics after the cultures
17 are obtained. And if the infant has died or
18 was discharged or transferred prior to the
19 completion of the five days, this condition
20 would still be met.

21 So, the denominator, essentially,
22 it is all the infants reporting to the

1 hospital after day three.

2 So, just to kind of preface this a
3 little bit, this is very similar to Measure
4 304 except that 304 is a subgroup of very-low-
5 birth-weight infants, and the risk-adjustment
6 model is slightly different because of the two
7 different patient populations, but, otherwise,
8 a similar measure.

9 And so, when the Workgroup looked
10 at this measure with regard to impact, 4 highs
11 and 1 moderate. Opportunities for
12 improvement, very similar to the AHRQ measure,
13 I think, overall, people thought that this was
14 important.

15 And I guess I will just leave it
16 here with regard to importance.

17 CO-CHAIR RILEY: Questions,
18 comments?

19 (No response.)

20 (Whereupon, a vote was taken.)

21 DR. WINKLER: Yes, 24; no, 1.

22 MEMBER PROFIT: So, then, moving

1 on to the next section regarding evidence for
2 this measure, this measure actually has been
3 -- well, sorry, I will take this back. I
4 think the VLBW Measure 304 has been actually
5 used and proven in quality improvement
6 interventions conducted by Vermont Oxford and
7 has been successfully reduced.

8 I think, otherwise, there are, of
9 course, other studies that have been published
10 on reducing infection rates in neonates and in
11 other populations that have been successful.

12 So, there are a few randomized
13 trials on these things, though, which I think
14 is why some of the validity data was rated
15 around moderate or low. So, for validity, 2
16 highs, 2 moderates, and 1 low.

17 And with regard to reliability, we
18 had 2 highs, 3 moderates. There were some
19 concerns about a complicated denominator and
20 the need for relatively substantial data
21 abstraction. There are a lot of bacteria
22 listed, and there were questions about what

1 they call important on many of the bacteria
2 since the vast majority of infections clusters
3 probably more into a handful of bacteria.

4 And then, what is the data quality
5 of the Vermont Oxford Network registry was one
6 of the concerns.

7 Suggestions: maybe about
8 combining this measure with the VLBW measure.
9 I am not sure I would necessarily advocate
10 this. The rates of occurrence are very
11 different in the very-low-birth-weight baby to
12 the higher, the larger babies. And so, I am
13 wondering whether we would be diluting things
14 if we actually combine those. But that is up
15 for the group to discuss.

16 I think with regard to the risk-
17 adjustment model, I would just mention that
18 there are the smaller hospitals with the
19 smaller "N" because of the shrinkage used,
20 which I think is also used by AHRQ and the
21 Joint Commission or similar measures. But a
22 very small hospital may have an infection rate

1 of zero, but may not be an outstanding
2 provider because of that shrinkage; the
3 results are being essentially pulled towards
4 the median of the group.

5 The risk-adjustment model includes
6 race as a co-factor. I think several members
7 were concerned about that. While there may be
8 differential rates among different racial or
9 ethnic groups, it didn't seem that necessarily
10 that that should be adjusted for in a risk-
11 adjustment model.

12 I think I will open it up to you.
13 Do the developers have some comments?

14 (No response.)

15 Anybody else from the Workgroup?

16 MEMBER GROBMAN: I just have one
17 question about your bullet point 4, the
18 suggestion to combine with 304, but 304 uses
19 the synchronous model.

20 I mean, isn't it possible that a
21 risk model that encompasses both could be
22 obtained that uses some interaction factors or

1 something? I mean, are these thought in very-
2 low-birth-weight infants or non-very-low-
3 birth-weight infants to be truly different
4 quality indicators or aren't they just a
5 continuum of the same thing?

6 MEMBER PROFIT: So, I think what
7 you are referring to, are the things that we
8 do for bigger babies different than the
9 smaller babies to avoid infections? I would
10 probably say the answer is no. It is just for
11 the larger babies, like if you include
12 everybody, I think the overall rates just
13 become quite smaller. And so, I would be a
14 little more concerned about not being able to
15 show change as well as in a higher-risk
16 population. That would be my main concern.

17 DR. HORBAR: Could I just say a
18 clarification? For our measure that covers
19 all NICU infants, that measure includes both
20 the very-low-birth-weight and the bigger
21 babies. So, that, in a sense, is the combined
22 measure. But we have many members who only

1 report to us on their very-low-birth-weight
2 infants. That is why there is a separate
3 measure that only applies to them.

4 I think what Dr. Profit is saying
5 also makes sense, that the rates are extremely
6 different, and that focuses on the very-low-
7 birth-weight infant where the rates are much
8 higher also makes sense.

9 But if what you are looking for is
10 a combined measure, our measure for all NICU
11 infants is a combined measure. It includes
12 all the birth weights.

13 MEMBER GROBMAN: Yes, I don't know
14 that it was a combined per se that I was
15 looking for. I was just trying to understand
16 why we have two distinct, but extremely
17 related measures. It seems that if the rates
18 are so low in term neonates, then that goes
19 back to the discussion we had before about
20 birth trauma. And maybe, then, the only good
21 one to use is very low birth weight or you
22 want to get everyone and then you get

1 everyone.

2 DR. HORBAR: Well, ours is
3 everyone in a NICU, not all infants of any
4 birth weight regardless of where they are
5 cared for. So, even the bigger infants
6 included in our measure are a higher-risk
7 population than the normal term infant.

8 MEMBER BERNIS: Yes, I think that
9 is key.

10 Jeffrey, can you give us a sense
11 -- I'm sure it is in here somewhere -- but in
12 terms of the rates? When you have this
13 combined measure that includes all NICU
14 babies, what is the rate of infections
15 compared to those for the very low birth
16 weight, just round-about numbers?

17 DR. HORBAR: It will take me a
18 minute to find those. If you will just move
19 on to something else, I will come back to that
20 as soon as we have pulled up -- we have a
21 screen here where we can pull it all those
22 data.

1 MEMBER BERNS: I mean, the reason
2 I asked the question is, if there is really
3 that big of a difference and we are concerned
4 about not being able to see a change, then
5 that is a real concern, as Dr. Profit
6 mentioned.

7 However, the NICU was a high-risk
8 environment, period. And so, this would help
9 me have a sense of which measure is more sort
10 of usable and feasible, particularly from an
11 accountability and public reporting
12 standpoint.

13 MEMBER PROFIT: So, on page 10 in
14 the body, under 2A2.1, we do have a breakout
15 by birth-weight category.

16 DR. HORBAR: I just looked it up.
17 It is 3 versus 15 percent.

18 MEMBER PROFIT: Okay. Thank you.

19 MEMBER DRYE: Is there a reason
20 you don't, then, adjust for the birth -- I am
21 just looking at your covariate list on page 9.
22 So, I see gestational age squared, for

1 example, but not birth weight. If it is that
2 much of a difference in this measure that
3 combines both cohorts, is there a reason you
4 don't risk-adjust?

5 DR. HORBAR: We have debated back
6 and forth many times whether to include both
7 birth weight and gestation, which are highly
8 correlated, in the same models. And I think
9 there may be someone on the Committee who has
10 better knowledge about those kinds of modeling
11 issues than I do. But I think in testing the
12 fit of the models and the performance of the
13 models, we came to the conclusion that
14 gestational age was the better variable to
15 include.

16 Some people do include both, even
17 though they are highly correlated. And again,
18 I am not going to try to weigh-in on the
19 statistical merits of including highly-
20 correlated variables in these models.

21 MEMBER ARMSTRONG: So, one other
22 question. It looks like in the Workgroup

1 people were concerned about the complexity of
2 the data abstraction. Is that really a
3 problem? Do you get back complete data for
4 the registry?

5 DR. HORBAR: Yes, we do.

6 MEMBER GEE: And, Jeff, another
7 thing we discussed was length of stay and
8 whether that should be a covariate and what
9 the impact of that is on rates. Could you
10 speak to that? Because it depends on your
11 level. We talked about levels of NICU and how
12 this measure would be different depending on
13 those levels and length of stay.

14 DR. HORBAR: It is an interesting
15 question. I don't think we have looked at
16 them systematically. Clearly, there will be
17 babies who stay for a very long time and have
18 a much longer period of time at which they are
19 at risk.

20 On the other hand, infections
21 themselves and other preventable morbidities
22 can lead to increased length of stay. So,

1 giving people credit for the increased length
2 of stay may be problematic. It is a tough
3 one.

4 MEMBER DRYE: I wanted to comment
5 on race. There is adjustment for race. And
6 I know Reva mentioned at the beginning that
7 NQF has more recently asked committees to
8 focus on the question of whether there are
9 disparities present.

10 And I think about quality measures
11 as a tool for uncovering disparities in care
12 or disparities in outcomes. And what happens,
13 as you know, when you adjust for rates, is you
14 are going to mask any differences by race
15 across hospitals because where there is higher
16 poor outcomes, in minority populations, for
17 example, if you adjust for race, you are going
18 to give a higher expected number in the
19 denominator in this kind of a model. So, in
20 a way, you are basically setting a different
21 benchmark for those hospitals.

22 What the NQF guidance, as you

1 probably know, is to not adjust for race in
2 risk-adjustment models, but, rather, to look
3 at differences, and if, for fairness, you
4 really have to think about holding hospitals
5 to the same standard, to stratify the measure,
6 the populations in the measure, rather than
7 adjusting.

8 So, I think this is a major
9 concern with this particular risk-adjustment
10 model.

11 MEMBER DENK: But isn't one issue
12 that a lot of these short-term health
13 outcomes, in fact, follow different age and
14 weight profiles by race? I mean, you know, it
15 is not exactly the same outcomes for babies of
16 different race who are of exactly the same
17 gestational age and birth weight.

18 MEMBER DRYE: So, then, the
19 question is, what do you want? You want your
20 measures to be able to capture those
21 differences, and over time hospitals to
22 address those differences in outcomes rather

1 than masking those differences in the measure
2 calculation, is what I was trying to say.

3 DR. HORBAR: I can tell you that
4 the racial terms, you know, our model, don't
5 really matter very much, and probably don't
6 lead to any significant changes in the
7 reporting across hospitals.

8 We have actually been debating
9 internally whether to drop rates as a risk-
10 adjuster. So, I would appreciate guidance
11 from the Committee on that question.

12 MEMBER PROFIT: I don't mean to
13 speak for the Committee, but I did not include
14 race in my attempts to risk-adjust quality
15 measures, for the reasons that Dr. Drye
16 suggested. Because I feel like there may be
17 some outcomes in which you really have a
18 biological rationale for different outcomes
19 and which maybe there is a good rationale for
20 including a race variable, but there may be
21 many other outcomes where there's a lot of
22 concerns about quality of care or resources

1 available for quality of care or things that
2 might be masked by including the race factor.

3 On the other hand, of course,
4 hospitals will say that, well, they treat a
5 much higher-risk population maybe and feel
6 like they are being unduly punished for that.
7 On the other hand, they may get special monies
8 from the states to take care of high-risk
9 populations. And so, it is like maybe you
10 can't have it both ways.

11 So, I don't know if there is a
12 perfect answer for this. I think it is
13 important to report it, but I am not sure I
14 would risk-adjust it away.

15 MEMBER GEE: The goal of reporting
16 health disparities is the goal of reporting
17 when care is worse based on race, when there
18 is not level quality of care. And I don't
19 think that that is what we are looking at
20 here. So, I don't know that it is as
21 relevant.

22 DR. HORBAR: I can just tell you

1 that, when we have tried to look at the
2 disparities issue, the conclusion that we were
3 able to reach was that the differences are
4 based at the hospital level, that in minority-
5 serving hospitals all of the infants appear to
6 have worse outcomes across many of our outcome
7 measures, but that is true for all the races
8 within those minority-serving hospitals, which
9 probably is a stand-in for other aspects of
10 those hospital services.

11 MEMBER DRYE: That is a great
12 analysis to present, and I appreciate your
13 mentioning it. To me, that makes it more
14 compelling to pull the race variable out of
15 the patient-level risk adjustment because, if
16 it is really a hospital effect, you definitely
17 don't want to erase it through risk
18 adjustment.

19 And I think I am also hearing you
20 say the risk adjustment for race doesn't
21 matter much in this matter, which is another
22 reason just to pull it out, consistent with

1 NQF guidance.

2 DR. HORBAR: Yes, and if that were
3 the guidance from the NQF, we would be pleased
4 to do it. And truthfully, we are considering
5 doing it anyway.

6 MEMBER PROFIT: I had a few more
7 maybe questions about validity that I don't
8 think are really specific to this variable,
9 but really cover all of the infection
10 variables. And I just wanted to bring them up
11 because we may hear from other people once
12 this gets open for public comment.

13 So, I think Rebecca Gee mentioned
14 one of those, like the back-transfer rates.
15 So, similar to length of stay, those hospitals
16 that do a lot of back-transfer might have a
17 lower infection rate because the infant's
18 exposure time is lower.

19 NICUs with higher mortality rates
20 might actually be a better performer because
21 exposure time is less.

22 And I guess another one that they

1 had was, how would you ascertain whether a
2 patient had a nosocomial infection at the
3 sending hospital? I think the AHRQ measure
4 takes care of that. They had a code for that.

5 But I think those are sort of some
6 concerns about biases that creep into these
7 measures in general.

8 MEMBER GEE: We also talked a lot
9 about the bacteria issue. That requires chart
10 abstraction and a lot of work on the part of
11 the hospital to collect it versus infection as
12 a measure. So, I know we are discussing other
13 measures as well, but that is a consideration.

14 And, Jeff, you talked about the
15 coag negative staph was something like 8
16 percent of your infection rate, but it is
17 something to think about. Is it important to
18 break it out that way, because it is a higher
19 reporting burden?

20 DR. HORBAR: Is that a question?

21 MEMBER GEE: Yes.

22 DR. HORBAR: Well, coag negative

1 staph has traditionally been the most common
2 neonatal infection in North American NICUs.
3 There has been a lot of debate, which I am
4 sure your Working Group must have discussed,
5 about, are these all real infections? Are
6 some of them contaminants?

7 And so, we collect those data
8 separately from other organisms, so that
9 people have the opportunity to evaluate the
10 percentage of all their infections that are
11 coag negative staph. So, that is why we do
12 it.

13 But in the current measure that
14 you are considering, they are in there.

15 CO-CHAIR RILEY: Okay. So, if
16 there aren't any more comments or questions,
17 I think we want to vote on the scientific
18 acceptability for this measure. Yes/no?

19 (Whereupon, a vote was taken.)

20 DR. WINKLER: Okay. Twenty yes, 6
21 no.

22 CO-CHAIR RILEY: Can we move on?

1 MEMBER PROFIT: Usability is the
2 next criteria. We had 4 highs, 1 moderate.

3 And I think a similar question to
4 all the other questions, it appears to be high
5 usability for VON members and it is not so
6 clear for hospitals that are not part of the
7 network.

8 I will just open it up for
9 comment, if anybody wants to say anything
10 about this.

11 (No response.)

12 CO-CHAIR RILEY: Can we vote on
13 usability?

14 DR. WINKLER: This is high,
15 moderate, and low.

16 (Whereupon, a vote was taken.)

17 High, 9; 14 moderate; 3 low.

18 MEMBER PROFIT: On feasibility, we
19 had 3 highs and 2 moderates. I think that was
20 mainly based on the fact that our Work Group
21 had a total of four infection measures to
22 contend with. And so, I think there was just

1 a general feeling that maybe hospitals are
2 getting overburdened with infection measures.
3 But we will be discussing this tomorrow, I
4 guess tomorrow. So, individually, I think we
5 can probably just come to a vote on the
6 feasibility of this measure.

7 CO-CHAIR RILEY: Can we vote?

8 (Whereupon, a vote was taken.)

9 DR. WINKLER: High, 6; moderate,
10 17; low, 3.

11 CO-CHAIR RILEY: And then,
12 finally, vote for overall suitability for
13 endorsement.

14 (Whereupon, a vote was taken.)

15 DR. WINKLER: Twenty-three yes, 3
16 no.

17 CO-CHAIR RILEY: Can we go on to
18 the next one?

19 MEMBER PROFIT: So, the next
20 measure is really very similar except for a
21 minor detail on the risk-adjustment method in
22 that it includes very-low-birth-weight babies

1 only, which, as Dr. Horbar mentioned, is due
2 to the fact that some members only report data
3 on very low-birth infants.

4 I think any of the other criteria
5 applies. So, I don't know --

6 MEMBER GROBMAN: So, I guess my
7 question is just the same question I sort of
8 asked before, which is, is there a point in
9 having both? I know, when you say "the
10 members", you mean the members of the Vermont
11 Oxford Network, but that is not really
12 relevant to whether or not we support this as
13 a national quality measure.

14 I mean, aren't these two -- or I
15 guess I should ask the question -- are these
16 two incredibly highly correlated, and they
17 don't basically assess the same underlying
18 domains of care? And if so, don't you get
19 from one what you essentially get from the
20 other? Like would you ever do QI, and it
21 wouldn't help both?

22 MEMBER KIEHN: I have facilities

1 that have NICUs. Some of my NICUs have a
2 large percentage of larger babies, and my
3 other NICUs have a large percentage of smaller
4 babies. And so, it would be very different.
5 I want to look at them very separately
6 because, again, the rates, as Jeffrey
7 mentioned, are significantly different. So,
8 I don't want to have them all lumped in one
9 group.

10 MEMBER PROFIT: I guess I just
11 wanted to make a reminder point. And again,
12 I am not on the Board of Vermont Oxford, or
13 something. But I just want to advocate that
14 about 900 NICUs already collect this data.
15 That is worldwide. I am not sure how many in
16 the U.S., but a large proportion of those 900
17 come from the U.S. There's maybe about 1900
18 NICUs overall. So, that is maybe close to
19 half of the NICUs in the country are already
20 collecting this data. So, I feel like this is
21 not an insignificant number for us to tell
22 those NICUs to end up collecting a whole bunch

1 of measures because they are already doing
2 this.

3 CO-CHAIR RILEY: So, there's 1,000
4 NICUs that are not? Did you say 1900 in --

5 MEMBER PROFIT: About 1900-2,000.
6 If I had the numbers wrong, please correct me,
7 but I think it is about 1900-2,000 NICUs in
8 the country, somewhere around that. If
9 somebody has a better estimate --

10 DR. HORBAR: I thought the survey
11 the Perinatal Section recently did, they came
12 up with a number between 1100 and 1200 for the
13 number of NICUs in the U.S.

14 MEMBER PROFIT: Okay.

15 DR. HORBAR: But many of those are
16 very tiny, and because there's no standard
17 nomenclature of what a NICU is, it is hard to
18 know what that means.

19 We figure that in our current
20 very-low-birth-weight database about 80
21 percent of the very-low-birth-weight infants
22 born each year in the U.S. are enrolled in

1 that. So, that is probably a better gauge of
2 the scope of it than the number of NICUs.

3 MEMBER PROFIT: Thank you. Thank
4 you for that clarification.

5 DR. HORBAR: If I had to choose, I
6 would choose the very-low-birth-weight one.
7 I mean, I think they are both relevant, but I
8 think the utility of the measure I think is
9 greater in the very-low-birth-weight
10 population. At least a number of states have
11 utilized it in their statewide quality
12 improvement efforts. And I think most of them
13 have chosen the very-low-birth-weight
14 population as the one to focus on.

15 MEMBER PARTRIDGE: Jeff said what
16 I was going to say. I find this a much more
17 compelling measure than the one we just
18 debated before it, because these are your most
19 vulnerable. If we can do a good job with
20 them, we are doing a good job.

21 DR. HORBAR: Yes, I guess the
22 other side of that is the comment -- I'm

1 sorry, I don't know who made it -- that the
2 percentage of very-low-birth-weight infants in
3 a NICU, because NICUs are not defined in the
4 standard way, is quite variable. I mean,
5 there may be places where 15 or 20 percent of
6 the NICU infants are very low birth weight and
7 other places where it is 80 percent.

8 CO-CHAIR RILEY: So, that makes
9 the argument that you should really have two.
10 And if, in fact, you are talking about 80
11 percent of those very-low-birth-weight babies
12 are already represented in the Network, we are
13 not talking about an astronomical amount of
14 extra work, right?

15 MEMBER PROFIT: Yes, I mean, I
16 think that is kind of the point that I am
17 trying to make. I am not trying to just say,
18 well, because there is a registry that has
19 defined these things this way, this is what
20 the whole country should do. But a large
21 portion of the country is already doing it.
22 And so, I think we just ought to be mindful

1 about adding a whole bunch of new measures to
2 what the country is already doing. You know,
3 that entails additional work for maybe very
4 little additional marginal gain.

5 MEMBER DRYE: I just had a
6 question about -- and I don't mean to keep
7 bringing up the same thing -- but if we wanted
8 to recommend not adjusting for race in these
9 two measures, how do we do that as a process
10 matter beyond just mentioning it in this
11 discussion?

12 DR. WINKLER: Yes, I think the
13 fact that you have mentioned it, and the
14 measure developers have heard it, and it is
15 something that seems to be very pertinent to
16 their considerations. However, as you very
17 well know, changing risk models and developing
18 is not something you do overnight or over
19 lunch.

20 And so, given that feedback, I
21 would think that, if they want to run with
22 that -- you need to determine whether the

1 measure is good enough right now -- perhaps in
2 future iterations, in their annual updates,
3 they may make those adjustments.

4 MEMBER SUTHERLAND: I guess I had
5 a comment for my NICU colleagues about the
6 differences between Level 2 and Level 3 NICUs.
7 So, when we talk about domains of care, is one
8 measure more likely to hit a Level 2 versus a
9 Level 3? I would just be curious to see what
10 comments you have about that.

11 MEMBER PROFIT: There are other
12 neonatologists here.

13 Oh, yes, go ahead, please answer.

14 MEMBER JALEEL: Definitely, I
15 mean, I think it would matter for a Level 2
16 unit. As Teri mentioned, there are units
17 which take care of bigger babies and not these
18 small, extremely-low-birth-weight babies. So,
19 if they want to take this up as a quality
20 measure, then, yes, I would prefer to have it
21 as two different measures, is what I would
22 say.

1 CO-CHAIR RILEY: So, with that, we
2 will go on to vote. We are going to look at
3 importance measure and report. This is a
4 yes/no.

5 (Whereupon, a vote was taken.)

6 DR. WINKLER: Twenty-six yes, zero
7 no.

8 MEMBER PROFIT: I think the issues
9 with regard to validity -- I don't want to
10 delay this too much -- I think it is
11 essentially the same as for the other measure.

12 Maybe one thought about the other
13 measure, or for Jeffrey or for us to think
14 about, is the other measure, we just
15 stratified by babies above 1500 and below
16 1500. Would that kind of take care of it?
17 You know, just have one measure, but stratify
18 it? Would that be a reasonable solution?

19 DR. HORBAR: I would have to think
20 about that. I mean, if you stratified the
21 measure for -- you are talking about just take
22 the combined measure for all birth weights and

1 report it stratified?

2 MEMBER PROFIT: Yes. Now the
3 risk-adjustment model may not work.

4 DR. HORBAR: The way we do it,
5 which is reporting it overall and in the
6 lowest strata, I am not sure I see the
7 advantage, but I could be convinced.

8 MEMBER PROFIT: I guess I am
9 thinking for the NICUs that take care of maybe
10 largely larger babies, you know, what
11 additional benefit would they get from the
12 combined measure? Like they might be more
13 interested really to figure out what is
14 happening to the larger babies? And so, if it
15 was stratified, maybe that would fit more what
16 they usually do.

17 DR. HORBAR: Yes, I mean, you
18 know, in our current reporting, we report the
19 absolute rates within very-small-birth-weight
20 and gestational-age categories. So, I mean,
21 in our reporting to members, people are able
22 to tease that out. We don't do that for the

1 risk-adjusted, and that would require a whole
2 different approach, I guess, to risk-adjust in
3 only that larger birth-weight strata.

4 CO-CHAIR RILEY: Okay. Is
5 everybody set? No?

6 MEMBER JALEEL: I would like to
7 make one additional comment.

8 CO-CHAIR RILEY: Please.

9 MEMBER JALEEL: Babies who are
10 extremely low birth weight or less than 1500
11 are near and dear to the unit because they
12 stay for a longer time, and we have invested
13 a lot of our time and effort into that.

14 But the number of babies in the
15 bigger age group, even though if we say that
16 the percentage of those babies who are getting
17 an infection is 3 percent, but the volume of
18 those babies is much larger. So, in that
19 respect, I would say it would be good to have
20 those two measures together.

21 CO-CHAIR RILEY: So, with that, if
22 we can vote on scientific acceptability?

1 (Whereupon, a vote was taken.)

2 DR. WINKLER: Twenty-five yes, 1
3 no.

4 CO-CHAIR RILEY: Usability? Can
5 we vote?

6 DR. WINKLER: Voting.

7 (Whereupon, a vote was taken.)

8 DR. WINKLER: High, 13; moderate,
9 11; 1 low.

10 CO-CHAIR RILEY: Feasibility? Can
11 we vote?

12 (Whereupon, a vote was taken.)

13 DR. WINKLER: High, 11; moderate,
14 14; 1 low.

15 CO-CHAIR RILEY: And then, lastly,
16 overall suitability for endorsement?

17 (Whereupon, a vote was taken.)

18 DR. WINKLER: Twenty-five, yes; 1
19 no.

20 CO-CHAIR RILEY: Okay, then, so
21 now we get a break.

22 DR. WINKLER: For folks who are on

1 the line, we are running just about a half-an-
2 hour behind, and the Committee really needs to
3 take a mid-afternoon break. So, we will come
4 together again about 3:45. We appreciate your
5 patience.

6 DR. HORBAR: Well, can I just ask,
7 are there more measures of ours that you are
8 going to address or are we finished?

9 DR. WINKLER: We have looked at
10 all the measures from Vermont Oxford. Thank
11 you very much for being with us this
12 afternoon.

13 DR. HORBAR: Well, thank you, and
14 thank you for everyone on the Committee who
15 spent the time of reviewing it. We appreciate
16 the hard work you did and look forward to
17 seeing your advice.

18 CO-CHAIR RILEY: Thank you.

19 (Whereupon, the foregoing matter
20 went off the record at 3:32 p.m. and went back
21 on the record at 3:57 p.m.)

22 CO-CHAIR SAKALA: Okay. So, Paul,

1 would you like to join us over here at the
2 table?

3 Next, we have two measures from
4 MGH -- actually, one -- two, yes, from MGH.
5 And I am going to start with No. 472,
6 prophylactic antibiotics for cesarean section.

7 And I think this had a lot of
8 skewed favorable responses with one issue that
9 is an exception. Obviously, with over a
10 million cesareans every year, and high rates
11 of infection in that population, it is a high-
12 impact issue. There are opportunities for
13 improvement.

14 And as far as evidence goes, it is
15 very clear that giving antibiotics versus not
16 lowers the likelihood of infection. And
17 moreover, I was curious to understand better
18 the timing issues.

19 And I did find two meta-analyses
20 that were not cited in the material that we
21 got, two recent ones, showing that giving the
22 antibiotics before the incision reduced the

1 likelihood of infection relative to giving
2 them after cord-clamping. So, so good for the
3 moms.

4 And as far as the short-term
5 outcomes for babies goes, these studies seem
6 to show in general no difference one way or
7 the other.

8 But I do want to say that I feel
9 there is a little cloud over this measure
10 because of uncertainty for longer-term
11 outcomes for babies. That would be with
12 respect to unintended consequences of fetal
13 exposure to antibiotics.

14 We know that both cesarean section
15 itself and perinatal antibiotics are
16 associated with colonization of the newborn
17 gut with less desirable bacteria. And unlike
18 in older people, that initial colonization is
19 remarkably stable over a long period of time
20 and may be the mechanism for the association
21 of those events with chronic diseases in
22 children, some chronic diseases, and, of

1 course, not the total explanation, but
2 increased likelihood. And that would be the
3 developmental origins of disease material.

4 And so, I feel like the best thing
5 for the baby would be, the cautionary thing
6 would be administration after cord-clamping,
7 but that would have a lot of excess infection
8 in the moms. So, that would be my one concern
9 here about this measure.

10 I have to say, when forced to vote
11 myself, I would vote favorably, just because
12 of the relative uncertainty on the baby's
13 side, but it is a cloud for me.

14 Comments?

15 MEMBER PROFIT: I was wondering if
16 you had any sort of specific data on -- I am
17 not quite aware of epidemiological studies
18 that would suggest a higher. Like do you have
19 epidemiological -- I mean, I understand this
20 theoretical concern. Are there any true data
21 indicating --

22 CO-CHAIR SAKALA: Right. So, I

1 don't have them, I'm sorry, I don't have them
2 with me, but they are appearing; other people
3 on our call commented as well. They are
4 coming out fairly, you know, frequently in
5 terms of this whole -- there's theories of
6 imprinting and programming and epigenetics.
7 I have to say it is beyond me to understand
8 the science well, but there is starting to be
9 a consistent profile of this association.

10 MEMBER GILLIAM: Can I ask, as the
11 non-obstetrician, strictly on the pediatric
12 side, so prophylaxis would be a dose of
13 cefazolin delivered within an hour of
14 incision. And as you said, it is a million
15 deliveries potentially a year or a bunch.

16 And then, on the other side, there
17 doesn't seem to be any reservation about
18 giving moms ampicillin to prevent Group B
19 strep meningitis in the baby and the outcome
20 on that baby, giving mom a dose of ampicillin
21 or multiple doses of ampicillin, and there
22 doesn't appear to be any concern about

1 changing the gut flora of the newborn when you
2 give ampicillin. Why would there be concern
3 about giving cefazolin?

4 CO-CHAIR SAKALA: Well, I am not
5 talking about one particular antibiotic or
6 another. And if I were presenting that
7 measure, and it was given in a way that the
8 baby was exposed, which it generally is, I
9 would raise that as a concern as well. And it
10 is over a million of cesareans. So, that is
11 the figure, yes.

12 MEMBER WATSON: I think where some
13 of the concern came from was on the
14 penicillin-allergic mothers when they are
15 given clindamycin and gentamicin. I think
16 that some concern is, do you really want to
17 give gentamicin and expose the fetus?

18 So, there are some places where
19 the obstetrician will say, "Go ahead and give
20 the clindamycin" prior to surgery or prior to
21 incision, and will give the gentamicin after
22 you clamp the cord. So, there are some

1 workarounds.

2 But there is enough of this
3 concern in the community -- now whether there
4 is data to support it, I don't know, but I
5 think it is just anecdotally they are
6 concerned about giving gentamicin before you
7 cut the cord.

8 CO-CHAIR SAKALA: Kim?

9 MEMBER GREGORY: Well, actually,
10 it is a little more complicated than that.
11 That is that there is some very clear European
12 data that the gut flora is altered just
13 because you had a C-section. And so, if you
14 take that and then you compound it by the fact
15 that it is now sterilized, it makes empirical
16 sense that you are even further altering the
17 gut flora.

18 But, having said that, the measure
19 is designed to decrease the incidence of
20 surgical site infection, and all of the data
21 related to surgical site infection clearly
22 shows that you should have the medication

1 ideally within 20 minutes of the incision.

2 So, maybe if you cut fast, you can get it out
3 before the baby gets exposed.

4 (Laughter.)

5 CO-CHAIR SAKALA: Right. And just
6 to give you like one study that I do have in
7 my head from the Netherlands, and it relates
8 to their kind of care, there were four
9 separate risk factors for adverse
10 colonization: being born in a hospital, not
11 breastfeeding, having a cesarean, and
12 receiving antibiotics. So, those are the
13 kinds of things that are coming along right
14 now.

15 And obviously, those kinds of
16 longer-term associations are trickier design-
17 wise, but that is what is coming. It is one
18 piece of evidence after another that is
19 suggesting that it is time for us to pay
20 attention to this.

21 Why we don't, I think we have a
22 lot of short-term views of the matter. Many

1 of our trials, because of the cost, don't even
2 follow people up once they leave the hospital.
3 So, it is a thing that I think we all need to
4 become better aware of and do the better
5 studies to understand.

6 Yes?

7 MEMBER DRYE: Sorry, we are having
8 a side conversation.

9 Can you just give examples of the
10 outcomes for the baby that you are concerned
11 about or that are discussed in the literature?
12 I am just not familiar with it.

13 MEMBER GROBMAN: Yes. So, it is
14 not epidemiologic data like of illness or
15 anything like that. It is studies of the
16 microbiome. And so, these studies where
17 people just take and sort of do a blast of the
18 microbiome, see the DNA of every single
19 organism that is around. And the capability
20 to do that is relatively recent.

21 So, (a) these studies are all
22 relatively recent and still even

1 methodologically being worked through; (b) it
2 shows what Kim has already referred to, which
3 is that there are these sort of systematic
4 differences depending on route of delivery,
5 antibiotic exposure, but just related to the
6 microbiome. In other words, not any clear
7 health outcome; a very intermediate outcome.

8 And there is concern, given other
9 data, that the microbiome itself is associated
10 with other sort of long-term outcomes, you
11 know, obesity, blah, blah, blah, nutritional
12 stuff. And so, there is no long-term data
13 linking antibiotics to the microbiome to
14 DOHaD, developmental-origins-of-disease type
15 stuff. So, it is a very intermediate outcome
16 based on the microbiome and the seeming
17 persistence based on antibiotic exposure.

18 CO-CHAIR SAKALA: Right, and there
19 are studies, for example, of increased
20 association with asthma and allergy, but the
21 mechanism is not clear. So, the idea is this
22 is a possible explanatory factor that is very

1 plausible.

2 MEMBER PROFIT: So, I would just
3 be a little hesitant personally. We have very
4 good data on the effectiveness of the
5 antibiotics on the mother, and it sounds like
6 the effects on the baby or child are largely
7 evolving.

8 CO-CHAIR SAKALA: Right.

9 MEMBER PROFIT: And so, I agree
10 that it should be studied, but I am not sure
11 that at this point that concern really
12 overrides the benefit, the proven benefit, to
13 the mother. Because the association with
14 asthma and allergies has been made for just
15 about everything.

16 (Laughter.)

17 So, I am just a little -- I don't
18 know; I guess we have some proven benefit to
19 the mother. And here's a neonatologist saying
20 that.

21 (Laughter.)

22 CO-CHAIR SAKALA: Right.

1 MEMBER PROFIT: But the babies
2 need their mothers, too.

3 CO-CHAIR SAKALA: And that is
4 where I come down, too, but I felt like I
5 really needed to raise this --

6 MEMBER PROFIT: Yes.

7 CO-CHAIR SAKALA: -- as an issue
8 because people are saying very clearly the
9 long-term data on newborns are not there.

10 MEMBER PROFIT: I wonder whether
11 some of this could be included the National
12 Children's Study or something. I mean, it
13 seems like that would be a perfect kind of
14 vehicle for a study like that.

15 CO-CHAIR SAKALA: Yes. Yes.

16 So, any other comments on
17 importance to measure and report?

18 (No response.)

19 Okay. So, shall we take a vote?

20 (Whereupon, a vote was taken.)

21 CO-CHAIR SAKALA: Okay. Good.

22 DR. WINKLER: Twenty-six yes, zero

1 noes.

2 CO-CHAIR SAKALA: So, the next is
3 reliability and validity. I have very little
4 to say.

5 This measure has been used over
6 several years. In Massachusetts, it has been
7 well-tested. I think the specifications are
8 good.

9 It includes urgent and emergent
10 cesareans, with the idea that there will be no
11 perfect performance, but encouraging teams to
12 work that into their practice.

13 As you can see, we had seven highs
14 and one moderate. No. As you can see, we
15 have five -- the majority on reliability and
16 the majority on validity as well.

17 And this is the rationale here.
18 Really, it is my fault; it should have been
19 more in the previous slide.

20 So, any other comments?

21 MEMBER GILLIAM: Can I just ask,
22 from the reliability standpoint, do you

1 specify which antibiotic? I mean, as they
2 said, penicillin-allergic or penicillin-
3 sensitive, you would go with a different. But
4 do you specify cefazolin or what?

5 MR. NORDBERG: Right now, clearly,
6 people are talking about cefazolin or other
7 first-generation cephalosporin for the
8 allergic combination of gentamicin and clinda.
9 And for a few women who have multiple drug
10 allergies, I think we are better off just
11 setting them aside totally and leaving them
12 out of the measure.

13 IDSA has a guideline update
14 expected this spring. It has been expected
15 for the last year or two in a row. I think
16 they will come down in the same place.

17 DR. WINKLER: I wanted to point
18 out, in response to Craig's question, I think
19 you were asking about how specific is the
20 measure requirements. The numerator details
21 are, for the purposes of reporting, there may
22 be one numerator whose antibiotic selection is

1 appropriate and a second number of
2 antibiotics, to receive antibiotics within one
3 hour. While both components are necessary in
4 the overall quality-of-care measure, separate
5 reporting may be necessary. So, there are the
6 two elements of both timing and
7 appropriateness.

8 And though I think it could be
9 more explicit, the reference is to the ACOG
10 guidelines which call for first-generation
11 cephalosporin as first-line and then the
12 combination of gent and clinda for relevant
13 allergies. So, although it doesn't say it
14 exactly that is what is required, it is
15 implied, and perhaps the wording might be such
16 just to say that is what is expected, if,
17 indeed, that is the case. Maybe you can
18 clarify.

19 MEMBER GILLIAM: What our
20 recommendation would be is timing, not
21 selection, is that correct?

22 CO-CHAIR SAKALA: It is actually

1 both.

2 MEMBER GILLIAM: Okay.

3 CO-CHAIR SAKALA: And one kind of
4 attractive feature of this is you get one
5 measure and you can break those out to do
6 quality improvement in both ways.

7 Okay. Other comments?

8 (No response.)

9 Okay. Let's vote, please, on
10 scientific acceptability.

11 (Whereupon, a vote was taken.)

12 Okay. Twenty-six yes and no noes.

13 And as far as usability goes, this
14 has been used over several years in
15 Massachusetts and with steady improvement in
16 the compliance over those years. It has not
17 been publicly reported, but I would say it is
18 very amenable to public reporting in the sense
19 that it would be readily understood by
20 consumers and purchasers and other interested
21 stakeholders.

22 Any other comment on that?

1 MEMBER GREGORY: I would just add
2 that the mechanisms are in place in most
3 hospitals now because they are already doing
4 it for other surgeries.

5 CO-CHAIR SAKALA: Good.
6 Anything else?

7 MEMBER ARMSTRONG: I just have a
8 question about the denominator. Why were
9 cases with other surgeries within three days
10 following sections excluded?

11 MR. NORDBERG: That is a generic
12 SCIP exclusion. We are trying to follow SCIP
13 as much as we can.

14 The feeling seems to be that, if a
15 lady really has two major surgeries during a
16 short period of time, she probably has
17 something else going on with her than a
18 routine delivery. Probably the case is a
19 little too complex to fit in under first-line
20 therapies. That is the theory at least, and
21 I think it is reasonable.

22 CO-CHAIR SAKALA: Any other

1 issues?

2 (No response.)

3 Okay. Let's vote on usability
4 then.

5 (Whereupon, a vote was taken.)

6 Okay. Twenty-four high and two
7 moderate; no low.

8 So, for feasibility, this cannot
9 routinely be collected electronically, and
10 there were no plans indicated for conversion
11 to e-measures, is that correct?

12 MR. NORDBERG: Well, it obviously
13 depends on what IT system the hospital has.
14 At my hospital, where we have all kinds of
15 fancy gadgets, when they are all working, yes,
16 we do it electronically in real-time, but not
17 everybody is going to have that option.

18 I'm cursing at my iPad right now.

19 Excuse me.

20 (Laughter.)

21 CO-CHAIR SAKALA: Right. Right.

22 But, in general, the group highly

1 rated this measure on feasibility as well, and
2 I would concur with that. But people may --
3 I don't know; are others using this here?
4 Yes? Any comments from people who are using
5 it about that?

6 (No response.)

7 Ready to vote? Okay.

8 (Whereupon, a vote was taken.)

9 Okay. Nineteen high and 7
10 moderate; no low.

11 And the last vote is on the
12 overall suitability for endorsement. I
13 imagine we are ready to vote on that, too.

14 (Whereupon, a vote was taken.)

15 Okay. Twenty-six yes and noes.

16 Thank you.

17 Okay. So, the next measure is
18 1746. It is intrapartum antibiotic
19 prophylaxis for Group B strep.

20 And Kathleen has that measure.

21 Also from MGH.

22 MEMBER SIMPSON: Right. This is

1 the percentage of pregnant women who are
2 eligible for and receive appropriate
3 intrapartum antibiotic prophylaxis for Group
4 B strep.

5 This was reviewed by the group,
6 and everyone thought it was important to
7 measure and report. Generally, there were
8 some opportunities for improvement.

9 And when this came up several
10 years ago, there was a thought that everybody
11 was doing this. It was 100 percent or near
12 100 percent. And so, it wasn't worthy of
13 going forward, and there was a lot of
14 discussion about that.

15 However, it looks like that might
16 not be the case. In The New England Journal
17 article that is cited, it looks like there are
18 significant opportunities for improvement.
19 So, that was pretty good evidence that we
20 needed to take a look at that.

21 And then, it has been in use in
22 Massachusetts for the last three years, 2008,

1 2009, 2010, and looking at the ability to,
2 indeed, improve, so you have that opportunity.

3 There is a discrepancy among black
4 infants. So, there are some issues related to
5 disparity. So, that would be an important
6 thing to consider.

7 The guidelines are from the CDC,
8 and they are extensive and quite well-written,
9 offering recommendations for who to screen and
10 who to treat. And those were recently
11 summarized by ACOG in their Committee Opinion.

12 So, I think that you have pretty
13 good, solid recommendations for what to do.

14 Okay. So, that is that for that
15 part.

16 CO-CHAIR SAKALA: Okay. Questions
17 or comments on importance to measure?

18 MEMBER GROBMAN: Just a question
19 about the denominator exclusion, and it could
20 be that I'm just missing this. It says, "The
21 excluded populations are patients screened
22 negative for GBS at 35 to 37 weeks." But how

1 is it dealt with about pre-term infants who
2 have been screened and are negative and then
3 deliver within the acceptable CDC interval?

4 MR. NORDBERG: Right, that is a
5 good question. The CDC recently came out
6 with, as you know, more clear guidelines for
7 those cases.

8 With my iPad down, I don't have
9 that in front of me, but I think, generally,
10 we are trying to follow the CDC's guidelines
11 as closely as we can with those.

12 As the issue comes, you know,
13 sometimes the hospital is only responsible for
14 a certain part of the care. If the
15 information from the other parts is
16 suboptimal, then we can't hold the hospital
17 accountable for not having the information.
18 We just need to hold them accountable for what
19 they do with the information.

20 MEMBER GROBMAN: Right, but, for
21 example, if they couldn't get the information
22 and it was a GBS unknown baby or mom, then

1 they would need to treat that mom because they
2 would be classified as GBS unknown.

3 But I guess my point would be,
4 like particularly for hospitals that have high
5 pre-term birth rates, and if they are testing
6 those children and those children are tested
7 negative, they are appropriately not receiving
8 antibiotic prophylaxis prior to delivery, we
9 would not want to ding those hospitals for
10 doing the right thing that they are directed
11 to do by the CDC.

12 MR. NORDBERG: Correct. Yes.

13 MEMBER SIMPSON: Well, in the
14 recommendations there are separate
15 recommendations for term versus pre-term. And
16 my interpretation of this -- and maybe I was
17 wrong -- was that you would get it based on
18 following the CDC recommendations. So, there
19 is a lot more involved than that one brief
20 sentence. So, you would get the antibiotics
21 based on following all the recommendations,
22 using each of the algorithms that have been

1 presented by the CDC and adapted by -- does
2 that make sense?

3 MEMBER GROBMAN: It does,
4 although --

5 MEMBER SIMPSON: That is my
6 interpretation.

7 MEMBER GROBMAN: -- the excluded
8 populations in 2A1.9, I mean, are very
9 specific for people who are GBS-negative at 35
10 to 37, people delivering by planned cesarian,
11 people on antibiotics, blah, blah, blah. But
12 it has nothing there about -- it just seems
13 like a hole to me.

14 MR. NORDBERG: I think your point
15 is well-taken. The language is sloppy and
16 maybe we should clarify that. It seems like
17 everyone is saying the CDC has guidelines that
18 we want to follow. So, can we just clean up
19 our exception language to deal with that?
20 Would that work?

21 MEMBER GROBMAN: Right, that the
22 exclusion should be anyone --

1 MR. NORDBERG: The exclusion.

2 MEMBER GROBMAN: -- the CDC says
3 is excluded from meeting it.

4 MR. NORDBERG: Right, right.

5 MEMBER SIMPSON: Well, my
6 interpretation of this, and I think in the
7 group as well, was that, since that was cited
8 as the basis for the recommendations, that
9 that was implied that you would be following
10 that. Did I overreach on that?

11 MR. NORDBERG: It is implied, but
12 I have learned that we need to be very, very
13 explicit, compulsive about these things.

14 (Laughter.)

15 MEMBER SIMPSON: Okay. So, are
16 you saying that you would be willing to fill
17 this in and be more --

18 MR. NORDBERG: Certainly, the
19 language about exclusions, as just pointed
20 out, and we can review the whole issue without
21 changing the intent, that we are following the
22 CDC guidelines. We will just clear up the

1 language to make it explicit rather than
2 implicit.

3 MEMBER SIMPSON: Okay. That's
4 good.

5 Reva, can we do it that way?

6 DR. WINKLER: Yes, uh-hum.

7 MEMBER SIMPSON: Okay.

8 DR. WINKLER: Yes, because we are
9 not talking about a change; you're talking
10 about a clarification.

11 MEMBER SIMPSON: Okay.

12 DR. WINKLER: And that is one of
13 the real benefits of having the developers
14 here with us.

15 MEMBER SIMPSON: So, I think it is
16 important to measure, it is shown to be
17 efficacious when treatment is given
18 appropriately. There are some opportunities
19 for improvement. So, we can vote on that
20 criteria.

21 CO-CHAIR SAKALA: Okay. Other
22 questions or comments?

1 (No response.)

2 Shall we vote, then, on the
3 importance to measure and report?

4 (Whereupon, a vote was taken.)

5 DR. WINKLER: Twenty-six yes, zero
6 noes.

7 CO-CHAIR SAKALA: Okay.
8 Scientific acceptability.

9 MEMBER SIMPSON: I think that
10 there is ample data that the treatment is
11 efficacious and the CDC guidelines are
12 compelling. So, the group thought that that
13 was pretty good.

14 DR. WINKLER: Yes, but scientific
15 acceptability specifically asks about the
16 reliability and validity of the measure.

17 MEMBER SIMPSON: Oh, okay, I can
18 get into that.

19 DR. WINKLER: The evidence is
20 under importance.

21 MEMBER SIMPSON: Well, the group
22 rated reliability and validity as generally

1 high, 3 for high for both of those and 1 for
2 moderate.

3 There was a question about the CDC
4 recommendations ignoring risk factors in the
5 study of negative cultures.

6 Also, in The New England Journal
7 article as well as discussion in the group,
8 there were issues of the mother had a false-
9 negative result and ended up with a baby that
10 had GBS.

11 Now with The New England Journal
12 article, that was based on the recommendations
13 from 2002. With the newer recommendations,
14 with better testing, that may be minimized,
15 but there is no way to know that for sure.

16 CO-CHAIR SAKALA: Questions or
17 comments on reliability and validity?

18 (No response.)

19 Okay. Shall we vote on that then?

20 (Whereupon, a vote was taken.)

21 Okay. Twenty-four yes and 2 no.

22 Next is usability.

1 MEMBER SIMPSON: In terms of
2 usability, it has been in use in Massachusetts
3 for the last three years. It does require
4 some manual record review. Hopefully, with
5 better EMRs, that would be lessened over time,
6 but right now it does require some manual
7 record review.

8 The group felt that usability was
9 generally high, 1 with moderate. And again,
10 the time-intensive situation of manual record
11 review was the rationale.

12 CO-CHAIR SAKALA: Questions or
13 comments on usability of this measure?

14 MEMBER BERNS: Yes, Paul, I am
15 just curious. I was really struck by this
16 sentence here on page 11. "The barriers to
17 reporting the current measure are not
18 intrinsic but logistic, developmental, and to
19 some extent political." I am just curious,
20 what did you mean? Is that in terms of CMS
21 and public reporting and where we are there?
22 What do you guys mean by that? I am just

1 curious.

2 MR. NORDBERG: Well, I am
3 referring to the State of Massachusetts. CMS
4 at this point is interested in Medicare
5 patients. They haven't gotten to Medicaid
6 yet.

7 In the State, we are in the
8 curious position that hospitals are in a pay-
9 for-performance program or they required to
10 report the data to the State Executive Office
11 of Health and Human Services, but that office
12 does not have the State mandate to do public
13 reporting of the data to the general public.
14 That is another branch of State government
15 that has that reporting capability. So, these
16 two arms of the State government are in
17 conversations with each other, but given the
18 Affordable Care Act going through the courts,
19 nobody is rushing forward to get this stuff
20 out on the internet.

21 Now I think, inherently, the data
22 reflects SCIP data is reported all over the

1 place. It is reportable.

2 CO-CHAIR SAKALA: Other usability
3 issues?

4 MEMBER PROFIT: You showed an
5 increase in the measure over the last three
6 years from -- what was it, like in the 71 to
7 83 or 87 percent range? Any idea on the
8 effect on children, on sepsis rates over that
9 time?

10 MR. NORDBERG: No. That is a
11 very, very good question, but, no, we don't
12 have information on that.

13 CO-CHAIR SAKALA: Yes, Jaleel.

14 MEMBER JALEEL: I have a comment.

15 CO-CHAIR SAKALA: Please.

16 MEMBER JALEEL: Ours is one of the
17 only hospitals, Parkland Hospital in Dallas is
18 probably one of the few hospitals who do not
19 go by CDC recommendations, probably the only
20 hospital now.

21 (Laughter.)

22 That is based on data which comes

1 from their own dataset which they have looked
2 at babies, the rate of infection and based on
3 best strategy. It has been quite effective,
4 and they don't want to change that model.

5 But, as mentioned in one of the
6 controversies, now it is so much ingrained
7 into the system, that it is even difficult to
8 do any controlled trials now.

9 So, my obstetricians and my fellow
10 neonatologists will beat me up when I go back
11 and when I say that I have accepted this,
12 but --

13 (Laughter.)

14 CO-CHAIR SAKALA: We won't tell.

15 (Laughter.)

16 Anything else on usability?

17 Lee?

18 MEMBER PARTRIDGE: I can't resist,
19 again, a recommendation. It is hard to track
20 the particular case. That is, these 14 women
21 got this and then their children's outcome was
22 "X". But, as a population measure, if you

1 were in a region where you were systematically
2 tracking this measure in your hospitals, you,
3 presumably, would have some corresponding
4 public health data about the incidence in your
5 children, wouldn't you?

6 So, it would be nice to down the
7 road have a companion measure that would go
8 along with it. Linking them is not going to
9 be neat and tidy statistically, but it might
10 be of interest to see whether it was really
11 impacting your rates.

12 MEMBER JALEEL: Yes, that data was
13 published in the 1980s now, and showing what
14 the difference was. We keep track of that
15 data. We have a large population. Parkland
16 has around 15,000 deliveries a year. That is
17 1 in every 250 Americans are born at Parkland.
18 So, it is a big number.

19 (Laughter.)

20 And they have a big dataset. So,
21 I think it would be, yes, good to know that.

22 CO-CHAIR RILEY: I mean, we do

1 have some population data to suggest that GBS
2 sepsis in newborns is a really tiny number
3 now. It is not zero; it is never going to be
4 zero. But, clearly, what we have done over
5 time has made a difference.

6 Then, the only other issue,
7 obviously, is that, unfortunately, the rates
8 of gram-negative sepsis in newborns have gone
9 up. So, I don't know what to say about that,
10 other than I don't know whether that is an
11 unintended consequence or you are going to get
12 sick with something. So, if you wipe out the
13 GBS, if you wipe out the gram-positives, given
14 the antibiotics we are using, you may get more
15 gram-negatives. I don't know the answer to
16 that.

17 CO-CHAIR SAKALA: Other usability
18 comments?

19 (No response.)

20 Okay. So, let's vote then on
21 usability.

22 (Whereupon, a vote was taken.)

1 Okay. Fourteen high, 11 moderate,
2 and 1 low.

3 And finally, feasibility,
4 Kathleen.

5 MEMBER SIMPSON: Well,
6 feasibility, the group thought that
7 feasibility was high. Two thought high; two
8 thought moderate. Basically, again, it was
9 related to the electronic medical record
10 versus the manual chart review.

11 The algorithms, while clearly
12 stated, are complicated if you are doing a
13 manual chart review. So, it is a simple one
14 data element in the electronic record. So, at
15 the moment, it does require a manual review.
16 Perhaps that will change, but right now it
17 does. And we do look at this measure at our
18 hospital, and it requires a manual review.

19 So, it is worthy, it is feasible
20 to do, but it takes some time.

21 CO-CHAIR SAKALA: Comments on
22 feasibility? Questions?

1 (No response.)

2 Okay. Can we have a vote, please,
3 on feasibility?

4 (Whereupon, a vote was taken.)

5 Okay. Six high, 19 moderate, and
6 1 low.

7 And the last vote would be the
8 overall suitability for endorsement.

9 (Whereupon, a vote was taken.)

10 Twenty-six yes, no noes.

11 Thank you.

12 Okay. So, do we have someone here
13 or on the phone from the California Department
14 of Public Health?

15 MS. SULLIVAN: Hi. This is
16 Catherine Sullivan.

17 CO-CHAIR SAKALA: Hi. Thank you.

18 So, we are going to turn to 479,
19 birth dose of hep B vaccine and hepatitis
20 immunoglobulin for newborns of mothers with
21 chronic hep B.

22 And that is Rebecca. Thank you.

1 MEMBER GEE: Great. So, this
2 measure is from the California Department of
3 Public Health, the numerator being the number
4 of infants to hep B surface antigen positive
5 moms who get a dose of both the vaccine and
6 the immunoglobulin upon delivery, and then the
7 denominator being the number of infants born
8 to mothers who tested positive for hep B
9 surface antigen during prenatal screening or
10 upon admission.

11 I know earlier today there was a
12 lot of discussion about the former measure,
13 which was more broad. But, specifically on
14 this one, in terms of importance to measure
15 and report, in our group discussion we had
16 several comments about the usability of this
17 being that in California, for example, more
18 than 97 percent of eligible patients received
19 both the immunoglobulin and the vaccine. And
20 so, it seemed like there was a very small
21 percentage, less than 3 percent, that would be
22 eligible to receive that had not.

1 In addition, and when we did this
2 factoring out the population of California,
3 that would mean about 60 babies in the entire
4 State in an entire year that may or may not be
5 missed. So, a fairly small number, maybe not
6 even one per hospital setting.

7 In addition to that, there is the
8 issue of needing to receive additional doses
9 of vaccine. And so, whether this one dose was
10 enough, that it may not prevent hepatitis B in
11 the infant. So, this is not 100 percent
12 preventive of vertical transmission.

13 In addition to that, we discussed
14 the issue of population variation, that in
15 California the hep B e antigen is much more
16 transmissible, that that antigen is more
17 common in folks of Asian descent, and that
18 depending on the state that you are in, the
19 transmission may be higher or lower. And so,
20 this may be more or less useful.

21 We discussed that certainly
22 California is not the only state with a large

1 percentage of Asian folks, and there are other
2 populations in addition to Asians that have
3 the hep B e antigen. But this may be
4 geographically more relevant, depending on the
5 population of the state. So, it may not be
6 generalizable nationwide in terms of when you
7 are looking at effectiveness of the vaccine.

8 The other issue was opportunity
9 for improvement. Again, fairly low, more than
10 97 percent are already getting the vaccine.
11 And so, we felt no, four of us, and only one
12 that it met importance.

13 So, I know you have discussed this
14 a lot. We felt that this was not a high
15 priority of the group in general from a
16 population level, given the small numbers, the
17 variation due to what type of antibody
18 modification, I mean antigen modification, as
19 well as the issue of not 100 percent
20 prevention of vertical transmission.

21 In addition, there were no data
22 available from California about the number of

1 babies that were actually affected long-term
2 then with hepatitis B who had not received the
3 vaccine. So, we were not able to see really
4 what we were solving from a public health
5 standpoint.

6 And this measure -- again, we will
7 get into this later -- requires lab
8 abstraction both from infant and mom, which is
9 quite a bit of work in obtaining the metric.

10 So, I will stop there and get the
11 comments.

12 CO-CHAIR SAKALA: Katherine, did
13 you want to add any comments, based on our
14 discussion and what you just heard?

15 MS. SULLIVAN: Yes, sure. This is
16 my first time in talking to NQF. So,
17 hopefully, I can do my best, and based off of
18 your comments, I am definitely getting a
19 better understanding of how this measure can
20 be used or exactly how you guys operate. So,
21 thank you for giving me the opportunity to
22 work with you guys on this one.

1 In regards to the data about
2 infants in the long term who do not get PEP,
3 unfortunately, our surveillance data does not
4 capture that. We mostly follow -- and by
5 "we", I also mean the Health Department --
6 mostly follow infants all the way up until we
7 either lose them to followup, for the simple
8 reasons of loss of followup, as well as only
9 up until we get (phone technical difficulties)
10 tests, so anywhere from 15 to 18 months or
11 even later. So, unfortunately, it is true we
12 cannot provide data about infants long term
13 specific to the California population.

14 But there are, especially in the
15 MMWR cited references to, if an infant is not
16 appropriately prophylaxed within a given time,
17 well, within the recommendation, and born to
18 a positive mother, approximately 90 percent of
19 those unprotected infants will end up
20 developing chronic hepatitis B. And then, of
21 course, there are complications with that.
22 Unfortunately, like I said, it is not specific

1 to the California population.

2 And in terms of the small
3 percentage and the small opportunity for
4 improvement, that is true, actually. We do
5 have pretty much near coverage for infants
6 born to positive mothers.

7 But the CDC definitely deems this
8 program and this measure still important. I
9 mean, they weren't partnered with us in
10 writing this NQF measure, but overall they do
11 deem and really do strive to improve that
12 number, just because it is a preventative
13 measure.

14 We do have the vaccine and we have
15 the structure in place to be able to get that
16 97 percent pretty much 100, especially since
17 now they are trying to recommend laboratory
18 reports and all of the other hospitals going
19 into electronic reporting of the mothers'
20 surface antigen status as well as trying to --
21 I mean, some states even have tried to, or not
22 have tried to, but they have made pregnancy

1 with infection actually reportable to try to
2 get around or to try to emphasize reporting
3 mothers who are surface antigen positive to
4 the state, so that the perinatal program can
5 monitor the infant prophylaxis.

6 So, I think that 97 percent is
7 pretty high, but it is a highly preventative
8 measure, and infection of an infant at an
9 early age can set them up for chronic disease
10 in the long run.

11 And although California does have
12 a very specific and diverse population to be
13 able to have even this number, I think that
14 this is still a problem across the board.

15 I believe it was Christy who had
16 stated, or not stated, had sent along as
17 supplementary -- I think everybody else got
18 that also?

19 MEMBER GEE: And just to add
20 another concern of the group was the timing,
21 that CDC guidelines, there was a 12-hour and
22 a 24-hour, and they were not consistent. So,

1 the way it is reported -- Catherine, would you
2 be able to speak to that, the timing of it and
3 why this is a 24-hour timeframe and not the
4 12-hour?

5 MS. SULLIVAN: Oh, sure. Because
6 I had actually asked my boss that as well
7 because it is a bit interesting. Because the
8 CDC does recommend 12 hours as well as the
9 APIP recommends 12 hours. Everybody
10 recommends 12 hours, actually.

11 It is just our specific report for
12 our grant that we sent to the CDC, which is
13 what I used for the validity/reliability
14 analysis, was day one, because those were the
15 official numbers that we had submitted for
16 2009.

17 In terms of why it is day one, I
18 really can't tell you that reason. I think
19 Ellen Chang from the Asian Liver Center tried
20 querying the CDC to ask them why, but we
21 didn't get a response to that in time.

22 But I think it is partially a

1 surveillance logistic and, also, by asking us
2 information about how many of the infants born
3 to positive mothers received it within day
4 one, then we can compare it to how many
5 infants were born to either unknown or
6 negative mothers received it also on day one,
7 which is the typical recommendation -- I'm
8 sorry -- the standing recommendation.

9 Why they would also add an
10 additional question to the report for within
11 12 hours, I have no idea. So, that I really
12 don't know, but the basic thing is that the
13 California Perinatal Hepatitis B Program does
14 gather information for basically a specific
15 hour, so that we can calculate anywhere from
16 hour zero to however many hours that the CDC
17 would end up, or anyone for that matter, would
18 end up wanting information.

19 So, sorry, I apologize, I can't
20 really answer that question. It is just a
21 report that sent along to the CDC.

22 MEMBER GEE: So, there was a

1 discrepancy in terms of guidelines and the
2 timing of how that is reported in relationship
3 to the guidelines. And the consensus was
4 certainly that the evidence is that HBIG is --

5 MS. SULLIVAN: But have people
6 received the document that Christy or Ellen
7 had sent along to you? It starts off with an
8 excerpt from the IRM report regarding how,
9 with immigration increases, especially from
10 Asian countries where perinatal hepatitis B is
11 endemic, that this would be an important
12 measure --

13 MR. THEBERGE: We posted all those
14 up on the --

15 MS. SULLIVAN: -- because of the
16 increase in immigration from countries not
17 only affecting California, but the rest of the
18 United States.

19 MR. THEBERGE: We posted all the
20 supplemental information to SharePoint in the
21 measure folder, so it should be on there.

22 DR. WINKLER: If we received it,

1 then we put it in the folders for the Steering
2 Committee.

3 MEMBER GEE: So, just to
4 summarize, the group felt that there is
5 obviously very good evidence that there is an
6 effective intervention that is not in doubt.
7 Our concerns were more about the impact of
8 this, the differences in population, and the
9 issue of the timing being two different
10 timings, and the 24-hour veering from the 12
11 hours.

12 But, predominantly, it was the low
13 numbers and the burden of collecting it, given
14 that we didn't really have good evidence that
15 there was a lot of quality improvement needed
16 in this area.

17 CO-CHAIR SAKALA: Do members of
18 the Steering Committee have other comments or
19 questions for one another or the developer?

20 CO-CHAIR RILEY: So, I have a
21 comment. I mean, I am not sure that we
22 definitely can solve this, but I wonder if,

1 although the numbers are low right now, I am
2 a little concerned that the numbers are low
3 because there are public health dollars to
4 chase these patients down and identify the
5 moms who are hepatitis B surface antigen
6 positive and then go after their kids.

7 So, in Massachusetts, it is a
8 reportable disease. They chase you down until
9 they are sure that you have done what you are
10 supposed to do.

11 And as the public health dollars
12 dry up, that chasing situation isn't going to
13 occur, in which case these numbers may look
14 good now. But as that money goes away from
15 adult immunization programs, those numbers may
16 go down. So, that is the only other -- and
17 this may be the only carrot to keep people's
18 eyes on the prize.

19 And then, the other issue that she
20 brought up at the tail-end is the immigration
21 issue, which is the numbers are what they are
22 today, but as more people come to this country

1 who are from places where there are no
2 immunization programs, diseases we never
3 thought we would see we are going to see. So,
4 I just throw that out there.

5 And then, the only other thing I
6 would say about the discrepancy in the
7 numbers, 12 hours versus 24 hours, the one
8 group that is not going to get it in 12 hours
9 is if you have a lot of no prenatal care
10 showing up at your hospital, because you need
11 to wait until you get back the hepatitis B
12 information on the mother and then you go
13 ahead and give the dose.

14 So, I think that part of it is so
15 that you can capture as many people as are
16 appropriate. I don't know.

17 Bill, you are from CDC. You can
18 probably speak to it better than I can. But
19 that seems to make sense to me, why you would
20 do it.

21 MEMBER GEE: Well, Laura, I think
22 those are really great points. One of the

1 things I question, though -- and, Reva, you
2 may be able to speak to this -- is certainly,
3 if we look forward at population trends,
4 things may be different and very important
5 from that standpoint. But if they are not
6 today, should we use today as -- how should we
7 be thinking about this?

8 And can a measure like this -- my
9 assumption is we could revisit it at a time
10 when if immigration patterns change and we saw
11 a lot higher numbers. I mean, in California
12 we are talking just 2,000 cases in a year, 97
13 percent of which are treated. If that number
14 changed, could we relook at this?

15 CO-CHAIR SAKALA: Jaleel?

16 MEMBER JALEEL: I need some
17 clarification on this. I am not clear how the
18 immigration pattern changes this.

19 These are mothers who are
20 positive. So, you are already identifying the
21 mothers who are positive and then giving those
22 babies the hep B. So, how does immigration

1 play into this because you are already
2 identifying those mothers.

3 MEMBER GEE: The hep B e antigen
4 is much more highly transmissible. So, 90
5 percent or even more than 90 percent versus
6 with the non-e it is in the range of 50; it is
7 less. And so, it is just transmissibility.
8 It is still important, obviously. You have a
9 positive mom. It is a positive mom; the baby
10 needs to be treated. But it is just the issue
11 of what percentage of those infants will be
12 affected may change with immigration patterns.

13 MEMBER JALEEL: We are checking
14 for the hep B sAG status, right?

15 MS. SULLIVAN: Yes.

16 MEMBER JALEEL: So, where does hep
17 B e --

18 CO-CHAIR RILEY: Well, if we get a
19 hepatitis-B-surface-antigen-positive mom to
20 start, then we want to figure out, is this
21 chronic active hepatitis? So, we go down the
22 whole list of all the other hepatitis

1 serologies and you get the hepatitis e
2 antigen. So, those people have much higher
3 viral load, much more activity, and they have
4 a higher rate of transfer. So, it is like 90
5 percent, 75 to 90 percent versus 20 percent if
6 they are e antigen negative.

7 MEMBER JALEEL: I have another
8 question. These last two measures, the GBS
9 antibiotic prophylaxis and this one, these are
10 CDC recommendations. And so, why is CDC not
11 involved as a joint developer in these
12 programs? Would you want to encourage that?

13 DR. WINKLER: Well, CDC has
14 developed measures, performance measures at
15 provider levels, though, typically, CDC tends
16 to historically have been much more around
17 population health and your typical infection
18 surveillance-type measures. And there can be
19 some differences.

20 But, indeed, it depends on, if you
21 notice the very first measure we did, it was
22 a measure from CDC. So, they are involved,

1 but they probably have limits on everything
2 they can get involved as well.

3 And this comes, again, from a
4 Department of Health of a State, a big State.
5 So, you are talking, again, about the public
6 health world doing these.

7 MEMBER PROFIT: So, we were
8 struggling with this measure a lot in our
9 Workgroup. To some degree, I think all of us
10 think it is very, of course, worthwhile that
11 every baby that is at risk for this should be
12 treated. So, nobody, I think, disputes that
13 this is a very important aspect.

14 I think where we had our biggest
15 concern was, I mean this is about performance
16 measurement of hospitals. And so, what are we
17 going to be able to say about performances of
18 hospitals if the baseline rates are just so
19 low? So, what is the meaningful, is there a
20 meaningful conclusion that we can draw, as
21 stewards of the public in a sense, to say
22 that, okay, this hospital has a 5 percent

1 rate; this hospital as a 1 percent rate? Is
2 that truly -- you know, the numbers get so
3 small; I just don't know whether this is the
4 right forum for this measure, I guess.

5 MEMBER GEE: In Louisiana, we have
6 around 60 maternity hospitals even in our
7 State, and there would be many hospitals that
8 would have zero cases. And so, the question
9 is with this burden, which we will get to
10 next, of collecting the data. Is it worth
11 using your chits on that when you don't even
12 have a single case in a year?

13 MS. SULLIVAN: (phone technical
14 difficulties).

15 The instructions are to collect
16 the data, and I think our other concern, too,
17 is that we are getting some refusals. I mean,
18 granted, that won't drastically shift it, but
19 it would be interesting to see those, in
20 addition to the immigration profile changing,
21 the immigration population profile changing
22 potentially in the next few years.

1 There is also a shift in the idea
2 of vaccinating a kid, especially at birth.
3 And so, we are starting to get some refusals.
4 So, that is one thing that pops into my head.

5 MEMBER DRYE: I just wanted to
6 echo, it was a really good discussion we had
7 in the Working Group, and I wanted to echo the
8 thought about the difference between a public
9 health measure and a quality measure. So, if
10 the trends are changing and you are using this
11 for surveillance, that is just not a hospital
12 quality assessment tool. That is a different
13 kind of tool.

14 And I also wanted to say I don't
15 know exactly how to weigh this, but another
16 consideration is the hep B vaccine measure we
17 discussed earlier. Because even if you are
18 not giving immunoglobulin, you are giving the
19 hepatitis B vaccine.

20 If that goes into public
21 reporting, then the marginal benefit you get
22 from this is even lower because you are

1 preventing some vertical transmission through
2 that mechanism. So, it starts to get really,
3 really teeny, tiny numbers, and the reporting
4 burden is great on this particular measure.
5 That is why we ended up where we did on the
6 importance criteria.

7 CO-CHAIR SAKALA: Are we ready to
8 vote on importance to measure and report?
9 Okay.

10 (Whereupon, a vote was taken.)

11 Okay. Six yes and 20 no.

12 So, this one will not continue to
13 go forward with our process.

14 The last measure of the day is 502
15 from the American College of Emergency
16 Physicians, pregnancy test for women with
17 abdominal pain in the ER. Is that right?

18 And that is Janet.

19 MEMBER YOUNG: Thank you for
20 inviting me into this den of obstetricians and
21 perinatologists and immuno-natologists. Thank
22 God I did part of an OB/GYN residency, so that

1 you guys aren't speak Greek to me in some of
2 our technical discussions today. So, at least
3 I have been able to follow some of the more
4 esoteric or technical components of prior
5 measures today.

6 We are going to talk about Measure
7 502, pregnancy test for female abdominal pain
8 patients.

9 When I first got this measures, I
10 thought for sure that, why wouldn't you get a
11 pregnancy test in non-traumatic abdominal
12 pain? Doesn't everybody? And surprisingly,
13 no. The answer is no.

14 So, the importance to measure and
15 report abdominal pain, especially non-
16 traumatic abdominal pain, it is one of the top
17 five, probably No. 3, cause for emergency
18 department visits, slightly higher for females
19 than men.

20 And in our surveys, at least in
21 the measure developer, the Ambulatory
22 Healthcare Survey showed that about 67 percent

1 of patients did not have testing for abdominal
2 pain.

3 There was a dearth or a lack of
4 data in both the Cochran database review and
5 a Medline or a PubMed review for my initial
6 evaluation of this topic. So, I actually went
7 and did my own research.

8 I belong to an eight-hospital
9 system. We have about, I want to say, roughly
10 180,000 patients a year in the emergency
11 department, and this is for our last 12 months
12 of data. So, these are female patients
13 between the ages of 11 and 50 -- and I will
14 talk to the number age 11 first -- between the
15 ages of 11 and 50 years old who presented with
16 abdominal pain who were tested for pregnancy
17 in our emergency departments.

18 And these are, by and large,
19 Board-certified emergency physicians, many of
20 whom have an American College of Emergency
21 Physicians Fellowship. There is an abysmal 44
22 percent patient testing rate.

1 Now one of my colleagues pointed
2 out that, back in the old days, when emergency
3 medicine --

4 DR. WINKLER: Excuse me. Whoever
5 is on the phone, you need to mute yourself.
6 We are getting lots of clatter from you.

7 Thank you.

8 MEMBER YOUNG: Back in the old
9 days of emergency medicine, and that would
10 only be back in 1982 because we have not been
11 a specialty that incredibly long compared to
12 surgeons and obstetricians, that the first
13 thing the service attending would do on the
14 female patient is find out if they were
15 pregnant or not, because they got to shift the
16 burden of care to the obstetrician/labor deck,
17 correct?

18 So, unfortunately, we are not
19 taking care of patients up 20-22 weeks of
20 gestation. And so, that standard of care has
21 really fallen off by the wayside.

22 So, hence, the delay in diagnosis

1 of ectopic pregnancies and complications of
2 ruptured ectopic pregnancies have been
3 increasing, although we don't anecdotally have
4 that data. But I don't have that data in our
5 dataset because there is just not a lot
6 published out there at this point in time.

7 So, again, this is my original
8 data. About 44 percent of patients getting
9 tested in the emergency department, and this
10 is in the western part of the State of
11 Virginia.

12 And I am going to stop there
13 because I really want to keep this brief.

14 CO-CHAIR SAKALA: Okay. Are there
15 questions about the importance of this
16 measure?

17 MEMBER ARMSTRONG: What are the
18 barriers to not testing? Just didn't think of
19 it or --

20 MEMBER YOUNG: I'll be quite
21 honest with you, I have no earthly clue.
22 Urine pregnancy tests are non-invasive.

1 They're quick. They're done in two minutes.
2 Perhaps getting the urine? They are a
3 standing order in our department hospitalwide
4 for the emergency department patients who
5 present with abdominal pain of childbearing
6 years. That is a standing order for the
7 triage nurse to do.

8 And this is not just with
9 Carilion's hospital systems. This is across
10 the country. The Ambulatory Healthcare
11 Database that Dr. Schurr found testing of 33
12 percent.

13 MEMBER CALLAGHAN: I will first
14 say this is a no-brainer.

15 (Laughter.)

16 But I will also say that, for a
17 whole bunch of other reasons likely, that
18 deaths from ectopics in the United States are
19 falling fairly dramatically. Falling, yes.
20 And it likely is due to early pregnancy
21 testing overall, not just amongst people going
22 into the emergency rooms, but people going

1 into people's offices, people buying pregnancy
2 tests at home, knowing they are pregnant, and
3 earlier evaluation and ultrasound, and all
4 those things that happened over the past 30
5 years.

6 CO-CHAIR RILEY: But if that is
7 the case, that would argue against this
8 because if it is not this big of a deal --

9 MEMBER CALLAGHAN: Well, death is
10 the final outcome.

11 CO-CHAIR RILEY: I mean, death is
12 a bad thing.

13 MEMBER CALLAGHAN: Yes.

14 CO-CHAIR RILEY: I get that.

15 (Laughter.)

16 MEMBER CALLAGHAN: If that is the
17 only thing we are going to try to prevent,
18 then --

19 MEMBER YOUNG: I think that
20 emergency physicians have also become more
21 sophisticated in OB ultrasound. We do bedside
22 ultrasound on a patient who, granted there

1 might not have been pregnancy testing done,
2 but the physician can come by and put a probe
3 on a patient's belly and go, "Oh, there's a
4 fetus there." Well, you don't need to have a
5 pregnancy test now. There is an ultrasound
6 with irrefutable evidence that there is an
7 intrauterine pregnancy, and we often do that.

8 That is a whole subset analysis of
9 this, and we will eventually get there, at
10 least in our literature. I am working on that
11 right now.

12 MEMBER CALLAGHAN: And preventing
13 rupture is something to prevent.

14 MEMBER YOUNG: Preventing
15 mortality from ectopic I think is the health
16 outcome metric we are working on.

17 MEMBER CALLAGHAN: Yes.

18 CO-CHAIR SAKALA: Yes, Jennifer.

19 MEMBER BAILIT: So, I think that
20 is a key thing. If the incidence is dropping,
21 increasing screening for a problem that is
22 already on the decrease strikes me.

1 I am just wondering -- and I know
2 Elliot is here from the Maternity Mortality
3 Review -- do we have a sense of whether this
4 is still a major cause of maternal death in
5 this country.

6 PARTICIPANT: It is one of the
7 major (phone technical difficulties).

8 MEMBER YOUNG: Is that Dr.
9 O'Connor?

10 PARTICIPANT: It is Dr. (phone
11 technical difficulties).

12 MEMBER GREGORY: This is Kim.

13 We don't know really, we don't
14 have very good data at all about the incidence
15 of ectopic pregnancy. It is becoming even
16 more obscure because it is being diagnosed
17 earlier and treated in offices.

18 So, before, we knew a lot about it
19 because you presented to the hospital, and you
20 either had a ruptured ectopic or you got ruled
21 out for ectopic. But now that it is being
22 medically-managed or managed in a surgery

1 center, there is no registry of data that we
2 can call on.

3 So, the answer to your question is
4 we have no idea.

5 MEMBER BAILIT: So, understanding
6 we don't have a denominator, when you look at
7 maternal deaths, is ectopic a major cause of
8 the bad outcome?

9 MEMBER GREGORY: Women, I mean --

10 MEMBER BAILIT: It is sort of the
11 opposite way to look at it, since out of a
12 cohort, it is --

13 MEMBER GREGORY: Ectopic
14 pregnancies are still a part of maternal death
15 in the United States.

16 MEMBER BAILIT: And of those that
17 are still a part of the death, is it that they
18 have contact with the healthcare system and it
19 is missed or that they just stay home? In
20 other words, is the ER the place to increase
21 screening? Don't know?

22 MEMBER GREGORY: I can't answer

1 that.

2 MEMBER YOUNG: I'm sorry, I'm on
3 the CDC website to see if I can find that
4 information.

5 MEMBER CALLAGHAN: Jen, actually,
6 we have an MMWR that is in clearance. I hope
7 they are going to take it. But we couldn't
8 get at that.

9 We found that there was a cluster
10 in recent years in Florida. It was associated
11 with substance abuse, but there was a big pop
12 there, and whether or not they had contact
13 with the healthcare system prior to presenting
14 with sudden collapse was difficult to
15 untangle.

16 MEMBER GEE: Janet, can you speak
17 to why the 45- to 50-year-olds are needing a
18 pregnancy test? I just wonder, from the
19 standpoint of your cutoffs, how was that
20 chosen? Obviously, menopause being average of
21 51, but really the numbers of pregnancies in
22 45- to 50-year-olds who are not being

1 monitored and in fertility clinics would be
2 extremely low.

3 MEMBER YOUNG: Sure. The measure
4 developer had actually set that as their
5 initial cutoff, as 50 years or the age of
6 menopause, the average age of menopause.
7 Their initial data was set up on ages 14
8 through 50.

9 During our Workgroup discussion,
10 many of us, including myself, have delivered
11 11-year-olds. We know that the average age of
12 onset of menarche is 11 and a half. So, we
13 know that 11-year-olds are becoming pregnant
14 at an increasing, well, certainly an
15 increasing rate for this generation as opposed
16 to prior generations.

17 I can't speak to age 50, but we
18 know that patients still get pregnant during
19 that time. As pregnancy becomes a little less
20 likely, the risk potentially of ectopic
21 pregnancy may perhaps increase because we are
22 not thinking about that as a differential

1 diagnosis on their initial presentation.

2 MEMBER DENK: I was just going to
3 ask, because you brought up the minors, is
4 there a consent issue here, either for the
5 adults for a pregnancy test or for minors?

6 MEMBER YOUNG: In the emergency
7 department, we have to get consent from either
8 a parent or, if there is an emancipated minor,
9 they can consent to their own treatment. That
10 is for all emergency care. So, if at any
11 point in time a patient needs any kind of
12 treatment or testing, they have to have
13 parental consent or they are an emancipated
14 minor.

15 MEMBER DENK: But there is a
16 blanket consent for treatment once they come
17 in or --

18 MEMBER YOUNG: Yes.

19 MEMBER DENK: -- a separate
20 consent for a pregnancy test?

21 MEMBER YOUNG: No, they consent
22 for emergency treatment.

1 MEMBER DENK: Okay.

2 PARTICIPANT: And otherwise you
3 are excluded if you refuse, if the patient
4 refuses.

5 MEMBER YOUNG: Yes, that is a good
6 point. If the patient refuses, also, we don't
7 have to perform a pregnancy -- they actually
8 fall out of the denominator.

9 MEMBER ARMSTRONG: Is there
10 another benefit we should be thinking about
11 here, early entry into prenatal care or early
12 timing of pregnancies for terminations, if
13 desired?

14 MEMBER YOUNG: I think there are
15 many outcome measures that we could consider
16 because you are diagnosing a pregnancy
17 earlier. Oftentimes, the emergency department
18 is the only portal of medical care a patient
19 has in their first 18 to 20 weeks of
20 pregnancy, at least in the rural population
21 and some innercity patients as well. The
22 emergency department diagnoses their pregnancy

1 and then their followup care is delayed by
2 quite some time, either unintentionally --

3 CO-CHAIR SAKALA: Excuse me.
4 Could you please keep the noise down on the
5 phone?

6 MEMBER YOUNG: -- either
7 unintentionally because of scheduling issues
8 or the patient just doesn't have access to the
9 healthcare system because they live so far
10 away.

11 MEMBER CALLAGHAN: I think that is
12 an important point, though, because you are
13 also looking -- this is females with abdominal
14 pain -- so now you are looking at abdominal
15 pain in a pregnant woman potentially, which is
16 a different workup.

17 MEMBER GROBMAN: Yes, I would sort
18 of second this issue of I think looking just
19 at mortality from ectopics is an extremely-
20 narrow window, and that if you really blow it
21 up to be early pregnancy diagnosis,
22 regardless, and think about the avoidance of

1 teratogenic medicines, early entry into
2 prenatal care, avoidance of other unnecessary
3 procedures because they are pregnant, so they
4 don't end up in the CT scanner looking for
5 like whatever.

6 Then, just a couple of very
7 specific questions. One, why is it ordered
8 versus actually resulted? Because it strikes
9 me that it is great to order it, but that is
10 really not what you want. You want to get a
11 result.

12 And the other thing is, I am just
13 a little curious about actually the other side
14 of post-menopausal, which is that a patient's
15 verbal report of being post-menopausal, I
16 would submit, is highly subjective and not
17 accurate much of the time. It is, yes,
18 unreliable, I think is a fair statement.

19 (Laughter.)

20 And so, I don't know that that is
21 a great exclusion criteria.

22 MEMBER YOUNG: I am going to have

1 to defer the latter of the two questions about
2 the patient self-diagnosis of menopause to be
3 an exclusion criteria, and I will let them
4 speak to that.

5 But in terms of your first
6 question, which was --

7 MEMBER GROBMAN: Ordered. So,
8 we're good. I order it a lot, but I never get
9 the result.

10 MEMBER YOUNG: Right, so a test
11 ordered. So, in many of our EMRs the
12 pregnancy test that is ordered can be a point
13 of care, a urine pregnancy test that is done
14 in the emergency department in a CLIA non-
15 linked system. Yes, it gets complicated.

16 And then, you can always check if
17 an order was done. To be honest with you, you
18 are not going to order something if you don't
19 check the results, most of us in any case.

20 (Laughter.)

21 MEMBER GROBMAN: You must work in
22 a really different hospital.

1 (Laughter.)

2 MEMBER YOUNG: However, the
3 measure developers actually set up order, but
4 they were willing to discuss, actually,
5 resulted. There are oftentimes many things
6 that are ordered and resulted, but not
7 actually documented. And unfortunately, this
8 is a difference between EMR and paper T
9 sheets.

10 Most of the emergency medicine
11 divisions in this country work on paper
12 systems still, unfortunately. Larger systems
13 are going to electronic medical records, but
14 that, outside of large hospital systems, is
15 painfully slow. The paper T system is
16 actually the most common-used system outside
17 of here or outside of emergency medicine -- or
18 sorry -- electronic medical records. And it
19 is very difficult to actually figure out what
20 was ordered and what was resulted in a paper
21 system.

22 So, I am going to let the measure

1 developers discuss the more technical
2 components of ordered versus resulted and,
3 also, for post-menopausal women, if they are
4 available.

5 PARTICIPANT: Am I allowed to
6 talk?

7 CO-CHAIR SAKALA: Yes. Please, go
8 ahead.

9 DR. WINKLER: You're breaking up a
10 bit. Are you on a speaker phone?

11 PARTICIPANT: No, this is a cell
12 phone.

13 DR. WINKLER: Oh, okay. It is
14 very difficult to hear you.

15 PARTICIPANT: All right. This is
16 (phone technical difficulties) from Hospital
17 of Central Connecticut.

18 On the issue of orders versus
19 results, the data (phone technical
20 difficulties) we get results. I didn't count
21 if they just ordered it because (phone
22 technical difficulties).

1 And then, as far as the major
2 issue we had in implementing it, it was that
3 we didn't have any change in physician (phone
4 technical difficulties) pattern with just
5 giving them their rate of testing. They said,
6 well, (phone technical difficulties) less than
7 the other physicians.

8 But with this quality measure, it
9 is a 100 percent measure. So, if you are not
10 100 percent, you know you are not up-to-speed.
11 And two, that also meant it found cases that
12 were a reasonable number that we could review,
13 and that is how we found people with adverse
14 events getting CT scans of their abdomens. We
15 found that that was running around 3 to 4
16 percent of all patients. All women of
17 eligible age were given CT scans without
18 pregnancy tests. This was (phone technical
19 difficulties) and 105,000 visits a year.

20 So, I think there is quite a gap,
21 and it is feasible. So, I developed the other
22 measures for our College, but I really think

1 this a great measure.

2 CO-CHAIR SAKALA: Judging from --

3 PARTICIPANT: (Phone technical
4 problems) the telephone line.

5 CO-CHAIR SAKALA: Okay. Thank
6 you.

7 Judging from the looks on people's
8 faces, I think many people did not grasp the
9 gist of what was just said. If anyone did,
10 maybe you can tell us, because it is hard to
11 hear, to understand on that phone.

12 MEMBER YOUNG: I was able to at
13 least follow the last part of his thought
14 process, when he was talking about their
15 subset analysis of 105,000 patients per year
16 in 2008-2009. It was actually feedback on
17 patients who actually did serum testing or
18 urine testing for pregnancy.

19 And when they were notified of
20 their quality measures, or when they were
21 notified that their lack of testing had an
22 adverse outcome like IECT, unintended

1 radiologic studies done on a pregnant patient
2 who was not at that time known to be pregnant,
3 the rates of testing significantly increased.
4 That was the last part of his discussion.

5 CO-CHAIR SAKALA: Thank you.

6 And did the second developer have
7 comments to make?

8 DR. SCHURR: Jay Schurr from
9 Brigham's and Women's Hospital, on behalf of
10 American College of Emergency Physicians.

11 I got cut off from the call. I am
12 not sure if there has been any discussion yet
13 about the age limit.

14 But, also, to echo the question
15 about timing and ordered the test versus
16 performed the test, this measure has been part
17 of the PQRI measure set. It was specified
18 with codes for having the test ordered rather
19 than having the test performed.

20 So, while in theory we are happy
21 to switch from ordered to performed, that
22 would require a fair amount of work and would

1 be something that would have to be done
2 through the PQRI process.

3 CO-CHAIR SAKALA: All right.
4 Okay. Thank you.

5 Other questions or comments from
6 the Steering Committee?

7 MEMBER KELLY: It appears in a
8 chart analysis that 97 percent -- I'm looking
9 at the top of page 3 -- actually, 89 percent
10 of the eligible group did receive testing.
11 And so, I really am wondering about the
12 performance gap on this measure because that
13 doesn't fit with your data, Dr. Young, at all.
14 And I am wondering if the developers can speak
15 to that because I really honestly wonder
16 whether this is meeting our criteria for a
17 performance gap.

18 DR. SCHURR: So, I can probably
19 speak to that. Jay Schurr.

20 We did an analysis of a national
21 dataset which revealed a 66 percent gap, or
22 sorry, a 56 percent gap. I think that is

1 probably incorrect, and it is probably a
2 problem with the dataset.

3 So, we followed it up by doing a
4 four-hospital chart review. Now these were
5 four teaching hospitals, two in Philadelphia,
6 two in the greater Boston area. And the gap
7 was about 10 percent, so not a large gap.

8 Subsequently, Dr. Graff has done
9 an analysis at the community hospitals that he
10 mentioned and showed a gap of 20 percent. And
11 there is preliminary data that Dr. Young has
12 mentioned, and also from a group out in
13 Colorado. Neil O'Connor, who is also, I
14 think, on the call, has done an analysis that
15 showed a gap of about 15 percent.

16 So, although not a huge gap, we
17 think there is a gap that exists. But there
18 is just not national data. We have data at
19 this point from about four different areas in
20 the country that shows a gap of between 10 and
21 40 percent.

22 MEMBER KELLY: Could you also

1 address the --

2 PARTICIPANT: The thing to keep in
3 mind is that CT scans are done very frequently
4 on these women with abdominal pain. So, that
5 gaps translates at our hospital to 3 to 4
6 percent of all those women were given CT scans
7 without knowing their pregnancy status. So,
8 that for the fetus is a very major issue.
9 Once we implemented the measure, we got rid of
10 that problem of CT scan, not knowing the
11 woman's pregnancy status.

12 CO-CHAIR SAKALA: Jennifer?

13 MEMBER BAILIT: So, in theory, we
14 have talked about a lot of things this could
15 potentially do. It could prevent the CT
16 scans. It could get earlier pregnancy care.
17 It could avoid ectopic mortality.

18 But I don't see any studies that
19 show that it actually does. And so, while we
20 all agree in theory this is a really good
21 idea, I would like to see a little bit more
22 data saying that it actually works before we

1 go forward with this.

2 Does anybody either know of data
3 or have thoughts on that?

4 MEMBER DRYE: I would just echo
5 what you are saying, Jennifer. This is
6 Elizabeth.

7 I don't know. For example, if
8 what you wanted to do is prevent CT scans in
9 pregnant women, you might come up with a
10 totally different approach to that. So, we
11 seem to be getting sort of pieces of a lot of
12 problems potentially, but there is no direct
13 evidence presented at all on any of them.

14 There is evidence that there may
15 be some variation in the rate of testing, but
16 nothing about what that leads to down the road
17 in a way that we can stand on. So, that is
18 frustrating. It feels early in the study of
19 this problem and may be premature to say this
20 is the way you should work to change clinical
21 practice.

22 CO-CHAIR RILEY: I think the other

1 concern is that, if you do a chart review and
2 you get vastly different numbers than an
3 electronic review, I just wonder, which one of
4 those -- I mean, a chart review on that many
5 patients, that is going to be labor-intensive
6 for a measure that we are not convinced is
7 really going to do what we say it is going to
8 do.

9 And if the electronic capture has
10 such a huge variation, what do you believe.

11 I would feel badly if we --

12 DR. SCHURR: Can I speak to that?

13 CO-CHAIR RILEY: Uh-hum.

14 DR. SCHURR: The large gap is not
15 between electronic data capture and chart
16 review. The NHAMCS dataset that showed a
17 large variation is a chart review. It is done
18 by the CDC National Center for Health
19 Statistics. And it is a chart review, but it
20 is a general chart review, not looking at one
21 specific measure. They have one data element,
22 which is whether or not the patient received

1 a pregnancy test. And we think that that
2 large gap was due to the fact that that chart
3 review itself has some problems.

4 The data from Dr. Graff's group is
5 from an electronic health record. The data
6 from Dr. O'Connor's group in Colorado is from
7 an electronic health record. And it sounds
8 like the data from Carolina is from an
9 electronic health record.

10 So, we think that this is saying
11 that it will be able to be specified in
12 electronic health records accurately.

13 MEMBER KELLY: Could you also
14 speak to the diagnosis by ultrasound without
15 a pregnancy test?

16 DR. SCHURR: Yes, you can diagnose
17 pregnancy by ultrasound without a pregnancy
18 test.

19 (Laughter.)

20 But there is a cutoff, and
21 ultrasound is not done in every emergency
22 department in the country. And so, the

1 standard practice is still to do a pregnancy
2 test, usually a urine pregnancy test because
3 it is extremely sensitive and cheap and
4 rapidly-available.

5 PARTICIPANT: I also found that
6 the non-diagnosed women in early pregnancy
7 with low hormone levels (phone technical
8 problems), and these are the women whose
9 fetuses are at most risk if they get a CT
10 scan.

11 CO-CHAIR SAKALA: Could you please
12 interpret for everyone?

13 DR. SCHURR: The discriminatory
14 zone for beta hCG between the time when you
15 can determine pregnancy by hCG testing or
16 urine hCG testing and ultrasound is somewhere
17 between four and six or seven weeks, depending
18 on the technique of ultrasound. And missing
19 pregnancy in that period, if you are thinking
20 of the outcome of a CT scan, those are the
21 highest-risk fetuses, first-trimester fetuses.

22 MEMBER GROBMAN: So, I would just

1 say a couple of things about it. This is Bill
2 speaking.

3 One, I mean, seven weeks is really
4 long. A urine pregnancy test is positive at
5 four weeks, and on an ultrasound, a vaginal
6 scan, I mean six to seven weeks easily. So,
7 that is, I think, really at a maximum about
8 three weeks.

9 And then, in terms of the risk, I
10 mean, no one is looking to irradiate pregnant
11 people, but it is not a clear -- I think it is
12 fair to say a CT scan in the first trimester
13 is not a clear-and-preset danger to the fetus.
14 It is not.

15 They don't lead, there is no good
16 evidence that it leads to miscarriage, that
17 amount of radiation. So, again, no one is
18 advocating for it, but the harm is not -- you
19 know, it is an all-or-none, even if there were
20 a harm, as opposed to long-term adverse
21 outcomes, neurological impairment, leukemia.
22 It is really it would be an all-or-none. But,

1 in any case, that is below the threshold that
2 is considered. So, it is just not a horrific
3 event if it were to happen.

4 DR. SCHURR: If it were to happen
5 incidentally, I think it is actually a bad
6 event. I don't know of radiologists or
7 obstetricians who would say that it is not a
8 bad event if there is an unintended CT, if one
9 was not aware the patient was pregnant.

10 MEMBER GROBMAN: I think if it was
11 unintended pregnant or not, it is a bad event.
12 I mean, it is the --

13 MEMBER BAILIT: Yes, I mean, I
14 think it is an unfortunate medical
15 misadventure and it is certainly something, a
16 quality thing that should be improved. But
17 when I see those patients in my office to say,
18 "Do you need to terminate this pregnancy
19 because you had a CT scan in the first
20 trimester," the answer is no.

21 MEMBER YOUNG: But I think one of
22 the points he is trying to make is that there

1 are alternative imaging modalities that can be
2 easily used if you know the patient is
3 pregnant. We can go to MRI. We can go to
4 ultrasound for alternative diagnosis of, let's
5 say, anything other than inflammatory bowel
6 disease. We really do have other imaging
7 modalities at most of our institutions, except
8 for the far-flung ones.

9 MEMBER DRYE: I just wanted to
10 follow up. I have to say hi to Jay, too. He
11 used to work with us at Yale.

12 So, hi. I haven't heard your
13 voice in a while.

14 But if your real concern is CT of
15 pregnant women, why not just do a measure of
16 that? I don't know; I am just curious. I am
17 asking those of you who focus on this area to
18 kind of address that directly.

19 DR. SCHURR: That wasn't the
20 original concern of the measure. The measure
21 was developed to try to make sure that
22 clinicians were not missing ectopic pregnancy

1 at a presentation of abdominal pain, which
2 there is not good literature nationwide about
3 this. But there are definitely cases reported
4 in the medical legal literature, and if you
5 talk to insurers, they know about cases. And
6 so, that is how the measure was developed. It
7 is sort of a secondary effect of the measure
8 that you can prevent unintended CTs of early
9 pregnancies.

10 MEMBER BRANDENBURG: I would just
11 question, if your concern is to prevent the
12 CTs, then the measure should perhaps look at
13 the result, not the order.

14 CO-CHAIR SAKALA: But that isn't
15 their intention right now.

16 Yes, Chuck?

17 MEMBER DENK: I just wanted to
18 ask, I mean, there is a clinical guideline
19 from a professional society about this, right?

20 MEMBER YOUNG: There is.

21 MEMBER DENK: So, I mean, it is
22 late in the day, and I guess, are we falling

1 into the temptation of second-guessing
2 clinical guidelines? Because I think that we
3 have now worked through the thing and there is
4 a performance gap somewhere, right? And there
5 is clear benefit. I think we can all see
6 various kinds of benefit, and there is a
7 clinical guideline from somebody.

8 MEMBER YOUNG: It is from the
9 American College of Emergency Physicians.

10 MEMBER DENK: Right.

11 CO-CHAIR SAKALA: Yes, and it is a
12 PQRS measure.

13 MEMBER DENK: So, I think maybe we
14 have talked about it enough.

15 (Laughter.)

16 MEMBER YOUNG: I happen to second
17 that.

18 We were on the side of
19 recommendation, but it was pretty evenly-
20 split.

21 MEMBER PROFIT: One of the
22 attractive things about this measure is that

1 it is so easily fixable. It seems like, I
2 mean, this is just a system -- you could see
3 a lot of ways in which it could really upfront
4 fix this problem at triage.

5 MEMBER YOUNG: At triage, that is
6 correct, especially when it becomes a
7 reportable quality measure on the side of the
8 hospitals. Then, there is a buy-in from
9 everyone from the nursing assistant on through
10 the entire healthcare team. That is correct.

11 CO-CHAIR SAKALA: Are we ready to
12 take a vote on importance to measure? Okay.

13 MEMBER KELLY: Sorry, I know it's
14 late.

15 Can the developer speak to the
16 ectopic prevention or diagnosis as an outcome,
17 as that may be more important?

18 DR. SCHURR: We don't have
19 national data on this, but we know that
20 diagnosing pregnancy early can diagnosis
21 ectopics.

22 I'm not really sure I understand

1 the question.

2 MEMBER KELLY: Well, I am just
3 wondering if we are going to -- I don't know
4 -- diagnose it better and prevent some
5 maternal deaths. I guess we don't have the
6 data for that.

7 PARTICIPANT: Well, if we look at
8 the data on malpractice from Massachusetts or
9 the national databases, it is like No. 7 in
10 (phone technical difficulties) and dollars
11 spent at the malpractice.

12 So, (phone technical difficulties)
13 certainly doesn't make it when there is a
14 diagnosis (phone technical difficulties) and
15 there is an adverse outcome.

16 DR. WINKLER: Somebody is
17 clattering again (referring to noises on the
18 phone line).

19 CO-CHAIR SAKALA: On the phone,
20 could you please keep the extra noise quiet?

21 DR. WINKLER: Yes. I will bring
22 up my long-ago history as a gynecologist, but

1 I would echo the issue around failure to
2 diagnose an ectopic pregnancy is a significant
3 issue that has any number of ramifications,
4 both medical and legal. And it is not just
5 death that you are trying to prevent. It is
6 actually you are trying to intervene as soon
7 as possible to preserve fertility and to avoid
8 the catastrophic race to the operating room,
9 the need to remove the entire tube instead of
10 doing a lesser surgery that may maintain
11 fertility.

12 So, the actual interventions can
13 be very time-dependent, and the earlier it is
14 diagnosed and determined, it gives you many
15 more options that do much better things for
16 her long-term fertility. So, that is the
17 gynecologist in me.

18 CO-CHAIR SAKALA: Okay. Let's
19 vote on importance to measure and report.

20 (Whereupon, a vote was taken.)

21 So, we have 18 yes and 8 no.

22 Janet, could you please proceed

1 with reliability and validity?

2 MEMBER YOUNG: Okay. So,
3 scientific acceptability and reliability, we
4 kind of went off in a couple of different
5 angles, but pretty much scientific
6 acceptability, we know that urine pregnancy
7 tests and serum pregnancy tests are pretty
8 darn reliable and sensitive and specific for
9 diagnosing pregnancy.

10 What we don't have any idea in the
11 literature is whether or not patients in whom
12 abdominal pain is the chief complaint who get
13 pregnancy tests versus patients who have
14 abdominal pain who don't get pregnancy tests,
15 is there a difference in those two patients'
16 outcome data? There is no data out there.
17 There is no randomized controlled trial to
18 avoid pregnancy tests in some patients and get
19 pregnancy tests in other patients. That data
20 just doesn't exist.

21 So, as far as scientific
22 acceptability, it is a standard of care. This

1 is not something that is new, novel, or even
2 remotely cutting-edge. It has been a practice
3 pattern since pregnancy tests were introduced
4 in 1978.

5 We talked about lack of discrete
6 fields in the existing electronic health
7 records. I talked with our IT Division to see
8 how difficult it would be to engineer a data
9 catchment set for this. It is not incredibly
10 difficult. It does require money, and that is
11 kind of the consensus for all electronic
12 health record changes. So, it is an easily-
13 capturable dataset. Quite frankly, it is not
14 that difficult, but it is the onus.

15 So, reliability and validity. As
16 far as the patients who fall in the
17 denominator, hysterectomy, prior tubal
18 sterilization, a patient who states they are
19 currently pregnant, which is actually well-
20 documented in the literature. If a patient
21 thinks they are pregnant, the likelihood that
22 they are pregnant is about 98 percent. So, in

1 patients who say they are already pregnant,
2 those patients do fall out of the numerator.

3 And let's see what else. Sorry,
4 I'm trying to rush. I apologize.

5 And also, if somebody had a test
6 done elsewhere, well, if patients tell us that
7 they had a positive pregnancy test, we didn't
8 necessarily test them again, although most
9 clinicians would turn around and get a beta
10 plot serum hCG to figure out what their
11 quantitative status is, to determine if this
12 early or late pregnancy, and also to determine
13 whether we should do a transvaginal or
14 transabdominal approach to ultrasound.

15 MEMBER GROBMAN: Did you say
16 "tubal ligation" by mistake?

17 MEMBER YOUNG: Tubal
18 sterilization.

19 MEMBER GROBMAN: Did you say that
20 by mistake?

21 MEMBER YOUNG: Yes, I did. Sorry.

22 MEMBER GROBMAN: Okay.

1 MEMBER YOUNG: I meant a tubal
2 ligation, yes. Thank you.

3 MEMBER GROBMAN: So, the same
4 thing --

5 MEMBER YOUNG: Yes.

6 MEMBER GROBMAN: -- but that is
7 not in and it shouldn't be an exclusion.

8 MEMBER YOUNG: No, I'm sorry, that
9 was in --

10 MEMBER GROBMAN: It would be
11 concerning if it were an exclusion.

12 MEMBER YOUNG: No, I apologize.

13 MEMBER GROBMAN: No worries. Just
14 making sure.

15 MEMBER YOUNG: Yes, I did. I did.
16 You're right. I'm so sorry. I'm trying to
17 hurry.

18 That is under the -- let me find
19 it.

20 DR. WINKLER: The denominator
21 exclusions are on page 7, 2A1.8.

22 MEMBER YOUNG: Yes. And also,

1 initially, on page 1.

2 So, acceptability and validity and
3 reliability.

4 CO-CHAIR SAKALA: Okay. So,
5 comments or questions on this criteria?

6 MEMBER ARMSTRONG: Can I just ask
7 a question going back again? In your clinic,
8 of those 50 percent of people in the ER who
9 didn't get a pregnant test, did you follow
10 them to see how many of them come back to the
11 ER? You know, is there churn in the
12 healthcare system because they are not
13 diagnosed?

14 MEMBER YOUNG: I only had about
15 seven days to do this data. The answer is no.

16 (Laughter.)

17 That was a fast turnaround time
18 from our IT Division, and they actually fast-
19 tracked that particular dataset.

20 I can go back and do lots with it,
21 given the next 12 months perhaps, but for
22 right now, no, I just had seven days to do it.

1 CO-CHAIR SAKALA: Other issues?

2 (No response.)

3 Okay. So, let's vote on
4 scientific acceptability, please.

5 (Whereupon, a vote was taken.)

6 Okay. So, 17 yes and 9 no.

7 And the last two issues to address
8 are usability and then feasibility.

9 MEMBER YOUNG: So, in terms of
10 usability, everybody knows what a pregnancy
11 test is and how it can be used. Whether or
12 not the hospital decides to publish that data,
13 I think patients would generally grasp that
14 performance measure.

15 Feasibility, again, if you don't
16 have an EMR, it can be a pretty hefty chart
17 review. As we talk about going to universal
18 EMRs, I think that the difficulty in
19 converting any medical document into an
20 emergency -- sorry -- an electronic medical
21 record is going to be an onus that we are
22 going to have to take at some point in time.

1 So, I think that if we look at
2 measures and we negate them out of just desire
3 not to put the burden of proof on folks who
4 are currently using paper systems, I think
5 that we do ourselves a disservice in the
6 future. But I think that, again, this could
7 be a workable and usable dataset for folks who
8 currently use paper systems, but it is going
9 to be more labor-intensive. For EMRs, this is
10 pretty simple to do.

11 CO-CHAIR SAKALA: Questions or
12 comments?

13 (No response.)

14 Okay. So --

15 MEMBER BERNS: I'm sorry.

16 CO-CHAIR SAKALA: Yes, go ahead.

17 MEMBER BERNS: So, this goes to an
18 earlier comment, just in general. This was
19 originally endorsed as a measure in 2008,
20 correct? Am I reading that correctly?

21 MEMBER YOUNG: That is correct.

22 MEMBER BERNS: So, I don't know

1 how we would do this -- and this is my first
2 time on this Committee -- but it would be
3 helpful to have, there must be information out
4 there about folks who have taken up this
5 measure and whether they really saw
6 improvements, including decreases in ectopic
7 pregnancies or identification of ectopic
8 pregnancies.

9 These other outcomes that we are
10 talking about, like identifying pregnancies
11 early, it doesn't necessarily mean they are
12 going to be getting access to prenatal care
13 early. But I think these are all sort of
14 theoretical things.

15 So, I don't know how we get to
16 that, Reva, but have you guys talked about
17 this in the past?

18 CO-CHAIR SAKALA: There is a call
19 for implementation comments when the request
20 for new measures comes out, but I have no idea
21 what that yields.

22 DR. WINKLER: One of the things

1 that I think is highly variable about measures
2 that again are endorsed by NQF is the uptake,
3 some of them much quicker, sometimes quite
4 slow. It depends on whether they are adopted
5 into a national program or not.

6 This measure is being used in
7 PQRI/PQRS. However, they don't publish the
8 data. So, we don't even know what the results
9 are. So, that is a real problem.

10 And I think that we are so early
11 on in the game for this kind of a measure, to
12 really have people use it in ways that might
13 begin to answer those more long-term questions
14 about what is the overall benefit, though
15 certainly those are important questions and we
16 should keep asking them.

17 So, these are the kinds of issues
18 you are weighing, you know, the information
19 from a measure versus the burden of collecting
20 it. And there is no black-and-white, no easy
21 answer. So, these are all the considerations
22 that you guys need to factor into your

1 ultimate decisions.

2 MEMBER YOUNG: And at least in the
3 American College of Emergency Physicians, we
4 aren't able to look at those data over short
5 periods of time, at least in the time from
6 2008 or 2009, when this was first adopted,
7 until now. Because, as you know, the rate of
8 ectopic is very low, and you have to have a
9 certain number of patients in order to define
10 that rate. So, you may not be able to have a
11 single hospital system like mine that only has
12 180,000 patients a year in the emergency
13 department. It may take three or five or ten
14 years of data over a larger hospital system or
15 multiple hospital systems, and we haven't
16 undertaken that, at least from ACEP side of
17 the research yet.

18 I don't know if the OB/GYN
19 literature can identify trends in ectopics
20 going up or going down. It sounds like from
21 the CDC that they were going down, but I can't
22 find that on their database, actually.

1 MEMBER CALLAGHAN: It's only
2 deaths.

3 MEMBER YOUNG: Okay.

4 MEMBER CALLAGHAN: Ectopic
5 pregnancy --

6 MEMBER YOUNG: So, we don't know
7 if the rate of ectopic is going up or going
8 down or staying stable --

9 MEMBER CALLAGHAN: Those data
10 don't exist anymore.

11 MEMBER YOUNG: -- and if that is
12 changing because we are identifying it early
13 in the emergency department or not. I can't
14 answer that.

15 MEMBER GROBMAN: The little data
16 that I have seen from sort of smaller systems
17 is that it is going up concordant with the
18 rise in PDI, for example. So that,
19 historically, the rates are about 1 percent,
20 and there are some documents that the rate it
21 as high as 2 or 3 percent now. I don't know.

22 MEMBER CALLAGHAN: Yes, single

1 institutions but national --

2 MEMBER YOUNG: So, you would have
3 to have a pretty nice meta-analysis of single
4 institutions or hospital systems in order to
5 find that data. I don't think we have that
6 out there. I know from my literature review
7 we just don't have that data.

8 MEMBER ARMSTRONG: You could look
9 at administrative claims data because they are
10 very discrete fields.

11 Do you know, is a point-of-care
12 pregnancy test a billable service? Do you
13 bill for that?

14 MEMBER YOUNG: I can't imagine we
15 wouldn't.

16 (Laughter.)

17 We bill for single-stick glucose,
18 and that is a point-of-care test. I mean,
19 these are CLIA-certified tests, and even in
20 your point-of-care lab those have to be CLIA-
21 certified. So, I can't imagine that we
22 wouldn't try to recoup a cost.

1 MEMBER ARMSTRONG: Yes.

2 MEMBER YOUNG: I'm sure that is
3 probably --

4 MEMBER ARMSTRONG: It should be
5 pretty easy, actually, to look at it in the
6 administrative data.

7 MEMBER YOUNG: So, maybe that is
8 something we can do further analysis on.

9 But I would strongly encourage you
10 to consider this measure.

11 MEMBER BAILIT: But I guess my
12 thought still is, though, we think it is
13 useful, but we don't know. And you are
14 saying, yes, we can do this; yes, it might be
15 easy; wouldn't it be interesting? But we
16 don't know yet.

17 MEMBER YOUNG: Uh-hum, that is
18 true. And unfortunately, this was one of
19 those cases where we wish we had the ability
20 to do a time-limited analysis, but the NQF
21 doesn't do time-limited assessments anymore.
22 It is either all or none.

1 DR. WINKLER: Well, the thing is
2 it was time-limited the first time --

3 MEMBER YOUNG: Yes.

4 DR. WINKLER: -- three years ago.

5 (Laughter.)

6 That wouldn't have been an option
7 for it under any circumstances.

8 MEMBER YOUNG: Thank you.

9 CO-CHAIR SAKALA: Are we ready to
10 vote on usability?

11 (Whereupon, a vote was taken.)

12 Okay. So, 9 high; 11 moderate; 3
13 -- 2 low, and 4 insufficient.

14 Okay. Anything to add before we
15 go to feasibility?

16 (No response.)

17 Let's vote on that then.

18 (Whereupon, a vote was taken.)

19 MEMBER PROFIT: When will this
20 measure come up for measure maintenance, if it
21 were endorsed?

22 DR. WINKLER: Three years.

1 MEMBER PROFIT: Three years?

2 Is there like a rising bar for
3 measures that -- no? -- that need to answer
4 more questions that are being asked?

5 DR. WINKLER: The whole measure
6 enterprise is evolving, and we have seen over
7 the last 10 years that, yes, the criteria have
8 evolved; the bar is raising. And the
9 expectation is that the measures are more
10 robust and can really measure performance in
11 a much stronger way. So, yes, it is a very
12 dynamic environment.

13 CO-CHAIR SAKALA: Okay. So we had
14 1 high, 14 moderate, 8 low, and 3 insufficient
15 information.

16 The final vote of the day is on
17 overall suitability of this measure for
18 endorsement.

19 (Whereupon, a vote was taken.)

20 So, 12 yes and 14 no.

21 Okay. So, that's it on that.

22 It's time for public comment.

1 Anyone in the room who would like to add
2 something?

3 (No response.)

4 Okay. Can we open up the phones
5 to see if there are any comments?

6 DR. WINKLER: Casey, are you
7 there?

8 (No response.)

9 Operator? Hello.

10 (Laughter.)

11 THE OPERATOR: Yes. Yes, all
12 phone lines are open.

13 DR. WINKLER: Oh, great.

14 Are there any questions out there?

15 (No response.)

16 All right. Hearing none, okay,
17 folks, thank you all very much. It has been
18 a long day, a very intense day. Your
19 conversations have been, you know, phenomenal.

20 We will be meeting again tomorrow,
21 again in this area. However, tomorrow we will
22 be in the other half of the room. This room

1 we are having to share tomorrow. So, it will
2 be a little bit on the cozy side.

3 Breakfast is available at 7:30.
4 We will want to begin promptly at eight
5 o'clock.

6 We do have a full agenda. I just
7 want to remind you we are going to finish the
8 six measures in a similar fashion that we did
9 today.

10 Then, we are going to spend some
11 time looking at the composite measure. Now we
12 did not discuss the composite during the
13 Workgroup calls. There have been assignments
14 for lead discussants.

15 What we will want to do is look at
16 the component measures because the
17 definitions, the specifications are in the
18 individual component measures, though there is
19 no indication that those measures would be
20 endorsed as individual measures. But they do
21 feed into the composite. So, we want to look
22 at the individual components as well, and then

1 we will look at the overall composite.

2 In the afternoon, we are going to
3 want to talk about the similarities of the
4 measures around infection. This is related
5 and competing measures. We have sort of
6 alluded to that conversation, and tomorrow
7 will be the time when we will look at it.

8 You have a memo that talks about
9 the side-by-sides. It would be good if you
10 could review that before tomorrow.

11 Otherwise, are there any questions
12 from anyone about what we are doing? Anybody
13 have any needs we can try to deal with?

14 The flash drives you can take with
15 you, but the little voting gizmos please
16 leave. Yes, the flash drives, that is where
17 all your materials are, if you didn't bring
18 them with you.

19 (Whereupon, at 5:43 p.m., the
20 meeting adjourned for the day, to reconvene
21 the following day, Wednesday, November 30,
22 2011.)

A				
AAOS 21:5	182:9 189:18	ACOG's 15:13	addressing 198:19	Advisory 14:13
AAP 121:19 122:10	190:5,9 197:9,20	105:14	adjourned 406:20	25:2 53:12 58:9
122:18 123:8	252:21 254:8	act 133:1 326:18	adjust 273:20	advocate 52:16
184:17 193:22	Academy's 191:8	action 227:10,11	276:13,17 277:1	53:19 268:9
195:22 210:15	accept 52:9 251:17	actionable 118:19	adjusted 269:10	287:13
239:5 264:5,9	acceptability 31:14	227:7	adjuster 278:10	advocating 381:18
AAP's 115:10,15	48:21 61:11 63:2	active 11:13 12:2	adjusting 277:7	Aetna 1:14 25:16
abdomens 371:14	63:11 64:13,19	130:20 347:21	291:8	25:20 129:6
abdominal 6:19	67:5 68:4 93:3,6	actively 225:12	adjustment 161:2	affect 212:22
107:22 352:17	95:22 124:5,7	actively-practicing	268:17 269:11	affordable 29:7
353:7,11,15,16	127:10 158:13,16	20:3	276:5 280:15,18	326:18
354:1,16 357:5	161:12 171:16	activities 13:17	280:20	afternoon 157:15
366:13,14 376:4	238:18 246:5,14	16:9 202:11	adjustments 292:3	177:9 297:12
384:1 389:12,14	247:12 248:17	activity 26:3 41:20	administration	406:2
ability 95:7 317:1	251:16 258:7,20	114:19 348:3	4:12 43:8 49:4	age 22:18 180:15
401:19	259:5 262:7,17	actors 132:19	128:2 300:6	182:12 183:20
ablative 180:18	283:18 295:22	actual 388:12	administrative	186:7 201:16
202:22	312:10 323:8,15	acute 44:17 47:21	21:20 128:11	273:22 274:14
able 36:8 54:10	389:3,6,22 393:2	adapted 320:1	129:18 400:9	277:13,17 295:15
104:11 175:22	394:4	add 32:21,22 132:2	401:6	339:9 354:14
197:22 249:13	acceptable 102:4	257:10 313:1	admission 5:14	363:5,6,11,17
270:14 273:4	318:3	336:13 339:19	163:18 164:3,4	371:17 373:13
277:20 280:3	accepted 328:11	341:9 402:14	218:12 221:11,17	Agency 3:13
294:21 336:3	accepts 129:5,6	404:1	224:3 228:8	agenda 8:7 157:16
338:15 339:13	access 24:15 366:8	added 53:1	333:10	405:6
340:2 346:2	396:12	addicted 21:20	admissions 204:21	agent 76:3,6,13
349:17 353:3	account 50:6 119:1	adding 196:11	admit 76:22	agents 75:13 77:9
372:12 379:11	127:6 142:14,18	291:1	admitted 166:22	ages 29:3 184:12
398:4,10	accountability	addition 237:11	188:14 206:11	354:13,15 363:7
absolute 294:19	202:11 205:3	334:1,7,13 335:2	221:7,11 264:21	agnostic 225:7
absolutely 91:9	243:12 261:17	335:21 350:20	admittedly 99:3	ago 8:20 28:4 30:5
99:2 222:17	273:11	additional 42:10	adopted 397:4	41:2 62:19 85:21
absolutes 40:4	accountable 52:6	88:16 94:10 148:7	398:6	112:19 116:4
199:6	129:11 318:17,18	167:16 196:10	adoption 59:17	214:9 261:15
abstain 97:5	accounted 161:9	291:3,4 294:11	adult 211:5 257:11	316:10 402:4
abstaining 97:8	accounts 91:17	295:7 334:8	344:15	agree 48:15 92:6
abstract 117:4	accuracy 194:9	341:10	adults 256:22	109:10 127:3
abstraction 49:5	accurate 104:14	address 29:4,8	257:3 364:5	151:10 170:16
267:21 275:2	367:17	32:14 36:11	advantage 73:20	183:12 189:7
282:10 336:8	accurately 69:7	119:20 157:19	111:4 294:7	196:16 207:6
abuse 362:11	178:10 379:12	170:2 188:2	adverse 19:19 78:5	217:11 230:15
abysmal 354:21	ACEP 398:16	194:14 231:10	141:6 146:15	238:15 239:10,20
Academy 14:13	ACO 129:11	235:14 242:2	304:9 371:13	244:16 307:9
58:9 112:20	ACOG 12:3 13:16	277:22 297:8	372:22 381:20	376:20
113:15,21 115:5	26:11 83:12 85:17	376:1 383:18	387:15	agreed 82:7
123:4 180:11,12	86:4 105:1,15,17	394:7	advice 297:17	agreement 95:3
	311:9 317:11	addressed 138:8	Advisor 20:12	181:14

Ah 94:2	184:15 383:1,4	Andrea 1:23 5:9 15:21 180:5	antigen 47:15 333:4,9 334:15,16 335:3,18 338:20 339:3 344:5 347:3 348:2,6	295:2 377:10 391:14
AHA 117:20	alternatives 78:5	Andrews 2:12	339:3 344:5 347:3 348:2,6	appropriate 4:18 4:22 29:8 59:5 81:4,14 101:8 107:9 109:16 114:3,21 122:4 128:3 130:11 134:11 138:13 311:1 316:2 345:16
AHCA 100:18 113:9	AMA 13:11	anecdotal 83:4 88:20 110:22	anybody 8:5 9:19 23:19 33:12 39:7 55:22 56:11 124:15,19 224:13 269:15 284:9 377:2 406:12	
ahead 28:17 30:22 35:5,6,14 36:17 39:8 63:8 104:22 106:6 151:4 245:9 262:13 292:13 302:19 345:13 370:8 395:16	ambulance 121:7 130:5,6,10	anecdotally 303:5 356:3	anymore 134:13 233:21 399:10 401:21	appropriately 125:4 182:4 319:7 322:18 337:16
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	Amenability 39:20	angles 389:5	anyway 85:6 95:1 95:15,19 137:15 191:10 220:2 237:18 281:5	appropriateness 311:7
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	amenable 312:18	Ann 2:17 4:5 8:14 8:17 27:4	APEC 12:19	approve 60:10 222:18,22
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	amended 74:21	annual 116:3 292:2	APIP 340:9	approved 60:20 72:6
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	amendment 72:2	anomalies 166:6 170:9	apologies 151:17	approximately 44:21 45:20 337:18
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	America 4:20 81:16	anonymity 247:14	apologize 71:22 80:21 138:20 139:5 153:3 341:19 391:4 392:12	arbitrary 206:14
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	American 6:19 9:18 11:14 14:12 16:4 58:8 112:20 113:15,21 115:5 123:4 180:11,12 182:9 189:18 190:5,9 197:9,20 207:22 208:21 252:21 254:8 283:2 352:15 354:20 373:10 385:9 398:3	answer 77:15 182:20 185:5 192:10 206:8 209:22 217:2 226:5 232:9 236:15 252:4,10 260:2 270:10 279:12 292:13 330:15 341:20 353:13 361:3,22 382:20 393:15 397:13,21 399:14 403:3	appearing 301:2	area 116:13 233:12 263:22 343:16 375:6 383:17 404:21
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	Americans 329:17	answered 216:21 217:3	apparent 10:10	areas 28:14 43:20 58:5 110:10 118:15 123:15 375:19
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	AmeriHealth 1:23 16:1	answers 42:13	apparently 7:12 37:5	argue 358:7
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	amount 95:9 105:18 120:11 126:7,20 127:6 145:2,19 290:13 373:22 381:17	ante 203:19	appear 235:17 280:5 301:22	arguing 52:20 238:12
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	Americans 329:17	ante-partum 89:11	appears 301:2 284:4 374:7	argument 102:16 243:6 244:13 257:15 290:9
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	AmeriHealth 1:23 16:1	antibiotic 6:5,10 302:5 306:5,17 310:1,22 315:18 316:3 319:8 348:9	appendix 137:13	arising 260:9
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	amount 95:9 105:18 120:11 126:7,20 127:6 145:2,19 290:13 373:22 381:17	antibiotics 265:16 298:6,15,22 299:13,15 304:12 306:13 307:5 311:2,2 319:20 320:11 330:14	apples 112:5,5	Arkansas 1:25 15:3 15:4
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	ampicillin 301:18 301:20,21 302:2	antibody 335:17	application 83:16 137:22 164:15	armpit 242:20
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	ample 166:22 323:10	anticoagulated 105:21	applied 138:2	arms 326:16
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	analysis 21:18 32:9 95:10 114:17 239:21 280:12 340:14 359:8 372:15 374:8,20 375:9,14 401:8,20	anticoagulation 94:7,9,11	apply 164:2 233:21	Armstrong 1:14 25:13,14 79:4,10 85:14 128:22 129:8 233:22
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	analyzed 254:13 255:4		appreciate 81:9 149:20 195:21 278:10 280:12 297:4,15	
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	analyzing 136:7		approach 78:3 157:10 234:22	
AHRQ 5:2,5 13:10 24:12 135:9,13 136:2 138:19 147:21 148:19 149:4 156:16,17 161:14 162:8 174:22 265:5 266:12 268:20 282:3	ancillary 52:22			

244:12 263:9,15 264:5,8 274:21 313:7 356:17 365:9 393:6 400:8 401:1,4 arrive 7:15 article 204:14 316:17 324:7,12 ascertain 93:17 94:21 99:15,18 101:14 282:1 ascertainable 101:4 ascertainment 84:15 90:10,19 140:19 145:2,19 ASCP 1:25 Asian 334:17 335:1 340:19 342:10 Asians 335:2 aside 310:11 asked 50:3 97:7 100:1 213:21 217:5 232:9 237:6 273:2 276:7 286:8 340:6 403:4 asking 36:19 86:22 183:5 188:3 244:7 310:19 341:1 383:17 397:16 asks 30:13 105:16 323:15 aspect 349:13 aspects 280:9 aspirin 204:21 assess 286:17 assessment 21:18 32:1,5 67:14 220:4 351:12 assessments 401:21 assigned 31:10 33:21,22 34:8 assignments 405:13 assistant 386:9 associated 12:15 137:3 143:15	299:16 306:9 362:10 association 299:20 301:9 306:20 307:13 associations 304:16 assume 54:17 55:17 assumption 346:9 assumptions 115:13 assurance 204:12 assure 114:19 asthma 306:20 307:14 ASTHO 178:19 astronomical 290:13 asymptomatic 145:16 attempt 216:22 attempted 146:9 attempting 66:13 261:18 attempts 217:11 278:14 attending 355:13 attention 213:3 304:20 attractive 312:4 385:22 at-risk 189:1 audience 8:5,10 204:22 automatically 34:10 41:12 autonomy 51:4,15 52:14 availability 59:13 available 39:2 49:3 50:6,13 53:1 54:3 54:6 56:21 137:17 211:6 214:10 234:11 239:9,10 279:1 335:22 370:4 405:3 avenues 173:6	average 119:12 161:8 362:20 363:6,11 averaging 150:12 avoid 140:10 270:9 376:17 388:7 389:18 avoidance 75:12 366:22 367:2 avoided 137:11 aware 8:9 30:19 103:6 120:12 172:15 246:13 256:18 300:17 305:4 382:9 Awesome 179:22 axilla 244:21 245:2 245:2,7 axillary 241:22 245:5 257:8 263:21 264:2,4 A-F-T-E-R-N-O-... 177:1 A-1 18:12 24:8 25:5 A.F 2:4 a.m 1:10 7:2	131:13 145:13,15 145:16 165:15,19 165:21 166:1,3,22 167:4 169:4,15 177:22 183:18 184:2,11 186:4,5 187:19 189:5,6 194:11 196:8 206:2,2,18 207:2 215:4,4 224:19 226:9,10 230:3,22 236:10 243:4 253:19 255:17 263:18 268:12 270:8,9,11,21 272:14 275:17 277:15 285:22 287:2,4 290:11 292:17,18 293:15 294:10,14 295:9 295:14,16,18 299:5,11 308:1 328:2 334:3 336:1 346:22 baby 21:11 29:10 121:8 122:1 125:18 129:3 144:15 193:17 194:1,5,15 196:6 215:22 217:6 219:17 225:13,20 225:22 227:3,6,12 227:14 229:11 231:19 232:5 238:7 244:5 255:22 257:2,22 268:11 300:5 301:19,20 302:8 304:3 305:10 307:6 318:22 324:9 347:9 349:11 baby's 226:19,21 227:3 300:12 back 34:4 39:10 66:1 79:15 87:20 114:15 136:14	148:22 155:10 166:19 170:1 175:18 176:21 223:14 226:14 232:16 239:3 252:19 264:9 267:3 271:19 272:19 274:5 275:3 297:20 328:10 345:11 355:2,8,10 393:7 393:10,20 background 21:22 212:18 213:4 261:13 backwards 222:13 back-end 156:18 back-transfer 281:14,16 bacteremia 164:19 bacteria 163:11 164:16,18 264:18 267:21 268:1,3 282:9 299:17 bacterial 265:6 bad 102:9 145:22 230:14 358:12 361:8 382:5,8,11 badly 378:11 bag 141:16 Bailit 1:14 12:12,13 26:13 56:9,17 57:3,9 70:2 101:2 116:22 128:17 141:18 192:7 193:3 227:11 250:6 252:2,7 259:22 359:19 361:5,10,16 376:13 382:13 401:11 balance 55:8 balancing 119:20 144:5 banned 77:9 bar 194:9 236:11 237:16 240:4
B				
b 4:12 6:11,13,15 43:8 44:6 45:14 47:14,20 50:9 56:1 69:2 71:3 301:18 306:1 315:19 316:4 332:19,21 333:4,8 334:10,15 335:3 336:2 337:20 341:13 342:10 344:5 345:11 346:22 347:3,14 347:17 351:16,19 babies 17:11 47:22 111:21 114:20 117:6,9 118:20 119:17 120:14,20 120:20,22 122:8 123:14 127:7				

260:16 261:10 403:2,8 Barbara 2:4 4:17 19:2 75:17 Barbara's 79:15 bargain 73:10 barriers 111:10 325:16 356:18 based 26:22 41:7 110:13 114:22 141:14 155:1 157:21 164:14 185:18 214:9 215:17 228:10 237:4 261:9 279:17 280:4 284:20 306:16,17 319:17,21 324:12 327:22 328:2 336:13,17 baseline 220:5 349:18 basic 341:12 basically 17:9 42:12 60:19 62:3 78:8 96:10 105:19 130:21 136:17 141:11 148:7 160:4 188:3 276:20 286:17 331:8 341:14 basis 87:7,7 149:21 166:18 321:8 Baylor 2:9,12 13:22 20:4 25:18 beat 328:10 becoming 360:15 363:13 bed 89:5 103:21 bedside 100:10,14 128:13 358:21 beginning 43:12 54:5 134:18 163:15 171:7 172:22 276:6 behalf 81:1 100:18 373:9	beings 257:2 belief 57:17 believe 9:3 13:14 20:8 67:4 83:15 119:16 249:18 252:20 339:15 378:10 believing 99:21 belly 359:3 belong 354:8 below-birth-weig... 253:18 benchmark 111:6 243:19 276:21 benchmarking 178:12 179:11 beneficial 214:16 benefit 97:22 108:9 111:14 182:5 255:22 294:11 307:12,12,18 351:21 365:10 385:5,6 397:14 benefits 199:5 322:13 Berns 1:15 16:19 16:20 66:14 67:12 67:17 70:18 71:22 86:8,18 90:16 91:2,6 107:4 108:1 111:20 146:16,18 250:16 272:8 273:1 325:14 395:15,17 395:22 best 26:4 91:19 257:22 300:4 328:3 336:17 beta 380:14 391:9 better 32:20 54:7,8 114:8 116:20 118:15 178:3 184:4 197:11 203:1 255:15 274:10,14 281:20 288:9 289:1 298:17 305:4,4	310:10 324:14 325:5 336:19 345:18 387:4 388:15 beyond 78:9 85:16 291:10 301:7 bias 145:2 biases 159:2 282:6 big 18:15 38:8 69:9 69:17 72:21 95:15 126:8,8 244:19 273:3 329:18,20 349:4 358:8 362:11 bigger 8:10 270:8 270:20 272:5 292:17 295:15 biggest 37:9 76:17 349:14 bill 12:4 15:8 26:7 81:17 100:10 143:22 227:21 345:17 381:1 400:13,17 billable 400:12 billing 136:8 bins 171:3 biological 278:18 birth 5:1,12 6:13 25:4 46:1,2 50:10 69:3 71:5,13 117:1 133:14 135:8 137:2 139:2 142:13 146:21 148:6 149:3,18 152:17,19 153:14 153:17 165:7 166:5 167:1 174:21 177:19 182:11 185:18 190:19 205:22 206:3,11,19 213:17 221:8,9 224:1 264:22 265:2 271:12,20 271:21 272:4,15 273:20 274:1,7	277:17 290:6 293:22 295:10 319:5 332:19 351:2 birthing 4:13 44:7 44:10 71:11 births 84:2 91:3 110:4 111:7 126:17,22 151:2 birth-weight 266:5 270:3 271:7 273:15 295:3 bit 22:6 27:11 42:17 44:16 59:22 65:8 98:6 103:21 115:13 130:12,15 135:21 136:19 140:19 150:20 161:3 163:14 164:21 170:16 202:8 225:7 233:10 255:15 266:3 336:9 340:7 370:10 376:21 405:2 black 199:2 317:3 black-and-white 397:20 blah 306:11,11,11 320:11,11,11 blanket 364:16 blast 305:17 bleed 144:9,16 bleeds 145:12 blindness 181:3 blizzards 121:6 blood 265:8 bloodstream 5:4 151:19 152:13 165:16 167:21 169:13,17 172:17 173:20 blow 366:20 board 13:18 16:5 18:1 24:6 26:10 100:8 109:10 131:12,20 150:2	287:12 339:14 boards 130:1 179:13 Board-certified 354:19 bodies 253:10,11 body 243:21 273:14 bone 139:19 boots 93:18 94:18 95:1,13,14 98:3 101:3,10,16 102:6 104:6,13 born 44:10 109:16 110:6,15 111:15 114:3,20 125:18 288:22 304:10 329:17 333:7 337:17 338:6 341:2,5 boss 340:6 BOSSLEY 2:15 Boston 375:6 bowel 383:5 BPIs 137:7 brachial 136:20 137:9 138:9 139:12 brain 107:8 branch 326:14 Brandenburg 1:15 5:16,19 18:6,7 103:15 120:18 155:16 218:10 221:4 223:16 235:19 241:17 257:9 384:10 break 176:14 282:18 296:21 297:3 312:5 Breakfast 405:3 breaking 370:9 breakout 273:14 breastfeeding 21:17 304:11 breath 88:8 brief 114:16 319:19
---	--	---	---	--

356:13	buy-in 386:8	capability 54:13,14	cared 88:1,2	143:14 190:17
briefly 172:3 242:3	bypass 131:4 132:6	61:3 305:19	113:20 188:14	294:20
Brigham 3:18		326:15	272:5	category 141:17
Brigham's 373:9	C	capacity 69:16 84:3	careful 73:9	142:11 190:20
bring 37:17 39:4	C 5:18	Capitol 12:3	CareFusion 15:6	261:3 273:15
228:14 281:10	calculate 341:15	capturable 390:13	caregiver 57:21	Catherine 3:20
387:21 406:17	calculation 278:2	capture 69:4,7	87:16	332:16 340:1
bringing 12:7	calendar 44:11	155:6 170:14	Carilion 2:13 23:9	cause 82:15,18
291:7	California 2:23	175:18 188:16	23:11	95:15 160:9
brings 140:14	3:16,20 4:23 6:16	207:8 238:16	Carilion's 357:9	353:17 360:4
broad 333:13	13:13 14:17	246:20 258:15	Carol 1:10,13 6:9	361:7
broader 162:22	109:13 110:17	277:20 337:4	11:19 43:4	causes 44:17 59:21
253:17	112:4,11 116:14	345:15 378:9,15	Carolina 379:8	84:22 90:13,14
broadly 209:20	126:6,9 128:5	captured 194:5	Carpenter 2:21	164:19
210:1	207:13,13 251:19	247:20	183:3,3 185:12,14	caution 64:7 114:4
bronchial 215:12	261:5 332:13	captures 167:4	186:17 187:5,8,17	cautionary 300:5
brought 40:17 69:6	333:2,17 334:2,15	capturing 38:16	188:7,11 189:7	cautious 115:13
69:9 105:3 112:19	334:22 335:22	98:1 191:9	190:12 194:7,15	CDC 15:12,13,16
128:9 144:4	337:13 338:1	Cardiology 208:1	196:3 206:9	15:19 44:4 317:7
189:15 205:19	339:11 341:13	208:22	carried 57:14,15,18	318:3,5 319:11,18
236:1 344:20	342:17 346:11	cards 21:16	57:19,21	320:1,17 321:2,22
364:3	call 4:2 7:18,20	care 2:11 4:23,23	carrot 344:17	323:11 324:3
Brown 1:17 17:3	16:13 28:19 78:1	11:22 12:4 13:14	case 12:15 45:13	327:19 338:7
BSMT 1:25	111:1 122:9 125:8	14:3,8,9,10,18	60:4 96:15 126:18	339:21 340:8,12
bubble 108:5 150:1	152:6 153:4	16:2,17 19:6 24:7	136:9 160:5	340:20 341:16,21
build 207:21 208:1	182:19 213:21	25:12 29:3,3,7,9	164:15 178:3	345:17 348:10,10
209:14	214:1 227:19	36:9,10 52:7,11	311:17 313:18	348:13,15,22
built 208:20,22	268:1 301:3	52:15 88:3,4,6	316:16 328:20	362:3 378:18
bullet 93:14 269:17	311:10 361:2	89:11 109:14	344:13 350:12	398:21
bulletin 26:12	373:11 375:14	110:21 114:21	358:7 368:19	CDC's 318:10
85:20 86:4	396:18	122:5,6 125:12	382:1	Cedars-Sinai 2:1
bumped 152:3	Callaghan 1:18	129:11 130:7,19	cases 152:14 155:6	13:7
bunch 94:19	15:8,9 91:10	131:4,5 167:5	160:8 164:20	cefazolin 301:13
287:22 291:1	107:19 357:13	193:5 207:14	313:9 318:7	302:3 310:4,6
301:15 357:17	358:9,13,16	219:15 231:18	346:12 350:8	Celeste 154:11
burden 72:13	359:12,17 362:5	243:4 276:11	371:11 384:3,5	cell 370:11
282:19 343:13	366:11 399:1,4,9	278:22 279:1,8,17	401:19	Celsius 257:6
350:9 352:4	399:22	279:18 282:4	Casey 404:6	center 1:14 2:1,4,5
355:16 395:3	called 214:21 215:2	286:18 292:7,17	cast 34:11,15	12:15 13:8 15:20
397:19	calling 7:20	293:16 294:9	catastrophe 82:13	16:15 22:19,22
Bureau 15:6	calls 29:19,20,22	304:8 318:14	85:12	41:3 113:4 129:3
business 1:19 21:3	30:5 121:21	326:18 345:9	catastrophic 82:1	130:16 148:10,18
134:16	405:13	355:16,19,20	86:12 388:8	208:20 340:19
busy 27:8	campaign 1:17	364:10 365:11,18	catch 231:13	361:1 378:18
button 103:3	17:7	366:1 367:2	catching 155:19	centers 1:18 3:10
buttons 247:17	cancel 177:15	368:13 376:16	catchment 390:9	4:14 71:11 110:20
buying 358:1	Candidate 4:10	389:22 396:12	categories 136:18	111:8,16 119:18

123:14 127:8	143:17	chapters 17:8,9	60:5 102:3 147:1	classification 237:5
153:12 172:16	cesarian 320:10	characteristic	289:5,6	classified 319:2
193:5 204:15	cetera 260:17	38:16	Chorus 114:11	classifies 263:22
239:22 244:19	Chair 24:2 25:1	characteristics	chose 73:5 249:18	classify 168:18
Centigrade 223:19	chairing 178:19	170:21	chosen 289:13	clatter 355:6
223:22 224:5	Chairs 11:1	charge 236:20	362:20	clattering 387:17
central 167:15	challenge 129:21	charges 143:16	Christy 339:15	clavicular 139:14
172:18 265:13	178:19	Charles 1:20 5:2	342:6	clean 320:18
370:17	challenging 55:3	chart 65:5,12,12,22	chronic 6:15 44:17	clear 61:14 63:5
central-line-asso...	chance 137:15	66:5 144:18	44:21,22 45:2,21	105:7 145:20
173:19	chances 236:12	154:15 257:10	46:1 214:17	156:8 168:15
cephalosporin	Chang 340:19	282:9 331:10,13	215:12 299:21,22	206:22 234:5
310:7 311:11	change 28:8,9 34:9	374:8 375:4 378:1	332:21 337:20	284:6 298:15
cerebral 265:9	78:17 104:1,2	378:4,15,17,19,20	339:9 347:21	303:11 306:6,21
certain 121:1,10	120:1,2 132:4,18	379:2 394:16	chronically 45:19	318:6 321:22
132:18 141:7,8	162:8 191:2	charts 71:4 72:20	Chuck 21:8 135:15	346:17 381:11
183:10 198:4	196:17 197:2	203:14	384:16	385:5
318:14 398:9	220:4 235:21	chart-based 159:20	Chuck's 60:22	clearance 362:6
certainly 10:6	248:7,12 249:3,6	chase 344:4,8	churn 393:11	clearly 27:8 111:20
28:11 29:9 36:19	249:21 250:22	chasing 344:12	CIC 1:25	132:19 143:15
40:8,10 50:17	251:1,4,14 252:10	cheap 380:3	circumstances	144:7 157:20
55:8 57:2 58:20	252:16 255:6,14	cheaply 90:6	121:1,11 123:10	164:8 165:14
59:10,16 82:17	259:13,15 260:3	check 42:22 150:13	402:7	175:22 184:9
83:5 95:5,10	270:15 273:4	368:16,19	cite 257:4,6	275:16 303:21
102:9 123:15	322:9 328:4	checking 66:2	cited 82:14 298:20	308:8 310:5 330:4
157:6 166:15,21	331:16 346:10	246:14 347:13	316:17 321:7	331:11
175:21 205:1	347:12 371:3	checks 103:8	337:15	clearly-demarcat...
238:10 321:18	377:20	Chenok 1:19 21:2,2	city 116:17	74:20
334:21 342:4	changed 28:4	227:1,9,17	city's 116:20	clear-and-preset
346:2 363:14	104:21 215:18	chest 204:21	claims 400:9	381:13
382:15 387:13	248:11,13,18,19	chief 15:22 24:9	clamp 302:22	Cleveland 2:12
397:15	249:21 262:9	389:12	clarification 70:19	12:15 18:20
certificate 117:2	346:14	child 51:16 307:6	72:1 186:13	CLIA 368:14
121:21 133:14	changes 78:12	childbearing 357:5	222:16 262:2	400:20
certified 19:11	105:8,9 132:1	Childbirth 11:20	270:18 289:4	CLIA-certified
400:21	162:13 250:3	20:20	322:10 346:17	400:19
cesarean 4:19 6:7	278:6 346:18	children 24:3	clarified 261:11	clinda 310:8
21:17 83:6,17	390:12	299:22 319:6,6	clarify 60:17 61:12	311:12
86:6 89:15,21	changing 67:8 88:5	327:8 329:5	62:6 119:21	clindamycin
90:2,21 94:12,16	178:8 192:5	children's 1:25	132:15 147:15	302:15,20
95:18 143:1,9	196:12 199:14	13:22 15:3 18:9	157:1 171:11	clinic 2:12 18:20
146:9 178:4 298:6	243:22 262:4	308:12 328:21	173:14 191:8	393:7
299:14 304:11	291:17 302:1	CHIPRA 211:4	253:22 261:14	clinical 17:4 24:17
cesareans 81:15	321:21 350:20,21	chits 350:11	311:18 320:16	25:18 76:16
298:10 302:10	351:10 399:12	choice 206:21	clarity 200:15	137:19 140:4
309:10	chapter 16:5,21	207:6 222:9	Clark 81:2	143:18,20 155:5
cesarean-related	22:7	choose 44:14 60:1,4	Clark's 82:16	155:10 165:1,19

166:10 167:9	142:14 149:2,4,5	collectors 195:19	310:16 344:22	132:12 133:20
170:15 171:2	154:17 155:1,3,4	collects 190:4	359:2 364:16	142:20 153:20
177:13 232:12	155:5 161:21	197:4 204:8	377:9 393:10	155:14 158:5
238:2 377:20	164:8,21 169:3	208:11	402:20	159:5 161:11
384:18 385:2,7	373:18	College 2:9 6:19	comes 37:5 41:15	168:5 172:6
clinically-signific...	coding 37:3 57:4,8	9:18 11:14 13:22	70:13 86:20	173:12 174:2
143:7	57:8 144:21	16:4 24:3 25:18	113:18 160:1	175:21 176:3
clinician 20:14	158:20 225:13,22	207:22 208:21	247:16 258:20	181:9 203:9
21:11 170:20	coefficient 161:1	352:15 354:20	318:12 327:22	216:12 219:21
clinicians 22:9	cognizant 116:2	371:22 373:10	349:3 396:20	237:21 266:18
150:17 383:22	cohort 361:12	385:9 398:3	comfortable 35:18	269:13 283:16
391:9	cohorts 274:3	colonization	247:22	292:10 300:14
clinician-initiated	COIN 214:22	299:16,18 304:10	coming 87:20	308:16 309:20
209:16	cold 227:4,6,12,14	Colorado 2:8 19:4	216:2 301:4	312:7 315:4
clinics 363:1	227:15 229:2	24:4 126:1 375:13	304:13,17	317:17 322:22
close 35:14,15	237:3 241:12	379:6	comment 6:22 8:6	324:17 325:13
107:15 247:18	264:1	Columbia 131:15	40:19 57:3 65:3	330:18 331:21
287:18	collaboration	combination	70:22 79:15 97:12	333:16 336:11,13
closely 318:11	148:9	217:19 310:8	111:22 112:7	336:18 343:18
closer 42:3	collaborative 4:24	311:12	121:17 123:20	373:7 374:5 393:5
closest 130:10	13:14 14:7,18	combine 218:3	124:19 134:9	395:12 396:19
cloud 299:9 300:13	22:3 109:14	220:15 222:6	138:15,16 140:17	404:5
club 108:22	178:14,21 207:14	230:12 234:19	142:2 154:1	commercial 151:2
clue 356:21	collapse 362:14	268:14 269:18	155:17 159:14	Commission 2:25
cluster 362:9	collated 31:3	combined 30:11	160:18 168:8	108:17 154:6,9,12
clusters 268:2	colleagues 7:13	220:13 221:3	169:8,9 170:17	154:18 156:17,21
CM 154:17 155:2,4	292:5 355:1	232:21,21 270:21	173:14 174:13	158:21 160:2,5
155:11 164:14,21	collect 59:18 98:12	271:10,11,14	176:10 183:6	161:19 162:21,22
CMQCC 110:1	156:20,22 178:10	272:13 293:22	207:20 224:16	172:3 268:21
127:22	190:14 195:15	294:12	276:4 281:12	Commission's
CMS 209:4 325:20	196:5 204:8,11	combines 274:3	284:9 289:22	154:15
326:3	206:18 220:20	combining 171:13	292:5 295:7	commitment
CNM 2:6,7	232:11,11 237:8	218:20,22 219:19	312:22 327:14	203:13
coag 265:11,12	258:12 282:11	220:3 222:8	343:21 395:18	committee 1:4,9
282:15,22 283:11	283:7 287:14	226:16 229:19	403:22	7:10 9:4,10,12,15
Cochran 354:4	350:15	230:6,9 268:8	commented 301:3	9:16 10:1,4,13
code 49:8 56:12	collected 133:13	come 23:4 27:8	comments 4:9	14:14 15:13 16:8
152:12 164:15	254:14 255:3,4	34:16 39:3 40:1	43:21 46:7,17	24:20 25:6 26:12
168:13,16 225:20	314:9	42:3 44:13 54:18	48:4 69:21 72:14	26:16 29:17 31:20
226:12 282:4	collecting 190:21	67:15 69:18 79:6	73:1 74:7 77:17	46:17 53:12 81:1
coded 144:16,19,21	197:4 198:3,7	87:8 88:8 119:11	79:13 80:4 91:22	81:21 92:18 93:7
168:16	253:16 287:20,22	121:7 125:22	95:21 96:18	98:7 99:11 101:19
coders 149:6	343:13 350:10	211:10 214:20	104:17 105:2	154:4 175:15
codes 36:15,19,22	397:19	229:2 239:3	106:8 109:3	178:20 181:5
56:16 57:11,22	collection 195:12	243:18 272:19	111:17,18 115:21	182:8 183:13
64:6 65:5,13,17	196:4,10 209:16	285:5 287:17	118:3 124:16	190:13 191:1
65:20 136:12	collective 40:6	297:3 308:4	127:9 128:15	192:6 198:22

199:3,7,20 222:5 222:9 236:20,22 237:9 241:9,18 274:9 278:11,13 297:2,14 317:11 343:2,18 374:6 396:2	completed 148:9 191:10 completely 95:2 141:20 228:20 completion 265:19 complex 159:9 313:19 complexity 244:7 275:1 compliance 78:20 122:2 195:21 312:16 complicated 63:17 244:10 267:19 303:10 331:12 368:15 complications 45:2 140:9,11 209:3 337:21 356:1 component 32:8 189:1 405:16,18 components 32:10 143:1,2 311:3 353:4 370:2 405:22 composite 14:3,15 32:7,12 42:8,9,17 171:1,13 235:2 405:11,12,21 406:1 composites 171:7 compound 303:14 compression 93:18 94:3,18,22 97:16 101:3,10,16 102:6 104:6,13 compulsive 321:13 computer 136:7 computer-based 100:20 concept 37:2,3 165:19 166:10 170:15 conceptually 90:6 concern 41:11 69:3 72:21 95:7 140:2 145:10 159:1	241:18 255:18 270:16 273:5 277:9 300:8,20 301:22 302:2,9,13 302:16 303:3 306:8 307:11 339:20 349:15 350:16 378:1 383:14,20 384:11 concerned 72:17 97:20 154:17 185:19 230:7 231:3 238:17 251:12 269:7 270:14 273:3 275:1 303:6 305:10 344:2 concerning 251:14 392:11 concerns 12:10 37:15 49:17 78:1 187:10 201:20 238:1 267:19 268:6 278:22 282:6 343:7 conclude 34:16 concluded 216:17 concludes 148:7 conclusion 40:6 214:14 243:18 274:13 280:2 349:20 concordant 399:17 concur 117:3 315:2 concurring 48:10 condition 190:1 248:11 265:19 conditional 67:3,5 72:6 248:10 conditions 121:5 166:9 conducted 216:16 267:6 conference 29:19 29:20 182:19 conferences 22:3 confirm 129:16	conflict 10:5,6,10 81:21 179:13 conflict-of-interest 8:21 conform 116:6 confounders 257:20 confounding 257:14 confused 161:14 confusing 64:1,8 65:8 234:14 confusion 93:22 94:4 136:20 138:21 139:6 congenital 166:6 conjunction 20:19 Connecticut 370:17 connection 11:20 20:20 141:14 cons 199:4 consensus 110:8,11 127:21 128:7 342:3 390:11 consent 364:4,7,9 364:13,16,20,21 consequence 142:1 143:18 330:11 consequences 28:13 63:18 72:12 141:7 143:20 146:15 231:4 299:12 consider 93:2 116:10 199:10 210:21 211:11 217:17,18 220:16 233:9 246:9 317:6 365:15 401:10 considerable 182:13 237:13 considerably 56:5 consideration 4:10 40:16 119:8 136:21 141:10 161:5 249:13	282:13 351:16 considerations 291:16 397:21 considered 199:19 382:2 considering 41:20 119:4 141:6 281:4 283:14 consistency 196:17 239:4 consistent 84:20 112:2,4 161:20 168:12 190:8,9 197:11 280:22 301:9 339:22 consistently 168:13 168:16 constraints 115:17 construct 142:3 240:6 constructive 208:16 consultant 14:5 consultants 165:1 consultation 47:8 consulting 9:8 24:8 consumer 19:15 128:19 consumers 312:20 contact 361:18 362:12 contaminants 283:6 contend 284:22 content 203:17 context 98:21 135:22 continue 71:6 352:12 continued 30:18 continuing 71:7 185:9 continuum 270:5 contract 24:8 contracts 9:10 26:5 contraindications 69:5
--	---	--	--	--

control 1:18 3:10 4:14 153:12 229:6	188:8 395:20	covers 270:18	203:8,21 205:9,16	403:13
controlled 328:8 389:17	correlated 274:8 274:17,20 286:16	cozy 405:2	206:7 210:9	Co-Chairs 1:10 43:4
controversies 328:6	corresponding 154:8 329:3	Co-Chair 1:13,13 11:3,18 25:5 43:6	211:13,18,20	co-factor 269:6
conversation 7:21 47:3 77:2 219:2	corresponds 34:13	43:18 46:6,12,15	212:4,9 213:13	co-I 24:12
235:15 305:8	CORRIGAN 2:15	47:7 48:3,14,20	217:14 218:9	co-PI 12:19
406:6	cost 73:17 74:3,5 305:1 400:22	54:16 55:15 56:8	219:21 221:19	co-steward 148:19
conversations 326:17 404:19	costly 72:19	58:1 59:1 60:14	222:11,17,20	CPAP 168:14
conversion 36:15 36:18,22 154:21	costs 14:11	60:22 64:17 66:9	223:2,6,13 224:16	215:5,16,21,21
164:6 314:10	cost-effectiveness 85:4	67:15,18 68:8,11	226:14 227:21	216:19,22 217:7 217:11
converted 156:10	Council 16:7 19:15 25:2	68:16 69:21 70:14	233:2 237:20	CPE 2:12
converting 394:19	counsel 8:15	70:21 71:17 72:10	241:16 243:5	CPQCC 207:14 235:3
convey 89:16	count 213:7 370:20	73:1,14 74:7,16	245:11 249:7,12	Craig 1:25 5:5 15:1 151:18,20 173:14
convinced 294:7 378:6	counted 94:17	75:6,10 77:16	250:13,17,21	Craig's 310:18
coordinators 71:3 71:10	countries 342:10 342:16	78:15,21 79:2,12	256:16 259:6	cranial 142:17
cord 138:3 142:16 144:8 302:22	country 17:10 56:4 56:4 112:2 197:8	79:20 80:3,13,19	262:1 264:11	CRAWFORD 2:16
303:7	287:19 288:8	81:6,10,13 91:22	266:17 283:15,22	crazy 37:8
cord-clamping 299:2 300:6	290:20,21 291:2	92:17 93:1 95:21	284:12 285:7,11	create 41:4 193:7 220:21 221:2
core 23:10	344:22 357:10	96:7,18 99:5	285:17 288:3	created 31:5 208:13
corner 176:17	360:5 369:11	100:22 102:17	290:8 293:1 295:4	creating 178:21
cornerstone 47:2	375:20 379:22	104:17 106:8,21	295:8,21 296:4,10	credit 161:3 276:1
Corporate 15:22	county 130:17	107:3 108:7 109:3	296:15,20 297:18	creep 282:6
Corporation 4:20 81:15	couple 7:16 33:16 34:19 36:2 41:1	111:18 114:12	297:22 300:22	criteria 28:7 29:15 29:18,21 30:3
correct 63:15 64:22 102:21 103:13	62:14,16 89:8	115:16 116:21	302:4 303:8 304:5	31:3,12,13,18,21
105:22 147:19	98:8 116:4 119:6	118:3 121:13	306:18 307:8,22	32:2,3,20 40:13
162:10 193:9,13	144:3 182:2 367:6	123:18 124:4,20	308:3,7,15,21	42:10,13 44:1
194:6,7 206:19	381:1 389:4	127:9 128:15	309:2 311:22	48:16 55:5 61:10
217:17 225:4	course 51:17 58:10 82:11 89:10 161:4	130:2 131:9	312:3 313:5,22	63:19 67:5 68:3
245:17 249:3,11	212:21 267:9	132:11 133:4,20	314:21 317:16	69:14 74:20 76:21 77:6,12 79:16
263:6 288:6	279:3 300:1	134:5,14,22	322:21 323:7	80:8 92:6 94:6
311:21 314:11	337:21 349:10	135:14,17 147:11	324:16 325:12	106:18 122:18
319:12 355:17	courts 326:18	150:4,6,16,20	327:2,13,15	127:13 151:11
386:6,10 395:20	covariate 273:21 275:8	151:8 152:8	328:14 329:22	157:13,14 158:2
395:21	cover 43:19 184:10 184:16 281:9	153:20 154:2	330:17 331:21	165:9 196:12
corrected 7:15	coverage 50:9,9 51:13 54:5,8	155:14,22 158:5	332:17 336:12	197:22 198:22
correctly 103:4	338:5	159:13 161:10	343:17,20 346:15	206:10 207:6
		165:4 168:4 169:8	347:18 352:7	208:10 246:12
		170:17 171:14	356:14 358:6,11	248:12,22 258:6
		172:5 173:12	358:14 359:18	259:5,19 261:18
		174:2 175:14	366:3 370:7 372:2	262:8,10,17
		176:2,8,13 179:5	372:5 373:5 374:3	
		179:16,18,22	376:12 377:22	
		181:8 182:18	378:13 380:11	
		183:6 184:7,20	384:14 385:11	
		187:9 191:6	386:11 387:19	
		194:19 200:2,9	388:18 393:4	
		201:7,19 202:4	394:1 395:11,16	
			396:18 402:9	

264:22 265:10	cursing 314:18	186:2,8,11,15	database 129:18	deaths 82:19 84:21
284:2 286:4	cut 165:14 187:15	187:1,22 190:4,7	188:16 206:10	91:18 357:18
322:20 352:6	303:7 304:2	190:14,21 195:1	207:1 254:4	361:7 387:5 399:2
367:21 368:3	373:11	195:11,14,19	260:18 288:20	debatable 240:16
374:16 393:5	cutaneous 138:4	196:4,10 204:8,9	354:4 357:11	debate 76:17
403:7	143:5	204:14 206:18	398:22	107:19 283:3
criterion 48:9,13	cutoff 73:8 206:12	207:15,18 208:11	databases 387:9	debated 274:5
127:20	237:9 238:3	209:7,15,16	dataset 117:12	289:18
critical 24:14 37:13	240:15 241:7	210:11,13 221:2	328:1 329:20	debating 278:8
50:18,22 88:3,6	363:5 379:20	231:17 232:11,12	356:5 374:21	decade 261:15
132:19 191:2	cutoffs 362:19	232:14 234:8,10	375:2 378:16	Decatur 1:15 18:7
209:12 219:14	cutting-edge 390:2	234:12 236:9	390:13 393:19	decide 199:14
criticalness 189:22	CV 9:5	238:8,9 239:8,8	395:7	206:13 233:1
cross-sector 178:16	cycle 42:4	240:8,12 243:17	datasets 21:20	241:1
CSF 265:13	cycling 98:18	245:12 250:9	Davis 3:17	decided 170:14
CT 367:4 371:14	C-O-N-T-E-N-T-S	252:15,17 253:1	day 40:14 73:4 90:8	189:8
371:17 376:3,6,10	4:1	253:15,16 254:13	95:2 97:18 194:16	decides 394:12
376:15 377:8	C-section 86:20	255:3,3,7 256:3	264:19 265:9	deciding 201:10
380:9,20 381:12	105:20 107:14,16	256:19,19 257:5	266:1 340:14,17	decision 51:16
382:8,19 383:14	107:18,20 141:21	258:13,15 267:14	341:3,6 352:14	57:16,18,20 63:18
CTs 384:8,12	144:15 150:8	267:20 268:4	384:22 403:16	194:20 200:1,4
culture 265:12	303:13	272:22 275:2,3	404:18,18 406:20	205:7 245:10
cultures 265:16	C-sections 88:19	283:7 286:2	406:21	256:13
324:5	89:1	287:14,20 300:16	days 27:9 87:14	decisionmaking
cumulative 91:8		300:20 303:4,12	144:13 153:1	60:8 149:17 246:7
CUNNINGHAM	D	303:20 305:14	200:20 205:22	decisions 197:15
2:17	daily 87:7	306:9,12 307:4	206:11,18 207:2	212:16 398:1
curiosity 150:10	Dakota 123:12	308:9 323:10	221:7 264:21	deck 355:16
182:19	Dallas 327:17	326:10,13,21,22	265:2,16,19 313:9	decrease 177:21
curious 128:21	Dallas-Fort 20:5	327:22 329:4,12	355:2,9 393:15,22	303:19 359:22
146:19 147:1	danger 381:13	329:15 330:1	DC 1:10	decreased 69:19
187:13 292:9	darn 389:8	331:14 335:21	de 208:12	decreases 396:6
298:17 325:15,19	dashboards 150:1	337:1,3,12 350:10	deal 58:5 87:6	decreasing 45:14
326:1,8 367:13	data 22:12 41:6,16	350:16 354:4,12	143:8 213:10	47:4,21
383:16	50:6 55:2 56:21	356:4,4,8 360:14	259:12 320:19	deem 338:11
current 57:22	59:12,18 78:16	361:1 363:7	358:8 406:13	deems 338:7
241:5 283:13	80:1 83:2 84:12	370:19 374:13	dealing 185:6	deep 82:2 89:17,18
288:19 294:18	85:2,4 86:21 89:6	375:11,18,18	249:20	defer 241:2 368:1
325:17	93:15 97:16 104:4	376:22 377:2	dealt 160:19 318:1	define 37:7 115:4
currently 19:11	105:14 110:17	378:15,21 379:4,5	dear 295:11	129:22 208:14
23:18 50:5 68:22	117:2,3 119:8	379:8 386:19	dearth 354:3	241:3 398:9
69:2 71:2 87:9	133:14,15 142:7	387:6,8 389:16,16	death 45:2 82:1,14	defined 37:12
159:12 160:14	149:12 150:15	389:19 390:8	82:15,18 90:13	123:2 290:3,19
161:15 166:14	153:11 154:16,16	393:15 394:12	91:20 152:21	defines 237:2
190:21 198:3	156:22 158:20	397:8 398:4,14	165:9 169:11,12	defining 37:2
240:18 251:19	173:1 178:10,20	399:9,15 400:5,7	358:9,11 360:4	113:12
390:19 395:4,8	184:5,18 185:2,7	400:9 401:6	361:14,17 388:5	definitely 113:18

189:19 191:13	116:18 121:8	267:19 276:19	detailed 159:20	development 15:17
229:5 280:16	123:14 177:22	313:8 317:19	details 101:9	16:9 26:14 38:21
292:14 336:18	255:17 320:10	333:7 361:6 365:8	161:22 163:10	developmental
338:7 343:22	delivery 4:19 6:6	390:17 392:20	310:20	300:3 325:18
384:3	21:17 97:14,19	denominators	determine 32:19	developmental-o...
definition 104:22	116:12 118:21	190:16	91:19 157:12	306:14
105:1 106:2	138:6 143:2,3,4	denoted 144:19	226:6 258:19	device 138:2
113:21 115:15	145:17 146:6,9,10	Denver 2:8	259:3 291:22	devices 94:3
122:4,6,19 142:22	149:10,15,18	department 1:20	380:15 391:11,12	devil 101:8
169:11	215:6,14 225:15	2:23 3:20 6:16	determined 65:7	devoted 20:15
definitions 41:5	251:20 306:4	21:9 23:12 28:20	65:11 170:9 259:2	de-identified
105:5 112:21	313:18 319:8	87:15,21 123:2	388:14	204:15
113:4,14 116:7	333:6	130:18 131:3,7	determining 65:15	diabetes 4:16 75:13
118:1 121:19	Delphi 235:6	178:17 332:13	develop 14:2 44:21	76:1,1,2,5
124:8 405:17	delve 102:12	333:2 337:5 349:4	44:22 54:9 118:11	diagnose 379:16
degree 122:2	Demonstrate 238:5	353:18 354:11	189:3 211:3,6	387:4 388:2
132:18 140:6	demonstrating	356:9 357:3,4	257:21	diagnosed 360:16
162:13 239:16	187:1	364:7 365:17,22	developed 15:18	388:14 393:13
246:1 256:10,11	den 352:20	368:14 379:22	23:2 24:20 36:11	diagnoses 365:22
257:1,10,11 349:9	Denk 1:20 5:2 21:8	398:13 399:13	39:2 110:1 180:9	diagnosing 365:16
degrees 5:18	21:9 40:19,22	departments	348:14 371:21	386:20 389:9
218:22 223:19,22	42:2 96:22 121:16	354:17	383:21 384:6	diagnosis 76:4
224:5 228:3,16	135:15,16,19	depend 65:14	developer 70:22	87:21 148:6
231:12 235:15,22	140:13 143:22	86:21	75:14 77:17 86:22	163:17,17 355:22
238:9 248:4,5	146:1 147:4 148:2	dependent 145:5	111:1,7 115:18	364:1 366:21
257:6 262:16	150:19 151:3	depending 189:21	135:10 156:14	379:14 383:4
delay 217:1 293:10	170:19 203:10	241:21 275:12	179:12 187:10	386:16,20 387:14
355:22	204:16 231:15	306:4 334:18	192:10 194:14	dichotomous 241:7
delayed 366:1	234:4 235:11	335:4 380:17	213:21 216:12	dictated 210:22
delaying 216:21	254:11 256:1	depends 51:10	218:3 246:8 248:3	die 45:20 265:1
delays 7:14	277:11 364:2,15	188:12 275:10	249:2 250:10	died 170:1 265:17
delighted 179:3	364:19 365:1	314:13 348:20	254:15 343:19	difference 100:16
delineate 175:22	384:17,21 385:10	397:4	348:11 353:21	120:4 156:15
deliver 71:5 119:11	385:13	depressed 137:20	363:4 373:6	196:22 204:20
121:9 318:3	denominator 44:9	deregionalization	386:15	215:10,13 216:18
delivered 4:22	53:21 57:14 59:7	119:19	developers 30:6,18	224:11 273:3
21:11 110:3	59:20 60:10,12	descent 334:17	36:20 42:11 47:8	274:2 299:6
119:10 127:7	66:11,21 76:3	description 152:11	137:12 138:8,14	329:14 330:5
131:13 145:14,16	110:4 146:20	design 51:21	179:19 206:8	351:8 369:8
301:13 363:10	147:9 152:16	304:16	252:17 258:10	389:15
deliveries 17:14	163:1,3,10,12,21	designated 132:7	269:13 291:14	differences 49:12
18:13,17 20:22	165:6,17 167:3	designed 81:22	322:13 369:3	55:18 112:15
89:3 117:9 126:4	169:11 171:3	303:19	370:1 374:14	113:6,16 154:14
143:10,13,14,21	184:5 189:10	desirable 299:17	developing 12:21	161:6 162:5,6
145:12,14 301:15	191:2 194:18	desire 395:2	22:17 167:20	276:14 277:3,21
329:16	205:20 208:9,14	desired 365:13	178:6 206:4 214:4	277:22 278:1
delivering 47:22	220:18 265:21	detail 285:21	291:17 337:20	280:3 292:6 306:4

343:8 348:19	114:4,6 337:9	25:10 26:6 179:8	222:7 224:18	100:20 105:13
different 8:6 20:7	350:14 360:7,11	disclosed 17:20	246:7 271:19	108:15 145:9
32:9 42:10 52:1	370:16,20,22	disclosure 8:21 9:8	291:11 316:14	documented 99:20
62:2 80:21 107:13	371:4,6,19 387:10	10:20 11:7 12:7	324:7 333:12,15	100:13 104:13
112:22 123:10	387:12,14	19:17	336:14 351:6	256:15 369:7
144:6 156:7	difficulty 49:7	disclosures 4:4	363:9 373:4,12	390:20
157:18 160:13	394:18	8:16 9:14 12:18	discussions 33:2,8	documenting
161:7,15 162:3	dig 64:5	15:5 16:18 17:5	36:5 40:18 58:11	103:11
171:1,3 175:10,12	diluting 268:13	18:5 19:7,22 20:9	213:22 264:3	documents 399:20
187:7 230:2 232:2	Dimes 1:15 16:6,22	21:7 22:1 23:14	353:2	DOHaD 306:14
241:14,15 242:13	17:6 18:2,12	24:5 26:9,19 27:1	disease 1:18 3:10	doing 19:20 29:11
242:16,17 243:21	20:20 22:7 23:20	179:6	4:14 45:21 51:13	34:6 54:11,13,14
246:1 247:14	25:2	discrepancy 115:9	153:12 214:5,6,17	55:11 61:6 67:3,8
248:20 250:11	ding 102:2 319:9	160:7 317:3 342:1	215:13 300:3	71:6 83:3,10
255:6 257:17	dinged 231:8	345:6	339:9 344:8 383:6	105:11 113:10
265:4 266:6,7	direct 10:15 177:19	discrete 390:5	diseases 299:21,22	135:19 167:10
268:11 269:8	377:12	400:10	345:2	173:4,5 178:12,13
270:3,8 271:6	directed 232:2	discriminatory	disparities 29:5	179:9 198:4,11
275:12 276:20	319:10	380:13	36:7,9,12 49:18	220:21 245:6
277:13,16 278:18	direction 56:15	discuss 26:21 31:18	49:19 179:2 276:9	281:5 288:1
287:4,7 292:21	58:16 132:9	37:22 77:13 172:1	276:11,12 279:16	289:20 290:21
295:2 310:3 343:9	251:13	268:15 369:4	280:2	291:2 313:3
346:4 351:12	directions 132:3	370:1 405:12	disparity 115:3	316:11 319:10
366:16 368:22	232:3	discussant 31:10	317:5	331:12 349:6
375:19 377:10	directly 123:3	31:11	disputes 349:12	375:3 388:10
378:2 389:4	383:18	discussants 405:14	disservice 395:5	406:12
differential 269:8	Director 7:6 11:19	discussed 30:1	distinct 271:16	dollars 117:7 344:3
363:22	16:16 18:8 19:4	75:21 76:7 84:6	distressing 143:19	344:11 387:10
differently 37:8	25:15	85:8 116:1 139:1	distributed 193:4	domains 286:18
113:1 198:20	directors 13:18	174:14 217:22	distribution 162:19	292:7
222:2 240:8	18:1 24:6 116:3	236:19 275:7	163:6 241:1,6	dominated 141:20
248:21 249:15	150:2	283:4 305:11	District 131:15	DON 2:19
255:8	disadvantage	334:13,21 335:13	diverse 140:18	door 228:15
difficult 49:11,18	210:14	351:17	141:12 339:12	dose 6:13 69:3
55:2 84:11,15	disagree 251:11	discussing 13:3	Division 15:11,19	71:13 301:12,20
104:1 117:11	disagreeing 238:22	207:11 282:12	24:2 390:7 393:18	332:19 333:5
140:10,19 143:8	disapproving 60:21	285:3	divisions 369:11	334:9 345:13
188:21 190:7	discharge 4:14	discussion 7:22	DNA 305:18	doses 301:21 334:8
206:12 210:4	43:9 44:6 53:7	30:7 31:4,11,19	doable 70:10 173:9	doubt 134:20 343:6
225:15 328:7	58:20 97:19 148:6	33:6 37:18 38:11	173:10	downstream 98:1
362:14 369:19	158:20 186:6	40:2 47:6 69:6	docs 104:7	Dr 7:3 12:18 26:12
370:14 390:8,10	203:7	77:15 79:19 81:18	doctor 11:11	27:4 39:18 40:21
390:14	discharged 183:18	81:20 82:5,10	doctors 58:20	42:1,5,16,22
difficulties 35:2	194:12,13,16	93:13 94:5,20	document 63:3	46:21 47:11 48:6
66:12 77:21 78:2	265:18	151:18 181:22	139:5 342:6	48:18 49:22 50:14
79:9 92:11,12	discharges 152:14	182:8,14 202:8	394:19	50:16 51:9 52:19
106:3,4 113:19	disclose 9:3 18:10	212:21 218:17	documentation	53:14,22 54:22

56:1,13,22 57:7 57:10 59:8 61:5 61:18,20 62:4,9 62:13,16 64:2,9 64:18 65:6 66:4 66:15 67:2,20 68:10,15 71:1,19 72:4 73:15 74:14 75:1,9 79:14 80:12 81:2 92:21 96:5 97:6 99:22 100:5 105:6 107:18 112:8 113:8 116:9 119:5 120:12 122:20 123:5,8 124:2 126:6 127:5 132:2 134:3 138:16 141:18 142:5 144:4 146:16 147:20 148:12,13 148:17 151:20 153:22 154:3,19 154:22 157:4 159:15 161:17 162:2,10,12,16 163:13 164:1,9,12 165:18 166:21 168:19 170:5 173:13 174:20 175:17 177:3,6 180:5 183:11 184:8 187:2 189:12 196:7,14 196:14,16 197:13 198:12 199:8 200:8 201:5 202:2 203:22 211:16,19 211:21 212:2,7,14 212:19 213:2,5,12 216:6,13 217:21 220:7,14 222:4 223:10 225:9 228:9 236:15,18 239:12 240:5,11 240:13,17 242:2 243:7,15 244:6	245:21 246:3 248:6,9,16 249:4 249:5,11 250:12 250:15 253:14,21 254:3,10 256:18 258:3 260:7 261:4 261:12 262:6 264:14 266:21 270:17 271:4 272:2,17 273:5,16 274:5 275:5,14 278:3,15 279:22 281:2 282:20,22 283:20 284:14 285:9,15 286:1 288:10,15 289:5 289:21 291:12 293:6,19 294:4,17 296:2,6,8,13,18 296:22 297:6,9,13 308:22 310:17 322:6,8,12 323:5 323:14,19 342:22 348:13 355:4 357:11 360:8,10 370:9,13 373:8 374:13,18 375:8 375:11 378:12,14 379:4,6,16 380:13 382:4 383:19 386:18 387:16,21 392:20 396:22 402:1,4,22 403:5 404:6,13 draft 154:22 164:13 165:2 dramatically 357:19 drastically 350:18 draw 349:20 drawing 114:5 drill 149:14 drive 25:21 47:5 85:11 96:12,17 120:1 132:18 137:14 147:22 161:6	driven 119:19 136:11 drives 37:8 145:20 406:14,16 drop 30:20 239:16 278:9 dropping 359:20 drug 21:12 310:9 dry 344:12 Drye 1:21 22:14,15 54:17 60:17 61:12 61:19,22 118:9 119:21 120:15 132:14 159:6 168:7 169:9 207:19 229:18 230:17 252:8 259:16 273:19 276:4 277:18 278:15 280:11 291:5 305:7 351:5 377:4 383:9 due 28:1 49:19,20 110:18 111:9 124:13 175:6,8 286:1 335:17 357:20 379:2 duration 140:5 DVT 4:18 81:4,14 82:2 83:4,16 84:4 84:7 86:6 87:8 88:12,17 90:13 91:11 99:2 155:18 DVTs 84:12 86:14 89:12 90:9 dynamic 403:12 dysplasia 215:12 dystocia 138:11 140:2 147:17 175:7 dystocias 139:2 dystocia-related 139:13 D.C 20:13 35:2 <hr/> E <hr/> e 181:20 334:15	335:3 347:3,17 348:1,6 earlier 158:19 206:17 224:18 333:11 351:17 358:3 360:17 365:17 376:16 388:13 395:18 early 205:12 214:15 215:6,15 216:18,19 217:11 339:9 357:20 365:11,11 366:21 367:1 377:18 380:6 384:8 386:20 391:12 396:11,13 397:10 399:12 earthly 356:21 easier 104:9,16 240:22 easily 39:13 90:6 99:19 101:4 381:6 383:2 386:1 390:12 easy 85:5,11 93:16 94:20 96:11,13,17 99:14,18 101:11 133:12 397:20 401:5,15 easy-to-understa... 96:11 echo 92:10 351:6,7 373:14 377:4 388:1 economic 110:18 111:2 economics 119:19 ectopic 356:1,2 359:15 360:15,20 360:21 361:7,13 363:20 376:17 383:22 386:16 388:2 396:6,7 398:8 399:4,7 ectopics 357:18 366:19 386:21	398:19 Edd(c) 2:6 edges 26:2 36:4 Editor 24:9 educating 58:17 effect 161:8 238:6 280:16 327:8 384:7 effective 78:4 328:3 343:6 effectiveness 307:4 335:7 effects 78:5 307:6 efficacious 322:17 323:11 effort 116:19 166:3 177:20 195:11 295:13 efforts 25:21 30:17 289:12 eight 20:7 119:13 125:10 247:1,8 405:4 eighteen 174:6 247:10 eight-hospital 354:8 either 108:16 110:10 166:4 190:15,16 193:5 215:5 220:21 234:15 244:7 265:13 337:7 341:5 360:20 364:4,7 366:2,6 377:2 401:22 elective 17:14 18:13,16 20:21 25:4 178:11 electronic 39:14,21 40:9 41:6 73:21 74:1 100:3,19 331:9,14 338:19 369:13,18 378:3,9 378:15 379:5,7,9 379:12 390:6,11 394:20
---	---	---	--	--

electronically 104:10 136:12 314:9,16	364:6,10,22 365:17,22 368:14 369:10,17 373:10	32:11 39:5 62:8 62:18,19 74:21 156:10 174:9	error 160:7 errors 72:12 esoteric 353:4 especially 14:9 49:10 69:13 87:10 97:16 121:4 337:14 338:16 342:9 351:2 353:15 386:6	events 41:19 124:13 126:18 299:21 371:14 eventually 73:21 205:11 359:9 everybody 11:4 30:1 33:5 34:15 35:3 36:15 37:8 59:11 63:6 64:5 75:1 141:3 177:3 177:10 246:13,17 246:20 247:20,22 270:12 295:5 314:17 316:10 339:17 340:9 353:12 394:10 everyday 234:7 evidence 28:9 43:14 80:10 83:19 83:20 85:7 92:8 94:10 110:13,16 111:11 116:8 137:19 147:16,16 147:18 151:12 166:22 180:20 182:1,6 200:12 201:11 214:9,20 216:2 217:12 239:22 267:1 298:14 304:18 316:19 323:19 342:4 343:5,14 359:6 377:13,14 381:16 evolved 403:8 evolving 307:7 403:6 exact 155:6 237:8 exactly 44:3 89:1 100:9 154:18 166:1 197:21 223:6 241:20 247:16 248:14 259:3 277:15,16 311:14 336:20 351:15 exam 144:20 184:3
element 55:2 331:14 378:21	379:21 385:9 394:20 398:3,12 399:13	285:13 296:16 315:12 332:8 403:18	essentially 29:13 67:2 78:6 93:15 98:19 191:18 207:10 224:6 231:15 235:4 264:17 265:21 269:3 286:19 293:11 established 12:22 estimate 66:8 162:17 288:9 estimated 48:1 estimates 65:12 et 260:17 Ethicon 15:7 ethnic 269:9 EUGENE 2:17 European 303:11 evaluate 25:12 28:8 29:14 31:2 80:1 125:2,2 147:18 157:11 167:6 283:9 evaluated 131:8 evaluating 42:9,17 evaluation 4:7 22:20 29:15,21 30:3 31:2 33:7 39:4 198:21 261:18 354:6 358:3 evaluations 162:14 evenly 385:19 event 82:1,3 83:22 84:6 90:5,12,12 91:8 102:1 126:13 126:16 382:3,6,8 382:11	
elements 37:14 93:15 104:4 105:12 209:7 221:2 232:18 311:6	emergent 309:9 emphasis 40:9 emphasize 36:6 339:2 empirical 303:15 employer 9:21 EMR 99:16 369:8 394:16 EMRs 325:5 368:11 394:18 395:9 EMS 130:17,21 132:5,5 EMTALA 124:17 124:22 125:11 EMT-trained 130:22 encompasses 269:21 encourage 39:12 58:3 97:10 128:1 132:1 198:18 348:12 401:9 encouraging 211:11 309:11 ended 121:8 234:22 324:9 352:5 endemic 342:11 endorse 51:5 109:10 122:18 198:15 endorsed 20:16 28:4 30:16 38:6 50:15,17,19 75:8 109:22 180:8 185:8 214:9 216:11 395:19 397:2 402:21 405:20 endorsement 1:4 7:11 30:19 32:4	endorsing 261:16 engaged 251:20 engagement 29:1 55:7 engagements 9:11 18:12 23:19 engineer 390:8 England 316:16 324:6,11 enough-for-quali... 261:2 enrolled 288:22 enrollment 206:10 ensure 161:20 entails 291:3 entering 73:10 enterprise 29:12 403:6 entire 27:19 45:15 334:3,4 386:10 388:9 entirely 145:20 entrapment 138:3 entry 136:1 365:11 367:1 environment 114:3 129:12 273:8 403:12 envision 129:1 epidemiologic 305:14 epidemiological 300:17,19 epigenetics 301:6 equal 165:8 171:10 equally 206:3 equals 135:1 ER 87:22 90:11 352:17 361:20 393:8,11 erase 280:17		
elevate 255:21 eleven 247:8 eligibility 196:12 201:16 206:9 207:1,6 eligible 192:13,17 193:18 265:6 316:2 333:18,22 371:17 374:10 eliminate 17:14 eliminated 63:14 eliminating 18:13 18:16 elimination 25:3 29:5 Elizabeth 1:21 22:15 128:10 210:19 377:6 Ellen 340:19 342:6 Elliot 2:23 109:11 112:7 125:8 360:2 email 183:8 emailed 152:5 emancipated 364:8 364:13 embarking 17:16 embolism 91:17 emergencies 23:16 24:14 emergency 6:19 17:2 23:9,12 87:5 87:15,21 88:21 130:18 131:2,7 352:15 353:17 354:10,17,19,20 355:2,9 356:9 357:4,22 358:20				

193:1 194:17 196:6 examined 142:6 example 128:20 144:15 192:15 208:18 210:15 228:4,13 274:1 276:17 306:19 318:21 333:17 377:7 399:18 examples 54:20 305:9 exams 181:4 182:4 excepting 167:14 exception 298:9 320:19 exceptions 101:5 101:12 excerpt 342:8 excess 300:7 excited 36:16 exclude 126:14 163:20 168:2 206:5 221:15 excluded 52:20 126:5 136:21 137:7 138:10 163:12,16 186:5 187:19 221:6,8,12 224:12 228:6,10 228:20 313:10 317:21 320:7 321:3 365:3 excluding 139:12 163:1 187:14 188:5 196:8 206:1 exclusion 57:14 60:11 61:9,16 93:19 94:6 137:1 140:1 152:15 163:1,10 187:18 192:14 221:18 224:11 226:17 264:22 313:12 317:19 320:22 321:1 367:21 368:3 392:7,11	exclusions 44:13 59:10,21 60:7,13 60:20 63:13,14 64:20 66:21 67:6 67:11 68:1 72:18 73:17 95:5 146:20 164:1 205:20 221:6 224:10 321:19 392:21 exclusively 60:18 excuse 39:9 70:21 80:18 186:1 314:19 355:4 366:3 executive 20:4 326:10 exemption 127:3 exercise 34:22 exist 389:20 399:10 existed 91:16 137:15 231:17 existing 76:2 211:7 258:5 390:6 exists 56:18 81:22 84:20 85:2 182:6 234:1,8 245:16 375:17 expand 148:11 expanded 184:5 expect 9:5 expectation 403:9 expected 45:20 111:8 173:22 187:4 276:18 310:14,14 311:16 experience 28:10 28:10 37:16 88:21 124:16 136:13 144:13 159:8,10 160:1 168:20 experiencing 7:13 expert 9:20 139:3,8 142:21 164:16 235:5 explanation 129:7 137:6 300:1 explanatory 306:22	explicit 83:12 86:3 311:9 321:13 322:1 explicitly 137:9 171:6 explore 28:14 expose 302:17 exposed 302:8 304:3 exposure 281:18,21 299:13 306:5,17 extend 182:11 190:1 extended 183:7,9 extension 140:17 extensive 113:10 114:17,22 159:22 317:8 extent 193:3 256:12 325:19 external 68:20 extra 161:3 195:17 290:14 387:20 extract 95:8 195:14 extracting 65:4 extrapolation 99:3 extremely 188:21 214:2 271:5,16 295:10 363:2 366:19 380:3 extremely-low-bi... 292:18 eye 192:22 eyes 344:18 e-measures 39:17 314:11	116:17 121:18 132:7 153:9 286:22 facility 4:13 43:9 44:7,10 109:16 110:3 120:19 153:2 159:12 160:22 FACNM 2:7 FACP 1:23 fact 30:10 51:6 52:12,13 110:19 136:21 166:8 168:2 175:9 185:3 185:6 202:9 254:22 255:16 277:13 284:20 286:2 290:10 291:13 303:14 379:2 factor 167:16 279:2 306:22 397:22 factoring 334:2 factors 110:19 111:2 119:7 161:5 165:11 166:19 269:22 304:9 324:4 faculty 17:3 19:12 23:11 fail 259:20 failed 259:15,20 failure 220:16 388:1 fair 122:2 217:20 251:9 367:18 373:22 381:12 fairly 43:22 93:15 101:4 301:4 334:5 335:9 357:19 fairness 277:3 fall 141:17 142:12 171:3 365:8 390:16 391:2 fallen 107:21 108:3 355:21 falling 357:19,19	384:22 false 324:8 familiar 29:2,18 30:2 56:10 57:7 305:12 Families 2:9 20:13 family 1:24 2:4 16:1 19:3,4 24:3 29:1 72:21 fancy 314:15 fantastic 209:15 far 15:5 17:5 49:15 88:11 118:22 128:12,13 154:16 158:15 173:6 180:22 185:18 196:10 219:6 298:14 299:4 312:13 366:9 371:1 389:21 390:16 fare 178:1 farther 36:17 far-flung 383:8 fashion 198:17 405:8 fast 304:2 393:17 393:18 fatal 86:10 fault 228:17 309:18 Faustian 73:10 favorable 298:8 favorably 300:11 feasibility 31:15 39:19 40:1 54:2 63:20 65:10 66:20 67:14 72:11,22 73:13,16 74:10 98:11 99:8,9 101:15 104:18 106:10 108:13 133:10,18 156:15 172:13,14 173:8 174:4 202:7,15 211:20 284:18 285:6 296:10 314:8 315:1 331:3
F				
FAAN 2:7,10 FAAP 1:15 fabulous 177:14 faces 372:8 face-to-face 58:11 facial 145:3 facilitate 71:12 facilities 54:2,3 74:5 111:3,22				

331:6,7,22 332:3 394:8,15 402:15 feasible 64:4 70:12 99:14,21 101:17 273:10 331:19 371:21 feature 312:4 feed 405:21 feedback 28:12 37:9 78:13 149:19 160:1,4,15 168:21 169:6 170:6 225:10 246:8 250:11 291:20 372:16 feel 35:18 58:17 64:8 192:6 194:20 197:2,5 226:5 233:13 238:15 242:4 248:1,20 249:14 278:16 279:5 287:20 299:8 300:4 378:11 feeling 197:12 285:1 313:14 feels 61:13 68:2 377:18 feet 86:2 fell 136:17 fellow 16:3 328:9 Fellowship 354:21 felt 45:6,7,9 49:14 54:4 69:11 110:11 110:18 111:2 124:7 133:12 136:22 140:8 164:18 181:5 183:22 184:15 190:13 191:1 210:13 218:13 233:4 237:10 258:5 308:4 325:8 335:11,14 343:4 female 6:18 353:7 354:12 355:14 females 353:18	366:13 fertility 363:1 388:7,11,16 fetal 13:19 138:4 299:12 fetus 302:17 359:4 376:8 381:13 fetuses 380:9,21,21 field 28:11,16 37:4 37:10 211:11 fields 390:6 400:10 fifteen 212:2 247:9 figure 34:4 35:22 59:17 117:12 163:11 221:22 288:19 294:13 302:11 347:20 369:19 391:10 fill 321:16 final 33:4,10 61:16 106:15 157:21 169:8,9 201:20 212:15,20 213:11 247:11 358:10 403:16 finally 72:11 99:7 133:9 134:6 172:13 174:7 285:12 331:3 financial 10:5,6 179:14 find 72:20 186:18 187:4 272:18 289:16 298:19 355:14 362:3 392:18 398:22 400:5 finding 78:20 163:4 208:16 210:4 fine 64:14 114:13 129:13 132:16 229:4 finer 115:9 finish 33:10 405:7 finished 27:14 46:6 64:11 297:8 fire 86:2	first 5:14,17 31:13 31:19 32:5 33:16 34:22 43:7 46:13 54:18 63:2 73:5 87:14,16 112:9 118:10,12 122:14 136:19 138:20 146:20 158:1 174:15 184:3 194:17 200:12,22 214:15 218:10 221:13,21 222:19 222:22 223:17,20 224:20 225:2,3 226:12,20 228:2 228:21,21 230:4 231:11 233:20 252:3 262:3 336:16 348:21 353:9 354:14 355:12 357:13 365:19 368:5 381:12 382:19 396:1 398:6 402:2 first-generation 310:7 311:10 first-line 311:11 313:19 first-trimester 380:21 fit 169:15 274:12 294:15 313:19 374:13 fits 121:14 five 18:15 41:14,21 46:3 58:4 71:3 87:14 110:11 126:17 131:12 156:6 185:16 246:22 247:7 265:15,19 309:15 353:17 398:13 fivefold 89:17,22 90:2 fix 160:9 229:3 232:4 254:21 386:4	fixable 386:1 fixed 134:11 flash 147:22 406:14 406:16 flexible 254:14 flight 152:3 177:15 flora 302:1 303:12 303:17 Florida 113:17 115:7 362:10 fluid 265:9 fly 121:3,5 flying 152:4 focus 120:7 257:21 276:8 289:14 383:17 focused 139:8,9 focuses 137:9 139:18 271:6 focusing 256:7 fog 126:10 folder 342:21 folders 343:1 folks 8:4 36:16 53:18 216:7 296:22 334:17 335:1 395:3,7 396:4 404:17 follow 142:19 154:19 277:13 305:2 313:12 318:10 320:18 337:4,6 353:3 372:13 383:10 393:9 followed 215:16 375:3 following 83:13 168:8 203:7,12 313:10 319:18,21 321:9,21 406:21 followup 202:18 218:2 337:7,8 366:1 fool 163:8 Force 41:4 forced 300:10	forceps 137:22 foregoing 176:19 297:19 forgot 226:3 229:17 form 8:22 42:11,12 94:7,8 117:4 138:21 229:2 former 131:12 177:8,12 333:12 formerly 19:18 forms 73:19 forth 13:15 18:4 41:19 44:4 274:6 forum 1:1,9 7:7 350:4 forward 8:8 11:9 37:18 46:5 66:18 69:20 74:2 80:15 103:5 195:8 202:13 210:20 216:11 224:22 297:16 316:13 326:19 346:3 352:13 377:1 for-performance 326:9 found 100:9,11 103:2 115:2 137:14 160:6 187:4 357:11 362:9 371:11,13 371:15 380:5 four 29:21 32:2 41:14 44:1 106:17 157:7 246:22 247:7 284:21 304:8 335:11 375:5,19 380:17 381:5 fourteen 247:9 331:1 four-hospital 375:4 fraction 190:22 fractures 137:20 139:14,19,20 142:10 145:12
---	--	--	---	--

frame 209:22	375:20 378:14	316:7 318:9	223:4 301:10	64:5 72:20 74:2
Francisco 132:5	379:2 385:4	323:22 325:9	309:21 311:19	77:12 79:15 80:15
frankly 84:8 191:4	gaps 112:15 376:5	394:13	312:2	87:22 93:2 96:8
390:13	gather 341:14	generated 149:3	gist 216:5 372:9	102:12 104:22
frequency 89:18	gauge 289:1	generation 363:15	give 34:18 37:11	109:6 111:21
90:12 91:20	GBS 6:11 317:22	generations 363:16	44:14 88:22	113:5 114:15
frequent 94:21	318:22 319:2	generic 254:3	150:14 153:5	129:15 131:2,6
frequently 38:11	324:10 330:1,13	313:11	184:4,13 208:18	151:4 161:21
257:5 301:4 376:3	348:8	gent 311:12	215:22 216:22	164:12 165:2
front 23:4 62:20	GBS-negative	gentamicin 302:15	272:10 276:18	170:1 182:15
122:22 142:7	320:9	302:17,21 303:6	302:2,17,19,21	195:4,8 200:14
162:9 245:16	Gee 1:22 6:17	310:8	304:6 305:9	201:11,12 210:20
318:9	38:12 151:22	geographic 111:9	345:13	212:5 218:8 221:5
frustrating 377:18	177:6,9,11 179:10	geographically	given 38:3 65:16	221:22 222:12
full 184:16 405:6	179:17 231:3	335:4	125:17 136:16	229:17 242:7
fully 39:2 52:9	256:6 275:6	George 2:6 19:12	147:22 149:11	249:19 251:12
197:14 217:3	279:15 281:13	Georgetown 148:5	165:22 194:22	259:8 262:13
full-time 118:11	282:8,21 333:1	gestation 5:7,10	195:1 215:15	285:17 292:13
functional 41:5	339:19 341:22	180:3,14 184:19	244:14 252:17	293:2 302:19
206:15	343:3 345:21	200:11,14 201:2,3	291:20 302:7,15	310:3 327:19
funded 22:22 23:17	347:3 350:5	212:12 213:15	306:8 322:17	328:10 329:7
24:13	362:16	274:7 355:20	326:17 330:13	344:6,16 345:12
further 47:5 61:13	Gelzer 1:23 5:9	gestational 76:1	335:16 337:16	347:21 352:13
77:5,12 97:22	15:21,22 53:11,15	152:18 182:12	343:13 371:17	359:3 370:7 377:1
130:12 212:20	64:15 180:5,6	183:20 184:12	376:6 393:21	383:3,3 393:20
265:7 303:16	181:12 182:22	201:16 273:22	gives 243:10,11	395:16 402:15
401:8	183:4 201:14	274:14 277:17	388:14	goal 45:22 86:10
future 162:8 164:6	202:6 248:2,8,14	gestational-age	giving 8:4 53:6	100:8 199:10
172:1 205:3	Gene 34:15,20 35:5	294:20	67:3 233:18	257:19 279:15,16
210:18 211:9	35:14 48:7 63:8	getting 45:22 64:8	236:12 276:1	God 352:22
292:2 395:6	65:1 67:19	71:13 81:7 92:9	298:15,21 299:1	goes 56:19 88:17
<hr/>	general 3:11 6:8,12	117:6 120:20	301:18,20 302:3	195:11 252:19
G	11:6,8 22:15	151:17 168:5	303:6 336:21	255:6 271:18
gadgets 314:15	85:17 86:19 94:11	226:14 229:22	346:21 351:18,18	298:14 299:5
gain 28:10 59:17	95:4 133:17	260:20 285:2	371:5	312:13 344:14
196:2 291:4	181:14 205:2	295:16 335:10	gizmos 406:15	351:20 395:17
game 36:17 234:2	282:7 285:1 299:6	336:18 350:17	Glad 81:10	going 10:22 27:10
397:11	314:22 326:13	355:6 356:8 357:2	globulin 6:14	31:1,17,22 33:13
gamed 141:9	335:15 378:20	371:14 377:11	globulins 45:18	34:18 36:19 37:10
gaming 146:15	395:18	396:12	glucose 400:17	40:5,14 42:14,16
242:19 255:20	generalities 114:5	Gilliam 1:25 5:5	glyburide 76:20	43:3,7,12 46:4
gap 76:8 80:2	generalizable	15:1,2 151:18	77:9 78:4,6	63:22 66:1,22
110:17,18 181:21	335:6	152:10 158:15	go 8:7,22 11:1	67:1 72:19 73:7
185:3,9,17 187:1	generalized 265:14	159:11 167:8	28:17 30:22 31:12	73:21 74:11 75:11
371:20 374:12,17	generally 95:19	169:18 171:21	34:4 35:5,6,14,15	81:17 84:13
374:21,22 375:6,7	99:14 198:15	172:14 173:16	37:18 39:8 43:22	101:13 102:14,15
375:10,15,16,17	202:15 302:8	222:15,18,21	44:3 46:5,10 63:8	106:6 115:14,18

120:9 129:12	195:5 199:18	grapple 199:7	392:13 399:15	294:8 295:2
130:10 135:6	202:12 203:11	grasp 372:8 394:13	grounded 28:20	307:18 319:3
147:12 155:10	210:5 229:22	great 38:12,14	group 1:19 6:11	350:4 384:22
159:3 165:13	248:1 256:19	41:10 43:6 62:11	16:10 21:3 23:5	387:5 401:11
167:10,18 171:2	260:11,13 261:1	71:14 75:16 83:2	27:6 29:22 30:4	guidance 264:6
172:2 173:4,9,18	271:20 278:19	95:9 105:18 117:5	31:20 32:16 40:4	276:22 278:10
176:14 177:4	289:19,20 292:1	193:19 207:20	41:2 49:14 57:17	281:1,3
184:14 186:18	295:19 299:2	208:1 209:14	69:10 75:20 79:19	guide 42:9
188:21 194:21,22	307:4 308:21	225:21 280:11	112:18 133:1	guideline 77:20
199:12,15,20	309:8 313:5	333:1 345:22	141:13 150:3	210:15 310:13
200:5,5,9 201:1	316:19 317:13	352:4 367:9,21	153:5,19 155:11	384:18 385:7
201:10 202:13,21	318:5 322:4	372:1 404:13	157:5 163:2	guidelines 78:11
205:8 207:2,3	323:13 327:11	greater 145:9	169:15 187:14,14	80:14 195:22
213:7,14 216:10	329:21 343:5,14	152:20 165:7	210:11 217:4	311:10 317:7
217:15,17 222:3	344:14 351:6	289:9 375:6	218:13 245:13	318:6,10 320:17
229:18 230:8	360:14 365:5	greatest 99:1	250:2 256:20	321:22 323:11
245:15 249:15	368:8 376:20	greatly 70:5 126:14	257:19 268:15	339:21 342:1,3
252:14 254:19	381:15 384:2	146:11	269:4 283:4	385:2
257:21 263:3	406:9	Greek 353:1	284:20 287:9	gut 299:17 302:1
274:18 276:14,17	gotten 149:19	Gregory 2:1 13:5,6	295:15 301:18	303:12,17
289:16 293:2	326:5	97:11 100:4 108:8	314:22 315:19	guys 325:22 336:20
297:8 298:5	government 326:14	109:2 130:3	316:3,5 321:7	336:22 353:1
313:17 314:17	326:16	252:12 253:1,3	323:12,21 324:7	396:16 397:22
316:13 326:18	go-round 134:12	303:9 313:1	325:8 331:6	gynecologic 23:16
329:8 330:3,11	Graff 375:8	360:12 361:9,13	333:15 335:15	24:10 91:12 98:10
332:18 338:18	Graff's 379:4	361:22	339:20 343:4	98:21
344:12 345:3,8	grams 109:16	Grobman 2:2 4:21	345:8 351:7	gynecologist 15:10
349:17 353:6	110:3,7 111:15,15	12:19 26:7,7	374:10 375:12	387:22 388:17
356:12 357:21,22	146:22 152:18,20	50:20 51:19 60:16	379:4,6	Gynecologists
358:17 362:7	165:8,14 167:12	81:19 85:19 86:16	groups 22:11 269:9	11:15
364:2 367:22	182:12 187:20	87:1 89:7 90:18	guess 46:21 51:19	gynecology 84:10
368:18 369:13,22	190:15 196:9	91:5,7 93:5 96:9	52:16 53:2 77:14	
378:5,7,7 387:3	204:10 221:9	98:5 99:9 101:18	78:10 86:22 97:20	H
393:7 394:17,21	224:2 253:16	105:15 106:5,19	125:15,21 128:17	half 89:22 287:19
394:22 395:8	254:4 263:18	107:1,17 108:2,21	144:2 146:10	363:12 404:22
396:12 398:20,20	gram-negative	144:1,2 227:22	153:16 156:8	halfway 147:2
398:21 399:7,7,17	330:8	228:12 229:12	157:1 188:11	half-an 297:1
405:7,10 406:2	gram-negatives	230:10 242:10	191:16 193:12	half-degree 240:12
good 7:3 8:18 11:3	330:15	243:9 244:3	196:2 198:5 199:9	Hammersmith
11:18 12:7 16:19	gram-positives	256:22 269:16	199:17 207:16	2:17 4:5 8:14,18
19:8 20:1,10 23:7	330:13	271:13 286:6	219:22 220:11	11:17 26:15
23:22 25:13 28:15	grant 13:10 14:2	305:13 317:18	221:4 228:13	hand 67:21 68:6,14
33:6,9 35:19,19	340:12	318:20 320:3,7,21	229:3 242:15	70:6 143:11
84:11 91:14 107:1	granted 62:18	321:2 366:17	244:2 266:15	205:17 231:20
122:12 135:11	350:18 358:22	368:7,21 380:22	281:22 285:4	250:14,17 262:12
149:19 156:5	grants 9:10	382:10 391:15,19	286:6,15 287:10	275:20 279:3,7
177:9 184:13	granularity 230:13	391:22 392:3,6,10	289:21 292:4	handed 33:19

handful 268:3	HCA 82:17,19 84:1	258:4 291:14	175:9 193:8	348:2,4 353:18
handfuls 70:7	95:11	336:14 383:12	hey 233:19	higher-level 111:22
handle 220:12	hCG 380:14,15,16	hearing 208:14	HHS 36:13	132:7
240:7	391:10	280:19 404:16	hi 12:12 13:5,20	higher-risk 270:15
handled 54:21	head 138:4 304:7	hefty 394:16	15:21 16:12,19	272:6 279:5
handling 221:20	351:4	HEIDI 2:15	22:14 80:18 118:9	highest 46:2 74:2
handpick 56:20	heads 263:6	held 30:5	141:18 148:16	178:4 184:11
hands 246:21 247:6	health 1:19,21,23	Hello 135:12 404:9	189:12 192:7	189:9 233:6
262:20 263:1	2:11,13,24 3:21	help 17:8,14,18	332:15,17 383:10	243:10,11
happen 91:21	4:17 6:17 7:11	71:10,12 107:7	383:12	highest-risk 184:14
121:11,12 130:8	13:9 15:12 17:11	114:10 171:11	high 44:18 45:6,8	380:21
218:5 382:3,4	20:11 21:3,9,16	252:4 273:8	45:12 49:14,15	highly 134:20
385:16	24:3 25:16,16	286:21	52:14 71:20 72:8	274:7,17,19
happened 119:18	28:21 39:21 40:9	helped 164:17	74:12,14 80:8	286:16 314:22
183:21 203:4	41:3,16,18 51:12	helpful 171:5 175:4	82:8 84:21 92:7	339:7 347:4
358:4	52:2 53:2 55:9	175:13 184:21	93:8,11 96:10,16	367:16 397:1
happening 234:22	88:15 112:10	396:3	99:6,11 106:13	highs 82:11 266:10
294:14	123:2 129:9,14	helping 53:4	110:10 116:18	267:16,18 284:2
happens 41:21	132:20 150:22	hemorrhages	133:7,18 134:3	284:19 309:13
78:16 99:18 193:1	178:18 179:1	137:21 138:1	150:17,21 152:19	high-level 111:16
276:12	203:1 237:2,5	139:19 142:15	153:7 158:17	high-risk 11:5 13:6
happy 261:14	241:10 252:21	145:5	171:22 172:11	102:3 111:21
373:20	277:12 279:16	hep 43:8 332:19,21	174:6 181:6	114:19 152:12
hard 30:12,14 52:6	306:7 326:11	333:4,8 334:15	201:18 202:16	165:20 166:9
83:22 86:2,7	329:4 332:14	335:3 346:22	211:16 212:2	214:2 273:7 279:8
100:15 120:21	333:3 336:4 337:5	347:3,14,16	214:3 218:16	Hill 12:3
169:3 196:19	344:3,11 348:17	351:16	219:3,4 225:15	historical 107:20
255:5 288:17	349:4,6 351:9	heparin 95:13,14	284:4,14,17 285:9	historically 348:16
297:16 328:19	359:15 378:18	95:17 101:6,6,11	296:8,13 298:10	399:19
372:10	379:5,7,9,12	101:22 102:6	298:11 314:6	history 146:6
harder 58:19 168:2	390:6,12	hepatitis 4:12 6:13	315:9 319:4 324:1	237:16 387:22
harm 381:18,20	healthcare 1:3 2:5	6:14,15 44:6	324:1 325:9 331:1	hit 228:14 243:13
harmonization	3:13 17:22 20:5	45:13 47:14,20	331:7,7 332:5	292:8
37:1,2,5,12,15	27:21 100:7	50:9 56:1 69:2	335:14 339:7	hold 52:6 86:2
160:3 161:19	353:22 357:10	71:3 101:21	399:21 402:12	132:16 218:18
252:20	361:18 362:13	332:19 334:10	403:14	318:16,18
harmonize 105:1	366:9 386:10	336:2 337:20	higher 14:11 56:5	holding 277:4
117:21 154:7	393:12	341:13 342:10	88:19 110:14	hole 320:13
harmonized 154:18	healthy 13:15	344:5 345:11	113:18 143:15,16	home 37:5 58:9
160:10 172:2	hear 10:4 78:13	347:21,22 348:1	153:14,18 161:3	221:10 358:2
harmonizing 106:2	114:8 159:8	351:19	183:20 184:19	361:19
107:7	281:11 370:14	hepatitis-B-surfa...	198:9 243:6,19	honest 169:19
harsher 73:7,8	372:11	347:19	244:13 245:6	356:21 368:17
Haven 22:20	heard 48:12 125:17	hesitant 195:10	268:12 271:8	honestly 225:8
HBIG 342:4	125:20 132:22	307:3	276:15,18 281:19	374:15
HBV 44:16 45:19	177:17 178:7	hesitate 27:13	282:18 300:18	honoring 51:4
46:1	212:15 257:5	heterogeneity	334:19 346:11	hope 50:11,16

134:9 362:6	169:4 180:14	285:1 313:3 319:4	hypothermia 220:6	396:7
hopefully 30:1	184:2,13 193:7,17	319:9 326:8	230:7,19 235:8	identified 32:16
36:21 134:12	207:4 230:21	327:17,18 329:2	237:4 241:11	157:6
202:21 203:1	240:19 265:1	338:18 349:16,18	251:21 264:1	identify 71:11
325:4 336:17	266:1 268:22	350:6,7 375:5,9	hypothermic	160:9 164:17
hoping 33:4	280:4,10,16 282:3	386:8	230:14 231:19,20	166:3 261:19
Horbar 2:22	282:11 304:10	hospitalwide 357:3	231:21 232:6	344:4 398:19
183:11,15 184:8	305:2 314:13,14	hospital's 65:14	234:16	identifying 75:22
196:7,14,15	318:13,16 327:17	131:17	hypothetical	346:20 347:2
197:13 199:8	327:20 331:18	hospital-acquired	248:19	396:10 399:12
212:14 213:2,12	334:6 345:10	32:17	hysterectomy	IDSA 310:13
216:13 220:7,14	349:22 350:1	hospital-based	390:17	IECT 372:22
222:4 225:9 228:9	351:11 357:9	41:17 209:1		ignoring 324:4
236:15,18 240:11	360:19 368:22	Hospital/Partners	I	IHI 178:13
240:17 242:2	369:14 370:16	6:8	ICD 209:2	Illinois 18:8,16
243:7,15 244:6	373:9 376:5	hour 5:14 6:5	ICD-10 36:15,18	134:19
249:5 253:14,21	394:12 398:11,14	218:12 221:13,16	56:11,12,18 57:9	illness 305:14
254:3,10 256:18	398:15 400:4	224:3,13 225:2,3	64:5 65:13,17,20	imagine 89:21
260:7 270:17	hospitalization	225:21 226:12,21	70:11,13 154:21	138:10 315:13
272:2,17 273:16	202:20	228:7,21 230:4	155:1,2,11 164:6	400:14,21
274:5 275:5,14	hospitalized 186:7	231:14 233:20	164:14,21	imaging 383:1,6
278:3 279:22	hospitals 17:12,18	297:2 301:13	ICD-10s 44:13	immigrants 47:16
281:2 282:20,22	19:1 20:7 24:15	311:3 341:15,16	69:17,18	immigration 342:9
286:1 288:10,15	25:11 54:12 71:5	hours 5:12 97:18	ICD-9 36:18 49:8	342:16 344:20
289:5,21 293:19	71:9,11 84:14	101:7 119:13	57:4,8 129:17	346:10,18,22
294:4,17 297:6,13	96:12 99:15 102:2	125:10 213:16	136:11 149:2	347:12 350:20,21
hormone 380:7	102:11,13 108:10	214:15 224:20	152:12 154:17	immune 6:14
horrific 382:2	108:16 110:21	225:1 260:6 340:8	155:4 161:21	immune-compro...
hospital 1:16,25	113:20 114:18	340:9,10 341:11	164:6,8 168:13	57:15
2:13 3:12,18 4:13	118:18 119:3	341:16 343:11	ICD-9-coded	immunization
4:20 6:12 11:6,8,9	121:20 122:7	345:7,7,8	154:16	53:12 54:19 69:1
13:22 15:3 18:7	126:1,3,7,15,21	How's 262:5	ICU 87:22 173:20	344:15 345:2
22:21 24:18 41:6	132:6,16,19 133:1	huge 76:9 90:10,11	idea 37:4 55:20,22	immunizations
50:6 52:4 53:8,10	136:14 149:1,13	113:6 167:12	150:8 195:5	44:15
54:9 69:16 70:3,7	149:20 150:9	170:3 172:4	224:22 230:6	immunoglobulin
81:15 108:19	156:6 160:6 161:6	375:16 378:10	251:1 257:2	332:20 333:6,19
112:12 116:13	168:14 172:21	human 28:21 257:2	306:21 309:10	351:18
118:11,18 119:6	178:15 183:19	326:11	327:7 341:11	immuno-natalogi...
119:10,22 120:1,5	184:6 198:3,6	hundreds 84:2	351:1 361:4	352:21
120:16 122:9	205:22 217:8	hurry 121:4 392:17	376:21 389:10	impact 43:13 45:6
125:18 128:2,11	218:14 219:8	hyaline 214:4,5	396:20	45:12,16 47:1
130:11,12 131:3,7	220:4 229:20	hypercoagulable	ideal 199:19	80:8,9 92:7
131:12,13,14,20	242:5 255:7	91:15	ideally 304:1	110:13 128:6
132:17 148:5	268:18 276:15,21	hypoglycemic	ideas 38:17	131:17 151:11
149:11 153:1	277:4,21 278:7	75:12 76:3,6,13	identical 14:19	153:8 159:3
156:18,21 163:19	279:4 280:5,8	hypoglycemics	235:4	162:14,18,21
164:3 165:9 169:2	281:15 284:6	76:19	identification	163:5 169:19,21

170:3 172:4 180:22 181:6 218:16 219:4 266:10 275:9 298:12 343:7 impacting 329:11 impairment 381:21 implement 17:10 17:18 37:6 59:11 209:9 implementation 69:15 209:5 396:19 implemented 56:19 70:11 130:5 136:2 136:4 376:9 implementing 371:2 implications 53:5 implicit 322:2 implied 311:15 321:9,11 import 99:1 importance 31:13 31:19 43:13 45:5 45:10 46:7 48:5,9 75:18 76:21 77:2 77:6,11 80:7 81:20 82:5,21 92:1 109:19 110:9 110:12 111:19 118:4,6 123:22 140:15 151:9 153:21 155:15 156:2 158:6,9 175:6 223:8 235:17 245:13,18 250:2 266:16 293:3 308:17 317:17 323:3,20 333:14 335:12 352:6,8 353:14 356:15 386:12 388:19 important 10:7 28:6,14 33:20 34:7 36:12 37:18	39:22 40:7,12,16 51:5,14,18 52:3 55:12 79:18 82:7 85:9 90:3 111:21 112:3 122:17 129:10 137:10 141:2 154:4 157:2 164:19 166:20 189:1 192:21 199:6 200:17 208:2,17 216:4 219:16 231:9 233:14 237:10 250:5,8 256:14 266:14 268:1 279:13 282:17 316:6 317:5 322:16 338:8 342:11 346:4 347:8 349:13 366:12 386:17 397:15 impossible 98:12 impressive 27:6 imprinting 301:6 improve 12:4,9 17:10 50:11 146:12 177:22 255:16 317:2 338:11 improved 149:16 382:16 improvement 12:1 14:7 17:13 18:22 43:14 45:8 47:5 68:20 79:17 80:9 83:1,16 92:7 96:17 140:21 141:16 151:12 153:10 179:11 181:11,13,16 182:7 185:10 196:18 202:10,11 219:6,7 232:2 233:5 237:13 240:21 243:21 244:4 251:21	255:11 256:4 260:12,15 261:22 266:12 267:5 289:12 298:13 312:6,15 316:8,18 322:19 335:9 338:4 343:15 improvements 14:9 396:6 improving 14:8 178:22 237:15 inappropriate 116:12 inborn 228:11 incentive 231:7 243:14 incentives 120:17 134:11 incidence 45:13 47:4 86:14 116:11 214:4,17 215:11 239:18,19 303:19 329:4 359:20 360:14 incidentally 382:5 incision 6:6 298:22 301:14 302:21 304:1 include 50:3 53:17 56:16 61:8 63:5 149:22 160:21 165:16,19 224:15 224:19 270:11 274:6,15,16 278:13 included 50:2,12 52:17 53:20 103:9 122:21 197:21 235:8 272:6 308:11 includes 14:16 17:11 59:9 269:5 270:19 271:11 272:13 285:22 309:9 including 25:22 60:6 76:19 119:4	119:8 132:4 187:19 274:19 278:20 279:2 363:10 396:6 inclusion 152:15 167:3 175:7 inconsequential 170:3 inconsistency 91:16 incorporated 160:16 incorrect 375:1 increase 50:11 89:17,22 127:22 165:12 239:19 327:5 342:16 361:20 363:21 increased 48:1 89:4 239:18 275:22 276:1 300:2 306:19 373:3 increases 90:2 108:13 342:9 increasing 47:13 76:18 356:3 359:21 363:14,15 incredible 203:13 incredibly 286:16 355:11 390:9 independently 129:15 index 19:19 235:2 indicate 216:9 indicated 178:11 218:3 258:10 259:11 314:10 indicates 137:20 indicating 300:21 indication 184:13 405:19 indicator 14:3 52:4 52:12 139:11,16 139:17 140:11 154:8 155:8 162:19,20 166:1 166:10,11 168:11	175:3,9 indicators 13:11 26:14 132:10 136:6 159:17,19 270:4 individual 9:15 32:11 123:10 153:15 156:9 157:11 217:22 221:2 255:13 260:14 405:18,20 405:22 individually 285:4 induction 18:4 inductions 178:11 infant 4:22 53:9 177:21 265:5,11 265:17 271:7 272:7 334:11 336:8 337:15 339:5,8 infants 5:6,10 44:19,20 45:1,18 49:2 53:7 71:6 109:15 110:2,6,15 111:14 146:21 180:3,13 181:2 182:5,11,15 186:6 187:20 188:4,5,13 190:14,19 204:9 205:21 206:13 207:7 208:9,11 212:11 213:14 214:22 221:7,8,10 221:11,15 224:1 224:12 264:20,20 265:1,22 266:5 270:2,3,19 271:2 271:11 272:3,5 280:5 286:3 288:21 290:2,6 317:4 318:1 333:4 333:7 337:2,6,12 337:19 338:5 341:2,5 347:11 infant's 281:17 infected 45:19
--	---	---	--	---

49:10	infrequency 82:11	insulin 76:14	interpretable 205:2	irrefutable 359:6
infection 15:2	infrequent 82:12	insurance 25:17	interpretation	issue 37:1 49:6 54:1
44:17,21 45:3	ingrained 328:6	128:20	231:1 319:16	55:1,9 56:19
46:2 152:13	inherently 326:21	insurers 384:5	320:6 321:6	69:17 76:12 79:17
153:18 156:4,5,7	initial 45:16 103:4	intending 50:15	interpreted 65:9	87:10 111:3 116:3
163:20 165:12	299:18 354:5	intense 404:18	interrater 262:14	116:6 119:11,20
167:21 169:13	363:5,7 364:1	intensive 14:3	interval 318:3	121:3 127:2
170:1,14 264:19	initially 44:3 74:3	16:16 167:5	intervene 388:6	128:10 129:17
265:15 267:10	75:22 77:1 111:11	intent 321:21	intervention 85:5	130:4 134:13
268:22 281:9,17	112:17 393:1	intention 384:15	343:6	138:9 140:15
282:2,11,16 283:2	initiate 17:12	interaction 269:22	interventions 267:6	158:18 190:14
284:21 285:2	initiative 22:8	interest 4:5 10:6,7	388:12	196:4 210:1 232:1
295:17 298:11,16	177:20	10:10,16 12:7	intra 90:1	232:14 250:7,8,21
299:1 300:7	initiatives 17:19	18:3 34:6 54:9	intracranial 137:21	254:18,21 256:2
303:20,21 328:2	18:3 172:16 178:7	167:7 179:14,14	139:18 142:15	258:9,11,21
339:1,8 348:17	injuries 136:20	329:10	145:5	260:20 277:11
406:4	137:2,10 138:3	interested 9:7	intrapartum 6:10	280:2 282:9 298:8
infections 5:4	139:3,13,14,21	159:8 175:1	315:18 316:3	298:12 308:7
32:17 44:17 47:20	140:3,3,18,22	244:10 294:13	intrauterine 359:7	318:12 321:20
47:21 151:19	141:17 142:9,9,16	312:20 326:4	intra-postpartum	330:6 334:8,14
155:21 165:16	142:17	interesting 57:1	89:14	335:8,19 343:9
169:17 172:18	injury 5:1 135:8	103:2 125:19	intrinsic 325:18	344:19,21 347:10
173:20 268:2	140:5 144:8 149:8	168:20 169:10	introduce 9:1	364:4 366:18
270:9 272:14	175:8,10	260:9 275:14	177:7 195:17	370:18 371:2
275:20 283:5,10	innercity 116:16	340:7 350:19	introduced 390:3	376:8 388:1,3
inflammatory	117:7 365:21	401:15	introduction 27:11	issues 36:3 37:15
383:5	input 48:12 115:19	Interestingly 100:6	introductions 4:4,6	46:18 90:20
influence 222:10	155:11 183:1,5	123:11	8:16	124:18 126:2,9
255:2	insight 85:16 96:13	interests 9:21	intubate 215:22	129:10,14 138:10
influences 255:2	insignificant	interim 70:13	intubated 215:14	141:5 155:5
informal 27:12	287:21	77:18	intubation 168:14	158:19 194:10
information 36:8	inspect 243:17	intermediate	215:6	199:2,7 212:20
42:15,21 50:13	instance 121:2	238:13 306:7,15	invested 295:12	213:9 249:8
53:1 54:3,6 56:2	158:3 251:19	Intermountain 2:5	investigations	254:12 274:11
57:11 58:7 62:12	instances 102:14	17:22 100:7	145:6	293:8 298:18
62:20 65:15 71:21	institution 52:5	internal 68:19	inviting 352:20	314:1 317:4 324:8
73:18 110:22	65:16 83:9 84:1	160:8 202:10	involved 10:11	327:3 366:7 394:1
131:16 133:16	institutions 65:19	203:14 204:3,12	12:1 13:16 15:17	394:7 397:17
148:10,18 229:20	83:7 383:7 400:1	217:9	22:8 178:8 199:22	items 220:20
318:15,17,19,21	400:4	internally 126:22	319:19 348:11,22	iterations 292:2
327:12 341:2,14	instructions 65:10	261:22 278:9	349:2	IV 265:16
341:18 342:20	350:15	international 23:15	in-house 8:15	i.e 210:20
345:12 362:4	instrumented	internet 326:20	iPad 314:18 318:8	
396:3 397:18	143:3,13,21	internist 16:3	IPPS 172:22	
403:15	insufficient 71:21	interpret 212:17	173:18	
infrastructure	74:12 79:22	255:7 263:3	IRM 342:8	
120:9 177:16	402:13 403:14	380:12	irradiate 381:10	

J

Jaleel 2:3 5:13
16:12,13,13
122:14 123:1,7

165:4,5 166:17 189:12,13 191:12 191:20 192:1,20 193:10 196:21 204:5 205:18 210:3 213:18,19 226:9,18 227:8 228:7 230:15 233:13 236:2,17 238:21 244:16 253:4,20 254:1,5 263:10,14,16 264:7 292:14 295:6,9 327:13,14 327:16 329:12 346:15,16 347:13 347:16 348:7	job 58:22 91:14 117:6 289:19,20 Jochen 2:9 5:22 6:4 13:20 155:22 Joe 183:3 185:14 JOGNN 24:9 Johnson 15:7,7 177:13 John's 2:11 join 75:15 108:22 109:12 298:1 joined 152:1 joining 7:9,14 81:1 joint 2:25 108:17 154:6,8,12,15,18 156:16,20 158:21 160:2,5 161:19 162:21,22 172:2 268:21 348:11 jointly 52:8 155:10 JOSEPH 2:21 journal 24:10 204:14 316:16 324:6,11 Judging 372:2,7 judgment 106:16 221:1 juggling 30:9 jump 27:13 61:4 151:21 justification 171:12 justified 196:20 justify 169:1,3	366:4 376:2 387:20 397:16 keeping 66:10 230:3 255:17 Kelly 2:4 4:17 19:2 19:2 75:17,20 125:22 194:10 235:13 245:8,22 250:19 374:7 375:22 379:13 386:13 387:2 key 51:7 52:17,18 272:9 359:20 keypad 33:19,21 34:8,10 Keystone 25:9 kid 351:2 kids 192:12,17,21 193:6,8 344:6 Kiehn 2:5 4:15 17:21,21 43:16 44:2 46:9,13 49:1 68:21 72:15 100:6 103:1,13 126:19 150:22 205:5,11 231:10 286:22 Kim 108:7 130:2 303:8 306:2 360:12 Kimberley 13:6 KIMBERLY 2:1 kind 10:8 21:18,19 55:19 86:7 93:8 101:19,20 103:22 116:11 125:15 131:16,18 132:3 140:21 145:6,15 147:9 155:17 156:10 159:20 188:14 196:2 199:21 209:12 211:12 218:19 226:6 228:22 232:13 238:11 247:15 248:6 250:11 253:17 266:2 276:19	290:16 293:16 304:8 308:13 312:3 351:13 364:11 383:18 389:4 390:11 397:11 kinds 10:19 70:3 120:14 140:22 274:10 304:13,15 314:14 385:6 397:17 knew 360:18 know 8:13 12:9 38:20 46:10 56:11 62:17 75:4 85:20 86:7 89:3 98:16 101:22 107:13 121:21 124:15 131:19 136:3 137:1,14 144:6 145:22 154:4 155:2 156:11 165:11 168:17 169:14 171:11 183:1 184:17 187:13,22 188:4 188:17 190:3 203:2,16 204:2 208:15 210:14 222:2 225:9,14,18 226:4,7 227:10 229:1 230:1,13,21 231:19 232:8,10 235:22 238:3 240:10 242:20 243:3 245:2 249:16,19 251:8 254:15,16 255:13 271:13 276:6,13 277:1,14 278:4 279:11,20 282:12 286:5,9 288:18 290:1 291:2,17 293:17 294:10,18 299:14 301:4 303:4 306:11 307:18 315:3	318:6,12 324:15 329:21 330:9,10 330:15 333:11 335:13 341:12 345:16 350:2,3 351:15 360:1,13 361:21 363:11,13 363:18 367:20 371:10 377:2,7 381:19 382:6 383:2,16 384:5 386:13,19 387:3 389:6 393:11 395:22 396:15 397:8,18 398:7,18 399:6,21 400:6,11 401:13,16 404:19 knowing 98:2 166:1 358:2 376:7 376:10 knowledge 77:21 274:10 known 373:2 knows 117:17 394:10
Janet 2:13,15,25 6:21 23:8 80:20 87:4 100:17 104:19 105:6 113:8 148:14,20 352:18 362:16 388:22 January 172:22 Jay 3:18 373:8 374:19 383:10 JD 2:17 4:5 Jeff 183:15 196:7 256:16 275:6 282:14 289:15 Jeffrey 2:22 272:10 287:6 293:13 Jen 362:5 Jennifer 1:14,15 5:16,19 12:13 55:15 56:8 70:1 101:1 116:21 218:9 359:18 376:12 377:5 Jenny 18:6 Jersey 1:20 21:10 22:5,7 121:17 136:9,13 141:2 Jersey's 22:2 Joanne 1:14 25:14 128:22	<hr/> K <hr/> Kate 1:19 21:2 Katherine 336:12 Kathleen 2:10 6:12 24:16 315:20 331:4 Katrina 177:18 keep 30:12 33:20 33:22 46:4 95:4,6 117:8 229:11 236:13 253:7 291:6 329:14 344:17 356:13	<hr/> L <hr/> lab 336:7 400:20 labor 111:9 125:3 128:3 130:20 149:15 laboratory 338:17 labor-intensive 378:5 395:9 laceration 144:16 144:21 lacerations 138:4 139:20 141:21 142:4,12 143:6 144:7,14 lack 51:21 141:14 175:7 257:13 354:3 372:21 390:5 lady 313:15 language 320:15,19 321:19 322:1		

Laptook 239:12	404:10	137:3 150:12	373:13	270:14 291:4
large 25:16 112:15	Laura 1:10,13 2:18	158:8 186:1 194:2	limitations 85:17	299:9 303:10
126:7 130:16	11:5 43:4 150:5	201:22 212:10	129:19	307:3,17 309:3
178:14 184:18	224:17 345:21	224:6 247:21	limited 62:8,18	313:19 344:2
187:14,16 216:15	lay 227:14	262:13 312:9	143:9 208:10	363:19 367:13
287:2,3,16 290:20	LDR 229:2	314:3 330:20	limits 349:1	376:21 399:15
329:15 334:22	lead 18:14 31:10,10	383:4 388:18	line 78:11 167:15	405:2 406:15
354:18 369:14	31:11 81:17	391:3 394:3	179:19 297:1	live 44:5,9 110:4
375:7 378:14,17	109:17 151:18	402:17	372:4 387:18	177:13 246:10
379:2	193:8 217:1	leukemia 381:21	lines 172:18 404:12	366:9
largely 14:18 82:6	275:22 278:6	level 4:23 110:3,16	linked 238:14	liver 45:21 340:19
85:1 143:9 294:10	381:15 405:14	110:19 112:1,14	368:15	lives 27:8
307:6	leadership 22:2	112:21 113:4,12	linking 306:13	load 348:3
largely-solved	leads 181:2 377:16	113:20,20,22	329:8	loads 44:18
69:12	381:16	115:4,5,9 116:20	list 38:3,10 77:9	local 102:8 113:1
larger 163:2	learned 321:12	117:12,13,16,17	84:21 226:10	117:13 131:21
184:10 268:12	leave 60:4 77:14	117:18 118:18	265:8 273:21	161:5
270:11 287:2	220:22 266:15	119:6,18 120:1,5	347:22	locally 117:2
294:10,14 295:3	305:2 406:16	120:5,6,19 121:19	listed 107:12 164:8	located 110:20
295:18 369:12	leaves 138:8	122:4 123:14	235:18 265:7	176:16
398:14	leaving 53:10	127:7 128:11,11	267:22	location 243:21
largest 70:7	310:11	129:22 130:7	listening 7:21	locations 207:3
Lasswell 111:13	Lee 2:8 20:11 39:8	136:3 240:21	literally 85:19 86:4	263:19,20
lastly 296:15	58:1 100:1 115:21	241:11 246:2	102:14 144:17	locus 132:17
late 5:20 6:1 179:4	118:14 131:9	259:1 261:20	literature 98:19	logic 138:11 184:9
264:12,15 384:22	187:11 259:6	275:11 279:18	137:7 139:1,4	236:16
386:14 391:12	328:17	280:4 292:6,6,8,9	166:18 239:9	logistic 28:12
latest 153:16	legal 384:4 388:4	292:15 335:16	253:4 305:11	325:18 341:1
late-onset 239:18	legs 98:17	leveling 114:19	359:10 384:2,4	logistical 59:13
laughter 21:13,21	length 75:21 116:2	levels 110:21	389:11 390:20	long 35:12 41:8
95:3 108:6 109:1	119:9 143:15	113:11 136:4	398:19 400:6	94:5 97:18 112:4
134:21 141:4	275:7,13,22 276:1	275:11,13 348:15	little 8:10 15:3	112:11,14 139:19
147:7 150:18	281:15	380:7	19:20 22:6 27:11	169:4 193:16
162:4 168:9	Leslie 2:6 19:8,10	leveraging 98:9,21	30:14 33:19 38:8	275:17 299:19
173:11 200:7	42:7,19 147:14	liaison 15:13	42:17 58:19 62:11	337:2,12 339:10
210:6 229:15	lessened 325:5	license 121:20	63:21 65:8 70:10	355:11 381:4
234:6 239:2	lesser 388:10	licensed 122:11	83:1 97:20,22	404:18
242:14 247:3	less-registry-limi...	licenses 121:18	98:6 103:21 108:5	longer 89:5 275:18
264:10 304:4	211:12	licensure 122:5,13	115:13 130:12,15	295:12
307:16,21 314:20	less-than-Level-3	life 214:16 255:6	132:11 135:21	longer-term 299:10
321:14 327:21	111:8	264:19 265:10	140:19 144:17	304:16
328:13,15 329:19	less-than-50 127:4	ligation 391:16	150:20 161:3,3,13	longitudinal
357:15 358:15	let's 14:15 48:6,20	392:2	162:18 163:5,14	255:10
367:19 368:20	52:7,22 67:20	likelihood 298:16	168:6 195:10	longstanding 91:11
369:1 379:19	71:17 74:9 106:10	299:1 300:2	210:13 225:7	91:16
385:15 393:16	108:22 109:6	390:21	230:18 233:10	long-ago 387:22
400:16 402:5	118:6 133:9 134:1	limit 115:18 209:7	255:15 266:3	long-lasting 90:14

long-term 142:1 238:14 306:10,12 308:9 336:1 381:20 388:16 397:13	335:7 366:13,14 366:18 367:4 374:8 378:20 381:10 405:11	low 52:10 56:7 71:20 72:9 73:18 74:6,15 82:8 83:20 91:7,20 93:9,12 99:6,13 106:14 134:4 151:1 153:17 167:1 172:12 174:6 194:9 195:20 211:17 212:3 220:17 225:4 236:12 238:19 267:15,16 271:18,21 272:15 284:15,17 285:10 290:6 295:10 296:9,14 314:7 315:10 331:2 332:6 335:9 343:12 344:1,2 349:19 363:2 380:7 398:8 402:13 403:14	low-birth 286:3 low-birth-weight 110:15 114:2,20 181:2 264:20 270:2 low-cost 85:5 low-frequency 90:5 lumped 287:8 lunch 176:14,20 291:19 lung 214:17 215:12	Mamatha 3:13 135:13 Mambarambath 2:3 5:13 16:13 managed 16:2 360:22 management 150:2 mandate 242:4 243:17 244:9 249:16 326:12 mandatory 68:2 172:20 manipulation 138:5 manner 180:18,19 203:6 manual 49:5 100:14 325:4,6,10 331:10,13,15,18 manually 41:13 mapping 155:7 maps 164:15 March 1:15 16:6 16:21 17:6 18:2 18:12 20:19 22:7 23:20 25:2 marginal 291:4 351:21 Mary 19:10 147:12 Maryi 2:6 42:5 mask 276:14 masked 279:2 masking 278:1 Mass 11:6 Massachusetts 3:11 6:8,12 11:8 309:6 312:15 316:22 325:2 326:3 344:7 387:8 matching 171:8 material 298:20 300:3 materials 79:22 406:17 maternal 4:23 13:10,13,18 82:1 82:14,15,18,19
look 27:19 28:6 32:9,18 43:7,13 49:11 52:10,13 54:7,8 57:12 66:5 70:4 71:9 73:16 103:17 104:3 122:20 129:21 149:14 153:11,15 163:14 169:16,22 200:10 205:14 235:6 238:4 239:14,15 245:18 255:15 277:2 280:1 287:5 293:2 297:16 316:20 331:17 344:13 346:3 361:6,11 384:12 387:7 395:1 398:4 400:8 401:5 405:15,21 406:1,7	looks 14:7 67:19 107:14 199:1 243:18 247:18 274:22 316:15,17 372:7 lose 229:19 230:8 230:12 233:10 337:7 loss 337:8 lost 230:2 lot 13:17 28:4 29:4 33:6 37:16 39:16 39:20 40:8,8 49:5 58:21 64:4 104:7 120:19 126:1,8 131:13 143:18 149:12 171:9 177:15 181:21 182:3 195:11 203:19 208:6 218:17 219:1,7,8 229:7,8 241:13 254:14 260:1,5,21 261:13 267:21 277:12 278:21 281:16 282:8,10 283:3 295:13 298:7 300:7 304:22 316:13 319:19 333:12 335:14 343:15 345:9 346:11 349:8 356:5 360:18 368:8 376:14 377:11 386:3 lots 28:8,9 203:6 355:6 393:20 loudly 130:22 Louis 24:18 Louisiana 1:22 178:1 350:5 love 109:11	Lowe 2:7 23:22 24:1 59:4 60:9 79:21 102:18 103:10,14 134:15 185:1 186:12,22 187:3,6 224:17 lower 110:21 153:14 236:13 237:1,7 245:3 281:17,18 334:19 351:22 lowers 101:14 298:16 lower-birth-weight 189:5 lower-gestation-r... 189:6 lower-level-of-care 188:15 lowest 127:21 184:11 243:13 294:6 lows 82:9 133:8 low-bar 218:14,19	machine 98:18 main 2:23 31:13,18 32:2 106:17 112:8 113:8 116:9 119:5 120:12 123:5,8 126:6 127:5 132:2 263:22 270:16 maintain 34:8 67:11 170:15 253:7 388:10 maintenance 1:4 7:12 28:2 38:5 41:22 180:7 185:13 186:1 402:20 major 11:7 107:21 116:17 131:17 144:9 166:7 214:21 216:17 263:4 277:8 313:15 360:4,7 361:7 371:1 376:8 majority 27:22 89:13 101:22 141:22 142:15 245:1 268:2 309:15,16 making 51:16 53:6 100:16 105:11,13 106:1 115:13 208:2 212:15 227:13 392:14 malpractice 387:8 387:11	

84:20 91:20	286:10,14 289:7	77:10,17 79:5,6	221:3,14,18,21,22	352:4,8,14 353:6
109:14 360:4	290:4,15 291:6	80:7,15,17 81:4	223:8,18 224:15	353:14,21 356:16
361:7,14 387:5	292:15 293:20	81:16 82:21 85:10	225:8 226:13	363:3 369:3,22
maternal-fetal 2:2	294:17,20 300:19	92:6,12,14 97:2	230:9 231:7 232:4	371:8,9 372:1
12:13,20 26:10	308:12 310:1	97:13 98:2 100:2	232:5 233:14,17	373:16,17 374:12
maternity 11:22	320:8 325:20,22	102:21 103:16	233:19,21 234:15	376:9 378:6,21
12:4 18:22 19:6	329:22 334:3	104:8,12 105:17	234:16 235:9,16	383:15,20,20
178:14 205:21	335:18 337:5	106:17 109:10,13	236:7,19 237:13	384:6,7,12 385:12
350:6 360:2	338:9,21 343:21	109:14,20,22	240:6,14,18	385:22 386:7,12
math 30:12	346:11 349:15	110:9,12 112:10	241:19,19 242:1	388:19 394:14
matter 48:10,11	350:17 358:11	112:12 117:19	243:10 244:2	395:19 396:5
122:5 176:19	361:9 378:4 381:3	118:2,13,17 119:2	245:16,19 246:10	397:6,11,19
206:22 250:10	381:6,10 382:12	119:3,20 122:13	248:3 249:2	401:10 402:20,20
260:2 278:5	382:13 384:18,21	122:17 123:22	251:14,17 252:11	403:5,10,17
280:21,21 291:10	386:2 396:11	124:10 127:22	253:13 257:14,21	405:11
292:15 297:19	400:18	128:9,14 132:4	258:2,5 259:13,14	measured 5:14
304:22 341:17	meaning 94:6	133:3,12,17	259:17 260:10,11	53:18 153:8
matters 9:9,12	meaningful 349:19	134:10 135:9	261:1,2,9 265:3,4	218:11 221:16
maximum 381:7	349:20	136:1,16,22 141:8	265:5,5 266:3,8	224:3,12 226:11
MBA 1:19 2:2,15	means 80:14 93:2	141:19 142:3	266:10,12 267:2,2	226:20 231:5,13
MD 1:13,14,15,18	94:2 108:14 131:1	146:5 147:16,19	267:4 268:8,8	measurement 4:12
1:21,22,23 2:1,2,3	151:15 193:16	148:19,22 149:21	270:18,19,22	11:21 39:14
2:4,9,12,12,13,19	218:5 288:18	149:22 151:9,16	271:3,10,10,11	126:15 171:16
2:22,23 3:10,16	meant 225:1	153:21 154:9	272:6,13 273:9	205:10 232:18,20
3:18 4:3,8	371:11 392:1	155:18 156:9,11	274:2 275:12	232:21 234:2
mean 60:18 66:20	measure 13:15	156:14,16,17,20	277:5,6 278:1	255:10,12 256:8
67:7 72:3 78:19	14:15 15:15,16,18	159:6,9 161:18	282:3,12 283:13	259:10 349:16
86:20 92:5 97:6	16:8 19:16 20:22	162:15,21,22	283:18 285:6,20	measurements
98:14 99:10 102:4	29:15,20 30:6,10	171:1 173:15,17	286:13 289:8,17	21:15
105:19 107:11,13	31:11 32:3,5,6,7	174:8 179:12,18	291:14 292:1,8,20	mesurer 230:14
108:4 111:20	36:11,20 38:13,13	180:6,8 182:15	293:3,11,13,14,17	measures 4:10 7:6
120:21 121:3,10	39:13,21 40:15	184:4,12 185:7,13	293:21,22 294:12	11:9,12 12:8,21
124:21 144:3	44:15 45:5 46:8	185:22 186:9	299:9 300:9 302:7	12:22 13:2,12
163:5 167:9	48:9,13 50:2,4,8	188:20 193:9,14	303:18 308:17	14:14,17,20 20:16
169:20 172:15	50:10 52:17,18,22	193:15,20 194:12	309:5 310:12,20	21:6 22:17 23:3,3
173:9 188:12,12	53:4,16,17 54:15	194:13 195:18	311:4 312:5 315:1	24:21 25:7,12
189:8 190:13	54:19 59:16 60:6	196:13,18 197:3	315:17,20 316:7	27:16,20,21 28:1
196:11 198:2,6	60:12,19 61:7,11	197:21 198:2,7	317:17 322:16	28:3,6,8,11,15
205:9 220:15	61:15 62:11,18	199:11,12,16	323:3,16 325:13	29:4,8,14 30:9,11
227:6 232:8	63:11,13,19 64:4	200:10 204:2	325:17 327:5	30:14,16,17,20
234:12 238:9	64:5,11,12,19,22	205:3,8 207:8	328:22 329:2,7	31:2,9 32:8,14,15
242:18 243:2,14	66:18 67:10,22	208:3,13,15 210:2	331:17 333:2,12	32:17,19 33:7
245:22 256:3,22	68:2 69:16 70:19	212:11 213:8,22	333:14 336:6,19	36:7,8 37:6,11,17
257:3 259:11	71:8,15 72:2 73:5	214:8 216:3,3,9	338:8,10,13 339:8	37:22 38:1,4,5,19
260:8 269:20	73:12,22 74:17,19	216:10 217:6,8	342:12,21 346:8	38:21,22 39:2
270:1 273:1	75:7,19,21 76:16	218:11,20 219:1	348:21,22 349:8	40:10 42:8,9
277:14 278:12	76:16,20 77:5,8	219:19 220:1,6,21	350:4 351:9,9,16	51:22 54:20 59:11

59:17 62:2 76:10 107:6,15 108:10 108:12,18 110:1 115:19 117:21 118:11 135:7 141:13 154:14 156:4,5,7 157:5,7 157:11 159:16 161:15 171:6 180:12 197:18,19 198:15,18 199:1 203:20 205:15 207:10,11,21 208:1,19 209:1,1 209:13,20 210:21 210:22 211:3,7,10 213:1 217:16 218:1,7 234:1 235:3,6,7 260:13 261:16,19,21 268:21 271:17 276:10 277:20 278:15 280:7 282:7,13 284:21 285:2 288:1 291:1 291:9 292:21 295:20 297:7,10 298:3 348:8,14,14 348:18 353:5,9 365:15 371:22 372:20 395:2 396:20 397:1 403:3,9 405:8,16 405:18,19,20 406:4,5 measure's 137:8 measuring 45:16 141:7 202:18 218:21 223:20 226:6 mechanical 94:11 165:10 168:11 mechanically 152:22 170:10 mechanically-ve... 167:17 mechanism 299:20	306:21 352:2 mechanisms 313:2 median 269:4 Medicaid 16:2 23:1 116:3 129:5 172:17 178:17 208:20 211:5 326:5 medical 1:14 2:1,4 12:14 13:7 15:22 16:15,15 19:4 22:21 23:9,11 41:11,17 58:9 74:1 88:3 95:8 102:12 104:10 331:9 365:18 369:13,18 382:14 384:4 388:4 394:19,20 medicalization 101:12 medically-manag... 360:22 Medicare 22:18 23:1 172:17 208:20 326:4 medication 303:22 medications 78:9 medicine 1:22 2:2,5 2:10 12:14 13:8 13:19 14:1 15:10 19:5 23:13 25:19 26:11 88:6,21 355:3,9 369:10,17 medicines 367:1 Medi-Cal 128:4 Medline 354:5 meet 32:19 45:9 61:10 76:21 77:6 77:11 104:8,12 113:1 122:10 152:14 157:12,14 158:2 198:9 220:2 246:12 258:6 259:4 262:7,10,16 meeting 7:10 13:4 29:17 31:7 58:11	81:1 112:18 116:4 179:15 218:15 219:8,10,12 239:14 321:3 374:16 404:20 406:20 meets 32:3 48:15 67:4 68:3 69:14 74:19 77:2 80:8 92:6 106:17 122:6 151:10 235:17 245:12 259:18 member 12:12 13:5 13:11,13,16,20 14:12 15:1,8,21 16:5,12,19 17:21 18:6,18 19:2,8 20:1,10 21:2,8 22:14 23:7,22 24:16 25:13 26:7 26:11 39:9 40:19 40:22 42:2,7,19 43:16 44:2 46:9 46:13 49:1 50:20 51:19 53:11,15 54:17 55:17 56:9 56:17 57:3,9 58:6 59:4 60:9,16,17 61:12,19,22 62:6 62:10,14 63:16 64:3,15 65:3,21 66:14 67:12,17 68:21 70:2,18 71:22 72:15 73:2 75:20 79:4,10,21 81:19 85:14,19 86:8,16,18 87:1,2 89:7 90:16,18 91:2,5,6,7,10 93:5 96:9,22 97:11 98:5 99:9 100:4,6 101:2,18 102:18 103:1,10,13,14,15 105:15 106:5,19 107:1,4,17 108:1 108:2,8,21 109:2 109:21 111:20	114:7 115:22 116:22 118:9 119:21 120:15,18 121:16 122:14 123:1,7 124:6 125:14,22 126:19 127:19 128:17 129:8 130:3,14 131:10,12,19 132:14 133:11 134:8,15 135:16 135:19 140:13 141:18 143:22 144:2 146:1,18 147:4,14 148:2 149:13 150:19,22 151:3 152:10 155:16 156:1 158:15 159:6,11 161:13 162:1,7 163:7,22 164:5,10 165:5 166:17 167:8 168:7 169:9 169:18 170:19 171:21 172:14 173:16 177:9 179:10,17 180:6 181:12 182:22 183:4 185:1 186:12,22 187:3,6 187:12 188:6,9,22 189:12 191:7,12 191:16,20,21 192:1,2,7,20 193:3,10,13 194:8 194:10 195:9,10 196:21,22 201:14 202:6 203:10 204:5,16 205:5,11 205:18 207:9,19 210:3,16 213:19 218:10 219:22 220:10 221:4 222:15,18,21 223:4,16 224:17 225:5 226:9,18 227:1,8,9,11,17	227:22 228:7,12 229:7,12,18 230:10,15,17 231:3,10,15 233:13,22 234:4 234:21 235:11,13 235:19 236:2,17 237:22 238:21 241:17 242:10 243:9 244:3,12,16 245:8,22 248:2,8 248:14 249:1 250:1,6,16,19 251:10 252:2,5,7 252:8,12,19 253:1 253:2,3,4,20 254:1,5,11 255:9 256:1,6,22 257:4 257:9,12 259:7,16 259:22 263:9,14 263:15,16 264:5,7 264:8,15 266:22 269:16 270:6 271:13 272:8 273:1,13,18,19 274:21 275:6 276:4 277:11,18 278:12 279:15 280:11 281:6 282:8,21 284:1,18 285:19 286:6,22 287:10 288:5,14 289:3,15 290:15 291:5 292:4,11,14 293:8 294:2,8 295:6,9 300:15 301:10 302:12 303:9 305:7,13 307:2,9 308:1,6 308:10 309:21 311:19 312:2 313:1,7 315:22 317:18 318:20 319:13 320:3,5,7 320:21 321:2,5,15 322:3,7,11,15 323:9,17,21 325:1
--	--	--	--	---

325:14 327:4,14 327:16 328:18 329:12 331:5 333:1 339:19 341:22 343:3 345:21 346:16 347:3,13,16 348:7 349:7 350:5 351:5 352:19 355:8 356:17,20 357:13 358:9,13,16,19 359:12,14,17,19 360:8,12 361:5,9 361:10,13,16,22 362:2,5,16 363:3 364:2,6,15,18,19 364:21 365:1,5,9 365:14 366:6,11 366:17 367:22 368:7,10,21 369:2 372:12 374:7 375:22 376:13 377:4 379:13 380:22 382:10,13 382:21 383:9 384:10,17,20,21 385:8,10,13,16,21 386:5,13 387:2 389:2 391:15,17 391:19,21,22 392:1,3,5,6,8,10 392:12,13,15,22 393:6,14 394:9 395:15,17,21,22 398:2 399:1,3,4,6 399:9,11,15,22 400:2,8,14 401:1 401:2,4,7,11,17 402:3,8,19 403:1 members 9:16 10:4 26:16 40:16 46:16 92:18 159:4 181:5 190:20 204:4 269:6 270:22 284:5 286:2,10,10 294:21 343:17 Member/Public	6:22 membrane 214:4,5 memo 157:16 406:8 Memorial 1:16 18:7 men 353:19 menarche 363:12 meningitis 5:20 6:1 264:12,16 301:19 menopause 362:20 363:6,6 368:2 mention 167:9 229:19 263:21 264:2,4 268:17 mentioned 25:22 69:13 145:1 172:3 195:3 206:17 254:7 261:4 273:6 276:6 281:13 286:1 287:7 291:13 292:16 328:5 375:10,12 mentioning 280:13 291:10 Mercy 1:23 2:11 16:1 24:18 merit 191:4 merits 157:12 274:19 message 190:9 met 1:9 110:12 248:21 265:10,20 335:12 meta-analyses 298:19 meta-analysis 111:12 214:12,14 400:3 metformin 76:20 77:8 78:3,7 method 146:5 243:22 244:8,9 285:21 methodologically 306:1 methodology	136:10 methods 54:10 metric 96:11 122:13 128:5 336:9 359:16 metrics 178:2 179:10 MetroHealth 1:14 12:14 MGH 298:4,4 315:21 Michigan 25:8,11 microbiome 305:16 305:18 306:6,9,13 306:16 microphone 131:11 250:20 middle 129:4 midst 17:6 Midwife 19:11 mid-afternoon 297:3 MILLER 2:18 millimeters 144:18 million 91:3 298:10 301:14 302:10 Milton 154:11 mind 40:22 101:14 113:18 115:8 376:3 mindful 290:22 mine 398:11 minimized 324:14 minor 141:22 162:5 285:21 364:8,14 minorities 69:14 minority 193:2 276:16 280:4 minority-serving 280:8 minors 364:3,5 minute 31:21 146:13 228:16 272:18 minutes 58:4 131:1 231:14 304:1	357:1 misadventure 382:15 miscarriage 381:16 miscoding 149:6 miscount 247:2 misinterpreted 124:22 125:7 missed 334:5 361:19 missing 133:16 184:18 220:11,12 230:20 231:1,16 232:11,14 234:8,9 234:10,20 235:10 242:7 243:8 247:19 317:20 380:18 383:22 mission 115:11 Mississippi 178:2 Missouri 24:18 mistake 122:1 391:16,20 mitigate 149:18 mixed 141:16 mixes 175:10 mixing 171:8 mixture 143:16 MMM 2:12 MMWR 337:15 362:6 modalities 383:1,7 model 160:20 161:2 266:6 268:17 269:5,11 269:19,21 276:19 277:10 278:4 294:3 328:4 modeling 274:10 models 274:8,12,13 274:20 277:2 291:17 moderate 45:7,8,12 49:14,16 71:20 72:8 74:15 82:8 83:20 93:8,11 99:6,13 106:14	110:10 133:7,18 134:4 158:18 172:1,11 174:6 181:15 182:7 211:16 212:3 219:5 237:3 241:11 266:11 267:15 284:2,15 284:17 285:9 296:8,13 309:14 314:7 315:10 324:2 325:9 331:1 331:8 332:5 402:12 403:14 moderates 82:9 267:16,18 284:19 modification 335:18,18 modifications 31:16 module 159:18 mom 301:20 318:22 319:1 336:8 347:9,9,19 moment 331:15 momms 17:11 100:12 119:14 299:3 300:8 301:18 333:5 344:5 money 10:11,21 211:6 344:14 390:10 monies 279:7 monitor 240:22 339:5 monitored 363:1 monitoring 149:21 209:17 month 103:8 154:6 months 8:20 38:7 85:21 155:13 165:3 337:10 354:11 393:21 moot 66:17 morbidity 275:21 morbidity 44:20 90:14 264:18
--	---	--	--	---

morning 7:3 8:18 11:3,18 16:19 19:8 20:1,10 23:7 23:22 25:13 27:1 135:7 152:4 154:10	MT 1:25 muddy 165:13 multiple 120:22 157:4 198:18 207:3 214:11 241:15 301:21 310:9 398:15	386:19 387:9 397:5 400:1 nationally 70:9 nationwide 335:6 384:2 near 295:11 316:11 338:5	319:7 324:5,9 341:6 348:6 neighborhood 57:5 151:7 Neil 375:13 neo 225:4 neonatal 14:3,14 16:16 24:11 159:17 164:20 166:7 167:4 197:7 204:6,7 215:3 236:5 238:4,18 239:21 251:16 254:6,7 283:2	neurological 381:21 never 21:11,11 107:18,21 108:2 125:16,20 188:13 234:13 235:11,12 260:18,19 330:3 345:2 368:8 new 1:20 12:21 21:10 22:2,5,7,20 27:21 62:20 67:12 79:6 113:13 121:17 136:9,13 141:2 177:13 211:2,6 214:20 217:10,12 291:1 316:16 324:6,11 390:1 396:20
mortality 44:20 110:14 161:4 177:21 209:2 215:11 239:19 281:19 359:15 360:2 366:19 376:17	multi-center 215:8 Muri 2:25 80:18,20 80:20 81:8,12 92:9,19 100:17 104:19 105:10,22 113:8,9 114:10,13 148:14,16,21 150:11 151:6	nearest 131:2,3,6,7 neat 329:9 necessarily 128:19 207:4 268:9 269:9 391:8 396:11 necessary 311:3,5 need 35:11 38:8 55:8 58:3 59:2 63:1 71:12 72:1 94:17,17 120:10 121:21 125:1 132:9 133:2 147:13 157:8,20 166:6 175:17,18 194:19 200:4 222:13 233:11 250:19 259:10 267:20 291:22 305:3 308:2 318:18 319:1 321:12 345:10 346:16 355:5 359:4 382:18 388:9 397:22 403:3	neonatalist 16:14 307:19 neonatologists 192:9 238:22 292:12 328:10 neonate 5:1 135:9 136:1 169:22 neonates 5:4,21 6:2 151:19 167:11,15 170:7 264:13 267:10 271:18	newborn 13:15 44:14 49:2 263:17 299:16 302:1 newborns 4:13 6:14 43:9 44:5,9 113:19 114:2,2,20 148:5 152:12,16 308:9 330:2,8 332:20 newer 324:13 news 131:22 NHAMCS 378:16 NHSN 153:12 nice 195:12 329:6 400:3
mother 29:10 49:10 119:9 307:5 307:13,19 324:8 337:18 345:12	MURPHY 3:10 47:11 49:22 50:16 51:9 52:19 53:14 53:22 56:1,13,22 57:7,10 65:6 66:4 71:1 73:15 75:9	needed 32:21 34:7 133:16 308:5 316:20 343:15 needing 334:8 362:17 needs 21:18 67:10 77:10 113:1 130:9 131:2 297:2 347:10 364:11 406:13 negate 395:2 negative 238:6 265:11,12 282:15 282:22 283:11 317:22 318:2	neonatologist 13:21 neonatology 139:10 nerve 139:21 142:17 nest 226:13 Netherlands 304:7 network 2:21,22 5:8,12,15,18,21 6:2 12:20 14:20 181:19 182:20 185:19 190:3 197:1,7 204:6,7,7 206:16 207:12 215:3 216:16 239:22 254:6 256:2,2 258:16 259:8 268:5 284:7 286:11 290:12 networks 119:17 Network's 14:6	newborns 4:13 6:14 43:9 44:5,9 113:19 114:2,2,20 148:5 152:12,16 308:9 330:2,8 332:20 newer 324:13 news 131:22 NHAMCS 378:16 NHSN 153:12 nice 195:12 329:6 400:3 NICHD 14:2,16 204:6 206:16 215:3 244:17 253:15 254:6 NICU 5:15,17 116:2,7 129:22 155:19 165:21 166:2,4 170:8,13 188:14 195:9 207:4 218:12 219:13,17 221:12 221:17 223:17,20 224:4 228:8 231:11 243:3
motion 60:10 move 39:17 48:21 66:18 68:18 75:11 80:16 123:19 127:17 129:10 135:6 147:13 171:19 174:13 200:3 201:8,9 202:4,12 211:11 211:18 212:10 213:14 217:16 223:12,14 224:22 245:9 272:18 283:22	MUTE 355:5	neonate 5:1 135:9 136:1 169:22 neonates 5:4,21 6:2 151:19 167:11,15 170:7 264:13 267:10 271:18	neonatology 139:10 nerve 139:21 142:17 nest 226:13 Netherlands 304:7 network 2:21,22 5:8,12,15,18,21 6:2 12:20 14:20 181:19 182:20 185:19 190:3 197:1,7 204:6,7,7 206:16 207:12 215:3 216:16 239:22 254:6 256:2,2 258:16 259:8 268:5 284:7 286:11 290:12 networks 119:17 Network's 14:6	newborns 4:13 6:14 43:9 44:5,9 113:19 114:2,2,20 148:5 152:12,16 308:9 330:2,8 332:20 newer 324:13 news 131:22 NHAMCS 378:16 NHSN 153:12 nice 195:12 329:6 400:3 NICHD 14:2,16 204:6 206:16 215:3 244:17 253:15 254:6 NICU 5:15,17 116:2,7 129:22 155:19 165:21 166:2,4 170:8,13 188:14 195:9 207:4 218:12 219:13,17 221:12 221:17 223:17,20 224:4 228:8 231:11 243:3
mothers 6:15 119:12 302:14 308:2 332:20 333:8 338:6,19 339:3 341:3,6 346:19,21 347:2	MURPHY 3:10 47:11 49:22 50:16 51:9 52:19 53:14 53:22 56:1,13,22 57:7,10 65:6 66:4 71:1 73:15 75:9	needing 334:8 362:17 needs 21:18 67:10 77:10 113:1 130:9 131:2 297:2 347:10 364:11 406:13 negate 395:2 negative 238:6 265:11,12 282:15 282:22 283:11 317:22 318:2	neonatology 139:10 nerve 139:21 142:17 nest 226:13 Netherlands 304:7 network 2:21,22 5:8,12,15,18,21 6:2 12:20 14:20 181:19 182:20 185:19 190:3 197:1,7 204:6,7,7 206:16 207:12 215:3 216:16 239:22 254:6 256:2,2 258:16 259:8 268:5 284:7 286:11 290:12 networks 119:17 Network's 14:6	newborns 4:13 6:14 43:9 44:5,9 113:19 114:2,2,20 148:5 152:12,16 308:9 330:2,8 332:20 newer 324:13 news 131:22 NHAMCS 378:16 NHSN 153:12 nice 195:12 329:6 400:3 NICHD 14:2,16 204:6 206:16 215:3 244:17 253:15 254:6 NICU 5:15,17 116:2,7 129:22 155:19 165:21 166:2,4 170:8,13 188:14 195:9 207:4 218:12 219:13,17 221:12 221:17 223:17,20 224:4 228:8 231:11 243:3
motors 6:15 119:12 302:14 308:2 332:20 333:8 338:6,19 339:3 341:3,6 346:19,21 347:2	MURPHY 3:10 47:11 49:22 50:16 51:9 52:19 53:14 53:22 56:1,13,22 57:7,10 65:6 66:4 71:1 73:15 75:9	needing 334:8 362:17 needs 21:18 67:10 77:10 113:1 130:9 131:2 297:2 347:10 364:11 406:13 negate 395:2 negative 238:6 265:11,12 282:15 282:22 283:11 317:22 318:2	neonatology 139:10 nerve 139:21 142:17 nest 226:13 Netherlands 304:7 network 2:21,22 5:8,12,15,18,21 6:2 12:20 14:20 181:19 182:20 185:19 190:3 197:1,7 204:6,7,7 206:16 207:12 215:3 216:16 239:22 254:6 256:2,2 258:16 259:8 268:5 284:7 286:11 290:12 networks 119:17 Network's 14:6	newborns 4:13 6:14 43:9 44:5,9 113:19 114:2,2,20 148:5 152:12,16 308:9 330:2,8 332:20 newer 324:13 news 131:22 NHAMCS 378:16 NHSN 153:12 nice 195:12 329:6 400:3 NICHD 14:2,16 204:6 206:16 215:3 244:17 253:15 254:6 NICU 5:15,17 116:2,7 129:22 155:19 165:21 166:2,4 170:8,13 188:14 195:9 207:4 218:12 219:13,17 221:12 221:17 223:17,20 224:4 228:8 231:11 243:3
motion 60:10 move 39:17 48:21 66:18 68:18 75:11 80:16 123:19 127:17 129:10 135:6 147:13 171:19 174:13 200:3 201:8,9 202:4,12 211:11 211:18 212:10 213:14 217:16 223:12,14 224:22 245:9 272:18 283:22	MUTE 355:5	needing 334:8 362:17 needs 21:18 67:10 77:10 113:1 130:9 131:2 297:2 347:10 364:11 406:13 negate 395:2 negative 238:6 265:11,12 282:15 282:22 283:11 317:22 318:2	neonatology 139:10 nerve 139:21 142:17 nest 226:13 Netherlands 304:7 network 2:21,22 5:8,12,15,18,21 6:2 12:20 14:20 181:19 182:20 185:19 190:3 197:1,7 204:6,7,7 206:16 207:12 215:3 216:16 239:22 254:6 256:2,2 258:16 259:8 268:5 284:7 286:11 290:12 networks 119:17 Network's 14:6	newborns 4:13 6:14 43:9 44:5,9 113:19 114:2,2,20 148:5 152:12,16 308:9 330:2,8 332:20 newer 324:13 news 131:22 NHAMCS 378:16 NHSN 153:12 nice 195:12 329:6 400:3 NICHD 14:2,16 204:6 206:16 215:3 244:17 253:15 254:6 NICU 5:15,17 116:2,7 129:22 155:19 165:21 166:2,4 170:8,13 188:14 195:9 207:4 218:12 219:13,17 221:12 221:17 223:17,20 224:4 228:8 231:11 243:3
moving 69:20 74:18 151:15 209:19 266:22	MURPHY 3:10 47:11 49:22 50:16 51:9 52:19 53:14 53:22 56:1,13,22 57:7,10 65:6 66:4 71:1 73:15 75:9	needing 334:8 362:17 needs 21:18 67:10 77:10 113:1 130:9 131:2 297:2 347:10 364:11 406:13 negate 395:2 negative 238:6 265:11,12 282:15 282:22 283:11 317:22 318:2	neonatology 139:10 nerve 139:21 142:17 nest 226:13 Netherlands 304:7 network 2:21,22 5:8,12,15,18,21 6:2 12:20 14:20 181:19 182:20 185:19 190:3 197:1,7 204:6,7,7 206:16 207:12 215:3 216:16 239:22 254:6 256:2,2 258:16 259:8 268:5 284:7 286:11 290:12 networks 119:17 Network's 14:6	newborns 4:13 6:14 43:9 44:5,9 113:19 114:2,2,20 148:5 152:12,16 308:9 330:2,8 332:20 newer 324:13 news 131:22 NHAMCS 378:16 NHSN 153:12 nice 195:12 329:6 400:3 NICHD 14:2,16 204:6 206:16 215:3 244:17 253:15 254:6 NICU 5:15,17 116:2,7 129:22 155:19 165:21 166:2,4 170:8,13 188:14 195:9 207:4 218:12 219:13,17 221:12 221:17 223:17,20 224:4 228:8 231:11 243:3
MPH 1:14,15,18,22 2:1,9,19 4:3,8 MRI 383:3 MSN 1:15 2:6,15 MSPH 1:13,17	MURPHY 3:10 47:11 49:22 50:16 51:9 52:19 53:14 53:22 56:1,13,22 57:7,10 65:6 66:4 71:1 73:15 75:9	needing 334:8 362:17 needs 21:18 67:10 77:10 113:1 130:9 131:2 297:2 347:10 364:11 406:13 negate 395:2 negative 238:6 265:11,12 282:15 282:22 283:11 317:22 318:2	neonatology 139:10 nerve 139:21 142:17 nest 226:13 Netherlands 304:7 network 2:21,22 5:8,12,15,18,21 6:2 12:20 14:20 181:19 182:20 185:19 190:3 197:1,7 204:6,7,7 206:16 207:12 215:3 216:16 239:22 254:6 256:2,2 258:16 259:8 268:5 284:7 286:11 290:12 networks 119:17 Network's 14:6	newborns 4:13 6:14 43:9 44:5,9 113:19 114:2,2,20 148:5 152:12,16 308:9 330:2,8 332:20 newer 324:13 news 131:22 NHAMCS 378:16 NHSN 153:12 nice 195:12 329:6 400:3 NICHD 14:2,16 204:6 206:16 215:3 244:17 253:15 254:6 NICU 5:15,17 116:2,7 129:22 155:19 165:21 166:2,4 170:8,13 188:14 195:9 207:4 218:12 219:13,17 221:12 221:17 223:17,20 224:4 228:8 231:11 243:3

255:13 264:21 270:19 271:10 272:3,13 273:7 275:11 288:17 290:3,6 292:5 NICUs 166:22 170:4 251:20 281:19 283:2 287:1,1,3,14,18 287:19,22 288:4,7 288:13 289:2 290:3 292:6 294:9 night 147:21 152:4 nine 20:7 38:4 235:7 247:8 Nineteen 315:9 Ninety-eight 219:9 nodding 263:6 noes 68:11 124:3 135:4 158:12 174:12 234:11 309:1 312:12 315:15 323:6 332:10 noise 261:13 366:4 387:20 noises 387:17 nomenclature 288:17 nominated 9:22 non 150:16 170:19 353:15 368:14 non-diagnosed 380:6 non-e 347:6 non-gestational 76:4 non-gynecologic 98:22 non-invasive 356:22 non-medically 178:10 non-obstetrician 301:11 non-optional 67:6 non-traumatic	353:11 non-very-low 270:2 NORDBERG 3:11 310:5 313:11 314:12 318:4 319:12 320:14 321:1,4,11,18 326:2 327:10 normal 89:2 136:11 234:12 236:4 253:5,12 272:7 normally 169:16 normothermia 264:1 North 123:12 283:2 northern 126:9 Northwest 24:15 Northwestern 26:8 nosocomial 5:4 151:19 155:21 164:19 170:13 264:18 282:2 note 107:5 256:7 notes 153:4 175:20 notice 7:18 157:16 348:21 notified 372:19,21 notion 114:5 not-done 220:16 novel 390:1 November 1:6 406:21 novo 208:12 no-brainer 357:14 NQF 2:14 6:22 12:2 19:14 20:16 23:4,4 24:19 28:19 36:13 39:4 50:3 74:20 106:17 122:15,16 167:7 197:15 198:13,15 210:17 233:20 241:9 261:14 276:7,22 281:1,3 336:16 338:10	397:2 401:20 NQF's 8:15 27:19 29:15 30:15 241:3 NQF-endorsed 28:1 54:20 NRP 253:6 NSVDs 91:1 number 18:11 34:12 44:8,9 47:3 47:14,22 49:2 58:8 70:5 75:2 86:9 91:4 95:16 107:15 110:2 149:1 150:14 178:6 188:20 193:11 198:5 215:4 230:20 234:9 242:6 258:9 276:18 287:21 288:12,13 289:2 289:10 295:14 311:1 329:18 330:2 333:3,7 334:5 335:22 338:12 339:13 346:13 354:14 371:12 388:3 398:9 numbers 54:7 76:9 86:9,13 87:3 88:11,22 181:1 232:17 272:16 288:6 335:16 340:15 343:13 344:1,2,13,15,21 345:7 346:11 350:2 352:3 362:21 378:2 numerator 44:7 76:5 78:7 110:5 139:15 140:12 152:13 224:19 265:3 310:20,22 333:3 391:2 numerically 33:22 nurse 19:11 98:16 357:7	nursery 110:16 nurses 103:2 nursing 19:13 24:4 24:6,11 257:9 386:9 nutritional 306:11 NW 1:10 <hr/> O <hr/> OB 84:10 192:7,9 358:21 obesity 306:11 objecting 132:21 objectivity 10:17 obligatory 191:17 obscure 360:16 obstetric 15:14 24:10 25:9 90:22 132:7 obstetrical 24:14 29:2 obstetrician 11:6 15:9 25:15 228:2 229:14 242:11 302:19 obstetricians 11:14 328:9 352:20 355:12 382:7 obstetrician/gyne... 20:3 177:12 obstetrician/labor 355:16 obstetrics 85:3 139:9 155:19 obtainable 189:11 obtained 265:9,17 269:22 obtaining 336:9 obvious 33:17 117:14 obviously 143:5 169:12 175:11 195:3 298:9 304:15 314:12 330:7 343:5 347:8 362:20 OB/GYN 18:21	84:9 87:19 88:2,6 352:22 398:18 occur 84:12,21 89:14 111:8 145:11 146:11 344:13 occurred 104:20 193:21 occurrence 86:12 124:14 126:13 268:10 occurrences 126:11 occurring 90:1 occurs 44:20 95:18 106:4 192:18 offer 40:5 offering 317:9 office 16:22 18:22 326:10,11 382:17 Officer 16:1 offices 12:3 358:1 360:17 official 340:15 oftentimes 82:14 84:13 87:15 88:1 99:15 229:1 365:17 369:5 Oh 91:5 118:8 135:17 146:18 181:12 202:17 227:8,17 246:16 292:13 323:17 340:5 359:3 370:13 404:13 Ohio 117:2 okay 26:18 27:3 33:15,18,20 34:17 34:21 35:14,15,20 36:1 37:21 38:14 39:8 42:18,22 43:2 46:20 48:3 48:20 61:20 62:4 62:13 64:17 66:9 67:17,18,20 68:16 70:17 71:17 72:8 74:9,16 75:6,16 78:9 79:10,12,20
---	---	--	---	--

80:3,13 81:10	356:14 365:1	operative 145:17	135:20 151:17	372:22 380:20
91:6 92:3 93:1	370:13 372:5	167:19	200:3 357:3,6	386:16 387:15
95:4,21 96:2,7,20	374:4 386:12	Operator 176:6,7,9	367:9 368:8,17,18	389:16
99:5 100:5 103:10	388:18 389:2	404:9,11	369:3 384:13	outcomes 22:19
103:14 106:5,10	391:22 393:4	Ophthalmology	398:9 400:4	23:3 71:10 177:19
106:13 108:1	394:3,6 395:14	180:12	ordered 100:13	177:22 214:18
109:6,11,21 112:6	399:3 402:12,14	opine 85:13 122:22	367:7 368:7,11,12	217:2 232:3,19,22
114:13 118:6	403:13,21 404:4	opined 81:5	369:6,20 370:2,21	239:15 276:12,16
123:18 124:4	404:16	opinion 67:10	373:15,18,21	277:13,15,22
127:12,15 133:4,7	old 194:3,4 354:15	77:11 153:6,7	orders 100:19	278:17,18,21
134:1,5,22 135:3	355:2,8	196:22 317:11	370:18	280:6 299:5,11
135:6,18,20,21	older 12:22 42:12	opinions 40:6	organism 305:19	305:10 306:10
147:14 148:17	112:9 299:18	241:14	organisms 165:1	381:21 396:9
151:8,14 152:8	omit 220:1	opportunities 8:7	283:8	outloud 43:17
158:8,11 162:9	once 20:16 27:14	35:17 266:11	organization 10:14	outlying 125:18
163:22 171:14	33:2,17 34:15	298:12 316:8,18	129:11 210:12	outside 15:19,19
174:3,11 175:14	44:13 71:3 103:5	322:18	237:2,6 241:10	88:4 95:11 110:15
176:17 181:13	175:19 204:13	opportunity 8:6	252:21	190:19 204:13
184:7,20 187:5	207:2 236:2	27:10 29:17 30:2	orient 7:17	221:8 369:14,16
191:6 192:3,4	254:13 255:4	39:3 41:1 43:14	orientation 28:18	369:17
197:12 200:6,20	281:11 305:2	45:7 47:5,12	29:19	outstanding 269:1
200:22 201:7,8,13	364:16 376:9	62:17 79:16 80:9	original 356:7	overall 32:11 47:20
201:13,22 211:22	ones 33:16 155:18	82:22 83:15 92:7	383:20	50:2,8,18,21
213:2,12,13	166:2 167:13,17	149:16 151:11	originally 137:5	51:13 54:5,15
217:14,15 222:14	191:14 211:7	181:15 182:6	183:22 185:8	56:2,6 60:21 63:4
223:7,12,13,16,19	213:6 298:21	283:9 317:2 335:8	197:17 217:4	64:3 74:18 85:9
227:17 229:4,13	383:8	336:21 338:3	236:18,22 395:19	90:19,21 106:16
233:19 235:12	one-hour 229:21	opposed 41:13	origins 261:15	109:4 127:6 134:6
238:21 245:11,17	one-on-one 128:12	182:21 187:15	300:3	161:8 162:18
246:17,18 247:2,4	ongoing 24:8	204:18 228:19	Orleans 177:14	163:5 174:7 212:5
247:11 248:9	149:21 172:16	241:12 249:22	orthopedic 21:5	266:13 270:12
250:17,18 251:9,9	onset 363:12	363:15 381:20	oscillator 192:16	285:12 287:18
252:15 253:3	onus 390:14 394:21	opposite 361:11	ought 116:19	294:5 296:16
261:12 262:1	open 7:19 43:20	option 60:2,2 61:8	261:11 290:22	311:4 315:12
263:7 264:11,15	46:16 77:14	62:7 68:1 133:2	outborn 205:21	332:8 338:10
273:18 283:15,20	247:15 269:12	166:13,16 230:17	221:7,10 228:11	357:21 397:14
288:14 295:4	281:12 284:8	314:17 402:6	outborns 152:16	403:17 406:1
296:20 297:22	404:4,12	optional 59:21,21	outcome 19:19	overburdened
308:19,21 312:2,7	Opening 4:2	63:4,13 64:21	76:16 96:14	285:2
312:9,12 314:3,6	open-ended 60:5	67:9 189:21	169:12 179:15	overcoding 149:7
315:7,9,15,17	operate 336:20	191:15	203:1 215:9,10,11	overcome 51:3
317:14,16 321:15	operating 152:21	options 222:7	232:12 234:20,20	overlap 23:5
322:3,7,11,21	165:9 388:8	225:6 388:15	238:13,14 251:22	overnight 291:18
323:7,17 324:19	operation 98:14	oral 9:8 75:12 76:2	280:6 301:19	overreach 321:10
324:21 330:20	166:7	76:13,19 243:1	306:7,7,15 328:21	overrides 307:12
331:1 332:2,5,12	operationalize	order 4:2 31:12	358:10 359:16	oversee 18:22
349:22 352:9,11	112:12 165:22	89:20 99:16,17	361:8 365:15	overseeing 20:6

oversimplifying 203:2	paper 73:19 74:4 369:8,11,15,20 395:4,8	102:3 113:17 136:1 140:4 145:21 153:19 168:10 179:7 213:8 233:12 277:9 302:5 328:20 352:4 393:19	84:13 87:8,12,16 87:20 88:7,13,18 89:5 94:15 95:16 107:9 125:9 128:3 160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	PE 86:10 87:13 88:9,20 90:13 pediatric 17:2 112:21 301:11 pediatrician 17:1 22:15 144:20 pediatricians 58:14 Pediatrics 14:13 58:9 113:15,22 115:5 123:4 180:11 182:10 189:18 190:5,10 197:10,20 252:22 254:9
Overview 4:6	papers 82:16	particularly 9:7 11:16 17:13 20:18 32:16 37:15 38:22 131:21 273:10 319:4	107:9 125:9 128:3 160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
overweight 88:14	paragraph 137:17 148:3	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pediatricians 58:14 Pediatrics 14:13 58:9 113:15,22 115:5 123:4 180:11 182:10 189:18 190:5,10 197:10,20 252:22 254:9
Oxford 2:21,22 5:8 5:12,15,18,21 6:2 14:6,19 179:19 180:9 181:18 183:16 185:4 190:3 197:1,4,6 207:12 216:8,16 235:5 258:16 267:6 268:5 286:11 287:12 297:10	parent 51:3 58:12 364:8	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
o'clock 176:15 405:5	parental 49:8 63:15 66:10 364:13	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
O'Connor 360:9 375:13	parents 44:14 49:9 49:21 51:15 53:4 58:18 66:1,6 143:8,19 144:7	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
O'Connor's 379:6	Parkland 16:17 327:17 329:15,17	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
	part 14:21 15:18 18:15 58:22 63:13 64:21 68:2 101:11 108:18 136:1 160:3 166:14 169:11 173:2 183:17 198:21 204:5 225:19 252:13 257:18 258:14 259:10 282:10 284:6 317:15 318:14 345:14 352:22 356:10 361:14,17 372:13 373:4,16	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
	partially 340:22	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
	participant 11:13 77:19 78:18,22 79:3,8 179:21 360:6,10 365:2 370:5,11,15 372:3 376:2 380:5 387:7	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
	participants 12:2	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
	participate 92:16 97:7 205:14	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 381:11 393:8 397:12
	participated 16:8 21:4 24:19	partnered 338:9 partnering 12:4 55:6 Partnership 2:8 20:12 partnerships 17:12 Partridge 2:8 20:10,11 39:9 58:6 115:22 131:10 161:13 162:1,7 187:12 188:6,9 191:21 210:16 259:7 289:15 328:18	160:21 161:2 163:2 168:18 169:2 193:2 317:21 326:5 333:18 344:4 353:8 354:1,10,12 355:19 356:8 357:4 363:18 365:21 371:16 372:15,17 378:5 382:17 389:11,13 389:15,18,19 390:16 391:1,2,6 394:13 398:9,12	pelvic 91:13,14 penalize 226:1 penicillin 310:2 penicillin-allergic 302:14 310:2 people 27:7 28:10 40:5 43:21 47:16 74:2,4 83:2,13 97:3 98:13 99:12 99:21 117:16 130:22 144:21 155:2 165:22 171:3,9,12 184:22 192:10 195:14 197:18 208:16 209:19 222:5 225:4,8 238:11,17 241:6,15 243:17 244:7 249:21 255:20 260:5 266:13 274:16 275:1 276:1 281:11 283:9 294:21 299:18 301:2 305:2,17 308:8 310:6 315:2 315:4 320:9,10,11 342:5 344:22 345:15 348:2 357:21,22 358:1 371:13 372:8 3

people's 86:2 344:17 358:1 372:7	279:12 308:13 309:11	51:12,15 52:13 53:3 83:4 94:14 98:12 151:1 168:3 241:4	physicians 6:20 16:4 352:16 354:19,21 358:20 371:7 373:10 385:9 398:3	327:15 332:2 366:4 370:7 380:11 387:20 388:22 394:4 406:15
PEP 337:2	perform 19:5 133:3 365:7	pertinent 51:10 291:15	PI 25:8	pleased 191:3 216:13 281:3
percent 44:5,19,22 45:1,15 55:21,21 56:3,5,6,7 71:4,5 76:10 88:7 89:13 91:18 100:11 110:14 121:14 123:13 133:15 142:18 150:13 151:2,7 186:4,5 187:19 219:9,12 220:2,5,8,9 225:18 230:20 233:6,6,15,16 236:10 244:20,21 244:22 273:17 282:16 288:21 290:5,7,11 295:17 316:11,12 327:7 333:18,21 334:11 335:10,19 337:18 338:16 339:6 346:13 347:5,5 348:5,5,5 349:22 350:1 353:22 354:22 356:8 357:12 371:9,10 371:16 374:8,9,21 374:22 375:7,10 375:15,21 376:6 390:22 393:8 399:19,21	performance 7:6 11:21 26:3 76:8 80:2 110:17 112:13,15 128:6 181:20 185:2,9 187:1 220:3 237:14 256:4 261:9 274:12 309:11 348:14 349:15 374:12,17 385:4 394:14 403:10	per 98:18 per-capita 91:8 pharmacologic 93:20 94:8,15 102:5 104:6,11 pharmacological 95:17 pharmacologically 104:15 105:21 pharmacy 49:3 PhD 1:13,20 2:7,10 phenomenal 404:19 Philadelphia 375:5 philosophically 132:16 philosophy 197:15 198:6 260:12 phone 7:19 8:5 26:16 75:15 77:21 78:2 79:9 92:11 92:12 106:3,4 113:19 114:3,6,8 114:14 135:11 148:15 151:22 154:12 174:16 176:5,10 227:19 332:13 337:9 350:13 355:5 360:7,10 366:5 370:10,12,16,19 370:21 371:3,6,18 372:3,11 380:7 387:10,12,14,18 387:19 404:12	picked 131:21 145:4 picture 38:9 piece 72:21 103:5 200:18 231:16 304:18 pieces 103:7 249:9 377:11 pipeline 12:8 38:21 place 33:3 73:22 108:15 116:19 125:5 187:7 310:16 313:2 327:1 338:15 361:20 places 120:22 237:15 261:6 290:5,7 302:18 345:1 plan 25:17 33:11 129:9,14 150:22 154:20 planned 148:8 155:9 320:10 planning 105:9 plans 314:10 plausible 307:1 play 347:1 plays 57:1 please 74:9 96:21 99:8 118:7 123:21 124:5 127:18 133:5,10 134:7 135:1 143:22 151:9 154:2 158:14 170:18 171:15,20 172:9 172:13 174:3,7 176:5,10 193:13 288:6 292:13 295:8 312:9	plot 391:10 plus 54:5 165:8 232:1 234:9 265:11 pneumatic 94:2 pockets 56:4 70:8 point 10:16 33:9 47:6 55:11,13 61:1 92:16 112:19 122:3 129:9 148:14 170:20 183:12,14 192:21 194:22 197:16 208:2,7 214:10 218:17 219:11,15 237:16 243:8 245:10 246:3,5,9 254:12 258:3 269:17 286:8 287:11 290:16 307:11 310:17 319:3 320:14 326:4 356:6 364:11 365:6 366:12 368:12 375:19 394:22
percentage 152:11 283:10 287:2,3 290:2 295:16 316:1 333:21 335:1 338:3 347:11	period 87:13 88:9 88:18 89:5 166:7 170:8 273:8 275:18 299:19 313:16 380:19	phones 404:4 physical 144:19 physician 17:2 19:3 20:4 23:9 87:5 209:8,15 359:2 371:3	please 74:9 96:21 99:8 118:7 123:21 124:5 127:18 133:5,10 134:7 135:1 143:22 151:9 154:2 158:14 170:18 171:15,20 172:9 172:13 174:3,7 176:5,10 193:13 288:6 292:13 295:8 312:9	pointed 321:19 355:1 points 30:7 31:4,19 37:18 93:14 105:14 345:22 382:22 point-of-care 400:11,18,20 policy 16:7 20:12 242:8,12
percentages 78:19 240:9	periods 398:5 peripheral 142:17 265:13 persistence 306:17 person 72:16 183:2 189:15 236:1 personal 144:12 personally 249:14 307:3 perspective 51:11			
percentile 186:8 220:7,8				
perfect 199:19 260:10 261:1				

political 325:19	possible 10:9 73:11 132:8 237:10	pre 76:1 146:20	391:1 393:9	pretty 33:8,17
poll 250:7	257:20 258:1,18	precipitous 111:9	preliminaries 43:2	93:16 116:15
poor 237:14 276:16	269:20 306:22	precise 124:8	preliminary 29:22	118:12 136:22
pop 362:11	388:7	precisely 142:21	31:4 32:5 33:6	141:11 195:13
pops 351:4	possibly 73:12	preclude 59:15	36:5 40:18 116:5	316:19 317:12
population 52:7,8	post 90:14	predominantly	162:17 375:11	323:13 338:5,16
89:19 90:22 94:21	posted 8:2 160:14	165:20 343:12	premature 45:1	339:7 385:19
164:20 167:13	342:13,19	preface 266:2	181:2 377:19	389:5,7 394:16
184:1,14,16 189:2	postnatal 180:15	prefatory 154:1	prematurity 5:8	395:10 400:3
189:2 206:13	postpartum 87:13	prefer 78:3 292:20	17:7 177:21	401:5
207:1,8 214:3	87:14 88:9,18	pregnancies 356:1	178:22 179:2	prevalence 86:14
263:17 270:16	90:1 102:20 103:8	356:2 361:14	180:4 181:1 189:3	prevent 1:17 46:1
272:7 279:5	103:17	362:21 365:12	206:5	81:22 85:12
289:10,14 298:11	post-discharge	384:9 396:7,8,10	prenatal 333:9	301:18 334:10
328:22 329:15	84:13	pregnancy 1:17	345:9 365:11	358:17 359:13
330:1 334:2,14	post-menopausal	4:16 6:18 75:13	367:2 396:12	376:15 377:8
335:5,16 337:13	367:14,15 370:3	84:4 338:22	preparation 29:16	384:8,11 387:4
338:1 339:12	post-operative	352:16 353:7,11	preparing 42:20	388:5
343:8 346:3	167:19	354:16 356:22	present 1:12 2:21	preventability
348:17 350:21	potential 28:12	357:20 358:1	23:19 87:12 139:7	140:6
365:20	57:2 85:10 169:12	359:1,5,7 360:15	163:17 164:3	preventable 82:18
populations 49:13	potentially 209:6	362:18 363:19,21	276:9 280:12	85:1 90:7 138:12
49:19 102:3 266:7	301:15 350:22	364:5,20 365:7,16	357:5	169:17 275:21
267:11 276:16	363:20 366:15	365:20,22 366:21	presentation 116:9	preventative 15:10
277:6 279:9	376:15 377:12	368:12,13 371:18	137:9 364:1 384:1	338:12 339:7
317:21 320:8	powerful 219:20	372:18 376:7,11	presented 13:1,3	preventing 51:12
335:2	PQRI 373:17 374:2	376:16 379:1,15	30:8 32:10 59:9	352:1 359:12,14
population-based	PQRI/PQRS 397:7	379:17,17 380:1,2	63:3 116:4 180:21	prevention 1:18
188:20	PQRS 385:12	380:6,15,19 381:4	185:8 187:22	169:21 227:15
portal 365:18	practical 54:1	382:18 383:22	200:11 201:2,11	335:20 386:16
portfolio 27:20	56:10 206:22	386:20 388:2	246:6 320:1	preventionist 15:2
30:16,20 33:1	practicalities 196:1	389:6,7,9,13,14	354:15 360:19	preventive 334:12
38:1,4,9 136:2	practically 98:11	389:18,19 390:3	377:13	previous 16:5
portion 89:12	98:12	391:7,12 394:10	presenter 217:12	60:22 162:14
181:3 290:21	practice 15:14	399:5 400:12	presenting 302:6	166:11 237:12
position 326:8	25:18 26:12 85:11	pregnancy-related	362:13	309:19
positive 47:15	85:20 86:4 96:12	91:18	preserve 388:7	previously 24:20
161:1 265:12	96:13 102:4,7	pregnant 75:22	preserved 180:20	180:8 214:8 216:4
333:4,8 337:18	125:16 128:1	76:4 86:5 87:9	President 16:21	216:11
338:6 339:3 341:3	166:12 215:18	89:19 108:12,22	Presidential 178:19	pre-term 128:2
344:6 346:20,21	218:16 234:7,12	118:21 316:1	presiding 1:11	318:1 319:5,15
347:9,9 381:4	234:19 309:12	355:15 358:2	press 34:12 35:3,6	primarily 20:6
391:7	377:21 380:1	363:13,18 366:15	35:11,13 176:10	47:16 140:1 230:7
positively-oriented	390:2	367:3 373:1,2	pressed 35:12	258:8
141:15	practices 26:4	377:9 381:10	pressure 39:17	primary 45:22
possibility 44:12	53:12 217:9	382:9,11 383:3,15	57:17	215:10,11 251:22
56:18 97:4 117:20		390:19,21,22	presumably 329:3	principal 163:16

164:4	90:10 136:17	257:4 261:4	337:16	49:11 51:12 52:2
prior 4:13 6:6	167:20 177:17	264:14,15 266:22	prophylaxis 4:18	53:2 55:9 68:20
20:22 30:17 31:6	193:7 372:4	270:6 271:4 273:5	6:10 81:5,14 83:5	68:22 71:15
44:6 118:21	377:12 379:3	273:13,18 278:12	83:17 84:5,8 86:6	112:10 128:8,18
178:11 186:6	380:8	281:6 284:1,18	91:12 93:20 94:16	131:14,16 136:15
194:16 221:10	procedure 152:21	285:19 287:10	107:10 301:12	174:13 175:15
265:18 302:20,20	165:10 167:19	288:5,14 289:3	315:19 316:3	176:2 178:18
319:8 353:4	procedures 367:3	290:15 292:11	319:8 339:5 348:9	204:18 205:2,10
362:13 363:16	proceed 388:22	293:8 294:2,8	proportion 5:6,10	207:16,17 243:12
390:17	process 4:7 20:17	300:15 307:2,9	142:4 180:3,13	255:12,19 260:16
prioritize 11:21	36:10 43:22 44:15	308:1,6,10 327:4	184:18 212:11	261:17 273:11
priority 36:12 55:6	76:15 80:16	349:7 385:21	213:14 287:16	281:12 312:18
335:15	105:10 106:3	402:19 403:1	proposal 50:1	325:21 326:12,13
private 29:6 209:8	108:14,15 138:6	profound 170:9	115:12 246:1	329:4 332:14
prize 344:18	149:17 154:6	profoundly 144:6	proposals 77:18	333:3 336:4 344:3
probably 11:7 36:4	155:10 160:3,16	program 19:5	propose 197:19,21	344:11 349:5,21
39:10 41:14 42:3	173:3,5 203:19	23:13 178:18	proposed 15:16,16	351:8,20 403:22
55:18 89:20 97:9	232:18,20,22	236:5 254:8 326:9	50:7 111:6,12	publicly 312:17
104:8 112:6 115:8	245:9 255:2,17	338:8 339:4	112:17 197:17	publish 394:12
130:8 146:2 151:5	291:9 352:13	341:13 397:5	proposes 127:22	397:7
170:21 190:18	372:14 374:2	programmed 74:1	proposing 198:2	published 13:1
196:21 208:12	processes 29:10	programming 74:3	pros 199:4	14:22 181:17,22
221:20 225:13,16	149:14	301:6	prospective 158:21	204:13,14 267:9
226:1 228:1 232:6	produce 69:2	programs 11:20	239:11,21	329:13 356:6
250:15 255:13	product 170:6	16:21 17:10,13	prospectively	PubMed 354:5
256:19 260:19	professional 10:14	136:7 344:15	244:18	pull 72:16,19
261:3 268:3	384:19	345:2 348:12	protected 53:9	272:21 280:14,22
270:10 277:1	professor 17:4 24:2	progresses 73:4	prove 116:14	pulled 269:3
278:5 280:9 285:5	profile 301:9	project 4:6 7:12	proven 267:5	272:20
289:1 313:16,18	350:20,21	18:14 25:9 26:13	307:12,18	pulmonary 91:17
327:18,19 345:18	profiles 41:5,16	27:19 30:21 117:3	provide 36:8 42:13	214:18 215:12
349:1 353:17	277:14	235:1,2 251:21	260:13 337:12	pulmonologists
374:18 375:1,1	Profit 2:9 5:22 6:4	projecting 31:7	provided 38:10	88:2
401:3	13:20,21 62:6,10	projects 24:13	186:8	punch 247:17
probe 359:2	62:14 63:16 64:3	prolonged 169:1	provider 52:5	punished 279:6
problem 59:22	65:3,21 66:15	promote 20:21	269:2 348:15	purchasers 312:20
69:12 87:6 88:16	73:2 114:7 125:14	promoting 20:15	providers 88:5	purpose 27:18
95:15 120:21	134:8 156:1 163:7	25:3	132:5	260:18 261:5
125:21 126:12	163:22 188:22	promptly 405:4	providing 22:12	purposes 10:8
134:20 146:14	191:7,16 192:2	prone 149:6	52:10	198:17 310:21
183:17 191:5	193:13 194:8	proof 395:3	provisions 211:5	push 75:1
275:3 339:14	195:9 196:16	properly 203:16	proximity 110:20	pushed 103:3
359:21 375:2	207:9 219:22	properties 61:11	PSI 174:21	pushing 22:9 58:15
376:10 377:19	220:10 225:5	171:16	public 1:23 2:24	put 11:9 13:15
386:4 397:9	229:7 234:21	prophylactic 6:5	3:21 6:16 7:19	39:12 44:4 54:4
problematic 276:2	237:22 251:10	102:5 298:6	8:6 13:9 16:7	105:19 132:17
problems 28:12	252:5 253:2 255:9	prophylaxed	29:6 41:16,18	139:4 157:17

210:5,7 247:21 250:19 343:1 359:2 395:3 putting 18:4 146:1 190:10 236:11 257:13 P-R-O-C-E-E-D-... 7:1 p.m 176:20,21 177:2 297:20,21 406:19	311:4 quantitative 391:11 quantity 83:19 84:16 quarter 17:17 quartiles 153:16 querying 340:20 question 10:17 35:1,13 42:6,8 46:21 47:1 48:5 51:10,20 56:10 58:2 59:5,20 69:9 80:7 85:15 97:1 97:12 100:1 107:5 128:18 144:11 146:19 150:6,7 156:2,13 157:8,13 157:19 163:7 165:6 168:20 173:13 175:1 185:2 188:3 189:17 191:17 192:8,8 197:13 198:14 202:17 203:11 204:1,17 204:17 205:17 206:8 210:10 212:14 220:15 226:15 227:2 228:1,14 229:16 230:3 231:16 232:8 240:5 242:11,15 245:9 248:18 251:2,3 252:3,9,14 255:1 255:10 258:7 262:11 263:10 269:17 273:2 274:22 275:15 276:8 277:19 278:11 282:20 284:3 286:7,7,15 291:6 310:18 313:8 317:18 318:5 324:3 327:11 341:10,20	346:1 348:8 350:8 361:3 368:6 373:14 384:11 387:1 393:7 questioned 201:16 questions 4:9 26:22 27:13 30:7 33:12 37:19 39:7 43:13 62:1 66:20 69:15 77:15 131:22 146:4 181:9 187:9 187:10 201:17,20 203:7,8 205:19 218:1 237:20 266:17 267:22 281:7 283:16 284:4 317:16 322:22 324:16 325:12 331:22 343:19 356:15 367:7 368:1 374:5 393:5 395:11 397:13,15 403:4 404:14 406:11 quick 146:19 150:6 203:11 204:16 250:7 357:1 quicker 397:3 quickly 59:2 67:16 132:15 quiet 387:20 quietly 97:5 quintiles 153:16 quite 56:7 84:8 199:11 202:8 225:7,20 237:14 238:19 261:7 270:13 290:4 300:17 317:8 328:3 336:9 356:20 366:2 371:20 390:13 397:3	277:14,16 278:14 278:20 279:2,17 280:14,20 291:8 388:8 rac es 280:7 racial 269:8 278:4 radiation 381:17 radiologic 145:6 373:1 radiologists 382:6 raise 36:3 39:15 97:12 240:4 302:9 308:5 raised 76:12 155:4 212:20 241:18 raising 237:11,16 252:3 403:8 ramifications 388:3 ran 136:16 randomized 215:5 267:12 389:17 randomly 193:4 range 56:6 327:7 347:6 ranges 254:17 ranking 141:12 rapidly-available 380:4 rare 83:22 86:11 87:18 94:15 102:14,14 121:11 124:14 126:11,12 133:1 145:13 rate 48:12 54:19 55:19,21 63:4 86:10 88:17,20 145:21 150:9 151:1 153:13,18 162:18 163:5 230:21 231:1 232:14 243:13,18 264:17 268:22 272:14 281:17 282:16 328:2 348:4 350:1,1 354:22 363:15	371:5 377:15 398:7,10 399:7,20 rated 202:15 267:14 315:1 323:22 rates 49:13,20 54:8 69:3 163:6 178:5 267:10 268:10 269:8 270:12 271:5,7,17 272:12 275:9 276:13 278:9 281:14,19 287:6 294:19 298:10 319:5 327:8 329:11 330:7 349:18 373:3 399:19 rating 71:19 201:18 ratings 127:21 ratio 264:18 rationale 45:11 99:20 139:22 176:1 259:3 278:18,19 309:17 325:11 reach 280:3 read 43:17 59:8 97:13 125:14 137:16 183:8 195:3 readily 312:19 reading 395:20 readmission 209:2 ready 63:6 67:19 96:2 133:22 211:14,21 212:4 315:7,13 352:7 386:11 402:9 real 10:10 97:21 137:6 156:10 203:11 204:17 273:5 283:5 322:13 383:14 397:9 reality 42:4 realize 80:22
<hr/> Q <hr/> QI 204:3 286:20 quality 1:1,9 3:14 4:23 7:7 11:22 12:5,9 13:11,14 14:4,6,8,9,10,14 14:17 17:13 18:21 19:1 20:6 21:15 22:17 24:7 25:21 26:14 28:21 29:3 29:9,12 51:1 52:4 52:11,11,14,15 53:3,9 58:15 68:19 71:8 84:18 96:17 102:10 109:14 119:3 128:13 132:9 140:21 141:15 145:20 150:2 159:17,19 179:11 193:6 202:10,10 204:12 207:14,21 216:3,14 232:2 240:20 251:17 255:11 260:12,15 261:22 267:5 268:4 270:4 276:10 278:14,22 279:1,18 286:13 289:11 292:19 312:6 343:15 351:9,12 371:8 372:20 382:16 386:7 quality-of-care	<hr/> R <hr/> race 269:6 276:5,5 276:14,17 277:1			

really 30:12 36:16 38:12 42:12 45:15 47:2 50:22 51:10 52:3 53:4,8 55:13 63:17,22 67:8 72:17 82:20 83:22 95:13 97:7,8,9 98:1 99:20 103:5 103:6 105:17 117:12 118:14 119:2 120:6 121:8 122:12 125:1 130:8 132:15 144:6,14 149:7 154:14 156:2 157:14 159:9 165:15 170:22 185:3,5 188:2 193:20 194:9 195:20,22 196:19 198:9 200:17 201:17 203:18 208:2,5 209:12 222:5 225:15 226:4 228:17 229:13 230:6 238:12 242:1 243:4 246:10,11 255:5,22 257:22 258:20 273:2 275:2 277:4 278:5 278:17 280:16 281:8,9 285:20 286:11 290:9 294:13 297:2 302:16 307:11 308:5 309:18 313:15 325:15 329:10 330:2 336:3 338:11 340:18 341:11,20 343:14 345:22 351:6 352:2,3 355:21 356:13 360:13 362:21 366:20 367:10 368:22 371:22	374:11,15 376:20 378:7 381:3,7,22 383:6 386:3,22 396:5 397:12 403:10 real-time 314:16 reason 57:19,21 83:14,15 93:21 97:9 102:8 125:17 126:16 164:4 229:21 237:11,18 273:1,19 274:3 280:22 340:18 reasonable 120:10 184:15 221:20 238:10 256:13 293:18 313:21 371:12 reasoned 101:19 reasons 57:17 59:14 107:20 175:5 206:1 226:5 240:3 256:4 263:4 278:15 337:8 357:17 reauthorization 211:4 Rebecca 1:22 6:17 151:22 152:1,3 177:7,11 179:5 281:13 332:22 recall 8:20 28:18 recaptured 163:3 receive 53:7 86:6 107:9 145:17 180:16 311:2 316:2 333:22 334:8 374:10 received 6:5 8:21 10:21 44:5,8 147:21 168:21 169:7 196:6 333:18 336:2 341:3,6 342:6,22 378:22 receiving 304:12 319:7	recently-developed 159:16,18 recipients 22:19 recognize 58:14,16 112:3 recognized 253:11 recognizing 112:21 217:18 recommend 60:6 221:1 245:4 291:8 338:17 340:8 recommendation 53:13 67:4 83:13 86:3 157:21 184:10 211:9 235:20 236:3,6 241:8,10 253:9,17 254:20 311:20 328:19 337:17 341:7,8 385:19 recommendations 33:3,10 38:18 91:11 182:10 210:18 246:8 317:9,13 319:14 319:15,18,21 321:8 324:4,12,13 327:19 348:10 recommended 85:18 139:12 180:10,15 181:4 184:3,17 186:7 193:21 235:9 237:1 recommending 59:16 75:7 109:9 197:10 recommends 253:5 340:9,10 reconcile 107:7 222:3 reconvene 406:20 record 8:3 41:7,7 41:11 68:9,12 95:8 103:16 104:10 176:20,21 227:5 244:7	249:16 297:20,21 325:4,7,10 331:9 331:14 379:5,7,9 390:12 394:21 recorded 8:1 251:22 recording 108:14 241:22 records 39:22 40:10 41:10,18 49:4 74:2 100:3 102:13 136:8 178:9 369:13,18 379:12 390:7 recount 9:5 recoup 400:22 recovered 265:8 rectal 241:22 243:2 244:21 245:3 249:16 257:8 264:3 rectally 263:12 reduce 140:22 reduced 84:19 267:7 298:22 reducing 214:16 267:10 reduction 86:11 99:2 reference 186:21 311:9 references 337:15 referral 161:6 referred 306:2 referring 131:11 270:7 326:3 387:17 refine 211:7 reflects 85:7 326:22 refugees 47:17 refusal 49:8,13,20 51:6,17 55:19,21 56:12,19 57:4,8 57:12,20,22 59:6 60:11 63:15 64:21 65:4 72:21	refusals 50:3,4,7,12 51:2,7 52:17,20 53:18,20 54:4,5,7 54:10,21 55:1 58:12 63:5 66:11 350:17 351:3 refuse 49:9 69:4 365:3 refused 52:9 66:3,6 refuses 365:4,6 regard 201:14 202:6 207:9 266:10,16 267:17 268:16 293:9 regarding 9:4 42:8 69:15 95:7 155:11 164:5 182:9 267:1 342:8 regardless 272:4 366:22 regards 337:1 region 238:20 329:1 regional 55:18 120:3,5 178:21 regionalization 134:18 registries 207:21 209:14 registry 208:4,8,11 208:19,22 209:9 211:1 230:1 235:3 268:5 275:4 290:18 361:1 regular 89:1 reimbursement 173:2 reimbursements 128:6 reiterate 77:22 relatable 140:20 relate 107:8 154:15 179:8 related 16:9 21:6,7 32:14 107:6 134:15 137:21 138:1,3,5 140:3
--	---	--	---	--

144:14 159:2	142:10 154:13	240:14 312:17	reputed 253:10	127:11 129:2
170:1 172:18	remarkably 299:19	326:22 340:1	request 396:19	133:21 152:2
175:8 197:19	Remarks 4:2	342:2 384:3	require 94:10	158:7 172:7 176:4
213:1 271:17	remember 61:5	reporting 49:12	124:12 156:21	176:12 181:10
303:21 306:5	134:17 195:13	50:8 61:15 68:20	173:18 295:1	201:21 266:19
317:4 331:9 406:4	248:16	69:1 71:15 103:11	325:3,6 331:15	269:14 284:11
relates 10:3 304:7	remind 9:13 10:3	108:17 120:4	373:22 390:10	308:18 310:18
relationship 342:2	405:7	124:9,13 128:18	required 125:2	312:8 314:2 315:6
relative 82:11	reminder 27:18	129:16 131:16	173:1 182:5	323:1 324:18
89:16 140:10	287:11	132:17 148:22	194:17 311:14	330:19 332:1
299:1 300:12	remotely 390:2	150:10 172:20	326:9	340:21 394:2
relatively 47:3	remove 61:8 388:9	173:6,17 180:14	requirements	395:13 402:16
73:17 74:5 83:10	removed 216:14	185:17 193:9	310:20	404:3,8,15
83:20 84:17,19	removes 97:4	204:18,20 205:10	requires 282:9	responses 31:16
85:4,11 86:11	rep 21:12	205:21 207:16	331:18 336:7	298:8
87:18 96:10	repeatedly 58:13	228:10 241:5	rescind 183:13	responsibility 17:8
267:20 305:20,22	rephrase 252:9	244:8 255:12,19	rescinded 183:14	responsible 21:14
relatively-common	report 21:16 45:6	260:17 261:17	research 3:14	21:16 228:22
87:9	48:9 54:10 69:4	265:22 273:11	22:20 204:6,7	318:13
relatively-uncom...	69:16 72:13 80:7	278:7 279:15,16	215:3 235:2	rest 77:13 89:5
84:5	82:21 85:10	282:19 294:5,18	239:21 254:6	95:12 137:1 192:5
released 207:17,18	119:22 123:22	294:21 310:21	354:7 398:17	208:4 234:14
relevance 238:2	133:13 151:10	311:5 312:18	researcher 117:1	342:17
relevant 9:3,6,9,11	156:6 173:19	325:17,21 326:13	reservation 301:17	restrict 220:18
10:7,20 19:21	175:16 185:17	326:15 338:19	residency 19:5	restricting 184:9
89:9 148:4 256:9	186:14 223:8	339:2 351:21	23:13 352:22	resubmitted 30:18
279:21 286:12	230:19 232:12,14	352:3	resident 177:8	38:3
289:7 311:12	232:19 234:8,10	reports 136:13	resist 328:18	resubmitting 175:2
335:4	234:11,17 237:9	338:18	resolution 4:17	result 30:4,8
reliability 48:22	240:8,12,19	report-carding	230:8	127:15 161:18
49:3 65:4,7,9 66:7	245:19 254:16,17	178:13	resounding 245:14	230:20 231:2
93:4,7 95:10	271:1 279:13	represent 9:20	resource 203:13	247:22 324:9
158:16 162:15	286:2 293:3 294:1	18:19,20 22:4	resources 71:6	367:11 368:9
201:15 249:10	294:18 308:17	representation	101:13 211:3	384:13
262:14 267:17	316:7 323:3	115:2	278:22	resulted 367:8
309:3,15,22	326:10 333:15	representative	respecified 252:11	369:5,6,20 370:2
323:16,22 324:17	340:11 341:10,21	78:10 185:4 235:5	respect 12:6 174:21	results 14:10 34:1
389:1,3 390:15	342:8 352:8	represented 231:8	295:19 299:12	34:16 48:18
393:3	353:15 367:15	290:12	respond 175:20	230:19 257:16
reliable 65:18,20	388:19	representing 9:17	198:14	269:3 368:19
124:11 389:8	reportable 41:19	9:19 138:18	responded 30:6	370:19,20 397:8
relook 346:14	117:19 327:1	represents 166:3	35:10 175:11	resuscitation 236:5
reluctant 168:17	339:1 344:8 386:7	225:19	response 26:17	253:6 254:8
rely 121:19	reported 76:10	reproducibility	27:2 33:14 37:20	retain 28:15 142:22
remain 140:11	164:2 172:19	262:14	46:19 70:16 74:8	retinal 181:4 182:4
203:7	173:2 185:21	reproductive 1:3	80:5 92:2 96:1,19	retinopathy 5:8
remaining 95:6	186:2 235:10	7:11 15:12 27:21	106:9 109:5 118:5	180:4,22 189:3

206:4	90:4,17,18 98:5	187:9 191:6	6:2 264:13 295:1	row 310:15
retire 134:10	105:3,16,17	194:19 200:2,9	risk-adjustment	RQIs 166:12
retired-by-the-de...	108:21 116:19	201:19 202:4	160:20 266:5	rubric 108:3
216:10	120:16 125:5	203:8,21 205:9,16	269:5 277:2,9	rule 101:5
Reva 2:19 4:3,8 7:5	126:18 129:3	206:7 211:13,18	285:21 294:3	ruled 360:20
39:11 40:20 62:7	130:7 135:15,16	211:20 212:9	RN 1:15	rules 152:15 167:4
66:14 71:22 105:6	135:18 138:14	213:13 217:14	RNC 2:5,10	run 79:8 121:2
105:11 198:12	146:3 148:2	218:9 219:21	road 130:4,13	291:21 339:10
211:8 216:6	150:13 151:3	221:19 222:11,17	329:7 377:16	running 297:1
217:18 276:6	159:7 163:13	222:20 223:2,6,13	Roanoke 23:10	371:15
322:5 346:1	164:9 176:16	224:16 226:14	Rob 20:2 55:16	run-of-the-mill
396:16	187:8 188:6	227:21 233:2	Robert 2:12 177:12	94:12
revealed 374:21	191:16 192:3	237:20 241:16	robust 28:15	rupture 359:13
review 28:2 38:2,6	198:10 200:19,21	243:5 245:11	403:10	ruptured 356:2
65:12,12,22 71:4	201:8 203:21	249:7,12 250:13	Rock 15:3	360:20
137:8 139:5 160:8	205:1,4 206:13,20	250:17,21 256:16	role 20:6,15 58:17	rural 24:14 116:12
164:22 165:3	209:21 213:6	259:6 262:1	roles 210:17	117:5 118:15
180:7 321:20	223:4 228:17,20	264:11 266:17	rolled 136:6	123:11,15 125:22
325:4,7,11 331:10	229:13,20 231:17	283:15,22 284:12	rolling 33:17	126:7 365:20
331:13,15,18	231:20 232:17	285:7,11,17 288:3	Romano 3:16	rush 391:4
354:4,5 360:3	234:9,17 242:13	290:8 293:1 295:4	138:16,17,18	rushing 326:19
371:12 375:4	244:14 250:18	295:8,21 296:4,10	141:18 142:5	
378:1,3,4,16,17	251:9 254:19	296:15,20 297:18	144:4 148:12,13	S
378:19,20 379:3	255:3 256:3 257:7	329:22 343:20	148:17 153:22	sad 144:7,8
394:17 400:6	259:15,21 262:3	347:18 358:6,11	154:3,22 159:15	safe 118:20,22
406:10	290:14 292:1	358:14 377:22	161:17 162:2,10	safety 25:6,7,9
reviewed 79:22	300:22 304:5,13	378:13	162:16 163:13	136:6
139:10 154:9	306:18 307:8,22	rise 399:18	164:1,9,12 165:18	sAG 347:14
160:4 256:20	310:5 314:18,21	rising 403:2	166:21 168:19	Sakala 1:10,13 6:9
316:5	314:21 315:22	risk 46:2 89:16,17	170:5 174:20	11:18,19 43:6,18
reviewing 297:15	318:4,20 319:10	91:8 116:18 161:1	room 8:11 152:21	46:6,12,15 47:7
reviews 29:22 31:4	320:21 321:4,4	161:4 165:12	153:9 165:9	48:3,14,20 54:16
66:5	325:6 331:16	167:1,16,20	174:15,17 176:3	55:15 56:8 58:1
revised 77:8 175:2	344:1 347:14	170:13 184:11	192:10 215:6,14	59:1 60:14,22
revising 260:1	350:4 352:17	189:9 206:4 207:7	233:4 251:20	64:17 66:9 67:15
revision 160:17	359:11 368:10	225:16 268:16	263:7 388:8 404:1	67:18 68:8,11,16
revisit 346:9	370:15 374:3	269:10,21 275:19	404:22,22	69:21 70:14,21
revote 63:12	384:15,19 385:4	278:9 280:15,17	rooms 357:22	71:17 72:10 73:1
Rice 2:10 6:12	385:10 392:16	280:20 291:17	roster 34:1	73:14 74:7,16
rid 146:14 376:9	393:22 404:16	304:9 324:4	roughly 354:9	75:6,10 77:16
right 23:4 30:22	rights 92:13	349:11 363:20	round 19:16	78:15,21 79:2,12
32:4 33:22 36:2	Riley 1:10,13 11:3	380:9 381:9	round-about	79:20 80:3,13,19
44:2 46:9 49:1,5	11:5 124:20 150:6	risks 199:4	272:16	81:6,10,13 91:22
49:22 51:9 53:22	150:16,20 179:5	risk-adjust 274:4	route 259:9 306:4	92:17 93:1 95:21
54:22 62:3,9 63:4	179:16,18,22	278:14 279:14	routine 242:8,12	96:7,18 99:5
63:8,18 64:2 65:1	181:8 182:18	295:2	313:18	100:22 102:17
68:21 69:6,17	183:6 184:7,20	risk-adjusted 5:21	routinely 314:9	104:17 106:8,21

107:3 108:7 109:3 111:18 114:12 115:16 116:21 118:3 121:13 123:18 124:4 127:9 128:15 130:2 131:9 132:11 133:4,20 134:5,14,22 135:14,17 147:11 150:4 151:8 152:8 153:20 154:2 155:14,22 158:5 159:13 161:10 165:4 168:4 169:8 170:17 171:14 172:5 173:12 174:2 175:14 176:2,8,14 201:7 210:9 212:4 297:22 300:22 302:4 303:8 304:5 306:18 307:8,22 308:3,7,15,21 309:2 311:22 312:3 313:5,22 314:21 317:16 322:21 323:7 324:16 325:12 327:2,13,15 328:14 330:17 331:21 332:17 336:12 343:17 346:15 352:7 356:14 359:18 366:3 370:7 372:2 372:5 373:5 374:3 376:12 380:11 384:14 385:11 386:11 387:19 388:18 393:4 394:1 395:11,16 396:18 402:9 403:13 sake 66:15 196:17 SALGADY 2:6 sample 124:14	San 132:5 SARAH 1:17 save 260:21 saves 260:1,5 savings 14:11 saw 205:17 346:10 396:5 saying 48:15 52:21 67:9 107:19 118:14 127:16 152:5 161:18 190:5 233:10,18 238:16 239:4,5 252:17 253:11 271:4 307:19 308:8 320:17 321:16 376:22 377:5 379:10 401:14 says 86:5 105:19 123:1 125:1 128:22 151:10 163:19 186:15 189:19 228:7 240:18 252:15 317:20 321:2 scale 71:20 74:12 scalp 144:16,21 scalpel 138:5 139:20 scan 376:10 380:10 380:20 381:6,12 382:19 scanner 367:4 scans 371:14,17 376:3,6,16 377:8 scant 239:10 scenario 86:15,16 schedule 168:6 scheduling 366:7 scheme 171:10 scholar 177:13 School 1:21,23 13:8 13:8 19:13 22:21 23:12 Schurr 3:18 357:11 373:8,8 374:18,19	378:12,14 379:16 380:13 382:4 383:19 386:18 science 301:8 scientific 31:14 48:21 61:10 63:1 63:10 64:12,19 67:5 68:3 93:3,6 95:22 124:5,7 127:10 146:4,12 158:13,16 161:11 166:18 171:15 246:4,14 247:12 248:17 258:6,20 259:4,19 262:7,17 283:17 295:22 312:10 323:8,14 389:3,5,21 394:4 scientificness 97:21 SCIP 313:12,12 326:22 scope 289:2 score 133:18 scores 58:15 Scott 1:15 16:20 screaming 130:22 screen 31:8 34:14 34:17 48:7 191:14 272:21 317:9 screened 5:7 180:4 186:6 194:2,3,5 317:21 318:2 screening 180:10 180:15,16,17 182:10,14 189:20 191:17 192:13,17 193:19,20 202:19 202:21 203:4,12 203:12,15,17 333:9 359:21 361:21 scrutiny 128:1 se 120:2 132:21 255:22 271:14 seats 177:4 second 60:14,16 73:3 87:10 88:12	114:9 140:14 147:10 151:21 221:22 222:19 223:1,3 230:11 234:3 239:7 251:3 259:8 311:1 366:18 373:6 385:16 secondary 163:17 384:7 second-guessing 385:1 section 6:7 89:15 93:22 141:3 163:9 163:15 164:10 178:4 267:1 288:11 298:6 299:14 sections 313:10 sectors 29:6 see 14:15 35:9,22 37:14 38:19 46:16 52:3 55:12 57:1 66:5 82:7 86:9 89:22 93:7,14 100:15 105:4 113:2 114:15 118:16 128:22 136:10 137:3,8 139:21 149:15 150:12 152:7 157:17 158:17 159:1 169:10 175:20 186:1 191:3 196:19 204:19 213:20 215:20 224:6 241:6 243:16 250:7 264:4 273:4 273:22 292:9 294:6 305:18 309:13,14 329:10 336:3 345:3,3 350:19 362:3 376:18,21 382:17 385:5 386:2 390:7 391:3 393:10	404:5 seeing 87:19 263:6 297:17 seen 59:10 118:11 118:13 399:16 403:6 sees 87:15 select 60:1,2 selected 235:7 selection 310:22 311:21 self 209:16 self-diagnosis 368:2 selling 17:17 send 35:11 190:8 sending 282:3 Senior 1:21 7:5 16:20 20:11 21:10 sense 52:2 94:14 95:4 145:10 162:13 187:13 194:8 207:16 222:4 225:21 237:17 243:16 270:21 271:5,8 272:10 273:9 303:16 312:18 320:2 345:19 349:21 360:3 sensitive 310:3 380:3 389:8 sent 116:9 145:9 147:20 339:16 340:12 341:21 342:7 sentence 319:20 325:16 sentences 210:5 sentiment 210:10 separate 61:16 62:1 139:8 271:2 304:9 311:4 319:14 364:19 separately 138:22 217:17,18 222:12 234:10 244:8
---	--	---	---	---

283:8 287:5	several-year 36:14	269:2	322:11,15 323:9	245:6 266:6
sepsis 5:20 6:1	severe 189:3 214:5	sic 156:20	323:17,21 325:1	353:18
239:18 264:12,16	severity 189:21	sick 192:13,22	331:5	sloppy 320:15
327:8 330:2,8	share 405:1	193:7 330:12	single 30:11 40:13	slow 369:15 397:4
septicemias 163:12	shared 31:6	sickest 193:6	40:15 73:11	SM 1:21
series 45:15 82:19	SharePoint 342:20	side 53:19 84:10	305:18 350:12	small 47:3 84:17
serious 141:9	shares 170:21	87:19 95:20 139:9	398:11 399:22	95:16 116:13
143:20 149:5	Sharon 2:12 4:25	139:10 197:2	400:3	126:1,3,7,14,20
seriously 58:22	18:18 109:17	231:18 289:22	single-stick 400:17	127:6 190:21
seriousness 149:8	124:4	300:13 301:12,16	sit 9:20 41:2	193:11 268:22
serologies 348:1	sheets 369:9	305:8 367:13	site 249:10 250:2,4	292:18 333:20
serum 372:17	SHEILA 2:16	385:18 386:7	250:8 251:5,7	334:5 335:16
389:7 391:10	shift 104:20 350:18	398:16 405:2	254:19,20 256:14	338:2,3 350:3
serve 9:15 15:12	351:1 355:15	side-by 53:18	257:13,16 258:9	smaller 34:14 74:5
16:6 24:5,9 39:16	shifted 241:2	side-by-side 32:18	258:14,22 259:9	268:18,19 270:9
served 10:12 58:8	shiftly 87:7	157:17 158:4	260:3,11 263:5,13	270:13 287:3
131:10	shifts 105:4	side-by-sides 406:9	303:20,21	399:16
service 9:4,22	ship 108:18 117:9	sign 219:13	sites 205:13 256:4	Smith 9:17
17:17 88:5,5	120:19	significance 140:4	260:14	snow 126:10
113:13 115:4,6,10	short 110:20 170:8	significant 18:3	situation 58:20	snowstorm 129:5
355:13 400:12	313:16 398:4	89:12 91:3 111:13	124:22 225:16	soak 101:13 102:16
services 1:21 18:9	shortcoming 138:7	113:16 115:3	325:10 344:12	society 2:2 13:18
21:10 23:1 28:21	shortly 27:16 36:21	127:1 181:1,3,19	six 19:1 191:9,18	26:10 384:19
113:11 208:21	shortness 88:8	181:20 188:10	191:22 192:1,2	sociology 21:22
229:9 280:10	short-term 277:12	189:4 193:12	200:18,20 246:22	solely 261:21
326:11	299:4 304:22	215:4 278:6	247:7 332:5	solid 317:13
serving 280:5	shoulder 138:11	316:18 388:2	352:11 380:17	solution 293:18
session 135:8	139:1,13 140:2	significantly	381:6 405:8	solve 343:22
174:15	147:17 175:7	153:13 155:3	sixteen 247:9	solving 336:4
set 33:9 38:17	show 110:14	287:7 373:3	six-sevenths 200:16	somebody 30:13
161:20 167:3	181:19 185:8	signs 265:14	200:19	42:19 117:20
199:13 208:9	246:21 247:6	similar 32:15 123:3	skeletal 142:8,9	288:9 385:7
209:9 295:5 339:9	262:20 263:1	157:5,7 266:3,8	sketchy 83:1	387:16 391:5
363:4,7 369:3	270:15 299:6	266:12 268:21	skewed 298:8	somewhat 32:9
373:17 390:9	376:19	281:15 284:3	skim 137:15	265:4
setting 114:21	showed 111:13	285:20 405:8	skin 244:22	soon 38:8 134:10
167:5 173:20	131:20 133:14	similarities 406:3	skull 137:20 139:19	148:1 272:20
276:20 310:11	327:4 353:22	simple 97:1 195:13	142:10 145:12	388:6
334:6	375:10,15 378:16	243:22 260:14	slack 233:18	sophisticated
settings 173:21	showing 298:21	331:13 337:7	slated 162:11	358:21
seven 206:18 247:1	329:13 345:10	395:10	slide 34:13 39:10	sorry 21:20 60:18
247:8 262:21	shown 78:4 322:16	simply 54:1,12 71:7	146:2 147:6	61:12 70:18 89:2
309:13 380:17	shows 125:3 131:22	104:8	213:20 309:19	114:14 118:8
381:3,6 393:15,22	187:18 236:9	Simpson 2:10	slides 31:5	132:13 135:17
seventeen 247:10	303:22 306:2	24:16,17 315:22	slight 162:6	163:8 179:4 183:1
sevenths 191:10	375:20	319:13 320:5	slightly 37:7 89:3	186:17,20 200:5
200:19	shrinkage 268:19	321:5,15 322:3,7	112:22 160:13	204:16 227:18

234:4 235:22	340:2 345:18	161:14 309:7	389:22	statement 165:6
248:4 252:12	346:2 353:1	405:17	standardize 60:1	367:18
267:3 290:1 301:1	362:16 363:17	specified 61:7,8	249:17	states 91:19 112:16
305:7 341:8,19	368:4 374:14,19	139:18 142:13	standardized	112:22 113:3,7,11
362:2 369:18	378:12 379:14	159:10 198:19	198:16 255:5	113:17 115:1,4,14
374:22 386:13	386:15	208:10,21 259:17	264:17	121:18 123:6,9,10
391:3,21 392:8,16	speaker 22:11	373:17 379:11	standards 41:9	123:11,16 172:19
394:20 395:15	23:16 114:14	specify 156:12	122:10	178:22 244:19
sort 32:4 33:16	237:12 370:10	209:6 259:9	standing 341:8	279:8 289:10
40:5 41:4 51:21	Speakers 15:6	263:20,22 310:1,4	357:3,6	338:21 342:18
52:1 66:17 81:20	speaking 9:11	specs 162:8	standpoint 66:7	357:18 361:15
81:21 82:5,10	18:11 23:18	spectrum 22:18	167:9 168:1	390:18
83:3 85:7 89:14	100:17 381:2	speculation 117:8	169:14 196:5	statewide 178:12
94:13 96:12 97:4	special 279:7	spell 241:20 242:1	243:12 273:12	289:11
98:10 117:17	specialist 12:14	spend 22:16 405:10	309:22 336:5	state-based 17:9
125:7 129:7	13:7 15:11 24:17	spent 58:21 297:15	346:5 362:19	state-level 118:17
136:11 138:7,9	specialists 88:3	387:11	stand-in 280:9	state-to-state 113:5
140:16,18 141:16	specialties 85:2	spill 195:18	staph 265:11,12	statistical 170:20
142:20 144:5	155:20	spinal 138:2 142:16	282:15 283:1,11	234:7 274:19
146:7,14 156:8	specialty 355:11	144:8 265:9	start 10:22 27:15	statistically 329:9
171:12 189:2	specific 12:10	split 93:8 141:11	34:11 43:10 93:6	statistician 21:19
193:19 199:20	56:14 57:5,6	385:20	195:18 215:20	statistics 41:4
216:9 218:13	66:16 107:13	sponsor 18:15	227:13 255:20	124:10 378:19
219:14 229:17	140:21 150:14	spontaneous 89:2	298:5 347:20	status 36:20 112:1
265:11 273:9	155:3 164:17,21	145:11,14	started 35:5 46:1	160:19 338:20
282:5 286:7	164:22 173:17	spot 103:7	128:4 208:12	347:14 376:7,11
300:16 305:17	174:1 188:3	spreadsheet 34:2	starting 156:3	391:11
306:3,10 361:10	195:17 281:8	spring 310:14	301:8 351:3	stay 143:15 152:22
366:17 377:11	300:16 310:19	squared 273:22	starts 342:7 352:2	169:2 275:7,13,17
384:7 396:13	320:9 337:13,22	squishy 122:6	state 1:22 22:5,10	275:22 276:2
399:16 406:5	339:12 340:11	St 2:11 24:18	41:2,13 91:15	281:15 295:12
sorts 59:13	341:14 367:7	stabilized 131:8	112:14 113:1	361:19
sounds 61:21 79:4	378:21 389:8	stable 299:19 399:8	116:7 117:16,17	staying 169:4 399:8
233:11 307:5	specifically 84:10	staff 2:14 122:9	117:17 118:1	steady 312:15
379:7 398:20	85:3 86:17 140:20	stages 205:12	119:16 120:5,8,13	Steering 1:4,9 7:10
source 265:14	142:12 143:13	stakeholders	123:2 124:9 126:1	46:17 198:22
sources 59:12	167:2 169:5	128:20 312:21	126:8 133:13	210:17 343:1,18
South 123:12	323:15 333:13	stand 377:17	136:3 178:1	374:6
southern 178:22	specification	standard 28:7 55:4	179:12 205:6	step 158:1 201:13
Southwestern 2:4	139:15 140:12	55:10,14 89:2	207:15,18 244:5	Stephen 81:2
16:15	155:8,12 164:14	100:19 124:9	326:3,7,10,12,14	stepwise 157:10
speak 22:3 47:12	165:2 166:15	130:19 131:5,5	326:16 334:4,18	sterilization 390:18
83:8 87:2 89:8	211:12	198:9 218:14,19	334:22 335:5	391:18
130:15 148:20	specifications 59:9	219:9,10,14	339:4 349:4,4	sterilized 303:15
168:12 174:22	64:22 122:21	234:19 277:5	350:7 356:10	Steve 82:16
209:4 256:17	154:7 155:1	288:16 290:4	stated 331:12	stewards 115:19
275:10 278:13	160:10,12,14	355:20 380:1	339:16,16	349:21

stick 64:10	struggling 349:8	subset 149:4,4,7 359:8 372:15	supplementary 339:17	surfactant 5:11 212:12 213:16 214:6,15 215:7,15 216:1,18,22
stipulate 263:11,12	studied 307:10	subspecialty 209:17	support 28:20 71:16 114:1 115:11 120:9,13 130:17 210:10,11 215:2 252:10 286:12 303:4	surgeons 355:12
stockings 97:16	studies 65:22 83:21 84:4,16 182:2 214:11,13,21 239:11 257:5 267:9 299:5 300:17 305:5,15 305:16,21 306:19 373:1 376:18	substance 362:11	supported 181:17 210:13	surgeries 98:22 108:4 313:4,9,15
stop 181:7 260:1 336:10 356:12	study 12:19,20 13:3 24:13 54:2 65:10 73:16 113:10 114:22 148:4 181:16 182:3 206:14 215:1,17 304:6 308:12,14 324:5 377:18	substantial 257:1,3 267:20	supporting 127:16	surgery 21:5 91:12 91:13,14 97:15 98:3,10 99:1,19 101:3 102:21,22 103:12,13,17,20 103:21 104:2,3 107:9,16,22 180:18 202:22 203:5,5 302:20 360:22 388:10
stopped 182:21	stuff 147:10 306:12 306:15 326:19	substantially 211:6	supportive 29:11	surging 103:21 104:2,3 107:9,16,22 180:18 202:22 203:5,5 302:20 360:22 388:10
story 253:13	stupid 227:2 228:1	substantive 231:18 234:20	supposed 97:17 106:19 122:1 141:6 344:10	surgical 6:6 303:20 303:21
straightforward 93:16	subaponeurotic 138:1 144:9	success 244:1	sure 17:19 28:14 37:11,17 46:12 53:6 61:20 62:4 77:19 81:3 92:13 94:1 95:2 103:6 103:19 104:14 105:12,13 106:1 119:2 122:16 147:3 148:14 154:11 158:1 183:8 185:15 186:10 197:14 198:5 199:11 203:15 206:1,6 209:21 220:2 227:14 228:18 230:5 236:17 246:20 247:20 250:12 256:11 268:9 272:11 279:13 283:4 287:15 294:6 307:10 324:15 336:15 340:5 343:21 344:9 353:10 363:3 373:12 383:21 386:22 392:14 401:2	surprised 118:14 125:15
strain 95:7	Subcommittee 85:8 140:15	successfully 267:7	surveillance 167:10 167:22 169:14,16 337:3 341:1 351:11	surprisingly 353:12
strange 137:1	subcriteria 40:1,14 79:18	sudden 362:14	surveillance-type 348:18	survey 69:2 133:14 167:11 288:10 353:22
strata 240:9,12,19 294:6 295:3	subgroup 48:11 82:6 266:4	suddenly 230:12	surveys 181:18 353:20	survival 111:13
strategies 169:22	subject 58:12 116:5	suggest 153:13 158:22 217:12 300:18 330:1	Sutherland 2:12 4:25 18:18,19 109:17,21 124:6 127:19 133:11 257:12 292:4	SUZANNE 2:18
strategy 11:22 28:22 140:21 141:16 328:3	subjective 367:16	suggest 153:13 158:22 217:12 300:18 330:1	switch 34:9 120:7 373:21	Suzie 9:17
stratified 146:5 149:9 228:19 293:15,20 294:1 294:15	submission 138:21	suggested 278:16	switches 94:1	symptoms 265:14
stratify 166:12 217:5 228:10,13 277:5 293:17	submissions 30:9	suggesting 304:19		
stratifying 146:8	submit 248:3 367:16	suggestion 269:18		
Street 1:10	submitted 27:22 29:14 36:18 41:12 42:11 50:1,1 137:6,13 138:22 147:17 160:12 180:7,9 218:7 259:14 340:15	suggestions 38:17 268:7		
strep 301:19 315:19 316:4	suboptimal 318:16	suitability 69:11 174:8 285:12 296:16 315:12 332:8 403:17		
streptococcus 6:11	subsequent 185:11	suitability-for-en... 134:6		
stress 237:3 241:12 264:1	subsequently 144:20 213:10 375:8	suitable 39:13 204:18 261:20		
strictly 301:11		Suite 1:9		
strikes 359:22 367:8		Sullivan 3:20 332:15,16 336:15 340:5 342:5,15 347:15 350:13		
strive 338:11		summarize 148:3 343:4		
strong 97:9 238:10		summarized 317:11		
stronger 219:1 403:11		summarizing 153:4		
strongly 53:19 71:16 190:13 194:20 210:9 401:9		summary 44:16 137:16,19 186:22		
struck 185:3,5 325:15		summers 41:2		
structure 338:15		superior 157:20		
structured 43:22		supplemental 342:20	surface 47:14 333:4,9 338:20 339:3 344:5	
struggled 140:16				

synchronous 269:19	89:11 92:3 96:3 119:7 130:7,10,19	394:5 396:4 402:11,18 403:19	241:9 337:9 350:13 353:2,4	259:18 262:3,4,8 262:15
syndrome 90:15	132:6 158:8 161:5	takes 282:4 331:20	360:7,11 370:1,16	temperatures 221:15 242:6
system 20:5 47:18	177:4 224:14	talk 31:21 42:16	370:19,22 371:4,6	255:21
61:1 65:14,17	226:21 227:10,18	146:12,13 147:5	371:18 372:3	temperature-level 258:11
88:15 100:21	228:15 231:18,21	156:3 169:21	380:7 387:10,12	temps 224:12
118:17 120:1	233:17 242:5,20	218:4,6 224:21	387:14	241:22,22
126:21 129:1	243:9 244:15,20	292:7 353:6	technique 380:18	temptation 385:1
132:18,20 153:12	244:21 249:13	354:14 370:6	tedious 34:4	ten 38:2 247:8
172:22 173:18	257:19,22 259:16	384:5 394:17	Teen 1:17	398:13
178:9 242:19	262:2 264:9 267:3	406:3	teeny 352:3	tended 125:9
255:20 314:13	272:17 279:8	talked 28:19 36:4	telephone 2:22,23	tends 348:15
328:7 354:9	292:17,19 293:16	39:19 85:17 98:6	2:25 3:14,19,21	tension 260:8
361:18 362:13	293:21 294:9	102:19 106:7	111:1 372:4	teratogenic 367:1
366:9 368:15	297:3 303:14	202:8 209:5 233:3	tell 9:2 49:18 84:18	Teri 2:5 4:15 17:21
369:15,16,21	305:17 308:19	239:13 275:11	99:10 108:10	43:10 72:14
386:2 393:12	316:20 362:7	282:8,14 376:14	123:5 131:15	102:18 292:16
398:11,14	386:12 394:22	385:14 390:5,7	145:1 165:18	term 37:7 146:21
systematic 243:20	398:13 406:14	396:16	179:6 278:3	271:18 272:7
306:3	taken 33:3 35:8	talking 55:20 70:6	279:22 287:21	319:15 337:2,12
systematically	48:17 63:9 64:10	97:14 102:20	328:14 340:18	terminate 382:18
242:12,16 275:16	68:5,13 72:7	134:19 146:3	372:10 391:6	terminations 365:12
329:1	74:13,22 76:13	205:6 239:8	telling 186:14	terminology 94:1
systems 2:13 23:10	80:11 92:20 96:4	242:18 254:2,6	temp 218:21	terms 12:18 24:5
41:7,7 70:8 73:21	99:4 106:12 109:7	256:10,11 290:10	221:13 223:17,20	26:9 33:18 36:7
74:4 122:9 129:9	111:4 124:1	290:13 293:21	223:20 224:2,8,9	71:1 76:10 81:19
130:17 132:4	125:12 127:14	302:5 310:6 322:9	224:14,20 229:21	82:4,20,22 83:19
357:9 369:12,12	133:6 134:2 135:2	322:9 336:16	230:22	84:15 86:8,10,10
369:14 395:4,8	142:4 151:13	346:12 349:5	temperature 5:14	86:13,19 89:15
398:15 399:16	158:10 171:17	372:14 396:10	5:17 218:11	90:13 93:5,10
400:4	172:10 174:5,10	talks 406:8	219:16 220:17	97:21 157:4
system-dependent	201:4 202:1	target 189:9	225:9,14 226:2,11	214:16,21 251:15
118:13	211:15 212:1,6	targeted 177:20	226:20,22 227:3,6	262:3 272:12
S-E-S-S-I-O-N	221:13 223:9	targeting 184:1	227:15 228:3,15	278:4 301:5 325:1
177:1	224:20 225:1,2	task 29:13 41:4	231:5,12,22	325:20 333:14
	227:3 230:2	teaching 375:5	233:19 235:9	335:6 338:2
T	241:21 245:20	team 229:13 232:7	236:4,13 237:8	340:17 342:1
T 369:8,15	246:15 250:4	386:10	238:1,19 239:14	368:5 381:9 394:9
table 9:1 11:2 79:1	258:22 266:20	teams 229:10,10	239:16 241:20	terribly 83:18
187:18 204:1	283:19 284:16	309:11	243:11 244:1,14	105:18
298:2	285:8,14 293:5	tease 294:22	244:20,22 245:3,4	tertiary 193:5
tail-end 344:20	296:1,7,12,17	Tech 23:11	245:5,6 249:9,18	test 6:18 35:7
take 26:3 27:10	308:20 312:11	technical 14:13	249:22 250:3,4,22	352:16 353:7,11
34:3 52:7 58:21	314:5 315:8,14	66:12 77:21 78:2	251:4,13 252:16	359:5 362:18
61:21 63:1 64:16	323:4 324:20	79:9 92:11,12	252:18 253:6,7,8	
66:13 68:1 70:17	330:22 332:4,9	106:3,4 113:19	253:12,18 258:8	
74:9 77:5,8 85:7	352:10 388:20	114:4,6 236:19	258:22 259:1,12	

364:5,20 368:10 368:12,13 373:15 373:16,18,19 379:1,15,18 380:2 380:2 381:4 391:5 391:7,8 393:9 394:11 400:12,18 tested 39:3 75:3 79:5,7 195:6 247:15 319:6 333:8 354:16 356:9 testing 95:10 274:11 319:5 324:14 354:1,22 356:18 357:11,21 359:1 364:12 371:5 372:17,18 372:21 373:3 374:10 377:15 380:15,16 tests 34:19 337:10 356:22 358:2 371:18 389:7,7,13 389:14,18,19 390:3 400:19 Texas 2:3 13:21 116:4 thank 11:17 16:11 22:13 23:21 26:19 27:3,4,7 43:11 47:8,11 67:17 68:16 75:9 79:10 81:7,8,12 92:19 100:22 112:8 114:12 115:16 135:5 140:13 150:4 152:8 157:3 161:10 168:4 176:7,18 179:16 179:22 184:20 187:7 200:2 210:3 210:7 212:9 213:12 217:14 223:4 263:8 273:18 289:3,3 297:10,13,14,18	315:16 332:11,17 332:22 336:21 352:19,21 355:7 372:5 373:5 374:4 392:2 402:8 404:17 Thanks 12:11 21:8 43:15 227:20 254:10 than-1-percent 102:1 THEBERGE 2:18 342:13,19 theoretical 300:20 396:14 theories 301:5 theory 114:1 180:20 313:20 373:20 376:13,20 therapies 313:20 therapy 204:21 214:7 thing 10:2 11:10 12:17 18:10 32:13 37:13 40:7 41:10 41:22 52:1 73:11 90:4 91:21 101:21 104:1,5 116:16 120:16,17 121:22 131:18 137:10 138:12 141:7 144:22 147:9 196:2 202:12 203:14 208:17 209:12 219:14 230:11 233:3 239:5,7 245:12 247:15 248:7 270:5 275:7 291:7 300:4,5 305:3 317:6 319:10 341:12 345:5 351:4 355:13 358:12,17 359:20 367:12 376:2 382:16 385:3 392:4 402:1	things 7:16 9:6,14 10:19 12:1 28:8,9 41:9 43:3 60:1 62:16 70:3 72:12 76:7 89:8 98:8 132:4 144:3 145:11 171:2 186:3 195:12 203:18 221:5 227:13 229:11 235:14 239:6 249:22 267:13 268:13 270:7 279:1 290:19 304:13 321:13 346:1,4 358:4 369:5 376:14 381:1 385:22 388:15 396:14,22 think 8:12 12:17 19:17,21 29:1,4 35:19 36:6 37:4 37:21 38:7 39:19 39:22 40:7,12,15 42:5 46:15 47:2 47:19 48:4 53:16 55:4,5,11,12 59:19 60:3 61:22 62:22 63:16 64:15 70:9,12 71:14 72:4 73:2,3,9,20 77:7,10 80:6 81:20 82:6 83:3,6 83:14 86:1 87:18 89:8 90:3,7 92:3 94:4 96:2,20 98:7 101:2,18 104:7,7 104:20 105:2 112:2,4 113:6 115:18 116:10,10 117:18 121:13 122:15,17 123:19 124:21 125:6,7,12 127:12 128:9 129:10,13 130:3 132:2,9,15 134:10 138:13 141:21	142:5 144:4 146:7 148:3,21 149:1,3 149:10,20 150:11 150:12 151:4,6 154:3,5 155:2,9 158:18 159:6 162:11,20 168:20 170:4 182:22 183:4,17 188:19 188:22 191:4 193:11,16 200:3 200:15 201:10 204:10 206:16,17 206:21 207:10,17 207:20 208:2,17 208:17 209:3,11 209:13,18 210:4 210:10,19 211:8 211:13 213:9 216:20 217:2,7,21 219:3 221:19 222:6,11 225:5,19 231:21 232:16 233:2,8 234:13,17 237:4,10 238:4,9 240:17,19 241:13 244:6 246:5 247:13,17 249:1,7 249:8,13,20 250:21 252:5,8 255:9,18 257:18 258:19 259:2 260:8,19 261:4,10 266:13 267:4,8,13 268:16,20 269:6 269:12 270:6,12 271:4 272:8 274:8 274:11 275:15 276:10 277:4,8 279:12,19 280:19 281:8,13 282:3,5 282:17 283:17 284:3,19,22 285:4 286:4 288:7 289:7 289:8,8,12 290:16 290:22 291:12,21 292:15 293:8,10	293:13,19 298:7 302:12,15 303:5 304:21 305:3 309:7 310:10,15 310:18 311:8 313:21 317:12 318:9 320:14 321:6 322:15 323:9 326:21 329:21 339:6,13 339:17 340:18,22 345:14,21 349:9 349:10,12,14 350:16 356:18 358:19 359:15,19 365:14 366:11,18 366:22 367:18 371:20,22 372:8 374:22 375:14,17 377:22 379:1,10 381:7,11 382:5,10 382:14,21 385:2,5 385:13 394:13,18 395:1,4,6 396:13 397:1,10 400:5 401:12 thinking 82:13 209:12,18,19 294:9 346:7 363:22 365:10 380:19 thinks 390:21 third 87:11 88:12 89:14 142:11 216:15 251:6 thirds 142:8 thirteen 247:9 Thirty 192:2 200:19 thought 78:10 89:16 96:10,15 99:10,11,12,13 102:15 103:3 130:9 183:8 185:15,21 197:18 219:4,5,11,18 220:1 236:14
---	---	---	--	--

253:15 254:3	tightly 238:13	time-dependent	town 7:15 102:12	334:12,19 335:20
266:13 270:1	time 6:6 8:12 22:4	388:13	to-date 30:13	352:1
288:10 293:12	22:16 27:7,15	time-intensive	track 41:8 104:10	transmit 44:18
316:6,10 323:12	34:5 41:8 43:5	325:10	104:16 126:3,22	transmits 207:15
331:6,7,8 345:3	46:14 50:11 54:13	time-limited 62:19	128:4 328:19	transport 119:14
351:8 353:10	54:18 55:13 58:21	401:20,21 402:2	329:14	228:4,14 229:5,8
372:13 401:12	62:7,17 66:15	timing 80:22	tracked 393:19	229:8,9 232:7
thoughts 225:10	69:19 70:13 77:7	298:18 311:6,20	tracking 217:9	transportation
377:3	80:22 89:6 93:11	339:20 340:2	329:2	177:16
thousand 102:15	95:17 97:14,15	342:2 343:9	tradeoffs 199:21	transports 228:5,9
thousands 84:2,2	98:3 99:1,19	365:12 373:15	217:10	transvaginal
three 28:4,5 41:14	100:11 102:21,22	timings 343:10	trading 73:13	391:13
41:21 42:1 45:6,8	103:12 112:11,14	tiny 116:13 144:17	traditionally 283:1	trauma 5:1 135:8
62:19 68:15 74:14	115:17 118:10,12	187:16 188:10	training 16:3 17:1	142:13 143:12
85:21 87:14 92:21	118:22 119:9,12	288:16 330:2	22:16 24:13 131:6	148:6 149:3,18
107:11 110:9	119:14 120:11	352:3	149:16	174:22 271:20
112:18 131:1	122:15 123:18	Title 178:18	transabdominal	traumatic 353:16
154:5 158:17	132:12 137:12	today 7:9 19:21	391:14	travel 7:13
214:19 215:18	147:13 170:8,12	23:6 24:22 29:13	transcribed 8:1	traveling 35:2
219:4 246:22	176:11,13 184:3	31:1 33:8 59:12	41:12	treat 220:11 225:3
247:7 264:19	193:16,18 197:5,5	179:9 212:16,21	transcript 8:2	225:6 226:7 279:4
265:9,10 266:1	225:14 232:9,10	333:11 344:22	transfer 41:6 111:3	317:10 319:1
313:9 316:22	240:22 260:21	346:6,6 353:2,5	118:20 125:4	treated 5:10,11
325:3 327:5 381:8	262:13 275:17,18	405:9	128:2 130:16	131:8 171:6
398:13 402:4,22	277:21 281:18,21	told 160:6	159:2 160:18	212:12,13 213:15
403:1	295:12,13 297:15	tolerate 192:22	161:8 348:4	213:16 265:15
three-quarters	299:19 304:19	tomorrow 32:7	transferred 41:17	346:13 347:10
90:22	313:16 325:5	33:11 42:18	125:19 153:2	349:12 360:17
threshold 168:15	327:9 330:5	108:19 154:10	160:22 163:19	treating 62:2
240:7,21 243:1,1	331:20 336:16	157:15 179:9	166:8 183:19	treatment 78:3
243:6 244:13	337:16 340:21	212:22 285:3,4	194:11,16 265:18	216:18 227:16
248:20 250:3,10	346:9 356:6	404:20,21 405:1	transferring	322:17 323:10
252:4 258:8 259:1	363:19 364:11	406:6,10	119:17 122:8	364:9,12,16,22
259:12,18 262:8	366:2 367:17	tool 34:3 276:11	transfers 120:10,13	treatments 214:6
262:16 382:1	373:2 380:14	351:12,13	172:4	tremendous 145:2
thresholds 240:16	393:17 394:22	top 353:16 374:9	transient 140:3	145:18
242:18	396:2 398:5,5	topic 36:10 58:5	transition 61:1	trends 346:3
thromboembolism	402:2 403:22	198:19 199:13	208:3 209:18	351:10 398:19
107:10	405:11 406:7	354:6	transitioning	triage 357:7 386:4
thrombosis 89:18	timed 181:4 182:4	total 89:13 94:14	209:13	386:5
89:19 90:15	timeframe 340:3	149:2 234:11	translate 26:4	trial 214:22 215:1,2
throw 94:22 127:1	timeline 154:20	284:21 300:1	translates 376:5	215:8 216:15
195:7 345:4	timely 180:18,19	totally 52:3 91:5	transmissibility	244:17 389:17
throwing 171:8	202:22 203:6	105:7 118:16	347:7	trials 216:20
throws 126:13	timer 34:11	252:13 310:11	transmissible	267:13 305:1
tidy 329:9	times 130:6 247:16	377:10	334:16 347:4	328:8
tight 132:12 238:12	274:6	tough 276:2	transmission	trickier 304:16

tricky 123:16 163:14	turn 8:14 43:3 133:9 223:14 332:18 391:9	246:22 247:7 249:8,9,10,22 251:8 253:10	225:20	384:8
tried 160:8 215:15 217:6 280:1 338:21,22 340:19	turnaround 393:17	266:6 271:16 286:14,16 290:9 291:9 292:21 295:20 298:3,4,19 298:21 310:15 311:6 313:15 314:6 326:16 331:7,7 343:9 348:8 357:1 368:1 371:11 375:5,6 389:15 394:7	uncompensated 10:15	unintentionally 366:2,7
trimester 381:12 382:20	turned 171:10	type 149:9 240:6 306:14 335:17	uncovering 276:11	unit 188:15 292:16 295:11
trimesters 87:11 88:13	turning 261:6	types 139:2,21 140:10 143:11 175:10	undergo 88:19	United 91:19 244:19 342:18 357:18 361:15
trouble 193:15 218:15	turns 222:8	typical 341:7 348:17	undergoing 4:19 86:5	units 16:17 197:7 215:19 229:8 292:16
Trudy 3:10 47:10 73:14	tutorial 29:20	typically 103:18,20 121:3 348:15	underlying 51:1 88:14 226:5 244:5 286:17	universal 136:7 394:17
true 85:12 116:14 117:18 119:2 145:3 280:7 300:20 337:11 338:4 401:18	tweak 112:22	T-shirts 227:13	understand 53:5 66:22 120:3 128:8 175:5,13 188:7 193:14 195:20 197:14 199:8,18 212:17 233:9 256:12 271:15 298:17 300:19 301:7 305:5 372:11 386:22	universally 83:8,10
Truly 37:12 66:3 122:17 189:2 220:4 270:3 350:2	tweaking 245:17	U	understandable 96:16	universe 209:8
trustees 131:18	twelve 119:13 125:10 247:8	UCLA 13:8	understanding 51:21 193:15 208:8 336:19 361:5	University 1:23 2:3 2:6,7 3:16 12:16 17:4 19:3,12,18 24:4 26:8 148:5
truth 226:8	Twenty 38:1 283:20	UC-Davis 138:18	understands 252:13	unknown 318:22 319:2 341:5
truthfully 281:4	Twenty-five 124:2 135:3 174:11 296:2,18	uh-hum 248:8 322:6 378:13 401:17	understood 224:18 312:19	unnecessary 257:14 367:2
try 32:18 35:17 114:7 129:15,21 132:1 255:20 274:18 339:1,2 358:17 383:21 400:22 406:13	Twenty-four 96:5 314:6 324:21	ultimate 398:1	undertaken 159:20 398:16	unobtainable 184:6
trying 25:20 26:3 37:6 51:2 52:2 59:22 103:19 114:18 120:3 184:16 195:21 199:17 212:16 215:20 240:20 241:1 244:9 271:15 278:2 290:17,17 313:12 318:10 338:17,20 382:22 388:5,6 391:4 392:16	Twenty-one 201:5	ultimately 217:1	undoubtedly 227:2 228:1	unorthodox 230:18
tubal 390:17 391:16,17 392:1	Twenty-six 293:6 308:22 312:12 315:15 323:5 332:10	ultrasound 358:3 358:21,22 359:5 379:14,17,21 380:16,18 381:5 383:4 391:14	unfair 150:7	Unplanned 1:17
tube 388:9	Twenty-three 134:3 202:2 212:7 285:15	unanimous 127:15 158:11 174:11	unfortunate 382:14	unpredictable 138:12 140:5
TUESDAY 1:6	Twenty-two 68:7 75:5	uncertain 140:7 253:22	unfortunately 88:10 139:4 160:11 330:7 337:3,11,22 355:18 369:7,12 401:18	unprotected 337:19
	twice 63:21	uncertainty 299:10 300:12	unintended 28:13 72:12 231:4 299:12 330:11 372:22 382:8,11	unpublished 117:4 186:15
	two 5:12 7:13 9:13 11:9 27:9 30:10 37:6 45:7,9 50:7 58:5 61:21,22 62:2 63:1 68:15 99:12 135:7 136:17 139:7 142:7,14 153:1 158:17 167:7 171:22 175:11 186:3 213:16 214:15,19,21 215:18 217:16 218:22 219:4,19 221:2 232:3,17,19 232:22 235:14 238:22 240:3	unclear 128:5		unreliable 367:18 unspecified 57:19 untangle 362:15 unusual 170:16 unwilling 259:13 update 310:13 updated 77:20 updates 292:2 upfront 386:3 upping 203:19 uptake 397:2 up-to-speed 371:10 urge 71:16 urgent 123:20 132:12 309:9

<p>urine 356:22 357:2 368:13 372:18 380:2,16 381:4 389:6</p> <p>usability 31:14 63:20 67:13 68:19 69:22 70:15 71:2 71:18 96:8,9,15 96:21 98:11 127:18,20 133:5 171:19,22 172:5 202:7,7,14 211:14 284:1,5,13 296:4 312:13 314:3 324:22 325:2,8,13 327:2 328:16 330:17,21 333:16 394:8,10 402:10</p> <p>usable 273:10 395:7</p> <p>use 20:15,21 28:11 29:8 39:21 40:9 59:17 60:18,20 76:18 83:4 101:22 102:5,20 104:5 112:20 154:15 159:7,11 168:15 168:17 179:10 198:8 199:1 205:8 207:10,20 208:4 208:15 209:20 216:22 217:8 221:1 238:3 240:20 241:6 243:6 244:13 260:11,14 261:20 271:21 316:21 325:2 346:6 395:8 397:12</p> <p>useful 69:8 118:2 217:8 261:7,21 334:20 401:13</p> <p>usefully 98:13</p> <p>user 159:22 160:1 160:15 170:6,6</p> <p>users 159:8 168:22 169:7</p>	<p>uses 41:18 105:4 149:4 269:18,22</p> <p>usual 41:22</p> <p>usually 74:4 88:12 120:20 147:10 209:15 255:16 294:16 380:2</p> <p>UT 16:14</p> <p>Utah 18:2 126:19 205:6,13</p> <p>utility 28:16 289:8</p> <p>utilized 289:11</p> <p>U.S 47:15,20 287:16,17 288:13 288:22</p> <hr/> <p style="text-align: center;">V</p> <hr/> <p>V 178:18</p> <p>vaccinating 351:2</p> <p>vaccination 45:17</p> <p>vaccine 4:12 6:14 43:8 44:6,8 49:9 50:9 52:9 53:6,7 332:19 333:5,19 334:9 335:7,10 336:3 338:14 351:16,19</p> <p>vaccines 58:13</p> <p>vaccine/medicati... 49:4</p> <p>vacuum 138:2</p> <p>vacuum-related 137:22</p> <p>vaginal 89:2 143:3 143:4,12,14,21 145:17 146:10 381:5</p> <p>valid 53:17 73:12 95:5</p> <p>validated 167:2 169:6</p> <p>validating 12:22</p> <p>validation 148:8 159:21</p> <p>validity 48:22 49:15 65:9,11 93:3,11 94:13</p>	<p>124:12 146:13 162:15 201:15 250:9 251:1,12 267:14,15 281:7 293:9 309:3,16 323:16,22 324:17 389:1 390:15 393:2</p> <p>validity/reliability 340:13</p> <p>validly 69:7</p> <p>valuable 195:22</p> <p>value 14:8,10 33:1 69:19 71:14</p> <p>values 234:10 242:7</p> <p>variability 244:14</p> <p>variable 160:21 190:11 220:11 235:10 257:15 274:14 278:20 280:14 281:8 290:4 397:1</p> <p>variables 258:1 274:20 281:10</p> <p>variation 256:9,12 258:21 263:5 334:14 335:17 377:15 378:10,17</p> <p>variation/location 256:8</p> <p>various 29:9 113:11,17 115:1 385:6</p> <p>vary 115:14</p> <p>varying 117:22</p> <p>vast 101:21 141:22 268:2</p> <p>vastly 378:2</p> <p>veering 343:10</p> <p>vehicle 308:14</p> <p>venous 82:2 89:17 89:18 90:15 107:10</p> <p>vent 192:16</p> <p>ventilated 152:22 170:11</p>	<p>ventilation 165:10 168:11</p> <p>verbal 367:15</p> <p>Vermont 2:21,22 5:8,12,15,18,21 6:2 14:5,19 179:19 180:9 181:18 182:20 183:15 185:4 190:3 197:1,3,6 207:12 216:7,16 235:4 258:16 267:6 268:5 286:10 287:12 297:10</p> <p>version 162:11 175:2</p> <p>versus 52:4 60:2 65:9 89:1 95:13 95:14 104:12 146:9 156:20 158:20 164:6 194:11,21 195:1 199:18 200:18 219:17 255:11 273:17 282:11 292:8 298:15 319:15 331:10 345:7 347:5 348:5 367:8 370:2,18 373:15 389:13 397:19</p> <p>vertical 334:12 335:20 352:1</p> <p>very-low 266:4 271:6</p> <p>very-low-birth-w... 6:1 268:11 270:20 271:1 285:22 288:20,21 289:6,9 289:13 290:2,11</p> <p>very-small-birth-... 294:19</p> <p>Vice 16:20</p> <p>view 74:19 129:9 145:8 170:20</p> <p>views 304:22</p>	<p>violation 124:22 125:11</p> <p>violations 124:17</p> <p>viral 44:18 348:3</p> <p>Virginia 23:10,11 356:11</p> <p>vision 115:12 180:19</p> <p>visited 21:12</p> <p>visits 353:18 371:19</p> <p>visual 184:3</p> <p>vital 41:7,10 124:9 178:9 219:13</p> <p>VLBW 267:4 268:8</p> <p>voice 383:13</p> <p>volume 295:17</p> <p>voluntary 17:3</p> <p>volunteer 58:7</p> <p>VON 195:10 196:4 204:4 205:14 210:21 256:2 259:7 264:16 284:5</p> <p>vote 31:20 32:2 34:12 35:7 43:21 48:4,17 59:3,5 61:4,13,14,17 63:6,9,21 64:9,16 64:16,18 66:10,13 66:19 68:5,13 70:17 71:18 72:7 74:9,13,17,22 76:22 77:3 80:6 80:11 92:4,14,20 96:3,4,20 99:4,6 106:10,12,15 109:4,7 118:6 123:21 124:1 127:12,14 133:5,6 133:22 134:1,2,7 135:2 141:12 147:8,10 151:9,13 156:9 158:8,10 171:15,17 172:8 172:10 174:3,5,7 174:10 175:6</p>
--	---	--	--	--

192:4 200:5,5,10 200:13 201:1,4,22 202:1 211:14,15 211:22 212:1,5,6 221:21 222:12,19 222:22 223:2,7,9 245:15,20 246:4 246:11,15 248:1 248:17 249:21 250:14,18,22 252:3 258:4,20 259:4,10,17 262:2 262:6,12 266:20 283:17,19 284:12 284:16 285:5,7,8 285:12,14 293:2,5 295:22 296:1,5,7 296:11,12,17 300:10,11 308:19 308:20 312:9,11 314:3,5 315:7,8 315:11,13,14 322:19 323:2,4 324:19,20 330:20 330:22 332:2,4,7 332:9 352:8,10 386:12 388:19,20 394:3,5 402:10,11 402:17,18 403:16 403:19	vouch 141:1 vulnerable 289:19 <hr/> W <hr/> wait 48:6 345:11 walk 33:15 want 35:17 36:6 39:11 40:10 43:16 43:17 46:4,5,10 61:14 62:1 63:12 64:10 68:8 97:10 97:11 102:1,11 117:7 120:15 121:9,16 130:8 135:22 148:11 152:6 155:6,7 156:6 157:10 161:21 164:22 174:17 175:22 179:7 181:7,11 183:7 200:16 203:10 218:8 222:1,5 224:21 227:4,10 241:3 242:22 250:22 251:18 253:12 255:7 261:8 271:22 277:19,19 280:17 283:17 287:5,8,13 291:21 292:19 293:9 299:8 302:16 319:9 320:18 328:4 336:13 347:20 348:12 354:9 356:13 367:10,10 405:4,7 405:15,21 406:3 wanted 8:9 17:19 25:10 36:3 58:6 78:13 81:3 102:5 102:8,18 106:1 108:20 122:3 132:14 142:21 147:15 152:6 174:20 183:9 197:20 209:22	247:19 276:4 281:10 287:11 291:7 310:17 351:5,7,14 377:8 383:9 384:17 wanting 119:22 120:6 341:18 wants 70:22 75:15 222:9 253:7 284:9 warm 227:13 229:11 230:3 Washington 1:10 2:6,19 19:12 20:13 35:2 wasn't 57:5 76:14 102:15 105:7 132:20 187:3 225:1,2 233:4 234:5 316:12 383:19 water 165:13 Watson 2:12 20:1,2 55:17 302:12 way 37:3,12 41:8 43:3 51:3 55:10 65:8 69:6 71:9 72:17 101:19 104:12 111:4 113:2 115:3 118:1 118:21,22 126:3 141:8 145:21 152:5 157:2,9 159:9 160:19 169:19,20 198:4 198:10 204:3 216:9 220:15,17 221:1,20 225:6 226:15,19 231:10 240:15 242:7,9,18 243:10,20 247:16 247:21 254:21 255:2,5 258:15 276:20 282:18 290:4,19 294:4 299:6 302:7 322:5 324:15 337:6 340:1 361:11	377:17,20 403:11 ways 50:7 90:3 175:12 226:16 255:16,21 279:10 312:6 386:3 397:12 wayside 355:21 wear 97:18 wearing 98:13 100:12 weather 121:2,5 126:2,9 weather-related 127:2 website 8:2 160:15 362:3 Wednesday 406:21 week 190:19 193:21 195:17 weeks 5:7,10 17:15 18:14,17 20:22 25:4,22 29:16 30:5 110:5 116:4 152:19 178:12 180:3,13 182:13 182:16,21 183:9 187:21 189:4,19 189:20 190:1,4,6 190:8,15 191:9,11 191:13,13,14,19 191:22 194:3,4,21 195:2,4,14 196:9 199:13 200:10,14 201:1,3,12 206:3 212:12 213:15 214:3 317:22 355:19 365:19 380:17 381:3,5,6 381:8 weigh 109:15 199:4 199:21 351:15 weighing 110:2,6 111:14 397:18 weight 39:11 86:21 146:22 152:17,18 152:20 153:14,17 165:7 166:5 167:2	182:11 190:20 218:18 221:9 224:2 271:21 272:4,16 274:1,7 277:14,17 290:6 295:10 weighting 171:9,11 weights 271:12 293:22 weigh-in 105:18 274:18 Welcome 7:8 135:14 well-coded 168:22 well-controlled 76:15 well-described 83:18 145:10 well-taken 61:2 320:15 well-tested 309:7 well-written 317:8 went 82:6 93:13 100:10,14 103:5 176:20,21 297:20 297:20 354:6 389:4 weren't 52:12 83:10,13 119:15 338:9 western 12:16 356:10 wet 227:14 we'll 146:12 we're 35:19 179:21 200:4 212:4 368:8 We've 261:12 whatsoever 10:11 238:7 264:6 white 199:3 whittles 70:5 widely 198:16 widely-used 199:10 199:12 widespread 83:5 95:11 William 1:18 2:2
---	---	---	---	---

4:21	323:14,19 342:22	words 43:19 120:2	15:11 20:18 21:1	72:18 97:13 98:15
Williams 2:4	348:13 355:4	163:18 164:16	22:19 41:2 141:13	99:17
willing 195:4	370:9,13 387:16	210:5 259:9 306:6	178:20 217:4	wrong 58:15 102:9
240:13 249:3,6	387:21 392:20	361:20	235:1 256:20	120:17 139:4
251:4 259:8 260:4	396:22 402:1,4,22	work 9:8 10:8,13	283:4 314:15	193:14 288:6
321:16 369:4	403:5 404:6,13	14:21 16:14 17:5	351:7 359:10,16	319:17
willingness 218:3	wipe 330:12,13	19:20 22:6,10	workover 145:15	
wind 122:1	wise 123:19 304:17	23:2 25:20 26:2	works 118:16	X
window 88:4	wish 123:5 401:19	27:8,15 28:19	195:6 376:22	X 328:22
366:20	wishlist 38:18	29:10,21 30:4	workup 366:16	
Winkler 2:19 4:3,8	withdraw 213:21	32:16 34:4 43:5	work-intensive	Y
7:3,5 27:4 39:18	216:8 217:13	77:10 84:7 148:8	70:10	Yale 1:21 19:18
40:21 42:1,5,16	withdrawn 30:10	157:5 159:21	world 28:5 199:19	22:20,21 383:11
42:22 46:21 48:6	woman 78:8 86:19	168:1,17 177:5	208:5 234:15	year 44:11 48:2
48:18 50:14 54:22	86:19 366:15	178:16,17 182:3	237:2,5 241:10	58:12 65:18 100:8
59:8 61:5,18,20	woman's 376:11	203:14 215:21	252:20 349:6	167:6 185:18,20
62:4,9,13,16 64:2	women 2:8 4:19	255:16 258:13,15	worldwide 287:15	187:22 255:14
64:9,18 67:2,20	18:8 20:13 24:2	260:1 262:4	worn 97:17	288:22 298:10
68:10,15 71:19	44:18 47:14,15,22	282:10 284:20	worried 63:22	301:15 310:15
72:4 74:14 75:1	75:22 76:19 81:14	290:14 291:3	165:15 195:16	329:16 334:4
79:14 80:12 92:21	86:5 91:14 105:20	294:3 297:16	worries 392:13	346:12 350:12
96:5 97:6 99:22	108:12,22 116:18	309:12 320:20	worse 252:6 279:17	354:10 371:19
100:5 105:6	118:21 177:22	336:9,22 368:21	280:6	372:15 398:12
122:20 124:2	310:9 316:1	369:11 373:22	worst 91:21 217:2	years 23:18 28:4,5
134:3 147:20	328:20 352:16	377:20 383:11	233:7	41:14,21 42:1
151:20 154:19	361:9 370:3	workable 395:7	worth 20:5 146:3	46:3 58:8 62:15
157:4 162:12	371:16 376:4,6	workarounds	199:14 250:6	62:19 71:4 88:22
173:13 175:17	377:9 380:6,8	303:1	260:20 350:10	112:18 125:16
177:3 187:2	383:15	worked 39:1 75:3	worthwhile 349:10	126:17 131:12
198:12 200:8	Women's 2:13 3:18	117:1 207:22	worthy 316:12	134:17 149:1,12
201:5 202:2	25:15 373:9	218:2 306:1 385:3	331:19	185:11,16 198:5
203:22 211:16,19	wonder 73:6	Workgroup 40:17	wouldn't 89:11	199:15 204:13
211:21 212:2,7,19	168:10 169:13	45:5 47:1 76:18	102:7,9 117:22	214:9,19 215:19
213:5 216:6	194:13 225:12	77:3,4 78:1 110:8	145:22 188:17	309:6 312:14,16
217:21 223:10	308:10 343:22	116:1 124:6,18,21	190:20 207:8	316:10,22 325:3
240:5,13 245:21	362:18 374:15	127:21 128:7	209:7 218:15	327:6 350:22
246:3 248:6,9,16	378:3	133:12,19 189:14	226:15 244:4	354:15 357:6
249:4,11 250:12	wondered 188:10	189:16 205:20	261:10 286:21	358:5 362:10
250:15 258:3	wondering 45:13	213:20 214:1	329:5 353:10	363:5 398:14
261:12 262:6	45:14 54:19 69:18	217:22 235:14,20	400:15,22 401:15	402:4,22 403:1,7
266:21 283:20	112:6 126:2 196:1	236:1 239:13	402:6	yeses 114:11
284:14 285:9,15	268:13 300:15	266:9 269:15	wrap 58:3	yes/no 232:12
291:12 293:6	360:1 374:11,14	274:22 349:9	write 129:2	283:18 293:4
296:2,6,8,13,18	387:3	363:9 405:13	writing 78:19	yield 195:20
296:22 297:9	Wood 177:12	Workgroups 31:5	338:10	yields 396:21
308:22 310:17	word 60:19 114:9	33:5 39:20	written 63:11	Young 2:13 6:21
322:6,8,12 323:5	wording 311:15	working 12:2 14:16	66:19 70:20 72:2	23:7,8 87:2,4
				130:14 164:5,10

249:1 250:1	1,000 89:20 90:17	15 88:22 244:21	402:13	24 80:12 110:4
252:19 352:19	90:19,20 111:7	273:17 290:5	2A(1)(a)(8) 57:13	152:18 224:20
355:8 356:20	253:16 254:4	337:10 375:15	2A1.8 392:21	225:1 266:21
358:19 359:14	288:3	15th 1:9	2A1.9 163:9 320:8	345:7
360:8 362:2 363:3	1,316 215:4	15,000 329:16	2A2.1 273:14	24-hour 339:22
364:6,18,21 365:5	1:12 176:21 177:2	1500 109:15 110:2	2B3.3 186:19	340:3 343:10
365:14 366:6	10 30:16 32:8 56:5	110:7 111:15	187:18	25 44:22 97:3
367:22 368:10	57:10,22 91:17	147:2 152:17,20	2B4.3 186:19	127:16 158:12
369:2 372:12	107:5 125:16	165:8,14 167:12	2s 117:18	172:19
374:13 375:11	142:18 231:14	182:12 187:20	2,000 146:22 147:1	25th 220:7
382:21 384:20	273:13 375:7,20	190:15 196:9	346:12	25,000 48:2
385:8,16 386:5	403:7	204:9 221:9 224:2	20 28:1 55:21 92:21	25-weeker 194:1
389:2 391:17,21	10th 220:8	263:18 293:15,16	151:14 290:5	250 329:17
392:1,5,8,12,15	100 76:10 219:11	295:10	304:1 348:5	2500 111:15 147:2
392:22 393:14	233:15 316:11,12	1500-gram 4:22	352:11 365:19	2550 45:20
394:9 395:21	334:11 335:19	152 5:4	375:10	26 35:10,22
398:2 399:3,6,11	338:16 371:9,10	16 187:2 244:18	20-22 355:19	265 5:20
400:2,14 401:2,7	1030 1:9	17 133:7 174:21	200,000 151:2	28 205:22 206:11
401:17 402:3,8	105,000 371:19	285:10 394:6	2002 324:13	207:2 221:7
	372:15	1746 6:10 315:18	2006 186:2,16	264:21 265:2
Z	11 63:10 106:13	18 38:7 99:6 247:12	187:22	29 1:6 5:7,10 180:3
zero 93:12 121:15	172:11 211:16	263:2 337:10	2007 185:6 186:11	180:13 182:15,21
124:3 134:4 269:1	296:9,13 325:16	365:19 388:21	2008 19:15 109:22	187:15,20 190:2,4
293:6 308:22	331:1 354:13,14	180,000 354:10	316:22 395:19	190:15 191:2,9,18
323:5 330:3,4	354:15 363:12	398:12	398:6	195:2,13 200:10
341:16 350:8	402:12	181 5:6	2008-2009 372:16	200:16 201:1,2,12
zone 80:22 380:14	11-year-olds	19 74:14 245:21	2009 317:1 340:16	206:3 212:12
	363:11,13	332:5	398:6	213:15 214:3
0	110 4:22	1900 287:17 288:4	2010 111:12 185:16	
0.5 55:21	1100 288:12	1900-2,000 288:5,7	186:2,16 188:1	3
	12 56:6 101:7 149:2	192 4:4	317:1	3 49:14,15 56:3,7
1	246:16 340:8,9,10	1978 390:4	2011 1:6 7:12 77:22	71:20 74:15 75:5
1 48:14 71:20 82:8	341:11 343:10	1980s 329:13	406:22	82:8 89:20 90:16
82:15,17 96:5	345:7,8 354:11	1982 355:10	21 4:2 109:8 223:10	90:18,20 93:8,11
99:6 106:14 111:7	393:21 403:20		214 5:10	110:3,16,19 111:7
121:19 133:15,18	12-hour 339:21	2	22 4:4 5:6,10 48:19	112:1,21 113:4,22
144:10 176:11	340:4	2 48:19 49:15,16	180:3,13 187:20	117:12 119:18
211:17 212:3	12:26 176:20	71:20 93:8,9	190:4,15 195:13	121:14,20 123:14
256:11 266:11,21	12:30 176:15	109:8 113:20,21	200:10,14,16,18	127:7 129:22
267:16 284:2	1200 288:12	120:19 121:20	201:1,2,11 206:3	144:18 165:7
296:2,9,14,18	13 63:10 106:13	134:3 135:1	212:11 213:15	267:18 273:17
324:1 325:9	172:11 211:17	144:11 171:18	214:3	284:17,19 285:10
329:17 331:2	246:16 296:8	202:2 212:7	22A1.3 164:11	285:15 292:6,9
332:6 350:1 393:1	135 5:1	225:18 233:6	22A1.9 164:10	295:17 324:1
399:19 403:14	14 72:9 284:17	267:15,16,18	224 5:14,17	333:21 353:17
1B 79:15 186:20	296:14 328:20	284:19 292:6,8,15	23 35:21 97:18	371:15 374:9
1B.2 186:14,22	363:7 403:14,20	324:21 399:21	171:18	376:5 399:21
1s 117:18				

402:12 403:14	36.4 237:2 238:20	482 5:17 218:21	767.8 142:13
3s 117:18	36.5 235:15,21	221:14 223:14,17	77 25:11 244:19
3/31/2011 150:15	236:4,6,8,13,22	226:16 232:17	<hr/> 8 <hr/>
3:32 297:20	238:3 240:4,10	483 5:6 180:2	8 88:7 133:7 233:6
3:45 297:4	245:5 248:4,20	484 5:10 213:14	247:4,12 282:15
3:57 297:21	249:6 253:5,8	49th 178:1	388:21 403:14
30 152:18 189:3,19	254:16 259:18	<hr/> 5 <hr/>	8:00 1:10
190:1,6,7,18	262:9,9,16 263:12	5 152:17 257:6	80 288:20 290:7,10
191:3,9,10,13,19	37 239:16 317:22	349:22	81 4:18 76:9
191:21 192:1	320:10	5:43 406:19	83 327:7
194:3,21 195:4	37.5 236:4,6 248:3	50 89:13 123:16	87 327:7
196:9 199:13	253:5,8	126:4,17,22	89 374:9
200:14,18 236:10	38 151:2	251:19 347:6	<hr/> 9 <hr/>
358:4 406:21	39 17:14 18:14,17	354:13,15 363:5,8	9 212:2 273:21
30-to-32-week	20:22 25:4,22	363:17 393:8	284:17 394:6
188:4,13	38:1 178:11	50th 178:4	402:12
300 6:1	39-week 18:4 22:8	50-year-olds	9,000 45:18
303 5:20 264:12	39-weeks 25:3	362:17,22	9:30 7:2
304 6:1 266:4,4	<hr/> 4 <hr/>	501 221:9 224:2	90 44:18,22 71:4,5
267:4 269:18,18	4 71:20,21 72:8	502 6:18 352:14	337:18 347:4,5
31 189:20 191:14	82:8 88:7 91:2	353:7	348:4,5
200:18	93:12 133:18	51 362:21	900 1:9 198:3
313 6:5	151:14 201:5	53 4:9	287:14,16
32 182:12 183:9	223:10 266:10	55 100:11	92 220:8
187:15 189:4,20	269:17 284:2	56 374:22	96-plus 123:13
191:12,14	371:15 376:5	582 4:16 75:12	97 333:18 335:10
32.0 237:3	402:13	<hr/> 6 <hr/>	338:16 339:6
33 194:4 357:11	4.4 162:11	6 72:9 99:6 283:20	346:12 374:8
330 6:10	40 134:17 236:10	285:9	98 219:11 220:2,5,8
34.5 150:13	375:21	6,000 45:18	233:15 390:22
348 6:13	401 187:20 190:15	60 110:14 334:3	
35 151:7 228:16	404 6:22	350:6	
239:17,17 317:22	41 4:6	60-second 34:11	
320:9	43 4:12	610 214:22	
35.9 237:3	44 354:21 356:8	66 374:21	
354 6:18	45 362:17,22	669 148:4	
36 5:18 218:21	472 6:5 298:5	67 353:22	
223:18,22 224:4	473 4:18 81:13	<hr/> 7 <hr/>	
226:22 228:3	474 5:1 135:8	7 174:6 244:22	
231:12 235:15,21	475 4:12 43:7	245:21 263:2	
236:7,9,11,14	477 4:22 109:15	315:9 387:9	
237:1 238:8	478 5:4 151:18	392:21	
239:16,17 240:10	479 6:13 332:18	7:30 405:3	
241:7 248:5 262:9	481 5:14 223:8	71 327:6	
36.0 237:2 252:1	226:16 232:16	75 4:16 348:5	
254:16	233:3		
36.3 238:2,20			

C E R T I F I C A T E

This is to certify that the foregoing transcript


In the matter of: Perinatal and Reproductive Health

Before: NQF

Date: 11-29-11

Place: Washington, DC

was duly recorded and accurately transcribed under my direction; further, that said transcript is a true and accurate record of the proceedings.



Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701