

NATIONAL QUALITY FORUM

+ + + + +

PULMONARY AND CRITICAL CARE ENDORSEMENT
MAINTENANCE STEERING COMMITTEE

+ + + + +

WEDNESDAY
MARCH 21, 2012

+ + + + +

The Steering Committee met at the National Quality Forum, 9th Floor Conference Center, 1030 15th Street, NW, Washington, D.C., at 8:30 a.m., Stephen R. Grossbart and Kevin Weiss, Co-Chairs, presiding.

PRESENT:

STEPHEN R. GROSSBART, PhD, Co-Chair
 KEVIN WEISS, MD, MPH, Co-Chair
 PETER ALMENOFF, MD, FCCP, Veterans Health
 Administration
 HAYLEY BURGESS, PharmD, BCPP, Hospital
 Corporation of America
 MICHAEL E. CANTINE, BSAST, RRT, CPFT,
 Morristown Medical Center
 RUBIN COHEN, MD, FCCP, Hofstra University
 School of Medicine
 NORMAN H. EDELMAN, MD, American Lung
 Association
 WILLIAM BRENDLE GLOMB, MD, FCCP, FAAP, Texas
 Health and Human Services Commission
 TRUDE A. HAECKER, MD, FAAP, The Children's
 Hospital of Philadelphia
 DIANNE V. JEWELL, PT, DPT, PhD, CCS, The
 Rehab Intel Network
 ELLA KAZEROONI, MD, MS, University of
 Michigan Health System (by teleconference)

DAVID LANG, MD, Cleveland Clinic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

JANET LARSON, PhD, RN, FAAN, University of
Michigan School of Nursing
MITCHELL M. LEVY, MD, FCCP, FCCM, Society of
Critical Care Medicine
JOHN PELLICONE, MD, FCCP, FACP, Helen Hayes
Hospital
DAVID RHEW, MD, Zynx Health Incorporated
CHRISTINE STEARNS, JD, MS, New Jersey
Business and Industry Association
CHARLES STEMPLE, DO, MBA, Humana
DAVID C. STOCKWELL, MD, MBA, Children's
National Medical Center
CHRISTY WHETSELL, RN, MBA, ACM, West
Virginia University Hospitals
DONALD M. YEALY, MD, FACEP, University of
Pittsburgh

MEASURE DEVELOPERS:

DAWN ALAYON, National Committee for Quality
Assurance
MARK S. ANTMAN, DDS, MBA, American Medical
Association
SUSAN ARDAY, Centers for Medicare & Medicaid
Services (by teleconference)
KATHERINE AST, MSW, LCSW, American Medical
Association
JOHN BOTT, Agency for Healthcare Research
and Quality
DALE BRATZLER, DO, MPH, Centers for Medicare
& Medicaid Services (by teleconference)
STEPHEN V. CANTRILL, MD, FACEP, American
Medical Association
ELVIA CHAVARRIA, MPH, American Medical
Association
LINDY CHIN, ActiveHealth (by teleconference)
KERI CHRISTENSEN, American Medical
Association
DEBORAH DEITZ, RN, BSN, Centers for Medicare
& Medicaid Services (by teleconference)
ELIZABETH DRYE, Centers for Medicare &
Medicaid Services (by teleconference)
BRIDGET GULOTTA, MSN, MBA, American Medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

Association
 BENJAMIN N. HAMLIN, MPH, National Committee
 for Quality Assurance (by teleconference)
 BRUCE KRIEGER, MD, American Medical
 Association (by teleconference)
 DENISE KRUSENOSKI, MSN, RN, CMSRN, The Joint
 Commission
 RAJESH MAKOL, ActiveHealth (by
 teleconference)
 DAVID NAU, PhD, RPh, CPHQ, Pharmacy Quality
 Alliance (by teleconference)
 SAI NIMMAGADDA, MD, The Joint Commission
 DIVYA PAMMANI, National Committee for
 Quality Assurance
 COLLETTE PITZEN, RN, BSN, CPHQ, Minnesota
 Community Measurement (by teleconference)
 MARJORIE RALLINS, DPM, American Medical
 Association
 BOB REHM, MBA, National Committee for
 Quality Assurance
 PATRICK ROMANO, Agency for Healthcare
 Research and Quality
 ELVIRA RYAN, RN, The Joint Commission (by
 teleconference)
 AJAY SHARMA, MD, ActiveHealth (by
 teleconference)
 BANI VIR, MD, ActiveHealth (by
 teleconference)
 ANN E. WATT, MBA, RHIA, The Joint Commission

NQF STAFF:

HELEN BURSTIN, MD, MPH, Senior Vice

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

President, Performance Measures
HEIDI BOSSLEY, MSN, MBA, Vice President,
Performance Measures
ANN HAMMERSMITH, General Counsel
KATHRYN STREETER
JESSICA WEBER
REVA WINKLER, MD, MPH

ALSO PRESENT:

MAUREEN DAILEY, American Nurses Association
(by teleconference)
SHEILA HEITZIG, American Academy of Allergy,
Asthma & Immunology
MELBA HINOJOSA, Health Services Advisory
Group, Inc. (by teleconference)
DARRYL ROBERTS, American Nurses Association
(by teleconference)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

CONTENTS

Welcome and Introductions	5
Stephen Grossbart, PhD (Co-Chair)	
Kevin Weiss, MD, MPH (Co-Chair)	
Helen Burstin, MD, MPH, Senior Vice	
President of Performance Measures	
Reva Winkler, MD, MPH, Senior Program	
Director	
Kathryn Streeter, MS, Project Manager	
Jessica Weber, MPH, Project Analyst	
Disclosures of Interest	11
Project Status Update	19
Dr. Winkler	
Ms. Streeter	
Consideration of Candidate Measures:	
<u>Asthma</u>	
Brief Introduction of Measures by Developers	
\$0036: Use of appropriate medications	
for people with asthma (NCQA)	42
\$1799: Medication Management for People	
with Asthma (MMA) (NCQA)	78
\$1800: Asthma Medication Ratio (AMR)	
(NCQA)	104
\$0047: Asthma: Pharmacologic Therapy for	
Persistent Asthma (AMA PCPI)	127
\$0143: CAC-1: Relievers for Inpatient	
Asthma (Joint Commission)	151
\$0144: CAC-2 Systemic corticosteroids	
for Inpatient Asthma	
(Joint Commission)	168
\$0338: CAC-3: Home Management Plan of	
Care (HMPC) Document Given to	
Patient/Caregiver	
(Joint Commission)	153

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

CONTENTS

\$	0548: Suboptimal Asthma Control (SAC) and Absence of Controller Therapy (ACT) (PQA)	201
\$	0620: Asthma - Short-Acting Beta Agonist Inhaler for Rescue Therapy (Active Health)	209
\$	1876 Optimal Asthma Care	221
	(Minnesota Community Measurement)	

NQF Member/Public Comment

Lunch Break

Consideration of Candidate Measures

Brief Introduction of Measures by Developer

Pneumonia - process measures

\$	0096: Empiric Antibiotic for Community-Acquired Bacterial Pneumonia (AMA PCPI)	331
\$0147:	Initial antibiotic selection for community-acquired pneumonia (CAP) in immunocompetent patients (CMS)	424
\$0148:	Blood cultures performed in the emergency department prior to initial antibiotic received in hospital (CMS)	447
\$0233:	Emergency Medicine: Assessment of Oxygen Saturation for Community-Acquired Bacterial Pneumonia (AMA PCPI)	369
\$0232:	Vital signs for community acquired bacterial pneumonia (AMA PCPI)	390
\$0513:	Thorax CT: Use of Contrast Material (CMS)	270
\$1895:	Assessment of Mental Status for Community-Acquired Bacterial Pneumonia (AMA PCPI)	409

Break

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

Consideration of Candidate Measures

COPD - process measures

Brief Introduction of Measures by Developers

\$0091: COPD: spirometry evaluation (AMA PCPI)	463
\$0577: Use of Spirometry Testing in the Assessment and Diagnosis of COPD (NCQA)	479
\$0102: COPD: inhaled bronchodilator therapy (AMA PCPI)	491
\$0549: Pharmacotherapy Management of COPD Exacerbation (NCQA)	501
\$1825: COPD - Management of Poorly Controlled COPD (Active Health)	517
\$0179: Improvement in dyspnea (CMS)	301

NQF Member/Public Comment	525
---------------------------------	-----

Adjourn

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:34 a.m.)

3 DR. WINKLER: I'm Reva Winkler,
4 Senior Director for Performance Measures here
5 at the National Quality Forum and I'd like to
6 welcome you all to this meeting of the
7 Pulmonary Critical Care Steering Committee.

8 To the steering committee members,
9 thank you very much for being part of this
10 project. We thank you for the work that you
11 have already done and the work that you are
12 going to do over the next two days.

13 Katie, do you have your slides up?

14 MS. STREETER: Yes.

15 DR. WINKLER: We are intending to
16 establish a phone line for anyone who wants to
17 listen or call in, however we are having some
18 technical difficulties. But I think we can go
19 ahead and get started with some of the
20 introductory things, while they're working out
21 those technical aspects.

22 So, in terms of introductions, I'd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 like to introduce the two co-chairs for this
2 committee, Dr. Kevin Weiss and Dr. Stephen
3 Grossbart, and we're going to be introducing
4 the entire committee in just a moment, asking
5 you both for your introductions and your
6 disclosure statements.

7 Katie, are we expecting Ann?

8 MS. STREETER: Yes.

9 DR. WINKLER: I'm not seeing her.

10 All right. But first I'd like to introduce
11 the NQF staff who are here. You probably are
12 -- know us by name perhaps, if not by face at
13 this point.

14 First is the Senior Director for
15 Performance Measures here at NQF, Dr. Helen
16 Burstin -- wave to the people. And our
17 Program Manager is Katie Streeter -- you have
18 probably received messages from Katie -- and
19 our Program Analyst is Jessica Weber.

20 So we may have other staff joining
21 us as they pop in and out. So I think at this
22 point we need to get to know each other with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 introductions of the entire group.

2 We'd like to have you introduce
3 yourself, say a little bit about where you're
4 from, and provide any disclosure statements.
5 Helen, anything else you'd like to add?

6 DR. BURSTIN: Just in terms of the
7 disclosures -- good morning everybody -- as
8 you go around the room, we know you've already
9 submitted your detailed disclosures. We've
10 got those.

11 But really the main purpose of
12 today is to disclose anything you think is
13 important in terms of the measures that you're
14 going to be talking about today and tomorrow,
15 and in particular, we'll offer an opportunity
16 after the introductions of the disclosures for
17 any of you to ask questions of any of the
18 other members who talked about their
19 disclosures.

20 So that's just the introduction
21 and welcome.

22 MEMBER RHEW: Good morning

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 everyone. My name is Dave Rhew. I'm an
2 internist, infectious disease physician,
3 health services researcher. I'm from Los
4 Angeles and my disclosure is that I work for
5 Zynx Health and we are an evidence-based
6 clinical physician support company.

7 MEMBER LANG: Good morning, David
8 Lang, I'm in allergy and immunology at the
9 Cleveland Clinic, and my disclosures are as
10 follows: I have done clinical research for,
11 have served as a consultant for and/or have
12 received honoraria from GlaxoSmithKline,
13 Genentech, Novartis, Merck, Teva,
14 Sanofi-Aventis.

15 MEMBER GLOMB: Good morning. I'm
16 Brendle Glomb. I'm a pediatric pulmonologist,
17 neonatologist and sleep specialist from
18 Austin, Texas.

19 I have no current financial
20 disclosures. I am the medical director for
21 Texas Medicaid and the Health and Human
22 Services Commission for the state of Texas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER STEARNS: Hi there,
2 Christine Stearns with the New Jersey Business
3 and Industry Association. I have no
4 disclosures. I do policy work for a business
5 trade association.

6 MEMBER EDELMAN: Good morning.
7 I'm Norman Edelman. I'm an academic
8 pulmonologist based at Stony Brook University
9 on Long Island. I also serve the American
10 Lung Association on a consulting basis as
11 their medical director, and I guess I have no
12 imagination, I have no conflicts to disclose.

13 MEMBER LARSON: I'm Jan Larson
14 from the University of Michigan, on faculty in
15 the school of nursing, and I do research in
16 pulmonary rehabilitation. And no conflicts.

17 MEMBER JEWELL: Good morning. My
18 name is Dianne Jewell. I am a physical
19 therapist. I am just down the road in
20 Richmond, Virginia. I was recently full-time
21 faculty at Virginia Commonwealth University in
22 their physical therapy program, but have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discovered the joy of self-employment and now
2 have a consulting business to rehabilitation
3 practices.

4 I am on the APTA Board of
5 Directors. I don't have any financial
6 disclosures.

7 MEMBER HAECKER: Hi, I'm Trude
8 Haecker. I'm a pediatrician at the Children's
9 Hospital, Philadelphia. I'm medical director
10 of quality improvement and I'm on the state as
11 the chapter champions for the AAP and I've no
12 financial disclosures.

13 MEMBER ALMENOFF: I'm Peter
14 Almenoff, pulmonary ICU doc. I'm the director
15 of clinical analytics and reporting in the
16 Department of Veterans Affairs, also on the
17 faculty of the University of Kansas and the
18 University of Missouri, Kansas City, and since
19 I work with the federal government I have no
20 disclosures.

21 MEMBER STEMPLE: Morning, Chuck
22 Stemple, I'm an ER physician by training, 15

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 years of managed care, currently with Humana
2 in the clinical policy arena and spend a lot
3 of time in all the Medicare HEDIS quality
4 standards metrics outcomes.

5 MEMBER PELLICONE: John Pellicone,
6 I'm from Rockland County in the southern tier
7 of New York. I'm a pulmonary critical care
8 physician, still in practice. I'm also the
9 chief medical officer for Helen Hayes
10 Hospital, which is a free-standing, inpatient
11 rehabilitation facility, and I'm also here at
12 the invitation as a board member of the
13 American Association of Cardiac and Pulmonary
14 Rehabilitation. My only disclosures are
15 several non-branded discussions about the COPD
16 and asthma for GlaxoSmithKline and
17 AstraZeneca.

18 MEMBER WHETSELL: Hi, I'm Chris
19 Whetsell. I'm the director of care management
20 at WVU healthcare in Morgantown, West
21 Virginia. I have no financial disclosures.

22 MEMBER COHEN: Good morning. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Rubin Cohen. I'm a pulmonologist working for
2 the North Shore Long Island Jewish Health
3 System in Long Island, New York.

4 I'm representing the American
5 College of Chest Physicians. I have nothing
6 to disclose.

7 MEMBER BURGESS: Good morning.
8 I'm Hayley Burgess, director of medication
9 safety and systems innovations with HCA. I
10 have no financial disclosures.

11 MEMBER LEVY: Good morning I'm
12 Mitchell Levy. I'm an intensivist and chief
13 of pulmonary critical care at Brown
14 University. I represent this side of critical
15 care medicine.

16 I have no financial disclosures
17 but I am an author on the ventilator-
18 associated events measure.

19 MEMBER CANTINE: Michael Cantine
20 from Atlantic Health in New Jersey. I'm a
21 respiratory care practitioner. I've worked
22 with Gilead Pharmaceuticals on their Allied

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Health advisory board.

2 CO-CHAIR GROSSBART: Stephen
3 Grossbart. As Chair, I want to remind you to
4 use your microphone.

5 (Laughter)

6 Stephen Grossbart, senior vice
7 president, chief quality officer of Catholic
8 Health Partners in Cincinnati, Ohio, and I
9 have no financial disclosures.

10 CO-CHAIR WEISS: And Kevin Weiss,
11 also Co-Chair with Stephen. I am an internist
12 by training but now the vice president for
13 patient safety and institutional accreditation
14 at the Accreditation Council for Graduate
15 Medical Education.

16 It's a treat to be here with you
17 all. Stephen and I are looking forward to
18 working with you. As the day goes on, we will
19 get to know each other a little bit better
20 collectively and see how we work together as a
21 group.

22 Steve and I would request a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 small thing of you all, and that is if you
2 could turn your tent cards at about a 45
3 degree angle, that would help us and each
4 other so that as we get to know you all -- and
5 we were trying to also recognize the
6 difference between your formal name on the
7 tent card and what you like to refer to
8 yourself as.

9 So if we didn't get it right on
10 this, just correct us and we'll get through
11 the day better that way.

12 MEMBER LANG: Yes, I do have a
13 disclosure and that is that I also serve on
14 the board of the American Academy of Allergy,
15 Asthma and Immunology, and am here
16 representing the academy as well.

17 DR. BURSTIN: So, just to follow
18 up, does anybody have any questions for
19 anybody in terms of the disclosures they have
20 mentioned this morning?

21 (No response)

22 DR. BURSTIN: Okay, and lastly,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just one really important thing. Many of you
2 went around and said I represent so-and-so.
3 Actually you are here representing yourselves.
4 You are here for your own expertise. You were
5 nominated by an organization. That's fine.
6 We want to get the breadth of all the various
7 stakeholders involved in this field.

8 But you are actually here because
9 of your own expertise and you don't represent
10 anybody but yourself today. So thank you.

11 MEMBER JEWELL: Okay. Since you
12 were kind enough to make note of your effort
13 to attend to the name tent versus the name
14 said, you'll have fun trying to remember that
15 this is pronounced Dee-yon, and not Diane.
16 Complements of my French mother. So I thought
17 I'd give you that heads up now so I don't have
18 to keep correcting you or the staff don't.
19 Thank you.

20 CO-CHAIR WEISS: Dianne, much
21 appreciated.

22 DR. WINKLER: All right. Thank you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 all very much. I'd like to take this
2 opportunity to just briefly go over some
3 introductory items about the project. Katie,
4 next slide.

5 Just, we are -- have a fair
6 challenge today and tomorrow, and that is to
7 review 36 measures. There are eight new
8 submissions as well as 28 endorsed measures
9 that are up for maintenance review, in the
10 area of asthma, COPD, pneumonia and critical
11 care.

12 As you are all very well aware,
13 you were broken into four preliminary
14 workgroups in those four areas to look at a
15 subset of the measures, so you have had an
16 opportunity to look at the measure evaluation
17 criteria and look at the measures.

18 Those workgroups were intended to
19 take the deepest look at each of the measures
20 and the details. The amount of information
21 for each measure is quite detailed and intense
22 and this was a way of sharing the workload.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Today is the opportunity for those
2 workgroup and lead discussants to share their
3 summary of the information about the measure
4 with the entire group because it is the
5 decisions of the entire group that will
6 determine whether the measure goes forward or
7 not.

8 The steering committee acts as a
9 proxy for NQF's multi-stakeholder membership.
10 All right? That's why you saw around the
11 table we have a variety of different
12 clinicians, we have a variety of other
13 stakeholders in the room.

14 So that is fully intentional. The
15 role of the steering committee is to evaluate
16 the measures against the standard criteria.
17 Hopefully, with the work you have done in the
18 -- preliminarily, you are fairly familiar with
19 the criteria.

20 It's important that we do adhere
21 to the criteria. That criteria has evolved
22 dramatically over the 10 years, 10 or 11 years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of NQF's life, based on feedback from our
2 membership, from users in the field, from
3 developers, from all sorts of folks trying to
4 make them as good as they can be to ensure
5 that the results of -- that the endorsed
6 measures from NQF meet a very high standard.

7 Ultimately, your job after
8 evaluating the measures, is to make
9 recommendations to the NQF membership for
10 which measures should be endorsed by NQF going
11 forward, all right?

12 Next one. So I just want to talk
13 briefly about some of the things in the
14 evaluation criteria. I don't want to do a
15 comprehensive review. I think you've had an
16 opportunity.

17 But there are a couple of things
18 that I'd like to just highlight that I noticed
19 when we were discussing the -- in the
20 workgroups. Katie, next one.

21 Just recall four major endorsement
22 criteria: importance to measure and report is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not the same as important. All right? So we
2 are asking you to evaluate things not because
3 they are important -- lots of things are
4 important -- but do they meet the criteria as
5 designated in the documentation?

6 Again, scientific acceptability is
7 not the evidence of the science behind it,
8 it's the reliability and validity of the
9 measure.

10 Both of those are must-pass
11 criteria. Usability and feasibility I think
12 you are fairly well attuned to. Next.

13 So, we do have new measures, eight
14 new measures. You can identify those. Their
15 number is greater than 1,000. So if measure
16 1799, 1800, 1859, those are all new measures.

17 If the measure is numbered less
18 than 1,000, it is an old measure that is
19 previously endorsed. However, many of the
20 previously-endorsed measures were endorsed at
21 a time when the evaluation criteria was not
22 the same as it is today, and so it is quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reasonable that measures that have been
2 endorsed for a while, may no longer meet our
3 current criteria, so you shouldn't shy away
4 from the fact that just because it was
5 endorsed before, that it would still meet our
6 current criteria.

7 And we particularly are looking
8 for data on how that measure is performing
9 now, what's happening, what the current
10 performance is, using that measure.

11 We want to know about how it's
12 behaving, its reliability and validity in
13 providing us information about quality, how --
14 what usability issues may have arisen and the
15 same thing with feasibility.

16 So we are going to be asking you
17 to rate each of those criteria using the
18 generic rating scale of high, moderate, low or
19 insufficient information.

20 We are going to be asking you to
21 vote on these collectively as a group. You
22 have each been handed a sort of a voting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 gizmo, all right?

2 Each one is numbered. Please make
3 note of your number because we will want you
4 to have the same number tomorrow. We do
5 actually have a record of which one each of
6 you owns.

7 And so we will be collecting the
8 votes this way. You will be able to see the
9 results as they come up on the screen through
10 the voting software. So on our first measure,
11 we will see how that works. Okay? The next
12 one.

13 Just to point out the difference
14 between a low rating and one that is
15 insufficient. Essentially low means it did
16 not meet the criteria. Insufficient means
17 there just isn't enough information to know
18 whether it does or not. So there really is a
19 distinction. Next one, Katie.

20 Importance to measure and report.
21 All three criteria must pass. You will vote
22 on impact, opportunity and evidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 separately, and then the results of those
2 three will be -- we'll look at them on the
3 algorithm and determine whether it passes the
4 whole criteria or not.

5 Next one. Performance gap. This
6 one is an important one because we discovered
7 in the workgroup that there were several
8 measures whose current performance is very,
9 very high.

10 We have seen that in maintenance
11 measures, particularly measures that have been
12 out there for a long time, a lot of them that
13 are public reported on a national basis, they
14 are successes.

15 But at this point in time,
16 performance is very, very high. These are
17 good measures. So, going to the next.

18 In our cardiovascular project, we
19 ran into this a lot and the committee said, we
20 don't want to remove endorsement from these
21 very good measures, but we know there's really
22 very little opportunity for further

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 improvement so what can we do?

2 At the point the board of
3 directors in response approved a designation
4 for endorsed measures called reserve status.
5 And what this means is, yes, they are endorsed
6 but. They are kind of on the shelf, because
7 they simply are not likely to be usable to
8 promote further quality improvement.

9 But -- so reserve status is
10 something that is -- should be of limited use,
11 because if the measure truly isn't providing
12 any opportunity for improvement, and the
13 concern that there will be a falloff in
14 performance if the measure is no longer used,
15 is really not an issue, then perhaps the
16 measure does not need to remain on our list of
17 endorsed measures.

18 So, reserve status does exist, and
19 this came up for a couple of measures, on the
20 workgroup call, so this will be in play for
21 us.

22 Essentially remember that these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measures have got to hit the ball out of the
2 park on all the other criteria. They've got
3 to be really solid. Reliability, validity
4 have got to be good and there isn't a
5 fundamental problem with the measure.

6 So, you do have that option. So
7 be aware of it. Next one Katie.

8 Submitted versus existing
9 evidence. We talked a little bit about this
10 in some of the workgroup calls. We really are
11 only asking you to evaluate the information
12 submitted in the forms.

13 However, how well that's done is
14 highly variable and dependent on the
15 developer, whoever filled out the form. Based
16 on your expertise, you may know additional
17 information.

18 When you're presenting it, please
19 distinguish from what's presented versus oh by
20 the way, you know, I know that there are --
21 are other information that would be important
22 that is not included, and we can evaluate it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 independently, realizing that anything that is
2 not submitted, is not documented.

3 Next. We are going to be talking
4 about evidence. Quantity, quality and
5 consistency are the evidence particularly for
6 process measures. For outcome measures, we
7 are not looking for quantity, quality and
8 consistency. We are looking to answer the
9 question, for an outcome measure, are -- is
10 there evidence that there are processes of
11 care that do influence that outcome.

12 And so, outcome -- looking at the
13 evidence for outcome measures is slightly
14 different than looking at it for process
15 measures, okay. Katie go ahead.

16 We have given you at your tables
17 each a quick reference to the measure
18 evaluation criteria. Please refer to this if
19 you need to, remembering how to organize the
20 algorithm around evaluating the evidence.

21 We have given you, on the top of
22 page 2, kind of the decision table on how that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 works in terms of whether it passes the
2 evidence criteria.

3 Okay? So this is meant for your
4 reference. We do have a wide variety of
5 measures that, in terms of some that are very
6 high -- lots and lots of evidence behind it,
7 some less so. Next.

8 Go on to the next one. Now, there
9 are exceptions to the evidence sub-criterion.
10 There are types of measures that just don't
11 lend themselves to the classic, randomized
12 controlled trials, or even observational
13 trials.

14 And so the committee will be asked
15 to evaluate what do we know about it, what
16 evidence is presented is reasonable, and so it
17 may fall into this sort of exception to it,
18 particularly if the type of evidence isn't
19 likely to be of the traditional type that you
20 might see in a systematic review or be graded
21 a 1a type level evidence.

22 So that is allowable as long as we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 explain it clearly and the audience is able to
2 understand that you are granting it that
3 exception. Next.

4 Go on. I think we have kind of
5 gone through that Katie. We don't need to --
6 scientific acceptability, another must-pass
7 criteria. Just remember we are going to vote
8 on reliability of the measure, and validity of
9 the measure.

10 Remember that reliability can be
11 tested at the level of a data element, or at
12 the level of the measure's score. Ideally
13 it's tested at both levels.

14 In order to be rated as high, it
15 must be tested at both levels, and I think
16 you'll find if you look, there are very, very
17 few measures that have been tested at both
18 levels. Generally it's only at one or the
19 other, which is acceptable, but the highest
20 level of rating you can give it is moderate.

21 Validity a little bit different,
22 because frequently face validity is what's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 used. Again, that's going to only allow us to
2 rate it as high as moderate. Okay?

3 Again, your ratings on reliability
4 and validity we will combine into the
5 algorithm to determine the overall rating for
6 scientific acceptability -- okay, keep going,
7 yes -- according to this algorithm which is in
8 -- also in your Quick Guide. Okay Katie.

9 Usability, again, is this measure
10 public reported? How is it being used? What
11 do we know about its usefulness? Next.

12 And feasibility, are there issues
13 about putting this measure in play? If a
14 measure is not being used, the immediate
15 question is why not? Are there feasibility
16 issues? Are there -- is this measure
17 something that is generated through electronic
18 means? Is this a measure that has been
19 retooled for -- as an EHR-based measure? Is
20 it based on claims or other electronic data?
21 These are the real fundamental feasibility
22 issues, as well as susceptibilities to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 inaccuracies or unintended consequences.

2 Okay, next.

3 We will talk about related or
4 competing measures tomorrow after we have had
5 a chance to do a first pass review of all the
6 other measures.

7 Now, there are a couple of other
8 things that I wanted to point out to you that
9 the Consensus Standards Approval Committee,
10 which is the subcommittee of the Board of
11 Directors, which is sort of the final common
12 pathway for your recommendations and the
13 comments from the membership and public as we
14 reach the end of the process to determine
15 which measures are being endorsed.

16 CSAC is comprised of a multi-
17 stakeholder panel. They do have a
18 preponderance of consumers and purchasers, as
19 does NQF's Board of Directors.

20 So we do have a great deal of
21 influence from consumer purchaser perspective
22 at those levels. All right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 They have sort of provided
2 guidance back to measure developers, but it
3 also applies to evaluation of the measures
4 coming forward.

5 They are not particularly
6 supportive of measures that are met primarily
7 through documentation, the checkbox measure,
8 something that you can just check the box and
9 move on.

10 They really want to be able to
11 assess the quality of that assessment, that
12 care plan, those advice or instructions. They
13 really -- any impact of patient preferences
14 should be transparent. We want to specify
15 measures for the broadest populations,
16 settings and levels of analysis possible.

17 Measures of teaching, counseling
18 or advice should be looked at from the
19 patient's perspective. Did they understand,
20 hear and get it?

21 Exclusions should be supported by
22 the evidence. You should consider the impact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of missing data. Have we pulled out our -- if
2 there's a lot of missing data around an
3 important group of people, and they're not
4 counted in the measure, how does that impact
5 the overall results and our interpretation
6 about quality?

7 The statistical risk models
8 generally should not include factors related
9 to disparities, so things like race,
10 ethnicity, socioeconomic status should not be
11 risk factors but are encouraged to be
12 stratified when you want to look at
13 disparities.

14 Adults are defined as 18 years and
15 older and the kind of converse measure where,
16 as you improve, the denominator gets smaller
17 and the numbers change so the interpretation
18 of the measure changes, are very difficult for
19 people to understand as improvement occurs.

20 So a measure that is going to
21 evolve in that way is less useful for the
22 various audiences going forward. Katie did I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 do any more? No. Okay.

2 We're going to stop with those.
3 Tomorrow we'll pick up on the related versus
4 competing measures when we have that
5 discussion.

6 But those are just some high
7 points of the issues. I will agree with you
8 that the evaluation criteria has a lot of
9 factors for you to consider. We are asking a
10 great deal of you. This is going to be an
11 intense conversation as we move through the
12 day.

13 Our job as staff is to help
14 support you as much as possible. If there's
15 any information that you think you need or
16 would like to have to assist, feel free to
17 ask. We can do our best to see what we can
18 get for you.

19 But are there any questions from
20 anyone? I'd just like to reiterate that this
21 meeting is being recorded and transcribed.
22 The transcription will be posted on our public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 website. It's also just the most valuable
2 resource in the world to be able to go back
3 and review your conversation when we are
4 trying to understand how things happened,
5 because you are going to be talking about a
6 lot of detailed information today.

7 In terms of process, we are going
8 to go through the -- go through each measure.
9 Our measure developers are here. When we get
10 to your measure, I'd ask each of the
11 developers just to, before introducing your
12 measure, to introduce yourselves.

13 We are going to give them one or
14 two minutes to talk about their measure or
15 groups of measures, as a kickoff. We'll ask
16 the lead discussant to introduce the measure
17 and then we are going to go through
18 discussion, first of the importance criteria,
19 and then the committee will vote on the three
20 sub-criteria there.

21 We'll move on to reliability and
22 validity, we'll vote on that. If they don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pass either of those, we'll stop and then
2 we'll go through the rest of the criteria.

3 Is there any question from anybody
4 on the committee about process, about what we
5 are going to try to accomplish?

6 (No response)

7 DR. WINKLER: Then to you.
8 Question? Yes?

9 MEMBER GLOMB: Sorry, when the
10 presenter presents the individual, not the
11 developer but the presenter, do you want us to
12 walk through essentially the results of our
13 initial analysis?

14 DR. WINKLER: I think that again we
15 do have some time pressures getting through
16 our agenda, but if we can concisely hit the
17 high points, particularly raise the important,
18 if everybody agreed to hit the criteria,
19 that's a phrase and maybe a why.

20 If there were disagreements or
21 issues that were raised that are concerns,
22 please raise those.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Okay? Anything else?

2 CO-CHAIR WEISS: So we are going
3 to go, probably the first couple measures
4 we'll go through a little bit more slowly,
5 just as not only you get your sea legs but
6 Stephen and I are getting our sea legs to help
7 guide us through the process.

8 What we've talked about with Reva
9 is that we have approximately, on global
10 average, around 15 minutes per measure plus or
11 minus,

12 And so what we are going to do is
13 we are going to have an eye on the clock for
14 about a 15-minute mark. If we go much beyond
15 that, what we'll do is we'll make you aware
16 that we are going beyond that time, so that we
17 can be mindful of the time process.

18 So the principal reason for that
19 is, as we all know, with a long list of
20 measures, we could actually steal a little bit
21 of a minute or two or five from each of the
22 early measures and suddenly be without time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and feeling pressured and not giving fair
2 justice to the measures at the end.

3 So it's just a time management
4 issue. Does that sound like a reasonable
5 approach for all of you? Are you all
6 comfortable with that way of going about it?
7 Are you all with me?

8 (No audible response)

9 CO-CHAIR WEISS: Oh good. Good,
10 good. Okay, great. And then the next thing
11 is that I'm going to go through this, and
12 Steve and I haven't done this before, we are
13 going to do a lot of hand-holding with Reva,
14 or Reva is going to do a lot of hand-holding
15 with us as we go through the voting process.

16 And so we are going to look like
17 we are novices because we are, and so all of
18 you who are in the learning mode, will know we
19 are learning together.

20 Are we okay with the telephone and
21 everything like that?

22 DR. WINKLER: Apparently they are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 still having some issues but --

2 CO-CHAIR WEISS: How would you
3 like to handle it for the moment? Do you want
4 to --

5 DR. WINKLER: I think we can go
6 ahead and introduce -- have -- the first three
7 measures, we could have the developer
8 introduce them.

9 CO-CHAIR WEISS: Okay. So, we are
10 going to start --

11 MS. WEBER: We actually have Ben
12 from NCQA. He was planning on calling in. As
13 an alternative we have -- oh.

14 CO-CHAIR WEISS: No, in terms of a
15 measure developer speaking, because I know
16 David --

17 MS. WEBER: Yes, he is able to
18 call one of their colleagues here. I don't
19 know if that's acceptable, to have him on
20 speaker phone through --

21 CO-CHAIR WEISS: Let's try it out
22 and see how it works, if we get a speaker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 phone and put it by a mic. So let's just put
2 the speaker phone by the mic, and see how that
3 works.

4 Welcome Ben.

5 MR. HAMLIN: I'm sorry. I'm here.
6 What do you want me to do say? I haven't
7 heard anything so far.

8 MEMBER LANG: Kevin, I assume you
9 want us to introduce our measures.

10 CO-CHAIR WEISS: Yes, why don't
11 you bring the phone here and then we can talk
12 to Ben and put it by there. This feels almost
13 like low technology. But it's actually very
14 high technology to take a cell phone and --

15 Ben, you are moving around the
16 room. Hi Ben. You have switched hands. You
17 are in Kevin Weiss's hands for better or for
18 worse, richer or poorer.

19 I'm going to see if we can try
20 this out here. We are going to put you right
21 by the microphone. What we are asking for is
22 the measure developer, at the beginning of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure, to give us a one- to two-minute
2 overview of the measure as -- in any which way
3 you'd like to. So go for it.

4 MR. HAMLIN: Okay. So we have
5 effectively three measures that are pretty
6 close to a set. That is 0036, 1799 and 1800.

7 0036 has been in use throughout
8 2006 in HEDIS. It uses a two-year denominator
9 to identify people with moderate to severe
10 persistent asthma that's been repeatedly
11 validated time and time again.

12 The two newer measures use the
13 same validated criteria to identify the
14 denominator. 1799 is going to be measured in
15 HEDIS this year, 2012. 1800 is the new one
16 for HEDIS in 2013.

17 They take a slightly different
18 approach to how they -- the intent of the
19 measure. Medication management for people
20 with asthma, 1799, has different thresholds of
21 50 percent proportion of days covered and 75
22 percent proportion of days covered to both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 identify organizations that are performing
2 very well in asthma management, but also to
3 try and look for organizations that might be
4 defined, populations that are at risk and
5 might require additional resources or
6 additional attention.

7 The asthma medication ratio looks
8 at patients' level of controls, proportion of
9 reliever to total medications, such as to be
10 looking at the, you know, how many relievers
11 patients are using overall versus sort of a
12 regular, daily-use controller, and it looks at
13 the medications dispensed only, so we are
14 looking at actual medications that are filled
15 through pharmacy claims.

16 These are all three --
17 administrative claims only measures, so they
18 are wholly reliant on claims. A field test
19 was conducted using nine health plans in 2010
20 with a large number of members and the n for
21 the smallest plan, I think was about three --
22 two or three thousand members with persistent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 asthma. So that's my two-minute elevator
2 speech.

3 CO-CHAIR WEISS: Okay. Let me
4 then ask, from the committee, if there are any
5 initial questions in response to what the
6 developer has -- what Ben has presented to us.

7 Okay, so Ben, for the moment,
8 everyone is quiet. We are going to put you
9 down the table and hang out here with us, and
10 we are going to go through the process.

11 Now I think you should be able to
12 hear us all, but we will find that out
13 shortly. So -- sure. Stay put, Ben. Okay.

14 Measure 0036. David. So we are
15 going to start with looking at impact,
16 opportunity and evidence and then we will vote
17 on those, and we vote on those as a single or
18 each of those -- each of those separate.

19 So why don't we just start with
20 evidence. Okay, why don't we do all three
21 together and then we'll vote separately.
22 Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER LANG: Thank you Kevin.
2 Members of the committee, the 0036 measure
3 focuses on asthma, a high impact condition
4 affecting an estimated 25 million Americans,
5 associated with a cost of more than \$20
6 billion annually.

7 Asthma continues to be associated
8 with unacceptable rates of morbidity and
9 mortality as members of the committee are well
10 aware.

11 Performance gaps exist. There are
12 disparities in care and outcomes, and there
13 are opportunities for improvement and there is
14 high quality evidence associated with this.

15 I believe the measure is -- should
16 be rated highly on the three criteria about
17 which we are voting, and that is what the
18 committee determined in its conference call
19 several weeks ago.

20 CO-CHAIR WEISS: Short and sweet.
21 Great. So let's have a -- any sort of
22 questions, thoughts, comments, concerns from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the group?

2 (No response)

3 CO-CHAIR WEISS: Okay, if none,
4 then we are going to do the first set of
5 votes. Help. Who is going to walk us through
6 this? Jessica, are you going to --

7 MS. WEBER: Hi, I'll walk you
8 through it.

9 CO-CHAIR WEISS: Okay.

10 MS. WEBER: So as you can see, we
11 have the criteria rated one through four,
12 high, moderate, low, insufficient. Make sure
13 you aim your voting device over here towards
14 the voting software, and there will be a clock
15 with the tally so we'll be able to see how
16 many people voted.

17 Once it's complete and we've
18 gotten all the votes, we'll have a graph of
19 the voting. Make sure you hit the number of
20 your vote, and then send, and if you would
21 like to change it, there should be a little
22 triangle sign at the bottom with an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exclamation point. If you hit the wrong
2 number you can hit that, and then change your
3 vote.

4 CO-CHAIR WEISS: So we're voting
5 on the impact on -- related to Measure 0036.
6 So let's do that now. High, moderate, low,
7 insufficient.

8 MS. WEBER: Go ahead.

9 CO-CHAIR WEISS: Do we know if --
10 how do we know if our votes are --

11 MS. WEBER: Let's try it again.

12 CO-CHAIR WEISS: And there's
13 something we are supposed to point to again?
14 Point to you.

15 MS. WEBER: Point to Jessica.

16 CO-CHAIR WEISS: Oh, point to
17 Jessica.

18 DR. BURSTIN: We'll be able to see
19 the total count at the end, to see if
20 everybody voted, and if it hasn't, we'll ask
21 you to --

22 CO-CHAIR WEISS: Tell us when to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 go.

2 MS. WEBER: Okay. Go ahead and
3 vote again. All right. Let's try restarting
4 the software and voting again.

5 CO-CHAIR WEISS: Okay, so we are
6 going to take a 15 second or so --

7 FEMALE PARTICIPANT: How long does
8 it take you to do it?

9 CO-CHAIR WEISS: Reboot the
10 computer? Oh, five minutes. Okay, so let's
11 continue on and we'll come back.

12 DR. WINKLER: We can take the vote
13 -- I think we can take the vote by hand.

14 CO-CHAIR WEISS: Oh, you want to
15 do it that way?

16 DR. WINKLER: Sure, why not. How
17 hard could it be?

18 CO-CHAIR WEISS: I don't know how
19 to do that. I'll -- so we have to vote high,
20 moderate, low or insufficient. How many on --
21 how many on impact view it as high? Raise
22 your hands.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Show of hands)

2 CO-CHAIR WEISS: Okay, let me
3 reverse it so I can make it very quick. How
4 many do not think it's high?

5 (Show of hands)

6 CO-CHAIR WEISS: Okay. No, we
7 don't want -- no, this is not a coercion
8 activity. This truly isn't. Would you like
9 to vote moderate or low or something?

10 (No audible response)

11 CO-CHAIR WEISS: Very good. So we
12 have one moderate and the rest high. You have
13 to be -- this is not a -- this is -- very
14 good. Okay.

15 Next we are going to vote on
16 opportunity. And this is the opportunity for
17 improvement, and this has to do with what the
18 measure is, and David, again, your thoughts
19 here were --

20 (No audible response)

21 CO-CHAIR WEISS: Good. Okay. All
22 -- these are the same four criteria again. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 all who would say high, raise your hand just
2 to get a feel for it.

3 (Show of hands)

4 CO-CHAIR WEISS: Well, let me just
5 do it a little simpler for -- how many would
6 say not high, just so I get a feel.

7 (Show of hands)

8 CO-CHAIR WEISS: So there's -- how
9 many of those are moderates?

10 (Show of hands)

11 CO-CHAIR WEISS: So, three
12 moderates. All the rest, high. Okay.

13 And then the third element is the
14 evidence. Is there evidence that this measure
15 is -- good. So let's do it again. I think
16 there will be at least a preponderance on
17 high.

18 So let's start with one, high.
19 Raise your hands if you think it's high
20 evidence.

21 (Show of hands)

22 CO-CHAIR WEISS: Okay. So a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 little more uncertainty here. Oh, well, a
2 couple more popped up so let's try again.
3 Raise them up high. High for high.

4 (Show of hands)

5 CO-CHAIR WEISS: Okay, how many
6 would say moderate?

7 (Show of hands)

8 CO-CHAIR WEISS: One, two, three,
9 four. Five. One, two, three, four, five.
10 That's everybody? Okay. Good. Done.

11 Well, pushing through technology
12 on to the real, the old-fashioned fallback.
13 Paper would be even worse. Okay. Let's talk
14 about the scientific acceptability, so let's
15 go first about reliability and then validity
16 or you can put them together as part of your
17 discussion. How would you like to go?

18 MEMBER LANG: Thank you Kevin.
19 Yes. So it's in this realm that I do have
20 some concerns regarding the measure. Let me
21 just frame this from a big picture standpoint,
22 we look at or some individuals around the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 table may author systematic reviews. We read
2 guidelines, individuals around the table may
3 serve on guidelines panels.

4 We identify evidence in the form
5 of practice behavior X, and X is associated
6 with improved patient care outcomes, or from
7 guidelines standpoint, improved population
8 outcomes, in this case, patients with asthma.

9 What we -- what we want to do is
10 encourage practice behavior X, and discourage
11 practice behaviors Y, Z or A, B, C, which
12 either are not associated with evidence that
13 they lead to improved outcomes, B, may be
14 associated with untoward healthcare outcomes,
15 or about which, C, there are no data which are
16 convincing which show whether outcomes are
17 improved.

18 So, having framed that, I have
19 concerns regarding the numerator and the
20 denominator of this measure. The denominator
21 of the measure, which is patients aged 5 to 64
22 with moderate to severe persistent asthma, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 believe there is some lack of precision with
2 regards to moderate to severe persistent
3 asthmatics, and how they are identified.

4 But the major concern I have with
5 the measure is that the measure categorizes --
6 seeks to identify use of appropriate
7 medications for people with asthma by
8 identifying the number of members dispensed at
9 least one prescription for a preferred therapy
10 during the measurement year.

11 And preferred therapies include
12 not only inhaled corticosteroid, about which
13 there are -- there's high-quality evidence
14 supporting exposure to inhaled steroid and
15 improved outcomes, but a number of other
16 medications for asthma, including
17 theophylline and other medications, for which
18 data have not shown that exposure to these
19 medications are associated, or is associated
20 with improved outcomes, moreover, it's -- the
21 number of members dispensed at least one
22 prescription qualifies for fulfilling the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 metric.

2 So from my standpoint, I question
3 whether this metric does what I said at the
4 outset in framing this, in the sense that
5 exposure to, or tracking -- a better way to
6 say it -- tracking medical practice behavior X
7 is associated with improved outcomes.

8 I don't believe that this measure
9 fulfils that big picture criterion that I have
10 used to approach this. So I question the
11 validity of the metric on that basis.

12 CO-CHAIR WEISS: Would you like to
13 present the discussion of the group? It
14 sounds like the issue here is validity.

15 MEMBER LANG: Yes.

16 CO-CHAIR WEISS: And it's validity
17 of the numerator, not the denominator.

18 MEMBER LANG: Yes, there is some
19 imprecision with the denominator, but that's
20 not the major issue. The major issue is the
21 numerator.

22 CO-CHAIR WEISS: And the -- and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you had no concerns with the reliability that
2 we are hearing right now?

3 MEMBER LANG: No, the matter of
4 concern is validity.

5 CO-CHAIR WEISS: And would you be
6 willing to reflect the discussion at the
7 group, because we have, at least for the
8 workgroup, it looks like there were three
9 highs, one moderate, which was again very
10 supportive of reliability, and a similar vote
11 on validity.

12 So, if you can bring in that
13 broader discussion, it would be helpful, if
14 you have recollection of it, of what the group
15 was thinking in response to this.

16 MEMBER LANG: Yes, I think this
17 issue was raised on the conference call, and I
18 think that there was -- my impression was that
19 a number of members of the committee shared my
20 chagrin.

21 CO-CHAIR WEISS: So let's now
22 have, if we can, a more open discussion,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 starting first, if anyone on the committee
2 would like -- on the workgroup would like to
3 reflect on this issue of reliability, which
4 David is suggesting is solid, but concerns of
5 validity, and what you as individuals might
6 think about that, and then first with the
7 workgroup, and then if we have a more broad
8 discussion on this issue.

9 MEMBER EDELMAN: I agree.

10 CO-CHAIR WEISS: Microphone,
11 please. It's all being recorded.

12 MEMBER EDELMAN: I agree. I think
13 the list of medications has no discrimination.
14 It's too broad.

15 MR. HAMLIN: Can I come in for a
16 side question?

17 CO-CHAIR WEISS: In a moment Ben,
18 but let me just make sure that we have all of
19 the reflection we need from the committee
20 first.

21 MR. HAMLIN: Okay, thanks.

22 CO-CHAIR WEISS: Any other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thoughts or comments on this, on the concerns
2 for validity?

3 (No response)

4 CO-CHAIR WEISS: Okay. So Ben, if
5 you would be so kind, some thoughts on the
6 validity issue?

7 MR. HAMLIN: Sure. So first I
8 want to address the list of medications. We
9 agreed it's an expansive list, and while the
10 guidelines clearly prefer ICD, you know,
11 recognizing this is a population-based
12 measure, and not every patient is necessarily
13 indicated for ICD.

14 We want to avoid sort of
15 overriding any critical decision by the
16 provider about what's best for the patient.
17 So the measure list is fairly expansive and it
18 is to try and capture all patients in all
19 scenarios without creating an extremely
20 complex, you know, list of the perfect
21 medications and weighted exceptions for those,
22 in an administrative measure, this is kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what we -- this is the list we've been working
2 with.

3 In terms of the validity issue,
4 the measure denominator has been tested, not
5 only for HEDIS, but also in other, different
6 environments, and has been shown to be quite
7 reliable as a matter of fact, for identifying
8 the appropriate people with persistent asthma.

9 The people that get in, you know,
10 who might weaken the denominator, it tends to
11 be a very small proportion, running about
12 three to four percent, and it's usually
13 through the ED visit criteria alone --

14 CO-CHAIR WEISS: So Ben? If I
15 may.

16 MR. HAMLIN: Yes.

17 CO-CHAIR WEISS: Because there
18 were no questions about reliability --

19 MR. HAMLIN: Okay.

20 CO-CHAIR WEISS: Dr. Lang was
21 focused on the validity as it relates to the
22 numerator and the medicine list, and has there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been any evidence, any studies that have
2 looked at the use of this measure as it
3 relates to other outcomes?

4 MR. HAMLIN: Not directly to other
5 outcomes, no. The measure has been
6 respecified in other environments to report
7 two rates. They might report an ICD rate and
8 then another rate, but that's as far as we've
9 gone.

10 CO-CHAIR WEISS: Okay. David, any
11 questions for Ben? Okay.

12 (Alarm sounds)

13 CO-CHAIR WEISS: That was the 15-
14 minute mark by the way? So you can see how
15 fast 15 minutes flies. We did have a little
16 bit of a gap there because of the voting
17 process, so we'll just -- this is our first
18 measure.

19 So I'm just going to reset for
20 another 15 minutes and just so we all get a
21 feel for the time flow here.

22 So with that in mind, with no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other comments from the workgroup, any
2 comments from the committee at large in
3 response to what you're hearing?

4 DR. BURSTIN: Ben, this is Helen.

5 Since you mentioned that the measure has at
6 times been used and stratified by ICD versus
7 others, how do the results differ? Do you
8 have any experience in terms of whether
9 different kinds of providers or health plans
10 are going to fall in or out depending on
11 whether you specify it for ICD, which clearly
12 has the strongest evidence, I think?

13 MR. HAMLIN: Yes, I don't have the
14 detailed data on those stratified rates. I
15 just heard they used the plan and they report
16 back that they really like to stratify it that
17 way. I don't actually have the detailed data.
18 I don't know if I'd be able to get it for you
19 either.

20 CO-CHAIR WEISS: Yes. Excellent.

21 Well, with that in mind, let's now vote on
22 these electronically, on the scientific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 evidence. Sorry. Reliability first. Then we
2 are going to -- reliability, then validity and
3 then we'll look at the scientific --
4 collectively, I guess.

5 Okay, so right now, reliability,
6 Measure 0036. Please vote.

7 (Pause for voting)

8 CO-CHAIR WEISS: Yes, we're seeing
9 numbers. Numbers are popping up. Oh, there
10 we go. Okay. We have eight highs, nine
11 moderates, one low, and one insufficient
12 evidence. Good.

13 Okay, now let's go on to the next
14 vote, which is on -- which is on validity. So
15 please vote on validity for Measure 0036.

16 (Pause for voting)

17 CO-CHAIR WEISS: So we have 1
18 high, 11 moderate, 7 low, and 4 insufficient.
19 Okay.

20 MS. WEBER: We don't have any
21 insufficient.

22 CO-CHAIR WEISS: Oh, so zero

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 insufficient. What did I say? Four. Coffee,
2 please?

3 DR. WINKLER: Okay, and in terms of
4 scientific acceptability we just used the
5 algorithm to -- the majority rated it high or
6 moderate for reliability, or high or moderate
7 for validity, so it passes that criteria.

8 CO-CHAIR WEISS: Okay, let's move
9 on to the next, which is usability, and then
10 we'll go to feasibility. So let's talk about
11 usability. David?

12 MEMBER LANG: Yes, the measure has
13 been in effect, as was noted, and information
14 produced by the measure is meaningful, again,
15 with the qualifications that I mentioned
16 previously.

17 CO-CHAIR WEISS: And so therefore
18 it's -- it's usable? Okay. Members of the
19 workgroup, any thoughts or comments? And
20 you'll see that the votes in the workgroup was
21 three high, one medium. Committee as a whole,
22 any questions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (No response)

2 CO-CHAIR WEISS: Then let's vote
3 on that issue of usability.

4 (Pause for voting)

5 CO-CHAIR WEISS: Has everybody
6 voted? Peter may have stepped out.

7 MS. WEBER: We need two more
8 votes, if you want to go ahead and try it
9 again. It won't count your vote twice, if
10 it's already counted.

11 CO-CHAIR WEISS: Oh, okay. We got
12 what we needed? Okay. There we go. So nine
13 and nine, nine high, nine moderate. Let's
14 continue on with usability to feasibility.

15 And David.

16 MEMBER LANG: Thank you Kevin.
17 The measure is feasible. The data are
18 gathered via pharmacy claims, the -- for the
19 numerator. For the denominator, you know, the
20 data are also feasible, gathered based on
21 diagnostic coding.

22 CO-CHAIR WEISS: Great, okay. Any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comments or -- and your thoughts, therefore,
2 would be?

3 MEMBER LANG: It's feasible.

4 CO-CHAIR WEISS: It's feasible.
5 You'd give it a high, moderate --

6 MEMBER LANG: Moderate or high.

7 CO-CHAIR WEISS: Okay, and the
8 group was three high, one moderate in the
9 workgroup. Workgroup members, any additional
10 comments?

11 (No response)

12 CO-CHAIR WEISS: Okay. And then
13 let's go to the group -- the committee as a
14 whole. Any comments?

15 (No response)

16 CO-CHAIR WEISS: Then let's vote
17 on -- oh, we do have a comment from Reva who
18 has an implementation --

19 DR. WINKLER: Yes, prior to -- when
20 these -- when we launched this project, we
21 posted the list of measures for maintenance
22 and asked for any comments from -- experience

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from implementation.

2 So we do have one comment from
3 AHIP on this measure that says, "We recognize
4 that classification of asthma using
5 administrative data poses challenges, does not
6 allow for tracking and performance by stage of
7 disease, as defined by clinical guidelines.

8 "As electronic health record data
9 becomes available, it will be important to
10 include clinically-defined asthma stages in
11 ensuring appropriate care by stage.

12 "Additionally, since a single
13 prescription can ensure compliance, this
14 measure does not track how well asthma is
15 managed for a patient." So, for your
16 consideration.

17 CO-CHAIR WEISS: Very good.
18 Thanks so much. Any thoughts or comments on
19 what we've heard from the -- Hayley.

20 MEMBER BURGESS: I'd like to make
21 a comment, based on the discussion of the
22 group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: If you can get a
2 little closer to your mic it would be great.

3 MEMBER BURGESS: Sorry. One, I'd
4 like to know if Ben can tell us what the, you
5 know -- how the adherence is currently with
6 the measure, like the percent compliance that
7 we are already seeing with the measure. Can
8 Ben --

9 CO-CHAIR WEISS: So you want to
10 look at the compliance in terms of use of the
11 measure, or the actual results in the field?

12 MEMBER BURGESS: The results.

13 CO-CHAIR WEISS: So Ben, could you
14 just reflect for the group as to what we are
15 seeing in terms of results for the measures in
16 use? If there's some data --

17 MR. HAMLIN: Yes, so for 0036 we
18 have basically seen, ever since its
19 implementation we have seen a general increase
20 in the rates, where the majority of the rates
21 across the strata, the different product
22 lines, have a relatively high performance,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 although there is still a small performance
2 gap.

3 I had a hard time hearing the
4 question. Was that --

5 CO-CHAIR WEISS: Yes, you're in
6 line and we're putting up on the screen, I
7 think, the numbers that were submitted to us
8 as well, if you -- on page. So page 13 will
9 be --

10 DR. BURSTIN: Section 2b5.3 on the
11 submission form, if you want to follow it on
12 your thumb drive.

13 MEMBER BURGESS: And the reason I
14 asked that question --

15 CO-CHAIR WEISS: Okay. There you
16 go. So that's overall -- it's called table
17 3.14, and then we are seeing some of the -- it
18 looks like the mean number was 90.9 percent,
19 or is that -- I can't -- 92.9. My wife, an
20 ophthalmologist who gives me eyeglasses, is
21 going to be upset I can't read that.

22 Okay, there we go, 92.9. Oh there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we go.

2 MR. REHM: Just to characterize,
3 there's both commercial rates here and
4 Medicaid.

5 CO-CHAIR WEISS: So this is
6 commercial rates?

7 MR. REHM: Yes --

8 CO-CHAIR WEISS: So 92.9.

9 MR. REHM: Commercial, basically
10 the 10 percent to the 90th percentile, 89 to
11 96, and from Medicaid 83 to 93.

12 CO-CHAIR WEISS: Great.

13 MR. REHM: So I wanted to make
14 sure that you understood that the Medicaid
15 performance would be an area --

16 CO-CHAIR WEISS: Is lower and a
17 lot of opportunity for improvement,
18 particularly in the Medicaid population. Is
19 that helpful Hayley?

20 MEMBER BURGESS: It is helpful.
21 The reason I asked the question is, measures
22 should move us to action, and so if the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure is that a patient receives one -- one
2 prescription for an asthma controller
3 throughout, you know, this calendar year, does
4 that really tell me how well the patient is
5 doing? Is it giving me something to really
6 work from? Because just saying you've got one
7 prescription, you know, how helpful is that to
8 us? I mean, especially if now we are at
9 compliance in the 90 percent or so. I just
10 question if, you know, this is the right
11 measure for persistent asthma. So I struggle
12 with that a little bit, not that it -- I think
13 it's a bad measure necessarily. I just wonder
14 how that moves us to action because it doesn't
15 tell us that it's appropriate.

16 CO-CHAIR WEISS: Great and is it
17 Brendle?

18 MEMBER GLOMB: Brendle, thank you.
19 I wanted to echo that and what David had said
20 earlier. You know, I think there's some -- in
21 the definition of the medication, the
22 appropriate medication, I do think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's -- this is a fairly expansive list
2 that many of us around the table might not
3 consider to be controller medications anyway.

4 So I think that that really makes
5 this a very fuzzy measure and perhaps part of
6 the reason that the compliance rate is so high
7 here.

8 So I wonder, not that -- maybe the
9 questions we are asking and answering are not
10 approaching what you are talking about. Does
11 it -- if someone has got this diagnosis of
12 moderate to severe asthma, which is somewhat
13 imprecise, and now we've got a list of lots of
14 things that could be precised, is that really
15 getting us -- moving the ball down the field
16 in terms of making an improvement in asthma
17 quality care?

18 MEMBER STEMPLE: And Kevin, you
19 know, from managed care, I would also
20 reiterate that. I don't know how usable, when
21 you are looking at one script over a year's
22 time, of a broad expanse of medications, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 usability of that data, I don't know how
2 usable it is. We are at a 90 percent for one
3 script in a moderate to severe population
4 which seems total undertreatment.

5 So when I reflect on the comments
6 and, quote, usability of the data, if we are
7 only requiring one script over a year's time
8 in a moderate to severe population, if we are
9 looking at a quality outcome, that seems a
10 poor quality outcome in a population, one
11 script per 12 months of a broad expanse of
12 medications which we may or may not agree is
13 appropriate.

14 CO-CHAIR WEISS: It seems to me
15 this is a floor measure, in the sense that at
16 least one script was being written that's not
17 setting a threshold for optimal, by any
18 stretch, is what I'm hearing. Is that --

19 MEMBER STEMPLE: Yes, and I think,
20 you know, recommendation over time, if we are
21 90 percent for one, what's an -- what would
22 the pulmonary society say is an acceptable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 floor, because I don't think one script for a
2 year, anyone would say in a moderate to severe
3 patient population is an acceptable floor.

4 So, wondering if there would be an
5 opportunity to move that months of
6 prescription up to a more, quote, acceptable -
7 - what would seem to be a basic floor, because
8 I don't think anyone would even rationally say
9 one is a reasonable floor in a moderate to
10 severe asthmatic population.

11 But I look to the pulmonologist to
12 maybe reconsider that.

13 CO-CHAIR WEISS: You know, it's
14 very interesting, in the sense that when this
15 measure came out, it was -- this was
16 considered an extreme advancement, and it may
17 be partly the success of the measure that it's
18 going this way.

19 I remind ourselves that we are not
20 quite at three sigma here because we are
21 talking about 1 in 10 persons not achieving
22 this in the commercial and up to maybe 2 in 10

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 or 1 in 5 are not getting even this amount of
2 treatment in a Medicaid population. So it's a
3 great discussion. Peter.

4 MEMBER ALMENOFF: I do agree with
5 the group but we have to start somewhere, and
6 we still have about 70 percent are not getting
7 a single med, which is actually kind of
8 concerning. So, saying that we are at 90
9 percent or 85 percent compliance is good, I
10 actually don't think that's very good for
11 something that we've known for a long time,
12 and therapy, we have known for a long time,
13 works.

14 I mean to me, I think the
15 measure's okay. We just need to eventually
16 develop something better for the future, once
17 we achieve some of our goals. But if we set a
18 measure of perfection, you know, we are never
19 going to get anywhere.

20 CO-CHAIR WEISS: Yes, and I just
21 wanted -- Reva wanted to highlight for the
22 group that this is also a -- why don't you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 speak to it?

2 DR. WINKLER: This measure has
3 actually been retooled for EHRs and it's part
4 of the meaningful use program.

5 CO-CHAIR WEISS: So it's, it's now
6 even more embedded in terms of trying to drive
7 this even higher.

8 MEMBER ALMENOFF: Do I need to
9 disclose that or -- no.

10 MR. HAMLIN: It's also a CHIPRA
11 core set measure as well.

12 CO-CHAIR WEISS: It's, say that
13 again?

14 MR. HAMLIN: It's a CHIPRA core
15 set measure as well.

16 CO-CHAIR WEISS: Oh, sorry. I was
17 wondering where the sound was coming from, it
18 was in my hand. Sorry. Thanks Ben. You are
19 still in my hand here.

20 MEMBER JEWELL: Thank you. So I
21 guess this is a question probably for Reva. I
22 have a memory that, from prior panel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 participation, that we can make
2 recommendations to the measure developers
3 about things they might do in the future.

4 And it seems to me that one of the
5 things they might do is really drill into that
6 differentiation that they have already seen
7 with the measure for inhaled corticosteroids
8 versus just the general prescription, so that
9 we can wrap our arms around it a little bit
10 more.

11 Because I'm guessing, being the
12 non-physician talking here, that there's a
13 greater underuse of the inhaled
14 corticosteroids, but what I heard the measure
15 developer saying is we don't want to overrun
16 clinical decision-making.

17 So what I don't know is how many
18 patients are likely to be ineligible for those
19 drugs. So we need some data to be able to
20 help better understand that.

21 CO-CHAIR WEISS: So I'm going to
22 be mindful of time, because I see we are just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about a minute into our second 15 minutes,
2 which is a long space for our first measure
3 but it is our first measure.

4 So are there any things --
5 anything that hasn't been said that you'd like
6 to say, as opposed to things that have been
7 said that you want to reinforce?

8 (No response)

9 CO-CHAIR WEISS: Okay. Good. So
10 then let's go and vote for the last of the
11 items, which is usability -- feasibility,
12 sorry. We'll get this, right?

13 (Pause for voting)

14 CO-CHAIR WEISS: Has everyone
15 voted? Redo yours just in case. You may not
16 have connected. Okay, there we go. So high,
17 10, moderate, 9. No low and no insufficient.

18 Very good. Now we go to an
19 overall measure assessment, and it's just a
20 yes/no. Shall we move this on? Now, mind you
21 --

22 (Alarm sounds)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: That was the
2 timer by the way. So we have officially spent
3 a half an hour on this measure. So again,
4 we're not the final say here. It goes to
5 CSAC, and -- it goes to comment and then the -
6 - oh, back to us and then to CSAC. Thank you.

7 First measure. So it's a yes/no.
8 Now, mind you I think we have heard it -- we
9 have given to our colleagues and staff that
10 they will let the measure developer know that
11 we do want to see this issue of inhaled
12 corticosteroid more narrowly defined, at least
13 into the future, as an important feature for
14 our consideration.

15 So let's go for the vote. Yes,
16 no.

17 (Pause for voting)

18 CO-CHAIR WEISS: Eighteen -- there
19 you go. You got it. Okay, so 17 yes, 1 no.
20 Great. So this moves on to comment. Coming
21 back to us, and then on to CSAC.

22 DR. WINKLER: It will go -- you've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 passed it this far. It perhaps will come back
2 to you tomorrow, if we talk about related and
3 competing measures, depending on how the
4 evaluation of other similar measures may go
5 forward.

6 DR. BURSTIN: And just one
7 thought, it might be helpful for NCQA -- and
8 obviously the measure passed -- but I think it
9 would be helpful for the committee to see if
10 you have the data, that inhaled
11 corticosteroids versus all the measures -- all
12 the other meds together, and perhaps even if
13 you have done any sensitivity analyses on the
14 number of prescriptions a year and whether
15 that would make it a better measure as well.

16 CO-CHAIR WEISS: Great, okay. So
17 Hayley, I think you are up for number two,
18 which is a Measure 1799. It's a new measure.
19 It falls under NCQA. We heard our measure
20 developer describe it initially so I won't ask
21 our measure developer to provide any
22 information now. We'll wait until questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 arise. Okay Ben?

2 MR. HAMLIN: Sure.

3 CO-CHAIR WEISS: So we're on the
4 impact and opportunity and evidence.

5 MEMBER BURGESS: Right, so this is
6 Measure 1799. It is a new measure. It is
7 similar in some ways to 0036, so the
8 committee, our subcommittee, when we went
9 through this first part of impact, we all
10 rated it high, I mean it's very similarly to
11 before, the data hasn't changed. We still
12 believe it is a high impact measure.

13 So should I stop there for the
14 first part?

15 CO-CHAIR WEISS: No, let's
16 continue on with opportunity and evidence, if
17 we could.

18 MEMBER BURGESS: Okay.

19 CO-CHAIR WEISS: And we'll vote
20 and --

21 MEMBER BURGESS: So, again, the
22 evidence for, you know, medications in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 space, and this is the same population, if you
2 remember, moderate to severe asthma.

3 And so here what's different with
4 this measure, and I love the spirit of this
5 measure, so I'll just tell you that. So it's
6 moving from 0036, the previous one, into a
7 space of what they call proportion of days
8 covered.

9 So now we're getting to the meat
10 of the issue, right? So you know, what extent
11 of time is the patient actually taking the
12 medication, be a proxy of you know, medication
13 database claims.

14 So that's -- it's a little
15 different in that respect and I really
16 appreciate that because I believe we are
17 getting to the better -- the continuity of
18 care and the appropriateness of care.

19 It has the same issues, the med
20 list, the drug list is the same for 0036, so
21 it includes inhaled corticosteroids but it
22 also includes short acting beta agonists et

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cetera within that drug list so I think that's
2 still a concern.

3 CO-CHAIR WEISS: Opportunity.

4 MEMBER BURGESS: So, opportunity.

5 I think there are a couple of things here.
6 What our group -- our subgroup struggled with
7 is this proportion of days covered.

8 This was a PQA-endorsed
9 phenomenon, if anyone wants to speak to that.
10 I don't know exactly how that translates when
11 we are -- so it's going by number of claims or
12 prescriptions if you are -- and this is maybe
13 a question for Ben or your team -- if it's by
14 claim or prescription, do you have the day's
15 supply, the day's supply for that med?

16 So insurance companies are pushing
17 towards a 90-day supply, so is that factored
18 in if they get one prescription? Other
19 opportunity, which they do show this in the
20 measure, which I think is really important,
21 that -- because the question is, this is
22 calendar year, right, so the index date is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 first prescription and then days covered
2 throughout the calendar year.

3 So the question is, if in fourth
4 quarter, they get one prescription, and then
5 it only goes to calendar year, well what does
6 that mean, and that person is still included.

7 So their data actually does show
8 that the majority of prescriptions are filled
9 in first and second quarter, so it was very
10 low, like five percent I think, in the fourth
11 quarter, maybe 10 percent in the third
12 quarter. So maybe that fleshes out, or maybe
13 that's a place of opportunity, if it's not
14 filled, maybe that's an exclusion if it's not
15 filled within the first three quarters
16 perhaps.

17 So that's just another thought.
18 And it's similarly tested in the nine health
19 plans.

20 CO-CHAIR WEISS: Let's -- but that
21 will be coming a bit later --

22 MEMBER BURGESS: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: in terms of --
2 but it suggests that there's an opportunity at
3 least as it's defined --

4 MEMBER BURGESS: One thing I
5 didn't raise is, you know, this proportion of
6 days covered at 50 and 75 percent, so that's
7 the numerator one and two.

8 And, you know, the question that I
9 guess the team didn't understand is, is that
10 the right metric, you know, is 50 and 75
11 percent, is that the right --

12 CO-CHAIR WEISS: That, again --

13 MEMBER BURGESS: proportion --

14 CO-CHAIR WEISS: Hayley, we'll
15 pick that up --

16 MEMBER BURGESS: Am I moving --
17 I'm moving ahead.

18 CO-CHAIR WEISS: Yes, we're moving
19 a little into the reliability/validity issue.

20 MEMBER BURGESS: Okay.

21 CO-CHAIR WEISS: But we're looking
22 at opportunity for improvement and what you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saying is, is that, at least from what they're
2 showing, that there is a place for
3 opportunity, and that the workgroup said --
4 affirmed that as well.

5 So let's go and vote that. First
6 of all, from the workgroup, any response to
7 Hayley on issues of impact, opportunity and
8 evidence?

9 (No response)

10 CO-CHAIR WEISS: Okay, from the
11 larger group, questions? Brendle and then
12 Peter.

13 MEMBER GLOMB: Just with regard to
14 evidence, I thought that -- like Hayley, I
15 think that this and actually the next measure
16 also are -- I really like the spirit of where
17 it's going. It's an intriguing measure. It
18 makes sense from a practice standpoint as a
19 treating physician, but I think we are -- if
20 we take the precise definition of the measure,
21 I think we're weak in the evidence area, just
22 as we are in the next measure. I think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the --

2 CO-CHAIR WEISS: Weak from what
3 perspective?

4 MEMBER GLOMB: Weak from -- body
5 of evidence I guess I should say.

6 CO-CHAIR WEISS: Oh.

7 MEMBER GLOMB: Weak, in the body
8 of evidence standpoint.

9 CO-CHAIR WEISS: On the 50/75
10 percent issue?

11 MEMBER GLOMB: Yes, on that -- on
12 those cutoffs, yes.

13 CO-CHAIR WEISS: Okay.

14 MEMBER GLOMB: Is 50 right, is 25
15 days right? I don't know.

16 CO-CHAIR WEISS: Yes, very good.

17 MEMBER GLOMB: Thanks.

18 CO-CHAIR WEISS: Peter?

19 MEMBER ALMENOFF: I'm not sure
20 it's the right time to talk about this, but --
21 so if you have somebody with asthma and they
22 are put on a corticosteroid, but nothing else,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they'll pass the measure?

2 CO-CHAIR WEISS: I'll give that to
3 the measure developer. So Ben, the question
4 from Peter was, well, Peter, why don't you
5 just --

6 MEMBER ALMENOFF: The question is,
7 you know, is there any kind of rescue
8 medication or short term beta2 agonist or
9 something else?

10 I mean this -- this is for the
11 persistent portion of asthma, but you also
12 need for the rescue piece or for the -- for
13 short term relief.

14 And so I'm just a little worried
15 when we have a -- such a perfectly-selected
16 measure that, if for example we dumped the
17 first measure we talked about and went just to
18 this, we'd find everyone is on steroid,
19 corticosteroids, which is fine, but we're now
20 not including the other treatments of asthma,
21 sort of just focusing on one medication for
22 one piece of the disease and not the overall

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 therapeutics.

2 CO-CHAIR WEISS: Limitation of a -

3 -

4 MEMBER ALMENOFF: Right.

5 CO-CHAIR WEISS: a specific
6 process of care, as opposed to more
7 comprehensive medication.

8 MEMBER ALMENOFF: Right, I mean,
9 so on the first one it was too generalized.
10 Now this one is so selective --

11 CO-CHAIR WEISS: It's the same
12 list. It's just looking at quantitating that
13 as opposed to just -- so it doesn't look at
14 the short acting. It's looking at --

15 MEMBER ALMENOFF: I understand
16 that, but let's say we just looked at this as
17 a measure and we don't have any other asthma
18 measures, they'll pass this measure and
19 actually not be on the right therapy. They'll
20 be on partial therapy. That's my issue.

21 CO-CHAIR WEISS: And I would have
22 to say that this is the Achilles heel of any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 single process measures, and why I want us to
2 try to create composite measures.

3 MEMBER ALMENOFF: No, I
4 understand, that's where I was sort of going,
5 is we already have a generalized one, why
6 wouldn't we try to get to a -- a more complete
7 measure as opposed to now we're just sort of
8 doing these partial measures again.

9 CO-CHAIR WEISS: Okay.

10 MEMBER ALMENOFF: That's sort of
11 my --

12 CO-CHAIR WEISS: Good.

13 MEMBER ALMENOFF: my point.

14 CO-CHAIR WEISS: Any other
15 thoughts or comments, otherwise let's go now
16 to a vote. First, impact. One through four.
17 Let's do it.

18 (Pause for voting)

19 CO-CHAIR WEISS: Thirteen say
20 high, six say moderate. Okay. Let's go to
21 the next one which is impact -- which is
22 performance gap. Thanks. Impact, right? Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Let's vote.

2 (Pause for voting)

3 CO-CHAIR WEISS: One more. We got
4 18 votes, 19. Twelve say high, two say
5 moderate. Okay, let's go to the next and the
6 final of the three.

7 MS. WEBER: Sorry, seven say
8 moderate.

9 CO-CHAIR WEISS: I tell you,
10 dyslexia and chairmanship doesn't help. Oh,
11 this is a long day. Let's go. 1c. Yes, it's
12 a great day. 1c. Evidence.

13 Yes, we have the wrong one down,
14 yes. It's -- no -- it's -- no, evidence is
15 right. Evidence is right. Yes.

16 (Pause for voting)

17 CO-CHAIR WEISS: So, 10 say yes, 2
18 say no, and -- no, sorry. It's the way it's
19 done here, it's confusing me. I apologize.
20 Ten say yes, seven say no, two say
21 insufficient. There we go everybody. I will
22 get this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That doesn't feel like the right
2 one, right? Yes. We want to do one through
3 four, right? Okay. So, 1c. We want to do
4 evidence. So it is, so it's -- it did pass
5 with -- let's go, next one.

6 DR. WINKLER: Actually if the vote
7 was 10 yes, 7 no and 3 insufficient, so that's
8 10-10.

9 CO-CHAIR WEISS: Let's redo it.

10 DR. WINKLER: Let's redo it.

11 CO-CHAIR WEISS: 1c, evidence.
12 One equals yes, two equals no. Three equals
13 insufficient. Let's vote again. Yes, vote
14 again.

15 MS. WEBER: Actually, there is
16 music, but we don't play it usually. Okay.

17 CO-CHAIR WEISS: Okay, one yes,
18 two no, three insufficient.

19 (Pause for voting)

20 MS. WEBER: We need one additional
21 vote if you want to go ahead and cast it
22 again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Everybody cast
2 your vote again. Okay good. So, 16 say yes,
3 2 say no and 1 says insufficient. Got it.
4 Okay. Next, let's look at reliability and
5 validity, and Hayley, you started talking
6 about those as well, you don't feel the need
7 to repeat yourself, whether you feel
8 comfortable or not repeating yourself. You
9 spoke -- anything else you'd like to say about
10 reliability and validity of the measure?

11 MEMBER BURGESS: I would like to
12 add one final thought around you know, this
13 percent of the -- you know, possession ratio
14 if you will. If you look at -- well, you guys
15 don't have this -- it's page 13 of the full
16 measure.

17 CO-CHAIR WEISS: Those who want
18 to, you can go to your thumb drive and it will
19 be on there, or SharePoint if you're logged in
20 that way.

21 MEMBER BURGESS: So, from the
22 field testing, you know, they broke it out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 commercial and Medicaid, so greater than 50
2 percent, possession ran around 50 percent,
3 greater than 75 percent was around 30 percent,
4 that was in commercial, if you look at
5 Medicaid around 20 percent hits that 75
6 percent mark.

7 So you know, really low rates of
8 adherence in this space. But what is good or
9 bad, and is there a benchmark that would come
10 out of that? You know, what is the goal? Is
11 it 100 percent, at the 75 percent? I guess
12 that's the hard part of what we are trying to
13 understand, is do we know enough to say that
14 those markers are still -- are the correct
15 markers?

16 Though I don't -- I really don't
17 want to say negative things about the measure
18 because I think it's good, I think it's moving
19 in the right direction.

20 But I think there are some
21 concerns, especially, and still from Ben,
22 would like to hear about the claims, the med

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 claims.

2 So if it's a 90-day supply, does
3 that show up, like do they know that in the
4 data?

5 CO-CHAIR WEISS: So, Ben, that was
6 a question to you. Are they able to actually
7 count, and I know that often they can, the
8 question is in this measure, are they -- is it
9 designed to count the actual number of
10 dispensed days, so that they would pick up a
11 three-month prescription being 90 days?

12 MR. HAMLIN: Yes, we do actually
13 manage to pick up the multiple of -- multiple
14 canisters if there are multiple or distributed
15 as a 90-day supply. So we actually do count,
16 we count them, and we don't override them.
17 But we do actually -- we were able to count,
18 you know, each day covered from prescription
19 data.

20 CO-CHAIR WEISS: Great, thanks so
21 much. So then I think what I'm -- if I can
22 recapsulate, reliability not a major set of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 concerns from you, Hayley, on validity two
2 concerns, one has to do with how does it treat
3 the individuals who enter into this late in
4 the year, and there's maybe about a five
5 percent at least mis-classification bias that
6 may exist there.

7 And then, what's the actual
8 threshold and what is 50 percent or 75 mean,
9 is there any evidence that there's a right
10 threshold to be looking at, and those are the
11 two validities.

12 And for the workgroup, anyone in
13 the workgroup want to comment on what Hayley
14 has said? Does that reflect your thoughts in
15 the workgroup?

16 MEMBER BURGESS: Can I say one
17 last thing about that? Could we ask Ben,
18 because I think Ben was on the call with us,
19 and we had asked this question of, you know,
20 in the field testing, did they look at
21 outcomes associated with these ratios? Do we
22 know that those that were on 50 percent or 75

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent, did they have a reduced number of ED
2 visits, hospitalizations, et cetera?

3 Because they had that data, that's
4 what -- you know, that's part of the criteria.
5 That would really be helpful to validate that
6 50 and 75 percent, that okay, we would believe
7 those are good markers because the outcomes
8 match.

9 MR. HAMLIN: Sure, I can actually
10 address that.

11 CO-CHAIR WEISS: Okay. But
12 quickly.

13 MR. HAMLIN: Okay. So the 50 and
14 75 percent were selected by a panel much like
15 yourselves, as we actually proposed an initial
16 higher compliance rate much more like MPR of
17 80 percent, but the panel felt that they
18 really wanted to have two different levels to
19 try and help satisfy the population.

20 (Alarm sounds)

21 MR. HAMLIN: We did not conduct --

22 CO-CHAIR WEISS: That was our 15-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 minute mark, just for everyone to know. Okay.

2 MR. HAMLIN: Oh, right. We did
3 not conduct additional data, but one of the
4 field test sites did go back and look at the
5 ED visits for the population below and above
6 the 50 percent mark, and it did find higher
7 utilization, you got in the in-patient setting
8 for those patients, through the ED, but at
9 below the lower mark and that sort of sub-
10 population was not, you know, very compliant.

11 They didn't look at the
12 correlation between the 50 and 75 percent so I
13 don't have the difference there, but again, we
14 want -- the respiratory panel, our pulmonary
15 panel felt that they really wanted to see,
16 multiple threshold, it's not a measure that's
17 intended to get up to 100 percent, because you
18 know, we are talking medication compliance.

19 We did do an additional analysis
20 at the request of -- after the call, looking
21 at the issue of, you know follow-up and the
22 impact on rates.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There's obviously a high
2 correlation with those members who --
3 especially at the 50 percent rate, who get in
4 in a less than 90 day followup time period.

5 However, that's less than five
6 percent of the total population, so the
7 overall effect on the rate was almost minimal.
8 More than 70 percent of the members had more
9 than 270 days, which is almost 30,000 members,
10 in a total field test population, had more
11 than 270 days of followup period looking, you
12 know, between the ITSB and the follow -- end
13 of the measure period.

14 So the bulk of the population was
15 being measured for you know, almost more than
16 half the year.

17 CO-CHAIR WEISS: I am a little
18 concerned, though, in losing variability and
19 big averages, because that five percent may
20 vary dramatically by health plan and we don't
21 know that data yet, do we?

22 MR. HAMLIN: We don't actually.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It was -- the window was fairly small. I
2 don't have that chart here.

3 CO-CHAIR WEISS: Okay, so let's
4 now, with that, just ask -- so what we are
5 hearing is, is that there's been a little bit
6 of testing of the 50 percent threshold,
7 there's been no testing at the 75 percent
8 threshold.

9 There is a confirmation that about
10 five percent mis-classification may exist, at
11 least on a sampled basis. We don't know what
12 the variability on that is, small health
13 plans, large plan health plans, Medicaid
14 versus commercial, all that kind of stuff.

15 So that's the information we have.
16 Why don't we go to vote unless there's more
17 questions from the committee. David?

18 MEMBER LANG: I had a statement,
19 and a general question. The statement is that
20 similar to the previous measure that was
21 discussed, there's concern that I have, and
22 others in the committee expressed in our call

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regarding the numerator definition of control
2 with therapy, and that there is inclusion of
3 agents other than inhaled corticosteroid which
4 have not been associated with improved
5 outcomes in patients with asthma.

6 And then the general question here
7 is that my understanding is that what I just
8 said and what we are discussing, relates to
9 validity, yet it came up during evidence in
10 question 1, and is that an overlap area in
11 terms of a concern that might spill into more
12 than one category?

13 DR. WINKLER: Actually the question
14 under construct validity is directly, does
15 this measure reflect the underlying evidence,
16 so yes, there is spillover between the two,
17 both in evidence and construct validity.

18 CO-CHAIR WEISS: Thanks and I just
19 -- a little bit a of a question to me. Are we
20 voting really on one question or two measures?
21 Because there's the two thresholds. Is the 50
22 percent one measure and then the 75 percent a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 second measure? So it's one measure with two
2 parts to it. Okay. I mean I guess one of the
3 question that I would have is if the group
4 felt like they were comfortable with maybe
5 trying the 50 percent, where they may not be
6 interested in the 75 percent. Is there a way
7 of managing that issue if that was to come up?

8 DR. WINKLER: Essentially we are
9 asking you to evaluate the measure as written,
10 so you are going to be voting on the two.
11 However, there could be a recommendation
12 around developing further data around the 50th
13 percentile and exploring more in the 75th, so
14 you can couch it in terms of a recommendation.

15 But you are going to have to make
16 your decision based on what's presented to
17 you.

18 CO-CHAIR WEISS: Okay, so when we
19 get to the issue of validity, then we'll have
20 to link that in. Let's go for the vote, then.

21 So we're on reliability, one through four
22 please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Pause for voting)

2 CO-CHAIR WEISS: Six say high, 12
3 say moderate, 1 say low and no insufficient.
4 Okay, let's go now to the more discussed issue
5 of validity, again ranking one through four.

6 (Pause for voting)

7 CO-CHAIR WEISS: Maybe everyone
8 can vote again just so we can see if we get
9 that 19th vote in.

10 (Pause for voting)

11 CO-CHAIR WEISS: There we go. So
12 1 high, 14 moderate, 4 low and no
13 insufficients. So it passes. Let's go on to
14 usability and to feasibility. And Hayley.

15 MEMBER BURGESS: So when the group
16 discussed the usability of this, again it was
17 the question of the relationship to the
18 outcome. So the 50 and 75 percent, you know,
19 how does that relate to the outcomes.

20 But otherwise, felt like the
21 usability of the measure is moving in the
22 right direction, though the concerns we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mentioned.

2 Anything the group wants to add?

3 CO-CHAIR WEISS: I think the only
4 question would be is the public
5 accountability, when you've got the 75 percent
6 uncertainty and I think that was talked about
7 in the workgroup call. Any thoughts or
8 comments on that from the workgroup or --
9 because this is going to go to public
10 reporting, and other accountability --

11 (No response)

12 CO-CHAIR WEISS: Okay, no
13 comments, no questions, then let's go to
14 voting on usability, one through four.

15 (Pause for voting)

16 CO-CHAIR WEISS: Four high, 13
17 moderate, 1 low and 1 insufficient. And
18 finally, usability. Do we have usability as
19 the last one? Feasibility, sorry.
20 Feasibility.

21 So this is the feasibility. Any
22 comments on feasibility?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER BURGESS: So with
2 feasibility, it's the same way that they've
3 collected 0036, that measure. So we really
4 didn't have concerns about the feasibility of
5 the collection.

6 CO-CHAIR WEISS: Great. So any
7 comments from the workgroup? Comments from
8 the group as a whole?

9 (No response)

10 CO-CHAIR WEISS: Let's vote.

11 (Pause for voting)

12 CO-CHAIR WEISS: Twelve say high,
13 seven moderate, no low and no insufficient.
14 So let's go to the final overall.

15 CO-CHAIR WEISS: So, suitability
16 for endorsement. Again, this goes out to
17 comment and back to CSAC -- back to us, back
18 to CSAC. Sorry.

19 (Pause for voting)

20 CO-CHAIR WEISS: Has everyone
21 voted one or two? Please make sure you vote
22 one or two. Almost there. Good. So, 16 say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 yes, 3 say no, and we're done with this
2 measure.

3 For purposes of quality
4 improvement, we did this measure six minutes
5 faster than the last measure. Let's now go to
6 the next measure. So we're Measure 1800.
7 Brendle.

8 MEMBER GLOMB: Thank you. Measure
9 1800 is similar. This is a ratio measurement.

10 This is looking at the percentage of
11 persistent asthmatics, 5 to 64 years of age,
12 who had a ratio of controller medications to
13 total asthma medications, controllers plus
14 relievers, of 0.5 or greater during the
15 measurement year.

16 Common sense would suggest that
17 they are filling their prescriptions for their
18 controllers, they are saying so well-
19 controlled they're not overly using their
20 relievers, and again, this is a great common
21 sense measurement.

22 I like the spirit behind it. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 getting us to where we want to go, patients
2 being controlled and not having to relieve et
3 cetera, appropriate exclusions and there was
4 no risk adjustment of stratification within
5 the measure itself.

6 I think the committee was very
7 much mindful of the impact of the measure.
8 It's getting us where we have been saying we
9 need to go for so long in controlling the
10 information et cetera, and the rationale is
11 clear.

12 Like the last measure, some of the
13 quotes were very similar, perhaps these are
14 Hayley's and mine. But concern about evidence
15 within this. So that's the introduction.

16 CO-CHAIR WEISS: Okay, so other
17 members of the workgroup who'd like to -- oh,
18 this sounds good. It sounds like we've got
19 folks on the call.

20 MEMBER GLOMB: It's a party line.

21 (Laughter)

22 DR. BURSTIN: Hey folks, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 finally got the phones working, so you should
2 be hearing the steering committee discussions
3 now.

4 CO-CHAIR WEISS: We're on Measure
5 1800. This is the voice of Kevin Weiss who is
6 co-chairing this. Can you hear us okay on the
7 phone? Oh, they may not be able to respond,
8 right? Okay. Well, welcome. Excellent.
9 Excellent.

10 So workgroup, thoughts on --

11 MR. HAMLIN: Kevin, do you want me
12 to redial back in?

13 CO-CHAIR WEISS: Sit tight. If
14 it's working for you, then please sit tight
15 for this. Is it working for you?

16 MR. HAMLIN: Okay.

17 CO-CHAIR WEISS: Good.

18 MR. HAMLIN: That's fine.

19 CO-CHAIR WEISS: So, other members
20 of the workgroup want to reflect on what
21 Brendle has said so far as -- I -- on terms of
22 the three elements of impact, opportunity and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 evidence?

2 (No response)

3 CO-CHAIR WEISS: Good. Okay.

4 Let's go broadly to the workgroup.

5 MEMBER STEARNS: I just have a
6 quick question. Could you clarify if it is
7 that the -- it's a prescription or whether the
8 prescription was filled.

9 MEMBER GLOMB: These are claims.
10 So it is a filled prescription.

11 MEMBER STEARNS: These are claims-
12 based. Okay. Thank you.

13 CO-CHAIR WEISS: These are
14 dispensed, yes. Okay. David.

15 MEMBER LANG: Yes, I previously
16 stated way back when introducing the first
17 metric, that exposure to inhaled
18 corticosteroids as we all know has been
19 associated with improved outcomes.

20 But I think, my concern here is
21 the ratio, that the data are not clear, that
22 this ratio adequately reflects optimal therapy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and leads to improved outcomes.

2 CO-CHAIR WEISS: So we'll see that
3 as an issue of evidence and validity. You'll
4 come back to this once again in validity.
5 Yes.

6 MEMBER GLOMB: Kevin, if I may
7 make one more comment. I'm not completely
8 naive to this measure, as Medicaid medical
9 director in Texas. We have been under a
10 federal lawsuit about access to care in the
11 pediatric population for 19 years, and we are
12 under health outcomes measures.

13 This is actually one of our 10
14 agreed-upon health outcomes measures with the
15 plaintiffs in this, and what -- my personal
16 experience, our experience in the state of
17 Texas with this measure, is that is most
18 helpful when it is extremely in the negative,
19 i.e. the ratio is very, very low, as opposed
20 to something up there in the middle, which
21 again, takes us all back to the -- I think
22 somebody used the expression sweet spot in our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comments, and I said something very similar,
2 you know, where is the magic cutoff? Is it
3 0.5, or 0.4, or 0.6, and looking to the
4 scientific validity.

5 But we -- it has been used
6 extensively since 2007 in the state of Texas.

7 CO-CHAIR WEISS: So I want to be
8 careful we don't want drift too much into
9 validity, although -- we'll save this and come
10 back to this discussion there.

11 But what you're saying is the
12 evidence there is a bit fuzzy in terms of the
13 value of this measure, particularly on the up
14 -- as one looks at the higher proportions of
15 the ratio?

16 MEMBER GLOMB: Yes, and that's
17 where the concerns I think would like.

18 CO-CHAIR WEISS: Okay, good. So
19 any other comments now from the committee as a
20 whole, thoughts, comments, any --

21 (No response)

22 CO-CHAIR WEISS: Very good. Then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 let's go and vote. Impact one through four.

2 (Pause for voting)

3 CO-CHAIR WEISS: It looks like
4 we've got 19. Is that -- yes. So, 18 say it's
5 high impact. One say it's moderate. Next,
6 we'll go to performance gap. Please vote one
7 through four.

8 (Pause for voting)

9 CO-CHAIR WEISS: Okay. Fourteen
10 say a gap of high, five say moderate, no low
11 and no insufficient. Let's go to the third
12 criteria here, which is the evidence.

13 So this is -- is sufficient
14 evidence, yes is one, two is no, and three is
15 insufficient evidence.

16 (Pause for voting)

17 CO-CHAIR WEISS: So 11 say yes, 3
18 say no and 5 say insufficient. It passes.
19 Let's go to reliability and validity. So
20 let's start with reliability first, if we
21 could Brendle?

22 MEMBER GLOMB: Yes. Some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussion had to do with the reliability of
2 the definitions, looking at the denominator,
3 at least for asthma medication-dispensing
4 events, where leukotriene modifiers are the
5 sole asthma medication dispensed in that year,
6 issues existing across the measure with regard
7 to the definition of persistent asthmatic,
8 this being overly broad and perhaps imprecise,
9 same with controllers, perhaps overly broad
10 and sometimes unconventional and then how the
11 prescriptions are counted.

12 And at least on the pediatric side
13 of things, sampling is a consistent part of
14 the process of ongoing care of the patient,
15 particularly in the specialty office, I would
16 imagine the primary care office as well.

17 So there will be a lot of
18 uncounted medications within this. So the
19 exact ratio, 0.5, aside, I think there's a lot
20 of wiggle room in the definition.

21 Again, I hate to say too much bad
22 about it, because I think it's a great concept

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and it's very practical. It's pushing us
2 further toward our goal. But it is -- you
3 used the word fuzzy earlier, and I think of
4 this as fuzzy in its reliability.

5 CO-CHAIR WEISS: So I just want to
6 be clear that it's not good or bad, it's just
7 what -- the comments that you speak about in
8 concept, were really A, I mean, where the
9 first vote, where we are looking at, in terms
10 of validity here, is where you are saying that
11 there are certain concerns specifically around
12 this.

13 Sorry folks on the phone, we are
14 in the middle of what sounds like the entire
15 fire department of greater Washington. Oh, is
16 that the President on the move? Oh.

17 Yes. Does it look like -- does it
18 look like it's going to go away soon or? We
19 can't even see them. Okay, well let's just
20 punch through it then.

21 Brendle, in terms of the validity,
22 can you give us another just quick reflection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on the specific concerns on validity that the
2 workgroup and/or you might have had?

3 Or the lack of validity?

4 MEMBER GLOMB: Again, I think it
5 falls to the lack of evidence behind this. I
6 know we're beyond that but I can't get away
7 from that, that definition. Maybe somebody
8 else can speak to what the group thought a
9 little bit better than I. I apologize.

10 CO-CHAIR WEISS: Well, you
11 mentioned earlier the fact that the measure
12 seemed to work better when it's in the
13 negatives than it did in the positives, and do
14 you have a good -- is there some literature or
15 something that helped, or did they provide you
16 with enough information, you will come to all
17 that?

18 MEMBER GLOMB: No, that's not --
19 that was our personal experience, or our
20 experience in our state with this exact
21 measure, but it was not -- it was hard to tell
22 where the true cutoff lay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Just to the
2 group, ratio measures of course have the
3 problem of a moving numerator and denominator.

4 Right? So you've got this bit of -- you
5 don't know if it's a high ratio because it's
6 the fact they are getting more medicines on
7 the numerator or it's a denominator issue, so
8 there, you can see sort of wild fluctuations
9 there.

10 From the working group, in
11 addition what Brendle has told us, thoughts or
12 comments?

13 (NO response)

14 CO-CHAIR WEISS: And then to the
15 committee as a whole.

16 DR. EDELMAN: Is the list of
17 controlled medications as broad as we have
18 seen previously?

19 CO-CHAIR WEISS: Same list.

20 DR. EDELMAN: Same, yes.

21 CO-CHAIR WEISS: Trude, you were
22 saying that it was --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER HAECKER: I'll just echo
2 what Brendle said. Our group was really
3 struggling with this because it's such a broad
4 array of medications, and the evidence around
5 leukotriene inhibitors is not clear. So I
6 think we are all struggling. These three
7 measures all sort of fit into that category.

8 CO-CHAIR WEISS: So, the general
9 struggle and then applied to this ratio, makes
10 it a little bit more concerning.

11 MEMBER HAECKER: Yes, makes it
12 even more --

13 CO-CHAIR WEISS: Okay.

14 MEMBER HAECKER: Absolutely.

15 CO-CHAIR WEISS: Good. Any other
16 thoughts or comments? If not, let's go for a
17 vote. This is the reliability, which we have
18 not heard much in controversy of, but let's go
19 for the vote, one through four.

20 (Pause for voting)

21 CO-CHAIR WEISS: What's that last
22 vote? So reliability, 11 high, 7 moderate, no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 lows and 1 insufficient. Let's now go to
2 validity, where there have been concerns, and
3 so please feel free to vote with your
4 conscience here.

5 (Pause for voting)

6 CO-CHAIR WEISS: Almost there, 17.

7 One voted. Vote again everybody, just in
8 case. Okay. So only 1 high, 11 moderate, 4
9 low and 3 insufficient. So it passes.

10 Let's go on to the last two
11 criteria, usability and then feasibility. So
12 usability, Brendle?

13 MEMBER GLOMB: Thank you. Again,
14 looking to meaningful, understandable and
15 useful, I think that this is -- I think again,
16 back to the spirit of the measure, I think it
17 is a very meaningful measure and I believe the
18 committee felt that there was overall moderate
19 evidence toward that.

20 It was certainly an understandable
21 measure, although the definitions per se are a
22 bit fuzzy, and I think it's probably useful

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for intended audiences if we look at both
2 public reporting and, more importantly,
3 quality improvement.

4 But as to the overall
5 meaningfulness of the exact ratio, again, I
6 think that's where everybody had trouble.

7 CO-CHAIR WEISS: Well, that's not
8 inconsequential.

9 MEMBER GLOMB: No, it's not. Yet
10 even the subgroup came up with a predominantly
11 favorable scoring for this.

12 CO-CHAIR WEISS: Yes.

13 MEMBER JEWELL: So I guess I have
14 a question for the workgroup. Relative to the
15 -- or maybe the whole group -- relative to the
16 concerns that have been expressed about the
17 medications that are on the list, if I put my
18 Joe Q. Public hat on, I might be able to
19 understand a ratio and I probably could
20 understand controller versus rescue.

21 But if I don't have the ability to
22 know or understand which medications really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are best in class for either of those two
2 functions, and there are potentially
3 medications on the list that aren't best in
4 class for those two functions, how useful from
5 a public point of view is it?

6 Is there more advantage just to
7 get the public thinking about it than there is
8 -- not harm, but disadvantage to them being in
9 the dark and not really getting all they could
10 out of it?

11 CO-CHAIR WEISS: So, if I can
12 summarize that very succinctly, just the very
13 basic question is, how much this ratio helps
14 in public thinking. Okay.

15 DR. BURSTIN: Just one important
16 note, I mean NQF-endorsed measures are used
17 for a variety of accountability applications,
18 so public reporting to the public is one part
19 of it, but certainly, you know, Christine
20 could talk to purchaser views of this, other
21 views of it.

22 So there are multiple uses as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 well. Point still stands.

2 MEMBER GLOMB: Just a quick
3 comment. I do think, though, that it -- I
4 think your point about getting the public
5 thinking about that ratio, not so much as a
6 mathematical ratio, but I need to be using the
7 controller frequently, then I will use less of
8 the reliever.

9 (Alarm sounds)

10 MEMBER GLOMB: I think that, I
11 think that's a part of it probably because I
12 think our patients see asthma medications in a
13 big bag that they reach into and grab.

14 CO-CHAIR WEISS: Once again, that
15 was about the 15 minute mark, but we are well
16 into this measure, so other thoughts or
17 comments on the usability and -- what we've
18 heard so far, if I may summarize in a snippet,
19 is that it's -- there's a little bit of
20 fuzziness to understanding how it will be
21 used, but that there's a general sense of this
22 is the kind of direction one wants to go.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Is that what I'm -- it doesn't
2 sound enthusiastic but it sounds directional.

3 MEMBER GLOMB: They're not
4 enthusiastic enough about this, now. Yes,
5 we're very enthusiastic about -- it is
6 advancing the cause and it is perhaps a better
7 measure than some of the other or more
8 outdated measures.

9 CO-CHAIR WEISS: Okay. Very good.
10 I'm sorry what was that? Yes, fine Ben.

11 MR. HAMLIN: This measure in
12 particular has been shown to be extremely
13 sensitive in identifying the association with
14 people, it's particularly sensitive in
15 identifying population as far as targeting
16 specific cohorts.

17 CO-CHAIR WEISS: Okay, what he
18 said was is that this -- at the point five
19 mark, that this has been shown to be effective
20 in its relationship -- directly relationship
21 to ED visits.

22 So those are -- so Ben, if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could give me back -- so there's a threshold
2 of 0.5 is the mark?

3 Do I have that right Ben?

4 (No response)

5 CO-CHAIR WEISS: This is not a
6 threshold measure, is it? It's 0.5 okay.
7 Great. Yes. Okay, good. So Hayley is that
8 helpful to you? Good, okay.

9 Then -- what kind of ED visits?
10 Just a variety of visits or what --? I think
11 it's just an ED visit. Okay? Good.

12 So let's vote on usability.

13 (Pause for voting)

14 CO-CHAIR WEISS: And then let's go
15 to the thinking. While that's accumulating,
16 why don't we think a little bit about the
17 last, which is -- oh, what's -- that quick?
18 Four high, 14 moderate, one low and no
19 insufficient.

20 Not as enthusiastic here but it
21 sounds like it still passes. Okay. And then
22 feasibility, that should be straightforward.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER GLOMB: I think feasibility
2 is straightforward. I think it would be even
3 enhanced by electronic data collection, mixing
4 claims versus what's going on in the care
5 setting.

6 And there -- the only concern I
7 think that the group had, and this had to do
8 with susceptibility -- that foresees
9 susceptibility to inaccuracies and unintended
10 -- more so than unintended consequences, and
11 that we were going to be, because of the broad
12 -- the broad definitions of controller
13 medications and some fuzziness in the
14 diagnosis, that that was some room for these
15 inaccuracies to occur.

16 But overall, the subgroup was more
17 enthusiastic about this and had fewer
18 concerns.

19 CO-CHAIR WEISS: Great.
20 Workgroup. Any additional thoughts on what
21 Hayley has said -- not Hayley, what Brindle
22 said?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER BURGESS: The data source
2 here does list paper records.

3 CO-CHAIR WEISS: Say that again
4 Hayley.

5 MEMBER BURGESS: The data sources
6 here, it does list paper records. Can you
7 speak to that a little bit? I don't remember
8 now the conversation --

9 CO-CHAIR WEISS: Maybe we could
10 have Ben speak to the fact that there's an
11 alternative way of collecting this. Ben, the
12 paper record approach?

13 MR. HAMLIN: I'm sorry. I can't
14 hear you.

15 CO-CHAIR WEISS: Okay. Maybe if
16 we can bring this --

17 DR. BURSTIN: They're going to be
18 fixing it at break. Just repeat the question.

19 CO-CHAIR WEISS: Okay. So Ben,
20 the question is, is there a paper method, a
21 medical record audit model for this measure?

22 MR. HAMLIN: Yes, there is, take a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 look at the state medical record versus this
2 measure.

3 CO-CHAIR WEISS: Okay, thanks.
4 Excellent, well thank you. Any other
5 questions, comments? Otherwise let's go to
6 vote for feasibility, one through four, high,
7 moderate, low insufficient.

8 (Pause for voting)

9 CO-CHAIR WEISS: Good. Everyone
10 voting one through four. Can everyone revote,
11 just to make sure we are picking up that 19th
12 vote?

13 So, 13 high, 6 moderate, no low,
14 no insufficient information. Let's go to the
15 final summative vote. Yes, no.

16 (Pause for voting)

17 CO-CHAIR WEISS: Sixteen yes,
18 three no, and that completes this measure.
19 This time we did the measure even two minutes
20 faster, so we are slowly getting up to that
21 15-minute mark. But in the interim, you all
22 deserve a great break, stretch. We have five

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 minutes. Mainly we are moving the break up
2 because we want to get the phone fixed, but
3 it's also a good time to get a break.

4 So, how long a break? A 10-minute
5 break. Thank you all.

6 (Whereupon, the proceedings in the foregoing
7 matter went off the record at
8 10:22 a.m. and went back on the
9 record at 10:40 a.m.)

10 CO-CHAIR WEISS: So we have our
11 last person to make us a full complement. Don
12 has made it from Pittsburgh. Do I have that
13 right? So if you could just say a quick hello
14 to the group with your mic on so it's
15 recorded, and also, just a moment about any
16 disclosures, conflict of interest disclosures
17 that you would like to make that may not have
18 been mentioned on your paperwork. Do I have
19 that right? That is included in your
20 paperwork that we haven't seen or have seen.
21 Anyway, just anything you have to say.

22 MEMBER YEALY: Okay. Thanks very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 much. I'm Don Yealy from the University of
2 Pittsburgh. Nice to be here. I apologize for
3 the tardiness.

4 I don't think there are any
5 conflict of interest disclosures. I'm just
6 working on one NIGMS-funded sepsis trial that
7 falls outside of any of the topics that I was
8 commenting on.

9 CO-CHAIR WEISS: That sounds
10 great. Well, welcome. I'm Kevin Weiss, and
11 Stephen, do you want to say a quick hello?
12 Oh, let's do that. David Stockwell, you showed
13 up in the middle of the measure process, so
14 why don't you give us a quick hello?

15 MEMBER STOCKWELL: I did. My
16 apologies. I am a Washingtonian but
17 underestimated the challenge of driving to
18 downtown this morning. It's quite arduous.

19 So, David Stockwell, I'm a
20 pediatric intensivist here in town at
21 Children's National Medical Center. I am also
22 the executive director of improvement science,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 essentially doing quality and safety for our
2 hospital as well, and appreciate the
3 invitation and already enjoying the discussion
4 and the work that's been done to this point.
5 So thank you.

6 CO-CHAIR WEISS: Sounds wonderful.
7 Welcome on board. Do you want to say a quick
8 hello? Want to say hi just as co-chair or just
9 --

10 CO-CHAIR GROSSBART: And I just
11 want to introduce myself as the co-chair,
12 Steve Grossbart. Nice to meet you.

13 CO-CHAIR WEISS: Great. Okay
14 let's continue on then with 0047, which is our
15 first measure from -- today from the AMA PCPI.
16 We have measure developers here, and I think
17 Mark, Mark Antman is going to give us a one-
18 to two-minute overview.

19 DR. ANTMAN: Yes, thank you.
20 Again, I'm Mark Antman. I'm director of
21 measure development operations for the PCPI
22 which is convened by the AMA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 0047, as you have seen is a
2 measure focused on patients with persistent
3 asthma who are receiving long-term control
4 medications.

5 Because that measure is very
6 obviously similar to Measure 0036 that you
7 reviewed before, I'll take a moment to just
8 highlight some similarities and differences.

9 0047 is specified at the clinician
10 level. The persistent asthma population, that
11 is the population of patients with persistent
12 asthma in the denominator of the measure, is
13 defined a little bit differently than in
14 Measure 0036, and I can speak to those
15 differences if desired.

16 I'll also note that the numerator
17 of our measure does include the alternative
18 long-term control medications, and I'm happy
19 to speak to that as well.

20 I will -- at the moment though, I
21 will note, I will point out that we did note
22 after the measure had been submitted to NQF

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that we unfortunately had some -- a few errors
2 in the list of medications.

3 We have since corrected those but
4 I have realized in the last day or two that
5 there's still a couple of errors that remain
6 in our medication list, so I'm happy to speak
7 to that when the discussion ensues.

8 A disharmony that the group may
9 have noted and that I think was highlighted in
10 the workgroup call with Measure 0036 is that
11 the age ranges and exclusions do not match.
12 I'm happy to speak to that as well.

13 As far as the use of the measure,
14 it's been in the CMS PQRS program since 2007.
15 Our recent testing has demonstrated that the
16 measure is valid and reliable, and finally
17 I'll note that we submitted claim
18 specifications but we also have submitted an
19 electronic measure for them -- for this
20 measure as well.

21 CO-CHAIR WEISS: Excellent. Any
22 general questions for our measure developer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 before we start into the detailed discussion?

2 If not -- yes, Norman.

3 DR. EDELMAN: Yes, I notice in the
4 list of controllers, you have a long acting,
5 inhaled beta2 agonist listed between two
6 commas that is listed as monotherapy.

7 In view of the recent guidelines,
8 shouldn't that be revised so that it includes
9 a combination with inhaled steroid? The way
10 it's listed now it could be used as
11 monotherapy and that would be contrary to
12 current guidelines.

13 DR. ANTMAN: So that is one of the
14 errors that I referred to. We -- there was a
15 previous version of this measure for which we
16 had the long acting beta2 agonist listed, as
17 well as, and I think -- I'm looking on the
18 screen -- I believe the short acting are
19 listed here as well, and that is one of the
20 errors that we noted.

21 So I apologize. The long acting
22 and the short acting beta 2 agonists should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not be in that medication list.

2 CO-CHAIR WEISS: Thanks for
3 bringing that to attention, and Brindle.

4 MEMBER STEMPLE: Sorry, one
5 similar comment. Was there any thought given
6 to moving the inhaled steroid -- the ICD/LABA
7 combos into the first numerator along with
8 inhaled corticosteroids alone? Was there
9 consideration to given that, and then leaving
10 the others as the alternative controller
11 medications?

12 DR. ANTMAN: I believe there was
13 some consideration given to that, but because
14 the NHLBI guideline is so clear that ICD are
15 the preferred meds, the workgroup felt that it
16 was more appropriate to state ICD as the
17 preferred, and everything else, including
18 combinations as alternatives.

19 CO-CHAIR WEISS: David.

20 MEMBER LANG: Yes, I was going to
21 raise this as I'm going to lead us through
22 this, I assume next. But as long as we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 right on this issue, if you could clarify
2 this, you have three rates as opposed to the
3 other metrics: patient's prescribed inhaled
4 corticosteroid, that's number one; number two
5 is patient's prescribed other alternative
6 long-term controllers; and then three is a
7 total.

8 Now the focus, at least the
9 concerns I should say, seem to be on number
10 two, which is other alternative long-term
11 controllers, and I mean it's a lot of apples
12 and oranges here in the, you know, in terms of
13 this list.

14 But when a patient receives one of
15 the three inhaled steroid long acting beta
16 agonist combinations, does that patient also -
17 - is that patient also counted in category
18 one, as receiving an inhaled steroid, or not?

19 DR. ANTMAN: So I believe the
20 intent is for patients to only be counted in
21 one category or another. And I'll add if I
22 may, Dr. Lang, that the intent of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 workgroup in asking for these three separate
2 rates, was because of the fact that the group
3 noted that it would be of great interest for
4 quality improvement purposes to know how many
5 patients are in fact receiving ICD, how many
6 receiving the alternatives, and what's the
7 total?

8 So the intent was to tease out
9 that information.

10 MEMBER LANG: So, just to clarify,
11 the patients, in order for your measure --
12 well, let me say it a different way. Patients
13 who are in category one or patients who are
14 receiving inhaled corticosteroid, well not
15 monotherapy, but a prescription for an inhaled
16 corticosteroid that is not a prescription for
17 an inhaled corticosteroid combined with a long
18 acting beta agonist. Is that correct?

19 DR. ANTMAN: Okay, so I realize I
20 think I misunderstood your question in the
21 first place. Give me a moment, if I may, to
22 look at the specifications and I'll be better

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 able to answer your question.

2 CO-CHAIR WEISS: We'll come back
3 to that. It sounds like it's a question of
4 validity, principally. Okay, so we'll come
5 back to it specifically there. So you've got
6 a little bit of time. Not a lot, a little bit
7 of time.

8 Okay, so let's start with impact,
9 opportunity and evidence. I think it would be
10 fine to say impact, to the extent that we've
11 already had that discussion, do you feel like
12 we need to spend more time -- okay. So then
13 let's go to opportunity and evidence, in terms
14 of, David, your thoughts?

15 MEMBER LANG: Yes, well -- yes, so
16 I think there is opportunity for performance
17 improvement, and I think that the -- again,
18 just to highlight the distinction of this
19 measure compared with the previous measures,
20 is the age group, which is 5 through 50, we
21 discussed that on the conference call. We
22 talked about floating that upwards.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And this -- the denominator,
2 patients with persistent asthma, and again,
3 the issue, the -- I guess this gets to
4 validity but it overlaps with evidence, I've
5 learned in recent discussions, so I'll mention
6 it now, and the issue is the concerns with the
7 numerator definition.

8 CO-CHAIR WEISS: Okay. So
9 workgroup members, any comments on impact,
10 opportunity, evidence that you'd like to add
11 to what David has said, to the reflection of
12 our study, I mean of our workgroup discussion?

13 (No response)

14 CO-CHAIR WEISS: Okay. Committee
15 as a group? Now, you'll note that in the
16 workgroup, there was yeses principally, with
17 the exception of -- that was it. It was
18 principally yeses. Any question from the
19 committee, since the workgroup itself has --

20 MEMBER GLOMB: Just a quick
21 comment if I can. I, you know, this one head
22 to head with 0036, that we started with,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 really --

2 CO-CHAIR WEISS: Tomorrow's the
3 comparison.

4 MEMBER GLOMB: Okay. Okay. Sorry
5 I'll get that --

6 CO-CHAIR WEISS: We'll get to do
7 that.

8 MEMBER GLOMB: I'll wait until
9 tomorrow.

10 CO-CHAIR WEISS: With excitement.
11 This will be good. Let's vote. Okay.

12 (Pause for voting)

13 CO-CHAIR WEISS: And Don, all you
14 do is you press the number and then send, make
15 sure you press the send after the number, one
16 through four.

17 Got it. Okay. So, 20 -- well,
18 that was even -- next. Let's do opportunity,
19 performance gap or impact. Performance gap.
20 So this is the opportunity, which is the
21 performance gap, one through four.

22 What we heard from David was, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that there was an opportunity -- did you want
2 to talk about the actual number, the
3 proportion of the gap, or is that what you are
4 thinking about?

5 DR. BURSTIN: I'm sorry. I was
6 just curious if you'd look at the actual
7 performance on PQRS, because you do have 2009
8 data in here, but it's --

9 CO-CHAIR WEISS: Did you comment
10 for a moment, Mark, on PQRS, if you have it --
11 at least if --

12 DR. ANTMAN: Yes, we did include
13 some PQRS data. We do have a member of our
14 testing team here who can respond to any
15 particular questions about those data.

16 CO-CHAIR WEISS: Just the overall
17 performance, what it was --

18 MS. GULOTTA: The gap for 2008 was
19 a little over 46 percent, 46.29 percent.

20 CO-CHAIR WEISS: Okay. Great.
21 Yes. So let's vote.

22 (Pause for voting)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Oh the other
2 thing, Donald, is you need to point it to
3 Jessica when you can. It seems that yours is
4 working, but just in case it --

5 So, 15 say high, 5 moderate, no
6 low and no insufficient. Next one, which is
7 the evidence. This is a one, yes the evidence
8 is adequate, two is no and three is
9 insufficient evidence.

10 Okay, so it's one, two, three.

11 (Pause for voting)

12 CO-CHAIR WEISS: Almost there.
13 Let's all revote again. Just punch it again.
14 Not change your votes. Just punch it again.
15 This is not Chicago.

16 There we go. Okay, so 19 yes, one
17 no, and no insufficient. Let's go on to
18 reliability and validity.

19 MEMBER LANG: So again, some of
20 the issues that have been mentioned
21 previously, regarding validity, in terms of
22 concerns with the numerator definition.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I think I'm wondering whether
2 there's a clarification on the issue of
3 whether patients who receive prescriptions for
4 inhaled steroid, long acting beta agonist
5 combinations are considered in group two. Do
6 you have a clarification on that?

7 DR. ANTMAN: Yes, I do. Looking
8 at our definitions for the numerator, we do
9 say that the group, group two includes inhaled
10 steroid combinations, so the intent is for
11 anything combined with ICD to be in the second
12 group.

13 MEMBER LANG: Well, in view of
14 that I would say that I would have some
15 serious concerns regarding the validity of the
16 measure, because the group one would include
17 patients receiving inhaled corticosteroid
18 alone prescriptions, that is not for the three
19 combinations which are frequently prescribed
20 for patients with moderate to severe
21 persistent asthma and that is supported by
22 high quality evidence.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The group two includes patients
2 who are receiving, as has been pointed out
3 previously, agents which are not associated
4 with improved outcomes in the case of long
5 acting beta agonist therapy, could be as
6 monotherapy could be associated with untoward
7 outcomes.

8 So I have some serious concerns
9 regarding the validity of the measure on that
10 basis.

11 CO-CHAIR WEISS: Okay, and other
12 members of the workgroup, your thoughts on
13 David's comments?

14 MEMBER HAECKER: They're valid,
15 excellent points and I think we need to
16 consider those.

17 CO-CHAIR WEISS: Okay, to the
18 committee as a whole? Thoughts or comments on
19 what you've heard with regards to validity,
20 not so much reliability. Do you have any
21 reliability concerns that you wanted to note?

22 MEMBER GLOMB: Quick comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regarding validity. When we translate this to
2 an outcome, is, again, the kind of the time
3 window for the numerator, it's a single
4 controller prescription within the time
5 period, and one questions whether or not that
6 equals control and therefore good outcome.

7 CO-CHAIR WEISS: I think what we
8 heard of this was in the discussion with NCQA
9 is this measure now enough, or are we moved
10 on? At one time maybe it was enough, kind of
11 feel to it. Okay? Good.

12 Any other thoughts or comments,
13 otherwise we are going to a vote. Comments,
14 questions?

15 (No response)

16 CO-CHAIR WEISS: Okay. So let's
17 vote. Reliability. One through -- oh, Mark.

18 DR. ANTMAN: If I may, I'd
19 appreciate a chance to comment on Dr. Lang's
20 question.

21 CO-CHAIR WEISS: Yes.

22 DR. ANTMAN: So with regard to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -- to there being some medications in the
2 second list, in the alternative list, that are
3 not necessarily associated with best outcomes,
4 the workgroup was very deliberate about
5 looking at all the medications that are
6 documented in EPR3, in the -- sorry -- in the
7 guideline update that were supported by the
8 guideline as recommendations as alternative
9 therapy for patients with persistent asthma.

10 I do acknowledge that certainly
11 there are some medications for which the
12 guideline states that there is B level
13 evidence, or I believe, as I recall, I think
14 there are some medications that -- where I
15 think at the very least they state B level
16 evidence.

17 There are several medications on
18 this list, including cromolyn and nedocromil
19 and the leukotriene modifiers where the
20 evidence is at A level, as it is for ICD.

21 So it -- we do believe that the
22 list of alternative medications is very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consistent with the most recent guideline
2 update.

3 CO-CHAIR WEISS: David, is that
4 your understanding of the guidelines as you
5 think about the evidence, because that's --

6 MEMBER LANG: Yes, I think it
7 depends on -- I actually was going to say,
8 Kevin, I thought we'd get through this in
9 under the -- beat the clock.

10 CO-CHAIR WEISS: We will, if you
11 can do it in about 15 seconds.

12 MEMBER LANG: Yes, right. I'm
13 going to try to -- I'm going to try to be
14 brief in my response. You know, I appreciate
15 what you're saying. It depends on the
16 outcome, I guess. You know, there are
17 randomized control trials, so improved
18 outcomes.

19 I guess what I'm focusing on is
20 improved outcomes from a population standpoint
21 reduced mortality, morbidity, reduced
22 emergency department visits, hospitalizations,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reduced rate of exacerbations over time, in
2 terms of those outcomes, as opposed to, say,
3 you know, spirometric measure over a -- you
4 know, the course of a 12 --

5 (Alarm sounds)

6 MEMBER LANG: or 16-week study.
7 There you go. You know, I think the evidence
8 is not as solid in terms of the outcomes I've
9 mentioned for agents such as methylxanthines
10 for instance. Mesostabilizers are not
11 available in terms of cromolyn and nedocromil
12 as you well know --

13 CO-CHAIR WEISS: David, so, let me
14 just be mindful of time.

15 MEMBER LANG: Yes, yes, yes. But
16 you have apples and oranges here in terms of
17 antibody inhibitor, and, again, one could
18 fulfil criteria for this metric by long acting
19 beta agonist monotherapy prescribing as well
20 as short acting inhaled beta agonist which is
21 category two.

22 I mean, that, that -- registers I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the same way as a prescription for one of the
2 inhaled steroid long acting beta agonist
3 combination. It's really apples and oranges
4 and that's my point.

5 CO-CHAIR WEISS: Yes, and I think
6 that the -- the parallel thing we are hearing
7 is, is that the measure developers were using
8 the guidelines as a way of demarcating these
9 categories and that they depended upon the
10 guidelines as a source of evidence summation.

11 And what I'm hearing from you is,
12 is that there's some concern with how you see
13 the guideline, the national asthma education
14 program guidelines have summated in terms of
15 how to use it in this measure. Is that kind
16 of what we are hearing?

17 MEMBER LANG: Right, but the --
18 the third expert kind of report guidelines do
19 stipulate that long acting beta agonist should
20 not be prescribed as monotherapy. I mean
21 that's a clear message and that medication is
22 here, you know, if it's prescribed in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 combination with inhaled corticosteroid,
2 that's different.

3 But I don't see that your
4 guideline allows us to discriminate those two
5 events necessarily.

6 CO-CHAIR WEISS: Okay, so let me
7 just be mindful, because I don't want to get
8 into a long discussion on evidence, but you're
9 raising the issues that I think are salient
10 for us to be considering as we think about
11 validity here.

12 Any comments on what David has
13 said about his concerns or any other comments
14 with regards to validity? Mark, final
15 response because we have to move on. But
16 please do, if you can keep it brief.

17 DR. ANTMAN: As succinct as I can.
18 Once again I apologize that we recognize that
19 we did have -- that is an error in our
20 definition for the numerator.

21 Long acting inhaled beta2 agonists
22 and short acting inhaled beta2 agonists, are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not supposed to be in that list. So that was
2 an error. We corrected the specifications but
3 not the language of the definition.

4 CO-CHAIR WEISS: Is that a moment
5 of never mind, or? Still concerned, but not
6 on that issue.

7 DR. ANTMAN: Well, it does --

8 CO-CHAIR WEISS: Not on that very
9 specific issue of --

10 MEMBER LANG: Well, that was a
11 major concern, is what you just said. So
12 those agents, again, just to reiterate, just
13 to be absolutely precise here, if a patient
14 then receives a long acting beta agonist
15 prescription, and that's the only prescription
16 they receive, how is that handled?

17 DR. ANTMAN: The measure is not
18 met.

19 MEMBER LANG: Okay, and if
20 patients receive a short acting beta agonist
21 and that's it, they also don't fulfil the
22 measure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANTMAN: Correct.

2 MEMBER LANG: Okay. All right.

3 Very good. You know, this still then has some
4 of the similar concerns regarding
5 methylxanthines, leukotriene modifiers which
6 are not in the same category of evidence as
7 inhaled corticosteroid, but we've -- those
8 issues have been put in front of the group
9 previously this morning. Thank you for
10 clarifying Mark.

11 CO-CHAIR WEISS: Great. Okay. So
12 let's then vote on reliability, one through
13 four.

14 (Pause for voting)

15 CO-CHAIR WEISS: Looks like we got
16 -- 5 say high, 15 say moderate, no low and no
17 insufficient. Next we'll go to the more
18 debated, validity, one through four. Please
19 vote.

20 (Pause for voting)

21 CO-CHAIR WEISS: Let's all just
22 press our buttons again and send, just in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 case. There we go. Ooh. No highs, 14
2 moderates and 6 lows, no insufficient, so it
3 still passes but not very enthusiastic.

4 Okay. Let's go to the usability
5 and feasibility. So, David?

6 MEMBER LANG: Yes, I think that
7 the -- I can address them both together in
8 terms of time. There are no major issues
9 regarding usability per se. I mean I think
10 that the -- I think the measure has been --
11 the reliability of the measure is -- excuse
12 me. The measure has been tested for
13 feasibility. Again, we are dealing with
14 largely electronic data, pharmacy claims and a
15 definition of persistent asthma. So I think
16 we're good on both, and in the conference call
17 that was reflected in the votes.

18 CO-CHAIR WEISS: Members of the
19 workgroup, any other comments to David's?

20 (No response)

21 CO-CHAIR WEISS: Okay. Workgroup
22 at large, any questions, thoughts, concerns?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Let's vote. Usability, one through four.

2 (Pause for voting)

3 CO-CHAIR WEISS: Okay. Let's see
4 what we've got, 11 say high, 7 say moderate, 2
5 low and no insufficient. Next we go to
6 feasibility, one through four again.

7 (Pause for voting)

8 CO-CHAIR WEISS: Hold on to your
9 thing. We are going to vote for summative.
10 That's the final piece here. Okay. Who's
11 that 20th person? Let's all press again.

12 There you go. Okay, 11 high, 9
13 moderate, no low and no insufficient. And
14 finally to the summative overall, yes/no.

15 (Pause for voting)

16 CO-CHAIR WEISS: Sixteen yes. It
17 passes. Let's go on to next measure. We have
18 trimmed about 30 seconds off the last one.

19 CO-CHAIR WEISS: Thanks so much.
20 So, Denise, from the Joint Commission as our
21 measure developer. Please.

22 MS. KRUSENOSKI: Good morning, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Denise from the Joint Commission, I have with
2 me Ann Watt here as well. We have three --
3 and on the phone we have Dr. Nimmagadda and
4 also measure developer Elvira Ryan.

5 We have three pediatric, inpatient
6 measures, 0143, 0144, 0338. These measures
7 have been collected since 2007. They are
8 publicly reported on Hospital Compare and on
9 the Joint Commission's quality check website.

10 All of these measures are in the
11 process of retooling for electronic
12 collection, and they are included in the
13 proposed rule for stage two of meaningful use.

14 The first measure, 0143, is
15 stratified, ages 2 through 4 years, 5 through
16 12 years and 13 through 17 years of age. This
17 first measure looks at the use -- it's a
18 process measure looking at the use of
19 relievers for inpatient asthma.

20 CO-CHAIR WEISS: That's it?

21 MS. KRUSENOSKI: Would you like me
22 to go to the second one?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Yes, why don't
2 you do all three, if that would be okay, if --
3 do you feel like you can or do you want to
4 keep them separate? What would work best for
5 you?

6 MS. KRUSENOSKI: Sure, no this --
7 no. I will continue as well.

8 CO-CHAIR WEISS: That'd be great.

9 MS. KRUSENOSKI: The second
10 measure, 0144, is looking at the systemic
11 corticosteroid use of again, inpatient,
12 asthmatic, pediatric patients. It's
13 stratified with the age groups as well.

14 And the third measure is 0338,
15 which is the home management plan of care
16 document given to the patient or the
17 caregiver, which is an individualized, written
18 plan of care.

19 It's personalized to the child,
20 specific to their followup care, their
21 identification of triggers for their asthma, a
22 rescue plan that's been identified for that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 child, use of their home medications, and
2 evidence that this document was presented to
3 the family and then evidence that it is
4 present on the chart. Those are the data
5 elements for that last measure, 0338.

6 CO-CHAIR WEISS: Great. So we are
7 going to look first at impact, opportunity and
8 evidence, and Trude. I was looking for your
9 first name. Trude, thank you.

10 MEMBER HAECKER: This is obviously
11 something that has been used for many, many
12 years and so the impact, asthma is clearly the
13 number one diagnosis for chronic disease
14 states in children. Can you not hear me?

15 CO-CHAIR WEISS: Bring the
16 microphone real close.

17 MEMBER HAECKER: Sorry.

18 CO-CHAIR WEISS: Make it a friend.

19 MEMBER HAECKER: Steal it from
20 you. So, asthma is the number one chronic
21 disease of childhood. Rates of asthma, you
22 know, correlate quite highly in the inner

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 city. In Philadelphia we have 22 percent of
2 kids with asthma.

3 So the use of -- the impact of
4 this, we felt, as a group, was quite high. So
5 no concerns there. Rationale, there's been
6 years of evidence of the use of relievers in
7 inpatient settings so we also had no qualms
8 about that as well.

9 Do you want to keep going?
10 Scientific acceptability --

11 CO-CHAIR WEISS: Opportunity.

12 MEMBER HAECKER: Opportunities, I
13 think are very limited. That's where we, I
14 think the group was -- because we have 99
15 percent rates already, so we are doing very
16 well in those children's hospitals, those of
17 my colleagues in the room, so that it is a
18 wonderful measure, it is useful, we report it,
19 but again, we are doing this as part of our
20 care routinely. So --

21 DR. WINKLER: I checked Hospital
22 Compare yesterday. The national rates are 100

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent.

2 MEMBER HAECKER: Exactly. We are
3 at 100 -- we've been at 100 percent since 2008
4 at CHOP and I'm sure you are here at D.C. and
5 Pittsburgh as well.

6 CO-CHAIR WEISS: So with that in
7 mind, to the group, the workgroup, thoughts or
8 comments on Trude's comments?

9 MEMBER GLOMB: If I can elaborate,
10 just -- she's dead on. I think this is a --
11 it had its place and time and the impact has
12 been made. The impact was necessary but we
13 have really swung far beyond it.

14 She's citing 100 percent rates.
15 I'd claim 110 percent rates because we are
16 overtreating from a specialist standpoint and
17 even from a payer standpoint, you know, we've
18 really gone the other direction.

19 I think that the literature cited
20 for this measure now is ancient history,
21 particularly in the evolution of pediatric
22 asthma diagnosis and treatment, and it may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a retirement.

2 CO-CHAIR WEISS: So a question I
3 would have is we are moving towards the
4 concept of reserve, when does that happen in
5 our process?

6 MEMBER GLOMB: We should first
7 vote it down and then ask it for reserve.

8 CO-CHAIR WEISS: Okay.

9 MEMBER HAECKER: The other piece
10 to this is the issue of electronic health
11 records, and so order sets are being created
12 now for asthma in most institutions.

13 So this is part of every order set
14 electronically as well so that actually keeps
15 you at 100 percent no matter what.

16 CO-CHAIR WEISS: Comment. Maybe
17 if you can slide over to another microphone
18 and see if you can grab something that way.
19 Folks on the phone, we have --

20 MS. WATT: Sorry my name is Ann
21 Watt. I'm from the Joint Commission. And
22 obviously, we can't argue the fact that this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure is being met at a very high rate.

2 Just one thing though that I want
3 to point out for you, is the hospital -- it's
4 is a relatively small group of hospitals that
5 are reporting on this measure, and we feel
6 that it is the group that -- for whom this is
7 a particular concern.

8 And what we think is, it's a self-
9 selected group, not necessarily representative
10 of general hospitals as a rule. We would like
11 for this measure to continue to receive its
12 active endorsement, just because we feel that
13 the opportunity is bigger than the small group
14 that is currently reporting, and assuming that
15 it does move forward for meaningful use stage
16 two, there will be plenty more hospitals
17 reporting on it whose rates may not be as high
18 now.

19 CO-CHAIR WEISS: Is there any
20 evidence of that, of the non-reporting
21 hospitals in terms of this measure, because it
22 is viewed as pretty much a standard of care

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that's been pretty well embedded in. I mean,
2 is there any hospital that there are hospitals
3 who don't have high rates here?

4 MS. WATT: Well, because we only
5 have reporting hospitals and their rate is
6 high. But again, they are a self-selected
7 group.

8 CO-CHAIR WEISS: Brendle, I want
9 to keep this relatively short.

10 MEMBER GLOMB: Yes, I don't want
11 to belabor the point either. I have no
12 evidence to my point than she has for hers.
13 But I can tell you that in a big state, vast
14 rural areas, even our most unperforming
15 hospitals in the state of Texas are performing
16 -- who certainly wouldn't be reporting -- are
17 performing at 100 percent, or close to it.

18 CO-CHAIR WEISS: So, at least
19 anecdotally we are hearing that, and it sounds
20 like, with the information we have right now,
21 in terms of evidence, that it looks like there
22 is no performance gap to clear up although

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there is a hypothetical one that we would like
2 to see, but we don't have that information.
3 Okay.

4 So, let's go through the vote of
5 impact, high through insufficient. Let's
6 vote.

7 (Pause for voting)

8 CO-CHAIR WEISS: Let's vote.
9 Press your numbers again, just in case. There
10 we go. Okay. Got it. So 13 said it was high
11 impact, 3 moderate, 4 low, no insufficient.

12 Let's go now to the more discussed
13 issue, which is the performance gap. So how
14 many view this as a high, moderate low, and
15 then insufficient evidence?

16 (Pause for voting)

17 CO-CHAIR WEISS: Looks like we got
18 them all. Okay. So this is 1 high, 1
19 moderate, 18 low, 4 insufficient. Does that
20 stop us here? Sorry, zero insufficient. I
21 keep on doing that. I'm sorry. That stops us
22 right here? Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So we go straight to a question.
2 So now, so essentially we have said no to
3 moving this forward but we can have a
4 conversation of reserve and there's no voting,
5 electronic voting for this, but we can vote.

6 Oh, you are good. Look at that.

7 DR. BURSTIN: Yes, we are --

8 CO-CHAIR WEISS: So now we go to
9 reserve status, and maybe since it's the first
10 time, maybe Reva, if you can just give us the
11 -- anything you'd like us to know because --

12 DR. WINKLER: Reserve status is for
13 a stellar measure that is performing extremely
14 highly and must meet all the criteria very,
15 very highly -- strong direct evidence,
16 proximal to the desired outcome, high ratings
17 for reliability and validity, it's
18 demonstrated in use and demonstrated
19 improvement.

20 So there really can't be anything
21 questionable or concerning about the measure.
22 But if indeed you feel that it is of such high

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 import that you want to keep it on NQF's list
2 of endorsed measures, albeit on the reserve
3 shelf, such that it could be pulled out for
4 later use for either, maybe new hospitals
5 joining the party, or for double check in a
6 couple of years to see if there's been any
7 backsliding, those would be your rationale, as
8 opposed to just letting the measure go.

9 CO-CHAIR WEISS: So, any questions
10 to Reva on that concept?

11 MEMBER HAECKER: So could you
12 clarify that again? So a yes implies that the
13 measure well, would go into reserve?

14 DR. WINKLER: Well, that's what --
15 in order to finally put it in reserve, we
16 would then have to go through the rest of the
17 criteria because we have to be sure it does
18 meet the others highly.

19 But yes, essentially yes means you
20 want to consider it for a reserve status.
21 Saying yes we know it doesn't meet the gap,
22 performance gap sub-criteria, but we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 making this special exception to put it in
2 reserve status. So that's what your yes vote
3 means.

4 MEMBER STEMPLE: Does yes mean we
5 anticipate or concern for decline? Because I
6 want to make sure, because if I'm hearing
7 everybody's thinking it's in the electronic
8 set, so the potential for this to underperform
9 going forward is probably pretty low, so is
10 that part of the reserve criteria, our risk
11 for underperformance is anticipated or --

12 DR. WINKLER: Yes, the primary
13 rationale for reserve status is that concern,
14 that going forward there could be reduced
15 performance, and you'd want to be able to have
16 a tool to, to measure it again. That's the
17 primary rationale.

18 MEMBER JEWELL: So I think in this
19 case it also is the question of, since we
20 don't have data on many hospitals because they
21 are not participating, the potential that we'd
22 discover lack of performance would be the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other thought.

2 CO-CHAIR WEISS: Okay. So let's
3 vote right now just to say that we want to
4 consider. Yes, no, one, two. Yes being yes,
5 let's consider it for reserve status, no being
6 no.

7 (Pause for voting)

8 CO-CHAIR WEISS: Overwhelmingly,
9 18 say yes, let's consider it. So it's going
10 to do -- consider it, now we have to go
11 through the process of consideration? Okay.

12 DR. WINKLER: Yes. Now you'll go
13 back and hit the 1c evidence vote and then the
14 rest of the -- right. There.

15 CO-CHAIR WEISS: Oh, okay. So is
16 there evidence? Yes, no, insufficient
17 evidence that this is a good measure. Yes,
18 no, vote now.

19 (Pause for voting)

20 MEMBER LEVY: Why would you go
21 through this if we already decided it should
22 be reserved? Aren't we already saying that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that's true, by saying it's in reserve?

2 CO-CHAIR WEISS: I guess,
3 Mitchell, the question is did you vote, and
4 then can we talk about this at the same time?

5 MEMBER LEVY: Yes I did.

6 CO-CHAIR WEISS: Okay, I want you
7 to vote. So, one, two, three, please make
8 your vote because only 19 people have voted,
9 and let's respond to Mitchell's question.

10

11 DR. WINKLER: The -- because your
12 vote was to consider it for reserve status, it
13 cannot be voted on reserve status until it
14 meets all the other criteria we haven't voted
15 on as yet.

16 DR. BURSTIN: So, essentially the
17 idea would be we wouldn't want to put it into
18 reserve status something that you don't think
19 is highly reliable or valid, for example. In
20 that case it should just be removed from
21 endorsement, which is the other choice.

22 CO-CHAIR WEISS: So let's go back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and make sure everyone has voted. One, two or
2 three, let's hit your buttons again. We are
3 so close to doing well on timing. We still
4 may get through this one in a reasonable time.

5 There we go. Somehow we got the
6 last one. Okay. Evidence is strong, 20 yes.
7 Next.

8 Okay. Reliable, high, moderate,
9 low, insufficient. Do we want to have a
10 discussion on this?

11 (No response)

12 CO-CHAIR WEISS: No. Okay. So
13 let's vote.

14 (Pause for voting)

15 CO-CHAIR WEISS: Everyone make
16 sure you hit your button again please, and
17 maybe point it to Jessica. She is wanting the
18 attention. There we go. You see that helped,
19 high, 1 moderate, no low, for insufficient
20 -- just kidding -- no, no insufficient
21 evidence.

22 Next. Validity, this was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 validity measure, one high, two moderate,
2 three low, four insufficient. Anyone want to
3 discuss anything here before we start going
4 voting?

5 (No response)

6 CO-CHAIR WEISS: Okay. Then let's
7 vote.

8 (Pause for voting)

9 CO-CHAIR WEISS: Let's press them
10 again everybody. It could be that one has got
11 a low battery and it will be impossible to
12 find it. So one more time let's all point to
13 Jessica.

14 There we go. Okay, we got it, 17
15 high, 3 moderate, no low, no insufficient.
16 Next. And this would be usability. One, two,
17 three, high, moderate, low, and then four
18 insufficient.

19 (Pause for voting)

20 And we'll come back for
21 feasibility. Oh, so close. You'll hear it.

22 (Alarm sounds)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: That's the 15-
2 minute mark. Again, let's -- got it, okay,
3 and then we'll go to feasibility. So 13 high,
4 6 moderate, 1 low, no insufficient. And now
5 to the last, usability -- feasibility, sorry.
6 High, moderate, low, insufficient.

7 (Pause for voting)

8 CO-CHAIR WEISS: Okay. There we
9 go. And we're at 18 high, 2 moderate and no
10 low, no insufficient. So I think over
11 suitability for endorsement for a reserve
12 measure, yes or no, this is the final, final.
13 Please vote.

14 (Pause for voting)

15 CO-CHAIR WEISS: Did I do that
16 right? Did I do something wrong? Make sure
17 we vote, one or two. Press yours again if you
18 could, everybody. Okay. Almost there.

19 Almost there. Let's go one more
20 time everybody. Press them down. Smile,
21 Jessica. It's all coming to you. There you
22 go. That big smile made a difference, see?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Nineteen yes, one no.

2 Okay, two minutes over, but that
3 wasn't bad, right? We did set a benchmark for
4 reserve status. That's true. It's going to
5 be hard to beat that one.

6 Next. So 0144. Brendle.

7 CO-CHAIR WEISS: We already had
8 introduction, so the question for you is on
9 impact and gap and opportunity. I'm sorry,
10 gap and -- thanks.

11 MEMBER GLOMB: All right. So just
12 as a refresher for everybody, this is looking
13 at, again, a pediatric inpatient drive,
14 systemic corticosteroids during
15 hospitalization in percentage.

16 Looking at impact, I believe it's
17 recognized by the entire subcommittee that
18 this is a -- if not a health outcome direct
19 measure, plenty of evidence that there's high
20 impact for this measure, large substantial
21 impact, and that -- we're not looking at,
22 we're not looking at evidence yet, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Just still impact?

2 Okay, just impact. All three.

3 Okay, all right. That there's considerable
4 evidence to the positive with regard to its
5 favorable from the long -- standpoint and
6 potential for benefit compared with the
7 potential for harm, burden, and that there are
8 a considerable number of studies, they're all
9 relatively -- very good studies, difficult to
10 completely account for confounding variables
11 within most of these studies, short acting
12 bronchodilator, administration, oxygen
13 application, epidemiology and causation of the
14 exacerbation et cetera, but the literature is
15 fairly uniform in its results, in its
16 findings.

17 The gap is small but there is
18 still opportunity within that.

19 DR. BURSTIN: It's 98.8 percent.

20 DR. WINKLER: It's 100 percent also
21 on Hospital Compare's of yesterday, the
22 national average.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Thoughts or
2 comments from the workgroup?

3 MEMBER YEALY: So my question
4 would be, is this a recently achieved 100
5 percent mark, or persistently? The last one,
6 it really had been persistent, and where it
7 went to reserve, that would be my question.

8 CO-CHAIR WEISS: What's it been,
9 the past two, three years? What does it look
10 like is what you're asking?

11 MEMBER YEALY: Last two to three
12 compared to previous.

13 MS. KRUSENOSKI: Sure, in 2007,
14 performance was at 97.1 percent, and second
15 quarter of 2011, 99.3 percent.

16 CO-CHAIR WEISS: Mitchell? No.
17 Okay. So it sounds like -- no. Dianne?

18 MEMBER JEWELL: Are we talking
19 about the same number of reporting hospitals,
20 for Hospital Compare, or roughly the same?

21 DR. WINKLER: Yes, exactly.

22 MEMBER JEWELL: Okay thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Thoughts,
2 comments, questions?

3 (No response)

4 CO-CHAIR WEISS: Okay, let's go to
5 vote. So we are voting on impact.

6 (Pause for voting)

7 CO-CHAIR WEISS: And we're voting
8 again on impact. Make sure you press the
9 number and then -- there you go, 18 say high
10 impact, 2 say moderate, no lows, and no
11 insufficients.

12 Next. Let's vote on the gap,
13 which is somewhere in the high, high 90s.

14 (Pause for voting)

15 CO-CHAIR WEISS: Let's vote again
16 on the gap. And again, until we get the answer
17 we want. There we go. Okay, so 1 high, 4
18 moderate, 15 low and no insufficient, which
19 would mean it would not pass. So we would go
20 now to the question of reserve. This feels so
21 sad to have success like this, doesn't it?

22 So reserve, should we consider it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for reserve, yes, no. Let's vote on that,
2 unless anyone has another question about that.
3 Okay, let's vote on it.

4 (Pause for voting)

5 CO-CHAIR WEISS: Okay, got 20, and
6 it's twenty that says yes. Okay. So let's go
7 through the reserve process. Let's continue
8 forward. So --

9 DR. BURSTIN: Can we have just one
10 question since it's the exact same
11 methodology, reliability, validity, usability,
12 feasibility, I wonder if we could ask the
13 committee if they want to --

14 CO-CHAIR WEISS: So let's ask the
15 committee.

16 DR. BURSTIN: -- the same way and
17 then just go straight to approve reserve
18 status. There you go.

19 CO-CHAIR WEISS: So you feel like
20 with that -- let me make sure that from the
21 measure developer, is there anything you'd
22 like to comment on before we go to vote, just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 so that -- because we are going to --

2 MS. WATT: Yes, we agree, that
3 this is exactly the same methodology, same
4 hospitals collecting the data and so forth.

5 CO-CHAIR WEISS: So the real
6 opportunity might be if we see some gap with
7 hospitals outside of that network, that this
8 would be able to be pulled off the shelf.
9 Okay. Good.

10 So with that in mind, straight to
11 the last vote.

12 DR. BURSTIN: This is would it be
13 suitable for reserve status endorsement.

14 CO-CHAIR WEISS: Okay, so that's
15 it. One or two. Yes or no. Reserve yes, or
16 not.

17 (Pause for voting)

18 CO-CHAIR WEISS: Twenty say yes.
19 Okay. We broke our benchmark. Okay, picked
20 up a little bit of time. Good let's continue
21 on to number three, Measure 0338. That goes
22 back to Trude.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We got, we gained some. Bunches
2 of minutes.

3 MEMBER HAECKER: I'll use them up
4 now. So this is home management plan of care,
5 which we -- just to remind everyone is based
6 on admissions to the hospital for children
7 under the age of 18 as a primary diagnosis of
8 493.

9 There are five criteria, as you
10 see on your handout there, in addition to
11 their measure being -- require that you have
12 documentation on the chart, and also
13 documentation that the care plan was given to
14 the family.

15 So that's really seven measures.
16 There's also an all or none measure, so all
17 those criteria need to be met in order for it
18 to be acceptable to the Joint Commission.

19 It has also been set up that that
20 is a benchmark for surveying so when they walk
21 into a pediatric hospital, you know, you need
22 to meet a benchmark of 80 percent in order for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 them to continue their survey.

2 So it is a quite stringent
3 requirement, which has driven, I think the
4 numbers up. So you can see there the
5 numerator and the denominator, and I think one
6 of the conversations that the workgroup had
7 was that this becomes more of a work flow
8 issue, getting residents and house staff and
9 others to work on this. While we all applaud
10 education tremendously and it's highly
11 important to be able to educate families at
12 the point of care, and to make sure that they
13 are leaving the hospital with a real
14 understanding of what to do, and that
15 management plan of care has clearly what to do
16 in a flare, what to do for daily maintenance
17 and what to do when you are in trouble, and
18 also who your provider is. So it really fits
19 into the PCMH, medical home issues as well.

20 I think some of the recent data
21 has suggested that it may not be as helpful in
22 some populations. So I'm going to look to my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 colleagues down this end of the table to help
2 me out with this as well.

3 So I think we can go, scroll down,
4 and talk a little bit about impact, I guess.
5 So clearly again, asthma is the most common
6 diagnosis of childhood, and I think we all
7 felt very strongly that the rationale and the
8 impact of this was quite high.

9 I think the evidence, there is
10 evidence out there, of the importance of
11 education and using an asthma care plan, it is
12 in the NLBH guidelines as well, and I think
13 the -- there's some concern, and I'll ask my
14 co-panel members to come into this, about the
15 quality of the care plan. So this is the rub.
16 There's no standardization of what a care plan
17 looks like. Necessarily it has to have those
18 elements, but how the language is constructed,
19 there's not an opportunity always to have
20 health literacy issues in there as well as
21 there's not always an opportunity to have it
22 in multiple languages. So I might say that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that was a point of discussion from our group.

2 Keep going? Okay.

3 CO-CHAIR WEISS: Gap.

4 MEMBER HAECKER: So as you can see
5 this is part of the 3-CAC measure and we do
6 have a gap there, so it's 79 percent is what
7 we're demonstrating across, even in our own
8 hospital, we are at 85 percent, so we have not
9 reached 100 percent.

10 Again, some of the technical
11 challenges of creating that in an electronic
12 health record, and catching the patients
13 before they leave, I think.

14 And then I would say that quite
15 honestly the rub is documentation. The nurses
16 are teaching. We are all feeling like we are
17 teaching. But getting that documentation and
18 an actual copy in the chart, if those criteria
19 are not met, this metric is not met.

20 CO-CHAIR WEISS: Workgroup?
21 Comments beyond the --

22 MEMBER GLOMB: Two brief ones. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was a little hesitant at first reading this
2 with the degree of specifications that had to
3 be met. But they are all certainly appropriate
4 and welcome within the guidelines.

5 Second comment, I think that,
6 reading the history on this measure, I think
7 there were some appropriate tweaks made along
8 the way, particularly with regard to patients
9 who were from out of town, patients who are
10 being discharged on a weekend, all of these
11 sorts of things. There were allowances made
12 as long as the plan -- the discharge plan
13 included the ways of getting to the ultimate
14 goal.

15 MEMBER HAECKER: The other thing
16 that was changed was the followup plan.
17 Initially we were required to give a date,
18 time and appointment for the followup, and
19 that was the issue of the weekend coverage,
20 how do you get an appointment for someone when
21 the office is closed on Sunday afternoon at 4?

22 So that caveat was met because you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 can now just talk about who the primary
2 provider is, though the primary office with a
3 phone number.

4 CO-CHAIR WEISS: David.

5 MEMBER LANG: The other view, as
6 was mentioned, but just to embellish that a
7 little bit more, is the possibility of the
8 variable quality of education. I mean,
9 education is a good thing, I mean this is Mom
10 and apple pie kind of stuff, but although
11 there are -- you know, some of the data
12 supporting the utility of asthma action plans
13 is not as strong as with, say, you know,
14 inhaled corticosteroids as long as that was
15 mentioned earlier, and it was relevant to our
16 previous discussions.

17 But I think in terms of evidence
18 and validity, you know, the issue is the
19 variable nature in which this information may
20 be relayed, and the documentation of that, and
21 also, if I can extend -- I guess this goes all
22 the way to feasibility -- the issue of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 retrieval of those data from either an
2 electronic or even paper record.

3 But even electronic, it's not --
4 it's not the same as retrieving, say, a
5 prescription dispensed for drug X.

6 CO-CHAIR WEISS: I'll take my co-
7 chair hat off for a moment and just be as a
8 member of the workgroup. I think we did also
9 discuss the leverage piece here, how much of a
10 lever was this unto by itself, for really
11 demonstrated that there -- just having
12 documentation of this kind of a plan being
13 given, showing that it actually improved any
14 outcomes, and is it more than the plan, is it
15 ensuring the transfer into the care process
16 and the follow-through and all those other
17 pieces as a comprehensive -- did I capture
18 that right?

19 MEMBER HAECKER: That's absolutely
20 correct. I think the evidence on followup and
21 keeping that appointment back in the medical
22 home is a much better predictor of what this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would be about, rather than just giving a
2 piece of paper.

3 CO-CHAIR WEISS: Dianne, and then
4 Chuck.

5 MEMBER JEWELL: So, my first read
6 on the specification was where's the item that
7 says evidence of understanding, which you
8 know, of course is related to that.

9 However, the fact that there are
10 five or six items itemized out specifically,
11 that at least there has to be a category
12 discussion, whatever quality it is, and if
13 we're not able to keep track of those five
14 things and the guidelines are clear about
15 them, my head space is back to where at least
16 we are getting everybody to talk about the
17 same things consistently and maybe that's
18 where we still need to be.

19 CO-CHAIR WEISS: Chuck.

20 MEMBER STEMPLE: Well, and maybe
21 it's -- so the age cutoff at two was my number
22 one question, why two is used as a cutoff and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not down to lower.

2 And then to your point, you know,
3 return to admission status, so a readmission
4 rate based on whether this had an impact.

5 So for me, less did they follow up
6 with the office visit, but are we impacting
7 the readmissions in asthma patients who got a
8 discharge plan versus those who did not.

9 So I would further your evidence
10 that this would provide a differential
11 outcome, but I would lean more toward a return
12 to ER visit or readmission rather than just a
13 followup office visit as a downstream medical
14 outcome to really validate that this is having
15 an impact.

16 CO-CHAIR WEISS: To the group as a
17 whole, you heard it from the workgroup there.
18 Let's go to David and then to Norm.

19 MEMBER STOCKWELL: Did the
20 workgroup, did you guys discuss the article
21 that was put together from the CHCA hospitals
22 that showed that there was no association

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 between the completion of the asthma action
2 plan and ED visits and readmissions?

3 I mean that's been our big -- this
4 is a process measure obviously, but does the
5 process measure represent anything to do with
6 the outcome, and that's what the worry is, I
7 think, is that it may not have anything to do
8 with the outcome, and boy do we spend a lot of
9 time, I'm sure all of us do, on collecting the
10 information for this.

11 And so if it's not -- if it's not
12 representative of what we're trying to
13 achieve, is it the right metric?

14 MEMBER HAECKER: It's kind of hard
15 to say that I agree with you, we did bring up
16 that article that came out this last fall and
17 I do think it's kind of hard to fly in the
18 face of mom and apple pie, as was said
19 earlier, because education is never a bad
20 thing.

21 But the efficacy of what we're
22 doing and the processes involved, as you said,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 many systems are hiring one full-time person
2 to manage this process.

3 We have 3,000 asthmatics admitted
4 every year. That's maybe a good thing or a
5 bad thing, but clearly the work that's
6 involved around that is quite cumbersome.

7 CO-CHAIR WEISS: Norm.

8 MEMBER EDELMAN: Yes, I was just
9 going to summarize what I thought I heard,
10 just to make sure I am right. So it sounds
11 like the reliability is low and the validity
12 is wholly unproven. Am I correct?

13 CO-CHAIR WEISS: Over here at
14 least the validity is measured by at least one
15 study, so that there wasn't proven, we don't
16 know wholly unproven yet.

17 But that was generally the term
18 that we were hearing, the general direction.
19 Brendle.

20 MEMBER GLOMB: I was just going to
21 comment, I think it does go to due diligence,
22 that this is a measure that goes to due

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 diligence. It's the you can lead a horse to
2 water concept, and I think that this is a way
3 -- used in the appropriate way by a hospital
4 facility, they can complete their due
5 diligence to the patient, "complete" being in
6 quotation marks, with this measure.

7 But understand --

8 CO-CHAIR WEISS: Mitchell.

9 MEMBER LEVY: And I just want to
10 make sure I understand this. So this is a
11 joint measure that's already been collected.

12 MEMBER HAECKER: Yes. Yes. For
13 several years now. So we are collecting this
14 data and we started out at very low points and
15 we have all been working our way up into the
16 80s, not quite at the 90s, has to do with the
17 turnover rate as well. I'm just giving you
18 all the permutations on this.

19 Asthmatic patients stay an average
20 length of stay is about a day and a half to
21 two days, at the most. So you are doing --
22 and you're sending them home evenings,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mornings, all kinds of times.

2 CO-CHAIR WEISS: Yes, question.

3 DR. BURSTIN: So since it is, you
4 know, one of the questions on evidence, this
5 specifically for process measures, is there a
6 link to outcomes, the point that you've
7 raised? I'd be curious -- and do you guys
8 have any other evidence to cite of that
9 process outcome link?

10 MS. WATT: We don't have specific
11 evidence to cite to make that link, but what
12 we do have, and I'm going to ask Dr.
13 Nimmagadda if he is on the line and if he
14 could perhaps address this too.

15 But you know, one point I would
16 like to make about that one study, it was one
17 study that has been done, and it looked, some
18 of the specific limitations noted in the study
19 was that it didn't look at severity and those
20 kinds of issues.

21 And so I guess I would ask that
22 you consider that when you are considering. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't know. Dr. Nimmagadda, did you have any
2 comment? Are you there?

3 CO-CHAIR WEISS: Dr. Nimmagadda
4 are you there? You mean -- he may not be
5 there. Chuck and then Mitchell and --

6 MEMBER STEMPLE: So, I'm sorry, I
7 just want to make sure, we have one
8 potentially negative study but no -- so
9 there's only literally on study on this as an
10 outcome? So one negative with maybe some
11 limitations but no positive that this has
12 caused an improvement in downstream outcome?

13 DR. NIMMAGADDA: Hello.

14 CO-CHAIR WEISS: That might be Dr.
15 Nimmagadda?

16 DR. NIMMAGADDA: Yes, yes, I'm
17 here.

18 CO-CHAIR WEISS: Welcome. Ann, do
19 you want to pose the question to him again?

20 MS. WATT: Hi Dr. Nimmagadda.
21 This is Ann. There was some question of
22 whether or not we have specific evidence for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 improvement in outcomes based on the home
2 management plan of care. The discussion was
3 begun by a discussion of the one study that
4 was published last fall that although there
5 were noted to be limitations to the study,
6 indicated that there was not a link to
7 outcomes.

8 DR. NIMMAGADDA: Yes, I read that
9 study, and you know, I have a lot of questions
10 about what that -- over that publication.
11 One, it doesn't really show the effectiveness
12 of what was taken into that measure.

13 On other words, if they just
14 checked the boxes but they didn't really go
15 through the processes of identifying all the
16 components of that measure, then yes, then
17 it's hard to prove the outcomes of that.

18 Also, within a pediatric
19 population, we've seen numerous outcome
20 studies looking at asthma action plans, and
21 peak flow plans.

22 There's a difference between peak

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 flow plans, compliance measures with that,
2 versus non-peak flow plans and all. But the,
3 you know, this measure here really looked at
4 different components in trying to do the
5 outpatient visit, they can look at the oral
6 corticosteroid, they can look at, you know,
7 the controllers versus relievers, educating
8 the patient on those components, and also
9 taking a look at the environmental triggers
10 here.

11 So this data that was published, I
12 really have a lot of questions about, because
13 there's really no real confirmation they
14 actually did what they were supposed to do to
15 make the -- this measure effective.

16 So it's hard to say that, you
17 know, you can say one study proves against it,
18 but I'm sure that if you take the components
19 out individually and take a look at each
20 individual outcome here, that this measure did
21 improve outcomes, from the pediatric
22 perspective.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, if you take a look at the
2 adult institutions and other hospitals, maybe
3 you know, there may be a little bit less of a
4 compliance rate there, or there may not be as
5 great of an outcome measure known.

6 But within the pediatric
7 institutions in the study that we've seen,
8 that the components here would definitely
9 reduce the readmission rates and even the ER
10 return rate.

11 CO-CHAIR WEISS: Mitchell.

12 MEMBER LEVY: So I feel like I'm
13 getting a mixed message. I'm not sure.
14 Because now you are saying there are -- there
15 is a relationship between the process measure
16 and the outcomes.

17 But my main question is I'm
18 surprised, because usually the Joint
19 Commission before it releases a measure, also
20 has a rigorous process of looking for a
21 relationship with outcome.

22 So is it, is my understanding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 correct that this measure has never been
2 linked to outcomes, for a pediatric
3 population?

4 DR. NIMMAGADDA: No, it has been
5 linked to outcomes in pediatric populations.
6 But I think -- I thought the question was
7 related to the one publication that was
8 presented last fall.

9 CO-CHAIR WEISS: So now I'm
10 confused as well I think. You're hearing a
11 little bit of discussion around the committee
12 because we are trying to understand this
13 better.

14 MEMBER HAECKER: Yes, I think the
15 use of asthma action plans, asthma care plans,
16 home management plans again, has evidence in
17 the outpatient setting.

18 And so within the context of the
19 medical home, we actually give them out all
20 the time and use them, and that data has been
21 clear.

22 What I don't think we have yet is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the data of the -- for the patient that has
2 been admitted with those different
3 classifications of asthma, perhaps not the --

4 (Alarm sounds.)

5 MEMBER HAECKER: intermittent
6 asthmatic that comes in, do we have data on
7 that patient, and that's what that study
8 recommended.

9 CO-CHAIR WEISS: Ah, good. First
10 of all, everyone knows that that's the 15-
11 minute mark.

12 MEMBER RHEW: Just a few comments
13 here also. I have actually been looking at
14 our database and there are multiple meta-
15 analyses and systematic reviews on this topic,
16 AHRQ (2001), Gibson (2002, 2003), I mean
17 there's extensive literature out there and the
18 consensus is that just handing the plan, or
19 having that written document does not impact
20 the outcomes.

21 But if you're talking about an
22 overall program in which there's extensive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 education delivered, and this is a part of
2 that, then yes, there is a benefit.

3 So you know, the question is if
4 you are looking specifically at this document,
5 then the answer is no, no outcome. If you are
6 looking at an overall approach in which this
7 could be a component, then yes.

8 CO-CHAIR WEISS: I have to say
9 that's how I understand the literature too.
10 It's never been demonstrated or isolated as a
11 management plan by, in an -- by itself, and
12 all the work has been done in the ambulatory
13 arena.

14 But Dr. Nimmagadda, do you know of
15 any studies on discharge from inpatient
16 looking at management plan, which is the
17 measure at hand, by -- in and to by itself,
18 even if it's done well, that would show
19 positive impact? Because that's what I think
20 the committee is looking to hear. Is that --
21 we only have the one study that's the negative
22 right now, and do you know of any studies?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NIMMAGADDA: You know, I do
2 know some plans that are -- that have
3 different components of the CAC-3 measure.
4 There are -- there's a study that shows the
5 peak flow plans are effective in identifying
6 exacerbations that may start up early and take
7 through discharge.

8 There's also studies in outcomes
9 looking at the environmental control measures
10 and the identification of patients who smoke,
11 and you know, going back to home smoking in
12 places, and also the kids, when they get
13 discharged.

14 So I don't know if there's a study
15 that looks at a very comprehensive plan such
16 as a CAC-3 have got, different components in
17 there. But the individual --

18 CO-CHAIR WEISS: I think the
19 question --

20 DR. NIMMAGADDA: are definitely --

21 CO-CHAIR WEISS: I'm sorry to --
22 because we are running short on time so I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 being a little bit directive in my
2 questioning, with apologies.

3 But the question is that the
4 literature that we understand is, is that it's
5 the plan in the context of an educational
6 activity that is one --

7 MEMBER HAECKER: In the context of
8 a medical home.

9 CO-CHAIR WEISS: Of a medical
10 home, would be the more recent context, but we
11 don't know of any studies that just show just
12 the use of a document in a one-time event,
13 really has an effect on outcome. That's what
14 I think the committee is struggling with here,
15 at least those who know this literature, and
16 I'm getting a lot of affirmative nods here.
17 So are we --

18 DR. NIMMAGADDA: From an
19 outpatient setting, yes, I mean, we have seen
20 that these documents do have an impact in
21 aftercare, reducing quality of -- or
22 increasing quality of life, which is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 asthma morbidity.

2 But we try to take these
3 outpatient processes and put them into an
4 inpatient type of a setting. So there's
5 numerous studies looking at the outpatient
6 setting, but very few looking at the inpatient
7 continuum.

8 So that's why we are trying to get
9 this measure implemented here, to try to
10 bridge that gap that we have with the
11 inpatient/outpatient arena.

12 CO-CHAIR WEISS: That's very
13 helpful. Good. Let me suggest, unless there's
14 any other additional questions, I think we are
15 ready for a vote, yes? Yes? Okay. Good.

16 So let's do the vote on impact,
17 one through four, high, moderate, low
18 insufficient. Let's all vote.

19 (Pause for voting.)

20 CO-CHAIR WEISS: It's been a while
21 since we've done it so people are like, how do
22 we do this thing again? Come on, there's 19,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's 20. Perfect. Okay.

2 So six high, nine moderate, two
3 low and three insufficient. Next go to the
4 gap. Okay is there a gap in practice here
5 that can be fixed?

6 (Pause for voting.)

7 CO-CHAIR WEISS: With regards to
8 performance gap, seven high, 12 moderate, no
9 low and one insufficient. Let's go to the one
10 that I think has been the most discussion,
11 which is evidence. Is there sufficient
12 evidence related to outcomes, quantity and
13 quality of the evidence, and that's yes, no or
14 insufficient.

15 (Pause for voting.)

16 CO-CHAIR WEISS: Seventeen, 18 --
17 okay everybody let's -- oh there, we're all
18 set. So I think we have hit a four yes, six
19 no and 10 have insufficient, which puts this
20 into a done.

21 Okay. Measure fails. Okay. Well
22 thank you -- all -- okay, so that's it. With

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a -- okay. So deep breath everybody. We want
2 to thank our colleagues from the Joint
3 Commission. It's been a tough morning for you
4 all in the sense that you have succeeded with
5 two measures beyond anyone's wildest
6 imagination, and this measures as we have
7 evolved, has -- creates an opportunity.

8 I think the opportunity that I
9 have heard is that there's a lot of interest
10 here and that if the measure can be looked at
11 and thought of in the context of a more
12 comprehensive set of -- more of a composite
13 look at the process of discharge through
14 transition into ambulatory care, and the
15 success of that, I think that the committee
16 was moving towards that is what they are
17 looking for, I think it's what the commission
18 might be looking for too, and it's -- and so I
19 think that the concept that was okay a few
20 years ago, we are hungry for more of a
21 comprehensive type of measure to get there.

22 Is that -- does that reflect where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -- what I was hearing? I'm getting enough
2 affirmative nods. Would anyone like to say it
3 was something different than that? Brendle?

4 MEMBER GLOMB: Not anything
5 different, but it was, if I can speak for the
6 pediatricians, it was with great angst that I
7 pressed the insufficient evidence button.

8 This is something we'd all like to
9 see. I very much see this as a measure but
10 we've got to back it up if we are going to
11 make it scientific.

12 CO-CHAIR WEISS: So the
13 commission, I think what we could say is --
14 well if you could think about this some more
15 and bring forth something that was more than
16 just the measurement plan measure, that would
17 probably be well received. So if that's a --

18 MEMBER HAECKER: And I think a lot
19 of the colleagues in the room would be willing
20 to help with that process as well.

21 CO-CHAIR WEISS: Good, so it's a
22 very positive no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER HAECKER: Absolutely.
2 Absolutely.

3 CO-CHAIR WEISS: If that can be
4 said that way.

5 MEMBER HAECKER: As positive as a
6 no can be.

7 CO-CHAIR WEISS: It's a
8 constructive critique, I guess, was --

9 MS. KRUSENOSKI: We get it. Thank
10 you.

11
12 CO-CHAIR WEISS: Thanks so much.
13 Okay, so now we go to the SAC, Sub-optimal
14 control and ACT, absence of controller
15 therapy. This comes from the PQA, and --

16 CO-CHAIR WEISS: We have a
17 developer on the line, so why don't we start
18 with the developer. Who have we got from the
19 developer?

20 DR. WINKLER: Do we have somebody
21 from PQA on the line? Great.

22 DR. NAU: Yes. Hi, this is David

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Nau from PQA. Can all of you hear me?

2 CO-CHAIR WEISS: Great. Welcome.

3 DR. NAU: Would you like me to
4 give a quick rundown on the measure?

5 CO-CHAIR WEISS: If you could, one
6 or two minutes' synopsis for the group, in any
7 which way you'd like to, to support your
8 measure.

9 DR. NAU: Sure. I've got a little
10 bit of a hard time hearing what you're saying,
11 but --

12 CO-CHAIR WEISS: Oh, so let me try
13 -- is this a little bit better?

14 Not much. So David, if you could
15 give us a one or two minute overview from your
16 perspective, to help us understand the measure
17 as best we can.

18 DR. NAU: Certainly. I'll give a
19 quick synopsis. So this measure was developed
20 several years ago, and was originally
21 developed as a collaboration between PQA and
22 NCQA, and tested with some different health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 plans and prescription drug plans.

2 So this was built for a data
3 environment in which only drug utilization
4 data would be available and so it could be
5 used for quality improvement and public
6 reporting for prescription drug plans and
7 perhaps pharmacies.

8 And the goal is, you know, as a
9 two-part measure, first up really is to
10 identify patients that we reasonably believe
11 have uncontrolled or partly controlled
12 persistent asthma by identifying those who
13 have received at least, or more than three
14 canisters of short acting beta agonists over a
15 three month period.

16 And so the first step is to
17 identify that group, under the premise that
18 when a patient is consistently using more than
19 one canister per month, a short acting beta
20 agonist, they are most likely in need of some
21 controller therapy.

22 And so the first goal was to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 identify that rate for the patients using
2 excessive amounts of short acting beta
3 agonist, and then drill down into that
4 population to identify what proportion are
5 receiving any controller medication.

6 And so this is something that's
7 been picked up by a few other prescription
8 plans. URAC has just chosen to add it into
9 their accreditation programs for PBMs and
10 pharmacies.

11 So it's just starting to get used
12 and we're drawing more evidence you know, as
13 this is used more. But I think that's a quick
14 synopsis, and happy to hear your thoughts.

15 CO-CHAIR WEISS: So any quick
16 questions to our developer before we ask Rubin
17 to take us on our journey? David.

18 MEMBER LANG: Yes thank you for
19 that summary. I'm curious, in your
20 denominator, you, in terms of your exclusions,
21 you exclude patients who fill prescriptions
22 for COPD medications and for pulmozyme, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I understand, in terms of diagnostic overlap
2 with asthma.

3 But you also are excluding
4 patients who have filled one or more nasal
5 steroid medications, and I'm wondering what
6 the rationale is for that, as you know,
7 allergy, I'm an allergy physician, and many of
8 the patients I see with asthma, have
9 concomitant rhinitis or allergic rhinitis, and
10 you're -- it seems that you would be excluding
11 any of those patients, if I'm understanding
12 this correctly.

13 DR. NAU: Yes, I believe that the
14 original reason for including that was just to
15 ensure that the -- it's a fairly homogenous
16 group of patients in the denominator,
17 recognizing that you know, excluding those who
18 are using nasal steroids could also be you
19 know, asthmatics who we should be paying
20 attention to.

21 But I think the goal was to try
22 and decrease any false positives of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 potentially putting patients into the
2 denominator who may have rhinitis but not
3 persistent asthma.

4 So I think, you know, that could
5 be a debatable point. But I think that was
6 the intent of adding that exclusion. It's
7 just to try and make a more homogenous
8 denominator population.

9 CO-CHAIR WEISS: Okay, so we Rubin,
10 let's take us on our journey.

11 MEMBER COHEN: So I think -- we've
12 debated a lot of the same material before the
13 -- clearly the impact that asthma has on the
14 community, the use of short acting beta
15 agonists, the need for controller medications.

16 So I think in terms of the impact,
17 we all agree that it's rated very high. We
18 all agreed there was a performance gap and we
19 all agreed that the evidence was adequate.

20 Questionable minor points, in my
21 opinion, but there was no direct evidence
22 cited from the literature, this is all based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on the NHLBI guidelines, which of course uses
2 its own literature.

3 And also we had some questions
4 during the phone call about just the 90 days,
5 because most of the evidence has to do with
6 chronic lack of controller therapy.

7 But otherwise, I think for the
8 first, for part one, we all agreed this was
9 high to moderate.

10 CO-CHAIR WEISS: Okay, and so from
11 the rest of the workgroup, any thoughts or
12 comments on Rubin's --

13 (No response.)

14 CO-CHAIR WEISS: Okay. Then to
15 the committee as a whole, questions or
16 thoughts you'd like to ask? Questions,
17 issues?

18 (No response.)

19 CO-CHAIR WEISS: If not, let's
20 vote. Okay. So importance in terms of
21 impact, one through four. Please vote.

22 (Pause for voting.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Almost there.
2 Okay everyone, press again. Pressing on.
3 Here we go. There we go. So we get 17 high,
4 three moderate, no low, no insufficient.

5 Next would go to the gap. Yes,
6 I'm looking for the question. So do you
7 remember what the data was in terms of what
8 the performance gap was?

9 MEMBER COHEN: I was actually
10 looking, I couldn't --

11 CO-CHAIR WEISS: For our developer
12 on the line, what is the performance of these
13 two measures right now, and can you describe
14 the population that have been tested?

15 DR. NAU: Yes, if I heard you
16 correctly, you are asking about the current
17 gap in performance, and what the perhaps
18 current performance rates are on the measure.
19 Did I hear you correctly?

20 CO-CHAIR WEISS: Correct.

21 DR. NAU: Yes. I honestly am in
22 an airport and don't have those numbers right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in front of me, but I do know that there is a
2 clear gap in performance and room for
3 improvement. I would be trying to remember
4 off the top of my head what the specific
5 numbers were.

6 We've tested it with several PDMs
7 and some health plans, and identified that
8 there's a fairly significant number of
9 patients who are using greater than one short
10 acting beta agonist inhaler a month who were
11 then not on inhaled corticosteroids.

12 But I don't have those numbers in
13 front of me at the moment.

14 CO-CHAIR WEISS: Well, looks like
15 we don't have any submitted for this. We
16 don't know that?

17 MEMBER COHEN: I don't believe
18 it's in the original thing that you had sent
19 me. It's not there. That's for sure.

20 CO-CHAIR WEISS: Okay, so, well,
21 then we have high, moderate, low and
22 insufficient evidence. So let's vote.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Pause for voting.)

2 CO-CHAIR WEISS: So three high,
3 one moderate, four low, and 12 insufficient
4 evidence. So we are stuck here. Okay. So
5 next to our colleague at -- I believe it's
6 David, it seems that we need to get some
7 information on performance of the measure
8 before the committee will feel more
9 comfortable with going forward with this
10 measure, and so right now, we have got to say
11 no, by the process that we've got in place.

12 MEMBER COHEN: If I may comment, I
13 mean I think it's something that we all
14 believe is probably not being done correctly
15 in the community, but the way the rating
16 system is, we have to stop here, yes, because
17 you don't have a number.

18 CO-CHAIR WEISS: Great. Okay.
19 Then let's go to Measure 0620 and this comes
20 from ActiveHealth is the measure developer.
21 Do we have someone --

22 DR. VIR: Hi, yes, this is Bani

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Vir from ActiveHealth. Can you all hear me?

2 CO-CHAIR WEISS: Welcome Bani, yes
3 we can hear you just fine. What we are doing
4 here in case you just --

5 DR. VIR: Hi, I also have with me
6 Dr. Ajay Sharma and Rajesh Makol, one of our -
7 -

8 CO-CHAIR WEISS: Excellent what I
9 would -- what we are doing here is asking at
10 the beginning of the presentation of your
11 measure, just if you'd like to say one or two
12 minutes' worth of introduction to your
13 measure.

14 DR. VIR: Sure, I can go over --
15 do you want me to give you a brief description
16 of the measure? It's a little difficult --
17 it's been a little difficult to hear you guys.

18 CO-CHAIR WEISS: I'm sorry about
19 that, but hopefully we are hearing you well.
20 We'll give you some solace.

21 DR. VIR: Okay. So I'm
22 understanding you want me to describe the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure.

2 CO-CHAIR WEISS: Yes please.

3 DR. VIR: Okay. So this measure
4 is looking for the percentage of patients with
5 asthma who have a refill, at least one refill
6 for a short acting beta agonist in the past
7 year.

8 The spirit of this measure really
9 is to ensure that patients have access to at
10 least one rescue inhaler. We, I just want to
11 clarify from the get-go, in case it comes up,
12 the heart of this measure doesn't lie in
13 trying to delve into optimal control from a
14 long term care management with controller meds
15 et cetera.

16 It's really just looking to see
17 that they have as a practice at least one
18 rescue inhaler in case of an emergency.

19 CO-CHAIR WEISS: Excellent. Thank
20 you. From the committee, any general
21 questions you'd like to ask of the developer
22 before we get started? Otherwise we'll ask

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Rubin to start.

2 (No response.)

3 CO-CHAIR WEISS: No. Okay. So
4 let's go. Rubin.

5 MEMBER COHEN: Yes, I think I just
6 have to say one thing, because we had a lot of
7 problems with this over the phone call, so
8 just to repeat what the developer said, this
9 has nothing to do with asthma control. It's
10 not about inhaled corticosteroids.

11 It's really, do people who have
12 asthma have access to a short acting beta
13 agonist, because if that issue is not
14 understood by the committee, this is going to
15 fail on the first vote.

16 So, based on that, there were some
17 -- okay, so let's go step by step. We believe
18 that the impact was high. The performance gap
19 was scored by the developer as being 42
20 percent.

21 We had some issues with that
22 number, because the age group here, I believe,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was two to five and there was as a question on
2 how you would define asthma for those less
3 than five years of age, and also, what would
4 you do with people who have intermittent
5 asthma.

6 Their asthma may be under control,
7 they're not having any problems, so they would
8 not get a prescription, and that doesn't mean
9 that they don't have access to care, just
10 simply their asthma is well controlled.

11 The other issue was, I believe,
12 one of the brands, ProAir I believe it was,
13 has a shelf life of about two years, so a
14 person may get that and may keep it for two
15 years and not need to refill it but they still
16 have access to it.

17 Those were questions we raised
18 with the performance gap being 42 percent
19 because 42 percent sounded quite impressive
20 actually, but it was real when you take those
21 other things into account.

22 DR. VIR: Can I can address that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 concern?

2 MEMBER JEWELL: Before you do what
3 I'd like to do is just make sure that the
4 committee, the workgroup -- let me just take a
5 moment here. So in terms of impact, gap and
6 evidence, any more comments Rubin?

7 MEMBER COHEN: No.

8 CO-CHAIR WEISS: Okay, and so what
9 we're hearing principally in the workgroup was
10 the
11 concern, seeing the gap of 42 percent raised
12 the question of whether or not they are
13 capturing well all the use of medicine such as
14 people who have medicines that are long shelf
15 life and may use it that way, and then it
16 comes to mind as I'm thinking about that,
17 maybe samples would also be there as well.

18 MEMBER COHEN: That's true.

19 CO-CHAIR WEISS: And then the
20 other is that the denominator has cast it so
21 wide that there may be people who don't need
22 the medicine. They may actually not have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 asthma, they just were having a single
2 diagnosis or something. There might be
3 something with the denominator or the
4 numerator here, or the practice in the field
5 is way off at 40 percent.

6 And that was the workgroup. Is
7 that what the workgroup recalls, not recall?
8 I'm getting some nods affirmatively. Yes.

9 MEMBER GLOMB: One clarification
10 with that. I think you are sampling point is
11 well taken, particularly if it's not a
12 persistent asthmatic, you know, if we are
13 seeing that patient in the office and it's an
14 intermittent problem, we're going to sample --
15 they may get a prescription, so my question
16 was, does this count prescriptions written or
17 claims, because that patient may never again
18 in the course of the year, need to use
19 anything more than the sample that I've also
20 given them on the way out the door and that
21 might not be, you know, it might not be
22 filled.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: And part of that
2 is just because of that very broad definition
3 of asthma. It's basically --

4 MEMBER GLOMB: Right, overly broad
5 in this -- on this measure.

6 CO-CHAIR WEISS: Okay. So to our
7 measure developer, you've heard the
8 workgroup's thinking on this. Did you want to
9 respond with any more information?

10 I'm not sure -- do we still have
11 you on the phone? I'm not sure if we -- oh.
12 We changed.

13 MS. BOSSLEY: Yes, you've got the
14 other HB. Hi, I'm Heidi Bossley, I'm vice
15 president, performance measures. Bani are you
16 still on?

17 (No response.)

18 MS. BOSSLEY: I don't know,
19 operator, can you take -- see?

20 CO-CHAIR WEISS: While that's
21 going on, let me ask to the group as a whole
22 now, because I asked Rubin, we talked a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bit about what the workgroup thought. So what
2 are your thoughts on this issue of impact, gap
3 and evidence? Donald.

4 MEMBER YEALY: It looks to me like
5 it's based on prescriptions filled. It says
6 refill here. And I would have the exact
7 concerns, the absence of filling the
8 prescription doesn't mean the absence of good
9 care. It can be the absence of need also.

10 CO-CHAIR WEISS: Any other
11 thoughts from the committee on any of these
12 three issues?

13 (No response.)

14 CO-CHAIR WEISS: Well let's go and
15 vote then. 1a -- yes, do we know anything more
16 about the measure developers?

17 DR. WINKLER: No, I don't think so.

18 CO-CHAIR WEISS: Okay.

19 DR. WINKLER: Bani? Just let me
20 check one more time. Are any of the
21 developers from ActiveHealth on the line?

22 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. WINKLER: We could hear them --

2 CO-CHAIR WEISS: Okay, 1a, This
3 has to do with impact, so our perception of
4 impact of this measure, as a priority. Please
5 vote one through four, one through three high,
6 moderate, low, four being insufficient.

7 (Pause for voting.)

8 CO-CHAIR WEISS: There, we got a
9 full complement here. Nine say high, nine say
10 moderate, one say low and one say
11 insufficient.

12 Next would be the gap. So let's
13 vote on the gap, high, moderate, low, let's
14 have a -- yes, we have got to get to -- before
15 Jessica gives us that.

16 Okay, there's a performance gap
17 that suggests that there's a need for
18 improvement here, high, moderate, low,
19 insufficient evidence.

20 (Pause for voting.)

21 CO-CHAIR WEISS: Almost there. Or
22 is that -- maybe not. Let's make sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 everyone is voting. It has to do with
2 performance gap, high, moderate, low and
3 insufficient information. If you voted please
4 vote again, and again.

5 Coming from Chicago, this feels so
6 nice. If you don't want to vote you can give
7 me your votes and I'll vote for you. I think,
8 is that 19? Okay.

9 Everyone, let's point right to
10 Jessica and so just like -- oh there we go,
11 perfect. Okay. Three high, eight moderate,
12 two low and seven insufficient.

13 It just barely passes on that.
14 Yes. Okay, let's go and talk about the
15 evidence. Is there evidence that's associated
16 with the health outcome?

17 Yes. Yes. Yes, no, insufficient.

18 (Pause for voting.)

19 CO-CHAIR WEISS: Everyone vote
20 again please. I'm moving to the Chicago
21 suburbs. Oops, okay, so six says yes, one is
22 no and 13 insufficient which means we stop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here. And the feedback to the measure
2 developer is this concern of the denominator
3 being excessively wide, the numerator having
4 other mechanisms for people to either need or
5 not need medicines, or maybe have or not have
6 medicines, including sample and long shelf
7 life.

8 Okay. Very good. That means we
9 are up to the last measure before lunch, and
10 it's the measure that I had the pleasure of
11 reviewing.

12 So, do we have our colleagues from
13 Minnesota Community Measurement on board?

14 DR. WINKLER: Do we have the
15 operator? We don't.

16 MS. BOSSLEY: I've emailed the
17 developer to find out if we just lost them or
18 what happened, so we'll come back to that.

19 CO-CHAIR WEISS: Okay. Maybe
20 since we have just one measure, do we want to
21 just go to lunch 10 minutes --

22 Okay, and then we have the comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 period as well. Well, let me -- for at least
2 -- to describe the measure, let me do it, and
3 then what we can do is hopefully we'll have
4 the measure developers on to ask any detailed
5 questions on, and see if we can go that way.
6 It's kind of like going to the very beginning
7 of the meeting when we raised hands when we
8 couldn't have the electronics.

9 So, this is a measure of optimal
10 asthma care. It's unique among the measures
11 that we've looked at in the asthma group
12 because it's a composite measure, all or none,
13 yes, no.

14 In order to be all yes, one has to
15 be affirmative on all -- on three elements,
16 and those three elements include -- I want to
17 make sure I get this right here. What's the
18 best, let me just find the -- thank you.

19 So in order for that yes/no to be
20 a feature of the elements, one is it's well
21 controlled by the use of one of four asthma
22 control survey measures that are patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 surveys, and these surveys can be scored as in
2 control or not, or well controlled.

3 The second part of the score is
4 whether they have a risk of exacerbation as
5 measured by use of emergency department of
6 hospitalizations being greater than one.

7 And the third is that they have
8 had some evidence of an asthma education and
9 self-management with a written asthma action
10 plan that was created and reviewed during the
11 measurement period.

12 So this requires them going to
13 chart, it requires them doing a patient
14 survey, and also getting some level of
15 information that can either be automated in
16 terms of emergency department use, or
17 collected by chart as well.

18 The impact was the issue, was that
19 there was a sense of high degree of asthma
20 prevalence, hospitalization and the need for -
21 - emergency department use -- and the need for
22 measures that will comprehensively look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 asthma care.

2 The workgroup thought it was very
3 clear that there was impact for the need for
4 such a measure. In terms of performance gap,
5 what we have from the performance measurement
6 developer, was a very broad testing of the
7 measure as a composite, which showed a large
8 opportunity for improvement, and I don't have
9 the number in front of me, but I think it was
10 in the -- oh here it is.

11 Statewide adult average was 15.7
12 percent who actually achieved good control
13 based upon this measure, and that was in the
14 adult.

15 In the pediatric it was 24
16 percent. And in fact that number was raised
17 and I was the reviewer there, and I raised to
18 the group a concern that either the measure
19 characteristics are concerning, or the care of
20 asthma in Minnesota is very bad, because this
21 is a pretty big swath of Minnesota, primary
22 care docs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And so I raised the question, is
2 maybe that there's some over-specification of
3 the measure or some mis-classification,
4 something going on in the measure, not in the
5 are process, that that many primary care docs
6 in that many clinics, which is a pretty wide
7 swath of a sample, really a hefty and good
8 sample for this.

9 And actually that relates to some
10 of the comments they gave back to us which we
11 can reflect in a few minutes.

12 So the performance gap, to me, was
13 unclear because of this low number. It looked
14 just too big. The third part, which was the
15 scientific evidence, was of concern in the
16 following ways, and again I want to say that
17 as a reviewer, I was very supportive of this
18 idea because this was the way measures have to
19 go in my mind, which is this composite, not
20 looking at certain processes of care, but
21 actually looking at multiple processes and
22 eventually to outcomes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And as one looked at it, and
2 delved into it, you start to see the warts and
3 blemishes that are needed to be looked at, and
4 the principle one around the asthma control
5 survey was that this asthma control survey is
6 a survey that was developed and authenticated
7 by performance testing in asthma clinics of
8 allergists and -- which meant that these were
9 areas where you have individuals who probably
10 had a higher degree of severity of asthma and
11 also a relationship with their asthma that
12 probably made them good candidates for testing
13 of survey and repeated testing of surveys.

14 In that environment it's a very
15 good, reliable survey. So in one of those
16 three elements, the survey of asthma control
17 seemed to be good.

18 The difficulty is when you take it
19 to a broad population, with a lot of very mild
20 asthma in that population and in individuals
21 whose diagnosis of asthma may even be
22 relatively --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Alarm sounds)

2 CO-CHAIR WEISS: modest at best.
3 That was 15 minutes believe it or not. I
4 better cut down my talk. Oh actually no, that
5 was 15 minutes from the last one.

6 Oh well, okay good, so we haven't
7 started yet. We are all ready for my long
8 talk. No, just kidding. So that this asthma
9 control instrument may not be validated in the
10 population under study of a broad, pretty big
11 catchment diagnosis.

12 So that was the concern and also
13 getting that information back in at a high
14 rate would be problematic when you start
15 scaling this up.

16 And it turns out, on the feedback
17 they gave us some information on that and I'll
18 get to that in a second.

19 The emergency department and
20 hospital use didn't seem to be much of a
21 problem in terms of evidence. That seems to
22 be pretty strong.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And then this other, third issue,
2 the asthma management plan, seemed to be
3 strong in terms of the literature. However it
4 was vague to me in terms of how consistent
5 that -- of what this means to have a
6 management plan and education around it,
7 because that can have a huge degree of
8 variability, at least as they are defining it.

9 So I was uncertain in my mind as a
10 reviewer as to whether these elements of the
11 asthma control survey, which also had the
12 additional problem of an age gap and who could
13 be asked it, versus the parent asking it.

14 And then this other one about the
15 what the management plan should be and the
16 education plan leaving me with insufficient
17 sense of evidence there.

18 So I'll stop there in terms of my
19 interpretation. Let me ask the workgroup if
20 I've given a reflection of what we talked
21 about and what you all should like to say
22 about it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Brendle.

2 MEMBER GLOMB: Just a comment, I
3 think if the -- I doubt that the asthma care
4 in Minnesota is much different than anyplace
5 else, better or worse.

6 CO-CHAIR WEISS: So it's all bad
7 across the country, is what you're saying?

8 MEMBER GLOMB: Pardon me?

9 CO-CHAIR WEISS: So it's bad
10 across the country? Fifteen percent?

11 MEMBER GLOMB: Suboptimal.

12 CO-CHAIR WEISS: Suboptimal.

13 MEMBER GLOMB: I think the
14 elements that probably provided the biggest,
15 because this was an all or nothing, were
16 probably the asthma control test in a primary
17 care setting.

18 I think that that is a bridge too
19 far for some to make, given the duration of
20 time for scheduling the patients, and then not
21 justifying that philosophy. I'm just saying I
22 think that's why.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And then the other may probably
2 have to do with having all of those elements
3 within the action plan. I think it is unusual
4 to find an action plan from a primary care
5 setting, probably even from some specialists,
6 that includes both triggers and medication
7 effects in it.

8 I think the other two, absolutely,
9 but I think that would be unusual, and most
10 primary care folks are using a pre-packaged,
11 electronic medical record, asthma action plan
12 -- the ones that I've seen have never included
13 those elements -- and if not, they are
14 downloading one from source or they are using
15 the school's asthma action plan, and those
16 never include those additional elements.

17 So I think that this was -- this
18 is ambitious, I think was your word, David,
19 and I think that's probably where the gap
20 probably stems from.

21 CO-CHAIR WEISS: Peter and then
22 Chuck.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ALMENOFF: When we looked
2 at diabetes in our system, we actually do very
3 well, but when you try to create an all or
4 none model, and group five of them together,
5 we do miserably.

6 So you are describing the 10
7 percent. I wouldn't -- that doesn't really
8 surprise me, because you know, diabetes care,
9 we are in the 90s, but when you group five
10 together and it's all or none, we are in the
11 20s or 30s in our system. So this really
12 isn't a surprise. It's also very difficult.

13 CO-CHAIR WEISS: So it's that we
14 have to be mindful in a composite you get
15 combined probability, you know, point -- of
16 your -- if you have 0.1, which would be 90
17 percent times 0.1 times 0.1 you start getting
18 --

19 MEMBER ALMENOFF: And then the
20 other issue there are, you know, there are
21 four components some might be more -- three --
22 some might be more weighted than others, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 if it's an all or none and you do the one
2 that's really important but you -- you don't
3 do the one that probably isn't as important,
4 you wind up failing the measure and it's sort
5 of a -- it's a disincentive.

6 So just, it doesn't surprise me
7 that you know, the composite scores are so
8 low.

9 DR. WINKLER: I need to break in
10 just to say to anybody listening on the phone,
11 we realize we are having technical problems.
12 We can't hear you but we think you can hear
13 us.

14 So over lunch we are going to try
15 and fix all that and give the developers for
16 the last two measures an opportunity where
17 they haven't been. So just to pass that
18 message along.

19 CO-CHAIR WEISS: Okay. Great.
20 Chuck.

21 MEMBER STEMPLE: Thank you. I
22 thought in managed care, our risk for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exacerbation is not determined by a previous
2 ER visit, it's non-compliance with their meds,
3 and those people who actually had an ER visit
4 hospitalization, returned to the norm, and
5 they are less likely -- so you said there's a
6 lot of data to support that so I don't know
7 the validity of that data, but at least in my
8 world, we would not consider an ER visit or
9 admission a more specific risk for an
10 exacerbation as compared to someone who is
11 totally non-compliant with their medication.

12 So I don't know the data there.

13 CO-CHAIR WEISS: So let me help
14 you a little bit with the data, as I
15 understand it, which is the highest predictor
16 for a future emergency room visit or
17 hospitalization is a prior hospitalization or
18 emergency visit, and that's not strictly to
19 asthma. That's actually pretty much a
20 utilization thing.

21 And however, the corollary, which
22 is -- to that, and that is, is there a -- is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that true for most of the population? No,
2 since most of the population will not come
3 from that population.

4 So you have to be mindful that
5 that by itself is not an all-encompassing
6 predictor. It's a predictor for a sub-
7 population of higher utilizers.

8 So let's go to David and then --

9 MEMBER LANG: Yes, I was just
10 going to say briefly, because you touched on
11 the point I was going to make, and previously
12 we talked about components A and C, but not B,
13 and I think that's another factor in terms of
14 the combined probability of somebody kicking
15 out as not being well controlled.

16 I think this is an issue of using
17 a guideline definition according to the risk
18 domain of someone not being well controlled.
19 That is they have had more than one
20 exacerbation as reflected in emergency
21 department utilization, hospitalization.

22 But they you look at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 predictors, particularly as was mentioned by
2 my colleague up the table, who's name is
3 turned the other way so I can't see it, as we
4 all are.

5 But the issue is that the pool --
6 among the pool of patients who will be in the
7 emergency department hospital, as we all know,
8 for the next 12 months, many of them were not
9 in the emergency department of the hospital in
10 the previous 12 months, and those are -- those
11 patients may tend to be more well-behaved.

12 So I think this is a, this is an
13 issue of using a guidelines definition versus
14 epidemiologic studies that look at risk
15 factors.

16 So just as long as we are passing
17 along feedback to the measure developers,
18 that's something they might want to keep in
19 consideration.

20 CO-CHAIR WEISS: Peter and then --

21 MEMBER ALMENOFF: One other point
22 I forgot to make is it's also going to be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 significant public relation issue if we are
2 going to -- if we are going to say that we
3 have only 20 or 30 percent compliance with a
4 composite measure, which might not really be
5 reflective of what we are doing and then the
6 public will think we are doing a pretty bad
7 job when in fact maybe we are not.

8 So you know I'm just a little
9 concerned about all or none phenomena and
10 especially when they are not even weighted,
11 maybe giving the wrong message.

12 DR. VIR: Bani Vir from
13 ActiveHealth. We were just reconnected. Can
14 you all hear me?

15 CO-CHAIR WEISS: Yes. Okay so yes
16 we actually can --

17 DR. VIR: That's an ordeal we've
18 been through.

19 CO-CHAIR WEISS: Yes, we apologize
20 and we are welcoming you back. Also we would
21 like to know, do we have the folks from the --
22 from Minneapolis? No, sorry, from Minnesota?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And maybe from Minneapolis.

2 MS. PITZEN: This is Collette from
3 Minnesota Community Measurement, and we are
4 now back on the line, just this minute.

5 CO-CHAIR WEISS: Okay that sounds
6 great. Just before we go to you, we are in
7 the middle of a series of committee
8 discussions and we will come back in a second.

9 But Stephen

10 CO-CHAIR GROSSBART: Yes, I wanted
11 to comment and a couple of points have been
12 raised, and I'm echoing what Peter said. The
13 low percent performance among providers
14 reflects the nature of this all in one
15 measure.

16 And this is not an all in one
17 process measure, but it's got outcome -- it
18 includes outcomes. It actually is outcomes,
19 except for the written action plan.

20 So these numbers are not unique
21 and there are similar measures right now for
22 diabetes care, as Peter noted, there's also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the D5 and a cardiac care which is the C4.

2 They are outcome measures, and I
3 think the unintended consequences of providers
4 looking bad in the community, I think that's
5 not been an issue in markets where the
6 diabetes 5 have been adopted.

7 We have adopted them in
8 Cincinnati. They are used in Minnesota. And
9 they are driving improvement in care and these
10 are really things that patients care about and
11 they're -- some of them are tough, tough to
12 achieve, and but I think the philosophy of
13 these measures is very, is very important for
14 patients, and I'm sure that consumer groups
15 will echo that, although I don't think we have
16 a consumer representative on this committee.

17 CO-CHAIR WEISS: So, Christine and
18 then Rubin and then -- Christine, did you
19 raise your -- no. Okay. Don and then Dianne.
20 Okay. So Christine, did you raise your hand
21 for something?

22 MEMBER STEARNS: I was merely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 noting that there are representatives.

2 CO-CHAIR WEISS: Oh I'm sorry.

3 MEMBER STEARNS: Sorry.

4 CO-CHAIR WEISS: I apologize.

5 Okay great. Okay thanks. So then we'll go to
6 Rubin, Don and then yes.

7 MEMBER COHEN: I'm just wondering,
8 this asthma plan, is it standardized?
9 Everybody has the same plan, they check off
10 boxes? Or is it individualized to the clinic,
11 to the patient?

12 CO-CHAIR WEISS: Yes, so we now
13 have the measure developer on, so Rubin, if we
14 want to, do we, again, now we are talking
15 about the Minnesota measure, so the question
16 is, Rubin?

17 MEMBER COHEN: So, if you have the
18 asthma plan, is it -- you hand everybody the
19 same plan and they check off boxes, how it
20 suits the patient, or each clinic, each doctor
21 comes up with their own plan with the
22 individual patient?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Did you hear that
2 on the phone?

3 MS. PITZEN: Can you hear me okay?

4 CO-CHAIR WEISS: Yes, we can hear
5 you just fine. And the question is, is if you
6 can describe with a little more detail what it
7 means to successfully complete the component
8 of the asthma management plan.

9 MS. PITZEN: We are not requiring
10 a standard asthma plan to be used by all
11 clinics. We are requiring that the plans
12 contain written components.

13 And those components are
14 medications, dose and purpose, recognizing
15 what to do during an exacerbation, and
16 validation process against what was stated.

17 CO-CHAIR WEISS: Okay.

18 MEMBER GLOMB: What about the
19 triggers that's stated here in the definition
20 of that asthma action plan?

21 CO-CHAIR WEISS: And what about
22 the triggers in the action plan?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. PITZEN: There's the
2 expectation that those triggers be documented.

3 MEMBER GLOMB: Okay. So if they
4 are not, they would fail that measure?

5 MS. PITZEN: So if one of those
6 components is missing from that component,
7 then that piece fails and then the measure
8 would fail as well.

9 CO-CHAIR WEISS: Now, we asked
10 some of these questions about the survey and
11 survey response and you gave us the comments
12 back. We are going to scroll to that section
13 on our screen.

14 But did you want to talk a little
15 bit about those additional findings that you
16 had?

17 MS. PITZEN: Sure, and you know, I
18 -- we missed the full discussion so I don't
19 know if you wanted me to back up and describe
20 the measure to you.

21 CO-CHAIR WEISS: Well no, we have
22 gone through the -- in the absence of having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you here, as the primary reviewer being me, I
2 also happen to be co-chair, Kevin Weiss, I
3 walked them through that, and what you are
4 getting are specific questions where they may
5 have particular interests.

6 One of the issues of course was
7 trying to better understand this asthma
8 control questionnaire and because it was an
9 important piece of this, and you had -- the
10 working group asked for some more detail and
11 you had actually worked to get us that, so
12 could you talk a little bit about that?

13 MS. PITZEN: Happy to address.
14 This is a fairly new measure released --

15 MS. BOSSLEY: Collette are you on
16 speaker? Because if you are on speaker, you
17 are breaking up and it may be better if you
18 pick up the phone.

19 MS. PITZEN: Okay. Will I
20 disconnect? Can you hear me okay now?

21 MS. BOSSLEY: I think you're fine.
22 Go ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. PITZEN: Okay, so we are using
2 four validated asthma assessment tools and
3 part of the all or none composite is that if
4 that tool had not yet been implemented or used
5 for that patient, they were counted as a
6 numerator miss.

7 When I did an additional analysis,
8 and this was implemented statewide, so really
9 a really large population, when I looked at
10 just the patients who had all three components
11 as part of their medical record, then we were
12 at a 63 percent achieving the optimal asthma
13 care score, meaning they'd met all three
14 components of the measure.

15 So we are fully anticipating that
16 in our second year, second data cycle, that
17 those actual optimal care rates will increase
18 significantly.

19 If you can hear me, I can't hear
20 anything.

21 CO-CHAIR WEISS: No, we weren't
22 saying anything at that moment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. PITZEN: Okay.

2 CO-CHAIR WEISS: We're just, we're
3 soaking it in. Okay. Very good. So I think
4 we are about ready to look at impact and then,
5 Don before we do, we'll make sure you get a
6 chance to chat.

7 We're getting close to where we
8 can talk about impact, performance gap --

9 (Alarm sounds)

10 CO-CHAIR WEISS: So that's 15
11 minutes on this, and evidence. But let's do a
12 few more questions then we'll vote on that and
13 then we'll go to public comment, go to lunch
14 and finish the measure after lunch.

15 But Dianne.

16 MEMBER JEWELL: I'm sorry I don't
17 know the history of this measure. Were each
18 of these individual performing measures before
19 they were put into a composite? Were they
20 tested and worked well as individual
21 standalones, the three elements?

22 CO-CHAIR WEISS: Did you hear the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 question?

2 MS. PITZEN: We were not able to
3 hear the question.

4 CO-CHAIR WEISS: Okay, so I'll
5 repeat the question, and that is was there
6 testing on the measures individually before
7 they were put into composite?

8 Now this is more of a validity
9 question but as long as you are on the line
10 let's do that.

11 MS. PITZEN: Sure. The measures
12 were not tested individually per se before the
13 composite was developed. However we do have
14 the individual component -- that measure and
15 we also publicly report those pieces of --

16 CO-CHAIR WEISS: Is there -- and I
17 guess the question would be, is that there's
18 evidence for each of the three components, but
19 now the question is, would be, is the validity
20 of those, and what we are hearing is that you
21 are testing the validity of those as we, sort
22 of as -- concurrently.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. PITZEN: Right.

2 CO-CHAIR WEISS: Good.

3 MS. PITZEN: This is very similar
4 to our diabetes measure. Again, we are
5 looking at all of those -- opportunity and to
6 understand the measure better.

7 CO-CHAIR WEISS: Very good. Don.

8 MEMBER YEALY: So my concern had
9 to do with with the outcome measurement. We
10 are treating hospitalization and emergency
11 department visits as essentially equal
12 weights, and those are dramatically different
13 events.

14 So two of either one of those gets
15 you above a threshold or beneath one, and that
16 simply lacks face validity to me. I mean, I
17 think three ED visits in a year is a whole lot
18 different than three hospitalizations.

19 If that's the major outcome, then
20 they are not weighted at all, and I struggle
21 with that.

22 CO-CHAIR WEISS: So that's a good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comment. We are into validity again, which is
2 fine. So let's take a vote right now on the
3 first element of this, and then let's go into
4 public comment.

5 DR. WINKLER: I think we need to
6 finish the measure. I think it will be too
7 disruptive if we didn't.

8 CO-CHAIR WEISS: Then let's do the
9 -- let's see if we can finish the measure
10 then. Impact. So we want to look at the
11 impact of this measure, as it relates to, is
12 it an important specific national priority, or
13 data has demonstrated high impact on
14 healthcare improvement.

15 So one, two, three is high,
16 moderate low, and four is insufficient.

17 (Pause for voting)

18 CO-CHAIR WEISS: Thirteen say
19 high, six moderate, no low and one
20 insufficient. Let's go on. This is the gap,
21 that the data has demonstrated considerable
22 variation and less than optimal performance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Pause for voting)

2 CO-CHAIR WEISS: One, two, three
3 and then four is insufficient evidence. So we
4 have 11 high, 5 moderate, 3 low and 4
5 insufficient. This time is was 4
6 insufficient. Zero low, oh, back to that
7 again.

8 I was so excited about 4
9 insufficient that I -- next. Okay. And then
10 evidence. Is there enough evidence to support
11 the measure, yes, no or insufficient evidence.

12 (Pause for voting)

13 CO-CHAIR WEISS: Again, remember
14 to press send. Almost there. There we go.
15 Oops. Okay. So 5 say yes there is evidence,
16 sufficient, 1 says no and 13 says insufficient
17 evidence.

18 So to our colleagues in Minnesota,
19 what we are hearing is at this point we cannot
20 move the measure forward because of the NQF
21 rules, because of this being a critical
22 element.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 What we have had is a lot of
2 discussion, some of which you were able to
3 participate in, some of which not. I think
4 it's all recorded so there will be a way for
5 you to understand what happened.

6 What you heard was a lot of
7 interest in this measure, and a lot of support
8 for the concept of a composite measure, and a
9 lot of questions, a lot and a lot of
10 questions.

11 And those questions, I think you
12 are on the way to answering and gaining some
13 of the evidence that will actually allow this
14 committee over time to be real supportive of
15 the direction you are going.

16 But at the current time, I think
17 we are just left, from a committee vote, of
18 saying we're not -- it's not yet ready to move
19 forward on an evidence base.

20 Is that -- do I have that in terms
21 of gestalting, what I heard from the
22 committee? I'm seeing some yeses on this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 side. I'm hearing, seeing some yeses on that
2 side.

3 Okay. Good. Thank you so much
4 for joining us. Okay good.

5 MS. PITZEN: This is Collette. I
6 just have a question. We did miss entirely
7 that whole discussion of the evidence, do if
8 we could get reporting or some minutes or
9 something so that we would have some
10 direction.

11 DR. WINKLER: Collette this is
12 Reva. We will have the transcript for you
13 next week, and we can show you that, that's
14 not a problem.

15 MS. PITZEN: Great. Thank you
16 very much.

17 CO-CHAIR WEISS: Thank you.

18 DR. VIR: This is Dr. Bani Vir
19 here again from ActiveHealth. You know, we
20 have been waiting for quite a while to defend
21 our measure, and because of the technical
22 difficulties today, we understand that you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -- that you -- the plan right now is to break
2 for lunch.

3 But we have had, you know, we have
4 a group of clinicians here who have taken time
5 out of their busy schedules to be at this
6 meeting, and we were anticipating completing
7 this measure by 1 p.m.

8 We would really appreciate it to
9 have the opportunity to defend the measure
10 now, before you took your break, to allow our
11 clinicians to also return to their days.

12 CO-CHAIR WEISS: You anticipated
13 us by about 15, 20 seconds. So we recognize
14 that the group here is ready for lunch,
15 however we also do respect the fact that we
16 have had some technical difficulties.

17 So I was going to ask the group
18 just for a moment, would it be okay, for the
19 group to do a short but definitive revisit of
20 our -- of the measure that was presented to us
21 by ActiveHealth, just so that they know what
22 happened in terms of our thinking and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 give them a chance to comment and see if that
2 would lead to any additional discussion on our
3 end? Are we coercing you all into holding off
4 for food for a few minutes? Let's do it.
5 Okay good.

6 So why don't we start by just
7 making sure that we hear the measure as you
8 would like us to have heard it. So if you can
9 give us like a one minute to two minute look
10 at your measure as you see it, and then we
11 will give a sense of how we have seen it to
12 this point and then the issues that we have
13 raised and give you a chance to talk about
14 those.

15 DR. VIR: Sure, so as I mentioned
16 earlier, the measure is really looking for the
17 percentage of people who have had access to at
18 least one rescue inhaler in the past 12
19 months.

20 Again, the spirit of this measure
21 is not to look -- is not to delve into long
22 term control, appropriate use of appropriate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 controller medications. It's really to have a
2 rescue inhaler available for emergency use.

3 And I understand that there were
4 some concerns regarding things like capturing
5 the appropriate population, as well as ample
6 use and some other issues.

7 So just to clarify some of those
8 issues, first of all, in regards to capturing
9 the appropriate population, we take great care
10 to make sure that this measure is highly
11 specific. Our denominator doesn't just look
12 for an asthma diagnosis any time in the past.

13 We look specifically in the past
14 year for multiple diagnoses overlapping with
15 office visits, overlapping with asthma
16 medications that are not short term, that are
17 not rescue inhalers to confirm that the
18 patient is truly asthmatic.

19 Also a lot of our patient and
20 provider feedback is telling us that the
21 patient truly doesn't have asthma, and if they
22 do give us that feedback, we pull them out of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the denominator --

2 When it comes to samples, we allow
3 for patients and providers to also tell us
4 that they have given the patient samples. The
5 shelf life for the medication is addressed.

6 We understand that the shelf life
7 of albuterol is two years. I know there was
8 some concern about that. However, we have no
9 way of knowing when the medication was
10 dispensed from the time it has arrived on the
11 pharmacist's shelf to when the patient
12 actually received it. We don't know what that
13 time gap is.

14 So we have to -- we decided as a
15 collective team to look back one year because
16 the efficacy, as you all know, of albuterol,
17 decreases over time, and we didn't want to
18 admit people and in term have our measure, you
19 know, give it erroneous results that could
20 allow for increased hospitalizations and ER
21 use.

22 CO-CHAIR WEISS: Thank you so much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for that input as well as a particular focus,
2 because you did touch on some of the concern
3 of the committee.

4 I don't know, Rubin, I am going to
5 ask you if you are -- this is kind of your
6 heads up moment -- did you want to reflect on
7 how you heard the committee's response to the
8 review of the workgroup or your thoughts on
9 this measure, or do you want me to go ahead?

10 I thought you would say that.
11 Good. Okay. So the committee, in thinking
12 through this measure, heard the concerns, the
13 principle concerns about the potential for
14 mis-classification, at least that's the way
15 that I would phrase it, in the -- and because
16 of that, whether the gap was quite what was we
17 were seeing.

18 So when we saw the gap that was
19 presented to us, we said that seemed to be
20 awfully big, and it opened up the big question
21 about, about the measure specification in
22 terms of these issues that you've raised, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the evidence supporting that just having a
2 dispensing is enough to get us there.

3 The -- as we went further, looking
4 at the gap, we were really unclear about
5 whether we believe the gap that we were
6 seeing, because of the fact of the shelf life,
7 and because of the uncertainty about the
8 sampling, the sample process, as well as the
9 denominator being such a broad net for asthma,
10 that in fact it may not require a dispensing
11 in all cases.

12 Again, a lot of uncertainty there.
13 So without a real good sense of certainty of
14 the measure, in terms of validity, we didn't
15 know that we had good information on the gap,
16 and so it did, on voting, it came up as having
17 a high degree of committee members who were
18 feeling like they had insufficient information
19 to make a decision on this measure right now.

20 What I sense that the committee
21 would like, and we didn't talk about this
22 formally, would be, is if one was to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this measure again in the future, would be to
2 really understand the nature of that 40 or so
3 percent -- actually almost 50 percent who are
4 not getting these inhalers, and wondering, are
5 they really the people who should have been
6 getting them that were not, or were they
7 people who had them on the shelf and were not,
8 and to sample into that population so that we
9 really understood that there was a performance
10 gap that had to be fixed.

11 Once that was done, that would
12 also probably clarify a lot of the validity
13 issues that would come later in a discussion
14 that the committee did not have because of the
15 gap was where we stopped.

16 Do I have that correct? I have --

17 DR. VIR: I'm a little concerned
18 about --

19 DR. WINKLER: Bani, hold on a sec.
20 I'm going to take over for Kevin while he --

21 DR. VIR: Can I say something?

22 CO-CHAIR WEISS: Oh excuse me one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 second. Reva would like to add another
2 additional comment on reflection of the
3 discussion.

4 DR. WINKLER: Yes, Kevin is
5 coughing and drinking water to clear it up.
6 Just in terms of the gap, there were a
7 significant number of folks who had concerns
8 about having insufficient information to
9 really understand that number.

10 However, the real I think telling
11 point was under the evidence criteria. The
12 concerns were registered about the overly
13 large denominator and that the construct
14 around the idea that the absence of a
15 medication dispensed may in fact not represent
16 a need for that medication, and the evidence
17 that supports that is not clear.

18 And within your submission, you do
19 note that there are no major studies formally
20 assessing the absence of rescue therapy in
21 asthmatics and there's very little published
22 data regarding asthmatics in the presence of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 short acting beta agonist to prevent asthma
2 attacks.

3 So when it came down to the vote
4 for evidence, the majority of the committee
5 voted that it was insufficient to support the
6 focus of the measure. So that's just a
7 summary of what happened.

8 DR. VIR: Okay. So I'd like to
9 express a concern that I have because it seems
10 that you all have voted when we were obviously
11 off the phone, and we weren't connected, and
12 you came to a conclusion without hearing our
13 explanation and made that vote without --
14 before hearing the explanation.

15 If you'll let us clarify the --
16 how specific denominator is, and it's quite
17 specific. I mean you would be hard-pressed to
18 say that the people we are capturing are not
19 true asthmatics.

20 I think I explained already that
21 we are looking not just for a diagnosis, we
22 are looking for diagnoses overlapping with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 office visits with the same diagnosis code
2 overlapping with asthma medications that are
3 not short acting but long acting asthma
4 medications, as well as provider and patient
5 feedback.

6 I don't know how much more
7 accurate you can be in identifying a true
8 asthmatic, and we only look back in the past
9 year to prevent that sort of diagnosis carried
10 forward from an old chart or from two or three
11 years ago.

12 Additionally, the gap that you are
13 talking about, you know, we take in data from
14 every possible source that there is, whether
15 it's a health information exchange, pharmacy
16 data, administrative claims, patient, provider
17 feedback. We have patients talking to our
18 nurses through telephonic engagement and
19 disease management programs. Providers tell
20 us whether or not they have -- we have got the
21 diagnosis correct.

22 So to say that 42 percent is not a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 true gap, I mean, we are talking about
2 electronic measures here and we are obviously
3 limited to what is captured electronically for
4 the for -- other developers are limited to
5 that.

6 However we take it a step further,
7 actually many steps further, and allow for
8 feedback to be given to us and entered
9 manually.

10 So I'm not sure what -- where the
11 concern is here. It's really not clear tome.
12 As far as the literature piece goes, I think
13 that it would be highly unethical to actually
14 conduct a study where you withhold short
15 acting beta agonists and those studies would
16 be hard to find.

17 I'm going to also defer to our
18 subject matter expert, Dr. Sharma, just on the
19 literature piece and you know, a deeper guide.

20 DR. SHARMA: Yes, I mean my only
21 comment would be that you know, it's very
22 difficult to find studies on the literature

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that are looking at what's basically the
2 cornerstone of asthma therapy.

3 Now, given that, I mean, we have -
4 - this is a sample set of like 385,000 members
5 with age ranges between 12 and 77. Now,
6 looking to Dr. Bani Vir's point, looking at
7 the fact that we are being that specific, so
8 that the asthma code overlapping with office
9 visits, plus some months of medication.

10 And with that said, I think that's
11 fairly sensitive and specific, the
12 denominator, to Dr. Bani Vir's point, is we
13 are taking patient and provider feedback that
14 would remove you from the denominator, and the
15 fact that we are finding a gap, to me, isn't
16 actually surprising, because we, you know, I'm
17 in internal medicine and when we treat
18 asthmatic patients, you are more worried about
19 the problem of inhaled corticosteroid or long
20 acting beta agonist and that sort of short
21 acting rescue therapy sometimes falls through
22 the cracks, and that's exactly what we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 seeing.

2 With that said, we are looking
3 back a year, we stopped like maybe two, to Dr.
4 Bani Vir's point, we don't know when the time
5 period was from when it was made at the
6 factory to the pharmacy and got to the
7 patient.

8 And so I think there is here,
9 there is a true gap here, I mean, 52 percent
10 compliance, which is surprising because no one
11 has looked at it, and we have actually taken
12 the time to look at the fact that are there
13 members in the population, or patients in the
14 population, that are not -- do not have access
15 to short acting beta agonist therapy in the
16 past year.

17 And I think we should actually
18 approve this measure and we can show followup
19 data next year to show that this is in fact
20 true.

21 CO-CHAIR WEISS: So thank you.
22 That's been very helpful. I must dust off a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 little bit of my knowledge of the asthma
2 literature, and I know we have got people here
3 who probably are contemporary with it more
4 than I am, but I think there's a number of
5 studies from emergency rooms who have done
6 intake audits about what medicines people are
7 on, and I don't know that there's an absence
8 of -- at least unless it's a newly-diagnosed
9 asthma coming into the emergency room -- for
10 people who have asthma, that they actually
11 come in with beta agonists. The big problem
12 is that they are not coming in with long --
13 with anti-inflammatories into the emergency
14 room, not with the beta agonist.

15 So I think it would be nice for
16 you to pull that literature and make sure that
17 that's consistent with what your findings are.

18 But that aside, I think it's
19 really good what you have given us a chance
20 to present -- what I'd like to do as a
21 committee is to see whether or not you would
22 like to reconsider our action.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I think it's a very fair question
2 being that we had not had the very good input
3 that we just got from the measure developer.

4 So if you are interested in
5 opening up the measure for re-discussion, we
6 should do that, and let me get a sense of the
7 table as to where you would like to go with
8 this.

9 So what I'm going to do is just
10 ask for a yes, no, and that is the yes would
11 be yes, we will open up the measure for re-
12 discussion based upon what we have heard. No
13 would be is you feel like that although it's
14 been helpful to hear this, that we don't feel
15 like we want to open up the measure again for
16 our discussion at this point in time.

17 Yes or no, if that's okay. Does
18 that work from a staff perspective? Are we
19 okay to do that? Okay great. So let's see
20 how many people would like us to reopen the
21 measure, please raise your hand.

22 (Show of hands)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Okay, and how
2 many would like us to, at this time don't feel
3 the need to open it so no. Raise your hands.

4 (Show of hands)

5 CO-CHAIR WEISS: So we have -- so
6 for you on the phone, it's just -- we only had
7 one member who was feeling the need to reopen
8 the measure. The rest, 18, next to me, 19, I
9 don't know what your -- overwhelmingly in
10 favor at least right now, of staying where we
11 were.

12 But we want to thank you for
13 holding on with us and coming back and taking
14 some time out and presenting.

15 DR. SHARMA: I'm sorry, this is
16 Dr. Sharma. Just one more comment. You know,
17 you're looking at -- so the comment about
18 everyone in the ER having a short acting beta
19 agonist. So that's sort of after the point,
20 right?

21 I mean they're already in the
22 emergency room. But what we are finding are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people that have not been in the emergency
2 room yet, so you are looking at a subset that
3 is not being well controlled, that may have
4 the short acting beta agonist. We are talking
5 about being more preventive, preemptive, to
6 say let's try to prevent that ER visit because
7 we don't see any claims evidence for the short
8 acting beta agonist.

9 So I mean, I hear the comments
10 about people, asthmatics in the ER having a
11 short acting beta agonist. We are identifying
12 people that don't even have a refill within a
13 year, for a short acting beta agonist.

14 So I mean, I do with all due
15 respect actually disagree with the committee.
16 I think, you know, we are missing on a very
17 cheap point, if we are looking at outcomes and
18 trying to prevent ER visits and
19 hospitalizations and keep costs down, and we
20 are assuming that every asthmatic has a rescue
21 inhaler therapy, and we are telling you, given
22 our data set, you don't even see it in 48

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent of the population.

2 With that said, you know, I, you
3 know, I will, you know -- I will agree with
4 what the committee says, but I think that
5 we're making a very poor choice here in not
6 approving this measure, because we are
7 identifying people that don't have a short
8 acting beta agonist therapy.

9 CO-CHAIR WEISS: Well received,
10 there is an opportunity for comment back to
11 the committee, and I think the other important
12 point is, is that the issue was -- that you
13 heard from the committee was insufficient
14 evidence on these issues.

15 So as you collect more evidence
16 that support your measure, it will add weight
17 to a reconsideration at some point in the
18 future I would think.

19 But for the time being, it was not
20 a no because we didn't agree. It was a no
21 because we didn't feel we had enough
22 information based upon the concerns, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think you should take that as a formative bit
2 of feedback that was positive from the
3 committee.

4 But we have to close this
5 discussion because I know we have to go to
6 public comment.

7 So I want to thank you very much
8 for your input and I think we need to now move
9 into public comment mode. Anyone in the room,
10 away from the table who are public here, want
11 to make comments?

12 (No response)

13 DR. WINKLER: Anybody else on the
14 phone?

15 OPERATOR: For public comment over
16 the phone, please press *1.

17 (No response)

18 CO-CHAIR WEISS: Thank you all for
19 -- on the telephone for your participation for
20 the committee. We have lunch. Can we
21 compress it maybe about 10 minutes and maybe
22 aim for a 20-minute lunch, and if you want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 come back to the table with food so that we
2 can eat a little bit as we start up, that
3 would allow us to continue and a quasi-working
4 lunch.

5 So about 20 minutes from now,
6 we'll start back up and welcome you to the
7 table with food.

8 DR. WINKLER: Restart at 1:15.

9 (Whereupon, the proceedings in the foregoing
10 matter went into lunch recess at
11 12:56 p.m. and resumed at 1:20
12 p.m.)

13

14

15

16

17

18

19

20

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

(1:20 p.m.)

We have -- we are shifting from asthma to pneumonia, and no, we are -- before we do pneumonia we have a couple actually of measures in before.

MEMBER KAZEROONI: Yes, I'm on the phone. Thank you.

MEMBER KAZEROONI: I'm just joining.

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR WEISS: Okay very good.
2 So first, welcome, what you have got is Kevin
3 Weiss who is one of the co-chairs and --

4 CO-CHAIR GROSSBART: Steve
5 Grossbart is another co-chair.

6 CO-CHAIR WEISS: And we have the -
7 - both staff here and about, I'd say, 16 of us
8 around the table, plus or minus a few, and
9 then we have some other folks here who have
10 joined us in person as part of a more general
11 public interest.

12 And what we are going to do is we
13 are going to walk through the measure. The
14 way we manage these is first to do an
15 overview, and ask our measure developers, if
16 they are with us, to give us a one or two
17 minute, and then we'll ask you, Dr. Kazerooni,
18 to give us in -- your review in sections.

19 And the first section we'll ask
20 you to give on, has to do with the importance,
21 the performance gap and the evidence. So
22 we'll take those three items together, and if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you can sort of put your mind around those,
2 that's where we'll be beginning.

3 So do we have the measure
4 developers with us? I know CMS is the
5 official measure host, but do we have a
6 contractor with us?

7 RICH MAY: Rich May here.

8 CO-CHAIR WEISS: Rich? Do I have
9 that right? Rich, are you there? Oh, which
10 measure, sorry. 0513, thorax CT, use of
11 contrast material.

12 RICH MAY: I can't speak to that
13 one.

14 CO-CHAIR WEISS: Next okay, well
15 then I guess Dr. Kazerooni then, would you be
16 willing to give us a general overview, us
17 being our committee?

18 MEMBER KAZEROONI: Certainly the
19 measure regarding thoracic CT and the use of
20 contrast material is something that has been
21 the subject of a lot of discussion in the
22 radiology community and the appropriateness --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 committees that I work on through the American
2 College of Radiology.

3 We are revising most of our
4 published criteria to now specifically state
5 not just CT -- but whether it's with, with and
6 without, or without contrast, and there is
7 almost no circumstance under which we are
8 recommending with and without contrast, so I
9 think this is a very appropriate measure.

10 CO-CHAIR WEISS: Can you give us a
11 view of the measure itself?

12 MEMBER KAZEROONI: Just a review
13 of what the measure itself is?

14 CO-CHAIR WEISS: Yes, as part of
15 the general introduction.

16 MEMBER KAZEROONI: Okay. CTs of
17 the chest are very -- very infrequently would
18 require them to be performed both with and
19 without contrast, and the measure has
20 basically used the total number of CT studies
21 performed as the denominator, and the
22 numerator to be CTs of the chest with and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 without contrast.

2 This percentage would be a very
3 low number in most practices who are
4 performing appropriate CT imaging.

5 CO-CHAIR WEISS: Great. And with
6 that as background, what we'd like to do now
7 is start talking about the impact, the
8 performance gap and the evidence. So can you
9 review that both from your perspective as the
10 reviewer, and then we'll ask the working group
11 for additional comments to follow?

12 MEMBER KAZEROONI: I would say
13 that there is definitely a range of
14 performance if we were to apply this currently
15 to practices today. The reporting of this
16 measure already on a publicly available
17 website has already had impact in reducing the
18 frequency with which these combined contrast
19 and non-contrast studies are performed.

20 I believe many people did not --
21 basically have not simply gone to the point of
22 reviewing their protocols and the documents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reflect -- fitness, and we have seen very
2 quick changes in practices once they have seen
3 a Hospital Compare of their performance
4 metrics relative to peers.

5 So I think there is a large gap in
6 practice with respect to adherence to this. I
7 think there's the potential to have a large
8 impact and I think it should happen fairly
9 quickly.

10 CO-CHAIR WEISS: So, in terms of
11 the performance gap, what do we know about the
12 performance gap, and I think we have a -- Reva
13 was telling me we've got a screenshot of
14 Hospital Compare.

15 DR. WINKLER: This measure is
16 reported on Hospital Compare for hospital
17 outpatient imaging facilities and the national
18 average is 0.05 and -- oh shoot it's too small
19 -- it's the third of the measures they grouped
20 together so the chest thorax is the third one.
21 You can see that the national average is 0.05.
22 And then I picked three random hospitals in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the local area, and it really does range from
2 0.01 to 0.05, so there is variation.

3 And so I'm in -- I believe, I
4 believe, interpreting the way they say that it
5 will be five percent, or one percent, or --
6 and I don't know why it's portrayed as the
7 decimal as opposed to some of the others,
8 which are the percent.

9 CO-CHAIR WEISS: So we're seeing
10 between a one and five percent variability.
11 And do we know anything about trending in this
12 -- for this measure?

13 MEMBER KAZEROONI: I don't have
14 any formal information about trending. All I
15 can say is what I'm aware of in individual
16 practice circumstances, where as soon as they
17 have seen their information on Hospital
18 Compare, they have immediately addressed it in
19 their practices as being outliers.

20 I think most of them are not even
21 aware of this.

22 CO-CHAIR WEISS: And to those of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 us who don't know this area well, when you say
2 address it, how far off will they be from this
3 one to five percent that they would come into
4 line, would you think?

5 MEMBER KAZEROONI: Places that
6 have come into line, where we would consider
7 it to the level of appropriateness, well under
8 one percent of chest CTs should be performed
9 in this manner.

10 CO-CHAIR WEISS: Under one percent
11 is what we heard. Okay.

12 MEMBER KAZEROONI: Pardon me, can
13 you repeat that question?

14 CO-CHAIR WEISS: That's Peter.
15 You're -- Peter you need to make sure that
16 your -- there you go.

17 MEMBER ALMENOFF: I was just
18 trying to figure out what the percent is. Is
19 it five percent right now?

20 DR. WINKLER: Dr. Kazerooni on that
21 Hospital Compare, the reported national
22 average is 0.052. Are we interpreting that as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 5.2 percent? Is that correct?

2 MEMBER KAZEROONI: That's my
3 understanding.

4 MEMBER EDELMAN: I'm sorry. I'm
5 not understanding what the measure is. The
6 visual says combination scan. Is that two
7 scans, a plain scan followed by a contrast
8 scan?

9 CO-CHAIR WEISS: Yes.

10 MEMBER EDELMAN: So this does not
11 include a planned CT with contrast?

12 CO-CHAIR WEISS: No.

13 MEMBER EDELMAN: This is only for
14 that practice of a plain scan followed by a
15 contrast scan? Thank you.

16 MEMBER KAZEROONI: Yes, but
17 there's a specific CPT code for chest CT with
18 and without contrast. There's one for with
19 alone. There's one for without alone. And
20 it's that combined with and without contrast
21 in the same setting that is really
22 inappropriate in almost all -- in all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 circumstances.

2 There are other chest CT billing
3 codes to be aware of that are CT angiographic
4 codes. They are CTA codes. Those include
5 with and without contrast as part of an
6 angiographic study, and one of the things that
7 we have seen is that people are miscoding some
8 of their exams and should be using a CTA code
9 instead of using chest with and without codes.

10 MEMBER EDELMAN: So this does not
11 include the CTA?

12 MEMBER KAZEROONI: This is not --
13 this measure does not include CTA at all,
14 because they are separate codes. And those
15 codes include with and without within them.

16 CO-CHAIR WEISS: Mitchell?

17 MEMBER LEVY: So if I understand
18 correctly we are looking at a negative
19 performance metric, where we are measuring the
20 amount of time this is being done
21 inappropriately? It's unusual.

22 MEMBER RHEW: You had mentioned

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, first off, it should never be done, but
2 then you said, well, it should usually never
3 be done. But I just want to clarify. Is
4 there ever a circumstance that you would ever
5 give with and without, you know, for any --
6 I'm just trying to figure out, is there
7 anything that goes into the exclusion
8 category?

9 MEMBER KAZEROONI: There are some
10 very narrow indications for performing a CT
11 with and without contrast in the same setting.
12 These are things such as CT tumor perfusion
13 studies which are performed in very high
14 academic medical centers, doing things like
15 radiofrequency and cryoablations for lung
16 cancer, and are not really mainstream
17 practice.

18 So most practices should almost
19 never be billing this code.

20 MEMBER ALMENOFF: So does Medicare
21 pay for this code right now? Does Medicare
22 pay for this code right now?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER KAZEROONI: You are
2 breaking up, can you repeat the comment?

3 MEMBER ALMENOFF: I was asking if
4 Medicare is paying for this code right now.

5 MEMBER KAZEROONI: I got the last
6 part. What was the first part of what you
7 said?

8 MEMBER ALMENOFF: Is Medicare
9 paying for this code, this before and after
10 code right now?

11 MEMBER KAZEROONI: Yes they are.

12 MEMBER ALMENOFF: And if it's not
13 practice or it shouldn't be done, why would
14 you even fund that code? I mean it's all
15 about money --

16 MEMBER KAZEROONI: There may be
17 narrow circumstances in which it may be
18 appropriate.

19 MEMBER ALMENOFF: So wouldn't
20 there be like an exception rule where you can
21 ask for some additional resources but I mean,
22 wouldn't that be an easy way to just eliminate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this by stop paying for it, and stop using a
2 performance measure to do this?

3 MEMBER KAZEROONI: I think there
4 still is the need for this code because of
5 some of the narrow clinical circumstances
6 under which it is performed, usually related
7 to tumor imaging.

8 But it is a very narrow, clinical
9 indication to do this. So it's not zero, but
10 it's very small.

11 CO-CHAIR WEISS: So, Norman?

12 MEMBER EDELMAN: I understand the
13 economic interest but I don't understand the
14 health outcome. I mean my guess is, certainly
15 knowing the radiologists I know, that all you
16 do is take that five percent and convert them
17 all to contrast studies.

18 So what is the evidence, even
19 theoretically that this is going to have any
20 impact on health outcome?

21 MEMBER KAZEROONI: If they're
22 actually performing a CT study with and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 without contrast, then they are exposing the
2 patient one, to unnecessary radiation, and
3 that has its potential downstream consequences
4 in exposure to radiation, with the possibility
5 of increased risk of cancer, so that's a very
6 real health outcome, hard to track in an
7 individual patient but believed to exist on a
8 population basis.

9 There are some of these cases that
10 do not require contrast and I really don't
11 believe they would all be converted to de
12 facto with contrast examinations.

13 Some of these would become without
14 contrast examinations. Some would become with
15 contrast. And some would actually become CT
16 angiographic codes.

17 MEMBER EDELMAN: I believe most of
18 them would become with contrast studies. I'm
19 not sure you are going to get the outcome you
20 want.

21 CO-CHAIR WEISS: As I imagine that
22 hasn't been looked at directly with those who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have improved this negative measure to see
2 what's happening to these folks but that would
3 be of interest.

4 Let's be mindful. I think we have
5 had a good discussion on impact and gap, and
6 on evidence. Mitchell?

7 MEMBER LEVY: I agree, but I think
8 we need clarification about this 0.052,
9 because it also could be less than one
10 percent. So that's important. If it really
11 is 5.2 percent, that's very different than
12 0.052.

13 CO-CHAIR GROSSBART: I just pulled
14 up Hospital Compare while we are talking.
15 It's measured on a zero to one range. So 0.05
16 would be five percent.

17 CO-CHAIR WEISS: So, in terms of
18 impact, it doesn't seem like it's a lot of
19 people, but it is potentially related to a
20 theoretical outcome, and I guess there is, for
21 those who would be not necessarily getting
22 contrast, that are getting it now, there's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 theoretical possibility of dye reaction or
2 some -- I mean, but these are small numbers in
3 terms of impact in that sense, not necessarily
4 because of the scale of how many people are
5 getting them.

6 MEMBER KAZEROONI: So I guess I
7 would look at the outcomes as being reduction
8 in radiation exposure, a small reduction in
9 contrast dosage administration, and then a
10 reduction in charges and cost.

11 CO-CHAIR WEISS: Okay. Very good.
12 So let's now go to voting. Is everyone okay
13 to go to voting? Okay? Oh, and just a note,
14 I've -- sorry, but I lost my process -- did
15 everyone in the workgroup get a chance to
16 speak to what they thought about this?

17 (No response)

18 CO-CHAIR WEISS: Okay. And then
19 we had the committee as a whole. Let's go for
20 a vote. Impact, high, moderate, low and four
21 is insufficient.

22 (Pause for voting)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. WINKLER: Dr. Kazerooni how
2 would you vote on the rating for impact, high,
3 moderate, low, insufficient?

4 MEMBER KAZEROONI: I would vote
5 for moderate but I don't yet know how to
6 triangulate my response to how other measures
7 are reported. But I might as well just start.

8 DR. WINKLER: Okay.

9 CO-CHAIR WEISS: Make sure you're
10 pressing the button. There we go. We got 20
11 here plus Dr. Kazerooni. Okay, so 3 said
12 high, I'm going to make it 11 moderate because
13 of Dr. Kazerooni, and then 7 low and none
14 insufficient.

15 Good. Next. This is the gap
16 question, and let's vote one, two, three,
17 high, moderate, low and then four would be
18 insufficient.

19 (Pause for voting)

20 MEMBER KAZEROONI: Moderate.

21 CO-CHAIR WEISS: Okay. All the
22 numbers coming in? Let's give it another hit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of the number everybody. Okay. So let's do
2 it again with everybody pointing to Jessica.

3 Okay, let's turn it around three
4 times, again. One more time, again, you just
5 have to try and get -- one of these may have
6 just a bad battery or maybe something going
7 on. We had it just a moment ago. For the --
8 we had a vote just a moment ago with 20, so
9 we're all here. It's hanging on there, well,
10 it's going to show us anyway with 19, right,
11 because it timed out. Okay that's fine.

12 So, 3 high -- that's 20. So, 3
13 high, we're going to make it 11 moderate, 7
14 low and no insufficient. So it passes all
15 three characteristics.

16 No, sorry, two of the three.
17 Evidence. Is there sufficient evidence? Yes,
18 no, or insufficient evidence.

19 Yes, no.

20 MEMBER KAZEROONI: Yes.

21 CO-CHAIR WEISS: Okay, and you're
22 saying yes, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Pause for voting)

2 CO-CHAIR WEISS: There it goes.
3 Okay. So, 16 yes, 1 no and 4 insufficient.
4 So it passes this -- we go into reliability
5 and validity. So if you could now present to
6 us your thoughts on reliability and validity
7 on the measure?

8 MEMBER KAZEROONI: Could you give
9 me a little background on how you usually
10 describe this?

11 DR. WINKLER: Essentially the
12 measure evaluation criteria is that the
13 measure has had testing of it, either at the
14 level of the data element or at the level of
15 the measure score, or optimally, both, to
16 determine whether the elements or the results
17 are reproducible and reliable.

18 Validity on the other hand is,
19 given the result that's generated from the
20 measure, do -- is it an accurate reflection of
21 quality that can be demonstrated through
22 empiric testing or commonly will see face

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 validity.

2 MEMBER KAZEROONI: Well, I guess
3 reading from the documents that have been
4 circulated about this measure, this is
5 believed to be reliably reported and the dry
6 run that is described for Medicare at over
7 3,000 hospitals have downloaded their specific
8 information; during the dry run processing,
9 note that over 500 emails were submitted with
10 questions about this particular efficiency
11 measure, and there are very few comments that
12 were received about the chest CT one
13 specifically.

14 Their conclusion was that the low
15 level of inquiries about the specification of
16 this measure, that they inferred that the
17 results are reliable and so it's a fairly
18 straightforward metric to collect the
19 information for.

20 CO-CHAIR WEISS: Great. So your -
21 - we are hearing a high degree of reliability
22 and validity and probably because it is based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 upon billing data.

2 It looks like from what we see
3 here that the workgroup also rated it high in
4 reliability and validity. So additional
5 comments from other members of the workgroup
6 on reliability and validity?

7 (No response)

8 CO-CHAIR WEISS: Okay. Any
9 questions from the committee at large on
10 reliability and validity? If not, let's vote.

11 (No response)

12 CO-CHAIR WEISS: Okay, let's vote
13 then.

14 MEMBER KAZEROONI: I would vote
15 high.

16 CO-CHAIR WEISS: Okay, thank you.

17 (Pause for voting)

18 CO-CHAIR WEISS: Got it. Let's
19 see what we've got. We have 16 high, 4
20 moderate and no low and no insufficient for
21 reliability. Let's go to, yes, to validity.

22 Again, let's vote high, moderate,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 low for validity, and four for insufficient.

2 MEMBER KAZEROONI: High.

3 CO-CHAIR WEISS: Okay.

4 (Pause for voting)

5 CO-CHAIR WEISS: Let's vote --
6 repeat our vote please everybody. There we
7 go. Done, 14 high, 6 moderate, no low and 1
8 insufficient. Good. We go on to the final
9 sections of usability and feasibility.

10 So let's talk about usability. Is
11 the measure meaningful, understandable and
12 useful for public reporting? And whether it's
13 meaningful and useful for quality improvement.

14 So, Dr. Kazerooni, any thoughts
15 here?

16 MEMBER KAZEROONI: I think this is
17 relatively straightforward, easily understood
18 and meaningful in terms of public reporting
19 and understanding of this metric.

20 CO-CHAIR WEISS: Okay and that's
21 reflected also in the workgroup having
22 predominantly high usability and feasibility

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ratings. So from the members of the
2 workgroup, comments or thoughts before we go
3 to a general committee?

4 (No response)

5 CO-CHAIR WEISS: Okay, general
6 committee then? Dianne.

7 MEMBER JEWELL: So given the
8 question that I think Mitchell asked earlier,
9 there's not a concern that people, anybody
10 would misunderstand that this is actually
11 looking for a -- this is a negative indicator,
12 if you will?

13 And I know we don't have the
14 contractor on the phone, right, so we don't --

15 DR. BROOTMAN: I'm sorry, this is
16 Dr. Brootman, I'm a contractor who developed
17 the measure.

18 CO-CHAIR WEISS: Very good. Dr.
19 Brootman, what might be your response to the
20 confusion of the, of the end user on this one?
21 Or consumer I guess.

22 DR. BROOTMAN: As the consumer,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 well, this is, I would say what -- probably
2 the consumer doesn't have too much say on the
3 decision on with and without contrast.

4 You know, that's basically one,
5 and compared to others where they can decide
6 on this is something that is decided
7 completely by the decision at the time of
8 doing the study.

9 Now I think there is information
10 that the consumer would want to know
11 regarding, and can make a distinction, if they
12 understand -- you know, on the risk of having
13 contrast when it's not necessary and
14 additional radiation which is not necessary,
15 and doing an additional, what's called an
16 additional study that -- and that's why the
17 meaningful -- the meaning of public reporting
18 is very helpful for patients to at least
19 acknowledge and make a decision on the
20 studies.

21 Obviously the decision on getting
22 or not with and without contrast is not going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to be in their state of mind, but I also, you
2 know, there's been a lot of public awareness
3 on this, beyond the public reporting, this was
4 a number of very well -- very well-known
5 articles in the New York Times and Washington
6 Post --

7 (Alarm sounds)

8 DR. BROOTMAN: -- regarding the
9 use of with and without contrast on CT, thorax
10 and a decrease in the recent years because
11 there is more and more evidence that there is
12 no need for this double study, and -- or
13 combined study.

14 And I just want to clarify, there
15 are no stated exclusions for this. There is
16 no evidence provided that there was any need
17 for the double study or combined study, so I
18 hope that addresses your question.

19 CO-CHAIR WEISS: Does that answer
20 your question? Yes, I think what we were
21 concerned about, since this is Hospital
22 Compare, that it goes to the public, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think what Dianne was talking about was that
2 even though it's technically something that is
3 going to be acted on by the radiologist and
4 the physician community more generally, it
5 will be something that, if a person looks at
6 Hospital Compare, they'll say whoa, my
7 institution has a really low rate of this, and
8 not quite understand why they are doing so
9 poorly. Is that what I was hearing from Dan?

10 MEMBER KAZEROONI: This is
11 something we would encourage a patient who is
12 coming for any CT examination to be aware of,
13 and to ask, usually it's the technologist who
14 interacts with the patient around this
15 examination, to ask how their scan is going to
16 be performed, will it be a quote, double scan,
17 as they have come to be termed, or not.

18 DR. BROOTMAN: I think it's
19 important, you know, putting in a contrast
20 substance, I know they've improved but you
21 know, if you can avoid that when there's no
22 need, I think it's a good question for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients if they recognize that there's no
2 benefit from doing a combined study, the need
3 -- it would be a question for the patient to
4 ask what are the benefits.

5 So I think it does help in making
6 an informed decision for patients.

7 MEMBER RHEW: I don't think it's a
8 question of the quality metric for the
9 validity. It's really how it's presented. So
10 you know, on Hospital Compare, they could have
11 some of it says good care here on the left,
12 and bad care on the right, they just flip it
13 around for this. But it's not the metric.
14 It's just how they present it on whatever
15 site, so I don't know if that had any bearing
16 on the metric itself.

17 MEMBER LEVY: But I think this is
18 the metric, because I mean I'm not aware of
19 any metrics that are negative like this. So
20 if the metrics are for the benefit of the
21 public, especially of public reporting, if we
22 have one metric that's negative, it will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 almost impossible for the public to really
2 understand that.

3 Because when I first saw that, I
4 also thought boy, the compliance is really low
5 with this metric. So it feels to me we are
6 setting ourselves up for failure with this.

7 CO-CHAIR WEISS: Is it just, and
8 maybe it's perhaps in the name. There are
9 other overuse measures that you want to have
10 low, and -- but it doesn't specify itself as
11 saying overuse of, and if it had that in the
12 title, that would probably be helpful. So
13 that might be just a comment and a guidance
14 statement back to staff that we are, as a
15 committee, seeing a number of individuals
16 concerned with the interpretation of this and
17 that's a reflection.

18 But let's not stop there. Let's
19 make sure we have got the usability issue
20 fully covered. We've identified this issue of
21 the naming and the perception.

22 MEMBER RHEW: The very simple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 solution is you just call it appropriateness
2 and you just do one minus, and it's just, you
3 know, you just flip it around.

4 So I mean, I think that could
5 solve the whole problem.

6 CO-CHAIR WEISS: Good. That's
7 great. Stephen?

8 CO-CHAIR GROSSBART: Just a quick
9 comment again on Hospital Compare. It
10 specifically says that a high number may
11 indicate overuse or too many patients -- to
12 quote, a number close to one may mean that too
13 many patients are being given a double scan
14 when a single scan is all they need.

15 CO-CHAIR WEISS: Great, so let's
16 go to a vote of usability. One is high, two
17 is moderate, three is low, four is
18 insufficient information.

19 MEMBER KAZEROONI: High.

20 CO-CHAIR WEISS: Okay, got that.

21 (Pause for voting)

22 CO-CHAIR WEISS: Oh, everybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 press again. Okay third time is a charm.
2 Everyone point to Jessica. There it goes.
3 Okay. So 10 high, 11 moderate, no low and no
4 insufficient information.

5 Let's go to the final criteria
6 here, which is --

7 DR. BROOTMAN: Can you repeat the
8 numbers? We couldn't really hear you.

9 DR. WINKLER: It's 10 high, 11
10 moderate, zero low and zero insufficient.

11 DR. BROOTMAN: Thank you very
12 much.

13 CO-CHAIR WEISS: For feasibility,
14 Dr. Kazerooni?

15 MEMBER KAZEROONI: This is very
16 feasible, this is all coded billing data,
17 there are separate codes for with contrast,
18 without contrast and with and without
19 contrast. Some of -- there's the potential to
20 have errors in coding given that there are
21 three but that should be low, and if that is
22 one of the errors it can be fixed, other than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that potential miscoding that an institution
2 might be doing, this should be a very
3 feasible, straightforward measure.

4 CO-CHAIR WEISS: Very good. And
5 that's what the workgroup agreed with. Any
6 comments from the workgroup? Any comments
7 from the committee as a whole?

8 (No response)

9 CO-CHAIR WEISS: Then let's vote
10 on feasibility. One is high, two is moderate,
11 three is low, four is insufficient
12 information.

13 MEMBER KAZEROONI: High.

14 CO-CHAIR WEISS: Okay.

15 (Pause for voting)

16 CO-CHAIR WEISS: Okay everybody,
17 press again please. There it is, okay. So
18 we're at 18 high, 3 moderate, no low, no
19 insufficient information.

20 Let's go to the final vote for
21 this measure, which is overall suitability for
22 endorsement, that's a yes or no, one is yes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 two is no.

2 MEMBER KAZEROONI: Yes.

3 CO-CHAIR WEISS: Okay.

4 (Pause for voting)

5 CO-CHAIR WEISS: Okay everybody,
6 press again. There we go. Good. So we have
7 21 yes. No nos. Unanimous decision. Great.

8 Thank you so much, Dr. Kazerooni. We are
9 going to do now -- we are going to shift gears
10 again because Christine is going to be
11 leaving. Stephen.

12 CO-CHAIR GROSSBART: So we are now
13 going to shift to Measure 0179, improvement in
14 dyspnea.

15 DR. BROOTMAN: Thank you so much.
16 If you have any other questions, I'll be
17 here. This is Dr. Brootman. Thank you.

18 DR. WINKLER: Thank you very much.

19 CO-CHAIR GROSSBART: And first
20 thing is do we have a --

21 DR. BURSTIN: Shortness of breath
22 works well too.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR GROSSBART: Shortness of
2 breath. Do we have the measure developer to
3 give us a no more than two minute overview of
4 this measure?

5 MS. DEITZ: Yes, Deborah Deitz is
6 here from Abt Associates.

7 CO-CHAIR GROSSBART: Hello
8 Deborah, Steve Grossbart here. Go ahead with
9 your overview.

10 MS. DEITZ: Right. So as many of
11 you know, CMS has developed a quality
12 improvement monitoring system for home health
13 over the past 10 years. It uses data that's
14 collected via the OASIS data set, which is
15 integrated into the home health clinical
16 assessment.

17 That OASIS is collected for all
18 the adult, non-maternity, Medicare and
19 Medicaid patients that are receiving skilled
20 home health services.

21 So this measure reports the
22 percentage of home health episodes of care

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 during which the patient became less short of
2 breath or dyspneic.

3 It's calculated on OASIS data that
4 is collected as part of the patient assessment
5 at admission and discharge. At each time
6 point, based on patient observation, the
7 clinician identifies the level of exertion
8 that results in a patient's dyspnea or
9 shortness of breath, using five behaviorally
10 benchmarked responses that represent an
11 ordinal scale.

12 CMS and the developers have a lot
13 of confidence in this dyspnea measure and the
14 data is based on, it was developed for the
15 initial version of the OASIS in 1994, based on
16 literature review, field testing, clinical
17 panel input and demonstration pilot testing.

18 The item stem and response options
19 have remained unchanged since their
20 development, and more than 10 years of OASIS
21 use by more than 10,000 agencies has found no
22 significant flaws in the item.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There are three inter-rater
2 reliability studies reporting percent
3 agreement at the level of 0.82 and weighted
4 kappa value of 0.49, and 0.51.

5 The outcome measure has been
6 reported to home health agencies through the
7 CMS quality website since 2001, and has been
8 publicly reported on home health comparisons
9 2003.

10 It's been NQF endorsed since 2005.
11 The measure specifications basically remain
12 unchanged since their initial development,
13 except that it now includes long term episodes
14 since 2008, which was by NQF recommendation.

15 The measure is risk-adjusted using
16 a very robust prediction model that includes
17 83 risk factors and has an R squared of 0.117
18 and a C statistic of 0.703.

19 For comparison purposes, most of
20 the outcome measures based on the MDS have a C
21 statistic in the 0.6 range, so it's very well
22 risk-adjusted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CMS believes that the improvement
2 in dyspnea measure is important to continue to
3 report for three reasons. Basically, dyspnea
4 affects a large number of home health
5 patients. It's an important health status
6 indicator. It impacts quality of life, can
7 substantially affect a patient's ability to
8 engage in a wide variety of activities, has
9 been identified as a risk factor for
10 hospitalization among Medicare home health
11 patients.

12 It's frequently associated among
13 home health patients with general
14 deconditioning such as what occurs following a
15 hospital stay with extended bed rest.

16 OASIS data indicate that 70
17 percent of home healthcare patients are
18 reported as having some dyspnea interfering
19 with activity.

20 The second reason CMS believes
21 it's important to continue to report is that
22 it's actionable. There are interventions that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 can be implemented by home health agencies,
2 that can improve dyspnea in many patients like
3 teaching of activity pacing, problems with
4 breathing, reinforcement of smoking cessation,
5 and the correct use of medication.

6 CO-CHAIR GROSSBART: And may I ask
7 you to wrap it up in about 15 seconds?

8 MS. DEITZ: Okay. The measure is
9 used by a lot of agencies as part of a best
10 practice improvement package, and it provides
11 them with a data-driven basis for their
12 quality improvement activities.

13 And the third reason of course is
14 that the measure is important to provide
15 valuable information to consumers via the CMS
16 Home Health Compare website. That's it.

17 CO-CHAIR GROSSBART: Thank you
18 very much. Christine, I'd first ask you to
19 give a kind of high level overview of the
20 workgroup and then let's go into the
21 components of the voting.

22 MEMBER STEARNS: Well, after that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 introduction I don't have a lot more to add. I
2 would say for the workgroup discussion there
3 were a few questions about the OASIS
4 measurement tool but actually that
5 presentation answered them. It was whether or
6 not that added another step to the process.
7 This is an electronically -- the tool,
8 electronically-gathered measure.

9 But I think that has been
10 addressed because OASIS is of course required.

11 The other question that was raised in the
12 workgroup was about the affected population,
13 which I don't have -- I think we know that
14 there's an improvement in the -- the measure
15 has shown an improvement in the population. I
16 don't have the specific numbers but we do know
17 that this is the case.

18 And I think we can move on to
19 voting unless there are questions or things
20 that other people need to add.

21 CO-CHAIR GROSSBART: Any questions
22 from the committee?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ALMENOFF: The episode of
2 care, this is Medicare only episode of care or
3 is this commercial too? I just want to be
4 clear.

5 MEMBER STEARNS: These are -- the
6 Medicare population, although --

7 CO-CHAIR GROSSBART: Let's ask --
8 I mean it's used -- it's a requirement for
9 Medicare billing and assessment. Is the tool
10 used for non --

11 PARTICIPANT: Excuse me this is
12 one of the developers from the University of
13 Colorado. The denominator is Medicare and
14 Medicaid patients.

15 CO-CHAIR GROSSBART: No commercial
16 population.

17 PARTICIPANT: No. Not for Home
18 Health Compare at any rate.

19 CO-CHAIR GROSSBART: Donald.

20 MEMBER YEALY: Okay. My question
21 is, this looks like it was primarily developed
22 in cardiopulmonary disease and folks with home

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 healthcare, and as we expand the population
2 receiving home healthcare, that's clearly the
3 direction things are going, will the targets
4 actually need to change in fact? The
5 population will likely dramatically shift in
6 the next three to five years of who is
7 receiving this service, therefore this
8 particular goal, which was developed in a more
9 narrow group, it just strikes me it may not be
10 -- there may be a declining performance not
11 because of anything bad happening, because you
12 have a different population accessing that
13 particular type of care. Am I off base about
14 that?

15 CO-CHAIR GROSSBART: I'd ask the
16 measure developer if they've got any insight
17 on that.

18 PARTICIPANT: The risk adjustment
19 process should help to compensate for that.

20 DR. WINKLER: To our folks from
21 Colorado, are you on speaker phone because you
22 are cutting out a lot of it, so we are hearing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about every third word, so if you could go to
2 a landline it would be easier.

3 PARTICIPANT: Is this better?

4 DR. WINKLER: I think so.

5 PARTICIPANT: Okay. Yes, I'm
6 sorry. What I was saying was essentially that
7 we -- that one of the reasons for risk
8 adjustment is to adjust for changes in the
9 patient population that is being served, not
10 only differences cross-sectionally among home
11 health agencies, but also changes over time in
12 the admitting characteristics of the
13 population served.

14 CO-CHAIR GROSSBART: Dianne.

15 MEMBER JEWELL: But just to be
16 clear, the measure now doesn't only focus on
17 patients with cardiac or pulmonary diagnosis.
18 It covers all, all eligible, other than those
19 in the exclusion criteria, so it's already
20 broader than just cardiopulmonary.

21 MEMBER ALMENOFF: I have more of a
22 technical question. In the logistic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regression model, did I hear you say you had a
2 robust C statistic of 0.6?

3 PARTICIPANT: I could not hear any
4 of that, either of those questions.

5 CO-CHAIR GROSSBART: The question
6 was, in your regression model, you had a --
7 your C statistic, did you say that it was a
8 0.6?

9 PARTICIPANT: About point --
10 Deborah, I believe you said 0.7.

11 MS. DEITZ: Yes, it's 0.703.

12 PARTICIPANT: Point seven, right.

13 MEMBER ALMENOFF: So that's kind
14 of similar to a Medicare member. I mean, if
15 you look at the recent JAMA article they
16 actually talk about C statistics being over
17 0.85 or even 0.9, so your 0.7 is kind of
18 common, what you see in the administrative
19 data model.

20 But somebody used the word robust.
21 Can I just say I didn't think that was
22 probably a good word to use. Maybe marginal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 PARTICIPANT: I'm sorry, I am
2 still having a lot of trouble hearing.

3 CO-CHAIR GROSSBART: The committee
4 commented that they felt that the C statistic
5 of 0.7 was maybe not robust but moderate. So
6 with that, what I'd like to do is move us
7 forward into the discussion and voting on each
8 of the elements. So Christine, impact would
9 be the first aspect of this.

10 MEMBER STEMPLE: Did we hear the
11 historical trend in performance? I heard that
12 some are improving but I didn't hear specific
13 performance about this measure.

14 CO-CHAIR GROSSBART: Performance
15 gap you mean?

16 MEMBER STEMPLE: Yes.

17 CO-CHAIR GROSSBART: We'll get to
18 that --

19 MEMBER STEMPLE: Okay.

20 CO-CHAIR GROSSBART: -- as we go
21 through the voting.

22 MEMBER STEARNS: We'll discuss it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We'll discuss it when we get there.

2 CO-CHAIR GROSSBART: So impact.

3 MEMBER STEARNS: Oh, impact. This
4 is reported to have a significant impact on
5 patients with 70 percent reporting that it --
6 dyspnea interferes with their activity.

7 CO-CHAIR GROSSBART: Do them all
8 three at once or one at a time? Okay. Okay
9 then. So let's move on to performance gap.

10 MEMBER STEARNS: Okay, well you
11 raised an interesting question about the --
12 the measure sponsor might be able to give us
13 more information. There's no indication of
14 how much improvement, just that we have an
15 indication that there is an improvement over
16 time.

17 MEMBER STEMPLER: And I guess
18 that's my problem. If someone is getting home
19 health, they are going through an episode of
20 home healthcare assumingly, since it's an
21 episode, their home healthcare care has ended,
22 so clinically they have improved and dyspnea

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is independent --

2 MEMBER STEARNS: Oh, let me be
3 clear. There's an improvement in the rates so
4 that over time, that the rate has shown an
5 improvement. So not that the -- each
6 individual in their episode of care has shown
7 an improvement, but rather that the rate --

8 I mean I see --

9 MEMBER STEMPLE: Oh well, I'm
10 struggling with this. If I'm getting home
11 healthcare, recently discharged from the
12 hospital, I'm improving over my 30 days of
13 care at home, so I'm just struggling how this
14 is -- dyspnea is a standalone, independent
15 major cost to everybody getting home
16 healthcare, shows much of anything that we are
17 -- because I'm not hearing its focus, they are
18 not -- you know, if I'm at home from a
19 hospital I'm getting PT or therapy or
20 whatever, I'm assuming I'm going to generally
21 improve so I'm struggling how this
22 independently shows some improvement in home

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 healthcare activity vis a vis their getting
2 the IV antibiotics, they are getting physical
3 -- you know, I'm just struggling, across the
4 whole gamut of home healthcare, why are we
5 picking this one as sort of an independent
6 indicator that home healthcare is good?

7 I just struggle with the validity
8 of the measure. Sorry, but anyway.

9 CO-CHAIR GROSSBART: Well, the gap
10 in the details, the average improvement is 58
11 percent, so obviously 42 percent of the home
12 care patients are not getting improvement
13 during their home care episode or encounter --
14 episode.

15 MEMBER STEMPLE: Right. But again
16 we don't know what the background incidence of
17 COPD or -- we don't have the background on any
18 -- it's just so generic, I just struggle.
19 Anyway.

20 MEMBER EDELMAN: But the question
21 is not individual improvement, but improvement
22 of providers. I mean the point is to get a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 provider to do better, I mean the point about
2 some people will never be less short of
3 breath, some people will always be less short
4 of breath, so looking at individual
5 improvement is meaningless. The issue is,
6 does this make certain providers improve their
7 performance, and we don't know that yet.

8 CO-CHAIR GROSSBART: And again,
9 the measure developer, if you've heard that
10 question, could you please respond? Has there
11 been an improvement over time? Are providers
12 changing their behavior?

13 (Alarm sounds)

14 CO-CHAIR GROSSBART: And that was
15 our 15-minute timing. Abt, are you still on
16 the line?

17 MS. DEITZ: Yes I believe that the
18 last time this was discussed with NQF we did
19 present some information about the fact that
20 we have seen improvement over time for this
21 measure, but I do not have the statistic in
22 front of me right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR GROSSBART: And again the
2 documentation provided a fairly significant
3 performance gap. Christine, finally, the
4 evidence?

5 MEMBER STEARNS: Evidence.
6 There's no specific evidence or guidelines.
7 There's only guidelines for the treatment of
8 pulmonary rehab, although I thought in the
9 opening statement, there seemed to be a
10 reference to sort of guidelines and studies
11 that didn't seem to be in the information that
12 I have.

13 DR. WINKLER: Just, this is an
14 outcome measure, so what we are doing is
15 looking for you know, processes of care that
16 are likely to impact that outcome, rather than
17 the detailed quality, quantity and consistency
18 that we would look at for a process measure.

19 CO-CHAIR GROSSBART: So, point of
20 information Reva. Do we actually have to vote
21 on the evidence?

22 DR. WINKLER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR GROSSBART: Okay. Let's
2 go through to the voting then. Well first
3 we'll have questions.

4 MEMBER LEVY: Yes, I'm just trying
5 to understand this. Maybe that's just as
6 well, I think, for this measure. I -- so
7 there's no evidence, according to what's
8 submitted, there's no evidence of any process
9 measures associated with this -- with dyspnea.
10 Is that correct? Is that correct? Because
11 that's what it looks like here. Which
12 accounts for exactly what Norman was saying.

13 It's self-reported dyspnea, for
14 which there are no process metrics. Okay.
15 Just wanted to make sure I was understanding
16 it.

17 MEMBER STEARNS: That's what I
18 read.

19 DR. BURSTIN: This is quite
20 typical for patient-reported outcomes. You
21 may not necessarily have anything along those
22 lines but this is, and so that's why there's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bit of a pass on evidence, just a rationale
2 for the outcome is really all that's required.

3 So they give a fair amount of
4 evidence of the importance of it to patients,
5 the importance of it to nursing, etcetera.

6 MEMBER STEARNS: Well, and on that
7 point, there is a reference to -- well, the
8 guidelines aren't specific to the treatment of
9 shortness of breath. There are other
10 guidelines that they used that were broader
11 and I'd refer you to the page but -- forgive
12 me.

13 So it is not that they -- so that
14 there is that reference.

15 CO-CHAIR GROSSBART: Again,
16 Dianne.

17 MEMBER JEWELL: So, when I first
18 read this measure I was circling around all
19 these same questions, and the principal reason
20 was because the population wasn't specified
21 enough, because there is some evidence related
22 to the COPD population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I hear your point about, you know,
2 we want the providers to do better, but the
3 problem is this is an outcome measure, and
4 these people, you know, there's some
5 proportion in this outcome that could just be
6 getting better regardless of what the
7 provider's doing, which I think is the point.

8 So, so the only way I can see to
9 fix that problem is really to be more specific
10 about which population's in-home healthcare we
11 are talking about, not all of them, not every
12 possible patient, because otherwise it's
13 really more measuring did the provider
14 document an OASIS, not did they document a
15 meaningful outcome.

16 MEMBER STEARNS: Well, and that
17 gets back to the point of it would be helpful
18 if we had some additional information about
19 how the measure has been doing over time, but
20 there is a reference in here that suggests
21 that, and it says that there has been
22 improvement in measure over time, suggesting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that agencies are improving care for this
2 outcome.

3 So, to your point, we don't have
4 specific statistics unfortunately, and I think
5 that we did discuss that in the workgroup,
6 which would make it easier for us to sort of
7 reach a conclusion on this, if we could sort
8 of see some data.

9 CO-CHAIR GROSSBART: If I
10 understand the OASIS database, and we can ask
11 the measure developer, you're basically
12 working with your home care patient and you
13 are assessing them through the OASIS tool,
14 which then helps you develop the course of
15 therapy and care.

16 So the -- you are collecting this
17 information for care delivery not for
18 reporting, and so it may be conducive to
19 taking steps, known practices to improve care.
20 Again, the submission was a little thin on the
21 evidence and only, I believe, cited two
22 studies. Can Abt comment on that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. DEITZ: I'm sorry are you
2 looking for developer input?

3 CO-CHAIR GROSSBART: Yes.

4 MS. DEITZ: I'm sorry, it's a
5 little hard to hear. There is basically -- I
6 think, are you asking us why there are only
7 two studies cited?

8 CO-CHAIR GROSSBART: No, we are
9 asking what is the evidence that having this
10 measure is going to impact processes performed
11 by providers.

12 MS. DEITZ: That is going to? I
13 mean --

14 CO-CHAIR GROSSBART: Well, I mean,
15 so, I mean, how is a provider going to use --
16 I mean what's the evidence that there are
17 things the providers can do to change these
18 numbers?

19 MS. DEITZ: Well, as I mentioned,
20 there are best practice packages that have
21 been put together by the quality improvement
22 organizations, and agencies have adopted these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as guidelines to improve their practice, so
2 that -- they select a measure, an outcome
3 measure like improvement in dyspnea, and know
4 if their measure seems below the national
5 benchmark, and choose it as an area that they
6 want to improve on, and then they use the best
7 practice package to improve their practices
8 and note whether or not their patients are
9 improving on this outcome.

10 As context I just want to mention
11 that CMS reports -- publicly reports, NQF
12 endorsed measures on a variety of outcomes,
13 such as improvement in ability to -- in speech
14 and language, improvement in level of pain,
15 improvement in ambulation.

16 This is the measure that addresses
17 dyspnea.

18 CO-CHAIR GROSSBART: Dianne.

19 MEMBER JEWELL: Can you hear me?
20 I'm talking to the measure developer. Can you
21 hear me?

22 MS. DEITZ: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER JEWELL: Thanks.

2 MS. DEITZ: So can you help us
3 understand why the population for dyspnea in
4 this measure is all home healthcare patients
5 as opposed to say, patients with COPD and
6 heart failure, for whom the clinical
7 indication of dyspnea is much more specific?

8 MS. DEITZ: I believe that one way
9 to think about this is that the population of
10 home health patients frequently has dyspnea as
11 part of their -- the experience of having been
12 chronically ill for a variety of conditions,
13 and having been bed-ridden and deconditioned,
14 and so -- and then the dyspnea then, you know,
15 keeps them from engaging in activities that
16 could improve their -- the -- and decrease
17 their shortness of breath.

18 So the idea is that it's not just
19 patients with specific conditions that need to
20 -- attention to their dyspnea.

21 CO-CHAIR GROSSBART: I have a
22 question. Would a new mother who is on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Medicaid get a home care visit and have this
2 data element collected on them, through OASIS?

3 MS. DEITZ: Well, if it's a
4 maternity patient, the answer is no, but the -
5 - around pre- and post-maternity care, are not
6 included in the OASIS.

7 CO-CHAIR GROSSBART: Well with
8 that, I think we should move on to the voting,
9 keep this going. So importance of the measure
10 to report and impact. One if it's high, two
11 if it's moderate, three if it's low, and my
12 eyes aren't good enough to see that counter up
13 there but it still looks like it's in the
14 teens.

15 (Pause for voting)

16 CO-CHAIR GROSSBART: How many
17 votes are we at? There we go. And so the
18 vote is seven moderate, seven low, and six
19 insufficient evidence. We're done.

20 Okay, so with that the NQF has
21 moved to -- committee has moved to recommend
22 non-endorsement. And Kevin, you're back on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Okay, we're back
2 on to the AMA PCPI measure on empiric
3 antibiotic use for --

4 DR. CANTRILL: I'm Steve Cantrill.
5 I'm an emergency physician from Denver and
6 was involved in the original multi-
7 disciplinary group that I believe was in 2006,
8 helped develop these measures, and have been
9 asked to at least provide the introduction,
10 although I have a lot of support here from the
11 folks that actually know all the data.

12 We were talking and I would ask a
13 favor of the Chair, since we are running late,
14 could we possibly do all four of these in a
15 row, because I have to catch a flight back to
16 Denver from Dulles this evening?

17 CO-CHAIR WEISS: We'll check on
18 that while you are doing this one. We just
19 have to make sure everyone else isn't queued
20 up in a weird way.

21 DR. CANTRILL: Thank you.
22 Actually I'm going to give the introduction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for all four.

2 CO-CHAIR WEISS: I think the
3 answer is yes, because I think we're okay with
4 the other ones. So go -- let's plan on it.

5 DR. CANTRILL: Good thank you. In
6 terms of introduction to all four of the
7 measures, we are talking about empiric
8 antibiotic therapy in community-acquired
9 pneumonia, CAP, for patients that present to
10 the emergency department, both those that are
11 discharged and those that are admitted.

12 We're also talking about vital
13 signs that are recorded and reviewed for
14 patients presenting with CAP, assessment of
15 oxygen saturation both recorded and reviewed
16 for patients with CAP, and mental status
17 evaluation for patients with CAP.

18 These were, as I said, originally
19 developed and approved by PCPI in 2006. They
20 were endorsed by NQF in 2007. We are here for
21 endorsement maintenance for the first three.
22 The fourth measure, the mental status

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 evaluation in fact was -- the endorsement was
2 removed in 2010 because they felt there was
3 not a performance gap, although the latest
4 data that we have, unfortunately it's from
5 2008, from PQRS, these measures were all part
6 of PQRS from 2007 through 2012.

7 Those data demonstrate a gap of 23
8 percent in terms of empiric antibiotic
9 therapy, 22 percent for vital signs, 20
10 percent for oxygen saturation assessment and
11 19 percent for mental status evaluation.

12 It's because of the 19 percent, we
13 feel that is a significant gap, that's why
14 that measure is being resubmitted, as you can
15 tell by the number, 1895.

16 These, all four of these measures
17 have been tested for reliability and validity,
18 and as I mentioned, have been part of PQRS
19 from 2007 through 2012.

20 If I could just address a couple
21 of the items that were brought up by the
22 steering committee, in terms of Measure 0096,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the empiric antibiotic therapy, there was some
2 concern about having treatment for atypicals
3 as well as bacterial pneumonia, and the
4 Cochrane study, 2010 Cochrane study was
5 mentioned. My concern about that study, I
6 understand there were three papers that really
7 dealt with this issue, two of which I believe
8 were in Europe, where atypicals are not as
9 much of an issue, and all had relatively small
10 sample sizes. So I think that may be
11 something to watch but I think that we are
12 consistent with the IDSA/ATS guidelines and so
13 we feel comfortable with that.

14 In terms of Measure 0233,
15 assessment of oxygen saturation, the question
16 was should a timeframe be specified. Every
17 emergency department I've ever been in, O2
18 sats are the fifth vital sign and they are the
19 first thing that are obtained by the nurse
20 when a patient arrives.

21 So you could ask for that. I
22 don't think it's going to give you much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information. In terms of Meausre 1895, the
2 mental status, again, a timeframe. Should a
3 timeframe be recorded.

4 That is somewhat problematic since
5 that is nominally part of the physician's
6 physical examination in terms of mental
7 status, and very often you are lucky if that
8 has a time stamp of when it's recorded, let
9 alone of when it's done.

10 So I think that might have a very
11 negative impact on the feasibility of this
12 measure. Also, the question was should a
13 particular tool be used to determine the
14 mental status. The question actually is not
15 really mental status. It's confusion, and
16 confusion is used in a couple of -- in like
17 the CURB-65 tool in terms of determining who
18 should be admitted versus who can go home with
19 pneumonia, and also a proposed tool in terms
20 of who should go to the ICU.

21 So it's really confusion and you
22 don't need a tool to determine confusion when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you are examining a patient.

2 So that really is all I have to
3 say in terms of the introduction.

4 CO-CHAIR WEISS: Okay, Dave.

5 MEMBER RHEW: Yes Steve, hi, Dave
6 Rhew. Just had few questions with regards to
7 Measure 0096. You mentioned the IDSA/ATS
8 guidelines. I am -- there's an implicit
9 assumption that that is, when you say empiric,
10 appropriate use, that's the guideline that you
11 are looking for, right?

12 DR. CANTRILL: Yes, it is.

13 MEMBER RHEW: Okay, just a
14 recommendation. Maybe we could --

15 DR. CANTRILL: It's a 2007 one, is
16 what it is. But we are still consistent with
17 that.

18 MEMBER RHEW: Right. And I think
19 we all know that, it's just it would be nice
20 if it were actually in the document and
21 explicitly stated so it could be easier to
22 follow.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Additionally, the
2 numerator/denominator, it's -- for 0096 it
3 says essentially patients with appropriate
4 empiric antibiotics in the numerator, and the
5 denominator, patients aged 18 and older with a
6 diagnosis of community-acquired bacterial
7 pneumonia.

8 Does this mean that the supply is
9 to both inpatient and outpatient?

10 DR. CANTRILL: It does, and that I
11 think, you know, one of the related measures
12 is 0147, from CMS. Now that really applies
13 only to inpatient.

14 Here we are dealing with all
15 comers and we think that is a -- that's really
16 the measure that we need.

17 MEMBER RHEW: Okay, it's a total,
18 all patients, inpatient, outpatient
19 denominator.

20 DR. CANTRILL: All adults.

21 MEMBER RHEW: Got it.

22 MEMBER STEMPLE: And so is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for all these measures? Because I'm a little
2 confused. Are we talking all these measures
3 are inpatient outpatient, all the ones we are
4 discussing at this point in time, because it's
5 not clear to me.

6 CO-CHAIR WEISS: Okay. That's a
7 question. Are all these -- PCPI, are all the
8 measures -- are all of them inpatient,
9 outpatient?

10 DR. CANTRILL: Mark, do you want
11 to address that, the way you think there might
12 be a little confusion with that.

13 DR. ANTMAN: Right, at least for
14 0096, the intent is for it to be ambulatory or
15 outpatient only. We are double checking on
16 the others, but I believe the entire set is
17 intended to be outpatient only. But again, we
18 are double checking that.

19 CO-CHAIR WEISS: Okay, so we'll
20 know that shortly.

21 MEMBER RHEW: So, if that's the
22 case, maybe we could put that as in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specifications, only ambulatory or exclude
2 hospitalized patients.

3 MEMBER STEMPLE: Yes, the
4 numerator is not clear because the numerator
5 would seem to intend all patients regardless
6 of site of care, so --

7 CO-CHAIR WEISS: Okay, so Dave, if
8 you can give us the impact, the gap -- oh,
9 sorry. Peter.

10 MEMBER ALMENOFF: Are the location
11 of the patients, we are trying to figure out,
12 is this patients in the emergency room, in the
13 outpatient arena, it doesn't say anywhere,
14 where these patients are supposed to be.

15 So if we are going to do oxygen
16 saturations and vital signs, in what location?

17 DR. CANTRILL: Well, the intent
18 was originally emergency departments, but I
19 don't know what the instructions are to the
20 hospital when they gather the data.

21 MEMBER ALMENOFF: It's not in
22 here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER YEALY: I think we are
2 conflating like three different measures. I
3 think two of them are ED-specific and others
4 are not.

5 CO-CHAIR WEISS: So I think we
6 have got to go through them one by one.

7 MEMBER ALMENOFF: It doesn't say
8 it in any of the writeups.

9 MEMBER YEALY: Some of them, in
10 the title it says emergency medicine, so by
11 definition it means that. But not this one.

12 CO-CHAIR WEISS: So, again, let's
13 focus 0096 now, if we can, so Dave, we'll go
14 to impact, gap, and evidence.

15 MEMBER RHEW: Sure, so focusing on
16 impact, first off, we all recognize pneumonia
17 and influenza, eighth leading cause of death
18 in the United States. Pneumonia is the number
19 one cause of death due to infection, high
20 cost, clearly an area where there is
21 significant opportunity for improvement.

22 So that's one of the areas around

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 impact. Do you want me to just go through
2 each one of them? And then we'll do the
3 voting?

4 CO-CHAIR WEISS: Each one of them
5 being -- each one of them what? Measures?

6 MEMBER RHEW: I'm sorry, the
7 impact, performance gap, and evidence.

8 CO-CHAIR WEISS: Yes, do those as
9 a group.

10 MEMBER RHEW: Okay. Performance
11 gap, you know, this is one where we actually
12 as a -- the group that started thinking about
13 whether or not there was a gap, we weren't
14 quite sure exactly when do you define the
15 threshold for what a gap is.

16 So we know that the PQRS 2009 data
17 suggest that the current use is 92 percent, in
18 the Hospital Compare it's 94 percent. Does
19 that mean that's a gap that's large, small?
20 We didn't really know where to draw the line.

21 But we certainly acknowledge that
22 it's over 90 percent and that may or may not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be a gap that's large enough.

2 Now, the evidence, I think,
3 clearly large, observational data sets show
4 the clear benefit, especially for those that
5 are hospitalized, severe pneumonia patients,
6 in particular the ICU, bacteremic,
7 pneumococcal pneumonia ones, where
8 combinations of macrolides on top of the
9 beta-lactams have been shown to impact
10 outcomes, as Steve, you noted that there was a
11 question as to whether or not the data from
12 the Cochrane meta-analysis of 25 RCTs is
13 valid, and in fact I think, Katie, you sent
14 out an email to the group which outlined
15 several of the reasons why that study may not
16 be applicable to this conversation, and these
17 were data that were shown to us by Dale
18 Bratzler and several of his colleagues.

19 So in addition to that, I can also
20 add one other piece. Most of the RCTs that
21 have been published out there are pretty much
22 not powered to demonstrate superiority. They

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are more around equivalence, therapeutic
2 equivalence, so that would be one other thing
3 that you could also add to the list.

4 So, that said, what you are left
5 with is large data sets that show a clear
6 association, especially for severe patients.

7 0096, though, is for the
8 ambulatory and so the question then is, as the
9 evidence starts getting weaker, that you start
10 relying more on extrapolations and expert
11 opinion.

12 So the evidence, clearly the data
13 sets for the inpatient, especially the ICU,
14 severe, there, ambulatory, you know, again,
15 good, good reasons to believe, you know, that
16 there's atypicals out there, you should cover
17 for, but it just, we don't really have as much
18 data on that.

19 So that's a quick overview of the
20 impact, performance gap evidence. The folks
21 that were on the review committee, any other
22 thoughts?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: No, at least I am
2 seeing that the group was pretty equivocal on
3 performance gap, as measured by your earlier
4 telephone meeting. Can you explain that a
5 little bit to us?

6 MEMBER RHEW: And again, we are
7 looking for some direction from I guess the
8 larger group whether or not a 92 or 94
9 percent, you know, level is representative of
10 a significant gap, or if that's sufficiently
11 high enough. Don?

12 MEMBER YEALY: And I guess my take
13 on it would be, is that I'd be sold that 92
14 percent is enough of a gap if in fact we were
15 talking about the sickest of the cohort, but
16 in fact this is going to be a predominantly
17 ambulatory cohort, so 92 percent may not
18 represent all that much of a particular gain.

19 That's the rub here between this
20 and the other antibiotic criterion.

21 MEMBER RHEW: Yes, I think clearly
22 the data are so strong on the inpatient for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the observational -- observational data are
2 very strong on the inpatient, severest
3 patients, but again, you know, 92 percent of
4 the ambulatory, I don't know. Maybe or maybe
5 not.

6 MEMBER CANTRILL: If I could just
7 comment about. There may be some confusion
8 because the 2008 PQRS data, I am told that the
9 gap is 22.52 percent, which is obviously
10 different than the 9 percent, and I don't know
11 where this confusion -- can we elucidate this?

12 DR. ANTMAN: I apologize if there
13 is some lack of clarity in our submission
14 forms. These measures are intended for use in
15 the ambulatory setting. Again, that may
16 include the ED, but these do not include
17 inpatient.

18 CO-CHAIR WEISS: So, it says in
19 here that this is reported as part of Hospital
20 Compare so I'm confused again, if it's
21 ambulatory, why is it part of Hospital
22 Compare's reporting?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER STEMPLE: Or at least, I'm
2 looking on page six.

3 MEMBER RHEW: Yes, I think at that
4 point when we were -- we weren't sure at that
5 -- we just learned that it's now ambulatory.
6 We weren't sure if it was a combination so we
7 had included the Hospital Compare, but at the
8 bottom, you also will see, during the call I'm
9 not sure who mentioned that they had looked at
10 the 2009 PQRI data and they said it was 92
11 percent. So I don't know where those came
12 from or if those are actually correct.

13 CO-CHAIR WEISS: Is there a way to
14 quickly look at the PQRI data while we are --

15 DR. WINKLER: There it is. It's
16 on page three of the submission form. This is
17 the 2008 data, 10th percentile is 33 percent,
18 90th percentile is 100 percent, 50th
19 percentile is 90.9 percent.

20 CO-CHAIR WEISS: So we are seeing
21 a median of 90 -- 91 percent in an ambulatory
22 environment, as our number, with some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 variability, and maybe it's not the absolute.

2 Maybe it's the variability that is of concern
3 here.

4 Okay, any other issues related to
5 gap or evidence? I did see on the workgroup
6 as well, there was equivalence on the evidence
7 as well, and I just want to make sure that we
8 all understand what that equivalence was,
9 because it was not uniformly high. It was
10 medium and lows in there.

11 MEMBER RHEW: And I think at the
12 time we were still trying to reconcile what to
13 do with the Cochrane review and the data and I
14 think since then we have obtained some really
15 good feedback from the developers, and CMS,
16 and Dale and others, so I think given those
17 caveats, you know, and if we are going to
18 accept those as reasons that we wouldn't
19 include the Cochrane, then I think what you
20 are left with is still observational data and
21 there is a need to do a large, randomized,
22 multi-center control trial, but in the absence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of that, the data would suggest that it's
2 beneficial at least for severe patients.

3 CO-CHAIR WEISS: Thanks Dave.
4 Let's go into a vote, if there are no other
5 questions. So impact, one high, two moderate,
6 three low and four is insufficient evidence
7 for impact.

8 (Pause for voting)

9 CO-CHAIR WEISS: Press those
10 buttons good, or well. Is it good or well?
11 Oh, just press them hard. Push, push well.
12 Okay. Push again. There it is, okay good.

13 So we've got 11 high, 8 moderate,
14 1 low, no insufficient evidence. Good. Next.
15 Let's go to gap. High, moderate, low, one,
16 two, three and four is insufficient.

17 (Pause for voting)

18 CO-CHAIR WEISS: Okay. Almost
19 there. There we are. Two high, 12 moderate,
20 4 low and 2 insufficient. So kind of
21 milquetoast about this, but okay.

22 Okay. Sorry. 1c, evidence. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is just a yes, no. Do we feel there's enough
2 evidence that supports this, or is there
3 insufficient evidence?

4 (Pause for voting)

5 CO-CHAIR WEISS: There we go.
6 Yes, 15, no 1, and 4 believing there is
7 insufficient evidence. So let's go forward
8 and go to reliability and validity.

9 Dave.

10 MEMBER RHEW: Yes, clearly this
11 has been tracked already so we know that this
12 can be tracked, and it can be tracked
13 reliably. So I would say that our thoughts
14 were yes, it's reliable.

15 CO-CHAIR WEISS: And validity? We
16 spoke a lot to that issue already but are
17 there specific issues you'd like to raise up?

18 MEMBER RHEW: I think the one
19 thing about that was, and again, this applies
20 not only to this but to the next measure, a
21 large number of these metrics are based on
22 time that you see the patient, but really when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we measure it, it's based on the final
2 diagnosis.

3 And there's a disconnect there. I
4 mean when a patient comes in, you don't know
5 they necessarily have community-acquired
6 pneumonia. They come in with shortness of
7 breath and then later on you find out that
8 they had pneumonia.

9 And then the way that you evaluate
10 it though, is all based on, well, they had
11 pneumonia, at the final discharge diagnosis.
12 So, there is a disconnect there that we
13 struggle with.

14 (Alarm sounds)

15 MEMBER RHEW: And I think we just
16 wanted to acknowledge that.

17 CO-CHAIR WEISS: And acknowledging
18 it in what -- as a neutral force or as
19 something to be concerned about or --

20 MEMBER RHEW: It does create some
21 challenges. We can't quantify whether or not
22 it, you know, makes it invalid or you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but it certainly makes it harder for us to
2 determine whether or not we have captured all
3 the patients with pneumonia, because it's all
4 entirely dependent on the clinician's ability
5 to properly diagnose what at the time that
6 they are seen.

7 So it creates some variability and
8 some questions as to whether or not this --
9 that may influence the results.

10 CO-CHAIR WEISS: Okay. Peter and
11 then anyone else also in the workgroup that
12 would like to comment here.

13 MEMBER ALMENOFF: Well, we are all
14 over here all kind of a little challenged
15 about this measure. We can't tell if this is
16 an inpatient or an outpatient measure. One
17 time we are hearing the word discharged and
18 the next minute we are hearing ambulatory. We
19 -- it doesn't say anything in here what this
20 is.

21 So I think we need to establish is
22 this an inpatient measure or an outpatient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure? Of all these, I mean I --

2 CO-CHAIR WEISS: Are the
3 specifications clear enough for us?

4 MEMBER ALMENOFF: It's got to say
5 it somewhere and it's got to be written down
6 because they are completely different things.

7 CO-CHAIR WEISS: Mark, do you want
8 to help us here?

9 DR. ANTMAN: If I may, if you look
10 at the specifications, and I'm not sure --

11 MEMBER ALMENOFF: We don't have
12 all that. We only -- I only have this sheet
13 in front of me and it doesn't say anything
14 about inpatient or outpatient on any of these.

15 So I just need to know.

16 CO-CHAIR WEISS: Let's pull up the
17 specs and see. When we are doing that, any
18 other thoughts or comments?

19 MEMBER PELLICONE: If it's really
20 outpatient care, the implication is that every
21 one of these patients gets a chest x-ray, and
22 that's -- if you're talking just -- if you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 talking emergency room that's one thing, if
2 you're talking an office or an outpatient
3 clinic, that's a huge burden, if you go by the
4 classic teaching that you need a radiographic
5 infiltrate to make the diagnosis of pneumonia.

6 CO-CHAIR WEISS: Okay, is this the
7 actual specs?

8 MS. WEBER: And the measures were
9 actually on the thumb drive as well.

10 CO-CHAIR WEISS: Electronically or
11 by the -- Okay so can someone help us here
12 walk through this? This would be great. The
13 question is, is this inpatient or outpatient
14 or is this --

15 DR. WINKLER: The numerator says
16 patients with appropriate empiric antibiotic
17 prescribed, numerator time, one for each
18 episode of community-acquired pneumonia during
19 the measurement period.

20 In the details it just says this
21 measure should be reported once for each
22 occurrence of pneumonia during the reporting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 period.

2 Definitions, it doesn't really say
3 anything about setting. EHR, nothing. So in
4 the denominator, all patients 18 years and
5 older with a diagnosis of community-acquired
6 pneumonia, time window, each episode of CAP,
7 really does not say anything.

8 The denominator details, it lists
9 the EHR, I mean the claims or administrative
10 codes, patients aged 18 years and older. It
11 has the ICD-9 diagnosis, some CPT II codes and
12 then an asterisk, it says clinicians using the
13 critical care code 99291 must indicate the
14 emergency department place of service on the
15 Medicare Part B form.

16 But okay.

17 DR. ANTMAN: Reva, may I -- so
18 again, any confusion about the setting of care
19 is certainly not intended on our part. And if
20 it would be helpful if we added language to
21 clarify that the intent is for these to be
22 ambulatory only, language to the denominator,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we can certainly do so.

2 I think our feeling was that it
3 would be fairly clear in that the CPT codes
4 that you just referenced for the denominator,
5 Reva, those are all ambulatory visit codes,
6 with the exception of those codes that are
7 applicable to the ED.

8 CO-CHAIR WEISS: So, I need a
9 process question answered by staff, and that
10 is are we allowed to accept in this meeting an
11 amendment by a developer as part of our
12 process, or does that have to happen outside?
13 I don't --

14 DR. WINKLER: I think if it's a
15 clarification, that clearly we can -- that
16 they need to put some additional language to
17 make it clear to respond to some of these
18 uncertainties and questions.

19 It isn't a change in the measure.
20 It's more a matter of just making it clear for
21 everybody's common understanding.

22 CO-CHAIR WEISS: Is that what we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are doing here? And Mitchell.

2 MEMBER LEVY: Can I ask the -- why
3 is this limited to the ambulatory setting? Is
4 there some reason that we think that it's more
5 important for people to get appropriate
6 antibiotics in an ambulatory setting,
7 including the ED, as opposed to inpatient?

8 DR. CANTRILL: Well, the next
9 measure is focused on inpatient.

10 MEMBER LEVY: Oh, the next one --

11 DR. CANTRILL: In ED. Yes.

12 MEMBER LEVY: I thought both were
13 -- okay. All right.

14 MEMBER ALMENOFF: And to me it
15 doesn't matter. I just want to know which
16 setting, and if nobody can even answer this
17 question --

18 CO-CHAIR WEISS: So let's go
19 forward now --

20 MEMBER ALMENOFF: It worries me
21 that nobody can answer this question.

22 CO-CHAIR WEISS: So Peter let's go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 forward with the presumption as we have been
2 told by the developers that this is an -- that
3 the word outpatient, or at least non-inpatient
4 is what we are seeing here. Right? Is that
5 correct?

6 DR. ANTMAN: Correct.

7 CO-CHAIR WEISS: Okay, so let's
8 continue forward with this last little bit of
9 discussion on reliability and validity as a
10 non-inpatient.

11 MEMBER LEVY: But it would include
12 emergency department.

13 CO-CHAIR WEISS: Non-inpatient,
14 which would include -- okay.

15 So with that in mind, Dave, we are
16 back to you again, on validity.

17 MEMBER RHEW: Yes, I mean again,
18 some of the things that we have already
19 mentioned, I think the only other thing is the
20 IDSA/ATS guidelines calling that out
21 explicitly so we know exactly that, you know,
22 because nowhere do they mention any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 antibiotics. It's just assumed that it's the
2 current 2007 IDSA/ATS, but beyond that, I
3 mean, we certainly know that this has been
4 captured, it is easy to capture through the
5 EHR. So as long as it's, you know, given the
6 caveat that it is still retrospective.

7 CO-CHAIR WEISS: Okay. Good. So
8 any questions or comments from the workgroup
9 on reliability or validity?

10 (No response)

11 CO-CHAIR WEISS: Any from the
12 table at large?

13 (No response)

14 CO-CHAIR WEISS: Then let's go to
15 vote. So we are looking at reliability, one,
16 two and three, high, moderate, low, or
17 insufficient.

18 (Pause for voting)

19 CO-CHAIR WEISS: And we are up to
20 16, or 18, 20. There we go. Okay. So 7
21 high, 11 moderate, 1 low, 1 insufficient.
22 Let's go on to validity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 One, two, three and insufficient
2 again, so high, moderate, low, insufficient.

3 (Pause for voting)

4 CO-CHAIR WEISS: Okay everyone
5 press again please. Squeeze that last one
6 out. Okay is everyone pressing again? Let's
7 try, everyone again, one more time.

8 Pretty soon we are going to have
9 to be focusing on Jessica here. Okay smile
10 Jessica, everyone focus to Jessica here. I'm
11 not sure if this is magical or not. Who
12 knows?

13 No. Someone is not doing theirs
14 and we are going to find out who that 20th is.
15 Well, it should go anyway at the end of the
16 time, right? To okay. So we'll do 19 and
17 this one looks like -- okay. So 4 high, 13
18 moderate, 1 low and 1 insufficient. Does that
19 come up to 19? Yes. Okay.

20 Oh, actually are we missing some
21 between Mitchell and Michael? Was there
22 someone sitting there?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 No? Everyone's here. Okay good.
2 Good, let's go on to usability and
3 feasibility. Let's try and make these crisp,
4 clear and succinct now that we know that this
5 is a non-inpatient measure.

6 Correct. So --

7 MEMBER RHEW: Again, those were
8 from the workgroup and we didn't have a
9 clarification at the time that it was
10 ambulatory. We were just looking at it and it
11 looked like it was all comers. So that's the
12 only reason it's there.

13 CO-CHAIR WEISS: Okay. Does that
14 help you? So usability. Can this be
15 meaningful and understandable? (Laughs)

16 Sorry. That was not meant as an
17 editorial laugh. Meaningful, understandable
18 and useful for private, for public reporting
19 and accountability and the same thing for
20 quality improvement.

21 So you're the workgroups --

22 MEMBER RHEW: Again, assuming --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we are assuming that all those things that we
2 talked about have been incorporated into this.

3 CO-CHAIR WEISS: That's a lot of
4 assumptions but we are told that those are
5 just clarifications.

6 MEMBER RHEW: Okay.

7 CO-CHAIR WEISS: And what was the
8 answer to that?

9 MEMBER RHEW: I mean we did feel
10 that -- yes. Assuming all those things were
11 in place, yes you would be.

12 CO-CHAIR WEISS: Okay, good. And
13 then from the workgroup, any comments on
14 usability beyond what we have heard from Dave?
15 And then from the table at large?

16 (No response)

17 CO-CHAIR WEISS: Let's vote on
18 usability. One high, two moderate, three low,
19 four insufficient information.

20 (Pause for voting)

21 CO-CHAIR WEISS: Okay, let's vote
22 everybody. Please vote. We're up there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Okay. Are people's thumbs getting tired? Is
2 that what's happening here? We are going to
3 do some thumb exercises in a few minutes.
4 Let's do it. Up, down, up, down.

5 Okay. Everybody let's shake your
6 wrist, make -- get real comfortable and let's
7 try it again.

8 There we go. Somehow we got it.
9 Someone got it. Okay. So it was a split vote
10 of eight high, eight moderate, two low and two
11 insufficient. I was going to say four, but I
12 didn't.

13 Feasibility. Here we go Dave.

14 MEMBER RHEW: Again, since this is
15 currently being collected through the EHR and
16 other mechanisms, we felt it was definitely
17 feasible and again, assuming all those other
18 caveats, it will be applied.

19 CO-CHAIR WEISS: Great.
20 Workgroup, any addition to anything Dave said?
21 Table at large? Anything?

22 (No response)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Let's vote for
2 feasibility. One high, two moderate, three
3 low, four insufficient.

4 (Pause for voting)

5 CO-CHAIR WEISS: We're getting
6 there. Almost. Okay. That's it. There we
7 go, 15 high, 4 moderate, no low and 1
8 insufficient information.

9 Let's go to the final vote for the
10 measure, summative. Yes, no. Should we move
11 this forward towards endorsement? Please vote
12 one yes, two no. All the clarifications are
13 made. We can't call them modifications,
14 because that would be wrong.

15 (Pause for voting)

16 CO-CHAIR WEISS: So 18 yes, 2 no.
17 Let's continue on now with the next measure.

18 DR. WINKLER: Now the question is,
19 do we have the folks from CMS on the line for
20 Measure 0147 0148?

21 We have had a request to move the
22 other PCPI measures ahead. Is that a real

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 problem for you all?

2 DR. BRATZLER: This is Dale
3 Bratzler. I can wait a while

4 DR. WINKLER: Thanks Dale. I
5 appreciate it very much.

6 CO-CHAIR WEISS: That sounds
7 great. So we are going to go to Measure 0233.
8 I am going to do something here that I think
9 might be helpful to the group as well. I am
10 going to suggest we do a standup break at our
11 place.

12 So this does not mean we leave the
13 room, unless you absolutely have to, but
14 really it's just to stretch your legs and sit
15 down again.

16 We'll do a real break in a few
17 more minutes but let's just all just take a
18 standup and please all just stand for just a
19 second, we'll all feel better for it. Norm, a
20 little standup here, Christine, just shake it
21 around a little bit.

22 This is not meant to be a chance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for everyone to go skedaddle. Okay? Okay.
2 Sit as you feel comfortable to sit. Dave, we
3 are ready to go, 0233. So we have our measure
4 developers who have spoken to -- it's my
5 fault. I got you all kind of moving in here,
6 got that blood moving.

7 MEMBER RHEW: Okay, Dave. Were we
8 going to give Dale an opportunity? Oh, we got
9 him at 0147? Or which one are we --

10 CO-CHAIR WEISS: We're going to
11 0233. We are going to be doing -- oh sorry.
12 John. Okay. So we are on 0233. It's John. I
13 apologize.

14 We've already had the measure
15 developer speak to us, so we are going to go
16 right into impact , gap and evidence.

17 MEMBER PELLICONE: Well, I think
18 we have heard about the impact with regard to
19 community-acquired pneumonia. There's also
20 significant evidence that the degree of
21 hemoglobin O2 saturation is of great
22 significance with regard to morbidity,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mortality and the ultimate destination of the
2 patient, if they were going to be
3 hospitalized.

4 I did have a question for the
5 developer about the report of the hemoglobin
6 O2 saturation. Is it understood that the O2
7 saturation is always to be reported with the
8 FiO2? Because there are great implications
9 here with regard to --

10 (Alarm sounds)

11 MEMBER PELLICONE: PQ mismatch and
12 AA gradient with the report.

13 DR. CANTRILL: Clinical gradient
14 is you have to know the FiO2 to make any sense
15 out of the O2 sat.

16 MEMBER PELLICONE: Yes, I just
17 unfortunately see too many instances in which
18 it's not --

19 DR. CANTRILL: Well, what should
20 be and what happens are two different things,
21 as you know. We try to always have the FiO2
22 specified.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER PELLICONE: Okay thank you.

2 CO-CHAIR WEISS: Is that in the
3 specification is the question? Is that in the
4 specification? Can we ask the developers to
5 take a look and see how well that's specified
6 in the specification, and let's continue while
7 we look at that.

8 MEMBER PELLICONE: With regard to
9 the performance gap, there was the report
10 about the PQRS study that there is about a 20
11 percent performance gap there, so there's -- I
12 think that is of significance.

13 And with regard to the evidence,
14 there's -- there's level two and level three
15 reports based on the ATS/IDSA reports as well
16 as the 2001 ATS guideline, in which this
17 hemoglobin O2 saturation value has been
18 studied. So that's it.

19 CO-CHAIR WEISS: Rest of the
20 workgroup would like to comment on anything
21 that John has said or anything else that you
22 feel happened, Don?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER YEALY: I am even a little
2 less bothered about the evidentiary gap,
3 because not only is it incorporating virtually
4 every risk stratifying score, in the most
5 prominent scoring system, the PSI, it actually
6 gets counted twice, I mean, it's incorporated
7 into the numeric score, but if you're
8 hypoxemic it doesn't matter what class you
9 are, you can't -- you have to be non-
10 hypoxemic, one through three, to really be
11 low.

12 So you couldn't have anything more
13 basic than this. So the evidence in my view
14 is actually overwhelming, and what's
15 frightening is, I heard people talk about 100
16 percent pediatric instructions, which seem to
17 me to be a lot to do, and we can't get a
18 fingertip probe on somebody with -- when the
19 target end organ is the lung.

20 I'm always amazed that this
21 remains an opportunity.

22 MEMBER RHEW: My take is that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are missing a critical piece, which is the
2 timing, i.e. you have to specify that it needs
3 to be done within a certain time period,
4 whether it's one hour, three hours, or
5 whatever --

6 MEMBER YEALY: And I think he's
7 just saying it's in the emergency department,
8 and even at that, it's hard to -- and I
9 realize how that sounds, that sounds
10 incredibly average, length of stay for an ED
11 visit is about four hours give or take, that's
12 a pretty wide swath to still find 20 percent
13 failure.

14 MEMBER RHEW: Yes, but I would say
15 in terms of the ability to impact the
16 outcomes, if you can do it within one hour,
17 versus -- and I know in some EDs you can wait
18 almost up to 24 hours, or you know, you'll be
19 sitting there all day.

20 I mean there's a huge difference
21 in terms of your ability to impact care, so I
22 would strongly suggest that we add a timeframe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to this.

2 DR. CANTRILL: If I could comment
3 on it. David, I mentioned, you know, it
4 really, at least in emergency medicine, it's
5 becoming a fifth vital sign. And what's the
6 first thing that happens when a patient comes
7 into the ED? They get a set of vital signs.

8 So we could specify that, but I
9 think it would complicate unnecessarily the
10 measure, because vital signs are always taken
11 when the patient appears, so you -- before I
12 go into see the patient I've got, you know,
13 blood pressure, pulse, respiration and O2 sat.

14 CO-CHAIR WEISS: So, Mitchell.

15 MEMBER LEVY: But if that were the
16 case then we don't need a performance metric.

17 So we are saying two separate things here, I
18 mean the 10th, the 25th percentile is 71.43
19 percent, so you can't have it both ways.

20 DR. CANTRILL: No, I understand.
21 I understand. But if it's going to be done,
22 it's going to be done up front.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: So, let me just
2 ask, is there a perception that this is not
3 being done at a high rate, or is a
4 documentation problem why we are seeing the
5 low rates? What is -- what have we learned
6 about this measure?

7 MEMBER YEALY: My answer would be
8 yes. To both of those actually. And the
9 bottom line is you won't -- without solving
10 both, you won't actually be able to address
11 this.

12 CO-CHAIR WEISS: Has there been
13 any work done by the developers to understand
14 this gap as to what is going on? Is it a
15 documentation gap or is it a care gap?

16 MEMBER YEALY: All I can tell you
17 is inside the ED community-acquired pneumonia
18 trial in RCT, almost 10 years old now, that
19 one of the quality metrics was please measure
20 oxygen saturation and we'll pay you by patient
21 for it. It still didn't hit 100 percent. So
22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: But where was it
2 though?

3 MEMBER YEALY: In the emergency
4 department.

5 CO-CHAIR WEISS: No no, I'm sorry,
6 where was the metric? It didn't hit 100
7 percent but was it at 80?

8 MEMBER YEALY: Ninety-plus.

9 CO-CHAIR WEISS: Ninety-plus.
10 Okay. And that was an additional incentive to
11 do it?

12 MEMBER YEALY: You were inside of
13 an RCT. You would think if any place -- and
14 the whole RCT was about approving process care
15 -- if any place you are going to hit 100
16 percent, that would be it. We didn't.

17 CO-CHAIR WEISS: So any more
18 questions on impact? On -- okay, let's
19 measure. Impact.

20 High, moderate, low, insufficient is four.

21 (Pause for voting)

22 CO-CHAIR WEISS: I'm just thinking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 how they are doing all these good indices when
2 they don't have the saturation to do them.
3 Fifteen high, four moderate, one low, and no
4 insufficient.

5 I was just going to say four
6 insufficient. Next. Performance gap, we have
7 talked about it, is there any more discussion
8 you want to have on performance gap? Anyone
9 want to raise anything?

10 (No response)

11 CO-CHAIR WEISS: No. Okay. Let's
12 go to -- yes.

13 MS. CHAVARRIA: And this is just
14 going back to Dr. Rhew, what you had mentioned
15 before, on the call we had -- we had mentioned
16 the 2009 data and we had mentioned that there
17 was no variability so we didn't know what --
18 in the 2009 data what was provided to us, we
19 didn't know what the variability was.

20 But I do have it for this
21 particular measure, for 2009, we only have the
22 mean measured reporting rate, and out of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 203,500 eligible professionals, there was
2 still a reporting rate mean of 86 percent, and
3 that's for 2009.

4 MEMBER EDELMAN: I'm sorry, did
5 you say professionals, or EDs?

6 MS. CHAVARRIA: It was for the
7 eligible professionals.

8 MEMBER EDELMAN: Does that go --
9 does that include the doctor's office?

10 MS. CHAVARRIA: Yes.

11 MEMBER EDELMAN: But I thought we
12 were talking about an ED criterion. I'm
13 confused. Are we talking about the doctor's
14 office or the emergency department?

15 DR. ANTMAN: So this measure is
16 reported at the level of the individual
17 clinician, so if it is being reported from the
18 ED, it is being reported by an individual
19 physician.

20 MEMBER EDELMAN: I know, but what
21 if it's being reported from the doctor's
22 office? Is that included in the statistic you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just gave us?

2 DR. ANTMAN: Yes. That would
3 cover --

4 MEMBER EDELMAN: So is it or is it
5 not relevant to what we are considering?

6 DR. ANTMAN: Forgive me Dr.
7 Edelman, is what relevant?

8 MEMBER EDELMAN: The statistic we
9 just heard includes a doctor in his office
10 making a diagnosis of pneumonia.

11 DR. ANTMAN: Yes.

12 MEMBER EDELMAN: And whether or
13 not he recorded oxygen saturation. It's my
14 understanding the metric we are considering
15 applies only to emergency departments.

16 DR. ANTMAN: That's not correct.
17 The --

18 MEMBER EDELMAN: The metric we are
19 considering applies to physicians in their
20 offices?

21 DR. ANTMAN: Right, to use Dr.
22 Weiss's language, the non-inpatient setting,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which can be any ambulatory setting, including
2 the ED.

3 MEMBER EDELMAN: Okay. So I am
4 confused because I thought I heard something
5 else from Dr. Weiss actually. So can we
6 decide what we are talking about?

7 CO-CHAIR WEISS: So is this
8 measure also a -- so we are on 0233, it's
9 titled emergency medicine, assessment of O2
10 sat for CAP essentially.

11 So is this an emergency medicine -
12 -

13 MS. CHAVARRIA: So we did -- we
14 had -- the updated one that we had, that
15 probably NQF staff put up on the website, it
16 had the removal of the emergency medicine
17 piece on it, because -- so now it's just
18 assessment of oxygen saturation because in the
19 first once since it had been required of the
20 emergency set, that was included in the title.

21 CO-CHAIR WEISS: So this is now
22 for non-inpatient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. CHAVARRIA: Yes. And when we
2 submitted that update, it did make it into the
3 updated form, but perhaps you were working
4 off-of, perhaps the --

5 CO-CHAIR WEISS: Okay, and then
6 that would mean that this is a -- 86 percent
7 includes all emergency room use of this and
8 outpatient, which means you have probably got
9 apples and orange things going on, which is
10 probably close to 100 percent in emergency
11 rooms.

12 And actually, that was --

13 MEMBER EDELMAN: But they're
14 probably quite low. When you come into your
15 doctor's office, and you have a little fever
16 and you're coughing a little bit, and he hears
17 a little junk in your chest, and he says
18 you've got pneumonia and he gives you a Z-Pak,
19 the odds are he's not going to do an oxygen
20 saturation if you look well.

21 And the question is do we want
22 that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Yes, and so is
2 the intent that every outpatient, every
3 physician in their office should be doing an
4 O2 sat before a diagnosis of -- and treatment
5 of a patient with CAP, is the question I think
6 we are moving ourselves to. Is that --

7 MEMBER EDELMAN: Yes, no, the
8 question is entirely different now, and I
9 think it deserves a little consideration.

10 MEMBER PELLICONE: I also have
11 another issue, is the patient arrives in the
12 emergency room and is evaluated, diagnosed
13 with pneumonia and sent home, they are
14 included in the measure, but if they get
15 admitted they are excluded from the measure,
16 so we are splitting the ED visits. Is that
17 correct?

18 CO-CHAIR GROSSBART: But they
19 would be included in the current O2 assessment
20 for inpatients.

21 MEMBER PELLICONE: Which is not,
22 which is not -- lost our endorsement. Which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is not part of this because it's been at 100
2 percent for about three years.

3 CO-CHAIR WEISS: But you have to
4 come back to what we are saying here though is
5 you'd want to have every physician practicing
6 pneumonia treatment --

7 MEMBER EDELMAN: Basically we are
8 asking every physician who ever makes a
9 diagnosis of pneumonia to do oximetry.

10 CO-CHAIR WEISS: Yes. Is that
11 what --

12 MEMBER EDELMAN: Is that the
13 standard -- is that the standard you are
14 proposing?

15 DR. CANTRILL: That is the
16 standard we are proposing.

17 MEMBER EDELMAN: Okay thank you.

18 DR. CANTRILL: The location should
19 have no bearing on care, in terms of -- and it
20 has become a fifth vital sign.

21 MEMBER EDELMAN: Yes, I understand
22 that. But you have to understand physician

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 behavior in private practice, and you know, a
2 cough and a little fever will frequently
3 generate a diagnosis of pneumonia.

4 Now, you know, I'm not sure
5 requiring oximetry is appropriate.

6 CO-CHAIR WEISS: But that goes
7 back to the evidence, and we approved the
8 evidence. We are now on the -- oh actually we
9 haven't approved the evidence. We only did --
10 you're absolutely right, we only did impact.

11 Okay, so we see a performance gap,
12 the performance gap we now understand better,
13 which has to do with a -- it's a concatenated
14 performance gap of emergency department and
15 outpatient, and it's running at 86 percent,
16 which we would suspect, no evidence, I mean no
17 real data show that it's going to be very high
18 in the emergency room, which means it's going
19 to be very low in the community, which is not
20 inconsistent with our gestalt, with those of
21 who have a sense of this.

22 So let's now vote on performance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measurement gap, is there a gap? High,
2 moderate, low and insufficient evidence.

3 (Pause for voting)

4 CO-CHAIR WEISS: This one has
5 gotten a little complicated. I didn't
6 anticipate it. But 19 of us have voted.
7 Maybe 20 of us have voted and it just hasn't -
8 - we'll vote again. Don't change your minds.
9 There we go. Done.

10 Stop voting everybody here please.
11 Thirteen say high, four say moderate, three
12 say low, and three -- zero say low and three
13 say insufficient. I wonder if it's just me or
14 if anyone else who was up here would do the
15 same thing. We'll see. We'll see. It's
16 probably me.

17 Okay. Evidence. Now we are to
18 the evidence, and so we have been discussing a
19 lot of this evidence question about what this
20 means in the non-emergency setting, and what's
21 the evidence about this.

22 And what we are hearing from our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure developers as I understand it, is that
2 the evidence would say, regardless of where
3 you're diagnosing pneumonia, you need to get
4 this O2 sat.

5 Now one of the questions we were
6 asked was do we also get an FiO2 at the same
7 time, and did we get an answer to the FiO2
8 question?

9 DR. CANTRILL: The majority of the
10 patients that are ambulatory, their FiO2 was
11 0.2.

12 So it's a much smaller number that
13 are on -- are on supplemental oxygen when they
14 present to the ED, and again that's when --
15 that's when your vital signs are taken.

16 The nurses are usually pretty good
17 about documenting your -- any supplemental
18 oxygen the patient is on at the point of
19 presentation.

20 CO-CHAIR WEISS: So that, let me
21 understand, clinically, I guess the question
22 is specification wise, what does it say about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 FiO2 and the need for FiO2 --

2 DR. ANTMAN: So in specifications
3 for the measure, the FiO2 is not required to
4 meet this measure. If I may add, Dr. Weiss,
5 as far as the evidence for the measure, in the
6 American Thoracic Society guideline that we
7 used as a reference for this measure, there is
8 the following statement:

9 "For those patients with chronic
10 heart or lung disease, the assessment of
11 oxygenation by pulse oximetry will help
12 identify the need for hospitalization."

13 So clearly it's recommended in the
14 ambulatory setting.

15 MEMBER EDELMAN: Well you have to
16 read the whole sentence. It's people with
17 chronic cardiopulmonary disease.

18 So what is the evidence that in a
19 primary care setting, a family physician, that
20 failure to do oximetry associated with
21 diagnosis of pneumonia, leads to a poorer
22 outcome?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANTMAN: Right, so looking
2 among my colleagues here, it doesn't appear
3 that we have any of that --

4 CO-CHAIR WEISS: Just another of
5 the 15-minute marks on this measure.

6 MEMBER YEALY: I guess I would
7 just say the most commonplace presentation for
8 acute community-acquired pneumonia in the
9 emergency department, the evidence is
10 absolutely clear there that you can't
11 re-stratify absent oxygenation, and while we
12 think there are many other folks who are given
13 a more colloquial diagnosis of community-
14 acquired pneumonia in a different setting,
15 there is nothing to refute this and there is
16 no structure --

17 MEMBER EDELMAN: I was having no
18 problem with this when it was confined to the
19 emergency department.

20 MEMBER YEALY: No, I understand.

21 MEMBER EDELMAN: Now it's going to
22 be used to whack a lot of GPs in the head and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I think we need some evidence.

2 MEMBER YEALY: Or change their ==
3 or change their diagnosis if they are not
4 going to truly seek --

5 MEMBER EDELMAN: So this is --

6 MEMBER YEALY: Let me finish
7 please for a second. To actually diagnose
8 acute lower respiratory tract infection since
9 they are not going to get the radiograph
10 either.

11 So they couldn't -- they couldn't
12 have really diagnosed -- they may have
13 suspected and I'm not -- that's a whole
14 different conversation.

15 MEMBER EDELMAN: So now we are
16 addressing -- we are not addressing care. We
17 are addressing the upcoding.

18 CO-CHAIR WEISS: Well there's
19 actually, it may be -- let me just suggest
20 that we are entering into the territory of
21 performance characteristics of the existing
22 instruments and the pre-test probability

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 associated with what patients are coming in,
2 in terms of underlying prevalence.

3 So, the emergency -- all the
4 performance characteristics of using these and
5 building these instruments, these tools, have
6 been based upon presumptive emergency medicine
7 comers, as opposed to what would come into a
8 primary care.

9 So there's probably different
10 performance characteristics that are
11 associated with the need for this, but we
12 don't know that.

13 All that said and done, we are
14 left with the measure here, which has been
15 specified that it would include both emergency
16 department and ambulatory diagnosis, and one
17 way to look at this is exactly what we've
18 seen, which is that this would elevate care
19 because it would help the outpatient care that
20 is happening outside the emergency room for
21 diagnosis treatment look a lot more like
22 emergency room care, and then there's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other one saying -- other balance to this
2 saying well, but this may be actually a
3 different treatment algorithm would be viewed
4 here, a different process of clinical
5 diagnosis and treatment.

6 I don't know where the answer is
7 here but I think those are the issues that you
8 are raising. Do I have them right in terms of
9 what's being propagated? Okay.

10 MEMBER STEMPLE: You said would we
11 be elevating the level of care in a PCP's
12 office and I don't think we heard there's
13 evidence, just because you do a pulse ox, I
14 didn't hear that we had evidence that that
15 elevates care outside of the chronic
16 population, which is not what this measure is
17 saying. This is saying all coming. So I
18 think you misspoke in a way, because you said
19 we would be elevating the level of care. I
20 don't know that there's evidence to say that
21 we are elevating the level of care.

22 CO-CHAIR WEISS: That's great.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Let me clarify my statement, which was we
2 would elevate the level of care to provide the
3 -- by providing O2 sat, you could actually do
4 a better risk stratification of the persons
5 who come into your office with pneumonia,
6 similarly to what they would get in an ED.

7 And that's the level of assessment
8 elevation that you'd get, is the presumption
9 here. I'm not arguing for it or against it.
10 I'm just speaking to what I think I'm hearing
11 are the issues. Trude.

12 MEMBER HAECKER: Are there
13 diagnostic issues as well? I'm not going to
14 do an x-ray in your office because I'm 20
15 minutes away from the radiology suite when I'm
16 down the hall from a portable chest x-ray in
17 the emergency room. And that does have to be
18 factored in as well?

19 CO-CHAIR WEISS: Yes. Okay. Have
20 we got all the issues on the table? I mean,
21 everyone is going to have to vote for
22 themselves here, but --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER STEMPLE: I'm sorry, any
2 idea of what percent of this population is ER
3 versus ambulatory care treated by -- or non-ER
4 outpatient treated?

5 CO-CHAIR WEISS: Do we have any
6 sense from our measure developers of what
7 proportion of CAP is diagnosed like the NAMCS
8 or something, you know, the ambulatory care
9 surveys that show what proportion of --

10 DR. ANTMAN: I don't think we have
11 that separated out.

12 CO-CHAIR WEISS: Okay, so we don't
13 have that information. Okay. Do we have all
14 the issues on the table? Can we go to a vote?
15 Would you be? I mean for better or for
16 worse, we can figure this one out?

17 Has it met the criteria, yes, no,
18 insufficient evidence?

19 (Pause for voting)

20 CO-CHAIR WEISS: I'll be curious
21 to see what this shows. Oh come on, the
22 suspense is killing is. Okay. Everybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 press again. Doesn't want to cough up this
2 fur ball. Okay let's try one more -- a third
3 time.

4 Where are we at here? Okay. We
5 are going to time ourselves out because we are
6 not getting the 20th here. Someone is not
7 wanting to vote or someone's battery is
8 running out. Three, two, okay. Based upon 19
9 votes here's what we've got.

10 Ooh. A bit of a surprise. Okay.
11 Five yes, two no and 12 insufficient evidence.
12 So after a long discussion, a labored
13 discussion, we have to say no to this measure.
14 The -- I don't think we need to review the
15 specifics. You've got them down on record.
16 We've got the measure developers here.

17 I think there's a lot of intrigue
18 about this measure. But the unanswered
19 question seems to be to -- seems to be the
20 higher order of the day. Okay?

21 MEMBER RHEW: Well I also -- I
22 think the limiting it to the ED would probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -- you might have a different result.

2 CO-CHAIR WEISS: So you're not
3 saying a big affirmative to the committee on
4 that. Did you want to say one other closing
5 comment as the measure developer? Steve, do
6 you want to?

7 CO-CHAIR GROSSBART: Well, I was
8 just going to say limiting it to the ED may
9 remove the ambiguity but in the inpatient
10 setting, and all those patients are coming
11 through the ED, we are at 100 percent in the
12 bottom decile, so it -- there may not be a gap
13 in the ED.

14 DR. ANTMAN: So if I may, with
15 regard to the setting, and again, apologies
16 that we apparently created some confusion as
17 to the setting.

18 I look to my colleagues to correct
19 this statement if I have this wrong, but I
20 believe that the pneumonia measures, the
21 PCPI's suite of pneumonia measures, were
22 initially created for the variety of non-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 inpatient settings that we have been
2 describing here -- ambulatory, physician
3 office, other ambulatory settings including
4 the ED.

5 When we convened a group of
6 emergency physicians like Dr. Cantrill to look
7 at measures that would be particularly useful
8 in the ED setting, we adapted many of those
9 measures for the ED setting.

10 So we have a separate set of
11 pneumonia measures that are specified
12 separately for the ED setting. Clearly there
13 is -- there are issues with the evidence for
14 the ambulatory settings other than the ED, so
15 that's valuable for us to hear back.

16 If I may add just one other quick
17 note and that is that the confusion related to
18 the statement about there being measures in
19 Hospital Compare, that is not a note that we,
20 the PCPI staff, inserted into any of our
21 submissions. I think that was a clarification
22 that -- okay. Okay. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Because they were
2 confused and so that's where they went for the
3 data.

4 MEMBER PELLICONE: Can I ask one
5 more, one real quick clarification on the
6 measure just even though we didn't get to it?

7 Is it a requirement that there be
8 documentation, that the clinician saw the O2
9 saturation, and not just a printout of the
10 fifth vital sign?

11 CO-CHAIR WEISS: Something to
12 consider. No need to respond to that. Unless
13 you've got a quick response. Otherwise let's
14 continue. I think the answer is --

15 MEMBER JEWELL: So for me,
16 listening to this whole conversation, the
17 problem I had was the same problem I had with
18 the dyspnea measure. It sounds like we are
19 talking about diagnostic tests and measures
20 that may well have very important clinical
21 meaningfulness for specific populations in the
22 outpatient or ambulatory setting, or the home

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 health setting, and if that's true, then those
2 are the groups we should be targeting, not
3 this gunshot approach where we might capture
4 everyone and that gives people more
5 opportunity to participate.

6 CO-CHAIR WEISS: Okay good. Let's
7 go to the third of these PCPI measures, which
8 would be the -- oh sorry. The vital signs for
9 community -- for CAP.

10 Uh-oh. Okay. Dr. Yealy.

11 MEMBER YEALY: Well, I think we
12 are going to have the same basic conversation
13 again. It is, it runs parallel to oxygenation
14 in that the vital signs are central to any
15 restratification that you will do in a
16 community-acquired pneumonia, no matter how
17 you diagnose it, and will impact upon your
18 decision to treat as an in- or outpatient and
19 what type of coverage you'll do, because as
20 you move up the severity scale, we often
21 broaden coverage and we know that in sicker
22 people broadened coverage is associated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 better outcomes.

2 So there's a variety of dominos
3 that have to fall, but this is a pretty basic
4 one. The rub here is, is we don't actually
5 ask you to integrate any of those particular -
6 - we don't tell you how to integrate them or
7 ask you to show us how you did. Did you use
8 PSI or CURB or SCAP or pick whatever tool you
9 like. We just say please make sure they're
10 measured somewhere.

11 So there is a behavioral gap in
12 there that's not measured. Nonetheless,
13 although I thought oxygenation was going to be
14 on face value a no-brainer, this is really at
15 all levels the exact same conversation.

16 MEMBER EDELMAN: May I ask a
17 question? The denominator is bacterial
18 pneumonia. Am I correct?

19 MEMBER YEALY: I believe so.

20 MEMBER EDELMAN: In community-
21 acquired pneumonia, what percentage of
22 pneumonias are documented by laboratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 methods to be the bacteria?

2 MEMBER YEALY: You could just say
3 in pneumonia in general, what's the frequency
4 of diagnosis?

5 MEMBER EDELMAN: Oh no, but it
6 says bacterial.

7 MEMBER YEALY: I could just -- you
8 could ask in general the number of times a
9 pathogen is identified, whether it's community
10 or non-community is actually probably in the
11 order of 30 percent.

12 MEMBER EDELMAN: So why --

13 MEMBER YEALY: That doesn't mean
14 the others are not, it's just an --

15 MEMBER EDELMAN: So why limit the
16 denominator to bacterial? I mean -- no, but
17 I'm asking whoever the people who wrote this,
18 why on earth are you focusing on bacterial?

19 CO-CHAIR WEISS: So I'm going to
20 be mindful of time here, because we're -- I'm
21 quickly becoming a very bad timekeeper, even
22 with our 15-minute marker here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Let's -- with that bit of general
2 background, let's go right into anything else
3 you'd like to say, Don, about impact,
4 performance gap --

5 MEMBER YEALY: No, I mean, we
6 could go through this all again, but it is the
7 exact same conversation one more time.

8 CO-CHAIR WEISS: Is that what I'm
9 hearing from -- is that -- okay, well I'm
10 hearing at least enough people saying that
11 they are not certain of that that we need to
12 go through it.

13 MEMBER YEALY: I guess the only
14 difference would be most of us wouldn't wonder
15 about whether a community physician or an
16 office had measured the rest of the vital
17 signs. That's the only thing that --

18 CO-CHAIR WEISS: So it sounds like
19 we can go through, we need to go through this,
20 but at least impact and performance gap, let's
21 -- and I'm shepherding us through a formal
22 process because we need to go through it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Anything else you'd like to say
2 about impact or vital signs?

3 MEMBER YEALY: Yes.

4 CO-CHAIR WEISS: Anything you'd
5 like to say about performance gap and what at
6 least is --

7 MEMBER YEALY: So I turn to the
8 folks who have been -- who have proposed this
9 and followed it, and let us know what the
10 performance gaps are on vital signs, the most
11 recent data. We did not have that at the
12 call.

13 Again, I know from even RCT work,
14 as frightening as this sounds, how often they
15 are not completed.

16 DR. CANTRILL: The 2008 PQRS data
17 gives a performance gap of 22.3 percent.

18 CO-CHAIR WEISS: I mean that seems
19 like -- one out of five patients don't get
20 vital signs?

21 MEMBER YEALY: Don't get complete
22 vital signs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Complete vital
2 signs. And this is because we are now mixing
3 -- not because, but we know that we are mixing
4 emergency room and ambulatory --

5 MEMBER YEALY: Mixing all
6 ambulatory types and essentially three
7 different sets -- three different variables.

8 CO-CHAIR WEISS: Okay. So in
9 terms of performance gap, we see about 22
10 percent is the number, in this 2009 data we
11 think?

12 And then we have the final piece
13 of evidence supporting this.

14 MEMBER YEALY: Again, it comes
15 back to these are the cornerstones, no matter
16 which rule you use, the only question is
17 whether you use two or three of these in your
18 assessment of severity, which will drive
19 almost everything downstream, including
20 whether you will just decide to send someone
21 home on oral therapy or refer them on
22 elsewhere or send them to the emergency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 department.

2 There's really no way you can make
3 that decision without having incorporated this
4 evidence.

5 MEMBER STEMPLE: And Kevin, we
6 have heard O2 sat is the fifth vital sign, is
7 O2 sat one of the vital signs here?

8 CO-CHAIR WEISS: That was more
9 conceptual. They are trying to make it that.

10 MEMBER STEMPLE: Okay, I just
11 wanted to make sure. Temperature, respiratory
12 and blood pressure.

13 CO-CHAIR WEISS: The pulse,
14 respiratory, blood pressure. Okay. Dianne
15 and then Norman.

16 MEMBER JEWELL: So forgive me if
17 everybody else understands this but me, but
18 does it matter that we are talking about this
19 relative to bacterial pneumonia as opposed to
20 all the pneumonias? Okay.

21 MEMBER LEVY: Well, it shouldn't.
22 There's nothing about bacterial that's --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER JEWELL: That makes this
2 extra special. So --

3 MEMBER LEVY: That's what you were
4 asking.

5 MEMBER JEWELL: So the notion.
6 Yes. Okay. I just wanted to -- because I
7 didn't actually hear the answer to your
8 question, so that's why I was just trying to
9 clarify. Thank you.

10 MEMBER YEALY: If I was writing
11 it, I would have just excluded that word. I
12 would have just said acute community-acquired
13 pneumonia and leave it at that.

14 CO-CHAIR WEISS: Can I ask from a
15 developer's standpoint, is that a
16 clarification opportunity, or is that a new
17 measure, I mean is that changing the measure
18 specifications?

19 DR. ANTMAN: And I'm sorry, do you
20 mean the bacterial specification?

21 CO-CHAIR WEISS: Yes.

22 DR. ANTMAN: So although the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 guideline citations don't say this
2 specifically, I believe, and we're checking, I
3 believe that the guidelines that we reference
4 were specific to bacterial pneumonia, but
5 that's something we can certainly verify.

6 If I can address another question
7 that came up. In our specifications, we do
8 state that the vital signs include
9 temperature, pulse, respiratory rate and blood
10 pressure.

11 It does not include O2 sat.

12 CO-CHAIR WEISS: Mitchell.

13 MEMBER LEVY: Yes, the -- I worry
14 -- the numerator, in the gap analysis we are
15 quoting, the numerator here says documented
16 and reviewed, and I'm not sure I understand
17 that.

18 Does that mean it has to be
19 documented in a chart somewhere that someone -
20 - not only that --

21 (Alarm sounds)

22 MEMBER LEVY: the vital signs have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been done, but they have been reviewed by the
2 clinician? Because that's -- is that what is
3 being tracked when you -- we have quoted the
4 gap analysis data? Those are two very
5 different things, and I definitely want
6 clarification of that.

7 DR. ANTMAN: Well, the intent is
8 that it will have been reviewed by the
9 clinician, yes. And that -- and going back to
10 an earlier question, that was the intent for
11 the O2 sat measure as well. I think someone
12 asked, does that mean that the physician
13 actually looked at the results, and the answer
14 is yes. We added the words "and reviewed"
15 specifically, because that's the intent.

16 MEMBER LEVY: But that means, so
17 that's either self-attestation, or I mean,
18 that -- how you measure that, that's, that
19 makes that measure very difficult to -- that
20 metric very difficult to measure.

21 I mean, almost impossible unless
22 somebody is reviewing charts. That's why I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ask, because as written there, then that's a
2 metric that really is not -- is almost
3 unusable but we are quoting gap analysis, so I
4 am wondering if now we are conflating these
5 two -- these two approaches.

6 DR. RALLINS: I'd like to make one
7 comment. I'm Marjorie Rallins and I work with
8 the specifications team, and we are also
9 working on developing this specification for
10 an electronic data source that it is
11 anticipated that you can capture additional
12 nuances such as documented and reviewed
13 differently than you can if you are reviewing
14 claims.

15 So I'd like for us to be mindful
16 of that when we are having our discussion.

17 CO-CHAIR WEISS: That's in the
18 developmental phase, or have you implemented
19 it and tested some of this or --

20 DR. RALLINS: We are developing
21 our specifications, I don't believe those have
22 been tested or --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: So that's
2 something for us to look towards in the future
3 more, so then --

4 DR. RALLINS: Sure. Sure.

5 CO-CHAIR WEISS: Okay. Good.
6 Excellent. It sounds great. Norman.

7 MEMBER EDELMAN: Very briefly.
8 This is not like the oxygen. This is the
9 opposite of the oxygen. So it's perfectly
10 reasonable to ask a GP to take vital signs.
11 But the impact is trivial if it's limited to
12 documented bacterial pneumonia, because that
13 almost never happens in the ambulatory
14 setting.

15 CO-CHAIR WEISS: Comment to our
16 measure developers. So your thoughts on what
17 we just heard from Norman about the fact that
18 this bacterial pneumonia specification is --
19 sounds like it's embedded because that's where
20 the literature was and it went from the
21 emergency room, which is where -- so going
22 backward, by keeping the bacteria, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 something you don't see quite commonly in an
2 ambulatory setting because of the lack of
3 diagnosis and the lack of sending cultures and
4 all that kind of stuff. Interesting. Okay.

5 MEMBER YEALY: The data aren't
6 specific to bacterial and I suspect you are
7 not just pulling bacterial -- because in fact
8 it would be almost impossible to construct
9 such a cohort.

10 So this again I see as an
11 opportunity for clarification, not change of
12 the guideline. I just think that for whatever
13 reason, that word ended up in there
14 infortuitously.

15 CO-CHAIR WEISS: Okay.

16 DR. ANTMAN: So I apologize that
17 we don't have a definitive answer to that but
18 we will certainly find one.

19 CO-CHAIR WEISS: Peter, and then
20 we are going to go to vote.

21 MEMBER ALMENOFF: I have just one
22 last comment for the developers. It wouldn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be a bad idea if you are going to do a lot of
2 ambulatory care measures to maybe have the
3 American College of Family Practice or another
4 group out of an ED. You know, ED is going to
5 be good at ED, but you know, we might have
6 gotten another perspective from the family
7 practitioners regarding oximetry in an office,
8 so it wouldn't be a bad idea to try to get
9 some perspectives of the groups that really --
10 because at least here it shows me ED and some
11 other group, but it doesn't show anything
12 regarding family practice or any of the people
13 who really do most of the ambulatory care
14 work.

15 DR. ANTMAN: And forgive me
16 doctor, I'm sorry, are you looking at the
17 makeup of our development group?

18 MEMBER ALMENOFF: Right.

19 DR. ANTMAN: Okay.

20 MEMBER ALMENOFF: Because it would
21 have eliminated maybe the issue about
22 oxygenation, because they might have said we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 do that all the time. I mean, I don't know.

2 CO-CHAIR WEISS: Let me, if I can,
3 because we have to get to vote, just log that
4 as a thought, take it offline if you want to,
5 to find out more detail.

6 But let's vote. Impact. One
7 high, two moderate, three low, four
8 insufficient evidence.

9 (Pause for voting)

10 CO-CHAIR WEISS: Seventeen, 19.
11 Okay who is that, there we go, 10 high, 7
12 moderate, 2 low and 1 insufficient evidence.
13 Next let's go to performance gap. High,
14 moderate, low, insufficient evidence.

15 (Pause for voting)

16 CO-CHAIR WEISS: Okay, 7 high, 11
17 moderate, no low and 2 insufficient evidence.

18 Okay, let's continue on to -- is there enough
19 evidence in your mind to support this going
20 forward? Yes, no or insufficient.

21 (Pause for voting)

22 CO-CHAIR WEISS: We are at, what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 18, 19? Okay. There we go. And the answer
2 is yes, 16, 1 no, and 3 insufficient evidence.

3 Okay, that means we talk about
4 reliability and validity. Don?

5 MEMBER YEALY: And I think we have
6 already touched upon the reliability issue,
7 about the chasm between documentation of the
8 vital sign and, or the measuring of the vital
9 sign and someone's actually knowledge of it,
10 where that can offer the appearance of lack of
11 integration of this information, and that was
12 the only concern that I recall before, and it
13 didn't outweigh the positive recommendation.

14 CO-CHAIR WEISS: Let's go on to --
15 well first ask anyone on the working group
16 have any thoughts about reliability? And how
17 about the committee as a whole?

18 (No response)

19 CO-CHAIR WEISS: Let's shift to
20 validity. Let's shift to the validity
21 discussion. Yes. Not there yet.

22 MEMBER YEALY: Let me pull up my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 notes, hang on one second. Again, there
2 wasn't a lot of concern about the validity
3 issue, again, we didn't have much
4 conversation.

5 Again it cycles back to the issue
6 of knowing them and integrating them fully are
7 two separate things and we can't -- and we
8 don't have a way, unless we -- unless a brand
9 new criteria was developed that said use and
10 then gave you a menu of that and that's a
11 completely different, that's not on the table
12 right now.

13 CO-CHAIR WEISS: Okay. From the
14 working group? From the table at large?

15 (No response)

16 CO-CHAIR WEISS: We've talked this
17 one out. Okay. So let's now vote for
18 reliability. Reliability, one, two, three,
19 high, moderate, low or insufficient evidence.

20 (Pause for voting)

21 CO-CHAIR WEISS: Here we go, 10
22 say high, 8 say moderate, 2 say low and no for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 insufficient. Let's go to validity testing.
2 High, moderate, low and insufficient. Please
3 vote.

4 (Pause for voting)

5 CO-CHAIR WEISS: It is in there.
6 Correct. Are you seeding thoughts in our
7 head?

8 Here we go. So seven high, nine
9 moderate, three low and one insufficient. And
10 so it goes on to usability and feasibility.
11 So --

12 MEMBER YEALY: Again, we didn't
13 see any concerns about this particular part,
14 as it -- as it connected to outside
15 understanding.

16 CO-CHAIR WEISS: Workgroup? Total
17 group?

18 (No response)

19 CO-CHAIR WEISS: Vote one, two,
20 three, high, moderate, low, insufficient
21 information.

22 (Pause for voting)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Thirteen high,
2 five moderate, two low and none for
3 insufficient information. Let's go to the
4 final item, element, feasibility. How
5 feasible is it to collect this data? I guess
6 that means --

7 MEMBER YEALY: Again, this is --
8 we have talked about it before. Collecting
9 the documentation of the vital signs is not a
10 challenge. Determining whether or not it's
11 been integrated is a whole separate issue but
12 it's not part of the measurement.

13 CO-CHAIR WEISS: Okay. It is.
14 Review is part of it.

15 MEMBER YEALY: No, I mean, how it
16 was -- how you integrated that information
17 into your decision-making is what I'm saying.

18 CO-CHAIR WEISS: Oh, okay.

19 MEMBER YEALY: There's no -- you
20 know the implicit hook of collecting the vital
21 signs is that you will use them appropriately
22 to make a decision and that is not what is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 being asked here.

2 CO-CHAIR WEISS: This is a
3 documentation measure. Full stop. Okay. So
4 --

5 MEMBER YEALY: There's a leap of
6 faith of that having them will make you act
7 appropriately.

8 CO-CHAIR WEISS: And then any
9 comment from the group, otherwise we'll vote.
10 I guess we're voting. Good.

11 (Pause for voting)

12 CO-CHAIR WEISS: There you go. We
13 got all 20 there. Good. And high, moderate,
14 low, oh sorry -- high nine, moderate seven,
15 low three and insufficient one. It's getting
16 kind of like -- it's getting hypnotic.

17 Okay, here it is. This is the
18 overall shall we endorse, send this forward
19 for endorsement?

20 Yes, no. One, two.

21 (Pause for voting)

22 CO-CHAIR WEISS: Seventeen say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 yes, three say no. It does raise a question
2 in my mind's eye at least. I remember when
3 ophthalmology had the measure that you needed
4 to look at -- do an eye exam before doing a
5 cataract extraction, I mean the vital signs
6 before you work on pneumonia feels like we are
7 hitting a real lowball in measurement here.

8 But it seems to pass through the
9 process well. Good. Let's -- what was that?
10 Oh yes. So, but it seems like there's more
11 technically getting through there. But okay,
12 1895.

13 And we -- once again we have to be
14 mindful we have got our colleagues from CMS on
15 the phone and we want to thank our colleagues
16 from CMS and hope they will be us for a little
17 bit longer.

18 Assessment of the mental status
19 for community-acquired bacterial pneumonia.
20 We don't have to talk about the word bacterial
21 again, because we have heard that, and
22 Christy, you're up at bat here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WHETSELL: Well, I think
2 everything comes across that we have discussed
3 in the last two, about bacterial, about what
4 environment is this being collected in, and
5 things like that.

6 When we were on the conference
7 call as a team, we kind of felt this was a no-
8 brainer, you should do a mental exam on a
9 patient, and we kind of sailed through it.

10 CO-CHAIR WEISS: Okay. So in
11 terms of its impact -- what was that? This is
12 same as before. It's --

13 MEMBER WHETSELL: Same as before.

14 MEMBER RHEW: Quick question.
15 Steve, earlier you said that this is actually
16 not mental status exam but this is confusion.
17 Is -- do we need to change that then?

18 CO-CHAIR WEISS: Well that would
19 be changing the whole specification.

20 DR. CANTRILL: I don't think so
21 but that is the data point that we are after
22 because that is used in some of the algorithms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to determine when should the patient be
2 admitted and should they be admitted to an
3 ICU?

4 So it's -- mental status is a
5 routine part of a physical exam.

6 MEMBER ALMENOFF: We're talking
7 about all settings though, so --

8 DR. CANTRILL: I'm sorry?

9 MEMBER ALMENOFF: We're talking
10 about all settings. So usually --

11 DR. CANTRILL: I would maintain
12 that mental status is a routine part of a
13 physical exam.

14 MEMBER EDELMAN: So again in the
15 family doctor's office, you would require his
16 note to indicate that he had done a mental
17 status exam? Is that correct? Thank you.

18 CO-CHAIR WEISS: At least oriented
19 times three.

20 DR. CANTRILL: Are outpatient
21 offices included in this, even if they are not
22 part of a hospital?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ALMENOFF: Correct.

2 DR. CANTRILL: So that would be
3 true.

4 CO-CHAIR WEISS: Okay, so impact,
5 any other comments from the group on impact?
6 If not, let's think about performance gap.
7 What are we seeing as performance gap
8 currently in terms of --

9 MEMBER WHETSELL: Looking at their
10 data that they talked about, I think they said
11 there was a 20 percent gap, I'm sorry, 19.42
12 percent gap.

13 CO-CHAIR WEISS: Very similar to
14 the vital signs. Suspiciously similar to the
15 vital signs. But good. Okay. And then the
16 third element is the evidence.

17 MEMBER WHETSELL: I think the
18 concern there was that there is variation in
19 how mental status can be evaluated.

20 CO-CHAIR WEISS: Okay.

21 MEMBER WHETSELL: And/or reported.

22 CO-CHAIR WEISS: Did that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 variation concern anybody in terms of how it
2 was being specified?

3 MEMBER WHETSELL: To my team, I
4 don't recall us having that discussion.

5 CO-CHAIR WEISS: Okay. Very good.
6 Then let's go --

7 MEMBER EDELMAN: I'm sorry. I
8 have a question. And there is evidence,
9 presumably, that failure to assess mental
10 status leads to inappropriate clinical
11 outcomes?

12 CO-CHAIR WEISS: Does or can?

13 MEMBER EDELMAN: Well, I mean,
14 there should, since you are taking vital
15 signs, and doing oximetry and doing all kinds
16 of other things, it might be something that
17 doesn't add to the clinical decision-making.

18 MEMBER YEALY: So the single
19 biggest point score in the pneumonia severity
20 index is altered sensorium, single biggest
21 change, actually outside of age.

22 That would outweigh almost -- it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 goes neck and neck with hypotension so --

2 MEMBER EDELMAN: So that would
3 lead to sending a patient to the floor rather
4 than the ICU, is that correct?

5 MEMBER YEALY: In theory, if you
6 hadn't assessed it --

7 MEMBER EDELMAN: In theory.

8 MEMBER YEALY: Or sending home
9 instead of admitting to the hospital or making
10 -- you wouldn't be able to fully, and the same
11 happens for CURB and SCAP. They all use some
12 assessment.

13 And what literature there is, does
14 not suggest that differing tools leave you in
15 dramatically different spots, whether you use
16 sensorium, confusion, Glasgow Coma Scale which
17 was never intended for this, that it -- that
18 some look ends up getting you where you need
19 to be.

20 MEMBER ALMENOFF: But isn't most
21 of that literature in the ER setting?

22 MEMBER YEALY: It's actually ER in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 inpatient setting. Again --

2 MEMBER ALMENOFF: And so --

3 MEMBER YEALY: I just don't,
4 there's not --

5 MEMBER ALMENOFF: That's why we
6 keep extrapolating data from one setting and
7 putting it in another setting, and I'm just
8 not --

9 MEMBER YEALY: I can't speak and I
10 don't know of large cohorts in the ambulatory
11 setting. I just don't know of them.

12 MEMBER ALMENOFF: Right.

13 CO-CHAIR WEISS: So, with that in
14 mind are we ready to -- any other questions
15 from the group as a whole on these three
16 constructs? If not, let's vote on them.

17 Impact.

18 (Pause for voting)

19 CO-CHAIR WEISS: Okay, let's try
20 again. Please. Press your buttons again.
21 There we go. Perfect. So high eight,
22 moderate eight, low one, insufficient evidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one. Next would be the gap which we heard was
2 20 some -- 19 point something percent.

3 MEMBER WHETSELL: 19.42.

4 CO-CHAIR WEISS: Okay.

5 (Pause for voting)

6 CO-CHAIR WEISS: Okay we are
7 voting on performance gap. Good. All the
8 ones are in. So 6 high, 13 moderate, no low,
9 no insufficient. Next is the evidence.

10 (Pause for voting)

11 CO-CHAIR WEISS: Is the evidence
12 clear? Yes, no or insufficient. Okay. Wow,
13 so 14 yes and 5 insufficient. So it moves
14 forward.

15 So let's talk about reliability
16 and validity. Christy it comes back to you.

17 MEMBER WHETSELL: I think in
18 reliability the discussion we had had was
19 again, variation of a tool used can impact
20 what we see. Validity, we didn't have a
21 discussion.

22 CO-CHAIR WEISS: So let's ask. Is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there concerns about validity that we would
2 need to look at here? This goes to the group
3 as a whole. Rubin.

4 MEMBER COHEN: I'm just wondering.
5 So just documenting that the patient is
6 confused is adequate?

7 CO-CHAIR WEISS: That's what we
8 are hearing.

9 MEMBER COHEN: Or do you have like
10 loss of recent memory, or orientation? How
11 extensive does this have to be?

12 The patient has a fever, he's
13 confused. That's adequate to assess -- just.

14 DR. CANTRILL: I think this would
15 be passed by listing any component of a mental
16 status.

17 MEMBER COHEN: Any component?

18 DR. CANTRILL: Yes.

19 MEMBER COHEN: Okay.

20 CO-CHAIR WEISS: Okay. Any other
21 questions, thoughts? Let's vote on
22 reliability and validity. One, two, three,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 high, moderate, low on reliability, four if
2 you feel it's insufficient information.

3 (Pause for voting)

4 CO-CHAIR WEISS: Get those fingers
5 moving, a little afternoon exercise on the
6 fingers. Almost there. If you vote we can
7 save 40 seconds here. Press again.

8 Look everybody, point to Jessica,
9 let's do it again one more time. Save 30
10 seconds. Come on, you can do it. There we
11 go. Okay.

12 So 5 high, 11 moderate, 2 low and
13 1 insufficient evidence. Let's go to
14 validity. Yes.

15 MEMBER JEWELL: So there wouldn't
16 be exclusions for people who already have
17 documented problems like dementia or other
18 cognitive decline?

19 CO-CHAIR WEISS: How is that
20 handled? For a person who is -- well you are
21 still assessing it. The question is, does it
22 contribute much. One might argue that maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people who have altered mental status even
2 though their pneumonia is not bad, may have a
3 hard time with compliance, particularly in
4 outpatient. So, but we are just talking about
5 documentation here, did it document, and
6 whether that relates to outcome.

7 MEMBER JEWELL: Okay, so and I
8 understand we are not talking about how you
9 use the information, I guess, well, except we
10 have been talking about risk stratification as
11 the evidence, so I guess that just --

12 CO-CHAIR WEISS: Correct. That's
13 -- you're right.

14 MEMBER JEWELL: That for me is a
15 disconnect, but okay.

16 CO-CHAIR WEISS: Well one would
17 assume that a person who has dementia may be
18 at a higher risk and again, it may be for
19 reasons that are not related to the bacterial
20 pneumonia per se, but maybe to compliance or
21 ability to express the need for anything to
22 additional therapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER YEALY: Again, I didn't
2 write the criteria that existed before I came
3 here, but as a PSI author, any change in
4 mental status, whether it was new or old, is a
5 bad thing.

6 MEMBER JEWELL: And so that's the
7 -- because it was change of mental status that
8 I heard, and so I was thinking it meant acute
9 change, not longstanding change also. Thank
10 you.

11 CO-CHAIR WEISS: So it's just
12 altered mental status. Good, so let's vote on
13 validity. One, two, three, high, moderate,
14 low and four for insufficient evidence.

15 You guys are going to be happy to
16 get rid of me with two more measures.

17 (Pause for voting)

18 CO-CHAIR WEISS: Okay. Six high,
19 12 moderate validity, one low and no
20 insufficient, so it passes through. Let's go
21 to usability.

22 Back to you Christy. Usability

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and feasibility.

2 MEMBER WHETSELL: Again we thought
3 that this was just a no-brainer, that it would
4 be highly useful and feasibly easy to obtain.

5 CO-CHAIR WEISS: I assume that the
6 review issue still was standing about how you
7 know that it was -- that it was actually
8 reviewed. Is that -- review is part of this as
9 well? It's document and review, or is it just
10 document?

11 DR. CANTRILL: It's nominally
12 documented by the physician. So since he is
13 the decision maker here, that is implied that
14 if he evaluated the patient for that, that in
15 fact that would be part of his decision-making
16 process.

17 CO-CHAIR WEISS: Okay. Very good.
18 Questions, thoughts, comments around the
19 room?

20 (No response)

21 CO-CHAIR WEISS: Okay, then let's
22 vote first on usability. One, two, three, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 high, moderate, low, four is insufficient.

2 (Pause for voting)

3 CO-CHAIR WEISS: Let's try again,
4 see if we can move this timeframe a little
5 faster. There we go. All set.

6 Seven say high, 12 moderate, no
7 low, no insufficient, okay. Let's go from
8 usability to feasibility. High, moderate, low
9 and insufficient.

10 (Pause for voting)

11 CO-CHAIR WEISS: Okay if everyone
12 can try again so we can try and speed up the
13 clock a little bit, that would be wonderful.
14 There we go. Good.

15 Six say high, much more moderate -
16 - 12, one low, and no insufficient. Let's go
17 to the final, summative vote. Yes this should
18 be moved on for endorsement, and no way. One
19 or two.

20 (Pause for voting)

21 CO-CHAIR WEISS: I think we got
22 18. Let's try again. Push everybody. It's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 high incentive to speed us along here. One
2 more time everybody, come on, we can do it.
3 There we go. Okay. Nineteen yes, unanimous.
4 Move it forward.

5 Again, this is a documentation
6 measure. It does feel a little bit lowball.
7 But it sounds like the community is not doing
8 it so good.

9 We are down to two measures to
10 complete this section of process measures for
11 pneumonia. Both are CMS measures. I'm just
12 wondering if it's -- we are running a little
13 bit late. It feels like a break would be
14 required, just biologically.

15 Finish, want to push through
16 these, or do you want to -- do people need to
17 take a quick break because of human dimensions
18 here, a biologic moment?

19 Let's -- who is on the phone with
20 us? Dale?

21 DR. BRATZLER: Dale Bratzler.

22 CO-CHAIR WEISS: Dale, would you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mind if we took like a 5, 7, 10 minute break
2 just so we can get -- we haven't had a break
3 since lunch? Would that be painful to you? Or
4 not?

5 DR. BRATZLER: I'm pushing up
6 against another meeting, but I can certainly
7 wait.

8 CO-CHAIR WEISS: Okay, well let's
9 just do it. Okay. Let's just do it. So if
10 you are going to -- please, if anyone needs to
11 step out the room, please do so, but do so
12 really as expeditiously as you can.

13 Let's jump right in. Measure
14 0147.

15 DR. BRATZLER: I think I can give
16 a very quick overview, mainly because you have
17 already talked about 0147 largely, it's not
18 that much different from this AMA measure.

19 It's the initial antibiotic
20 selection for community-acquired pneumonia is
21 a measure that focuses only on those patients
22 admitted to inpatient status. We do have a --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 so as you heard earlier, the denominator is
2 defined by a patient that has a discharge
3 diagnosis of pneumonia.

4 However, we have a data element
5 that says did the emergency department or the
6 initial admitting physician make a diagnosis,
7 a clinical diagnosis of pneumonia.

8 So a pneumonia that is diagnosed
9 subsequent, during the hospital stay, is not
10 included in the measure. And it's -- the
11 performance measure is based on the IDSA in
12 the American Thoracic Society guidelines from
13 2007.

14 However the measure is
15 continuously updated. We meet every three
16 months and if you look at the performance
17 measures classifications, the measure has been
18 substantially updated since 2007 because there
19 are new antibiotics on the market, and we do
20 meet with the guideline panel every three
21 months to talk about updates.

22 The second performance measure,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 0148, focuses on only those patients who have
2 an -- who have a blood culture drawn while
3 they are in the emergency department.

4 In other words, if the patient is
5 not in the emergency department, if the
6 clinician decides not to order a blood culture
7 --

8 (Alarm sounds)

9 DR. BRATZLER: they are not in the
10 denominator for the measure. The measure
11 simply looks at if the emergency department
12 physician decides to order a blood culture, do
13 they draw -- have the blood culture drawn
14 before the antibiotics are given.

15 Once the decision to admit the
16 patient is made, and it's documented in the
17 chart, then the patient is no longer eligible
18 for this measure. It only focuses on those
19 patients in emergency departments, when and
20 only for those patients, completely at the
21 discretion of the ED physician to decide
22 whether a blood culture is needed or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Excellent. Thank
2 you so much. Before we begin the specific
3 discussion, any from the table, any general
4 questions to measure developer?

5 (No response)

6 CO-CHAIR WEISS: If not, then
7 Dave.

8 MEMBER RHEW: Sure, thanks Dale,
9 and in fact this is very similar to our prior
10 discussion on the 0096 so what we will really
11 just focus on are the key differences. The
12 key difference certainly rationale wise, I
13 mean this is clearly an important initiative,
14 we also know that from the most recent
15 Hospital Compare, the current adherence rate
16 is 94 percent.

17 And as per prior discussions, the
18 evidence is very strong, much more so in the
19 inpatient side and the severely ill patients,
20 as opposed to the lesser sick.

21 So I think those are kind of the
22 three things in terms of the rationale, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 gap and the evidence, but it's really pretty
2 much the same discussion that we had
3 previously.

4 CO-CHAIR WEISS: Okay, and let's
5 ask the workgroup, would you like to add any
6 thoughts to what Dave has suggested?

7 (No response)

8 CO-CHAIR WEISS: Okay. And from
9 around the table. Questions or thoughts with
10 relationship to impact, gap or evidence?

11 (No response)

12 CO-CHAIR WEISS: Okay. Everyone
13 still with me? We have an -- I just want to
14 make sure that we are not into a -- we are all
15 here, yes? Yes? This is it for this time of
16 day, huh?

17 Okay, let's vote. Impact.

18 (Pause for voting)

19 CO-CHAIR WEISS: Thirteen high,
20 six moderate, no low and no insufficient
21 evidence. Let's move on to the gap. Did we
22 hear the performance gap? I don't remember.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Ninety four percent?

2 MEMBER RHEW: Ninety four percent.

3 CO-CHAIR WEISS: Do we want to say
4 anything about that or --

5 MEMBER RHEW: Again, that there is
6 a gap. We -- it could probably be improved
7 upon, and again, especially in the inpatient -
8 - I guess the one thought that we did have
9 that we know is not currently in there, but if
10 there was an ability to tease out ICU versus
11 non-ICU, that was something that we thought
12 could be very helpful because the impact is
13 much stronger in ICU.

14 CO-CHAIR WEISS: Is there much
15 variability in that 94 percent, or is it
16 really just --

17 MEMBER RHEW: That's what we'd
18 like to know, and that's -- that's I think
19 where we could really better understand if the
20 opportunity is much greater than what we
21 really believe it to be.

22 CO-CHAIR WEISS: Do we have a --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information from Dale, do you have anything
2 with relationship to variability with --
3 because that's a very high success rate, 94.

4 DR. BRATZLER: Yes, so we did
5 forward information earlier about the
6 performance measure and the, you know, the
7 greatest opportunity for improvement is still
8 you know, in the intensive care unit setting,
9 where rates of performance are much lower for
10 those patients, the sickest patients and get
11 them into the ICU.

12 But I think we did provide a nice
13 distribution of the number of hospitals that
14 pass or fail the measure. There is still
15 substantial opportunity for improvement but
16 particularly for the ICU population.

17 CO-CHAIR WEISS: Okay. Great.
18 Any other thoughts or comments related to gap?
19 Otherwise let's vote.

20 (Pause for voting)

21 CO-CHAIR WEISS: Okay. Here we
22 go, eight high, eight moderate, two low, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one insufficient evidence. Let's go to the
2 final of these three, evidence. Yes there is
3 adequate evidence, two, no there is not, and
4 three is insufficient evidence.

5 (Pause for voting)

6 CO-CHAIR WEISS: Let's press again
7 if we could, just to see if we can boost this
8 along. There we go. All set, 17 say yes, 2
9 say no, no insufficient. Let's move on to
10 reliability and validity.

11 Dave?

12 MEMBER RHEW: Sure, with regards
13 to reliability, I mean, I think Dale you have
14 done a really nice job in terms of including
15 all the key specifications, but the one thing
16 that perhaps would be nice to include, you
17 mentioned this, but some reference to what the
18 antibiotics were or how, when you update them,
19 how we would know, and you made reference to
20 the IDSA/ATS guidelines.

21 But some reference to that would
22 be helpful in the document, recognizing that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we are not relying on the 2007 guidelines per
2 se, but this is an ongoing area where we could
3 perhaps get, you know, maybe tap into so we
4 know what the specifications are.

5 CO-CHAIR WEISS: Okay. Comments
6 from the rest of the workgroup. Dale?

7 DR. BRATZLER: No, I don't have
8 anything to add. We -- that's why I
9 mentioned, we do update the performance
10 metric. If you -- the manual gets updated
11 twice a year but the panel meets every three
12 months.

13 CO-CHAIR WEISS: Okay, the rest of
14 the workgroup, or the rest of the table?

15 (No response)

16 CO-CHAIR WEISS: Okay. Validity?
17 Where are we at in validity?

18 MEMBER RHEW: Again, this is one
19 where we -- well, this has been implemented,
20 it's been tested, it's been pulled out from
21 the EHR, I mean we thought this is a highly
22 valid, highly reliable metric.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The caveat of course being you
2 know, what we mentioned before, this is post -
3 - you know, this is all retrospective as
4 opposed to prospectively collected and that's
5 a challenge that we all face.

6 CO-CHAIR WEISS: Okay. Very good.
7 Any comments from the table?

8 (No response)

9 CO-CHAIR WEISS: Then let's vote.
10 High, moderate, low or insufficient, on
11 reliability.

12 (Pause for voting)

13 CO-CHAIR WEISS: Again we are
14 going to vote again. Done. Okay good, 17
15 high, 2 moderate, no low and no insufficient.
16 Let's go on to validity.

17 MEMBER ALMENOFF: And I guess this
18 is for maybe it's CMS. You know you have a
19 list of all the -- in table 3.1, all the, I
20 guess, diagnoses of pneumonia.

21 Is this the entire list you are
22 going to use, because you have a lot of things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on here that I wouldn't consider CAP. So I'm
2 just kind of curious, because you know, with
3 the -- the CMS Hospital Compare measure,
4 people kept -- CMS kept taking out different
5 diagnostic codes because they weren't correct,
6 and it just seems like you have almost
7 everything and the kitchen sink in the
8 diagnoses here.

9 So would anybody be able to
10 address that?

11 CO-CHAIR WEISS: Dale, did you
12 hear that comment?

13 DR. BRATZLER: Sorry, I did not.

14 MEMBER ALMENOFF: So let me repeat
15 that again. Under the table 3.1, with the
16 pneumonias -- can you hear me?

17 DR. BRATZLER: Yes, table 3.1.
18 I'm just not sure what you are referring to,
19 but --

20 MEMBER ALMENOFF: You have got a
21 list of almost every organism on earth on the
22 list and a lot of them are not associated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 community-acquired pneumonia. So just sort of
2 wondering why they are all on this list.
3 Shouldn't it be selective organisms that we
4 would be thinking about regarding CAP and not
5 gram-negative organisms, and MRSA septicemia
6 and Pseudomonas. I always thought those were
7 not CAP-related but for other reasons.

8 DR. BRATZLER: Yes, so we actually
9 -- the only reason we use a list of specific
10 organisms is to exclude patients from the
11 measure. In other words, we -- because this
12 measure focuses on empiric selection of
13 antibiotics, if the patient has a documented
14 pathogen, then we actually exclude them from
15 the measure.

16 And also if they have a documented
17 infection elsewhere that requires treatment,
18 we exclude them from this measure that focuses
19 on only empiric treatment of pneumonia, when
20 you have no pathogen identified.

21 MEMBER ALMENOFF: I see the
22 exclusion piece. But the inclusion piece is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the part I'm wondering about.

2 CO-CHAIR GROSSBART: Dale, Steve
3 Grossbart here. Maybe I can help you out. So
4 Peter is referencing the fact that there's
5 septicemia codes and my understanding is that
6 if you have a primary of CAP, you go into this
7 population, or if you have got a primary
8 septicemia and a secondary of CAP, you go into
9 this population.

10 DR. BRATZLER: Yes, or also a
11 primary respiratory failure and a secondary of
12 CAP, you go in. But again, there has to be
13 documentation by the initial physician, either
14 the admitting, direct admitting physician, or
15 the emergency department physician, that
16 pneumonia was the diagnosis at the time of
17 admission.

18 So a patient who comes in with
19 respiratory failure, is up on the vent and
20 develops pneumonia three days or four days
21 later, those patients are not included. There
22 has to be a diagnosis of pneumonia up front.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ALMENOFF: Yes, but I'm
2 more interested in the diagnosis up front of
3 Pseudomonas pneumonia. Is that, would that be
4 considered a typical community-acquired
5 pneumonia infection, when you get Pseudomonas?

6 DR. BRATZLER: So Pseudomonas is
7 extremely uncommon as a cause of community-
8 acquired, although there are a few patients,
9 patients with chronic lung disease, who
10 occasionally are diagnosed with Pseudomonas.

11 But remember, if Pseudomonas was
12 present, if there's a culture positive in that
13 first 24 hours, the patient is actually
14 excluded from the measure, because now we are
15 not talking empiric therapy. We are talking
16 pathogen-directed.

17 MEMBER ALMENOFF: Okay. Because
18 you know, from the -- from the CMS Hospital
19 Compare experience, because we know that
20 pretty well because we also build a similar
21 model, every year, CMS keeps taking out more
22 diagnoses. And so that's why I'm just kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 curious, are we going to be doing the same
2 thing again, where we are going to put
3 everything -- every organism on earth on a
4 list, and then because the country starts to
5 groan up or say how could you claim this as a
6 CAP then start to exclude things, I just
7 wondered if we could have, maybe get a little
8 more selective in some of the diagnoses,
9 instead of just taking every single organism
10 on earth and putting it on a big list and
11 calling it CAP. That's just my only concern.

12 DR. BRATZLER: We do not, we do
13 not use any organism to define the denominator
14 population. No organisms are used to define
15 the denominator. It's an ICD diagnosis of
16 pneumonia, either in the primary or secondary
17 place, with physician documentation of
18 pneumonia at the time of admission.

19 That's how patients get into the
20 denominator. If they have a specific
21 organism, that actually ends up excluding them
22 from this measure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Okay. Is --

2 MEMBER ALMENOFF: I don't get it
3 but okay. So why are all these on here?

4 MEMBER JEWELL: I think the
5 confusion is that the list of pathogens to
6 which Peter is referring are above the word
7 exclusions and below the word exclusions on
8 the form, are a list of other criteria.

9 So it appears as if the list,
10 because I read it the same way, of the
11 pathogens, the diagnosis codes, are the
12 inclusion criteria.

13 I think that's where the confusion
14 lies.

15 MEMBER ALMENOFF: So are these all
16 excluded or --

17 MEMBER JEWELL: That's what I took
18 him to mean. That's -- I think that's the
19 question.

20 MEMBER ALMENOFF: It's not what
21 it's saying.

22 MEMBER JEWELL: I get you. I get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you.

2 DR. BRATZLER: I'm sorry, I just
3 don't happen to have that document in front of
4 me right now. But I can assure you, we don't
5 use pathogens to define the denominator at
6 all.

7 MEMBER ALMENOFF: Well, we have
8 got to get a clarification again.

9 CO-CHAIR WEISS: Are we going to
10 need a clarification here?

11 MEMBER ALMENOFF: Or if it's
12 called something else.

13 CO-CHAIR GROSSBART: You know,
14 this measure definition has been around for a
15 decade. And I think it's been really
16 aggressively vetted. I mean these ICD-9 codes
17 are inclusion criteria for the pneumonia
18 population, and then there's underlying
19 exclusions for -- and this defines the
20 population for about eight or nine measures.

21 And I mean these have been out
22 here for a decade, and --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ALMENOFF: Yes, they have,
2 but every time, every year, CMS keeps
3 excluding more of them. So if you are very --
4 if you know a lot of the details of how they
5 do it, and what diagnostic codes are
6 eliminated, so for example, aspiration
7 pneumonia used to be on their list. Now it's
8 not.

9 A lot of the --

10 CO-CHAIR GROSSBART: I don't
11 believe so.

12 MEMBER ALMENOFF: They were. I
13 can -- we build this model every year and we
14 try to be in sync with CMS and --

15 CO-CHAIR GROSSBART: It hasn't
16 been on the data definition.

17 MEMBER ALMENOFF: Pseudomonas, I
18 mean, they all get taken off after a while.

19 CO-CHAIR GROSSBART: It wasn't on,
20 it wasn't on the definitions back in --

21 CO-CHAIR WEISS: So I'm mindful of
22 how we -- so there's a bit of uncertainty at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the level of where now, just so I make sure
2 the -- and whether or not -- and you are
3 asking, Peter, specifically for --

4 MEMBER ALMENOFF: Well, he's
5 saying they are not on here. But they are on
6 there. So that's all I need to be clarified.

7 CO-CHAIR WEISS: Is there some way
8 we can get Dale the document that we are
9 looking at so he can understand what we are
10 talking about? Is --

11 DR. WINKLER: Dale, do you have our
12 -- are you looking at your computer and can
13 receive email right now?

14 DR. BRATZLER: I am looking at my
15 computer.

16 DR. WINKLER: Okay. Can we --

17 DR. BRATZLER: So do you need me
18 to go to the web -- to your --

19 DR. WINKLER: Well, either that or
20 we send --

21 CO-CHAIR WEISS: Can you forward a
22 copy to him?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. BRATZLER: I am almost to your
2 site.

3 DR. WINKLER: Okay.

4 DR. BRATZLER: But now it says the
5 meeting is not active so I can't get to it.

6 DR. WINKLER: Okay can you get
7 email?

8 DR. BRATZLER: I can.

9 DR. WINKLER: Okay. We are going
10 to see if we can send it to you.

11 MEMBER GLOMB: Down on page 16 is
12 the only thing that says denominator exclusion
13 details, and it's very brief. It's just
14 cystic fibrosis, in that whole --

15 DR. BRATZLER: I don't know, the
16 other thing is that you are looking, an ICD-9
17 diagnosis that includes pathogens. But
18 remember, oftentimes the pathogen is not
19 documented until later during the stay. So we
20 are looking at a long list of ICD-9 diagnoses
21 for pneumonia to find the denominator, but
22 then they also have to have a working

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 diagnosis for that initial diagnosis of
2 pneumonia when they come in.

3 If there's a pathogen documented,
4 either through tests like in their antigen
5 test, or a positive culture within 24 hours,
6 they are excluded from the denominator as a
7 performance measure.

8 CO-CHAIR WEISS: So where are we
9 with in terms of -- Peter, you still seem
10 confused.

11 MEMBER ALMENOFF: It's fine, don't
12 worry.

13 CO-CHAIR WEISS: Okay. Then let's
14 go to vote. Validity. Based upon what we
15 have heard so far, high, moderate, low and
16 insufficient.

17 (Pause for voting)

18 CO-CHAIR WEISS: And one more time
19 again. Got it.

20 DR. BRATZLER: Okay, so I did get
21 this table. Table 3.1 you are talking about,
22 on the first page?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR WEISS: Yes, and as you
2 are looking at --

3 DR. BRATZLER: Yes, so again,
4 those are only ICD-9 diagnoses that are used
5 to define a denominator population, but again,
6 the measure is only looking at what happens in
7 the first 24 hours of the hospital stay.

8 So a patient that has documented
9 pneumococcal pneumonia but the blood culture
10 isn't positive until day two or three, they
11 are still in the measure, because initial
12 treatment is empiric.

13 CO-CHAIR WEISS: Okay. Validity,
14 10 high, 8 moderate, 1 low and no
15 insufficient. Let's move forward. So we are
16 finally on usability and feasibility. Dave?

17 Usability and feasibility.

18 MEMBER RHEW: Yes, again, as Steve
19 has pointed out, this has been around for 10
20 years or so, and we are currently capturing it
21 through the EHR, through other mechanisms,
22 through paper. It's highly, highly feasible,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and highly reproduceable.

2 CO-CHAIR WEISS: Any comments on
3 this

4 MEMBER RHEW: Nothing apart from
5 anything else that we have mentioned already.

6 CO-CHAIR WEISS: Good. Usability.
7 High, moderate, low, or insufficient. Please
8 vote.

9 (Pause for voting)

10 CO-CHAIR WEISS: Okay. If
11 everyone could just please vote again. We are
12 at 18. We are at 19. There we go. Okay. So
13 yes, high, 15, moderate 4, and no low, no
14 insufficient.

15 And let's go to feasibility as a
16 last one, high, moderate, low, and
17 insufficient.

18 (Pause for voting)

19 CO-CHAIR WEISS: We are getting
20 close. Everybody vote again please or vote if
21 you haven't voted.

22 Done. Good, 19 yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It's a good moment. Let's vote on
2 the measure for moving to endorsement. Yes,
3 no, one, two. Yes being one, no being two.

4 (Pause for voting)

5 CO-CHAIR WEISS: And while we are
6 doing that, Don, you should be teeing up for
7 the last of this run. Nineteen yes and we are
8 on to Measure 0148, blood cultures performed
9 in the ED prior to initial antibiotic received
10 in the hospital.

11 Impact. Gap. Evidence.

12 MEMBER YEALY: So this one we had
13 strong feelings that were not uniformly
14 positive, might be the most charitable way I
15 could frame this.

16 The concern is, is that the
17 measure as written has no direct link to an
18 outcome, at least not a patient-centered
19 outcome or a particular physician or care
20 provider behavior that could be linked to a
21 patient care outcome, that there were many
22 confounding issues such as the timing of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 two behaviors that are being assessed
2 simultaneously, that could introduce error
3 into the assessment and also produce
4 unintended consequences.

5 The most specific would be that
6 if, if your goal was to make sure that the
7 blood culture was done before antibiotics, you
8 would separate them in time and space as much
9 as possible to not be, quote, dinged, and in
10 fact, produce an outcome that you didn't want,
11 which is delayed antibiotic therapy, it's not
12 helpful, has to do with that how you measure
13 these two events happen in two different
14 spheres, and even if B followed A, if you are
15 not really careful, it can look like B came
16 before A, and it becomes a problem.

17 That also then gave some issues
18 about reliability in the timing. It's also
19 not congruent with Measure 0356, which I am
20 sure we will do later, which says if you
21 happen to be sick enough to be in an ICU, you
22 ought to get one in the first 24 hours, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doesn't make a proclamation about the before
2 and after with antibiotics.

3 And there's no requirement that
4 these actually be done appropriately, just the
5 timing of things be done first. I guess the
6 driving thing from an impact statement is
7 antibiotics change therapy less than five
8 percent of the -- excuse me, blood cultures
9 change therapy less than five percent of the
10 time.

11 And the vast majority of that, is
12 in some slight narrowing of antibiotic
13 coverage, not picking something you hadn't
14 already considered.

15 So for the vast majority of
16 patients, this can't have, this can't have an
17 impact in any way, shape or form. No one is
18 arguing that giving the antibiotic first makes
19 the test better or equal, but in fact the test
20 that isn't useful, it doesn't actually matter
21 which order you do things in.

22 That's probably the bottom line

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here.

2 CO-CHAIR WEISS: Excellent.
3 Workgroup, thoughts, comments?

4 DR. BRATZLER: So this is Dale. I
5 don't want to -- I am not going to argue the
6 points about usefulness of blood cultures and
7 again, I highlight that we only have this
8 measure for those patients for which the ED
9 physician elected to do it.

10 But I would argue that there is
11 usefulness in blood cultures for some
12 patients. You know, if you look at randomly
13 assigned patients, about seven percent will
14 have a pathogen, but if you look at certain
15 populations, when you know, if you take the
16 patient population that's going to be going to
17 the ICU, critically ill patients, patients
18 that have chronic liver disease, hypotensive
19 patients and others, those patients actually
20 do have much higher yield from their blood
21 culture.

22 So I just would argue that blood

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cultures are not useful in the management of
2 pneumonia. Do clinicians use them? Not
3 always, but I think our experts would argue
4 that blood cultures are meaningful for some
5 patients.

6 CO-CHAIR WEISS: Okay, thank you.

7 I want to make sure that the performance gap,
8 where do we stand with that?

9 MEMBER YEALY: It actually looks
10 like it's done on a fairly high percentage of
11 cases right now, and again, the get out of
12 jail free card here is if you choose to not
13 draw them, you are off the hook.

14 And so this -- no one is mistaking
15 that, no one has said that you have to draw
16 blood cultures here. But this becomes an
17 issue that in some ways, you create, if you
18 are very, very efficient, a catch-22, in that
19 if you do these right before the antibiotic
20 therapy, you can give the illusion of having
21 followed it and delivered poor care.

22 And so as it's written in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 very -- this population, not a much more
2 specific population, it can't possibly deliver
3 the benefit, and likely can only create
4 maladaptive behavior that doesn't benefit any
5 particular patients.

6 CO-CHAIR WEISS: Tell us what the
7 group really felt on this one. Okay.

8 MEMBER YEALY: So as a sidelight,
9 this is the criteria that I got the most email
10 before ever joining the --

11 CO-CHAIR WEISS: And I think we
12 are hearing not just the gap, but evidence in
13 -- response to evidence. So let me ask the
14 group in terms of issue of impact, gap, or
15 evidence. Do we have questions for Don or the
16 workgroup?

17 MEMBER EDELMAN: Just a
18 clarification.

19 CO-CHAIR WEISS: Norman, if you
20 could put the mic on.

21 MEMBER EDELMAN: Just a
22 clarification. So if a blood culture is never

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 drawn, there's no violation of the standard?
2 Am I right about that?

3 MEMBER YEALY: Yes.

4 MEMBER EDELMAN: Okay well, thank
5 you so much.

6 CO-CHAIR WEISS: So let's vote
7 then, if that was the only question. So high
8 for impact, moderate, low for impact or
9 insufficient.

10 (Pause for voting)

11 CO-CHAIR WEISS: Okay let's press
12 again. Oh no, we are all set. This is a
13 squeaker. So high five, four moderate, eight
14 low and two insufficient. That's 10-9. That
15 could mean -- it doesn't pass.

16 Okay, well, it doesn't pass based
17 upon low impact. From what we were hearing,
18 the low impact is, is that while it does
19 affect patients, it's at the margin, it's a
20 subpopulation of patients that really would be
21 likely affected, and there is evidence to
22 think that when it is effective, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 effective at reducing the number of
2 antibiotics, not at a change to an antibiotic,
3 that was not currently being administered.
4 That's all anecdotal, but that was what we
5 heard and that was what we acted on.

6 And that goes back to the measure
7 developer. With that in mind, I wish everyone
8 a 10-minute break. You deserve every minute
9 of those 10 minutes. Thank you all and when
10 you get back, Steve will be helping you usher
11 the next set of random measures. Thank you
12 all.

13 (Whereupon, the above-entitled matter went off
14 the record at 4:15 p.m.
15 and resumed at 4:25 p.m.)

16 CO-CHAIR GROSSBART: We are close
17 to an hour behind schedule, and we would like
18 to wrap this up. Ideally we should be open to
19 public comments in 65 minutes, which means we
20 are going to have to move with some speed.

21 To begin this, to begin this final
22 set of measures that we are going to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 today, I'd like to ask three measure
2 developers to provide a two-minute summary of
3 the measures that are under consideration and
4 I'd ask that you present all your measures
5 under the COPD section. We'll start with AMA
6 PCPI then we'll move to NCQA and then we'll
7 move to ActiveHealth. And two minutes.

8 AMA PCPI? Let me start over. So
9 AMA PCPI developer, please give us a quick,
10 two-minute overview of the two measures under
11 consideration, COPD spirometry and COPD
12 inhaled bronchodilator therapy.

13 AMA, are you on the line?

14 CO-CHAIR WEISS: It's Dr. Bruce
15 Krieger that we are expecting on the line.

16 CO-CHAIR GROSSBART: Dr. Bruce
17 Krieger, are you on the line?

18 (No response)

19 CO-CHAIR GROSSBART: I tell you
20 what, we'll circle back to you guys, we'll go
21 to NCQA pharmacotherapy management of COPD.
22 Do you have a speaker who can speak to this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measure?

2 MR. HAMLIN: Yes, this is Ben
3 Hamlin. I am back on the phone. Can you hear
4 me?

5 CO-CHAIR GROSSBART: Yes, we can.
6 Two minutes.

7 MR. HAMLIN: Okay. We actually
8 have two measures for COPD. The first one is
9 spirometry testing for a new diagnosis. It's
10 effectively a confirmation of diagnosis
11 testing.

12 Pharmacotherapy management of COPD
13 exacerbation is an episode-based measure
14 looking to ensure that patients who appear in
15 the ED for an exacerbation are, you know,
16 being prescribed appropriate medications to
17 control their COPD symptoms.

18 Both measures are administrative-
19 based claims. Both measures have been in
20 HEDIS roughly I believe about five years now
21 each, and they continue to show improvement
22 although there is still room, you know there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is still room -- the gap still exists, excuse
2 me, in the rates that I think, I believe will
3 show up on your sheets.

4 CO-CHAIR GROSSBART: Are there any
5 questions from the committee to this
6 developer?

7 (No response)

8 CO-CHAIR GROSSBART: All right.
9 Is AMA on the phone?

10 (No response)

11 CO-CHAIR GROSSBART: Dr. Krieger,
12 are you on the phone?

13 (No response)

14 CO-CHAIR GROSSBART: Okay then,
15 we'll move to ActiveHealth. Do we have a
16 spokesperson from ActiveHealth on the phone to
17 discuss their COPD management of poorly
18 controlled COPD?

19 DR. CHIN: Yes, we are on the
20 line. Can you hear us?

21 CO-CHAIR GROSSBART: Yes we can.

22 DR. CHIN: Hi, this is Dr. Lindy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Chin from ActiveHealth management, and we have
2 a team here. Our measure is titled COPD:
3 management of poorly controlled COPD. This
4 measure is looking at the percentage of
5 patients aged 18 years and older who have
6 poorly controlled COPD and are already on a
7 short-acting bronchodilator who are prescribed
8 a long-acting bronchodilator.

9 Our measure is using claims as
10 well as, where we can, patient self-reported
11 data and health information exchange data as
12 well.

13 CO-CHAIR GROSSBART: All right.
14 Any questions for the developer from the
15 committee?

16 (No response)

17 CO-CHAIR GROSSBART: And finally,
18 Dr. Bruce Krieger.

19 DR. KRIEGER: Yes.

20 CO-CHAIR GROSSBART: Yes. We'd
21 like a brief, two-minute overview of the
22 measures that AMA PCPI has submitted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. KRIEGER: Okay. This is Bruce
2 Krieger. I was on the American Medical
3 Association PCPI COPD measures forum which
4 convened about seven years ago, and I was
5 representing the American Thoracic Society.
6 Also present there were multiple other
7 pulmonary societies.

8 These measures that we are going
9 to discuss were approved by PCPI in 2006. In
10 fact, the measures were previously received
11 and directed to NQF, but they are being
12 reviewed now for maintenance.

13 The operative COPD, the importance
14 is that, as you all know that COPD is the
15 fourth leading cause of death and that there
16 are recent assessments showing that quality of
17 care delivered to U.S. populations is only --
18 it's average. Only about 50 percent of COPD
19 patients receive recommended care, but they --
20 and it was better for exacerbations than for
21 routine care, and nearly 80 percent of COPD
22 patients are undiagnosed, in addition to many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mis-diagnosed patients.

2 Therefore the two measures that
3 are being presented here, one has to do with
4 diagnosis, which is Measure 0091, which is
5 spirometry evaluation, and the other measure
6 that is being presented is Measure 0102, which
7 is bronchodilator therapy.

8 CO-CHAIR GROSSBART: Thank you,
9 Dr. Krieger. Is there any questions from the
10 committee at this stage? Dianne?

11 MEMBER JEWELL: Dr. Krieger, the
12 denominator specifies all patients with a
13 diagnosis of COPD for the spirometry measure.
14 Is that newly diagnosed COPD, all COPD or
15 both?

16 DR. KRIEGER: It's -- the measure
17 that the PCPI is proposing is not just newly
18 diagnosed COPD. It's to evaluate patients
19 with COPD as well as newly diagnosed, and the
20 reason for that is many patients are labeled
21 COPD without ever having a spirometric
22 diagnosis to confirm that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER JEWELL: Thank you.

2 MEMBER EDELMAN: I don't
3 understand the goal of the spirometry
4 proposal. Is it to capture undiagnosed COPD,
5 or overdiagnosed COPD?

6 DR. KRIEGER: It's actually
7 designed to capture patients who have a
8 diagnosis of COPD, because the trigger is the
9 patient with COPD with a measurement, both the
10 numerator and the denominator.

11 MEMBER EDELMAN: I don't
12 understand. If this is intended for quality
13 improvement, it has to correct a mistake
14 that's being made. What mistake are you
15 trying to correct?

16 DR. KRIEGER: Could you repeat? I
17 did not catch that.

18 MEMBER EDELMAN: I don't
19 understand. If this is a measure to improve
20 quality of care, it has to improve a mistake,
21 presumably a mis-diagnosis, so you are trying
22 to improve the under-diagnosed COPD, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 correct the over-diagnosed COPD, that is
2 people who have a diagnosis of COPD but don't
3 have it?

4 DR. KRIEGER: Actually it's both,
5 because the recommendation is that spirometry
6 should be performed in all patients suspected
7 of having COPD.

8 So it's not -- it will also
9 include patients who do not have the label of
10 COPD but are suspected, and therefore will
11 improve care of both patients.

12 In addition it will be performed -
13 - it will help diagnose patients with other
14 entities who might have been mislabeled as
15 COPD.

16 CO-CHAIR GROSSBART: But just a
17 point of clarification, it will only measure
18 those with a diagnosis of COPD?

19 DR. KRIEGER: No, I'm sorry. I
20 misstated that. It is those suspected of
21 having COPD as well as those who have COPD.

22 CO-CHAIR GROSSBART: The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 denominator states patients with a diagnosis
2 of COPD. So it might prevent the
3 misdiagnosis, but they will fall out of the
4 measure. It's not a bad thing.

5 DR. KRIEGER: That is correct, but
6 it will also diagnose patients who don't --
7 who are just suspected, and this will confirm
8 a diagnosis so that appropriate treatment can
9 be rendered.

10 CO-CHAIR GROSSBART: Okay. Thank
11 you very much for that clarification. So now
12 I'd like to move on to our first measure for
13 consideration, which is 0091, and Dianne will
14 take us through that. Do you want to just
15 give a really quick overview and then we will
16 get into the components?

17 MEMBER JEWELL: Sure. So I think
18 it's safe to say that the crux of the
19 workgroup's conversation related to this
20 measure really revolved around the questions
21 that we just asked, because the guidelines --
22 clearly there is evidence of high impact.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Clearly the guidelines, or I should say the
2 guidelines are clear about when spirometry is
3 indicated to diagnose COPD, and when it's not
4 indicated to monitor after treatment.

5 But the notion that the patients
6 in this denominator have a diagnosis of COPD
7 makes the measure cloudy, I think.

8 There are performance gaps that
9 we'll go over in a moment. But I -- it's just
10 not as clean with the denominator written as
11 it is. So I would look to my workgroup
12 colleagues to see if there are other things
13 they might add.

14 (No response)

15 MEMBER JEWELL: Do you want me to
16 go through the --

17 CO-CHAIR GROSSBART: Go ahead,
18 from the audience.

19 MS. AST: May I make a comment?

20 MEMBER JEWELL: Yes, go ahead,
21 sorry.

22 MS. AST: Sorry, this is Katherine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Ast from the AMA PCPI. And just a little more
2 clarification on that from one of our co-
3 chairs of the COPD workgroup.

4 He said that COPD is under-
5 diagnosed and also over-diagnosed for patients
6 who are heavy smokers, so the spirometry
7 evaluation confirms either of these cases.

8 The management is different for
9 different lung diseases so the spirometry
10 evaluation is needed for confirmation of
11 diagnosis.

12 I don't know if that helps. But
13 it's for both. So you said, is it under or
14 over. It's both.

15 MEMBER ALMENOFF: You have a lot
16 of people with a diagnosis of COPD. It's the
17 same thing as the person having a diagnosis of
18 heart disease without an EKG.

19 So it's a diagnosis but it's
20 really not a diagnosis because they really
21 never validated it with spirometry, which is
22 part of the package.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So they see an x-ray with
2 emphysema and a person who smokes and they
3 give them a diagnosis. That's not a
4 diagnosis. They need to have some definitive
5 testing and that would be spirometry.

6 Let me just -- I think that's, I
7 think, the issue, that I think those people
8 are bringing up. So I mean, you have a lot of
9 suspected diagnosis of COPD who of course have
10 an x-ray, so they will all -- anybody with a
11 diagnosis, a supposed diagnosis will get
12 screened and if the spirometry is absolutely
13 normal, it probably is not, and then the
14 second phase is that people with diagnoses,
15 supposed diagnoses who have spirometry that
16 validates it, then you make a real diagnosis.

17 So a lot of people with not real
18 valid diagnoses --

19 MEMBER JEWELL: So this is
20 probably getting ahead of the order that we
21 normally go, but I think an example of how it
22 could be cleaner is that in the exclusion --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the exclusion criteria are very broad.
2 Documentation of medical reasons, you know,
3 system reasons and so on for why spirometry is
4 not documented.

5 I would think that already having
6 prior results for spirometry in the record
7 would be a very specific exclusion that should
8 be highlighted so that people don't mistake
9 this measure as I already know that this is an
10 affirmed diagnosis. I have met all the
11 diagnostic criteria, but I am supposed to keep
12 monitoring because this measure says persons
13 with a diagnosis of COPD should have
14 spirometry testing done.

15 That's my worry about the measure,
16 truly, I mean, just to cut to the chase. It's
17 not clear enough to indicate that what you are
18 not proposing is that this is to monitor
19 people with an affirmed diagnosis, because the
20 guidelines are clear that there is no evidence
21 to support that.

22 CO-CHAIR GROSSBART: Let's step

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 through the evaluation process. So Dianne,
2 impact, opportunity and evidence.

3 MEMBER JEWELL: So, with all that
4 in mind, you know, there's no doubt about the
5 impact. There's more than enough information
6 out there to reflect the incidence and
7 prevalence of COPD and the cost in both
8 quality and literal cost of the disorder,
9 particularly if the diagnosis is missed or not
10 being managed well.

11 In terms of potential gaps, this
12 measure does have some suggestion of gaps,
13 45.7 percent of patients reported did not meet
14 the measure, but I offer that with all of the
15 concerns that I expressed a moment ago,
16 because I don't know, really, what's missed
17 here, or what behavior is being captured, I
18 think is a better way to say it.

19 In terms of the evidence, again,
20 the guidelines are very clear about the
21 indications for which spirometry are most
22 useful, so assuming that those are the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 behaviors we are after, I don't think there's
2 any doubt there.

3 CO-CHAIR GROSSBART: Okay. Any
4 comments from the workgroup?

5 (No response)

6 MEMBER JEWELL: I think it's just
7 the two of you. I think Christine --
8 Christine was the third, I think.

9 CO-CHAIR GROSSBART: That's right.
10 Good point. Any comments from the larger
11 committee?

12 MEMBER LARSON: Well, they have a
13 paragraph here that says out of 500 U.S. PCPs,
14 70 or 69.1 percent agreed that, when COPD is
15 suspected, the diagnosis should be confirmed
16 by spirometry.

17 So that's sort of like the crux of
18 it, it's primary care, I believe. That's
19 compelling to me.

20 CO-CHAIR GROSSBART: Let's -- if
21 there's no other comments, let's move to
22 voting. Oh, sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANTMAN: If I may, just a
2 comment regarding the question about the
3 exceptions specified in the measure, to the
4 earlier question.

5 As Dr. Krieger pointed out on the
6 phone, these measures were developed a number
7 of years ago, and at that time, the PCPI
8 methodology was to allow for medical patients
9 for system reasons, allowing for clinician
10 judgment, but without providing examples.

11 So the example that you provided
12 of a specific reason for excluding or
13 accepting a patient for a measure, that is
14 certainly a clarification that we can add, and
15 so we are happy to take that back to our
16 workgroup to consider.

17 CO-CHAIR GROSSBART: And actually
18 Reva brought up one thing in a quick sidebar.

19 Some clarification is needed in the numerator
20 statement. It's patients with documented
21 spirometry results in the medical record and
22 then the numerator time window, at least once

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 during the measurement period, and I know the
2 workgroup had questions about potential
3 overuse or unnecessary testing and so on. Did
4 I capture that right? And so if you could
5 address that concern about the time window.

6 MS. AST: Yes, I'm not sure if
7 it's in your packet or not. In the numerator
8 details, we have numerator instructions which
9 says, look for the most recent documentation
10 of spirometry evaluation results in the
11 medical record. Do not limit the search to
12 the reporting period.

13 So it's not intended to repeat the
14 spirometry if it has already been done once,
15 ever.

16 CO-CHAIR GROSSBART: So -- so once
17 a year, you look at your performance but you
18 can look at prior, prior measurements.

19 MS. AST: Correct.

20 CO-CHAIR GROSSBART: Okay. Okay
21 so with that, let's get our vote controls out.
22 Importance of the measure and, excuse me, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 impact measure, high is one, medium, moderate
2 is two, low three, four for insufficient
3 evidence.

4 (Pause for voting)

5 CO-CHAIR GROSSBART: And so we
6 have 16 high, 2 moderates and zero for the
7 other two categories. Moving to opportunity.
8 Again, one is high, two is moderate, three is
9 low. This is opportunity or performance gap.

10 (Pause for voting)

11 CO-CHAIR GROSSBART: Score of 12
12 for high, 4 for moderate and 2 insufficient
13 evidence. And then our final question, this
14 is a yes/no. Is the evidence sufficient? One
15 yes, two no, three insufficient.

16 (Pause for voting)

17 CO-CHAIR GROSSBART: And 16 yes, 2
18 insufficient. I would like the record to note
19 that the scores are coming up much faster with
20 the new co-chair.

21 (Laughter)

22 CO-CHAIR WEISS: What were you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doing when you were not co-chairing?

2 CO-CHAIR GROSSBART: Okay then,
3 moving on. Reliability and validity.

4 MEMBER JEWELL: I actually --
5 could you -- you said something a second ago
6 in response to the numerator time window that
7 I just need clarification on. So I'm looking
8 at that same sentence and it says, look for
9 most recent documentation of spirometry
10 results in the medical record. Do not limit
11 the search to the reporting period.

12 And you said they wouldn't look at
13 it again if it had been done prior or
14 repeated. But I'm not clear how that's true
15 here. Did I misunderstand what you said?

16 If I look in three years, and I'm
17 reporting, and I look back and the most recent
18 one was, you know, two years priors, well
19 there could have been 10 before that. I am
20 capturing the most recent ones.

21 So the notion of continuous
22 monitoring even when it's not indicated could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 still occur, right?

2 MS. AST: It's not intended to
3 have the test repeated, so we can certainly
4 clarify that language if it's still confusing.

5 MEMBER JEWELL: I would think that
6 that would be one interpretation, that most
7 recent doesn't by itself mean -- it doesn't
8 say only the most recent and no more. If
9 they've got it, then you're done. Continuing
10 to report implies there's more to report, to
11 me. Maybe I'm the only one thinking that way.

12 I'm seeing some heads shake around the group
13 so if I'm the only one, I'll stop
14 perseverating on it, but that's -- okay stop?

15 Got it. All right. Stop. Everybody is more
16 comfortable with it than I am. All right, so
17 -- no, let me just finish.

18 CO-CHAIR GROSSBART: Reliability
19 and validity.

20 MEMBER JEWELL: Reliability and
21 validity, yes, I think, with all of that in
22 mind, there were no concerns specifically from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the group that I remember.

2 CO-CHAIR GROSSBART: Any comments
3 from the rest of the workgroup? Any questions
4 from the larger committee?

5 (No response)

6 CO-CHAIR GROSSBART: Okay. So for
7 the question of reliability, one is high, two
8 is moderate, three is low, four is
9 insufficient.

10 (Pause for voting)

11 CO-CHAIR GROSSBART: So we have
12 nine high, eight moderate and one low. And
13 then validity. Dianne.

14 MEMBER JEWELL: Yes, there were no
15 concerns that I remember. I am looking back
16 here at this workgroup list. So I think we
17 are all right.

18 CO-CHAIR GROSSBART: Although it
19 does show --

20 MEMBER JEWELL: Oh I'm sorry, I'm
21 looking -- that's because I'm looking at the
22 wrong measure. My apologies. I've got two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 things running here. Let me get to the right
2 one.

3 CO-CHAIR GROSSBART: Yes, the
4 workgroup was not enthusiastic --

5 MEMBER JEWELL: So the questions,
6 I was looking at the home health measure. So
7 some issues about the validity testing. It's
8 only been tested in one academic medical
9 setting, and there's still -- it was some of
10 the questions -- some of the issues around
11 voting were the questions that we asked about
12 before relative to overuse, so I think that
13 explains why there was a mixed bag.

14 CO-CHAIR GROSSBART: So again,
15 we'll re-vote on reliability. Validity,
16 rather.

17 (Pause for voting)

18 CO-CHAIR GROSSBART: We can always
19 re-vote. Eighteen. Let's all vote one more
20 time. And so we have nine high, seven
21 moderate, one low and one insufficient. And
22 now we'll move on to usability and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 feasibility. So usability, Dianne.

2 MEMBER JEWELL: So this is already
3 a part of the PQRS system as I recall. And I
4 don't know that we have any, any data from the
5 developers per se about how it's performing
6 under those conditions in terms of the public
7 understanding or what have you, but it is in
8 use already.

9 CO-CHAIR GROSSBART: Any questions
10 or comments from the larger workgroup?
11 Questions by the committee?

12 (No response)

13 CO-CHAIR GROSSBART: Well let's
14 move on to voting for usability. This is a
15 one to four range again.

16 (Pause for voting)

17 CO-CHAIR GROSSBART: That's our 15
18 minutes. Okay. So the results were nine
19 high, seven moderate, one low, one
20 insufficient.

21 And then next, feasibility.

22 MEMBER JEWELL: Nothing, nothing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 concerning leaped out at the group that I
2 recall.

3 CO-CHAIR GROSSBART: Workgroup,
4 any questions, comments? Larger committee,
5 any questions about this?

6 (No response)

7 CO-CHAIR GROSSBART: Then again we
8 will vote on a one to four scale. Did that
9 time out already? We are going to have to
10 vote again.

11 (Pause for voting)

12 CO-CHAIR GROSSBART: What's our
13 count up to, 17? Please vote again if you have
14 not. And we had, for feasibility we had 10
15 high, 6 moderate and no other votes.

16 MS. WEBER: That's actually eight
17 moderate.

18 CO-CHAIR GROSSBART: Eight
19 moderate, I'm sorry. And it looks like we had
20 three lows and four insufficient.

21 (Laughter)

22 CO-CHAIR GROSSBART: And then the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 final yes/no vote on the endorsement, one for
2 yes, two for no.

3 (Pause for voting)

4 CO-CHAIR GROSSBART: And the final
5 vote was 17 in favor and 1 opposed. Okay,
6 moving on to our next measure, Dianne, you
7 also have this one, the use of spirometry for
8 the assessment and diagnosis of COPD.

9 MEMBER JEWELL: Right, so this
10 measure from the NCQA is similar to the
11 measure we just considered, except that it is
12 clear that it is focusing in on the new
13 diagnosis of COPD and the use of spirometry to
14 confirm that diagnosis.

15 I didn't reference this
16 specifically, but in the prior measure the age
17 range was 18 and I forget what the upper limit
18 was. The initial range here is actually 40
19 years and older, so that's another
20 distinction.

21 But really the evidence base is
22 the same in terms of impact and in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 support from the guidelines.

2 The NCQA's data also maps out
3 according to -- in terms of where the true
4 performance gaps lie, maps out commercial,
5 Medicaid and there's one more that I don't
6 have in front of me right this minute, but
7 it's clear that there's a gap based on the
8 data that they have. I want to say it ranges
9 from something like 20 percent to 50 percent.

10 DR. WINKLER: It's on page 13.

11 MEMBER JEWELL: Thank you. So
12 really reliability and validity testing is
13 present there, and I think really the
14 workgroup's question perhaps was, revolved
15 around why the cutoff at 40, I think was one
16 of the questions raised. I don't remember
17 which one of us raised that.

18 It's part of HEDIS so it's in use.

19 MEMBER GLOMB: Dianne, in that
20 denominator statement, is that just a
21 misprint, the 42?

22 MEMBER JEWELL: Actually, we might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 need some clarification from the NCQA on why
2 they say 42. I think it has something to do
3 with when they capture the data for the person
4 who was 40. But is the developer on the phone
5 or here?

6 MR. HAMLIN: Yes, this is Ben, I'm
7 here. So two things. First we say 42 in the
8 description because there's a negative
9 diagnosis period, a look-back period to ensure
10 that it's actually a new diagnosis, that this
11 is confirmation of new diagnosis of COPD using
12 spirometry.

13 The other thing is the reason that
14 we select 40 was for two reasons, one because
15 there's a certain specificity issue with the
16 18 to 40 group in using spirometry. There's
17 also a concomitant diagnosis of asthma issue,
18 so the amount of noise in the data, we have
19 done a series of analyses based on sort of
20 concomitant diagnosis from you know, 40
21 through 56, and we have decided that 40 is an
22 appropriate age range and the data is clean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enough and reliable enough at that age for a
2 COPD diagnosis, for us to keep it as our lower
3 limit.

4 Below that, the noise in the data
5 becomes above our threshold of comfort.

6 MEMBER GLOMB: Mathematically,
7 though, couldn't you be in the numerator
8 without being in the denominator?

9 CO-CHAIR GROSSBART:
10 Mathematically can you be in the numerator
11 without being in the denominator?

12 MEMBER GLOMB: If you're 41 and
13 you have been diagnosed and you have had
14 spirometry, you'd be in the numerator, but you
15 still wouldn't be in the denominator.

16 You'd be counting someone who is
17 not in your total group.

18 CO-CHAIR GROSSBART: NCQA, can you
19 clarify that? Can you be in the numerator
20 without being in the --

21 MR. HAMLIN: I'm sorry. I didn't
22 quite hear the question. It was too quiet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR GROSSBART: The question
2 was can you be in the numerator -- because of
3 the age criteria, can you be in the numerator,
4 that is be less than 42 years old, but not in
5 the denominator. Or at least there seems to be
6 some lack of clarity around the numerator and
7 denominator statements.

8 MR. HAMLIN: No, so you actually
9 have to, we would calculate eligible
10 population first and then do the calculation
11 for the numerative compliance.

12 So people, for eligibility in the
13 numerator, must first meet the denominator
14 criteria with a diagnosis, but almost must
15 have the clean look-back period with no other
16 diagnosis of COPD in it. So that's why
17 there's an age range, I believe it's two
18 years. So that's why there's the 40 and 42
19 issue on the age side.

20 CO-CHAIR GROSSBART: All right.
21 Thank you. So let's step through our
22 assessment unless there's any other comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from the committee or workgroup. So the first
2 question for us to address is impact.

3 Did you already do that?

4 MEMBER JEWELL: Well, there's
5 really no difference in terms of what I
6 presented prior. So --

7 CO-CHAIR GROSSBART: Okay so let's
8 vote, quickly.

9 (Laughter)

10 (Pause for voting)

11 CO-CHAIR GROSSBART: If you
12 haven't voted, vote again. So the vote is, on
13 impact, 12 high, 5 moderate, 1 insufficient.
14 Let's move on to the question of performance
15 gap opportunity, again a one to four scale.
16 Dianne, do you have anything to add?

17 MEMBER JEWELL: Just to clarify
18 that they have data on commercial Medicaid and
19 Medicare patients and so -- and there's
20 evidence of a gap, for sure.

21 CO-CHAIR GROSSBART: Thank you.
22 Any other comments from the committee?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Workgroup?

2 (No response)

3 CO-CHAIR GROSSBART: Let's vote.

4 (Pause for voting)

5 CO-CHAIR GROSSBART: Here we go,
6 14 votes for high impact or high opportunity,
7 4 for moderate impact, none for low or others.

8 So now we are going to move on to
9 the evidence and this is a yes/no question,
10 one yes, two no, three insufficient. Anything
11 to add?

12 MEMBER JEWELL: Guidelines are
13 clear.

14 (Pause for voting)

15 CO-CHAIR GROSSBART: And it was 18
16 in favor. Let's move on to reliability and
17 validity. Dianne, any --

18 MEMBER JEWELL: Yes, hang on one
19 second. Yes, as I mentioned, one of the
20 questions that has already been addressed was
21 the issue of why stop at 40 but we have had
22 that answered, and that was really the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 principal thing.

2 I guess from the validity
3 standpoint, another question was the issue of
4 disparities, because there is evidence of
5 disparities, but the NCQA currently does not
6 feel that that - they could incorporate that
7 into this measure because it would be overly
8 burdensome.

9 So I don't know what their plans
10 are for the future, but from their own
11 application it appears that they acknowledge
12 that it needs to be addressed somehow.

13 CO-CHAIR GROSSBART: Developer, do
14 you have a comment?

15 MR. HAMLIN: Yes, so -- I'm sorry.

16 CO-CHAIR GROSSBART: Go ahead.

17 MR. HAMLIN: Okay thank you. Yes,
18 no we are very interested in the disparities
19 issue. Unfortunately right now in our -- we
20 continually retest this issue. In our data we
21 have repeatedly found a great variation in the
22 plans' collection of a standardized, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 race, ethnicity, SES data.

2 And so therefore we are not able
3 to require a reporting out of that information
4 alongside these results. You know, we found a
5 variation from zero to 100 percent. Some
6 plans are actively not collecting the data due
7 to legal reasons. Others are very interested
8 in collecting it in a very standardized
9 fashion.

10 So we will not require it for the
11 measure until we can actually get a level of
12 consistency that we are comfortable with.

13 CO-CHAIR GROSSBART: Thank you.
14 Well let's move on to our vote. This is for
15 reliability. A one to four scale again.

16 (Pause for voting)

17 CO-CHAIR GROSSBART: There we go.
18 And 12 votes for high and 6 votes for
19 moderate. And now validity. Again, a one to
20 four scale.

21 (Pause for voting)

22 CO-CHAIR GROSSBART: There we go,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 13 votes for high and 5 votes for moderate.
2 Let's move to our usability and feasibility
3 discussions. Dianne.

4 MEMBER JEWELL: So as I mentioned,
5 it's already been in use in HEDIS for a period
6 of time. There were questions about the
7 extent to which it is informing quality
8 improvement efforts so that was really the
9 workgroup focus if you will.

10 PARTICIPANT: What was the answer?

11 DR. WINKLER: We don't know. In
12 terms of the data reported on page 13, they
13 give you three years' worth of data and for
14 the commercial results, the mean in 2008 was
15 37.6, in 2010 it was 41.7. So you are seeking
16 gradual improvement over time.

17 PARTICIPANT: (Off mic)

18 CO-CHAIR GROSSBART: And I think,
19 you know --

20 PARTICIPANT: (Off mic)

21 CO-CHAIR GROSSBART: And that rate
22 of improvement compared to a lot of publicly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reported measures is pretty slow.

2 MR. HAMLIN: Yes, I didn't hear
3 the previous question -- this is Ben again --
4 but I think the one issue that we struggle
5 with is trying to ensure that the source of
6 the diagnosis code for COPD is appropriate.

7 A couple of years ago, I think it
8 was three years ago, we refined that to limit
9 that because we were finding a lot of noise in
10 the data from, you know, COPD showing up from
11 respiratory techs who were going in to do some
12 inpatient procedures, where you know they
13 would sort of write COPD on the chart.

14 We refined the definition. We do
15 see still that there's a gap for improvement,
16 but again you know the limitations of
17 administrative claims codings for us I think
18 is probably one of the reasons that we are
19 having a hard time seeing large increases in
20 the rates now thinking that the gap has been
21 identified and there's obviously some need to
22 improve.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR GROSSBART: That, plus no
2 one is doing it.

3 MR. HAMLIN: You have the reason,
4 yes.

5 CO-CHAIR GROSSBART: So let's move
6 on to our voting. So, usability. A one to
7 four scale again.

8 (Pause for voting)

9 CO-CHAIR GROSSBART: And we have a
10 vote of 7 with a score of high, 10 with a
11 score of moderate and 1 with a vote of low, no
12 insufficient. And then feasibility, Dianne.

13 MEMBER JEWELL: So yes, the
14 workgroup really didn't have a lot of
15 commentary about this. Let's see. Entered
16 for billing purposes rather than part of the
17 care delivery process, there does not appear
18 to be a strategy to migrating eSpecifications.
19 Some question about whether there are
20 potential problems related to gathering this
21 data, that it wasn't clear from the
22 application. But there was nothing that leapt

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 out from our conversations.

2 CO-CHAIR GROSSBART: Any comments
3 from the workgroup or the larger committee?

4 (No response)

5 CO-CHAIR GROSSBART: Okay let's
6 move on to voting for feasibility.

7 (Pause for voting)

8 CO-CHAIR GROSSBART: And the
9 results were 12 high and 6 moderate. And now
10 we get our final yes/no vote, one for yes, two
11 for no.

12 (Pause for voting)

13 CO-CHAIR GROSSBART: And it's
14 unanimous, 18 votes in favor. Our next
15 measure is Measure 0102, inhaled bronchial
16 dilator therapy, and Dr. Edelman, you are up.

17 MEMBER EDELMAN: So I apologize to
18 my workgroup because I thought this was really
19 simple until I reread it. So I am going to
20 read the numerator and denominator because
21 that's where my questions are.

22 So the numerator is patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 prescribed an inhaled bronchodilator at least
2 once, and the denominator is 18 years old plus
3 a diagnosis of COPD plus an FEV1/FVC ratio of
4 less than 70 percent, plus they have symptoms
5 and the timeframe is 12 months.

6 The impact we needn't discuss.
7 The impact of COPD is very high. The
8 improvement is where I have a little
9 rethinking.

10 So if you look at each of the
11 individual elements of the denominator and
12 then you look at the literature that is cited,
13 there is a good amount of evidence that
14 bronchodilators improve function and there is
15 a good amount of evidence that lots of people
16 who meet the individual criteria are not
17 getting bronchodilators.

18 So that addresses both the impact
19 and the opportunity for improvement. What I
20 couldn't find is evidence that taking the
21 denominator as a whole, that is diagnosis of
22 COPD plus FEV1/FVC ratio less than 70 percent,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 plus have symptoms, I couldn't find people who
2 meet those criteria are not getting
3 bronchodilators, and I suspect that those data
4 are not available.

5 So what appeared initially to show
6 a huge gap and a lot of opportunity for
7 improvement is now unclear to me.

8 The rest is pretty
9 straightforward. The discussion of
10 disparities is good. The quantity of the data
11 is from a review of nine studies, not all were
12 significant.

13 The quality of data is buttressed
14 by the report of the ACP, ACCP, ATS, ERS,
15 strong recommendations, and there's a lot of
16 good stuff about reliability and validity.

17 So you know, we'll go through the
18 individual elements. In general I'm favorable
19 except that I would like to ask the proposer
20 about my question about the gap.

21 CO-CHAIR GROSSBART: Okay. Can we
22 save that for that section in the conversation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 or do you want to hear the answer now?

2 MEMBER EDELMAN: Well --

3 CO-CHAIR GROSSBART: Either way.

4 MEMBER EDELMAN: Why don't we do
5 it now?

6 CO-CHAIR GROSSBART: Okay, so -

7 MEMBER EDELMAN: The developer.

8 CO-CHAIR GROSSBART: The
9 developer, AMA, a question about the
10 performance gap.

11 MS. AST: I'd like to ask if Dr.
12 Bruce Krieger is still on the phone, if he has
13 any comments about what Dr. Edelman brought
14 up.

15 CO-CHAIR GROSSBART: Dr. Krieger
16 did you hear the question?

17 DR. KRIEGER: I heard most of the
18 question, having to do with the denominator
19 and the -- including patients with COPD whose
20 CT barometric definition, which is an FEV1/FVC
21 ratio of less than 70 percent, and had
22 symptoms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That basically is the starting
2 point in all the algorithms, be it from the
3 global initiatives of obstructive lung
4 disease, the goal ATS, COPD and Canadian, for
5 giving treatment with -- you're giving
6 treatment with COPD and that first line of
7 treatment is a bronchodilator.

8 MEMBER EDELMAN: No, I -- I'm
9 sorry. Go ahead. I understand.

10 DR. KRIEGER: I may have missed the
11 question. Oh, as far as the denominator, that
12 basically is the population that should be
13 treated with bronchodilators. Not everyone
14 with COPD needs bronchodilators.

15 MEMBER EDELMAN: I understand all
16 that and agree with it. But what is the
17 evidence that a person, a group of people, who
18 meet all three criteria as written in the
19 denominator, that is have symptoms and have
20 abnormal spirometry and have a diagnosis of
21 COPD, what is the evidence that a significant
22 number of those people are not getting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bronchodilators?

2 DR. KRIEGER: There's a study in
3 quality of obstructive lung disease care for
4 adults in the United States published and
5 checked in 2006, showing that COPD patients --
6 only 58 percent of COPD patients received
7 appropriate care, based on these guidelines.

8 MEMBER EDELMAN: Was COPD defined
9 by all three criteria in that study?

10 DR. KRIEGER: Yes.

11 MEMBER EDELMAN: Okay.

12 DR. KRIEGER: I think it was
13 Mularski was the lead author, that was the
14 criteria for diagnosing COPD.

15 MEMBER EDELMAN: All right, that's
16 fine. That satisfies my question.

17 CO-CHAIR GROSSBART: Thank you.
18 So let's step through our voting elements. We
19 start off with impact.

20 MEMBER EDELMAN: I think the
21 impact is high. I don't think there's a need
22 to discuss it very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR GROSSBART: Okay, any
2 questions or comments from the committee or
3 workgroup?

4 (No response)

5 CO-CHAIR GROSSBART: Then can we
6 initiate the voting, Jessica.

7 (Pause for voting)

8 CO-CHAIR GROSSBART: So in terms
9 of impact, the vote is 16 with a score of high
10 and 1 with a score of moderate, no lows, no
11 insufficients. And then performance gap?

12 MEMBER EDELMAN: With the
13 developer's clarification, I think the
14 performance gap is high.

15 CO-CHAIR GROSSBART: Any questions
16 or comments from the workgroup or committee?

17 (No response)

18 CO-CHAIR GROSSBART: Then we'll
19 vote. Again a one to four scale.

20 (Pause for voting)

21 CO-CHAIR GROSSBART: Why don't we
22 try voting one more time, just to get the last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one in there. There we go, 13 votes for high,
2 4 for moderate. Moving on to our next area,
3 evidence. Did I just skip one? No.
4 Evidence, correct. And this is a yes/no
5 question.

6 (Pause for voting)

7 CO-CHAIR GROSSBART: And the score
8 was 17 yes that the evidence was sufficient
9 and 1 no.

10 Move on to the questions of
11 reliability and validity.

12 MEMBER EDELMAN: There was a good
13 discussion of reliability which they deem to
14 be moderate.

15 CO-CHAIR GROSSBART: Let's vote on
16 that. First of all, any questions or
17 comments?

18 (No response)

19 CO-CHAIR GROSSBART: Okay let's
20 vote.

21 (Pause for voting)

22 CO-CHAIR GROSSBART: And a score

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of 7 votes for high and 11 votes for moderate,
2 no other votes cast. And then the validity
3 question again, a one to four scale. Any
4 comments?

5 MEMBER EDELMAN: No, I think it
6 rolls up to a high validity.

7 CO-CHAIR GROSSBART: Okay.

8 (Pause for voting)

9 CO-CHAIR GROSSBART: I can't see
10 that far. What are we up to? Two more votes.
11 Let's everyone vote one more time. There we
12 go. So the vote was 14 high, 4 moderate.

13 And then usability, any comments
14 about usability?

15 MEMBER EDELMAN: I think it's
16 straightforward.

17 CO-CHAIR GROSSBART: All right.
18 Any comments from the larger workgroup or
19 committee?

20 (No response)

21 CO-CHAIR GROSSBART: Okay, well,
22 hearing none, let's vote.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Pause for voting)

2 CO-CHAIR GROSSBART: And the
3 results for usability are 16 high and 3 -- 15
4 high and 3 moderate. And then feasibility.
5 Again any comments?

6 MEMBER EDELMAN: It is feasible.

7 CO-CHAIR GROSSBART: And any
8 comments from the workgroup or the committee?

9 (No response)

10 CO-CHAIR GROSSBART: All right.
11 Let us vote.

12 (Pause for voting)

13 CO-CHAIR GROSSBART: And the
14 results are 14 high and 4 moderate, and now --
15 for feasibility. And now for overall vote,
16 yes/no question, one yes, two no for
17 endorsement.

18 (Pause for voting)

19 CO-CHAIR GROSSBART: And the final
20 vote was unanimous, 18 votes. All right. It
21 looks like I am up for Measure 0549,
22 pharmacotherapy management of COPD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exacerbations.

2 Let me just get over there. I
3 mean the main points of discussion from the
4 workgroup was that the evidence was strong,
5 essentially the same evidence that we have
6 already discussed for the other measures, and
7 some concerns about the reliability and
8 validity testing, concerns that there hasn't
9 been a trend over time available, concerns
10 about the fact that it's claims-based data and
11 that no eSpecifications were offered, and the
12 last point we'll discuss tomorrow on related
13 and competing measures.

14 So this measure is based on
15 patients who are -- inpatient or ED visits,
16 and who are dispensed a corticosteroid within
17 14 days of an event and a bronchodilator
18 within 30 days of event.

19 And again, similar questions about
20 you know, similar age group as the other NCQA
21 measure. Those are the high points that I
22 had. First I'd ask the workgroup if there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 any comments they'd like to add, as well as to
2 open this up to the larger committee.

3 MEMBER YEALY: One question, a
4 clarification.

5 CO-CHAIR GROSSBART: Yes. Yes.

6 MEMBER YEALY: How are we
7 determining from the numerator the dispense of
8 the medication, particularly as institutions
9 go to handing, you know, the first set of
10 inhalers out, if you are using claims-based
11 data it would be very easy to miss that
12 quality initiative and rebrand it something
13 else. I'm just -- any clarification on how
14 it's being extracted?

15 CO-CHAIR GROSSBART: In my reading
16 of the specifications, it is claim-based so
17 I'll ask the measure developer to comment on
18 that issue.

19 MR. HAMLIN: Yes, this is an
20 administrative, claims-based measure only. So
21 it's the health plan collecting the data both
22 from the hospital setting and from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 provider setting.

2 CO-CHAIR GROSSBART: So if the
3 patient receives medications directly from the
4 provider, it will be a false negative?

5 MR. HAMLIN: It's -- no, the
6 dispensed prescriptions will show up. It's a
7 little unsure, numerator compliance, if they
8 are actually in fact dispensed them for
9 provider prescription following a discharge
10 from the ED or from an inpatient setting.

11 CO-CHAIR GROSSBART: I'm still not
12 sure what it means, to be honest with you.

13 MEMBER STEMPLE: And I'm confused
14 as the patient already has the medications, so
15 what's the false -- what's the false -- is it
16 a false positive because they already have the
17 meds, so what -- I don't see how this measure
18 has much validity at all.

19 MR. HAMLIN: So, if the patient is
20 actively on a medication already, that
21 actually does count towards numerator
22 compliance and that is actually found to be in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the administrative claims record, so that will
2 count.

3 MEMBER STEMPLE: So there's a look
4 back for a pharmacy fill, or what's the look
5 back to determine -- can you define that a
6 little bit better, how is that authenticated?

7 MR. HAMLIN: Well, we get an
8 annual claims dump for the calculation of the
9 measure, so we are looking at all you know,
10 claims processed between January 1st and
11 December 31st and we usually have about a
12 three or four month period before claims are
13 due to us, so we allow the claims to run out
14 in that regard as well.

15 MEMBER STEMPLE: So does it look
16 back for any script in the previous year or 60
17 days or 90 days or what's the specificity of
18 the look back to see if they would probably
19 have, already have access to the products that
20 you are looking for?

21 MR. HAMLIN: If they have an
22 active prescription, so if there was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 prescription dispensed 30 days before, I
2 believe that would be counted as active. I am
3 not sure about longer times, given the
4 medications that are -- you know, the
5 corticosteroid medication, prescribing that --
6 talked to our vendor, talked to the steroid
7 vendor folks about what the timeframe is.

8 MEMBER STEMPLE: I think there is
9 some recommendations in sub-guidelines that
10 members are just sort of stockpiled with these
11 as a standing, to sort of supplement if them
12 feel an exacerbation coming on. So is there
13 any data to show how many people just have a
14 ready stockpile so your look back of 30 days
15 will not be valid.

16 MR. HAMLIN: Right, I don't know
17 what the -- I don't think there's an actual
18 look back period. I think it's just if
19 there's, it's like I said, if there's an
20 active medication during the exacerbation that
21 counts towards numerator, I'd have to look and
22 see what the actual attribution, what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 attributed attribution of a claim for
2 medication dispensed towards the event would
3 be, and I don't have that information. I will
4 have to get that for you.

5 CO-CHAIR GROSSBART: Any other
6 questions from the committee? Yes Peter.

7 MEMBER ALMENOFF: Under the
8 systemic steroids, is that inhaled and oral or
9 just oral? Just want a clarification for the
10 -- what steroids are, systemic steroids.

11 MR. HAMLIN: It's all med classes
12 that are, that are listed on the table PCEC
13 which I am looking for the page number right
14 now for you.

15 MEMBER ALMENOFF: I don't have the
16 table so I don't know the answer. It's oral
17 and inhaled? Or just various? Okay.

18 MR. HAMLIN: I believe it's pages
19 8 and 9 list all the medication classes.

20 DR. WINKLER: Go back up Katie, to
21 the meds.

22 MR. HAMLIN: They're specifically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on page 8, for the numerator.

2 MEMBER ALMENOFF: Okay, so that's
3 both. Okay. Good, thank you. It's got
4 inhaled and oral.

5 MEMBER YEALY: I would like to
6 know how it would be handled if after leaving
7 the emergency department I gave you your --
8 either your inhaler or your four days of oral
9 steroids. I dispensed, you know, you got that
10 as part of your ED visit, and then returned to
11 your normal regimen. It's not entirely clear
12 to me that an administrative claims-based
13 would identify that, yet it would be -- in
14 some ways it's actually the most efficient
15 care. I'm certain you have the medicines that
16 you need and that's my only concern about the
17 validity of this. How would you handle that
18 or can you handle that or have you considered
19 that?

20 CO-CHAIR GROSSBART: So, a
21 question to the developer. You have a patient
22 who receives medications directly in the ED,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not a prescription, not something they have to
2 fill, they walk out with it in their hands.
3 How does your measure account for that? Or
4 can it account for that?

5 MR. HAMLIN: Yes, if the
6 medication is administered, you know, is
7 dispensed to a person in the ED, that will be
8 captured in the admin claims, because it will
9 be -- it will show up, and therefore it will
10 still be compliant.

11 MEMBER YEALY: How will -- only if
12 you are charged for it?

13 MR. HAMLIN: Most of the ED, you
14 know, tend to show up, you know, as CPT under
15 procedural administration, but if they
16 actually get a prescription in the ED, that
17 will actually show up on the admin claims, so
18 they are linked to the CPT codes which are
19 how the measures are reported.

20 CO-CHAIR GROSSBART: What if they
21 walk out with the actual medications but no
22 prescription?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. HAMLIN: Well it's tracked to
2 the medication dispensation which actually
3 shows up in the pharmacy claims, so it's not
4 prescription-based. It's a dispensed-based
5 measure.

6 MEMBER YEALY: I remain
7 skeptical, but, I mean, because this cost me a
8 buck to dispense and I'm not sure it hits a
9 charge line. Yes.

10 CO-CHAIR GROSSBART: It's kind of
11 like aspirin at discharge or aspirin on
12 admission rather. What, they charge you for
13 that? No, no one charges for that.

14 Anyway, so -- so, well let's move
15 on to our assessment of it, unless there's any
16 questions let's move on to our assessment,
17 beginning with impact, the workgroup thought
18 this was a high impact, largely because COPD
19 is such a high impact disease.

20 The only question that we had was
21 that there was limited evidence presented
22 that there was underutilization of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pharmacotherapy management.

2 I'm going to walk over -- so let's
3 -- that's impact but again the committee rated
4 the impact high. Any other comments from the
5 workgroup or questions from the larger
6 committee?

7 (No response)

8 CO-CHAIR GROSSBART: Well then
9 let's vote on this first item.

10 (Pause for voting)

11 CO-CHAIR GROSSBART: Here we go.
12 So we have 15 with a score of high and 3 with
13 a score of moderate. No other votes. Moving
14 on to performance gap, the committee did not
15 see the significant performance gap so if you
16 look in the measure information --

17 DR. WINKLER: They're now on page
18 14.

19 CO-CHAIR GROSSBART: So you have
20 performance depending on what type of payers,
21 commercial results, in a 70 percent range,
22 variation from 60 to 78 percent for some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these in 2009, rates higher for
2 bronchodilator, less for corticosteroids,
3 similar results for the Medicaid population,
4 and again, there was the question about is
5 this seriously underutilized, concerns about
6 the definition and so on that we have already
7 discussed.

8 Any comments from the workgroup,
9 or questions from the committee around the
10 performance gap?

11 (No response)

12 CO-CHAIR GROSSBART: So let's vote
13 on performance gap, one to four scale again.

14 (Pause for voting)

15 CO-CHAIR GROSSBART: And 2 votes
16 for high, 13 votes for moderate, 2 for low and
17 1 for insufficient data. And the final area
18 under this, the importance to the measure and
19 report is the quality of the evidence, and
20 this is a simple yes/no.

21 The committee itself found that
22 the evidence for this measure was rated about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 moderate on most categories, as you can see on
2 the report. Any questions or comments from
3 the rest of the workgroup or from the
4 committee itself?

5 (No response)

6 CO-CHAIR GROSSBART: We are all
7 tired aren't we. So it's a yes/no, is the
8 evidence sufficient.

9 (Pause for voting)

10 CO-CHAIR GROSSBART: So the score,
11 voting was 15 yes, 1 no, 2 insufficient.
12 Going to move to the reliability and validity
13 questions. In terms of reliability, this is a
14 mix of administrative and clinical data.

15 We have raised concerns about the
16 -- some issues around is the data capable of
17 accurately capturing all the availability of
18 medication and some concerns about preexisting
19 prescriptions and as well as dispensing
20 through the ED, and some questions about the
21 ability to, in terms of validity, the ability
22 to only focus in on primary diagnoses of COPD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and patients with a secondary of COPD would be
2 ignored.

3 Example given was respiratory
4 failure with a secondary of COPD. So in terms
5 of -- and again the committee rated
6 reliability leading towards the medium side.

7 So are there any comments from the
8 workgroup? Dianne.

9 MEMBER JEWELL: So I guess a
10 question. So dispensing a sample is not the
11 same as dispensing a prescription? I'm
12 asking.

13 CO-CHAIR GROSSBART: There's some
14 questioning of that among the committee.

15 MEMBER JEWELL: Okay, and I guess
16 I thought I heard the measure developer say,
17 so maybe I just need clarification again, that
18 a prior prescription before the exacerbation
19 would count as meeting this measure. Did I
20 hear that correctly?

21 MR. HAMLIN: Yes that's correct.
22 So if the patient is on active medication they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 will count towards the numerator. The measure
2 intent is to ensure that patients who have an
3 exacerbation are on the appropriate
4 medications to theoretically prevent these
5 exacerbations and so we do count the ones who
6 are actively taking the meds.

7 CO-CHAIR WEISS: And did we hear
8 that right? It's a 30-day look back but not a
9 90-day? I'm just thinking about pharmacy
10 benefit managers may dispense like three
11 months' worth of this stuff, and yes.

12 MR. HAMLIN: I don't have the
13 exact number of days that would count. I'd
14 have to look that up and I don't have that
15 information accessible right now. I sent in a
16 request but unfortunately I don't have that
17 easily accessible to me.

18 CO-CHAIR GROSSBART: Any other
19 questions from the committee?

20 (No response)

21 CO-CHAIR GROSSBART: Let's move on
22 to our voting. So, reliability. One to four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 scale.

2 (Pause for voting)

3 CO-CHAIR GROSSBART: And we had 1
4 vote for high, 11 for moderate, 5 for low and
5 1 for insufficient.

6 And then moving on to the
7 validity. Again, the committee found this as
8 -- scored this in a moderate range. Any other
9 comments from the committee, or the workgroup,
10 or the committee? Then let's vote. Okay, go
11 ahead.

12 CO-CHAIR WEISS: A question I had,
13 as part of the validity, did they raise these
14 questions about the look back period and
15 whether or not if someone actually had a
16 recent dispensing beyond 30 days, was that
17 part of the discussion of the workgroup?

18 CO-CHAIR GROSSBART: No it was
19 not.

20 CO-CHAIR WEISS: Okay.

21 CO-CHAIR GROSSBART: So if your
22 point is, we had -- we rated it moderate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 before these additional questions up.

2 CO-CHAIR WEISS: I'm just -- it
3 would be helpful at least to me to know that
4 information, because it's just -- it's going
5 to be some level of mis-classification, the
6 question is how much.

7 CO-CHAIR GROSSBART: Any other
8 comments or questions?

9 (No response)

10 CO-CHAIR GROSSBART: Let's move
11 forward with our voting. So, validity of the
12 measure.

13 (Pause for voting)

14 CO-CHAIR GROSSBART: Seven
15 moderate, eight low, two insufficient. That
16 stops this.

17 DR. WINKLER: Yes, that vote of
18 seven moderate, eight low, two insufficient,
19 that stops this measure. It doesn't pass
20 scientific acceptability.

21 CO-CHAIR GROSSBART: And I think
22 the -- to sum up the committee's deliberation,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there was some lack of clarity around whether
2 or not patients would be actually getting the
3 appropriate therapy but not being counted as
4 having received that therapy by the measure
5 design.

6 All right. We have one final
7 measure to go. We have almost made up our
8 lost time. This will be number 1825, a new
9 measure, COPD management of poorly controlled
10 COPD, ActiveHealth.

11 Norm you are up for this one.

12 MEMBER EDELMAN: Oh, I love going
13 last. This is not a bronchodilator measure,
14 but to me it's more interesting and better
15 focused. So, the numerator, patients under 18
16 -- over 18 with poorly controlled COPD who are
17 taking a long acting bronchodilator;
18 denominator patients over 18 with poorly
19 controlled COPD who are taking a short acting
20 bronchodilator; and poorly controlled COPD is
21 several refills of the short acting
22 bronchodilator, a diagnosis of acute

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exacerbation of COPD, or refills of systemic
2 steroids.

3 The impact I think is high, as all
4 these therapeutic issues are in COPD. In this
5 case, I think the gap is well documented and
6 quite clear. It gets much better when it gets
7 specific for a long acting bronchodilator.

8 I think the quality of evidence is
9 good. Quantity is good. Eight meta-analyses,
10 42 studies.

11 Quality -- quality is less good.
12 The developer gets lost in a long discussion
13 comparing long acting bronchodilators which is
14 really not to the point, and I think that
15 turned off one of the members of our subgroup.

16 But I think the quality of the
17 evidence is good. We'll discuss reliability
18 and validity later. Oh, I have no questions
19 for the developer.

20 CO-CHAIR GROSSBART: Workgroup,
21 any comments or additional -- and questions
22 from the committee before we go into our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 voting phase?

2 (No response)

3 CO-CHAIR GROSSBART: All right so
4 let's start with our importance of the
5 measure, so impact. Don't start voting yet.

6 MEMBER EDELMAN: I think the
7 impact is high. There is a big gap and there's
8 good evidence that long acting bronchodilators
9 reduce exacerbation rates of COPD.

10 CO-CHAIR GROSSBART: Okay, let's
11 vote, one to four range again.

12 (Pause for voting)

13 CO-CHAIR GROSSBART: And the vote
14 was 17 with a score of high. And opportunity
15 for improvement, or performance gap.

16 MEMBER EDELMAN: As I pointed out,
17 I think that's high.

18 CO-CHAIR GROSSBART: Questions or
19 comments?

20 (No response)

21 CO-CHAIR GROSSBART: Let's vote.

22 (Pause for voting)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR GROSSBART: And the vote
2 was 16 high, 2 moderate. Moving on to the
3 evidence. Is the evidence sufficient, yes/no
4 question. Any comments Norm?

5 (No response)

6 CO-CHAIR GROSSBART: Okay, let's
7 vote.

8 (Pause for voting)

9 CO-CHAIR GROSSBART: And the final
10 vote is 14 yes, 4 no. Let's move on to
11 reliability and validity.

12 MEMBER EDELMAN: There's a good
13 analysis of reliability, which comes out
14 moderate.

15 CO-CHAIR GROSSBART: Any questions
16 or comments from the committee, workgroup?

17 (No response)

18 CO-CHAIR GROSSBART: Let's vote.

19 (Pause for voting)

20 CO-CHAIR GROSSBART: What are we
21 up to there? Let's vote again. And the 3
22 votes for high and 15 votes for moderate, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 then validity. Again, any comments Norm?

2 MEMBER EDELMAN: I think it's
3 highly valid.

4 CO-CHAIR WEISS: This is just a
5 question of age range and I think I may have
6 missed this question on a prior COPD measure,
7 but if you got a 20 year old who was not
8 succeeding at this measure with their COPD,
9 what would you think as a pulmonologist?

10 MEMBER EDELMAN: Ooh, you are
11 going to ask me a pulmonology question. If I
12 had a 20 year old whose diagnosis is COPD, I
13 would worry about my diagnosis of COPD.

14 CO-CHAIR WEISS: How about a 28
15 year old with this process?

16 MEMBER EDELMAN: I, look, you are
17 raising the question of the interface between
18 COPD and asthma.

19 CO-CHAIR WEISS: Yes, I'm just
20 wondering --

21 MEMBER EDELMAN: I mean that's a
22 huge question and that's a question not only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at the lower age range. It's a question at
2 the higher age range.

3 CO-CHAIR WEISS: So it is a
4 question --

5 MEMBER EDELMAN: So I think it's a
6 question that runs throughout the age range,
7 and you know, all of these criteria are
8 exceedingly simplistic to a pulmonologist and
9 hopefully only apply to primary care
10 physicians.

11 CO-CHAIR WEISS: The reason I ask
12 is because we have tomorrow an issue of
13 harmonization of ages, and --

14 MEMBER EDELMAN: I don't think my
15 -- my answer to your question is I don't think
16 playing with the age profile is going to get
17 you out of the very real problem of
18 distinguishing between asthma and COPD.

19 CO-CHAIR GROSSBART: All right.
20 Let's move on to our vote on validity. One
21 through four scale again.

22 (Pause for voting)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CO-CHAIR GROSSBART: And the
2 validity score came out 5 high, 12 moderate, 1
3 low. Next area is usability. Again there are
4 -- Norm, do you have any comments?

5 MEMBER EDELMAN: I think it's an
6 understandable and usable metric.

7 CO-CHAIR GROSSBART: Any other
8 questions or comments from the workgroup or
9 the committee?

10 (No response)

11 CO-CHAIR GROSSBART: Okay with
12 that, let's vote. It's a one through four
13 scale.

14 (Pause for voting)

15 CO-CHAIR GROSSBART: One more to
16 go, it looks like. There we go. And the
17 score was, the vote was seven high, nine
18 moderate, two low. And then feasibility.

19 MEMBER EDELMAN: It's easily
20 measured.

21 CO-CHAIR GROSSBART: So any
22 questions or comments about the feasibility?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 If not let's vote. One to four scale.

2 (Pause for voting)

3 CO-CHAIR GROSSBART: What are we
4 up to? About 17, 14? Let's everyone vote
5 again. The transcript is going to really be
6 interesting to read.

7 What are we up to now? Fifteen.
8 No, we had 18 on the last vote, didn't we?
9 Everyone vote one more time. All right. Time
10 is up. We'll see how the results come. If
11 it's close we'll revote.

12 So, 11 -- we got them all -- 11
13 high, 2 moderate. The counter could be off.

14 MEMBER EDELMAN: See the counter
15 is off. That's 18.

16 CO-CHAIR GROSSBART: And then
17 finally the yes/no endorsement vote.

18 (Pause for voting)

19 CO-CHAIR GROSSBART: Eighteen in
20 favor. Yes.

21 So again, we are 20 minutes behind
22 schedule, but -- and we still have a formal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 15-minute session for NQF member and public
2 comments. So I open this -- I would ask any
3 members of the public or -- to comment, if
4 they choose to.

5 DR. WINKLER: Operator is there
6 anyone on the phone want to make a comment?
7 Operator, are you there?

8 OPERATOR: Yes ma'am.

9 DR. WINKLER: Oh good. Does
10 anybody want to make a comment?

11 OPERATOR: There is no public
12 audience on the phone.

13 DR. WINKLER: Thank you. All
14 right. Thank you all. You have done an
15 arduous bit of work today. We are at actually
16 not that far off schedule. We were to adjourn
17 four minutes ago, according to the agenda.

18 So you have all done a fantastic
19 job. However, we still have considerable work
20 to do tomorrow. The agenda, we have 13 more
21 measures tomorrow. A lot of these are outcome
22 measures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We also need to have a discussion
2 of related and competing measures and now that
3 you have done the first pass review of the
4 process measures, we can take a look to see
5 what's left and see where the issues around
6 competing and harmonization are.

7 We also, toward the end of the
8 day, if we get through all the measures before
9 everybody has to leave, we do want to have a
10 conversation about gaps.

11 We see the measures that are here
12 but the question is, what are the measures
13 that should be? You know, what would we like.
14 We have had some input from ACCP on a couple
15 of documents on critical care and pulmonary
16 conditions that we gave to you and are on
17 SharePoint for you to review about gaps in
18 these topic areas so hopefully we will have
19 just a little bit of time.

20 If, when you should come in in the
21 morning, you let Katie, Jessica or myself know
22 at what point you are planning on leaving so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we can get a sense.

2 We are hoping to have a critical
3 mass of you all at least until about 3
4 o'clock, but we do know people will be racing
5 to the airport to catch flights.

6 Does anybody have any questions or
7 comments at this stage? I'll step out of the
8 way to avoid the rush towards the door.
9 Question? Comment? But again, thank you all
10 very much. It's been a long day. You have
11 been terrific. We appreciate your patience
12 and your cooperation in going through this.
13 Have a nice evening and we'll see you
14 tomorrow.

15 (Whereupon, at 5:52 p.m., the proceedings in
16 the foregoing matter adjourned for
17 the day.)
18
19

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com