

# NATIONAL QUALITY FORUM

+ + + + +

## MEASURE APPLICATIONS PARTNERSHIP MEDICAID TASK FORCE IN-PERSON MEETING

+ + + + +

FRIDAY, JUNE 6, 2014

+ + + + +

The Task Force met at the National Quality Forum, 9<sup>th</sup> Floor Conference Room, 1030 15<sup>th</sup> Street, N.W., Washington, D.C., at 8:30 a.m., Harold Pincus, Chair, presiding.

### PRESENT:

HAROLD PINCUS, MD, Columbia University, Chair  
GEORGE ANDREWS, MD, MBA, CPE, FACP, Humana,  
Inc.

MARSHALL CHIN, MD, MPH, FACP, Disparities  
FOSTER GESTEN, MD, FACP, National Association  
of Medicaid Directors \*

NANCY HANRAHAN, PhD, RN, FAAN, Care  
Coordination

MARC LEIB, MD, JD, State Medicaid  
CYNTHIA PELLEGRINI, March of Dimes

JENNIFER SAYLES, MD, MPH, L.A. Care Health  
Plan

ALVIA SIDDIQI, MD, FAAFP, American Academy of  
Family Physicians

ANN MARIE SULLIVAN, MD, Mental Health

### NQF STAFF:

HELEN BURSTIN

MEGAN DUEVEL ANDERSON

LAURA IBRAGIMOVA

KAREN JOHNSON

SARAH LASH

### **NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

ALLISON LUDWIG  
ALEXANDRA OGUNGBEMI

ALSO PRESENT:

KATIE ADAMEK \*  
SEPHEEN BYRON  
LAURIN DIXON \*  
REBEKAH GEE  
SARAH HUDSON SCHOLLE\*  
JULIE KUHLE \*  
MARSHA LILLIE-BLANTON  
ALICE LIND \*  
KAREN LLANOS  
DORIS LOTZ  
D.E.B. POTTER  
MARSHA SMITH

\* present by teleconference

# A G E N D A

Review Highlights from Previous Day Harold Pincus .....	4
Measure by Measure Review (continued) Harold Pincus .....	16
Strategy for Filling High-Priority Measure Gaps .....	143
Opportunity for Public Comment .....	185
Strategy for Filling High-Priority Measure Gaps (cont.) Megan Duevel Anderson .....	198
Round-Robin Discussion of Themes to Emphasize in Report and Coordinating Committee Review .....	233
Opportunity for Public Comment .....	294
Next Steps and Adjourn .....	294

## NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 8:45 a.m.

3 CHAIR PINCUS: So who's on the  
4 phone?

5 MS. KUHLE: Are we on the line?

6 CHAIR PINCUS: Who is that?

7 MS. KUHLE: Julie Kuhle with  
8 Pharmacy Quality Alliance.

9 CHAIR PINCUS: Okay, thank you.  
10 Anyone else on the phone?

11 MS. DIXON: Laurin Dixon with the  
12 Pharmacy Quality Alliance.

13 CHAIR PINCUS: Thanks. Anyone  
14 else? So we have a couple of new faces around  
15 the table, so maybe we might just go around  
16 again just to have everybody briefly introduce  
17 themselves.

18 I'm Harold Pincus from Columbia  
19 University and New York Presbyterian Hospital.

20 MS. DUEVEL ANDERSON: I'm Megan  
21 Duevel Anderson, project manager and NQF Staff.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. LUDWIG: Allison Ludwig,  
2 senior project manager, NQF.

3 DR. BURSTIN: Helen Burstin, NQF.

4 MEMBER HANRAHAN: Nancy Hanrahan,  
5 University of Pennsylvania.

6 MS. LOTZ: Doris Lotz, Chief  
7 Medical Officer with Medicaid, New Hampshire.

8 MEMBER LEIB: Marc Leib, Arizona  
9 Medicaid Program.

10 MS. JOHNSON: Karen Johnson, NQF.

11 MS. LILLIE-BLANTON: Marsha  
12 Lillie-Blanton, Chief Quality Officer for the  
13 Center for Medicaid and CHIP Services and  
14 Director of the Division of Quality.

15 MS. SMITH: Marsha Smith, Medical  
16 Officers, CMS Center for Clinical Standards and  
17 Quality Measurement Health Assessment Group.

18 MEMBER SULLIVAN: Ann Sullivan,  
19 Commissioner of Mental Health, State of New  
20 York.

21 MEMBER SAYLES: Jennifer Sayles,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Associate CMO, L.A. County Department of Public  
2 Health.

3 MS. GEE: Rebekah Gee, Medicaid  
4 Medical Director, State of Louisiana.

5 MEMBER SIDDIQI: Alvia Siddiqi,  
6 Medical Director of Illinois Health Connect  
7 PCCM, and I'm representing the American Academy  
8 of Family Physicians today.

9 MS. LLANOS: Karen Llanos, I'm a  
10 Technical Director at the Center for Medicaid  
11 and CHIP Services.

12 MS. LASH: I'm Sarah Lash, Senior  
13 Director, NQF staff.

14 MS. OGUNGBEMI: Alexandra  
15 Ogungbemi, NQF Staff.

16 MS. IBRAGIMOVA: Laura Ibragimova,  
17 NQF Staff.

18 CHAIR PINCUS: So we had a  
19 remarkably productive day yesterday. Oh,  
20 Cindy, sorry.

21 MEMBER PELLEGRINI: Sorry, I was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 getting my coffee. Cindy Pellegrini from  
2 March of Dimes.

3 CHAIR PINCUS: So I thought we had  
4 a remarkably productive day yesterday. Really  
5 had in-depth discussions, covered a lot of  
6 ground. And, really, I think we all got a much  
7 better understanding of the program, the intent  
8 of the program, and how it's been operating and  
9 the responses from the States and the  
10 experience so far.

11 And I think one thing that sort of  
12 came very clear to me is that this is really kind  
13 of the very beginning of, it's almost like a  
14 pilot program to sort of see how this works.  
15 And it's really a period of extensive learning.

16 And it seems as if what's happening  
17 is that CMS and the States are learning a great  
18 deal. And it's really working in many ways the  
19 way it was intended to actually be a period so  
20 that we can try things out with no risk and to  
21 really learn how to do this well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   And the ability to have this kind of  
2                   back and forth where we can re-look at how  
3                   things --- how different measures were chosen,  
4                   how we can refine it. I think is exactly the  
5                   right way to go.

6                   So Sarah's going to review what the  
7                   highlights were for the previous day just to  
8                   remind ourselves, and to also inform the people  
9                   that weren't able to be here yesterday.

10                  MS. LASH: Okay, so yesterday we  
11                  had quite a bit of discussion. Keeping in mind  
12                  that the intent of our measure review is to help  
13                  CMS achieve its goals for this Medicaid adult  
14                  core set and the associated reporting program.

15                  So there was a lot of discussion  
16                  about strategic issues, about how the program  
17                  should be positioned and its intentions. How  
18                  to encourage you know, as many States as  
19                  possible to participate in reporting. And to  
20                  increase the number of measures reported by  
21                  each State.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   So     feasibility,     feasibility,  
2     feasibility.    There was a need for ongoing  
3     technical assistance support from CMS in  
4     refining technical specifications to enhance  
5     the ability of States to be consistent in their  
6     approaches to reporting with sort of the goal  
7     in mind that people would like measures to be  
8     used for both internal quality comparisons to  
9     one's own State over time.

10                  And also external comparisons, that  
11     there is a desire, as Doris strongly advocated,  
12     for comparing results across States and sharing  
13     best practices about who is being able to show  
14     success     and     improvement     in     quality  
15     measurement.   And then giving the other States  
16     the ability to learn from leaders.

17                  We heard directly from a number of  
18     States who discussed challenges they're facing  
19     related to diverse population, service through  
20     Medicaid, various programs being implemented  
21     and many different types of benefit arrays and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 program structures that they're operating  
2 within. We recognized that there is quite a  
3 significant burden of reporting when States  
4 have small and/or strained budgets.

5 Dollars spent, you know, on data  
6 management and things like that aren't spent in  
7 other areas. So to really keep in mind,  
8 especially when looking at measures that  
9 require chart review, what we're really asking  
10 when we're asking for those measures.

11 And also, if you look to alignment  
12 with other State and Federal reporting programs  
13 like meaningful use, Medicare Shared Savings  
14 Program, Medicaid Health Homes demonstrations,  
15 things like that. You get sort of two for one,  
16 or three for one types of fulfillments of those  
17 requirements.

18 And then we sort of have this  
19 underlying tension of fit for purpose where we  
20 want to use standardized measures across  
21 programs and across states to enable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comparisons, like the HEDIS measure set, but  
2 also the need to have measures that are fitting  
3 the context of Medicaid and it's particular  
4 benefits and other design features.

5 So the group spent a significant  
6 amount of time reviewing measures in the core  
7 set. And we documented some great feedback to  
8 those measure stewards about updating them in  
9 small ways to being more in step with current  
10 guidelines.

11 We recognized the interdependency  
12 of the adult measure set with the children's  
13 set. And there's need for a more deliberate  
14 crosswalk of those measure and measurement  
15 opportunities as we track individuals from one  
16 age group to the next in Medicaid.

17 So also, some bigger questions  
18 raised about the future of measurement, the  
19 first being what's the best approach to risk  
20 adjustment. I think that's possibly not a  
21 question that we'll be able to solve in this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 room today. But you have some good parameters  
2 and recommendations that can be further  
3 explored.

4 Again, really noting the collection  
5 burden in keeping in mind the mode of data in  
6 our recommended measures that we want to be  
7 forward thinking, and capitalizing on  
8 electronic sources like registries and  
9 electronic medical records and exchanges.  
10 However, that might not reflect the current  
11 state of practice in much of the country.

12 Also to look to measures that can  
13 show a return on investment and really drive  
14 quality improvement activities at the local  
15 level. And to find a way to better incorporate  
16 the beneficiary perspectives about what  
17 quality means to the individuals receiving the  
18 care and benefits. And is the quality  
19 measurement reflective of their underlying  
20 goals.

21 Does anyone want to add any things

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Harold?

2 CHAIR PINCUS: I think one thing is  
3 that really became clear to me is how we need  
4 to think of this in terms of creating sort of  
5 a balanced portfolio. That given the  
6 diversity of the heterogeneity of states and  
7 how they operate the different distribution of  
8 managed care versus fee for service, the  
9 different eligibility requirements, the  
10 different populations, and so on.

11 You know it's been very hard to have  
12 this measure set be all things for all states  
13 for all people. And that to think of it terms  
14 of having a balance portfolio, that you know to  
15 strive so that, for many of the measures, that  
16 it would apply to all states and that they would  
17 all be able to report in a similar way.

18 But to realize also that there -- we  
19 should have some that are more cutting edge,  
20 that it would be where states can have -- that  
21 have unique capabilities might be able to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 report. And that would push things forward.

2 To have a balance in terms of  
3 thinking about structure process and outcomes,  
4 have a balance between just thinking about  
5 obviously the different sort of content areas,  
6 the different conditions. Although with an  
7 emphasis on those conditions where A, and this  
8 came up in a discussion I think we're going to  
9 give a slide on that, of the most frequent  
10 causes for readmission, for example, as being  
11 something that would be an area to focus on.

12 And that also balances across  
13 different other categories in terms of chronic  
14 diseases, acute diseases, different  
15 populations of behavioral health measures,  
16 measures that might be more relevant for  
17 pregnant women and so on.

18 So to keep the notion of balance in  
19 mind. And that we don't have to get perfection  
20 for everything for everybody.

21 MS. LASH: And to follow up on that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I ask that we sort of look at the relationship  
2 of the adult core to the children's core set.  
3 We've used arrows to indicate those measures in  
4 the children's core that are related to our  
5 measures in the adults.

6 So the chlamydia screening measure,  
7 follow up after hospitalization for mental  
8 illness. Medication management for people  
9 with asthma was a recommended addition  
10 yesterday. And the timeliness of prenatal  
11 care is sort of the other side of the coin to  
12 the postnatal care in the adult core.

13 So many of the other measures  
14 wouldn't be appropriate to include in the adult  
15 core because of their pediatric focus. But I  
16 think, you know, with potentially four measures  
17 carrying through, that's a nice synergy.

18 With that, I think we're ready to  
19 begin today's measure by measure review.  
20 We're going to start with the middle chunk of  
21 measures that had about 15 or so states

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reporting each.

2 So significant uptake, but by no  
3 means everyone and explore some of the  
4 feasibility challenges and consider whether  
5 those measures should be retained to enhance  
6 the stability of the set over time or if we need  
7 to look at some alternative measures.

8 So Megan will lead us through this  
9 section.

10 MS. DUEVEL ANDERSON: So just to  
11 remind everybody the structure of how we are  
12 going through the measures. We'll consider  
13 this section of measures because of their  
14 overall level of reporting in the first year of  
15 this program.

16 And these measures have, what we  
17 call, kind of moderate levels of reporting.  
18 And the question of the task force isn't very  
19 different from what the question was yesterday.

20 Is the question -- are the measures  
21 the best measures, and should they be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 maintained? And if not, by exception, are  
2 there suggested changes to the application of  
3 the measures or any suggested alternative  
4 measures.

5 You'll see a variety of different  
6 issues in these measures, but a lot of them are  
7 addressed through technical specifications and  
8 updates to the measures.

9 We'll also review some of the  
10 measures by kind of topic area. So as we saw  
11 yesterday, the measure that we're going to look  
12 at next is a mental health and behavioral health  
13 type of measure.

14 There are other related measures in  
15 the set. And we've adjudicated some of them  
16 already and we'll actually go through some of  
17 the others as well later this morning.

18 So just to remind everybody, these  
19 are kind of a moderate level reporting. We're  
20 going to talk about behavioral health measure.  
21 These are some other related measures in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 set.

2           Okay, so the first measure is 1879,  
3 adherence to anti-psychotics for individuals  
4 with schizophrenia. We've talked about the  
5 value of this measure a little bit yesterday  
6 already, but this is an NCQA measure and NQF  
7 endorsed. It is in HEDIS. It is actually an  
8 ambulatory-sensitive measure. And it is the  
9 percentage of individuals within the  
10 measurements period with schizophrenia or  
11 related disorders that have been prescribed an  
12 anti-psychotic medication with adherence to  
13 the medication as defined as a portion of days  
14 covered of at least 80 percent.

15           It is collected -- endorsed to be  
16 collected through claims or also electronic  
17 data from the pharmacy and it's a process  
18 measure.

19           The implementation feedback that  
20 was received from the states, 15 states  
21 reported this measure, all using the same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 specifications. It was reported for two  
2 different age groups, and the question about  
3 coding was received but a very nice simple  
4 response, as was provided by the technical  
5 assistance provider, and that was a simple link  
6 to a list of drug codes.

7 Fourteen states did provide reasons  
8 that they didn't report it, and it was because  
9 it was not a key priority.

10 CHAIR PINCUS: This one section, I  
11 think it says it -- I think it doesn't require  
12 medical record review. Yes. So that's --

13 MS. LASH: I think that might have  
14 been reported as a challenge, but it's not maybe  
15 actually reflective of the way the measure is  
16 designed.

17 CHAIR PINCUS: Yes, and it may be a  
18 state that doesn't have a linkage to pharmacy  
19 claims.

20 MEMBER SULLIVAN: Question. Is  
21 there something -- is there something different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about the way this would have been collected,  
2 versus the antidepressant, because the  
3 antidepressant was picked up and that was in the  
4 first group right? That more states picked up  
5 antidepressants?

6 I'm just curious, is there  
7 something different in the way you collected  
8 it? Because if they could pick up the  
9 antidepressants, I'm just curious why they  
10 wouldn't just do the anti-psychotics.

11 CHAIR PINCUS: I think it has to do  
12 with the antidepressant measure has been around  
13 for a long time as a HEDIS measure. I do not  
14 believe this is yet a HEDIS measure.

15 (Off microphone comment.)

16 CHAIR PINCUS: This is now a HEDIS?  
17 But it's relatively recently that it's been.

18 MS. LLANOS: This is new.

19 MEMBER SULLIVAN: Oh, it's new, so  
20 I guess it would have required more work then  
21 to put it in in some way.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR PINCUS: Yes, I think that's  
2 the reason that this is, that there's been less  
3 experience with it in HEDIS.

4 MEMBER SULLIVAN: Okay.

5 CHAIR PINCUS: But maybe it  
6 conforms with a -- actually I co-chair the  
7 medication management endorsement committee  
8 for NQF, and in that we sort of laid out kind  
9 of best practices for medication management  
10 measures. And this conforms to that.

11 MEMBER SULLIVAN: Okay, so it would  
12 be good if we you know, encourage people to put  
13 it.

14 MS. LLANOS: So I can just add that  
15 it's -- so we know states have limited budgets  
16 and they have to make trade offs, so if they can  
17 pull out some of these, I wonder if maybe the  
18 0418 got more uptake because it's been around  
19 longer and maybe we're having more of the  
20 population. It's hard to know why one measure  
21 was picked first over another.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR PINCUS: Did they -- Doris or  
2                   Rebekah or Mark, any thoughts about, is there  
3                   something special about this that makes this  
4                   different from the other medication management  
5                   stuff?

6                   MS. LOTZ: Yes, the data  
7                   aggregation is a little more complex in that you  
8                   have to look at the medical claims for things  
9                   that have been billed under J-codes, as well as  
10                  the pharmacy claims. So your injectables for  
11                  example might be billed under the J-codes.

12                  And that would be in the medical  
13                  data set, the administrative data set. But  
14                  also, for you folks at the agency and at  
15                  community mental health centers, the funding  
16                  mechanisms may be difficult.

17                  And they may be working under a  
18                  different budget from the -- you know from your  
19                  Office of Behavioral Health, or whatever you  
20                  might have. And so that data may not be as  
21                  easily accessible.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Not -- in New Hampshire, not for the  
2 point of sale, you know the outpatient pharmacy  
3 claims. We do see all of that. But what's  
4 done within the CMHC, the community mental  
5 health center, is something that we don't have  
6 access to.

7                   And so it looks like it's oh, it's  
8 just you know an ICD-9, or some way to find the  
9 folks with the diagnosis and then you narrow  
10 that to pharmacy claims. Not so in this  
11 regard.

12                  The pharmacy is sort of all over the  
13 place. And you have to coordinate multiple  
14 data sets and you have to dig out the one that  
15 you may not have the easy access to.

16                  MEMBER SULLIVAN: Would it be more  
17 difficult though to do this than the  
18 antidepressant? To do this and just a caveat,  
19 it's in the antidepressant, because that's what  
20 I was wondering about.

21                  MS. LOTZ: Yes. Again primarily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       because the injectables, and because you know,  
2       with the anti-depressants, the vast majority of  
3       that is being practiced in primary care.

4                   MEMBER SULLIVAN:       I was just  
5       wondering if it was including injectables. It's  
6       a little more complicated.

7                   MS. LOTZ:   Yes.   And the severity  
8       of the diagnosis puts people at different  
9       points of serv -- you know, places where their  
10      site of service is.

11                  MEMBER SULLIVAN:   Okay.

12                  CHAIR PINCUS:   Yes, actually I sort  
13      of my own personal experience with this is that  
14      I for many years I was a psychiatrist one night  
15      at week at a community clinic in Alexandria,  
16      Virginia.   And Virginia provides, actually,  
17      for medications for people that have been  
18      hospitalized in the public hospital.

19                  And so it comes through the state,  
20      not through a regular pharmacy.   And the record  
21      keeping is at a -- and that applies to both the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       injectables and the pill form.

2                   And so I don't know many other  
3       states had some rule like that. But you know,  
4       particularly this is unique to the injectables.

5                   Any other state experienced at  
6       that?

7                   MEMBER LEIB: I'll just echo what  
8       was said. Our behavioral health department is  
9       actually a separate agency, not just a separate  
10      division within the access program. It's a  
11      whole separate agency.

12                  They are responsible for all the --  
13      taking care of the severely mentally ill, which  
14      would include all the people who are on  
15      anti-psychotics. And this is a difficult data  
16      collection point because it's completely  
17      different systems.

18                  MS. GEE: From our standpoint this  
19      is a very important measure because this is a  
20      population at high risk for readmissions and  
21      not adherence, non-adherence. And so the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 other issue is also that we have segregated  
2 managed care into behavioral health and  
3 physical health.

4 And this measure is important  
5 because it gets at the coordination between  
6 those two. And so it might be somewhat  
7 challenging, but I think it's a very important  
8 measure.

9 CHAIR PINCUS: And I can also say  
10 from the work -- some work that we've done in  
11 an evaluation of mental health services within  
12 the VA, comparing it to private sector health  
13 plans, that nationally, it's performance is  
14 really poor. It's about 35 percent.

15 MEMBER SULLIVAN: So I think it is  
16 a very important measure. But it's also -- and  
17 I guess the data we're getting on  
18 antidepressants doesn't include a segment  
19 population either. Because there's those  
20 depressed patients that sit in the same  
21 community mental health centers as -- in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 same bucket. So it's just interesting.

2 CHAIR PINCUS: That's because a lot  
3 of the antidepressant stuff is in primary care.

4 MEMBER SULLIVAN: Yes, but what if  
5 it isn't, it's not in the bucket, that's all  
6 I'm saying. It's out to, you know.

7 CHAIR PINCUS: Yes, no, I think  
8 that that's you know, again it's a chunk of  
9 people who are seeing a specialist have to list  
10 the medications.

11 MEMBER SULLIVAN: Not in the  
12 antidepressant table.

13 MS. LOTZ: You're going to get your  
14 antidepressants from your pharmacy, not  
15 necessarily from your you know, primary care  
16 provider. Maybe you'll start with a sample  
17 pack. Maybe you know, maybe they've got a  
18 small dispensary, but by in large, they're  
19 going to get that from a retail pharmacist, the  
20 antidepressants. So we'll get that data, it's  
21 just where the data flows.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. LASH: Please use your  
2 microphone. Please use your microphone.

3 MEMBER HANRAHAN: So this is a  
4 conceptual question for the panel. Adherence  
5 to anti-psychotic medications, is this really  
6 a measuring adherence or is it measuring a  
7 management of anti-psychotics?

8 Because you know, from my  
9 experience, adherence to anti-psychotics, the  
10 only way you can get really good data about that  
11 is if you give somebody a pill and watch them.  
12 I mean that's the reality of it, if they have  
13 true schizophrenia, or serious symptoms.

14 But adherence to anti-psychotics,  
15 you know, is hard to balance that.

16 CHAIR PINCUS: So I mean the  
17 reality is that you know, you really can't  
18 measure adherence unless you have direct  
19 observation. Even if you've had you know, pill  
20 boxes that have an IT component to be able to  
21 know whether they've been opened.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But you know, several years ago,  
2 there was at NQF, an endorsement committee  
3 focused on medication management and Helen  
4 could make a comment on that.

5 And we, I was on that, and we looked  
6 at the multiple different ways by which quote,  
7 adherence was measured. And think of this more  
8 as opportunities for adherence you know rather  
9 than direct measurement of direct adherence.

10 And we recommended sort of a more  
11 standardized way to do that, and you know, to  
12 measure that in terms of days covered.

13 MEMBER HANRAHAN: So, Harold, it is  
14 a dissonance conceptually that we can't really  
15 measure adherence but we could measure that  
16 that was managed.

17 CHAIR PINCUS: Well, we're  
18 measuring that in fact a prescription was  
19 picked up.

20 MEMBER HANRAHAN: Or dispensed.

21 CHAIR PINCUS: Or dispensed, yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER HANRAHAN: Correct.

2 CHAIR PINCUS: Yes, that the pills  
3 have been dispensed. So it's --

4 MEMBER HANRAHAN: Which indicates  
5 that there's some management happening.

6 CHAIR PINCUS: Well, yes.

7 MEMBER HANRAHAN: Oh, there's lots  
8 of reasons why people don't -- would go back  
9 from work --

10 CHAIR PINCUS: Right, this is not  
11 specific to schizophrenia. I mean this is  
12 across the board that this is the same for  
13 everything, whether it's hypertensive meds or  
14 anything else.

15 MEMBER HANRAHAN: Well I just think  
16 there's a dissonance conceptually with this  
17 measure.

18 MEMBER SAYLES: I could just add  
19 that you know, it is a standard approach. And  
20 you know Medicare has this in their Part D  
21 ratings.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But I think from a Medicaid  
2 perspective, I could say that when we look at  
3 our rates, both in dual-eligible and the  
4 Medicaid populations in Los Angeles, just the  
5 fill part, just the days covered, is really low.  
6 And it's a real issue. Transportation barrier  
7 and --

8           So to your point, your right, it's  
9 not necessarily measuring behavioral aspects  
10 to adherence, do I take the pill every day. But  
11 in terms of kind of identifying where there are  
12 gaps in you know basically adhering or getting  
13 access to a medication and coverage for  
14 medication to treat a clinical condition, I  
15 would just say that there is in this population,  
16 this is actually a big area. Even though it's  
17 not as far downstream as we might like.

18           MEMBER HANRAHAN: I totally -- I  
19 couldn't disagree with, I don't disagree with  
20 that. I just, when you put adherence, the word  
21 adherence in there, in the measure, this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 management of anti-psychotic medications. I  
2 think that's what we're really doing here,  
3 which is important.

4 But to adherence what there is some  
5 implications there that the patient is taking  
6 the medication.

7 MS. GEE: They have different  
8 connotations. When I hear management, I think  
9 are they being appropriately prescribed? Is the  
10 patient being seen in the office?

11 When I hear adherence I think is the  
12 patient getting the medication. And there's  
13 been a lot of literature on this, it's not  
14 perfect. And people have done things with oral  
15 contraceptives for example putting computer  
16 chips in it and every time the pill pack opens.

17 So you can get very specific with  
18 it. But if people are going to go through the  
19 trouble of going to get a refill, unless it's  
20 a VA where they're regular and they just get  
21 mailed, and that's a harder system to know, if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it's just an automatic pilot.

2 But if you actually have go get it,  
3 that's pretty good indication you're using it.  
4 And there's a large body of literature on  
5 adherence, you know, just that word, it's kind  
6 of like unintended pregnancy, it has -- it's not  
7 a great, maybe it's not a great terms, but  
8 there's certainly a lot of utility to it.

9 CHAIR PINCUS: Doris, do you have a  
10 comment?

11 MS. LOTZ: Building on the  
12 complexity, and again, as long as these things  
13 are bound, not to discount the merits of it, or  
14 the importance of it, of you know, making sure  
15 that folks are taking their meds. This is a  
16 real high bar to calculate.

17 Just on the long acting  
18 injectables, calculate the number of days,  
19 count for the numerator, for the long acting  
20 injection, using the days supplied specified in  
21 the table. For multiple J-codes for the same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or different medications on the same day. Us  
2 the medication with the longest day supply for  
3 multiple J-codes or NDCs on the same or  
4 different medications on different days with  
5 overlapping days supplied. Count each day for  
6 the treatment period, only once for the  
7 numerator.

8 That's one of six boxes. And these  
9 are -- you know these are analysts that are not  
10 clinical And they see something like that.  
11 And I can't sit there with them and pour through  
12 this you know, every data element for the  
13 hundreds of -- yes, hundreds, hundreds of  
14 patients.

15 And we're a small state. It's just  
16 a very challenging thing to try to calculate.

17 MEMBER SULLIVAN: Perhaps if it is  
18 that complicated, then maybe we need to look at  
19 that part of it? I don't know, I mean I realize  
20 how you measure injectables is a question. But  
21 maybe they could be simple -- all in the same.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Because I think there's a reason  
2                   people didn't pick this one up you know. And  
3                   I do think it's very important, that why I was  
4                   asking the question.

5                   CHAIR PINCUS: On the other hand,  
6                   15 states did report it. So let me make an  
7                   assertion. I think that there's --- just to  
8                   summarize --- I think there's agreement that  
9                   this is an important measure, and that we should  
10                  give feedback to the measure stewards that is,  
11                  if there's a way to make this less complicated  
12                  and to sort of standardize it, so it's easier  
13                  for states to program, that that would be our  
14                  communication. Is there any objection to  
15                  that?

16                  Okay, so why don't we move on to the  
17                  next one.

18                  MS. DUEVEL ANDERSON: So now, again  
19                  within moderate measures, we're going to look  
20                  at two measures that address chronic disease  
21                  and care coordination issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           There's 0018, controlling high  
2 blood pressure, and on also the adult BMI  
3 measure. We previously discussed the measures  
4 below on the section.

5           Okay, the controlling high blood  
6 pressure measure is an NCQA endorsed measure  
7 that is in HEDIS. And it's also in the  
8 exchanges. This is the percent of -- I'm on the  
9 wrong slides. I'm on the wrong slides. It's  
10 hard to read no matter where it is.

11           There were not enough patients 18 to  
12 85 who had a diagnosis of hypertension and  
13 who's blood pressure was adequately controlled  
14 with a less than 140/90 during the measurement  
15 year. There are some exclusions for ESRD and  
16 pregnancy, but also non-acute inpatient  
17 settings.

18           And it's identified as an outcome  
19 measure. It's ambulatory sensitive and has  
20 both administrative claim, but also electronic  
21 clinical data and medical record as potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sources for the data.

2 It was reported by 15 states, and  
3 there are two different age rates that were  
4 available. There were no challenges reported  
5 with the use of this measure. However some  
6 states did not report it because it requires a  
7 medical record review and wasn't identified as  
8 a priority.

9 So are there any questions or  
10 concerns about the application of this measure  
11 to control high blood pressure in the adult core  
12 set?

13 MS. GEE: It would just be nice if  
14 you had integrated medical records and you  
15 could do quality reporting. I mean in the  
16 future we will be doing that, so in the present  
17 it's just a difficult measure because of the  
18 chart review necessity.

19 CHAIR PINCUS: I would actually be  
20 interested if any of the states that did report  
21 and collect this and see how they overcame some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the barriers. Do we know from the  
2 experience that the communication that CMS has  
3 had or that Mathematica had in the states that  
4 were successful in reporting this?

5 MS. LLANOS: So it is just a matter  
6 of paying for a record review. It's a hybrid  
7 measure, so I think it's just a matter of how  
8 much resources the states had. And I can say  
9 that this is probably the one where we got the  
10 fewest number of questions related to that if  
11 any.

12 And then I think the other piece to  
13 note is that this is the measure that's been  
14 identified by the Million Hearts Campaign,  
15 which is the National department reducing heart  
16 attacks over a number of years.

17 So this is -- and that piece wasn't  
18 listed under the alignment, but it's -- and it  
19 is a huge department push to use the same  
20 measure across all barriers and agencies.

21 MEMBER ANDREWS: Yes, I was just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 going to point out again that unfortunately  
2 this is a very important measure, simply  
3 because it impacts conditions that, again, most  
4 if not all of the population has. And it ties  
5 to a lot of the cost that no matter what age  
6 you are, it.

7 At the same time though, two things  
8 is the issue of medical record review.  
9 Additionally, it's the issue of the reading  
10 that needs to be reported, which again you can  
11 be well controlled during the year, and you're  
12 a little upset or tense when you see your doctor  
13 or whatever on the last visit, and your reading  
14 is high, which can happen.

15 So there are some challenges with  
16 this measure, but I still think it is an  
17 important measure to consider.

18 MEMBER SAYLES: I would just add,  
19 it's clearly an important measure and it sounds  
20 like very aligned with where CMS is going in a  
21 lot of, you know, sort of nationally where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 things are. Having had experience with the  
2 measure myself, I would say that I mean that  
3 it's heavily hybrid. It's all chart  
4 abstraction. You just don't get this  
5 information through any administrative claims.

6 And the other thing that you know,  
7 as George points out, the other issue, it's one  
8 reading, and usually your most recent reading  
9 is at the end of the calendar year, which is  
10 right around the holidays. And you -- it's  
11 this kind of cyclical --- you know I just, when  
12 I look at the you know, our charts that are  
13 added, and I sort of wonder what are we really  
14 reflecting here because this is -- so anyway,  
15 it's just that a technical point.

16 MEMBER ANDREWS: So again, a  
17 recommendation. I mean as physicians treating  
18 patients, I mean if anybody came to my office  
19 three or four times in a year, and their blood  
20 pressure readings were good except the one, you  
21 know.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So maybe the steward can consider  
2                   redefining what that is. An average, or if  
3                   you're going to do a medical record review, you  
4                   may as well capture a few readings that you have  
5                   a sense of whether it is controlled or not.

6                   CHAIR     PINCUS:           Any     other  
7                   suggestions in terms of -- oh, Sarah, you had  
8                   something?

9                   MS. BYRON:   So I believe there were  
10                  suggestions about adding age. Was it around  
11                  adding ages to this?

12                  MS. HUDSON SCHOLLE:   So right now,  
13                  the way the measure works is you choose the most  
14                  recent blood pressure in the record. And I  
15                  understand your concern that at any given  
16                  visit, that it could be abnormal.

17                  But I think that it's a little  
18                  unusual in a clinical setting for people to take  
19                  multiple blood pressure readings on the same  
20                  day, and record the lowest. So the lowest  
21                  recorded on that would be the one that is used,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but it is the most recent. And that's just the  
2 way that what we did to try to standardize which  
3 blood pressure, because we had to say something  
4 about which one. So the most recent one would  
5 indicate whether it's in control at the end of  
6 the year.

7 MEMBER ANDREWS: Yes, but in a  
8 clinical setting, if you started treatment with  
9 an anti-hypertensive with every patient that  
10 happened to have a blood pressure of 144/92 on  
11 the first time that you saw them, just because  
12 that's the one reading that you have, it would  
13 not be appropriate.

14 MS. HUDSON SCHOLLE: So you would  
15 not, that first reading, would not get someone  
16 into the denominator, if I'm understanding you.  
17 They have to have a diagnosis, a preexisting  
18 diagnosis of hypertension.

19 So that the diagnosis of  
20 hypertension has to occur either before the  
21 measurement year or in the first half of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 measurement year. Then we take the most recent  
2 blood pressure.

3 So it's not going to be just one  
4 blood pressure that's out of range that's going  
5 to get you into this denominator.

6 MEMBER SIDDIQI: So I just think in  
7 terms of a technical standpoint, this is really  
8 challenging if you're talking about doing a  
9 chart review on all of these patients. But if  
10 there could be some guidance where you could do  
11 a sample chart review of certain select number.

12 And then sort of some guidance on  
13 what that number would be based on analytics,  
14 that may help states to probably report this  
15 where their chart reviews would be sort of  
16 limited rather than every single patient in  
17 that age group range.

18 And then the second point was I  
19 think you did mention the age part. And I do  
20 think, and am kind of looking at my cardiologist  
21 friend here, as well as a colleague, I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       there are some newer guidance and evidence that  
2       we do not want to totally control the blood  
3       pressure so in the elderly population. So we  
4       may want to change that age range and maybe  
5       match it more to what states are reporting on  
6       other age ranges as well.

7                   CHAIR PINCUS: Is that something  
8       that --

9                   MS. HUDSON SCHOLLE: Okay, so I was  
10       just going to get to that. So first of all, the  
11       way that the specifications read, it does ask  
12       to take a sample of patients. And the sample  
13       is 411.

14                   And so it's 411 patients. And I do  
15       understand from states that's a large sample,  
16       and that's expensive. But as someone else  
17       noted, it's the only place to get the blood  
18       pressure results is in the chart.

19                   This measure has been -- there are  
20       changes that have been recommended to this  
21       measure that will appear in HEDIS 4-20-15, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do have different specifications or different  
2 thresholds depending on age. So for patients  
3 age 18 to 59, the blood pressure control is less  
4 than 140/90.

5 For patients age 60 to 85, with a  
6 diagnosis of diabetes, the blood pressure is  
7 less than 140/90. And for patients age 60 to  
8 85 without diabetes, the blood pressure is  
9 expected to be less than 150/90.

10 So that change to the specification  
11 was just approved last month. And it will be  
12 published shortly.

13 CHAIR PINCUS: So let me see if I  
14 can summarize. So it sounds like people  
15 obviously think this is an important measure to  
16 report, even given the additional cost. And  
17 that there probably ought to be some mechanism  
18 I guess they -- any kind of mechanism by which  
19 this will be updated based upon the NCQA  
20 revision of it.

21 And I assume that then it's passed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 along as a -- for the state reporting program.

2 MS. LLANOS: It does, I mean  
3 Sepheen and I were talking about this  
4 yesterday. I think it's -- they're talking  
5 about HEDIS 2015, where in HEDIS 2013 for the  
6 tech specs right now. So we're always going to  
7 be a year or so behind.

8 But the goal is obviously to align  
9 with the HEDIS is going to reflect the most  
10 important.

11 CHAIR PINCUS: Any objection to  
12 moving ahead?

13 MEMBER CHIN: I'm just going to  
14 point one more thing. It's also from a  
15 developmental standpoint, it makes a lot of  
16 sense to have this measure in that if you're  
17 going to try to start pushing the envelope with  
18 more medical record collection, especially  
19 with the MR penetration, blood pressure's got  
20 to be one of the relatively easiest ones to  
21 think about solutions to.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And so there's a lot of reasons for  
2                   having this in.

3                   CHAIR PINCUS:       It's a number.  
4                   Okay, Doris?

5                   MS. LOTZ:   Just one quick reminder  
6                   about this measure and that also in your summary  
7                   statements, Harold where we talked about  
8                   consistent age spans that would be so helpful  
9                   to states.

10                  Nothing against this measure, but  
11                  it does have yet another age span with a less  
12                  then 59, 60 to 85 and then you know, with and  
13                  without out diabetes. I'm think oh gees, you  
14                  make that much difference between 60 and 65?  
15                  Couldn't we standardize those age bands. It  
16                  would make it so much easier for the analysts.

17                  CHAIR PINCUS:    I think of the  
18                  issues is that the specific guideline  
19                  recommendations have a specific age. Cindy?

20                  MEMBER PELLEGRINI:       Just a  
21                  question, kind of bigger picture. Looking at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       this, it's making me realize that perhaps not  
2       all chart reviews are created equal, and that  
3       that's something to keep in mind on burden.  
4       And so this -- my question is, correct me if I'm  
5       wrong.

6               I understand there's a certain  
7       basic level of burden, there must be to putting  
8       together the team to do the chart reviews, to  
9       actually obtain the charts. And to set aside  
10      the time to do them.

11             But it seems like there is a  
12      qualitative difference perhaps between  
13      something where you're just looking for the  
14      reading and then record that, versus something  
15      like the early elective deliveries chart  
16      review, where it actually probably reading much  
17      more of the record and it's exercising some  
18      level of clinical judgment. Is that accurate?

19             MS. GEE: Just wanted to say but we  
20      made a decision that that we were going to try  
21      to realign some of our resources in the Office

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of Public Health and train our public health  
2 nurses who were doing fewer clinical  
3 activities, to do chart review and be engaged  
4 in quality improvement.

5 And so for the initial period, the  
6 cost would have been the same no matter what  
7 type of chart they were looking at. But I agree  
8 with you that a lot of this can be done by a fax,  
9 or just having a provider send a number in, and  
10 it's a lot easier if it's one single field, then  
11 if you have to do a comprehensive look back.

12 So I agree, I think it would be  
13 easier. And just a question, is there any  
14 state that's able to use medical records  
15 through Medicaid reporting? I know Maryland  
16 is very far along in terms of having an HIE, but  
17 are we aware of any state that's able to use EMRs  
18 for reporting?

19 CHAIR PINCUS: I don't know if you  
20 can say exactly what numbers. But we know that  
21 here's I think probably small states that can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be done. Not a large.

2 MS. GEE: But it would be nice to  
3 figure out what they're doing and share it with  
4 others. If they're able to do it, I would love  
5 to know how. So that would be a good exercise.

6 CHAIR PINCUS: Marc and then let's  
7 move on.

8 MEMBER LEIB: Just to echo a theme of  
9 not all chart reviews are created equal, it  
10 depends on how you're program is constructed.  
11 If your Medicaid program is one in which  
12 patients are seeing primary in a safety net  
13 situation like FQHCs or something like that,  
14 then maybe you've got a record that includes a  
15 lot of things in one place.

16 We don't have -- we don't utilize  
17 FQHCs very much in Arizona. Our members are  
18 integrated in the same doctor's offices that I  
19 go to.

20 So when you walk into a physician's  
21 office, you don't know if it's a you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 BlueCross/BlueShield, Medicaid/Medicare,  
2 except for the color of the hair. But you  
3 really can't tell the difference.

4 And so for us, our members they have  
5 five, six, seven different physicians for  
6 various problems, seeing specialist and  
7 everything else, all in different locations.  
8 How do we figure out which chart we're going to  
9 pull to review to do a chart review for this?  
10 It's going to be very complex type of thing,  
11 which is why the hybrid and the chart review  
12 methodologies are very burdensome for us and  
13 our system.

14 CHAIR PINCUS: So let's move on,  
15 next one.

16 MS. DUEVEL-ANDERSON: So the next  
17 measure is adult BMI assessment. This measure  
18 is not currently endorsed. It was withdrawn  
19 from consideration for endorsement.

20 And we've heard from the steward  
21 that they intend to advise and re -- revise and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 resubmit, and it's the percentage of Medicaid  
2 enrollees 18 to 74 who have an outpatient visit  
3 and who's body mass index was documented during  
4 the measurement year, or the prior year.

5 It does exclude patients who are  
6 pregnant. And it has both administrative and  
7 electronic and paper medical record data. It  
8 is aligned with HEDIS and the Health Insurance  
9 Marketplace Quality Rating System.

10 16 states reported this measure,  
11 and there is a challenge we have talked about  
12 already extensively this morning, about data  
13 source and the burden of collecting hybrid  
14 measures. The concern is that administrative  
15 data alone, does not accurately report the BMI  
16 because of the codes are not always recorded.  
17 So it does require a hybrid specifications to  
18 address that under-reporting, which is more  
19 costly and burdensome.

20 Several states did not report it,  
21 because it was identified as a key priority.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MAP made a recommendation on this measure in the  
2 fall 2003 review. And recommended that the  
3 measure should be updated or replaced with an  
4 endorsed measure.

5 An alternative was identified  
6 measure number 0421, preventative care and  
7 screening for BMI screening and follow up.  
8 This was identified for its merits, and it also  
9 complies with the current USPSTF task force  
10 recommendations.

11 So also 0421 is an administrative or  
12 electronic medical records. And was  
13 identified for feasibility issues.

14 MS. LLANOS: Megan, just to clarify  
15 that NCQA wants admin or hybrid as well, it's  
16 not both.

17 MS. DUEVEL-ANDERSON: So this is  
18 the slide for 0421, preventative care and  
19 screening for screening and follow up. This  
20 was an NQF endorsed measure. It is reported  
21 within normal parameters. And it was seen as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 really important because of the screening and  
2 followup component in the previous review.

3 It is not dramatically different in  
4 kind of the data source in that they're both  
5 process measures. So I don't know if the group  
6 wants to discuss maintaining the BMI measure,  
7 or supporting the previous recommendation to  
8 use 0421.

9 MEMBER SIDDIQI: So I recommend  
10 using 0421. It's definitely more meaningful  
11 to see that a plan -- it's actually documenting  
12 the plan. Yes, I'm trying to think in claims  
13 data, you know again where would you find this,  
14 or how could you find this.

15 I mean you could find it from the  
16 obesity diagnosis, or you know the fact that  
17 it's added to the problem list. But again that  
18 doesn't necessarily mean that a plan was  
19 actually documented.

20 I just think this one is probably a  
21 hybrid, because to look back six months of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 charts to search for that plan, is probably  
2 burdensome. But I think it's still a very  
3 effective measure, especially compared to the  
4 prior one.

5 CHAIR PINCUS: I just wonder if we  
6 and hear from NCQA and where are they in  
7 revising or resubmitting the previous measure?

8 MS. HUDSON SCHOLLE: So currently,  
9 we have the project underway where we have  
10 respecified the 0421 measure for reporting for  
11 sub-populations under a contract to ASPE. So  
12 we've actually tested it with health plans.

13 But we don't actually have current  
14 plans to revise our adult BMI measure that is  
15 currently in HEDIS, but that is something that  
16 might be considered based on the testing work  
17 that we've done for the serious mental illness  
18 population in our experience using this with  
19 the health plans.

20 I would say that the measure would  
21 have to remain a hybrid measure. And with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 chart review because of the problems that were  
2 noted right now that the codes that could be  
3 used for screening and follow up, in the claims  
4 data, were just -- we don't see those.

5 And there is a challenge of applying  
6 measures like the 0421 measure. Those measure  
7 right now, it's specified for the provider  
8 level recording. So the focus of the measure  
9 is what happens at the visit.

10 And that's one of the things that  
11 we've been trying to address in the ASPE project  
12 that I think D.E.B. Potter is going to speak  
13 about shortly. But trying to think about how  
14 a measure changes when you change the level of  
15 responsibility in reporting from the provider  
16 to a population reporting by a health plan or  
17 a state.

18 So we've started to look at those  
19 questions in this ASPE/SAMHSA-funded project.  
20 And will be taking that back to the NCQA team  
21 to decide what about HEDIS, but also relevant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR PINCUS:   Sounds like there is  
2                   not a current alternative then the CMS measure.  
3                   So it sound -- just to summarize, it sounds like  
4                   we're recommending that the BMI assessment that  
5                   was withdraw, the measure that was withdrawn,  
6                   be replaced by this new measure.

7                   MS. LLANOS:   I will just recap what  
8                   Sarah said.   Two really important things that  
9                   I heard her say is that the data source is  
10                  exactly the same on both.   So it's going to be  
11                  a challenge if we are going to go ahead with  
12                  hybrid review on this one.   It's going to be the  
13                  same issue on the 0421.

14                 And the other thing I heard is like  
15                 reporting unit purportedly one is at the  
16                 provider level, whereas this is a health plan  
17                 level.   And we've encountered some issues on  
18                 the PQRS measures in the past.

19                 So I would assume we would encounter  
20                 the same things in 0421 as under -- we still need  
21                 to modify 0421 is what I'm saying in order for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it to be used at that health plan level.

2 MS. DUEVEL-ANDERSON: 0421 is  
3 endorsed for levels of analysis including  
4 providers, for both group practice and  
5 individuals. But also population level for  
6 country, city, national, regional and state.  
7 That is how it's endorsed.

8 CHAIR PINCUS: So Karen, do you  
9 think that you would have an objection to  
10 replacing it, or?

11 MS. LLANOS: So I'll leave my  
12 objections or not objections. I just wanted to  
13 state I think that the challenges that we're  
14 facing with this one in the state uptake, would  
15 be the same challenges in the other one.

16 And then to kind of Harold the  
17 conversation of yesterday, which was let's not  
18 modify the measures, you'd have to modify 0421  
19 to serve the purposes of state reporting.

20 DR. BURSTIN: Just one point I  
21 guess at a state level of analysis, the follow

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 up is not quite as relevant as in looking at  
2 specific providers and did they do follow up.  
3 So I think one could make an argument at a state  
4 level, the assessment of BMI might be  
5 reasonable, especially if the measure already  
6 works, and this one would need to be adapted to  
7 make it work.

8 MEMBER SIDDIQI: So I think the  
9 first one though is only looking at assessment  
10 of BMI being documented in the chart, which is  
11 almost like an automatic thing that everyone is  
12 getting done now, as part of their vitals.  
13 Usually the EHR calculates it for you if you  
14 stick a weight and height in there.

15 But whether or not it was addressed  
16 is the issue.

17 CHAIR PINCUS: I mean, just from my  
18 own perspective, I tend to be very skeptical of  
19 screening measures alone, as compared to  
20 screening with some indication that some action  
21 was taken. So I would you know, be in favor of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actually feeling it's sort of worth the effort  
2 to try to retool this for that.

3 Other people?

4 MEMBER SAYLES: I just wonder, we  
5 have a whole slew of medical record review  
6 hybrid measures. And I think, I feel like when  
7 we started, we said we wanted to try and have  
8 some parsimony and kind of look at just  
9 practical pieces in addition to, in a few  
10 focused areas pushing the envelope.

11 So I don't know, personally I'm a  
12 little torn because this is a -- obesity is a  
13 obviously a huge issue in the population.  
14 There's sort of no doubt about that. But on the  
15 other hand, I feel like we've just sat through  
16 measure after measure where we've talked about  
17 the challenges of hybrid chart review. And  
18 this one has some technical issues in addition  
19 to just being a hybrid review.

20 So I guess my question would be is,  
21 I mean would one of the options be to not include

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       it this year, or is it just -- I don't know, I'll  
2       just throw that out.

3               MS. GEE:   And then the question is  
4       what do you do about it.   I mean that I'm much  
5       more in favor in looking at childhood obesity  
6       as you know as a measure.   And looking at an  
7       intervention there once you know, it's just  
8       difficult to know what you're going to do about  
9       it versus blood pressure and the other ones that  
10      are hybrid measures.

11             At this point I wouldn't prioritize  
12      this one.

13             CHAIR PINCUS:   Marshall, George  
14      and Doris.

15             MEMBER CHIN:   I guess the question  
16      is for CMS is then what's the future plan in the  
17      sense that we could basically do whatever's  
18      feasible, which leaves us with a lot of process  
19      measures,   which   are   some   of   the   least  
20      meaningful of some of the different measures on  
21      the spectrum.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But when you get to the session in  
2                   a moment of with like well the voice, and things  
3                   like patient experience and care coordination,  
4                   et cetera, so on a national level, you know part  
5                   of it too, I mean this is a voluntary program.  
6                   So that if we think that it's important and then  
7                   something like the hybrid is like the best  
8                   measure, I mean why not sort of push the  
9                   envelope right now?

10                  Otherwise, we're going to be  
11                  basically you know in a race to the bottom, and  
12                  we're not going to keep on advancing with the  
13                  different measures. So what is the plan then  
14                  over time then where we should see in terms of  
15                  balance of feasibility, timing of getting  
16                  towards of what people would want to have as  
17                  like a you know, in theory, an ideal data set.

18                  MS. LLANOS: I can start and I think  
19                  in terms of how the mix, we've always tried to  
20                  strive with aspiration grounded in reality.  
21                  And I think we've heard a lot over the past

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 couple of days. As well as over this first year  
2 of reporting from states saying we like that  
3 approach, but it's really hard to do anything  
4 that's not admin right now.

5 So I think that's the struggle where  
6 we want to be looking forward, but we also want  
7 to make sure that we are putting forth a measure  
8 set that will have uptake. So I think it's  
9 tough. I mean you guys have a tough job in  
10 front of you.

11 But I would say I think look for this  
12 kind of broad snapshot of adult health. And I  
13 think as Harold said this morning, we kind of  
14 want to aim for the middle.

15 So try to look at this set together,  
16 and also think about states can collect  
17 measures in addition to the core set. This is  
18 kind of what we consider the starting point for  
19 the work. And Marshall I don't know if you've  
20 got more.

21 MEMBER CHIN: One thing like I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       said, this is an amazing group here around the  
2       table. But actually of the NQF panels I've  
3       been on, this is the least diverse that you  
4       know, it's mostly sort of people actually  
5       running sort of Medicaid programs.

6               So you don't have like consumer  
7       advocates and other stakeholders where almost  
8       always when those folks are on the panels, they  
9       push then for the more meaningful measures.  
10      And so you know, I'm just a little bit worried  
11      that we're being too timid here.

12             MEMBER ANDREWS: My perspective is  
13      that you -- we only have about 16 states that  
14      are reporting. And the others are not for  
15      reasons. And right off the bat, as we're  
16      trying to create something where all states are  
17      reporting, are reporting on a number of  
18      measures that can be compared, I think we need  
19      to -- we don't have to make everything perfect  
20      right now today, tomorrow, next year. We can  
21      always revise and change.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   So adding to the burden of medical  
2                   record review, when again, if we were to change  
3                   it, I don't see that as an option right now. I  
4                   think that you know, having the measure being  
5                   reported by all is a start. It is not perfect.  
6                   It doesn't provide us with a good solution in  
7                   terms of any management. But that would be for  
8                   subsequent revisions and changes.

9                   MS. LOTZ:     When I talk about  
10                  measures for Medicaid, I can't tell you why, but  
11                  this is one that always comes to mind that  
12                  people complain about. You know it's like oh,  
13                  my God that BMI one, why?

14                 But if you take the complaint as  
15                 touching a bit of raw nerve or an opportunity,  
16                 it's doing some good as it is. So I would be  
17                 inclined to -- I would recommend that the group  
18                 keep it as it. It's getting somewhere.

19                 The more advanced measure, while it  
20                 absolutely has merit, to each what Rebekah  
21                 said, we don't provide any services for adults.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We do for kids. But, so you find them in  
2 Medicaid, you make the referral to what? It's  
3 not there.

4 And you know, again, that's not a  
5 good scenario to be in. It will evolve over  
6 time. But I think we are evolving over time  
7 just by saying are you measuring the BMI, and  
8 that already provokes something in providers  
9 that I think is good. So I would keep it as is.  
10 And over time evolve towards a more demanding  
11 measure.

12 MS. LILLIE-BLANTON: Let me just  
13 say I think Doris sentiment is exactly mine.

14 CHAIR PINCUS: Restate what I think  
15 is now the summary is that we recommend  
16 continuing it, but strongly urge that more work  
17 be done to look making this a better measure  
18 more feasible.

19 MEMBER SIDDIQI: Can I just ask,  
20 what is it in the child core set? The BMI plus  
21 the follow up plan, or just the BMI?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. LLANOS: I think in the child  
2 core set, we only have the first part, it's the  
3 assessment piece.

4 CHAIR PINCUS: So does that make  
5 sense as a recommendation? Okay, let's move  
6 on.

7 MS. DUEVEL-ANDERSON: So we're  
8 going to move on to CAHPS surveys. So we're  
9 going to take these three together, the CAHPS  
10 health plan survey as included in the current  
11 core set, as well as two measures that are as  
12 a result and come from the results of the CAHPS  
13 survey. So they're based on questions in the  
14 survey.

15 So what we've heard is you know, if  
16 a state collects CAHPS, then they would also  
17 kind of have a three for one, because they would  
18 also be able to get the other two measures.

19 MS. LASH: I just wanted to quickly  
20 clarify, to try to let you know that the current  
21 textbooks are using Version 5.1., if that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 matters.

2 MS. DUEVEL-ANDERSON: And I think  
3 this flu shot, this slide didn't get updated.  
4 The flu shot measure is now endorsed. But the  
5 next slides will be updated.

6 MS. LOTZ: And it's also all ages,  
7 it's not.

8 MS. DUEVEL-ANDERSON: If the age  
9 group is expanded, the title is a little  
10 different and it's endorsed. It's number  
11 0039. Well talk about it third. So sorry  
12 about that confusion.

13 So the CAHPS health plan survey,  
14 this is an NQF endorsed patient recorded survey  
15 that addresses as patient's experience in  
16 engagement. Health plan level analysis, and  
17 it's alliance with Medicare trust savings and  
18 the health insurance quality rating system  
19 marketplace.

20 It's four global questions of  
21 overall satisfaction, plus five composite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scores and a summary rating. The CAHPS survey,  
2 results were reported by 16 states. 11 states  
3 used 5.0 and 4 states used 4.0. One state used  
4 the CAHPS designed, a CAHPS like agency  
5 designed survey that is not administered by a  
6 vendor.

7 There were challenges in the data  
8 source in the difficulty in getting a vendor to  
9 conduct the survey. And the information was  
10 not always reported because it wasn't  
11 identified as a key priority, or because the  
12 states decided not to collect the survey.

13 So are there any questions or  
14 concerns about the application of the CAHPS  
15 survey in the core set? Nancy?

16 MEMBER HANRAHAN: It's just a  
17 clarifying question for me. So CAHPS is a  
18 patient satisfaction survey, that's what it  
19 does, right?

20 MS. DUEVEL-ANDERSON: Experienced  
21 care.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER HANRAHAN:   Okay.   We also  
2   have, and that's being embraced by the NQF as  
3   a measure, which I think is a great idea.   But  
4   in that same line, then why wouldn't we think  
5   in terms of using the PROMIS systems that were  
6   developed by NIH to measure various conditions  
7   and perceptions of health?   Is that a direction  
8   that is being sought?

9                   MS. LLANOS:   So CMS as an agency is  
10   considering the PROMIS tool.   I'm not sure that  
11   there's any measures that are ready for prime  
12   time yet is my understanding.   But it would  
13   certainly be sure to report outcomes in  
14   addition to patient experience surveys is the  
15   direction we want to go into.

16                  MEMBER HANRAHAN:   Yes, because  
17   the -- one of the major issues that keeps coming  
18   up is how we collect the data.   And the PROMIS  
19   system actually has that handled in that they  
20   have this whole infrastructure for collecting  
21   data.   And that it could be used by providers

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to collect the data, so the actual person  
2 collecting the data is managed as well.

3 And it would give us some pretty  
4 useful, some good useful information about  
5 health status that could be aggregated up to the  
6 state level. That could be useful hopefully in  
7 comparison, from state to state, so.

8 DR. BURSTIN: We just did a lot of  
9 work on pros over the last couple of years.  
10 Actually worked very closely with folks at NIH  
11 and PROMIS and there's a lot of activity  
12 currently thinking about how to build what is  
13 really a tool into a performance measure.

14 So we don't yet know how to take that  
15 as a tool of saying my fatigue, my anxiety and  
16 how it then reflects provider performance. So  
17 I think that's the challenge, but there's been  
18 a lot of work on thinking about moving PR based  
19 tools into PR based performance measures.

20 But it isn't a one for one with  
21 patient experience, which is still very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 different then function or health status.

2 MEMBER SAYLES: It will be CAHPS 5  
3 though, that I mean it says 4 here, but it would  
4 be 5 moving forward.

5 MS. LLANOS: It's been 5 for --

6 MEMBER SAYLES: Oh, it's been 5, it  
7 just say 4 here.

8 MS. LLANOS: Since last year, yes.

9 MEMBER SAYLES: Okay.

10 MS. LOTZ: I think it's great.  
11 It's a you know, there may be other  
12 opportunities emerging, but right now it's the  
13 best thing in the market, and I hesitate to say  
14 well, don't say anything then Doris, but I have  
15 to say something good.

16 I just think it's great. We all do  
17 it, however we came to doing it, whether we had  
18 to, or you know, whether we like the tool. It's  
19 the closest best thing we have to know what's  
20 going on inside a patient's head.

21 And I'll say the same thing in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 advance so I don't have to put my table tent up  
2 again. The tobacco one we did, there is a lot  
3 of information in asking them about their  
4 tobacco use, where they've been advised to  
5 quit, how often the smoke, you know what their  
6 attitudes are about quitting. It's just  
7 fabulous for this moment in time.

8 CHAIR PINCUS: So let me get, why  
9 aren't more states using CAHPS?

10 MS. LOTZ: It costs about \$60,000  
11 at least to produce. If you do  
12 sub-populations, you have to resample in a  
13 different sample. And so as I said yesterday,  
14 the cost can be somewhat additive and you can  
15 run up an expenditure fairly quickly.

16 It's best done by someone who is a  
17 NCQA certified to do the survey properly. So  
18 it means contracting out. Although you see one  
19 state tried to do it on their own. So it has  
20 some logistic complexity to it.

21 But again, it's -- some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       burdens of you know, getting into the  
2       maintenance of effort, you know, it's being  
3       done already, so if we say we need another  
4       \$60,000 or \$120,000 to do a CAHPS survey,  
5       there's little push back, because the political  
6       acceptance is already there for the report.

7                   CHAIR PINCUS:   Marc?

8                   MEMBER           LEIB:                   We've  
9       done -- recently done CAHPS surveys you know,  
10      we're using a vendor for adults to children in  
11      Medicaid. The children in our CHIP program, et  
12      cetera. And the cost of doing that, it's been  
13      tremendous. I know we won't be doing this  
14      every year. We might do it every three to five  
15      years. But at that kind of cost, we certainly  
16      won't be able to do it every year.

17                   MEMBER SAYLES:   Okay, can I just  
18      ask a question for this? For states that are  
19      heavily managed care and have MCOs I mean the  
20      MCOs, most of them would be doing this already.

21                   So are you -- I mean the way that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       this is reported up to CMS, I mean is there not  
2       the ability to maybe, can they aggregate or  
3       average, or weight scores to what -- because I'm  
4       just trying to think like in California -- well,  
5       anyway.

6               There's ways to do it that would  
7       leverage what the health plan already has to do  
8       in those states where that's relevant. I just  
9       didn't know if that was an opportunity to  
10      address things or not.

11             MEMBER LEIB: Yes, we could push  
12      this down to our MCOs, some of whom are NCQA  
13      certified and would do it. Ours don't have to  
14      be. Our health plans are administered through  
15      us, not our department of insurance. So they  
16      are not necessarily NCQA certifiable, but we're  
17      moving in that direction.

18             But even if they are, if we push this  
19      to them as a requirement, then we end up paying  
20      them to do these surveys. There's no such  
21      thing as a free lunch in managed care. We still

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have to give them money to do what we ask them  
2 to do.

3 So that just because we push it down  
4 to the plans doesn't mean we can't ignore the  
5 fact that it costs them \$60,000, or because  
6 there's multiple financial, we have 15  
7 different plans, you want all doing the  
8 surveys. The actual costs would explode in  
9 that total cost of the plans to do it would be  
10 more than the cost of the state to do it in  
11 total.

12 And the -- then they would expect  
13 that to be in their administrative dollars, the  
14 things that they have to do that we require from  
15 them. We're glad to do it, please give us the  
16 money. So that it's not just that we get to  
17 require them to do more and more at no cost.

18 CHAIR PINCUS: So I think to come  
19 back to what our recommendation, it sounds like  
20 we would be recommending that this be  
21 continued. Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. DUEVEL-ANDERSON: Okay, so  
2 measure 0039 is the flue vaccination, where it  
3 falls with all adults ages 18 and older, this  
4 is the percentage of adults 18 years of age and  
5 older who self report having influenza  
6 vaccination. And it's the result of the 5.0  
7 CAHPS survey.

8 It's reported in two separate  
9 rates. It's again a patient reported survey,  
10 and can apply to different care settings, and  
11 it is also aligned with health insurance  
12 exchange quality rating system and HEDIS  
13 measure.

14 12 states reported this measure,  
15 and here was a challenge with methodology.  
16 Again, if you collect CAHPS, then you would also  
17 be able to get this information. There was  
18 technical assistance provided on understanding  
19 the rolling average, and the requirement that  
20 is no longer required by HEDIS.

21 States didn't report it because it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was not an key priority to collect CAHPS. Are  
2 there any questions or concerns about the  
3 continuation of this use, of this measure in the  
4 set?

5 CHAIR PINCUS: So I assume that  
6 we're recommending again as we did, that this  
7 is probably kept and we're just going to  
8 continue it. Cindy?

9 MEMBER PELLEGRINI: Just a quick  
10 technical question. I don't -- can anybody  
11 tell me, is there any way when using this  
12 measure, to separate out pregnant women? Just  
13 because we're involved in some efforts right  
14 now to really emphasize the importance of the  
15 flu vaccinations for pregnant women. And one  
16 of the things that we've been struggling with  
17 is that there isn't a focused measure on that  
18 issue.

19 So I assume not, but if somebody  
20 could enlighten me, I think that would be great.

21 CHAIR PINCUS: I don't think CAHPS

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 asks if your pregnant.

2 MS. BYRON: What's the basis? No.

3 (Off mic comments)

4 CHAIR PINCUS: Okay, so let's move  
5 on.

6 MS. DUEVEL-ANDERSON: And so the  
7 MAP recommendation in 2013 is reflected in the  
8 current specifications in 2014. And it now  
9 includes all these age ranges.

10 So 0027, medical assistance with  
11 smoking and tobacco use cessation. This  
12 measure has three different components. It  
13 assesses different facets of providing medical  
14 assistance with tobacco -- smoking and tobacco  
15 cessation, both advising smokers to quit.  
16 Discussing medications and discussing  
17 cessation strategies. And it is self  
18 reported. Patient reported information.

19 And it aligns with PRQS, HEDIS, and  
20 the health insurance exchange marketplace. 15  
21 states reported this measure, again, it's going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be the same concerns that if states reported  
2 CAHPS, they were able to report this measure.

3 And the reason that it was not  
4 reported by all states was because it was not  
5 identified as a key priority. Are there any  
6 concerns about the continuation of the use of  
7 this measure in the core set?

8 CHAIR PINCUS: Any comments? So  
9 it sounds like again, a positive response as a  
10 part of CAHPS. Let's keep it.

11 MS. DUEVEL-ANDERSON: Okay, so we  
12 also have one measure with moderate level of  
13 reporting that addresses women's health and  
14 related topics. 0469 is elective delivery.  
15 There are other related measures that we've  
16 discussed.

17 This is an NQF endorsed measure by  
18 the Joint Commission. It's a measure that  
19 assesses patients with elective and vaginal  
20 delivers and elective cesareans sections at  
21 less than 39 weeks, or equal to 37 weeks.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And it does have administrative and  
2                   electronic data collection, but also paper  
3                   medical record or medical record review  
4                   required. It's a hospital level measure and  
5                   it's reported in IRQ and Meaningful Use for  
6                   Hospitals.

7                   There are related measures included  
8                   in the core set and in CHIP as well, or sorry,  
9                   not CHIP, it would be the child core set. The  
10                  cesarean section rate, and also the antenatal  
11                  steroids.

12                 13 states reported this measure and there  
13                 was a significant challenge with medical record  
14                 review required to determine the  
15                 numerator/denominator. There was a  
16                 challenges with sampling the medical records  
17                 using vital data records. But we've also heard  
18                 about some successes with using that kind of  
19                 information.

20                 And CMS and CDC have ongoing  
21                 assistance in collecting and using Federal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 records. Then they're doing a training series  
2 on the data linkage. So this is reported as  
3 very helpful, and I think we have some people  
4 in the room that can probably speak to the  
5 usefulness of it.

6 Some reasons that it was not  
7 reported is because of the medical record  
8 review and the data linkage, but also because  
9 of the priorities in the state. Are there any  
10 questions or concerns about the continued use  
11 of this measure? Rebekah do you want to go  
12 ahead?

13 MS. GEE: Yes, so we modified our  
14 states vital records so that we can collect the  
15 PC-01 through our vital records. It's  
16 something we'd like to see other states do it.

17 It's worked out very well and we've  
18 validated the measure against PC-01, and we'll  
19 be doing payment/non-payment for elective  
20 delivery starting September 1st along with  
21 BlueCross/BlueShield. That's something that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 South Carolina has done, but not using vital  
2 records.

3           It think vital records is an  
4 excellent resource for this. And I think that  
5 as we look to the future using public health  
6 data, and that's a theme that Eddy might have  
7 brought up yesterday, we're doing that for  
8 viral load, we're doing that for the cesarean  
9 section rate and it's something that is working  
10 very well for us for our state that links.

11           I would say for the future of the  
12 next five years, this is still an important  
13 priority, but if you look at the curve, I'm not  
14 saying take it out, but I think in five years  
15 this will be irrelevant, and I think the  
16 cesarean section PC-02 measure will become much  
17 more important. It's something that we're  
18 very focused on now.

19           CHAIR PINCUS: I think this is an  
20 example where I think this program has really  
21 helped states to really create a data linkage

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 infrastructure and capacity that wasn't there.  
2 And you know obviously it looks like and sounds  
3 like that's expanding.

4 Other comments? Oh, Doris?

5 MS. LOTZ: This is one that we took  
6 a very deep dive into as you may recall. We did  
7 link the vital records, that is good. The  
8 vital records are still very spotty with the  
9 data and the accuracy. The date in there, so  
10 linkage isn't enough.

11 You're still going to have to do  
12 record review, because the vital records, while  
13 they're probably most important in giving you  
14 the gestational age, they don't adequately  
15 elaborate on the medical reasons that went in  
16 to do an early elective delivery. That's still  
17 going to require a chart review.

18 I would say to all of my CMS  
19 colleagues here in the room, this is probably  
20 something we wouldn't want to do every year  
21 because I still think it's going to require

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       pretty aggressive chart review.

2               Where it does align with the  
3       hospital inpatient measures, they're allowed  
4       to do a sample that is not likely to include  
5       enough Medicaid patients for it to be an  
6       adequate sample for us. So we're going to have  
7       to resample on top of that.

8               Which we can do, like Rebekah, you  
9       know, this is trending down. Our real rate was  
10      4.6, not great yet, but you know certainly  
11      better than the 25 we would have reported with  
12      the measure as currently written. And another  
13      example of why we shouldn't go public with these  
14      until we've you know, really gotten the kinks  
15      out.

16              And there was one more point, but I  
17      think that's enough. So you know, this measure  
18      is also very important, but has some  
19      problem -- oh, the last point was about the  
20      psycho-social reasons, so that would just be a  
21      recommendation to the measure developer, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       for application in Medicaid you may want to  
2       consider what the burden of that is, and whether  
3       it legitimately or not contributes to a  
4       delivery between 37 and 39 weeks.

5               So we did do it this year, it cost  
6       about \$10,000 to do 91 chart reviews. So it's  
7       a little bit, you know a little bit more than  
8       \$100 a chart because it had to be a physician  
9       reviewing, it couldn't even be a nurse. So we  
10      kind of got her cheap actually.

11             MS. GEE: Just to say, I think what  
12      we did with the vital records was a great idea.  
13      Because hospitals love it, they already had to  
14      do the vital record. The fact that we're  
15      paying based on their inputs has cause the vital  
16      records quality go up dramatically.

17             We've created check lists, we've  
18      done groups around the state to talk with  
19      physicians and vital records birth folks about  
20      how the date should be entered in the  
21      definitions and then we work with our community

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 around the definitions.

2 And we've added the ACOG reasons as  
3 well as one other that seemed legitimate. And  
4 it's worked very well. But I think that vital  
5 records piece is very important.

6 And we keep saying we'd like to  
7 share that methodology with other states.  
8 Because it doesn't cost much money. It us  
9 \$30,000 to change the vital record system for  
10 the entire state, and now we have better data.  
11 And what's even better about it is it's for  
12 every delivery under 39 weeks.

13 And now we have very specific  
14 quality data on why the preterm births are  
15 happening in our state. Because now we have  
16 other reasons including spontaneous -- the big  
17 one that's missing in claims is spontaneous  
18 labor. And that's the reason by in large most  
19 women have a preterm birth.

20 So we have better data, it was a  
21 great partnership, the hospitals love it, they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 don't love that we're not going to pay them, but  
2 we spent two years of kumbaya prior to this and  
3 our rates were very high. They were  
4 legitimately, in one hospital 60 percent, and  
5 in the majority of the state 30 percent. And  
6 now we're at the four percent range.

7 So we've made dramatic progress.  
8 And data was only a small piece of that. And  
9 I'll say that, and this is true for all these  
10 measures, that reporting is one thing. Having  
11 a process, improvement project, and getting  
12 collaborative effort around improving it is the  
13 piece that's really important.

14 But having good data was essential  
15 for us.

16 CHAIR PINCUS: Great. Other  
17 comments? Oh, Helen?

18 DR. BURSTIN: Just one comment,  
19 really cross referencing both Doris and  
20 Rebekah's great comments. I think it is really  
21 important that that learning get shared and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that those tools get shared. But I also very  
2       interested in making sure that you're learning  
3       Doris about the substance use mental health  
4       issues you talked about yesterday as driving a  
5       much higher rate.

6               And be curious to see where there's  
7       actually some cross collaboration, to actually  
8       see whether with the data you have in Louisiana,  
9       can you begin to pick up whether in fact, some  
10      of the higher rates were due to mental health  
11      and substance use.

12             In which case, we can work with the  
13      developer to see if there's ways to enhance the  
14      measure to clarify it. I mean these are really  
15      important issues and I don't want to just leave  
16      them on the cutting room floor here and say this  
17      measures good to go, let's move on.

18             This is such an important measure,  
19      and I would hope the C-section one as well,  
20      we'll have probable have similar issues as  
21      well, so it's great to see.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR PINCUS: And I think in that  
2 discussion, it would be interesting to  
3 understand exactly what is the linkage between  
4 the behavioral health issues and the elective  
5 deliveries.

6 MS. GEE: Yes, just as an  
7 obstetrician, I'm an obstetrician, and I would  
8 say the behavioral health plays into it, but I  
9 would say minimally. I mean certainly  
10 substance abuse plays into early delivery, et  
11 cetera. But in terms of the decision do to an  
12 elective delivery, and largely it's a decision.

13 That decision should be a  
14 physician's decision and the hospital's  
15 decision. It should not be driven by the  
16 patient. Because it's --

17 DR. BURSTIN: Just reflecting on  
18 Doris' analysis yesterday, she shared with us  
19 some new just for cross pollination there to  
20 learn about what the issues were, where they  
21 found really high rates, and when they pulled

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 out those patients, they plummeted.

2 MS. LOTZ: We don't have to repeat  
3 that during the course of the meeting. I could  
4 share that with you afterwards Rebekah.

5 CHAIR PINCUS: That would be  
6 useful, I'm just saying that that's the kind of  
7 thing that would be worth exploring you know in  
8 terms of feedback for people like what are the  
9 factors associated with this? Not so much as  
10 a measurement issue, but more as an improvement  
11 issue.

12 MS. LILLIE-BLANTON: So let me just  
13 say, in our experience, and we've done a lot of  
14 work with this, I would agree with Rebekah, that  
15 there's not, while certainly there is some  
16 mental health and substance abuse issues  
17 involved with early deliveries, that has not  
18 been defined as a major driver. I mean it  
19 really is about making sure obstetricians and  
20 gynecologists are following best practices of  
21 ACOG in terms of waiting for 40 weeks, or --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So not to say that it wouldn't have  
2           some impact. I mean maybe a physician is  
3           concerned about the health of the child, so they  
4           might deliver early. But that's not been a  
5           major issue, so I wouldn't raise that as an  
6           issue to the developer, which is the Joint  
7           Commission.

8           CHAIR PINCUS: But again, it's  
9           something worth exploring, to identify, to try  
10          to understand what's going on there.

11          MS. GEE: Just on a separate note,  
12          and we're going to have some time to talk about  
13          gaps, but I think the gap here and where  
14          substance abuse and mental illness comes in is  
15          the low birth weight first.

16          And this is something that we need  
17          a much better measurement strategy around  
18          Louisiana has created and we'll get a chance to  
19          talk about this later, a progesterone measure  
20          because we feel that prevention of prematurity  
21          is like a critical area where there's a huge

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 measurement gap.

2 And you know, this is a pretty cut  
3 and dry medical decision and hospital policy  
4 issue. But when it really gets interesting is  
5 where you get into the drivers of prematurity.

6 CHAIR PINCUS: Well we're going to  
7 get to that in a couple of minutes after this  
8 next set of measures. So I'm assuming, Doris?

9 MS. LOTZ: I don't want to leave the  
10 committee or CMS with the impression that we  
11 thought this was okay. That we just reported  
12 out what they put in the chart, that's all.

13 And I agree with Helen, we are going  
14 to explore further. That's just where we are  
15 at this moment in time. I still have all those  
16 charts in my office. All 91 of them that we can  
17 keep pouring in and looking at.

18 It's not to say that it's okay. But  
19 it is to say what does the measure need to say?  
20 It's a very process-y kind of presentation is  
21 what I wanted to leave the committee with, not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with any clinical assessment of what should or  
2 should not happen, so.

3 CHAIR PINCUS: so I think the  
4 recommendation is to continue this measure.  
5 But we -- there's an important thing that we'll  
6 get to when we get to the gaps and priorities  
7 in terms of thinking about low birth weight.

8 So now we have a batch of measures  
9 that were very relatively rarely reported by  
10 states.

11 MS. DUEVEL-ANDERSON: So there's a  
12 measures with a few states that reported or  
13 where they have significant challenges that  
14 were experienced with reporting. And there's  
15 a question on the task force that's slightly  
16 different for these measures.

17 It's whether or not these measures  
18 should be maintained in the core set. And if  
19 there are changes to the application of those  
20 measures. And there is a question about the  
21 state technological capacity to support the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 increased reporting of these measures. And  
2 whether or not there's too much burden with  
3 higher data collection in general.

4 There -- we'll go through three.  
5 The fourth measure that you see, the HIV viral  
6 load suppression, this is the first year that  
7 it's going to be reported in federal fiscal year  
8 2014, so we don't have any implementation  
9 information. And it's actually a success of  
10 MAP is providing feedback that was well  
11 received by CMS, so it's an update to the core  
12 set.

13 So we'll talk about PC-03,  
14 antenatal steroids, very highly related to  
15 PC-01, elective delivery. Measure 0476 is an  
16 NQF endorsed Joint Commission measure. And  
17 this measure is patients with a risk of preterm  
18 delivery greater than 42 weeks and less than 30.  
19 Excuse me, 24 weeks, and less than 32 weeks,  
20 receiving antenatal steroids.

21 It is administratively collected,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but also has clinical data through registries.  
2 And paper medical records. And it is a  
3 hospital level measure. Similar to how we  
4 previously discussed, this has related  
5 measures in the child core set for the cesarean  
6 section measure, PC-01, and the early elective  
7 delivery.

8 There are five states that reported  
9 this measure with significant challenges,  
10 consisting of medical record review, and they  
11 use of the vital records. That is, CMS and CDC  
12 training on data linkage is also useful for  
13 increasing the reporting of this measure. And  
14 25 states did not report the measure, primarily  
15 because of the medical record review and the  
16 priorities in the states. But also the data  
17 linkage. Sorry, don't know why I advanced.  
18 Okay, so if there are any questions or concerns  
19 about the continued use of this measure, again  
20 there were only five states that were able to  
21 report this measure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MEMBER SIDDIQI: I'm just curious,  
2                   going back to the prior measure, when we talked  
3                   about data linkages, has the data linkages  
4                   between vital records, for example Louisiana,  
5                   has that helped with reporting on this one?  
6                   Because our hope is that we could probably  
7                   continue this one, and again encourage some of  
8                   that technical capacity to occur.

9                   MS. GEE: So to speak to this, and  
10                  then I want to speak to HIV quickly. But the  
11                  progesterone data is from the -- and vital  
12                  records, not that you can't use it, but you can  
13                  certainly use claims data for steroid  
14                  administration. Vital records helps  
15                  somewhat, but you can use it to validate. And  
16                  then with HIV viral load, it was something that  
17                  we haven't had to focus on in the past, or the  
18                  ability to do, but we're going to use it as a --

19                  CHAIR PINCUS: Well get to that in  
20                  a minute.

21                  MS. GEE: Oh, I thought we had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       passed.

2                   CHAIR PINCUS:   No, we just doing  
3       the antenatal steroids.   So are there other, I  
4       mean what is the sort of additional incremental  
5       barrier that one hits with this one, as compared  
6       to the one that we just talked about?   I'm  
7       trying to get a, sort of, an understanding of  
8       that from a state perspective.   I mean, it's  
9       you know it's the same data linkage issue, and  
10      chart review, but much fewer states reported on  
11      this then reported on the previous one.

12                  MS. LOTZ:   I am not an OB, but this  
13      is -- I am, Rebekah you are an OB.   These are  
14      given I'M, right?

15                  MS. GEE:   Yes.

16                  MS. LOTZ:   And I'm just looking at  
17      the measure specs.   I know this was another one  
18      that my team said no way.   I said okay, fine  
19      whatever.   Well we all ended at 15 or 16, and  
20      you know you can't discuss them all at great  
21      length.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But be that as it may, I'm looking  
2                   at the measure specs, it says there's no NDC  
3                   given for steroids. So that already means that  
4                   your data collection, again, when you go into  
5                   the data, having a specific NDC makes what you  
6                   are searching for a lot easier. If it is IM,  
7                   which it appears to be, it's going to be hard  
8                   to find, you know if you're doing this in the  
9                   doctor's office, it could be you now, buried in  
10                  a more comprehensive charge.

11                  If it's given in the hospital, it's  
12                  going to be buried in a DRG, or -- we don't get  
13                  codes for drugs that are given inside of the  
14                  hospital, you know during the hospital stay of  
15                  any kind. And I'll leave it at that just to  
16                  introduce some of the barriers to getting at  
17                  this data.

18                  You could do chart extraction, of  
19                  course. But you'd have to -- you may have to  
20                  read through the chart to look at the med record  
21                  given. Again, if it's important enough you do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it. But on the vital records part of things,  
2 I'm looking at the exclusions, and even if you  
3 have this document in your vital records, it  
4 probably would be an affirming documentation,  
5 you know steroids were given for this preterm  
6 birth.

7 But the exclusions, say, document  
8 the reason for not giving antenatal steroid  
9 therapy. And I'm thinking I don't think the  
10 documented reason for not giving it would be  
11 that in vital records, which means you're back  
12 to a chart review again, even if you do have  
13 pretty robust vital records linkage, accuracy  
14 in vital records, and you've got the fields that  
15 you want to try to capture, so. I think there's  
16 a lot going on with this that make it a  
17 complicated measure to do. And I'll leave it  
18 at that.

19 CHAIR PINCUS: Rebekah?

20 MS. GEE: If my memory serves me,  
21 the measure has been simplified, though. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think initially this measure was the completed  
2 dose of either the 24 hour doses, or the four  
3 doses. Now it's initiations. I think that's  
4 a much better end point to see, you know you  
5 could have initiated, you don't have control  
6 over how long she's going to be pregnant after  
7 initiation. So it's just that one data point.  
8 And so it's fairly simple, but it's such an  
9 important -- there's such strong data that it  
10 makes a big impact on outcome.

11 So I think it's as very important  
12 measure. You know you can have a J-code for  
13 administration. I don't know what -- why it  
14 would be -- I don't see, for us it has not been  
15 difficult. I don't know what would be -- why  
16 it would be hard to pick it up, if other folks  
17 might want to mention that. Cindy do you have,  
18 have you --

19 CHAIR PINCUS: Is this something  
20 that came up on the sort of technical assistance  
21 efforts that you're aware of?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. LLANOS: I think that it was a  
2 lot of working with the Joint Commission, and  
3 I think Katie's on from MPR. But I think we  
4 needed to clarify a lot of -- so if I'm  
5 remembering correctly, the Joint Commission  
6 tech specs seemed to be a lot higher level than  
7 some of the other ones.

8 So it was a lot working back and  
9 forth to get a level of clarity. And I think  
10 the new tech specs, currently, I think  
11 clarified a lot of the how you calculate the  
12 denominator, some of the sampling issues. I  
13 think that piece that we were able to track down  
14 is that there is no NDC, and I think that was  
15 probably the piece that is challenged.

16 But there's no --

17 CHAIR PINCUS: No NDC?

18 MS. LLANOS: NDC list, out of the  
19 Joint Commission provides. So I think that I  
20 would assume might be challenging. But I would  
21 say, five states actually is not a bad start for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a measure. We've had one state report on the  
2 kids one, and now 12 or 15 states report. So  
3 I would say, if this is one of the tougher  
4 measures, we know that this is a priority area.  
5 I would say, I think this is one that we could  
6 continue to clarify. Katie I don't know if  
7 there's anything that you wanted to add from the  
8 TA perspective/

9 MS. ADAMEK: I think you covered  
10 it. I think you're correct that the specs this  
11 year include a lot more detail. So hopefully  
12 more states will be able to report it.  
13 Especially when it comes to determining the  
14 denominator. But the NDC code I think is the  
15 only issue that I can think of that might come  
16 up.

17 CHAIR PINCUS: So is it --

18 MS. ADAMEK: And medical records  
19 review, as we've talked about at length.

20 CHAIR PINCUS: Is there a solution  
21 to present -- requesting that there be an NDC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 code for this?

2 MS. ADAMEK: We've asked the  
3 measure steward if they'd provide them, and  
4 they say that they don't. States have asked if  
5 they could use like NCQA as a list of NDC codes,  
6 but that would have to be a discussion with CMS  
7 and the measure stewards.

8 CHAIR PINCUS: So Cindy and Doris?  
9 No, Cindy.

10 MEMBER PELLEGRINI: So this is a  
11 critically important measure. I mean, this  
12 one is literally life saving, right, when done  
13 properly. So and the -- while it's a process  
14 measure, it's so proximal to the outcomes that  
15 it's almost an outcomes measure.

16 The only other point that I want to  
17 make is that this issue is not going away. As  
18 Rebekah knows from data that she collects in her  
19 state, and that this conveys to lots of others,  
20 the rate of appropriate administration of  
21 antenatal steroids is very low. And I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we're going to be hearing more and more about  
2 this right now.

3 Just the fact that a few states are  
4 doing it now, it's a good start, and hopefully  
5 is going to pave the way and provide models for  
6 lots of other states to do it. But I think  
7 we're going to see a lot more emphasis on this  
8 issue in the next several years. So I'd argue  
9 strongly for keeping it in.

10 CHAIR PINCUS: So it sounds like  
11 the summary is that this is an important  
12 measure. It should be continued. There  
13 should be continued efforts to clarify how to  
14 do it and simplify its, sort of, its data  
15 collection process and to also look in for you  
16 know, can you get a NDC code that can actually  
17 facilitate that? Okay.

18 MS. DUEVEL ANDERSON: So the next  
19 measure is the last behavioral health measure,  
20 and it's a screening for clinical depression  
21 and follow up, 0418. This is an NQF endorsed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       measure. And it is the percentage of patients  
2       aged 12 years and older screened for clinical  
3       depression using the appropriate age  
4       standardized tool, and follow up plan is  
5       documented.

6               Several exclusions, including a  
7       referral with diagnosis with depression,  
8       participation in ongoing treatment. Those  
9       with motivation to improve, such as  
10      court-appointed cases, and those with severe  
11      mental or a physical incapacity.

12             It does have administrative claims,  
13      electronic health records, and paper medical  
14      records, and it can be reported from a clinician  
15      level, and rolled up for the population level.  
16      It is aligned with Meaningful Use stage two of  
17      eligible professionals, Medicare insurance  
18      savings program and PQRS. And it can be  
19      reported from a variety of care settings and  
20      ambulatory, inpatient, but also inpatient  
21      rehab facilities, long term care hospitals and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 nursing home care.

2 The adaptations for this measure  
3 adjusted the age rate, which we heard yesterday  
4 was not a significant or a substantive change  
5 for the measure. And there were two G-codes  
6 that are reported in the technical  
7 specifications for the Medicaid adult core set,  
8 versus the original six and the numerator to  
9 identify screening, and if positive follow up  
10 plan for documentation on the same day.

11 Five states reported this measure.  
12 Four states reported the Medicaid adult core  
13 set specifications, while one state reported an  
14 alternative PCMH measure. And it includes  
15 screening in 24 months, but not a follow up  
16 plan.

17 The challenges on questions to the  
18 TA box were about coding and calculating the  
19 numerator and denominator. There were  
20 questions that resulted in the clarification of  
21 the G-codes, the numerator is screening -- is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 encounter should include on the same day, while  
2 the denominator requires a medical record  
3 review to determine the exclusions.

4 We've noted that CMS is developing  
5 the hybrid specifications for future use of  
6 this measure. States didn't report the  
7 measure, and 25 provided reasons why they did  
8 not, but those primary reason were because of  
9 the medical record review and because of the  
10 state priorities.

11 MEMBER SIDDIQI: So this reminds me  
12 of what would have happened if we had 0421 and  
13 the other CMS measure that looked at a follow  
14 up plan in addition to BMI assessment, we may  
15 have had four or five states that reported on  
16 it. Requires chart review. And I was just  
17 curious about two things. One is what is it in  
18 the child core set for the depression screens,  
19 do you know?

20 MS. DUEVEL ANDERSON: So we don't  
21 have this measure on the report.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER SIDDIQI:    Okay, and just,  
2                   probably why the age starts at 12 I think with  
3                   this one, 12 and older.   But the adaptations  
4                   you can see reported by two age groups, again  
5                   to be more consistent, as Doris has mentioned,  
6                   so 18 to 64 and 65 and older seems to be an  
7                   adaptation that was recommended.

8                   But I was just going along the lines  
9                   of is it possible, or is there another measure  
10                  that is in NCQA, or another steward measure that  
11                  basically only looks at the screening for  
12                  clinical depression?       Because that is  
13                  something that could potentially be pulled from  
14                  the EHR charts.   Especially a lot of the EHR  
15                  records have now the PHQ-9 and all these  
16                  different depression screening measures built  
17                  into their EHR systems, so maybe that could be  
18                  more easily reported.   And so this is one where  
19                  if there is another measure that's a little bit  
20                  simpler to require, it may be a better one to  
21                  choose, in my recommendation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MS. LLANOS: Can I just give like  
2 two seconds of context? So this was one of the,  
3 I think, most challenging measures for us to  
4 implement in this first year. And I would say  
5 it's probably because it was one of the PQRS  
6 measures.

7 So what we spent a lot of time,  
8 thanks to our great feedback from states, was  
9 clarifying the G-code issue. And, I think, so  
10 this measure was set up for incentive payment.  
11 We're not using it for incentive payment in our  
12 program. So that's how we went from six to two  
13 codes.

14 This is also part of the HHS  
15 agencies depression measure. This is like the  
16 one that they use across all of the department,  
17 so just wanted to emphasize that. We want to  
18 pair the screening and the follow up together,  
19 understanding that it's a hard one. Katie, is  
20 there anything else? I know we worked a lot on  
21 clarifying the technical specifications for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       this one this year.

2                   MS. ADAMEK:   Yes, I think it really  
3       was the G-code issue, that was the largest  
4       problems.   Some states said that they don't  
5       collect them at all.   So even the inclusion of  
6       just the two I think will make it difficult for  
7       some states to report.   But that was the  
8       biggest issue with this measure.   Bailey is on  
9       hold, so I don't know if she's got anything to  
10      add.

11                  CHAIR PINCUS:   So one question I  
12      had is with the slight retooling it sounds like  
13      you did, does it still require a medical record  
14      review for denominator exclusions?

15                  MEMBER SIDDIQI:   The follow up plan  
16      documentation probably would require medical  
17      record review.   It's not just the screening  
18      part.

19                  MS. ADAMEK:   Yes, it does require  
20      medical review.

21                  CHAIR   PINCUS:       For both the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       inclusions and for the follow up plan? I  
2       thought the follow up plan was included in the  
3       G-code.

4               MS. ADAMEK:     Yes, just for the  
5       exclusions. And that was the feedback from the  
6       measure stewards.

7               CHAIR PINCUS:   So one question I  
8       had that sort of just you know, one of the issues  
9       is there a way to sort of eliminate the chart  
10      review for exclusions? And to some extent, and  
11      it's based on a study that you're doing, that  
12      Wayne, Kate and I did. When we looked at, we  
13      had a large sample of patients who we had PHQ-9  
14      scores and claims data.

15              And we found that the places where  
16      there were the highest PHQ-9 scores were people  
17      who were actually under treatment for  
18      depression. Which when you think about it  
19      makes sense, but it also suggests that there's  
20      a sort of a big problem with a failure to  
21      intensify treatment to try to achieve

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 remission.

2                   And that -- and so one somewhat  
3 radical thought is that why are you excluding  
4 people currently you know, under treatment  
5 that, maybe, they ought to be included. And  
6 that could be something that you know if they  
7 are, you know remain depressed, that there's  
8 some action being taken to intensify treatment.  
9 Doris?

10                   MS. LOTZ: Yes, not discuss the  
11 merits of it, but just to introduce for the  
12 committee's deliberation where the technical  
13 challenges are, the other exclusions are,  
14 there's no way you can do this without a chart  
15 abstraction, and I'm thinking as well that some  
16 of this requires a pretty deep understanding of  
17 the clinical circumstances even to do that  
18 chart review.

19                   So you know could a nurse do this,  
20 or would it have to be, perhaps, even a  
21 physician. Are these even necessary? I like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       that line of logic. It would be interesting to  
2       explore that a little bit further, Harold.

3               You know, a patient refuses to  
4       participate. Is that even going to be  
5       documented in the chart with a screening  
6       examine? Why is -- how do you capture that?  
7       You know there are number of other exclusions.  
8       Again, we don't just take out the people with  
9       depression, we also take out the people with  
10      bipolar, we take out the people if there is an  
11      urgent situation, where time is of the essence.

12             We take out where the patient's  
13      functional capacity or motivation to improve  
14      may impact the accuracy. How do you access  
15      that? So again, absolutely, screening has  
16      merit, but this is a very challenging measure  
17      to generate and feel that you've both got the  
18      inclusions and the exclusions accurately.

19             I also just want to put in there for  
20      the committee to consider that where states may  
21      have responded with their technical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 challenges, mean that they already crossed that  
2 first threshold of considering the measure.  
3 In our state, we wouldn't have considered, you  
4 know, we would have been able to assess it on  
5 the tech specs that we had, we wouldn't have had  
6 to ask for any clarification, because it wasn't  
7 going any further.

8           So those that asked for technical  
9 specifications already made at least some  
10 commitment to discussing it as a possibility,  
11 and the silent majority may have problems that  
12 are not being considered for you know, that  
13 their concerns never arise to the level of a  
14 discussion and potential improvement, so.

15           MEMBER SAYLES: I was just going to  
16 sort of from a -- take a step back, and just say  
17 from Medicaid and sort of population health  
18 perspective, I don't know if I can think of a  
19 more important concept to be measuring. And  
20 that effects lots of clinical outcomes, in  
21 addition to depression itself.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, part of me was sort of thinking,  
2           is there an opportunity to kind of make a  
3           recommendation to the measure steward about  
4           looking at the complexity of the technical  
5           specifications and the decision criteria?  
6           But -- or maybe there's a substitute measure,  
7           I'm not aware of one.

8           But it just seems like we have the  
9           tip of the iceberg in our measure set, which is  
10          basically, if you're already on a drug, are you,  
11          you know are you taking it. But that doesn't  
12          really get to what the real unmet, you know,  
13          sort of needs and that clinical conditions of  
14          the population now with regard to mental  
15          health, and depression specifically. So I  
16          just would put out that I think it's a very  
17          important thing to be looking at.

18          MEMBER CHIN: Just to follow upon  
19          Jennifer's point that, like, yesterday when we  
20          talked a little bit about like a fresh look  
21          instead of a strategic comprehensive looking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 program. I think this like the third topic  
2 that's come up where it's just so important in  
3 terms of the prevalence and morbidity that you  
4 know it has to be on the radar screen, whether  
5 it's formally in the measure, or else it's a  
6 high priority item.

7 And depression, we talked about  
8 hypertension, we talked about obesity, we  
9 allocate more of these different problems.  
10 And so you know, we have a lot of measures but  
11 I think some of them are topics where you maybe  
12 just can't avoid. So that's something where we  
13 also note, like, well this really is sort of a  
14 high priority topic because of the prevalence  
15 in morbidity.

16 We haven't really done that. And  
17 so I think that is a danger again of looking for  
18 what you know, is doable and feasible. And  
19 then missing, like, huge swaths of territory.  
20 Somehow we need to indicate where you know  
21 either include or else indicate where you know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the risk of a critical gap that needs to be fixed  
2 really soon.

3 CHAIR PINCUS: Marc?

4 MEMBER LEIB: Clearly this is you  
5 know treating depression in our population is  
6 important. There's no doubt about that. In  
7 fact, it's so important that for us, even though  
8 behavioral health is in a different agency,  
9 separate from the Medicaid program, we  
10 actually, within Medicaid, encourage our  
11 primary care physicians to treat depression if  
12 it's -- if they come across it in a patient and  
13 there is an established relationship with a  
14 patient, and they are open for treatment, treat  
15 them.

16 Don't worry about having to refer  
17 them to another agency, unless it's so complex  
18 that you need to. So we encourage the  
19 treatment. But the measure itself is so  
20 complex on screening, and who got screened and  
21 who didn't? Who's included and who's not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 included? And why weren't they included, that  
2 I'm not sure that we can capture that  
3 accurately.

4 We get a number. Oh, I can get a  
5 number. But if all I do is generate a number  
6 without it being something that is accurate,  
7 reproducible, meaningful, actionable maybe,  
8 I'm not sure why I would go through that  
9 exercise. And so we tend to not do measures  
10 that don't produce actionable results, or  
11 things that are so complex that they're not  
12 accurate results.

13 CHAIR PINCUS: So, a question.  
14 This is a Meaningful Use measure, right? And  
15 isn't that a sort of relatively simplified  
16 version of this, as the specifications? I mean  
17 does it have some of the sort of, like the  
18 motivation exclusions?

19 MS. LOTZ: Well, but the Meaningful  
20 Use, again, is at the physician level. So the  
21 physician would know whether they were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 motivated. The physician would know whether  
2 they are already in treatment, he could take  
3 them out.

4 But from a Medicaid point of view,  
5 we're not going to know that. I mean maybe if  
6 we would allow again, that you use self  
7 attestation. So you know, if we would allow  
8 physicians -- we wouldn't have to go through all  
9 of this, and we could just say hey physician,  
10 tell us how many appropriate people you  
11 screened? And then we'll roll it up into a  
12 state-wide number.

13 That is an intriguing idea,  
14 probably requires a little more technical  
15 discussion to say how is that you know, is that  
16 really valid? But for us as a Medicaid agency,  
17 or a health plan, even, to go into the chart and  
18 generate this number is abusive.

19 CHAIR PINCUS: Right, no, no, I  
20 understand that. So I guess my point is that  
21 it sounds like, let me see if I can summarize

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        what I think the discussion is. Is that we  
2        think this is an important measure in concept,  
3        we you know, five states did report on it. But  
4        that we strongly urge that there be  
5        considerable efforts to simplify it and make it  
6        feasible to do this. You know potentially  
7        piggybacking on the Meaningful Use efforts  
8        going forward. Is that a reasonable summary?

9                MEMBER LEIB: And maybe if a way to  
10       report. Because we absolutely expect this to  
11       be part of a comprehensive physical exam. And  
12       you know, whether it be a family practice  
13       office, internal medicine, whatever. That  
14       asking about, or looking to see if our member  
15       is depressed and needs treatment is, we expect  
16       that to happen.

17               We don't know that they're  
18       documenting that they were screened for it, but  
19       if it's a negative finding, it may not be  
20       documented, it may not be something that can  
21       come to us in electronic format, maybe you could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       simplify it that way, it would occur more  
2       easily. But right now the measure is what is  
3       difficult, it's, not a lack of willingness to  
4       treat, or do things based on this.

5               CHAIR PINCUS: Nancy?

6               MEMBER HANRAHAN: Well this comes  
7       from a long history of not recognizing or  
8       screening for depression in the primary care  
9       area. And the preponderance of depression  
10      being an issue in our public health agenda.  
11      So that we are screening for clinical  
12      depression, is really I think a positive move  
13      forward. And it's another one of those  
14      measures that we're scratching the surface  
15      with, maybe not getting all the -- maybe not  
16      hitting a home run.

17              CHAIR PINCUS: But I think, bear in  
18      mind, and you know, as Marshall knows, when I  
19      lead the RWJ depression and primary care  
20      national program, the screening alone for  
21      depression is not actually recommended by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 U.S. Public Health Service Task Force.  
2 Because there's not evidence that screening  
3 alone actually has an impact on outcomes.

4 It's screening in the context of  
5 where there's a capability for follow up in a  
6 systematic way that's recommended by the USPTF.  
7 So that's you know, just to remember that.  
8 That's what makes this more complicated.  
9 Because trying to get at that sort of evidence  
10 based measure.

11 MEMBER HANRAHAN: Can you explain  
12 to me, then, how do you validate the follow up  
13 has happened logistically in this measure?

14 CHAIR PINCUS: So this is sort of  
15 part way there. It doesn't document that the  
16 follow up actually occurred. It documents  
17 that it was a plan for follow up. So one could  
18 argue that there's a lot more sort of measure  
19 development issue that should be done in this  
20 area. And actually where few things are moving  
21 with depression care is something that comes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 out of a more of a sort measurement based care  
2 concept, similar to hypertension.

3 Of, sort of, getting serial PHQ-9s over  
4 time that can be measured in the context of a  
5 web based registry, and looking at not just you  
6 know did the measures occur, but did they in  
7 fact improve to the point of remission. And  
8 there are you know, there are model programs,  
9 for example in Minnesota, there's the DIAMOND  
10 project that is actually doing this in medical  
11 groups where they actually added incentives to  
12 achieve remission.

13 So you know, and so I would  
14 recommend that there be a lot more of looking  
15 at, sort of, ways of improving this measure.  
16 This is a start. It's overly complicated, hard  
17 to implement. But it's an important area.

18 MEMBER HANRAHAN: You know also I  
19 think the work, if this were possible to tag a  
20 question onto the HCAHPS, or the CAHPS survey  
21 that the patient responded to the question,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       were -- did somebody ask you about depression?  
2       It's still just superficial, it's still  
3       scratching, it's still about screening.

4               You could ask a second question, no  
5       you can't, because it's cross-section. But  
6       even that question seems to me that that would  
7       be a more efficient way to collect data about  
8       screening then going into the --

9               CHAIR PINCUS: She did some work in  
10      that area, and it's kind of complicated because  
11      that is -- patients don't always recognize that  
12      the questions that were posed had to do with  
13      depression. So it gets, you know, because a  
14      lot of the questions in the screening  
15      instruments have to do with somatic symptoms  
16      and other kinds of things.

17              So it gets more complicated. But I  
18      think we can make a general recommendation that  
19      there be sort of a lot more attention about how  
20      to modify and improve measures for depression.  
21      And not simply screening, but screening and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 implementation of follow-up plans.

2 MEMBER HANRAHAN: Could I just add  
3 one more comment? And that is that I think  
4 we've come a long way with the idea of screening  
5 for depression and what that means and what that  
6 can produce for us. And that, we've been  
7 focusing a lot on the provider's side, you know,  
8 where did you screen, or will you screen, or how  
9 will you screen for depression?

10 But one area where I think we  
11 haven't really gone very far is with the  
12 consumer side. And the consumer expecting to  
13 be asked that question, or expecting to have  
14 that be part of their health care plan, or their  
15 health care assessment.

16 And so if we were to put into the  
17 survey, the CAHPS survey, patients, or the  
18 person being asked, the consumer being asked,  
19 were you screened, or whatever that question  
20 would go. Because I think Harold is right on,  
21 it's a very complicated, even to mention the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 word depression, what does that mean? Or how  
2 could that go?

3 But to have it there with the  
4 intention of raising awareness on the part of  
5 the consumer about being screened for  
6 depression, I think it would -- again, we're  
7 scratching the surface about a lot of this  
8 stuff, so.

9 CHAIR PINCUS: Okay, so let's move  
10 on to the next one, which, I think we have two  
11 more to discuss and then we can get on to talking  
12 about gaps.

13 MS. DUEVEL ANDERSON: So the second  
14 to the last measure is 0648, a care transition  
15 measure if the transition record was  
16 transmitted to health care professionals. It  
17 complements some other measures related to  
18 chronic disease and care coordination.

19 So the percentage of patients  
20 discharged from an inpatient facility to a home  
21 or any other site of care, to whom the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 transition record was transmitted, to the  
2 facility or to the primary care provider, or  
3 other professional within 24 hours. It does  
4 exclude patients who left against medical  
5 advice and also the deceased.

6 It is applicable to a variety of  
7 care settings, but it is a process measure that  
8 can be collected through administrative data,  
9 electronic records and medical records. So  
10 four states were able to report this measure.  
11 There was an adaptation to adjust for an  
12 appropriate age range within the population of  
13 the Medicaid adult core set.

14 There was a challenge with data  
15 collection identifying the numerator through  
16 the medical record review. And 14 states said  
17 a barrier to reporting was medical record  
18 review, while others cited concerns about the  
19 data linkage and the priorities.

20 So Matt previously made a  
21 recommendation on this measure that it should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be used as it is endorsed. It is endorsed as  
2 a paired measure and designed to go with 0647,  
3 which is the transition record with specified  
4 elements received by discharged patients.

5 Doing so would actually address  
6 more of the person-centered concerns, and it is  
7 recognized that the measure is designated for  
8 the -- specifically for the facility level of  
9 analysis, and is more challenging to collect.  
10 And so you'll see that reflected in the data  
11 collection issues.

12 Just quickly, to look at the paired  
13 measure, this is the percentage of patients  
14 that are discharged from an inpatient facility  
15 who receive a transition -- who go home or to  
16 any other site of care, and they receive a  
17 transition of their record at the time of  
18 discharge.

19 So to evaluate 0648, whether or not  
20 this measure has any concerns about maintenance  
21 in the core set. Are there recommendations to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 support the prior recommendation to use it as  
2 endorsed with the paired measure of 0647?

3 CHAIR PINCUS: So what are people's  
4 thoughts about this one?

5 MEMBER SIDDIQI: So I actually  
6 think 0647 is perhaps more reportable or more  
7 feasible for states to report on. I mean most  
8 hospitals do and SNFs need to give discharge  
9 records to the patient. It's actually on the  
10 track by their electronic health record. I do  
11 believe that meets their Meaningful Use  
12 criteria.

13 So I think 0647 which you just  
14 presented, is a very good measure. In terms of  
15 this one, I think, you know, the fact that 14  
16 states are saying that they're not doing it  
17 because it requires medical record review,  
18 again speaks to the feasibility of this one.  
19 And I just -- I would rather replace it with the  
20 other one. But that's just a recommendation.

21 CHAIR PINCUS: George?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ANDREWS: I do think that,  
2                   and this would come up for discussion with the  
3                   other MAP committees, I think that again,  
4                   considering the patient centeredness as well as  
5                   the importance of involving the patient in  
6                   decision making, understanding their needs and  
7                   what needs to happen. And also in terms of the  
8                   continuity of care, that the providers of care  
9                   need to be linked, and coordinating that care.

10                   I am in favor of replacing the 0648  
11                   with a combined.

12                   CHAIR PINCUS: Other comments?  
13                   What about the --

14                   MEMBER GESTEN: Can I get in?

15                   CHAIR PINCUS: Yes.

16                   MEMBER GESTEN: Hi, this is Foster.  
17                   So I don't argue with the theory or the concept  
18                   that having the two of them together makes good  
19                   conceptual sense. But I guess I keep thinking  
20                   about the overall aims of this, and I just  
21                   wonder whether adding a second component to it,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or even replacing it out with something which,  
2 with the other measure, with 0647, is going to  
3 result in actually even fewer states reporting  
4 this next year.

5 So I'm just not sure what's served,  
6 you know unless something else changes, by  
7 making a complex measure, and this is one of the  
8 only two measures that we were -- did not report  
9 for New York State, making it such that even  
10 less states are able to report.

11 I'm not optimistic that the  
12 electronic health records from the hospitals  
13 and so on are sufficiently capturing all the  
14 information. And that information can be,  
15 going back to Doris' point, all collected and  
16 reported to the state in order to be able to do  
17 the more patient-centered measure.

18 Again, not arguing against its  
19 value, but arguing that making a complicated  
20 measure more complicated is going to result in  
21 even less states reporting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER HANRAHAN:   So I'd just like  
2                   to add that the logistics of collecting this  
3                   kind of information, in some sense we are not  
4                   at the place technologically to be able to do  
5                   it efficiently or effectively.   Unless I'm  
6                   missing something.   How did the four states  
7                   that actually collected the data do it?

8                   MS. LLANOS:    So I would say they  
9                   collected it in a hybrid, I mean I'm not sure,  
10                  they must have done it according to the  
11                  specifications, which require medical review.  
12                  So we know some states can do medical review.  
13                  I can tell you that, and Foster correct me if  
14                  I'm wrong, this was our reach measure in the  
15                  initial core set.

16                  So this was us trying to be  
17                  aspirational and fit the gap of coordinated  
18                  care, understanding that this would be a tough  
19                  one for states to collect.   So kind of speaking  
20                  to you know we want to address the here and now,  
21                  but also be looking to the future.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So this represents you know, this is  
2                   a you know, as Foster said, this is a complex  
3                   measure to collect.

4                   MEMBER GESTEN:   Yes, I think it is  
5                   a stretch measure, and I think there's value to  
6                   doing that, because we don't know, you know a  
7                   priori, what states are going to do.   But now  
8                   we do know.

9                   And so there are some you know, the  
10                  hard decision is whether to keep it and to push  
11                  people to stretch.   Or as we've done with some  
12                  of the other measures, is there a way in which  
13                  we can think about trying to measure or evaluate  
14                  the concept through some other mechanism that  
15                  may be more practical.

16                  So you know, in my mind, I think that  
17                  the importance of this topic certainly doesn't  
18                  go away.   In fact if anything, I think it's  
19                  become more important.       But perhaps a  
20                  conversation about whether there's another  
21                  means to be able to test collection might be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something worth thinking about maybe at the end  
2 of the meeting, or some other day.

3 MEMBER HANRAHAN: I would argue in  
4 favor of keeping it. It's another one of those  
5 measures that is pushing continuity of care.  
6 And pushing the system to move from this  
7 fragmented silo of communication, that really  
8 is disruptive and has major effects or impacts  
9 on our outcomes, into a new paradigm.

10 And I think, and Foster I agree with  
11 you, that we're not there yet ready for it. But  
12 recently, I have been working with at the  
13 University of Pennsylvania, it's the whole  
14 health care system. They have what's called  
15 the Penn Data Store. And what they're doing is  
16 they are linking all the data throughout the  
17 network system, so they could actually collect  
18 this kind of data fairly efficiently and  
19 effectively with a lot of ease.

20 But that's not the norm in the  
21 systems. Although big places probably are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       doing this. But it does foretell that this is  
2       where we're headed, so I would argue to keep it.

3               CHAIR PINCUS: Let me ask for any of  
4       these sort of measure developers or stewards in  
5       the room or on the line, I mean where are we with  
6       this sort of state of the art in looking at care  
7       transitions with regard to measures and ways of  
8       improving? I know that there is, you know  
9       there's a patient reported CTM screen measure  
10      that would require a whole sort of new survey  
11      effort.

12              But are there any other sort of  
13      innovations sort of being developed? To sort of  
14      look at this issue in a less complicated, and  
15      easier, more feasible and potentially more  
16      valid approach?

17              MS. DUEVEL ANDERSON: While you are  
18      thinking, I just want to add that it's kind of,  
19      the reason that these measures are paired  
20      actually is that if you add 0647, it actually  
21      makes it easier to collect 0648. And that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from the developer and how they were designed  
2 to work together.

3 So I think I haven't really heard a  
4 strong argument for supporting the previous  
5 recommendation. But I just wanted to add that  
6 as an answer to some of the feasibility  
7 questions.

8 CHAIR PINCUS: Marc?

9 MEMBER LEIB: We have a large push  
10 going on right now in our small fee for service  
11 population, it's mostly with Native Americans,  
12 to improve care coordination and especially the  
13 transitions between, from a hospital back to a  
14 tribal facility. Or if they're going to a  
15 nursing home or whatever, it's documented  
16 stuff. It's less than five percent of our  
17 population in total. But it's an important  
18 part.

19 We're encouraging our health  
20 clients to do some of the same things. But  
21 there's no way that we really have of measuring

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       this.  It's just an effort to get the hospitals  
2       to communicate better with the next step down  
3       the line.  Whether it be back to a physician  
4       office, or a nursing facility, or the tribal  
5       treatment center where their patient is going  
6       to be going.

7                       And that's what we're trying to do.  
8       But there's no way to measure it and  to put it  
9       into here, other than doing chart reviews.  And  
10      we've already discussed that.

11                     MS. LASH:  I'll also add that the  
12      Steering Committee on Care Coordination  
13      Measures met fairly recently.  We're really  
14      looking forward to reviewing some innovation  
15      measures and really had very little to go on in  
16      that respect.

17                     They did look at a solid measure of  
18      medication reconciliation, but I think that's  
19      a different issue than what we're looking at  
20      here.  So unfortunately there isn't a good  
21      substitute that we're aware of.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR PINCUS: And so let me  
2 summarize. So I think that the recommendation  
3 is that since there were some states that did  
4 report on this, to continue that, but to also  
5 add the communication with the patient, so 0648  
6 as well. And 0647 as well to that. But to  
7 still you know, urge that you know CMS and other  
8 measure stores look to finding innovative ways  
9 to more feasibly collect this kind of  
10 information. Okay.

11 So we're almost done with the  
12 measure by measure stuff.

13 MS. DUEVEL ANDERSON: So MAP  
14 previously recommended to replace the HIV  
15 medical visit with 2082, which is an NQF  
16 endorsed measure and it's HIV viral load  
17 suppression. This recommendation was taken up  
18 by CMS and was announced through a letter to the  
19 medical directors. And this is a celebration  
20 of success and the new measure is the percentage  
21 of patients with a diagnosis of HIV, with a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 viral load of less than 200 copies per  
2 milliliter during the last test in the  
3 measurement year.

4 And it can be done in any outpatient  
5 setting by various levels of providers, and  
6 including nurse practitioners and PAs who  
7 provide HIV care.

8 It's collected through electronic  
9 clinical data and also paper medical records.  
10 It's an outcome measure. So this is just a  
11 summary of the update has been made into the  
12 tactical specifications. I don't know if  
13 anyone has any comments about this measure.  
14 But we'll be looking forward to feedback on the  
15 use on the measure in the federal fiscal year  
16 2014.

17 CHAIR PINCUS: Any comments?

18 MEMBER GESTEN: I have one.

19 CHAIR PINCUS: Foster?

20 MEMBER GESTEN: So I know that  
21 we've been doing a version of a measure related

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to viral load suppression both in our HIV  
2 special needs plans and mainstream plans for a  
3 while. I think you know, again, conceptually  
4 a good measure.

5 But one of the things that folks  
6 said to me about the specifications of this  
7 specific measure however, were a couple of  
8 different concerns, which I'll just throw out  
9 there and maybe in the testing in the next year  
10 or so we'll see how it plays out.

11 One was concern about the  
12 denominator which uses a single diagnosis of  
13 HIV currently. And in our experience, we found  
14 that a single diagnosis for anything, but  
15 including HIV, tends to include a lot of  
16 individuals who don't have HIV or AIDS, but may  
17 be used to code a rule-out or having an HIV test.  
18 So some concern about the denominator.

19 In terms of the specific codes, my  
20 understanding is that this measure uses SNOMED  
21 codes which are unique as far as we know in terms

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of we're not aware of any other measure set that  
2 uses SNOMED codes. I'm not sure how, whether  
3 that's going to create an issue for us or for  
4 others.

5 And also calls for using LOINC's  
6 codes, which I had actually thought we were  
7 using, or getting that data in our data set.  
8 But I'm told that we have not been. So that may  
9 change. I'm not sure. But just a couple of  
10 technical issues about the denominator and the  
11 use of specific codes that states may run into,  
12 we may run into, it's a problem in terms of  
13 collecting the measure as it's currently  
14 specified.

15 CHAIR PINCUS: Jennifer?

16 MEMBER SAYLES: I was just going to  
17 make a brief comment on those points. And I  
18 just, I happen to know that Louisiana does, or  
19 I thought, does linkages between, I think it's  
20 between your surveillance data for your  
21 monitoring of this, or you can tell me, I guess,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or share with the group if that's the case.  
2 Because I sort of remember hearing that a ways  
3 back.

4 But at the end of the day, I don't  
5 have the technical details on if it's a you  
6 know, a specific type of western blot  
7 confirmatory test that's required, or if it's  
8 an actual viral load assay that's the inclusion  
9 criteria. But there's in terms of, so I can't  
10 really speak to that point, but to the point of  
11 LOINC codes, and how you're capturing the viral  
12 load quantity, I think just like in elective  
13 deliveries, there is you know, an opportunity  
14 to link with vital statistics.

15 This is another example where  
16 particularly at the state level, linking  
17 between the state Department of Public Health  
18 and the data available there through  
19 surveillance with you know, the state Medicaid  
20 plan is something that I know can be done and  
21 has been done, and maybe could be a model.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MS. GEE: Yes, just to agree with  
2 Jennifer. This is one of the pay for  
3 performance measures that we're going to be  
4 using for our next round of managed care  
5 contracts. We have a philosophy in our  
6 department that we want to break down silos  
7 between Medicaid and public health and as  
8 public health shifts away from direct service  
9 provision, they ought to be part of the  
10 performance measurement enterprise.

11 This is a perfect example of how  
12 that works well. Although we haven't embarked  
13 on this yet, and used this data yet for quality  
14 improvement, and so we're taking a little bit  
15 of a risk right off of the bat, using it as a  
16 pay for performance measure, we're very excited  
17 about what this represents.

18 And I'll just say, Louisiana has the  
19 number one and number five highest case rate per  
20 population cities in the nation with HIV. It's  
21 an incredibly -- and for Medicaid in general,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 HIV patients present very high costs, so it's  
2 a very important measure.

3 But I like to see measures where you  
4 are using public health expertise and our  
5 surveillance systems along with Medicaid.

6 CHAIR PINCUS: Nancy, did you want  
7 to make a comment? Oh, okay that's. So any  
8 other comments on this? So it sounds like this  
9 is something that is -- has not yet been  
10 implemented. It is something that, right,  
11 that will be implemented. And we'll learn  
12 something for it for next time.

13 It sounds like this is an important  
14 group of -- an important priority to focus on.  
15 And it also sounds like there's a capability of  
16 enhancing sort of the infrastructure for  
17 linkage with public health as sort of an added  
18 benefit for including this measure.

19 But also understanding that it is  
20 potentially complex. But there will be  
21 something to learn from it. So we would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recommend the continuation of this obviously.

2 So we're done with going through all  
3 the measures. I suggest we take a five minute  
4 break, and then we come back and we go through  
5 measure gaps.

6 (Whereupon, the foregoing meeting  
7 went off the record at 10:58 a.m. and went back  
8 on at 11:08 a.m.)

9 CHAIR PINCUS: Okay, let's get  
10 started. What we wanted to focus on was  
11 measure gaps. So Megan is going to sort of  
12 summarize what has come up over the course of  
13 this day and a half almost, in terms of what we  
14 previously identified as measure gaps. And  
15 what we want to do is get some feedback in terms  
16 of any further elaborations on these and also  
17 ones that have been missed.

18 MS. DUEVEL ANDERSON: So we have  
19 some specific questions for the task force that  
20 we'd like you to consider. We'll review the  
21 previously identified gaps and the gaps

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 throughout the course of the last two days. We  
2 would really urge you to identify gap areas and  
3 measures for gap filling that can be  
4 implemented in the very near future. And also  
5 just two to three of the highest priority gaps  
6 for future development, things that there's  
7 enough evidence that does exist to develop a  
8 measure in the near term. And there's also  
9 reasonable data. We've had really good  
10 discussion about feasible data and reasonable  
11 data sources. Those are kind of the primary  
12 questions for the session on gap filling.

13 Previously identified gaps in the  
14 last report included mental and behavioral  
15 health issues including substance use and  
16 health screening for individuals with mental  
17 illness. There's also a gap in disparity  
18 sensitive measures and access to care, care  
19 coordination, person-centered care and patient  
20 activation and engagement and wrap-around  
21 services and also individual's goals for their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 own care.

2 I'm also going to go through the  
3 measure gaps that were identified and kind of  
4 emphasized over the course of the two days.  
5 And we want to make sure that we have kind of  
6 a comprehensive gap list. At the end of all  
7 this, we have mental health, behavioral health,  
8 and substance use, specifically outcomes and  
9 treatment related to those issues. Access to  
10 care and ED utilization and experience of care  
11 and specifically under beneficiary priorities  
12 for quality of care by getting their experience  
13 from the beneficiaries directly.

14 Cultural competency has been  
15 addressed or has been identified as an issue as  
16 well as care coordination and transition of  
17 care and there's a connection to health and  
18 human services and other resources that is  
19 missing. And then also cost and efficiency.  
20 So there's some measures that we've identified  
21 that do address these gaps in a way, but they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 may be insufficient or do not fully address  
2 these areas and so they may maintain and  
3 continue to be gaps. And there are other areas  
4 where there really aren't any measures  
5 available to address these issues.

6 So if anybody has priorities they'd  
7 like to see, we do have some gap-filling  
8 measures available for you to consider and make  
9 recommendations on, but if anyone has any  
10 thoughts or any glaring gaps they'd like to add  
11 to the list.

12 CHAIR PINCUS: Okay, so Rebekah,  
13 Alvia, Cindy.

14 MS. GEE: So and Cindy, just chime  
15 in with me. In Louisiana, we are responsible  
16 for paying for 70 percent of the deliveries.  
17 It's second highest in the nation and that means  
18 70 percent of the children born are born into  
19 Medicaid. We lack maternity measures that are  
20 meaningful in the area of prematurity  
21 predominantly and so that's why we've created

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a progesterone measure. We've discussed this  
2 with our colleagues at CMS and March of Dimes  
3 that we're in the process of doing this. It's  
4 complicated, as you know, to create a measure.  
5 We'd love help from our colleagues. It's a  
6 huge gap.

7 If you think about national goals,  
8 I don't think there's any one more important in  
9 Medicaid than reducing the rate of premature  
10 birth from a long-term perspective for  
11 outcomes. And so it's an area where we don't  
12 have a measure. The low birth weight is okay,  
13 but there is so many inputs into low birth  
14 weight and there are many things that a managed  
15 care company or state Medicaid agency could not  
16 impact in terms of the feeders or the logic  
17 model as to why low birth weight happens. We  
18 like progesterone. Not that we shouldn't  
19 do -- I think we should also look in that area  
20 of prematurity and low birth rate and other  
21 areas of measurement, but progesterone is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 good place to start because it's really the only  
2 single medical intervention that we know will  
3 reduce prematurity and we know that we do  
4 terrible on it in Louisiana. Only nine percent  
5 of women who are eligible get progesterone, in  
6 Ohio, ten percent. So I think that's the big  
7 gap.

8 Very low birth weight I think is a  
9 better measure than low birth weight and I would  
10 like to promote the use of that measure. That  
11 really gets at when we look at Louisiana, infant  
12 mortality variation. The infant mortality is  
13 largely explained by the birth of very low birth  
14 weight babies, particularly less than 500 grams  
15 and this is an area that really gets at  
16 inter-conception health and as Medicaid  
17 expands, this issue becomes very important.  
18 So on the second note, the issue of  
19 inter-conception health needs to be better  
20 measured.

21

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           ACOG is putting forward a birth  
2           control contraception measure and there's a  
3           real need for that. It's politically fraught  
4           because of the historical issues of women  
5           forcibly being sterilized and being encouraged  
6           to use birth control and maybe didn't want to,  
7           but it's an important area to explore,  
8           particularly with Medicaid expansion. And so  
9           that's an area we'd like to see more measurement  
10          opportunities, as well as follow up after  
11          postpartum care, not just the postpartum visit.  
12          And we've talked at the national level as well  
13          that the post-partum visit measure is not  
14          appropriately structured.           The 21 days  
15          is a low end, is not appropriate. Some women  
16          may get a visit two weeks after the delivery and  
17          that's fine for them and their provider. The  
18          56-day endpoint is not consistent with Medicaid  
19          where we end typically at 60 days postpartum,  
20          so you're missing some. So that should be  
21          changed. But beyond that, ACOG and SMFM have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 met recently to talk about what a measure would  
2 look like that also included the fact that okay,  
3 you have pre-eclampsia, you need testing for  
4 blood pressure after that, that diagnosis  
5 during pregnancy or you had gestational  
6 diabetes, you need glucose monitoring and those  
7 things should be as we expand Medicaid and women  
8 do have inter-conceptual health, taking into  
9 account the pregnancy experience into the  
10 primary care experience and coordination of  
11 that, what happens during pregnancy and making  
12 sure that that results in an intervention in a  
13 primary care office is very important and  
14 there's a need for measurement in that area as  
15 well.

16 CHAIR PINCUS: Alvia.

17 MEMBER SIDDIQI: Yes, so Rebekah is  
18 obviously right about one of the points that I  
19 was going to make for Illinois as well and I'm  
20 sure you're seeing this with all the other  
21 states and in the top ten readmission rates, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do see that obviously maternity care pregnancy  
2 is a big diagnosis for Medicaid clients. Over  
3 50 percent in Illinois of Medicaid expenditure  
4 is spent on deliveries.

5 And again, we don't have enough  
6 measures here in this core set I feel directed  
7 to maternity care. I think it's helpful we  
8 have the timeliness of prenatal care. I think  
9 it's helpful we have postpartum visits which is  
10 still very important and somewhat indirectly  
11 tied to the contraception issue, but I do think  
12 it's time to look at measures that are outside  
13 the box that are trying to address LARC  
14 insertion, trying to address any barriers to  
15 LARCs.

16 So for example, in our state, we're  
17 moving towards managed care and we've already  
18 experienced where one managed care has said if  
19 you fail a Depo shot or you fail a pill, then  
20 you can qualify for a LARC. It's really  
21 frustrating. I hate to say this, but it's like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you're almost waiting for a lawsuit before the  
2       state realizes we need to change this. But we  
3       are looking internally at trying to improve  
4       policy to try and remove those barriers, but I  
5       think it's very important that the intervals  
6       between pregnancies, all those measures that I  
7       believe CDC has some measures that are more  
8       related that we could look at.

9               And then I do think in terms of  
10       priorities here, so in addition to maternity  
11       care and everything we just talked about with  
12       contraception, I think it's really important to  
13       address that access to care and ED issues and  
14       ED utilization we don't have even one measure  
15       on that right now, but I think that's really  
16       important and something we need to move up  
17       higher on the list. Again, it does help with  
18       overall reductions in cost, so I think CMS would  
19       be very interested in it and I think it does help  
20       with trying to address all the different  
21       barriers and psychosocial factors that lead to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 follow up from ER. But then again, it goes down  
2 to that provider level and to the plan level to  
3 try and improve that access. So I think ER  
4 utilization is something we're really lacking  
5 here, too that we need to add.

6 MS. GEE: Just two last things is  
7 that we often focus on baby and not mom and so  
8 we know that maternal mortality is increasing  
9 partly, but not completely, but as a result of  
10 maternal hemorrhage and increased c-sections,  
11 placenta accreta. We need a measure on  
12 hemorrhage management of hemorrhage. We also  
13 need, along the line with SCIP, because  
14 c-sections are so common in Medicaid, it's  
15 probably other than circumcision which many  
16 Medicaid programs don't pay for. One of the  
17 most common or the most common, I don't know,  
18 procedure that we pay for, I would guess,  
19 provision antibiotics prior to suction or  
20 appropriate, surgical measures related to  
21 Medicaid just because it's such a common

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 procedure. I think we lack that in the core  
2 measures.

3 CHAIR PINCUS: Cindy.

4 MEMBER PELLEGRINI: Since we're on  
5 a theme here, I will be brief because I was going  
6 to say a lot of what Rebekah already said about  
7 pre-conception care and interconception care,  
8 the fact that ACOG is working on this measure  
9 on contraceptives, but that isn't only just one  
10 part of the question. I'll broaden the  
11 aperture just a little bit more to say we need  
12 more primary prevention measures that even most  
13 of the prevention and wellness measures that  
14 are in this set right now are arguably secondary  
15 prevention. They're cancer screening.  
16 They're tobacco cessation counseling. It's  
17 after the fact. So you're detecting cancer  
18 early, but you're not preventing it. You're  
19 trying to get people to quit smoking, but you're  
20 not trying to stop them from doing it in the  
21 first place, so we need more primary prevention

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 measures. And of course, I think particularly  
2 since we're talking about Medicaid for women of  
3 child-bearing age, that's a critical gap.

4 CHAIR PINCUS: Marshall.

5 MEMBER CHIN: Looking at the  
6 current topics up there, and anything about how  
7 a lot of them fit under some of the changes that  
8 are starting to occur with the switch toward  
9 global payment. Medicaid and the Federal  
10 Government has been -- and state governments  
11 have been some of the leaders in the switch from  
12 fee for service to the global payment measures.

13 Some of the disease-specific things  
14 are transferrable as measures. They're  
15 also -- there may be other measures that we  
16 should probably think about that may be a little  
17 bit different.

18 Cindy's point, for example, about  
19 more primary prevention is like one example,  
20 you know. It's different than secondary  
21 prevention and sort of gets to this idea about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 keeping people healthy or some of the social  
2 determinants of health type of things too that  
3 would fit that rubric also. So to sort of think  
4 about are we capturing the right to have a  
5 metric for the evolving payment systems.

6 The second question is, long-term  
7 care issues I thought to be covered by like a  
8 separate dual eligible Medicare standards  
9 or -- okay.

10 CHAIR PINCUS: So I had two  
11 comments. One substance abuse. There is no  
12 measure in this set on substance abuse. And  
13 that's clearly a key issue for this population.  
14 Now there's not like there's a lot of great  
15 substance abuse measures out there. But it's  
16 something that needs development.

17 Number two is following up on what  
18 Marshall was saying that with the move to sort  
19 of global payment and those kind of things, as  
20 we discussed yesterday, it makes some of the  
21 measurement issues more complicated, but to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think about how we might make better use of  
2 structural measures, whether built around  
3 certain social measures at two levels, one in  
4 terms of different sort of care structures  
5 whether it's patient-center medical homes or  
6 other kinds of things that can be built into it.  
7 But also about participation in registries,  
8 especially for procedures. You mention the  
9 c-section and other kinds of things, people are  
10 capturing sort of longitudinal information  
11 that captured data about both process and  
12 outcomes.

13 Number one, it may be a way to  
14 actually influence care as well as to actually  
15 measure care and, then actually also might  
16 provide data that could influence our  
17 understanding of care. So that's another sort  
18 of thought about something. And I don't know  
19 why that couldn't be part of a state-level  
20 Medicaid measurement process.

21 Other comments, suggestions? So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Nancy and Doris and Jennifer.

2 MS. DUEVEL ANDERSON: I urge you to  
3 be slightly brief. I'm standing between you  
4 and lunch and we have gap measures that we can  
5 adjudicate as well.

6 CHAIR PINCUS: Yes, and you're also  
7 going to hear from D.E.B. Potter and Sarah  
8 Scholle as well.

9 MS. DUEVEL ANDERSON: Thank you  
10 very much.

11 MEMBER HANRAHAN: I will be brief.  
12 As far as gaps in measures, I think we have gaps  
13 in wellness measures, and one could say those  
14 are primary prevention kind of ideas, but when  
15 I talk about wellness measures, I'm really  
16 speaking from a patient-central kind of  
17 perspective. And a wellness measurement  
18 system probably should start with using the  
19 health related quality of life measures that  
20 the science itself has quite well developed,  
21 but pulling that whole science into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 conversation of developing strict measures  
2 through the National Quality Forum and CMS I  
3 think really hits the mark around the agenda for  
4 the social agenda for change in healthcare in  
5 this country, the accountability, the  
6 affordability, and better satisfaction.

7 So when I speak, also I'm going to  
8 talk to mechanisms that could be developed and  
9 I mentioned earlier PROMIS. I think it's  
10 almost similar to the idea of a registry in the  
11 sense that what we would be doing is on a  
12 national level we would have a mechanism for  
13 tracking longitudinal movement towards a goal.  
14 And right now, we're really focusing primarily  
15 on cross sectional evaluation and making that  
16 leap is the question that we all grapple with  
17 in research, too. It's really an important  
18 question to grapple with and to address.

19 The other area that I would  
20 encourage us to look at is work environments and  
21 work injuries around employees. This is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 national public health concern and that  
2 creating some kind of measures that address  
3 injuries that are incurred in work environments  
4 is really important and also addressing optimal  
5 work environments. And I'll speak  
6 specifically from the research that we've been  
7 doing around practice environments for nurses,  
8 that there are optimal practice environment  
9 conditions that make nurses better employees,  
10 better supported employees that have science,  
11 evidence that shows that they're related to  
12 better outcomes, including lower mortality  
13 rates.

14 MS. DUEVEL ANDERSON: Could you  
15 summarize a snippet and tell me what the gap is?

16 MEMBER HANRAHAN: Well, I don't  
17 know that we have any work, environment or work  
18 injury or optimal work environment measures at  
19 all, do we?

20 MS. DUEVEL ANDERSON: We have a  
21 Workforce Task Force that has the -- will have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a report out for public comment.

2 MS. LUDWIG: I'm on that project as  
3 well and we did a survey of workforce measures  
4 and I can't think of measures that we found --

5 MEMBER HANRAHAN: So you could call  
6 it workforce measures? Is that how it would  
7 fit better?

8 MS. LUDWIG: Yes. That's pretty  
9 broad, but we can work with that.

10 MEMBER HANRAHAN: Okay, and then  
11 the last is at the systems level. We need right  
12 metrics for an evolving system. And this is  
13 what Marshall repeatedly said during his time.  
14 And I would use the words integration of care  
15 and that would embrace the whole continuity of  
16 care idea, that the integration of care is  
17 actually being swiftly developed by the SAMHSA  
18 group on the website and I gave Sarah some of  
19 the -- I gave her the URL for people to look at.

20 Patient centered is another area  
21 that we talked about in continuity of care.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But integration of care is an idea, a measure  
2 gap area that I think really addresses the  
3 mental health/behavioral health substance use  
4 outcomes spectrum, but it embraces it from an  
5 integrated, non-siloed perspective about the  
6 issues that are focused on mental health and  
7 behavioral health issues. Thank you.

8 CHAIR PINCUS: Doris.

9 MS. LOTZ: I'm unaware of any  
10 measures, but I want to take your comment on  
11 substance use one step further, Harold, and  
12 just to remind folks that we're becoming  
13 increasingly aware of neonatal addiction  
14 syndrome and something more than a count of  
15 would be appreciated.

16 CHAIR PINCUS: Jennifer.

17 MEMBER SAYLES: I was just going to  
18 make two comments. One was actually a follow  
19 up on the substance use area. So there is the  
20 one substance use treatment measure that's in  
21 the measure set. I think similar to mental

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 health, the idea of having screening  
2 particularly in the Medicaid expansion  
3 population where this is a very high prevalence  
4 condition is really important and there were  
5 no -- I don't know if there's any in the  
6 candidate measures, but that's something that  
7 really getting to a place of routinely  
8 integrating behavioral health screening into  
9 primary care is where we need to go as a program.

10 And then the other was kind of just  
11 echoing some of the comments mostly yesterday  
12 about access being a domain where there's a lot  
13 of issues that maybe, not that there aren't  
14 issues everywhere, but that they need to  
15 be -- often are particularly pronounced in the  
16 Medicaid setting. And I think specifically,  
17 the idea of emergency department use, whether  
18 you want to call it avoidable emergency  
19 department use which is what the measure in  
20 California has, or if you want to call it  
21 preventable, or ambulatory, or however you want

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to name it, the idea of inappropriate use of the  
2 emergency department might be a direction that  
3 could be looked at or explored that would be  
4 really relevant to coordinating care and doing  
5 more patients under care in this population.

6 CHAIR PINCUS: Other comments,  
7 suggestions?

8 So D.E.B. and Sarah, do you want to  
9 sort of speak now to the issues about some of  
10 this stuff that's going on in your various  
11 domains?

12 MS. POTTER: We actually have some  
13 slides. Nope, that's not it. It actually has  
14 my name and Sarah's name on it.

15 I'm D.E.B. Potter from the Agency  
16 for Healthcare Research and Quality and  
17 yesterday when I talked to you, I was talking  
18 to you on behalf of AHRQ because I represent  
19 AHRQ on a couple of MAP work groups.

20 Today, I'm here to talk to you about  
21 my other day job which I do two days a week. I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on detail to the Office of the Secretary, ASPE,  
2 where I'm working on a variety of quality  
3 measures projects. And it's one of those I'm  
4 here to talk to you about today. So but first  
5 I'd like to introduce Sarah Scholle who is a key  
6 member of the team.

7 This work has been going on for  
8 almost three years now. It's a joint project  
9 between the Office of the Secretary and the  
10 Substance Abuse Mental Health  
11 Administration. And one reason why we thought  
12 it would be useful to talk to you all today is  
13 it takes a key focus on comorbidities among the  
14 behavioral health population. And you've  
15 talked about comorbidities. You've also  
16 talked about the behavioral health population,  
17 has gaps in it. It looks at them in terms of  
18 disparities in care for these populations.

19 So -- there it is. Thank you. So  
20 as I already mentioned, the project has been  
21 ongoing for three years. It's a co-project

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       between ASPE and SAMSHA. The work started out  
2       conducting a measure scan. The contractor for  
3       the project is Mathematica Policy Research and  
4       then NCQA is the subcontractor to the project.

5               We held focus groups back in 2012 to  
6       gather input.

7               And there was a consensus among the  
8       Technical Expert Panel that was -- I don't know  
9       why it's jumping around.

10              Okay, so the Technical Expert Panel  
11       reached a consensus that we should focus on  
12       comorbid conditions among the substance abuse  
13       and mental health population as well as measure  
14       for emergency room follow up. And so as you  
15       would expect, we looked at the strength of the  
16       evidence. We specified a series of measures  
17       that were specific to the substance abuse and  
18       mental health population. What was unique in  
19       what we're doing is we started the work with  
20       existing measures and then we stratified those  
21       measures to report on the substance abuse or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       mental health population.

2               So the parent measures that we're  
3       working with are already existing measures that  
4       are being used and I'll go into more detail. So  
5       we held a series of Technical Expert Panels.  
6       We field tested the measures and we came out of  
7       the field in 2014.

8               So the reason why we focused on what  
9       we did is the higher prevalence in the comorbid  
10      conditions, the hypertension, the tobacco use,  
11      all of these you've talked about in the last two  
12      days, the disparities in care, the premature  
13      natality among this population, and that there  
14      are effective interventions.

15              And so the goal was to better  
16      monitor whether these sub-populations were  
17      receiving routine care which we thought that  
18      health plans were well positioned to do.

19              And then we had an ED visit and I'll  
20      talk about that later. These are the measures  
21      that we had under development. If you look at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the top line, it's NQF number of parent measure.  
2 That was the measure that we started with.  
3 These are all existing measures and we said  
4 okay, if you take that measure and you just  
5 report on it for the substance abuse or mental  
6 health population. So tobacco -- they all are  
7 screening with follow up, tobacco, BMI, blood  
8 pressure, alcohol use with brief counseling,  
9 depression screening and follow up,  
10 comprehensive diabetes, blood pressure.

11 And there's the typo -- the last one is  
12 supposed to 0576.

13 So as you can see, most of these are  
14 specified for the seriously mentally ill  
15 population based upon the evidence and from our  
16 specific to the alcohol and drug abuse  
17 population.

18 So this is what we started with.  
19 The original measure was controlling high blood  
20 pressure and what we said well, what is  
21 controlling high blood pressure in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 population with serious mental illness? What  
2 is comprehensive diabetes care for people  
3 with serious mental illness?

4 The top row are all PQS measures.  
5 Those were the measures that we started with.  
6 And then we moved the measures up to report on  
7 the health plan level for the substance abuse  
8 and mental health population.

9 We tested these measures in a  
10 variety of plans and what we found and these are  
11 preliminary results. We're still looking at  
12 the data. That there were wide disparities in  
13 looking at these measures for the mental health  
14 or substance abuse population when compared to  
15 the Medicaid population as a whole with  
16 differences in the range on average of 14 to 18  
17 percentage points differences when you look at  
18 the full Medicaid population versus these  
19 sub-populations.

20 MS. SCHOLLE: So we tested the  
21 screening and monitoring measures in three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 health plans. One was a special needs plan.  
2 Another was a plan that included a served  
3 disabled Medicaid beneficiaries and the third  
4 plan served low-income Medicaid beneficiaries.  
5 So very diverse plans and the performance rates  
6 were very diverse as well but this was a typical  
7 test where the health plan actually connected  
8 the chart abstraction and the contract team  
9 calculated performance. And then the follow  
10 up after ED measures tested in the Medicaid  
11 claims data using the state Medicaid analytic  
12 abstract.

13 So we're still, as D.E.B. said, the  
14 results are still preliminary, so where we  
15 could compare to existing Medicaid health plan  
16 data, we did find large disparities and that's  
17 in the controlling high blood pressure and the  
18 diabetes measures. And that's particularly  
19 evident on those measures that look at glucose  
20 control, blood pressure control, so  
21 really -- and actually, some of the testing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 results were very different.

2 We also saw a lot of room for  
3 improvement in the screening and follow-up  
4 measures, but we don't actually have a good  
5 comparison group because those measures are  
6 reported in PQRS and the PQRS data are for  
7 Medicare beneficiaries in some of the ACO  
8 programs. And of course, there are lot of  
9 disparities there but we're not sure it's an  
10 apples-to-apples comparison.

11 But what's contributing to a lot of  
12 the low performance is that these populations  
13 don't have access to any kind of care and that's  
14 the first bullet of -- I'm kind of going out of  
15 order, but the first bullet here is that among  
16 the plans, 25 percent to 99 percent of the  
17 members with serious illness had at least one  
18 ambulatory visit. That means in one plan 75  
19 percent of the members did not have an  
20 ambulatory visit. And if you don't have a  
21 visit, then -- some of these measures have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 two-year look back, but generally, if you don't  
2 have a visit, you don't meet the measure. So  
3 that's an automatic fail for the numerator.  
4 And so the lack of access to care is actually  
5 contributing to poor performance.

6 One of the plans, the SNF plan,  
7 actually looked like it had better performance  
8 and it was right at the Medicaid health plan  
9 average. So what -- this is confirming the  
10 work that we had seen before that suggested we  
11 find disparities in care and gaps in care and  
12 we certainly did find it.

13 MS. POTTER: So we're still  
14 conducting focus groups and we are going to have  
15 a technical expert panel coming up soon and then  
16 based upon the recommendations from the expert  
17 panel, we are going to refine the measures, but  
18 our plan are based upon the recommendations  
19 from the TEP to submit the measures to NQF for  
20 endorsement on the 25th of July.

21 CHAIR PINCUS: So this is -- from my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 point of view, obviously, I'm a psychiatrist  
2 and this is a really important population  
3 because number one, they are incredibly costly  
4 as we showed earlier, four out of the top ten  
5 sources of readmission to hospitals are, in  
6 fact, mental health and substance abuse issues.

7 But more importantly, people with  
8 these conditions have about a 20-year lower  
9 life span than the average person and what  
10 D.E.B. has sort of described is a fairly clever,  
11 sort of disparities strategy, to sort of think  
12 of this as a disparities population and that by  
13 looking at it this way, it has several  
14 advantages. Number one is that they're able to  
15 use sort of existing reasonably well validated  
16 endorsed measures, you're simply looking at a  
17 subsection performance of a particular  
18 population.

19 And number two is it's not a -- it's  
20 a big lift to sort of pull these into  
21 potentially the adult Medicaid core list. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 would be useful to look at the extent to which  
2 that's feasible as we think about over time and  
3 filling in some of these gaps and something for  
4 us to think about moving ahead.

5 But let's hear other comments from  
6 other people.

7 MEMBER SAYLES: This is old.

8 CHAIR PINCUS: Okay. Nancy.

9 MEMBER HANRAHAN: This is my area  
10 of study and I was just telling Harold that we  
11 just finished the random control trial with the  
12 transition from hospital to home using a random  
13 design that was the pilot. One hundred percent  
14 of both groups actually had access to services  
15 during the 12 weeks following hospitalization  
16 which was a bit surprising when the assumption  
17 is that people aren't getting access to  
18 services and that's why that might explain why  
19 they have this 20 year earlier death rate and  
20 problems, the magnitude of problems they have  
21 are unquestionable, the comorbidities are very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 complicated and highly symptomatic.

2 And also, my colleagues at Penn,  
3 Aileen Rothbard at the Center for Mental Health  
4 Policy they studied Medicaid population and  
5 this is published. I'll send you the paper and  
6 they looked at those in the Medicaid population  
7 with serious mental illness and those without  
8 serious mental illness and they looked to see  
9 are they accessing services? Those groups  
10 are -- have a high level of access of services.  
11 They have a plethora of opportunity to access  
12 services from both mental health and the  
13 medical specialty side as well as primary care.

14 So then why are they so sick and so  
15 seemingly under served? I think the question  
16 is still to be answered, but one of the  
17 questions that we asked was what is the quality  
18 of the services that they're getting provided  
19 then in these settings in the primary care  
20 setting, for instance diabetes. Are they  
21 getting the standard of practice that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       endorsed? And those in the Medicaid, both  
2       Medicaid and the Medicaid SMI population, the  
3       SMI population appeared to be getting better  
4       services than those in the Medicaid population,  
5       so the quality of services you can provide.

6               I think where this leaves me as a  
7       scientist, a researcher questioning is is  
8       access really the issue or is it something else  
9       in the configuration of how we treat, how this  
10      illness evolves that we've always been thinking  
11      in silos about here's a mental health problem  
12      and here's a medical problem. Well, maybe in  
13      this case what we're really looking at is  
14      something entirely -- something you have to  
15      examine differently. Maybe it's about -- I'm  
16      going to make this up -- inflammation, that this  
17      population genetically has a propensity toward  
18      becoming -- having autoimmune responses. I'm  
19      just getting on the edge here, but I think we're  
20      really still at the place we just don't  
21      understand what is this phenomena of concern.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Now getting back to the work that  
2                   you've done, I think the work is great and I love  
3                   what the progress of it makes and the interface  
4                   with what we're doing here. I don't think it's  
5                   going to hurt us to merge these measures into  
6                   the NQF efforts. In fact, I think it's going  
7                   to be very helpful to promulgate a standard  
8                   practice. But I don't think we should think in  
9                   terms of people getting more access to services  
10                  really solving some of these problems is  
11                  realistic.

12                 CHAIR PINCUS: Other comments?  
13                 Questions? So one question I have is so how  
14                 would the and maybe this is more to Karen, so  
15                 how would the or Marsha, in terms of how would  
16                 the information that's being generated from  
17                 this effort sort of feed into the Medicaid core  
18                 set of changes over time?

19                 MS. LLANOS: I can start. I think  
20                 the general populace would be that the measures  
21                 aren't ready now, so it seems like a future

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 annual review is when they would be considered.

2 CHAIR PINCUS: Would they have to  
3 go through the NQF endorsement process first?

4 MS. LLANOS: Not necessarily. I  
5 think we've -- so we know there's NQF selection  
6 criteria that the MAP would consider,  
7 obviously. I think there's the -- Sarah calls  
8 it fit for purpose, is that right? So I think  
9 there's that one piece where as you can see in  
10 our current core set not all of them are  
11 currently NQF endorsed. It certainly lends  
12 itself more especially for some to use in a  
13 variety of different programs. But I would say  
14 I think we would take them when they're ready  
15 and as it aligns with our annual report process.

16 I think the other piece to keep in  
17 mind is certainly this is a key target  
18 population. I would say a number of measures  
19 that would need to be considered as we -- if we  
20 consider holding them over time, I think we'll  
21 try to keep the core set, obviously, but we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would encourage state uptake.

2 MS. LASH: And just to add a little  
3 bit more on endorsement and our relationship to  
4 this review, it looks like they're coming in  
5 later this summer in July, so next year at this  
6 time we'll have a lot more information to go on  
7 about their scientific properties and really to  
8 be reexamined in the context of gaps at a future  
9 time, so this seems like to me something to just  
10 recommend them and keep an eye on, monitoring,  
11 the future development of the measures.

12 CHAIR PINCUS: And I guess maybe  
13 one of the questions to the people from the  
14 state Medicaid programs, how big a deal -- to  
15 the extent that these represent sort of an  
16 existing measure where you're looking at a  
17 subset of the population, how big a deal is it  
18 to do a separate reporting of that subset?

19 MS. LOTZ: That's something that  
20 Mark and I were actually having a sidebar, well  
21 you could do a subpopulation analysis on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 existing measure. So no, it's not a big deal.

2 DR. BURSTIN: I'll just add that  
3 we've actually had lots of discussions with  
4 Sarah and NCQA about how we bring these in  
5 because in some ways they are sort of the  
6 sub-population within the parent measure  
7 that's already endorsed. So I don't see any  
8 real issues in what the endorsement process  
9 will bring to the table other than the fact that  
10 we need to think through how we handle sort of  
11 measures that are really substrate or are they  
12 different or are they not?

13 And sometimes the difference though  
14 is really a level of analysis as Sarah can talk  
15 to you about, really changes the measure pretty  
16 significantly and it's those kind of changes we  
17 need to look at, not so much that it's a  
18 sub-population.

19 MS. LOTZ: Although we don't have  
20 any follow up for any population, so that's  
21 intriguing and touches on other aspects of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 conversation over the last day.

2 CHAIR PINCUS: Any other -- oh,  
3 D.E.B.?

4 MS. POTTER: Just to follow up, the  
5 ED measure that we have been testing is similar  
6 to the measure that is already in the Medicaid  
7 core set that's the follow up after hospital  
8 discharge, so that was sort of the parent  
9 measure.

10 MS. SCHOLLE: Could I just add? So  
11 earlier this morning you talked about the BMI  
12 measure and there's a desire to have the  
13 follow-up component. We talked about the  
14 depression screening measure and the  
15 challenges of implementing that. Those are  
16 two of the measures that we have been looking  
17 at, so we've taken the position, the PQRS  
18 specifications which are specified for EHR  
19 reporting and also for reporting to the PQRS  
20 program using claims data, using the claims  
21 code.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   So we actually used the EHR  
2                   specification in the narrative e-spec to create  
3                   our hybrid specification for the health plan  
4                   reporting and that was -- so we encountered some  
5                   of the same challenges that you talked about and  
6                   I think were trying to figure out how to solve  
7                   that, but that does seem to make it a different  
8                   measure because you do have to think about  
9                   different issues like what -- the physician  
10                  specs really look at a particular visit, what  
11                  did you do at a visit and as we thought about  
12                  it from a health plan or population  
13                  perspective, we've tried to think about well,  
14                  follow-up could happen at other times. How do  
15                  you give people credit for that?

16                 The other thing is we are thinking  
17                 actively about how to build this into a  
18                 composite measure and I saw that that was a  
19                 measure that was on your list somewhere about  
20                 the need for a composite measure and one of the  
21                 questions will be could we have a composite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 screening measure for people with serious  
2 mental illness, could it somehow be tied to the  
3 diabetes or hypertension measure, given the  
4 high -- the high prevalence of diabetes and  
5 hypertension in that population, so that you  
6 would really just pull one sample for a chart  
7 review and then be able to look at a bunch of  
8 different measures. It's actually how we did  
9 our tests, so that's part of what we're trying  
10 to think about as we look at finalizing this  
11 measure set.

12 CHAIR PINCUS: Any final comments  
13 with regard to gaps issues? Any other gaps  
14 people want to bring up or mention?

15 Nancy?

16 MEMBER HANRAHAN: Just a comment  
17 that this -- what we're talking about here is  
18 a high value target area. It's high value  
19 target because this is the high-need, high-cost  
20 population and the affordable care initiative  
21 is accountability, lower costs, and better

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       quality. And it fits really well with that  
2       initiative. And if we can tackle and get this  
3       population better managed with our measurement  
4       system, I think we will have achieved some high  
5       value.

6                   CHAIR PINCUS: Last word on gaps?  
7       Actually, it won't be the last word, because  
8       we'll have a round robin and people can bring  
9       it up again.

10                   Okay, so why don't we break for  
11       lunch and reconvene --

12                   MEMBER SIDDIQI: Can we do a work  
13       through lunch so we can try and wrap up on time?

14                   CHAIR PINCUS: That would be great.

15                   MEMBER SIDDIQI: I was just curious  
16       because of flights.

17                   MS. LASH: Let me sort of review  
18       what we have in mind for the rest of the agenda  
19       this afternoon. We can maybe all get our  
20       lunch, come back to the table about quarter  
21       after and then we will sort of substitute the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 item you see on the agenda about strategic  
2 guidance for strengthening the core set because  
3 I think we've done a lot to capture that over  
4 the course of the discussion. We don't need to  
5 rehash those same issues.

6 And then instead we will do a more  
7 deliberate look at currently available  
8 measures that address some of these gaps raised  
9 to the extent that we can, so we have a number  
10 of slides prepared overnight that we'll go  
11 through in lieu of that discussion. And then  
12 have a quick round robin where people can give  
13 parting thoughts about their most important  
14 priorities to emphasize in the  
15 recommendations.

16 We will have a hard stop at 2:30.  
17 I, for one, have to get on another conference  
18 call about ESRD measures with some of the folks  
19 in the room, so we will allow everyone to get  
20 to their planes on time. Does that make sense  
21 to everyone? Questions? Okay. Please enjoy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 your lunch.

2 (Whereupon, the above-entitled  
3 matter went off the record at 11:54 a.m. and  
4 resumed at 12:31 p.m.)

5 CHAIR PINCUS: So let's reconvene.  
6 We had neglected at the end of the discussion  
7 about gaps to provide an opportunity for public  
8 comment, so why don't we do that now? Are there  
9 people on the line who would like to comment,  
10 or in the room?

11 OPERATOR: Okay. At this time to  
12 make a public comment, please press star, then  
13 the number one.

14 Okay. You have a public comment  
15 from Alice Lind, with WA State Healthcare.

16 CHAIR PINCUS: Okay. Can you make  
17 sure that the volume is there? It's hard to  
18 hear.

19 MS. LIND: Hi, this is Alice Lind.  
20 Can you hear me okay?

21 CHAIR PINCUS: Yes, that's good.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. LIND: Okay, great. Hi, here  
2 am I back living the life of Medicaid, and all  
3 of the issues that folks have been bringing up  
4 over the past day-and-a-half are certainly  
5 front and center for me as well.

6 I just wanted to make a small plea  
7 for not throwing out the baby with the bath  
8 water in terms of some of these really critical  
9 things to measure, like body mass assessment  
10 and depression and substance abuse and care  
11 coordination. These are things that have come  
12 up over and over again for us through  
13 legislation and governor's directives and  
14 through health reform efforts that we're making  
15 in our state. And honestly, I would just as  
16 soon try to measure these the same way that the  
17 rest of you are trying to measure them than try  
18 to come up with strategies on our own.

19 Even knowing some of these  
20 challenges that you have described are  
21 certainly very present challenges for us as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 well in terms of having to get in there on the  
2 charts, et cetera. We're going to have to do  
3 it for the duals demonstration plans. We're  
4 going to have to do it for health homes. Again,  
5 I'd just as soon have consistency of measures  
6 across these various CMS programs as opposed to  
7 us struggling to find this path on our own. So  
8 thanks very much.

9 CHAIR PINCUS: Thank you. Other  
10 comments from the public?

11 OPERATOR: At this time, there are  
12 no public comments from the phone line.

13 CHAIR PINCUS: Anybody from the  
14 room wish to make a comment?

15 (No audible response.)

16 CHAIR PINCUS: Okay. So let's  
17 move ahead. I think there's a few things that  
18 we need to tie up toward the end, and part of  
19 it is sort of making sure that we've touched on  
20 all the strategic issues.

21 We need to circle back and look at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a couple of things in terms of some of the  
2 recommendations that we made for additional  
3 measures and to set some priorities within  
4 that. And then we want to make sure that we go  
5 around and everybody gets a chance to give their  
6 two cents, or even more than that, with regard  
7 to what they think are the priorities for  
8 follow-up.

9 So Megan, do you want to --

10 MS. DUEVEL ANDERSON: So we would  
11 like to kind of conclude the gaps discussion by  
12 reviewing the three measures we've recommended  
13 to be added to the core set, recognizing the  
14 significant expense and effort that's required  
15 to add measures to the core set with  
16 infrastructure from both CMS and the state  
17 sides and consider a priority for those three  
18 measures. Which of them is a priority?

19 We will also look at the readmissions that  
20 we discussed yesterday, the top 10 readmissions  
21 and the available measures that we have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 address those and potentially reconsider those  
2 measures in future convenings of this Task  
3 Force because of the kind of effort and  
4 carefulness that we'd want to have with  
5 providing detail, review and recommendations  
6 on the use of those measures in the set. Then  
7 we'll have an opportunity to ask each and every  
8 one of you to give one gap priority area. And  
9 so that's how we're going to go through the  
10 remainder of the gaps.

11 The Task Force recommended 0059,  
12 Comprehensive Diabetes Care, for the  
13 hemoglobin A1c for a control measure. This  
14 measure is an NQF-endorsed NCQA measure that's  
15 well-aligned across programs. It has been  
16 presented of members 18 to 75 years old with  
17 diabetes 1 or 2 that have had an A1c level during  
18 the measurement year that was in what's  
19 considered poor control of a 9 or greater, or  
20 the result was missing if the A1c was not done.

21 This is an outcome measure that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 collected through both administrative and  
2 electronic clinical information, so we'd like  
3 to kind of hear your priority for the use of this  
4 measure. I'll go through the other two first.

5 0647, the transition record with  
6 specified elements received by discharged  
7 patients. This is a measure that we discussed  
8 this morning. It's a complement and a paired  
9 measure to a measure that's already in the core  
10 set. It includes significant challenges that  
11 we've discussed about implementation and the  
12 kind of burden of doing a medical record review  
13 and it's a facility-level measure. However,  
14 it --

15 CHAIR PINCUS: It would be paired  
16 with the --

17 MS. DUEVEL ANDERSON: Yes, it would  
18 be paired with 0648, and these measures are  
19 intended to be used together and are endorsed  
20 together.

21 So consider this as a measure that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you'd like to prioritize along with the third  
2       measure, which is Measure 1799, medication  
3       management for people with asthma. We have a  
4       PQI asthma measure that this one would  
5       complement, would be addition to that measure.  
6       This is a measure that is in the health  
7       insurance marketplace and also HEDIS, but it's  
8       also in the child core set. It has two  
9       components, and one is the asthma controller  
10      medication at 50 percent of the treatment  
11      period and the other is the asthma controller  
12      medication at 75 percent of the treatment  
13      period.

14                So those are the three measures we'd  
15      like you to consider and for you to provide  
16      feedback on specifically which measures you  
17      would like to prioritize for inclusion in the  
18      core set and implementation.

19                CHAIR PINCUS: What we want you to  
20      balance in this is -- because it is a  
21      significant list for CMS to add an additional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 measure. There's a whole set of activities  
2 that have to flow and then it carries on down  
3 to the state level as well. And so, to think  
4 about this in terms of both the importance of  
5 these measures in terms of filling a gap as well  
6 as sort of the feasibility and the amount of  
7 effort that it would take to actually  
8 implement.

9 And I don't know, Karen, if you want  
10 to make any comments about that?

11 MS. LLANOS: No, I think it will  
12 just be really helpful for us to understand  
13 where in the priority list the new additions  
14 fall in so that we can -- as Harold said, when  
15 we take into account additional burden on  
16 states, the infrastructure that we'll need on  
17 both sides to support additional measures, it  
18 will just be really helpful.

19 CHAIR PINCUS: So comments?

20 MEMBER PELLEGRINI: Just a quick  
21 question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR PINCUS: Cindy?

2 MEMBER PELLEGRINI: Was the -- I'm  
3 just looking at my notes from yesterday. Was  
4 the diabetes care one to replace 0063, or was  
5 it in addition to?

6 MS. DUEVEL ANDERSON: It's an  
7 addition.

8 MEMBER PELLEGRINI: In addition  
9 to.

10 CHAIR PINCUS: Nancy?

11 MEMBER HANRAHAN: Just a  
12 clarification. Harold, medication  
13 management, across the board, is one of the  
14 measures or the quality processes that we want  
15 to endorse. Why put -- why focus on any  
16 particular illness or condition? Why not have  
17 one that's across the board that we would  
18 measure and track?

19 CHAIR PINCUS: Well, there is one  
20 that involves multiple conditions.

21 MS. DUEVEL ANDERSON: We have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 medication management, which addresses three  
2 different medications for chronic conditions.  
3 This is a separate measure because the  
4 admission measure was not, kind of, sufficient.

5 MS. LLANOS: So we do have -- right,  
6 so as Megan said, there's an annual monitoring  
7 for people on persistent medication. This is  
8 the one that folks talked about a lot, I think  
9 yesterday, in terms of whether the medication  
10 is correct or not. And I think that one was  
11 tabled for re-review, if I'm remembering  
12 correctly. So I think -- and I'm looking at  
13 Helen -- and the NQF's team who knows the  
14 broader swath of things. I'm not sure there's  
15 a general medication management measure. It  
16 usually ties to a particular condition.

17 MEMBER HANRAHAN: Would the policy  
18 be, or would the direction -- it would seem  
19 parsimonious to me to make a medication  
20 management measure process that you would  
21 monitor versus break it down into these various

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 conditions and maybe identify high-value  
2 targets for managing medication, but for  
3 consistency's sake and to keep the management  
4 of measures, the numbers down. I'm just really  
5 trying to understand the system a little.

6 CHAIR PINCUS: So Helen and then  
7 Alvia?

8 DR. BURSTIN: Yes, I think ideally  
9 we'd love to have more cross-cutting measures.  
10 They're pretty hard to do in areas like this  
11 where you have to tie it directly back to the  
12 evidence, and these were specifically selected  
13 among the lists of the ones that were most  
14 likely to cause admissions and readmissions, I  
15 believe. And so actually having some of that  
16 targets therapies that would actually reduce  
17 admissions potentially seemed like a logical  
18 tie-in, even if it is condition-specific.

19 CHAIR PINCUS: I think also that  
20 ultimately, in terms of collecting the data,  
21 these are different medications and they're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 different conditions. So even though they may  
2 roll up to be a single measure, this involves  
3 a lot of the same kinds of collection  
4 procedures, and in some ways ultimately the  
5 implementation of a response may involve  
6 focusing on the individual populations. But  
7 it does provide consolidation. It also  
8 provides -- it's a reasonable way to observe  
9 these things.

10 Alvia?

11 MEMBER SIDDIQI: Sure. So it  
12 sounds like even though we've sort of proposed  
13 the addition of all three measures that may or  
14 may not be very feasible, especially in terms  
15 of the cost and that CMS may incur, and then also  
16 all the additional burdens of rolling it out to  
17 the states -- and so the way I would prioritize  
18 the three would be the first being this one.  
19 So, I like this medication management for  
20 people with asthma. No. 2 being the one about  
21 the discharge. So, I think that was 0647. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       then No. 3 being the additional diabetic one.

2               And the reason I would rank it that  
3       way is because for this medication management  
4       for people with asthma it is in the child core  
5       set, and so hopefully as states are reporting  
6       on the child core set, it's not a huge  
7       additional burden to now expand that into their  
8       adult population.     It is a medication  
9       management one that -- especially with asthma  
10      that does affect readmission rates.   I know  
11      it's not listed as the top 10.   COPD is.   But  
12      in terms of asthma, it is one of those  
13      conditions that essentially medication  
14      management adherence can help prevent future  
15      hospitalizations and increased morbidity.   So  
16      it's something that we can do something about.

17              The other reason that I don't -- or  
18      I sort of pushed the diabetic one, hemoglobin  
19      A1c over nine, even though I really like that  
20      measure and it is outcome-based, to the third  
21      is because again it does rely on lab data.   And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I know in the State of Illinois, we would not  
2 be able to move that measure. And I'm assuming  
3 that there's a struggle for lab data in a lot  
4 of other states as well. I think pharmacy data  
5 and prescription data -- so pharmacy and  
6 prescription data and claims data is what's the  
7 primary -- sort of the primary data sources that  
8 states can use, so that's why I think it would  
9 be feasible and easier to remove this one.

10 CHAIR PINCUS: Marshall?

11 MEMBER CHIN: Yes, I wonder if NQF  
12 staff can just summarize. What's the void each  
13 of the three is designed to fill? What are the  
14 closest existing measures already in the data  
15 set that would be the comparators?

16 MS. DUEVEL ANDERSON: So, I'll kind  
17 of go backwards through the slides. 1799,  
18 medication management for people with asthma  
19 is -- would be an addition to the asthma  
20 admission measure, just as the PQI measure with  
21 the per 100,000 member-months. And so that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 an outcome, but it's a result of a complication.

2 0647 is an attempt to add a second  
3 measure to address care coordination and use a  
4 paired measure in the way that it's endorsed.  
5 We've had a lot of discussion about care  
6 transition, care coordination and patient  
7 engagement, so this would be one way to address  
8 those issues.

9 And 0059, comprehensive diabetes  
10 care would be a complement to 0057, which is a  
11 part of a suite of measures. The measure  
12 that's currently in the core set is about  
13 screening and screening only. This would be a  
14 core control to address a very common condition  
15 that has a pretty big impact on the population.

16 CHAIR PINCUS: Jennifer.

17 MEMBER SAYLES: So, I guess I want  
18 to make sure I didn't zone out. We don't have  
19 to only pick -- I mean, these are the proposed  
20 new or additions. We're vetting those.  
21 There's not a -- you can only pick one?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. LASH: We'd like to give CMS an  
2 indication of where to start.

3 CHAIR PINCUS: Right.

4 MEMBER SAYLES: So only one?

5 CHAIR PINCUS: Yes. No, no, no.  
6 To put them in order of priority.

7 MEMBER SAYLES: Okay. So I'm  
8 going to -- then if we're doing that, I think,  
9 I feel like population health and prevalence in  
10 this Medicaid arena is important. Diabetes is  
11 I think by far the most prevalent chronic  
12 comorbidity, in at least most of the data I've  
13 seen.

14 Asthma, it was interesting -- I  
15 mean, I would have really have liked to see the  
16 rates because I remember Doris saying -- too bad  
17 she's not here -- it was 14 per 100,000  
18 admissions. That's an incredibly low rate. I  
19 don't know how you even look at improvement.  
20 Well, it may depend on the state, but I guess  
21 it's a rate, so it's still -- it doesn't really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 matter the n. So I guess I feel like if there's  
2 a lot of asthma work in the -- it's most  
3 prevalent in the Medicaid child population,  
4 it's well covered in the child measures. At  
5 least personally, I would vote to put that one  
6 at the bottom of the list. So I guess I'm  
7 totally disagreeing with Alvia.

8 CHAIR PINCUS: Okay. Rebekah?

9 MS. GEE: Yes, so I would agree with  
10 Jennifer that -- I mean, if you look at the  
11 obesity map, Louisiana is now the fattest  
12 state, so we can be proud of that.

13 FEMALE PARTICIPANT:  
14 Congratulations.

15 (Laughter.)

16 MS. GEE: So we won that race. So  
17 we -- obesity and diabetes are linked and  
18 obviously it's only getting worse. Diabetes  
19 is only getting worse. Asthma is a major  
20 problem if we look at our admissions for asthma,  
21 they're much lower. So I would prioritize

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 diabetes just based on numbers.

2 CHAIR PINCUS: Other comments,  
3 suggestions?

4 MEMBER SULLIVAN: Just this little  
5 one on the care -- could you just explain a  
6 little bit more what they're actually asking  
7 for in that care transitions, what they're  
8 asking the provider to do?

9 CHAIR PINCUS: So what this  
10 asks -- the other one looks at whether the  
11 information was transmitted to the next level  
12 of care.

13 MEMBER SULLIVAN: Right.

14 CHAIR PINCUS: This asks  
15 whether -- looks at whether the information was  
16 transmitted to the patient. Am I right?

17 MS. DUEVEL ANDERSON: Yes, the  
18 percentage of patients, regardless of age,  
19 discharged from an inpatient facility,  
20 including hospital inpatient observations,  
21 skilled nursing facility or rehab facility to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 home or any other site of care or their  
2 caregivers who received a transition record and  
3 with whom the record -- the review of the  
4 included information was documented at the time  
5 of discharge, including at a minimum, all the  
6 specified elements.

7 The numerator is the following  
8 elements are for inpatient care, a reason for  
9 inpatient admission, and major procedures and  
10 tests performed during inpatient stay, and  
11 summary results, and a principal diagnosis at  
12 discharge. Post-discharge or patient  
13 self-management components include a current  
14 medication list, and the studies pending at  
15 discharge, and any patient instructions. An  
16 advance care plan would include advance  
17 directives or a surrogate decision maker  
18 documentation or documented reasons for not  
19 providing an advance care plan. And then also  
20 contact information and a plan for follow up  
21 with care, which is a 24-hour/7-day-a-week

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 contact information including physician for  
2 emergency related to the inpatient stay, and  
3 contact information for obtaining results for  
4 studies pending at discharge, and plan of  
5 follow-up care, and the primary physician.

6 The denominator is any patient  
7 discharged from the inpatient facility to home  
8 care or any other site of care. It does exclude  
9 patients who left against medical advice and  
10 patients who died. It's not risk-adjusted and  
11 it's frequently a process measure at the  
12 facility level, but it is tagged to address the  
13 national quality strategy of effective  
14 communication and care coordination.

15 MEMBER SULLIVAN: Yes. You know,  
16 I think all those things are kind of really  
17 important, so I -- it depends on what your  
18 systems are, could be a bit of a burden, but I  
19 think that's an important point that patients  
20 get this information. That's not as universal  
21 as we think. Now, whether what they do with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       it -- even just basically getting the  
2       information.       So I think it's worth  
3       considering that one. I mean, it goes into a  
4       level of detail which I think would be helpful  
5       in the care coordination. It's not just saying  
6       any kind of discharge summary. It's saying  
7       what has to be in it. And I think that that's  
8       kind of actually kind of good. And if you're  
9       going to really affect readmissions, that's  
10      probably one of your biggest pieces.

11               Now, I don't know what the  
12      collection is. You just have to say -- is this  
13      a chart review, though? That's the only -- I'm  
14      assuming. And that can be burdensome,  
15      unfortunately. It's got to be chart review, I  
16      think.

17               CHAIR PINCUS: One question I had  
18      is -- so the different elements that are  
19      captured, how different is it than the elements  
20      in the third measure except that they differ  
21      with regard to whom it's transmitted? So it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the same information that needs to be there.  
2 The issue is to whom it gets transmitted, if I'm  
3 not mistaken.

4 MS. DUEVEL ANDERSON: And one is at  
5 the time of discharge and the other one is  
6 within 24 hours.

7 CHAIR PINCUS: Right.

8 MEMBER SIDDIQI: Right, and most  
9 hospitals should be doing this with the  
10 discharge, so that's why I think 0647 is  
11 actually easier to collect, because it's  
12 something they can capture through their EHR  
13 systems that how many percent of their patients  
14 on discharge received the discharge paperwork.  
15 And it's actually when it's printed, it's  
16 recorded, so whereas the other one, 0648, is  
17 talking about coming back to the PCP office,  
18 which definitely requires a much higher chart  
19 review process.

20 MEMBER SULLIVAN: An easier one to  
21 do, in a way. Is that what you're saying, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think?

2 MEMBER SIDDIQI: 0647 I think would  
3 be, but the key is that it's linked with 0648,  
4 so I guess it would be interesting to see again  
5 in the years to come what states end up  
6 reporting on. Do they just report on 0647  
7 because it's easier?

8 DR. BURSTIN: Just one comment on  
9 the measure itself. I mean, they actually have  
10 the same data elements you have to document, so  
11 it actually wouldn't be as simple as saying a  
12 check box, did somebody get discharge  
13 instructions? Because if they get discharge  
14 instructions, that included the following key  
15 elements, like the tests, you got the results.  
16 So it's not --

17 MEMBER SIDDIQI: But all of that is  
18 tracked through the EHR systems --

19 DR. BURSTIN: Yes.

20 MEMBER SIDDIQI: -- to be able to  
21 say that each of these components have been met.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BURSTIN: Yes, you have to  
2 build that. Yes.

3 MEMBER SIDDIQI: So it's still  
4 something that you can pull --

5 DR. BURSTIN: It's not that simple.

6 MEMBER SIDDIQI: -- through the EHR  
7 system.

8 DR. BURSTIN: Yes.

9 MS. LLANOS: I mean, I think I would  
10 add if they are doing it. And we have four  
11 states that could actually try to do it. And  
12 we looked up the reporting. I think one did  
13 admin, one did hybrid, one did review, one did  
14 other. So it's -- right, so I think there  
15 is -- it's -- right, so I think obviously  
16 success of the additional one would be based on  
17 the ability to collect the current one.

18 MEMBER SULLIVAN: To be clear, then  
19 what you're saying is that they haven't found  
20 this very practical to do? Is that what you're  
21 saying? Then maybe it's not ready yet, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 time to push out. Maybe we have to respect that  
2 a little bit.

3 CHAIR PINCUS: Other comments?

4 (No audible response.)

5 CHAIR PINCUS: So I would -- let me  
6 step out of the role of Chair for a minute. My  
7 own view is that I would put the Alc ones first,  
8 I'd put the asthma one second, and I'd put the  
9 discharge one third. And my reasons would be  
10 that I think that the Alc is important given the  
11 scope, I would agree with Jennifer, but also  
12 because it also sort of pushes the envelope a  
13 little bit with getting to outcomes. And so  
14 thinking about this is sort of like compiling  
15 efforts to try to enhance the infrastructure  
16 for states. Even if initially it's not every  
17 state that's going to report this, but the  
18 ability to do that initially I think would be  
19 something that's worth sort of putting out  
20 there.

21 I think the reason for putting the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       asthma in second is because it's probably the  
2       easiest one to do because it's already being  
3       collected by trial. And I think that the  
4       marginal value of the additional discharge one  
5       beyond what's already being collected versus  
6       how much effort is required is it is lower. And  
7       I always worry about sort of documentation  
8       versus reality in those kind of things.

9                       So that would be my recommendation.

10       Marc?

11                   MEMBER LEIB: Well, first of all, I  
12       agree with your order because for me, diabetes  
13       is a huge problem. Asthma in the adult  
14       population is much farther down than that, than  
15       the diabetes. And the third being the  
16       collection.

17                   For those of you who are more  
18       familiar with it than I am, is there by chance  
19       a PQRS reporting, some either category 2 code  
20       or a measure that is report on the claim to  
21       designate the A1c level?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR PINCUS: I think there is.

2 MEMBER LEIB: Okay. I mean, I  
3 don't know.

4 CHAIR PINCUS: I think there is.

5 MEMBER LEIB: I mean, I just don't  
6 know. Because if there is, then you can do  
7 things like require --

8 CHAIR PINCUS: I think there is a G  
9 code.

10 MEMBER LEIB: -- a physician to  
11 report that with a claim for an office visit for  
12 where diabetes is the primary diagnosis and you  
13 can start collecting data administratively by  
14 using a small subset of PQRS. Would have to be  
15 Medicare, but we can -- that makes data  
16 collection doable and relatively easy compared  
17 to a hybrid or chart review, if there's such a  
18 thing exists.

19 CHAIR PINCUS: Yes, it would be  
20 worth looking into that. I think -- in the back  
21 of my mind, I think there may be a G code for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that.   Okay.   So that we can look into.

2                   So what do people think?   Is there  
3       any objection to going ahead with that order  
4       that I mentioned?

5                   (No audible response.)

6                   CHAIR PINCUS:   Okay.   Good.

7                   MS. DUEVEL ANDERSON:   So now we  
8       have one more ask related to gaps.   I think  
9       unless there's a clear sense of the priorities  
10      for that long list of gaps that we have, we would  
11      like to go around the room and give you an  
12      opportunity to state one measure gap as your  
13      priority.   We will count them all up and we will  
14      communicate that in the report.

15                  You seem to be kind of excited about  
16      this, so go ahead.

17                  (Laughter.)

18                  MEMBER SIDDIQI:   I'm going to say  
19      ED utilization.   It's time.   ED utilization.

20                  FEMALE PARTICIPANT:       One more  
21      time?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER SIDDIQI: ED utilization.

2 ER utilization. Emergency room utilization.

3 (Off mic comment.)

4 CHAIR PINCUS: Anything.

5 MS. DUEVEL ANDERSON: You have to  
6 use the microphone.

7 MS. GEE: Oh. Progesterone and  
8 prematurity, and then ED utilization.

9 MEMBER SAYLES: I'm going to agree  
10 with Alvia. ED utilization.

11 MEMBER CHIN: Care coordination.

12 MS. SMITH: Care coordination.

13 MEMBER LEIB: Avoidable ED  
14 utilization rather than -- would be the way I  
15 would -- a gap that I'd like to see addressed.

16 MEMBER PELLEGRINI: Can I vote for  
17 maternal health and kind of encompass things  
18 that Rebekah said along with  
19 pre-conception/near-conception care?

20 MEMBER HANRAHAN: So I'm going to  
21 say care coordination slash integrated care.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And that really pulls in the mental  
2 health/behavioral health piece, but not  
3 isolating that to that sector, but really  
4 looking at it as a part of the full picture of  
5 what we're doing in health care.

6 MS. DUEVEL ANDERSON: Wonderful.  
7 Thank you so much. Oh Harold, I'm so sorry.

8 CHAIR PINCUS: I'm glad not to do  
9 it. No, so I would say substance abuse, but the  
10 problem is that the current sort of measures  
11 that exist for substance abuse really suck, and  
12 so that they're not really very good. And so  
13 I would go with coordinated care/integrated  
14 care.

15 DR. BURSTIN: Just a follow-up  
16 question. This is for -- I'm sorry, you should  
17 do your gap.

18 MS. DUEVEL ANDERSON: Please use  
19 your microphone.

20 MEMBER SULLIVAN: If we're talking  
21 about measures we want to develop measures for,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       then I would second substance abuse. I think  
2       it's -- and it's huge in the Medicaid population  
3       and I think it is not addressed in the way that  
4       it needs to be both from the screening  
5       perspective and an outcomes perspective.

6               The second, I would still stick  
7       with -- and then I would think of behavioral  
8       health, behavioral health with substance  
9       abuse. Remember those top diagnoses again for  
10      the readmissions and the problems? So I think  
11      that while -- I just think those -- well, those  
12      would be my votes for the gaps.

13             MS. DUEVEL ANDERSON: Okay.

14             DR. BURSTIN: Just one comment on  
15      gaps. It's often so difficult. I mean,  
16      everybody says care coordination and things  
17      like that. It would be really helpful if you  
18      could also even get a level down and say what  
19      you mean within sort of the concept of what  
20      would be useful to Medicaid in particular  
21      around care coordination. It is a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       difficult area to measure.  Actually, a friend  
2       of mine actually refers to care coordination  
3       measurement as the Bermuda Triangle of  
4       measurement.  Many have gone in; few have come  
5       out.  But it's really difficult to come up with  
6       something that's actually meaningful with the  
7       exception of trying to get from the voice of the  
8       patient through CAHPS and other items.

9               But so, a real sense from you of what that  
10       means would be very useful I think to try to  
11       impart this to developers.  They all know care  
12       coordination is at the top of every list, so it  
13       doesn't necessarily help to kind of pass on  
14       this.  We need care coordination.  So any  
15       thoughts there would be welcome.

16               MEMBER HANRAHAN:  I suggest that we  
17       look at -- when we're looking at care  
18       coordination, that we look at mental health  
19       substance use measures that indicate that the  
20       person has been integrated into the health care  
21       system effectively.  So the care transitions,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 care coordination are heard to measure, but  
2 there is one measure that we have that I think  
3 has really made -- has some leverage, and that  
4 is the follow-up after a hospitalization. So  
5 care coordination in that regard is moving from  
6 hospital to home.

7 CHAIR PINCUS: So in some ways,  
8 what I think that D.E.B. and Sarah were  
9 presenting earlier was kind of backing into the  
10 care coordinations, because to perform well on  
11 the measures they describe would by their  
12 nature require greater care coordination,  
13 especially in behavioral health. So I think  
14 that that is a way to get to sort of a level  
15 deeper.

16 MEMBER SULLIVAN: And on the  
17 behavioral health side, I agree. And I think  
18 that the care coordination post-hospital, that  
19 I would spread it out over time because real  
20 care coordination should be a continuous  
21 engagement, but continuously again pushing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 past just that first visit, which substance  
2 abuse does a bit in one of the measures. But  
3 in mental health as well, putting it out over  
4 time that somebody stays engaged in treatment,  
5 that's a proxy that basically that must be care  
6 coordinated if that's the outcome.

7 MEMBER HANRAHAN: Could I also just  
8 add that I think one of the things that you said,  
9 Harold, that I think is really important is the  
10 longitudinal nature of these outcomes that  
11 we're studying to -- that that is a gap, a  
12 glaring gap in the quality of the measures that  
13 we've got. How we're going to tackle that,  
14 where that's going to go and where that's going  
15 to break. We've got some development that  
16 Helen was speaking about around Coumadin that  
17 captures a level of -- the blood level, clotting  
18 level over time. So you've got a time factor  
19 that's associated with an outcome measure,  
20 right? I don't know where to put all that, but  
21 I think it's a really important piece.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR PINCUS: This may fall back  
2                   on the development of registries that are able  
3                   to capture sort of the essence of sort of  
4                   coordinated, measurement-based care  
5                   longitudinally that -- and the assurance of  
6                   follow up in a consistent way. So that's  
7                   really I think what we ultimately need to drive  
8                   to. And by the very nature of establishing  
9                   that, it's sort of a set of structural process  
10                  and outcome elements that fall together over  
11                  time.

12                 MEMBER GESTEN: Can I throw one  
13                 out, Harold --

14                 CHAIR PINCUS: Sure.

15                 MEMBER GESTEN: -- before you close  
16                 this?

17                 CHAIR PINCUS: Yes, sure.

18                 MEMBER GESTEN: It's kind of in a  
19                 different direction, thinking about what's  
20                 missing. And this is a hard one and I'm afraid  
21                 that Helen's going to ask me to be more precise,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       which --

2                       (Laughter.)

3                       MEMBER GESTEN:   -- I may not be able  
4       to answer it.     But it strikes me that  
5       there -- really have no measures related to cost  
6       or efficiency or resource use, which is the  
7       third part of the third rail, if you want, of  
8       that triple aim.

9                       So while there are some existing  
10       ones that have to do with specific conditions,  
11       and those are potentially one area to explore,  
12       I think it's not quite the Bermuda Triangle, but  
13       there are a lot of challenges in trying to do  
14       this.   But again, I think of interest both  
15       nationally and to states is how can we achieve  
16       high levels of quality while at the same time  
17       be mindful of resource use and do it with the  
18       least amount of resources?   And this may be a  
19       strange comment coming from a state like New  
20       York, but I think we're all struggling with how  
21       do we get -- how do we maintain and improve

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       quality with finite resources? So that would  
2       be one area I'd throw out there for -- as a gap  
3       area.

4                   DR. BURSTIN:       I think you're  
5       absolutely right, Foster. This is Helen. And  
6       I think there may be opportunities. Maybe  
7       Medicaid could look to some of the existing  
8       measures that are out there for other  
9       populations and see how adaptable they might  
10      be. For example, the total cost of care  
11      measure that was developed at Health Partners  
12      that's now actually being tested in 26 states  
13      might be a place to at least start that movement  
14      rather than everything going back to de novo  
15      development for a specific population.

16                   CHAIR PINCUS:     So Foster, I'm  
17      channeling Helen. Can you be more specific?

18                   (Laughter.)

19                   MEMBER GESTEN:     Well, Helen  
20      mentioned it and, I mean, the specific things  
21      that are out there -- NCQA has created some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 measures, and I can't remember, maybe they are,  
2 maybe they're not NQF-approved --

3 DR. BURSTIN: They are.

4 MEMBER GESTEN: -- related to  
5 resource use for specific populations that are  
6 sort of a nice way of trying to couple resource  
7 use with specific quality measures that can  
8 include, for example, asthma management as well  
9 as in-patient hospitalizations and so on. I  
10 mean, that's one example that -- again, it has  
11 that -- it has some precision to it, but it also  
12 has some of the down side as folks have  
13 mentioned about, gee, wouldn't it be nice to  
14 have a measure on this that is cross-cutting?  
15 I think the total cost of care measures tend to  
16 be -- are more cross-cutting, but they also have  
17 a lot of complexity in terms of what you include  
18 and how you calculate costs and so on.

19 So I mean, I think those are the  
20 direct -- two of the things that are sort of on  
21 the table currently, Harold, that are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       potentially up for discussion. But is that  
2       what you were asking, or were you asking me  
3       something different?

4                   CHAIR PINCUS: No, that's what I  
5       was asking.

6                   Anybody else on the phone or here?  
7       I don't see any -- oh, okay. Rebekah.

8                   MS. GEE: Well, so that was Foster  
9       speaking. Hi, Rebekah Gee. So just to add to  
10      what you were saying, one of the things we've  
11      thought about doing -- we're putting it in our  
12      managed care contracts, but we'd love to have  
13      quality measures around it -- is the Choosing  
14      Wisely Campaign from ABIM that's involved many  
15      other specialties. And we've asked our  
16      managed care plans to select several of those  
17      recommendations and to measure the lack of  
18      utilization of non-indicated procedures.

19                   So I wonder if there could be a  
20      partnership between the Choosing Wisely  
21      Campaign and some measurement strategies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       because that would be very helpful, and there's  
2       a lot of already professional alignment around  
3       that.

4                     DR. BURSTIN:    ONC actually has --

5                     MEMBER GESTEN:   Yes, I --

6                     DR. BURSTIN:    -- a contract with  
7       Rand to do some of that work now, so again, there  
8       may just be some logical connections that make  
9       sure that gets over to the Medicaid side, too.

10                    I'm sorry.   Were you going to say  
11       something, Foster?

12                    MEMBER GESTEN:   I was just going to  
13       say that I think that I would agree with  
14       Rebekah, that those areas really point in the  
15       direction of a lot of areas.   Our own  
16       experience with trying to use them as measures  
17       have really run into lots of challenges related  
18       to data, because very frequently when you dig  
19       into the specific aspects that rely on a level  
20       of clinical data to judge whether an EKG or some  
21       other test is appropriate for somebody or not,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that that creates some challenges. It's not  
2       insurmountable in all cases, but I guess we've  
3       been impressed by how challenging it is to  
4       specifically implement those either as a  
5       measure or even -- or as coverage policy. But  
6       I think those are areas to look at around which  
7       there's both evidence and clinical consensus.

8               CHAIR PINCUS: Yes, the problem is  
9       if you start parsing the language in those,  
10      there's a lot of caveats.

11             MS. GEE: There are a few though  
12      that are more simple, like not doing a Pap smear  
13      every year if you don't have dysplasia, and  
14      that's a big one right there. So if you could  
15      just start with one and then work from there.  
16      Because as Foster said, until we really get  
17      electronic medical records and we're able to  
18      have more sophisticated holistic data, it's  
19      going to be hard to do some of them, but you  
20      could pick one or two like pap smears that are  
21      doable.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIR PINCUS: Alvia?

2 MEMBER SIDDIQI: So I was just  
3 going to say that when we were asked about the  
4 gaps, I was more answering the gap question to  
5 the current core set, whether there was any gap  
6 still missing or what that number one priority  
7 gap was. And that's where I thought ED  
8 utilization would be a good one because there  
9 are a couple of really good measures out there  
10 we could incorporate into the adult core set  
11 eventually, hopefully, soon, and they  
12 do -- with ED utilization that does indirectly  
13 and directly affect cost. So I just think  
14 that's an important one.

15 But in terms of gaps where measures  
16 need to be developed, certainly I agree that  
17 care coordination obviously is a big one,  
18 because we could see that even in the care  
19 coordination ones that CMS has been a steward  
20 for, there's really no alignment, so really  
21 there hasn't been this widespread adoption of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       those couple ones that we saw today.

2                   CHAIR PINCUS:   Other comments on  
3       gaps?

4                   (No audible response.)

5                   CHAIR PINCUS:       Jennifer,   do  
6       you -- your thing up there for --

7                   (Off mic comment.)

8                   (Laughter.)

9                   CHAIR PINCUS:   Okay.

10                  MS. DUEVEL ANDERSON:   Okay.   So we  
11       have a slide up that is in response to the top  
12       10 conditions for readmission in Medicaid, and  
13       these are -- there are two slides, so it's split  
14       up a little, and there's rates and costs  
15       available in the article that we'll cite in the  
16       report.   And we wanted to be responsive to  
17       this.   And we have identified measures that are  
18       in the core set that currently address these top  
19       ten reasons for readmission and we have  
20       identified some potential additions that a task  
21       force in the future could consider and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 adjudicate for a recommendation to the core  
2 set.

3           You'll notice that we've  
4 already -- for diabetes and other related  
5 complications, there are three measures in the  
6 core set currently and we've already  
7 recommended to add one. The Task Force briefly  
8 discussed the control measure; however, we  
9 saw -- we heard a clear consensus for a  
10 preference over core control.

11           The second slide --

12           DR. BURSTIN: Could I just explain  
13 my addition there, the random adult current  
14 smoking prevalence? I just thought it would be  
15 an interesting measure for you to consider.  
16 It's a state-based measure using CDC data from  
17 the Legacy Foundation. It just might be an  
18 interesting addition to the mix. I just wanted  
19 to at least have Medicaid take a peek at it.

20           MEMBER SIDDIQI: And perhaps the  
21 one that is a measure that's linked to the CAHPS

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 data on the smoking could be still listed on the  
2 right side. Or, it's not on the right side, but  
3 I think it's an existing measure, isn't it? So  
4 that one actually supports the COPD one, too.

5 MS. DUEVEL ANDERSON: You're  
6 right. We'll update that.

7 So this is the other half of the top  
8 ten conditions, but these are generally related  
9 to -- except for congestive heart failure,  
10 generally related to behavioral health. So we  
11 have some measures currently in the set, but  
12 some other measures are endorsed that are  
13 available. And we did discuss briefly some of  
14 the importance of the screening for people with  
15 schizophrenia. And there are two measures  
16 that a future task force can adjudicate. And  
17 we want to be clear on our priorities, so we've  
18 been able to identify three measures for kind  
19 of more immediate implementation to the core  
20 set, and these can be considered in the future.

21 Does anybody have any questions or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       comments?

2                   (Off mic comment.)

3                   Can you use your microphone,  
4       please?

5                   MEMBER LEIB:    I'm sorry, I just  
6       noticed that there's -- CHF non-hypertension is  
7       on -- is located in two different places on this  
8       list.

9                   MS. DUEVEL ANDERSON:   That was a  
10      mistake. It was an overnight table, so --

11                  MEMBER LEIB:    Oh, that's okay.

12                  MS. DUEVEL ANDERSON:   -- sorry.

13                  MEMBER LEIB:       I just wanted  
14      to -- trying to figure out which -- where it is  
15      in the -- if these are in the top 10 conditions.

16                  MS. DUEVEL ANDERSON:   These are not  
17      in --

18                  MEMBER LEIB:    Oh, they're not in  
19      order?

20                  MS. DUEVEL ANDERSON:   They're not  
21      in the rank order. The first --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER LEIB: Then never mind.

2 MS. DUEVEL ANDERSON: -- slide is  
3 more like chronic conditions and --

4 MEMBER LEIB: Never mind.

5 MS. DUEVEL ANDERSON: -- in  
6 general.

7 MEMBER LEIB: I thought they were  
8 in monetary order.

9 MS. DUEVEL ANDERSON: No, the  
10 second slide I wanted to give some sort of  
11 logical grouping with overall behavioral  
12 health and mental health.

13 MEMBER LEIB: I'm sorry for  
14 noticing it.

15 MS. DUEVEL ANDERSON: Oh, no, no.

16 (Laughter.)

17 MS. DUEVEL ANDERSON: Thank you.  
18 We will correct it.

19 CHAIR PINCUS: These are presented  
20 in different orders at different times.  
21 Sometimes by the number of admissions, by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cost of admissions --

2 MEMBER LEIB: Because -- exactly.

3 CHAIR PINCUS: -- or by categories  
4 of --

5 MEMBER LEIB: That's why I thought  
6 there was a linking that I wanted to  
7 make -- figure out where it was.

8 MS. DUEVEL ANDERSON: No.

9 MEMBER LEIB: But this is just  
10 random.

11 MS. DUEVEL ANDERSON: No, it  
12 also -- we'll make sure that we circulate the  
13 information.

14 MEMBER HANRAHAN: To complicate  
15 things some more, sorry, the top 10 conditions  
16 for readmission are -- they really separate out  
17 the mental disorder conditions and the medical  
18 assorted conditions, whereas there was a report  
19 called Faces of Medicaid III that articulates  
20 these diagnoses more of an integrated  
21 perspective that I think is more the reality of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1        what we're experiencing than these separated  
2        out components.

3                    Now, I know that I'm adding  
4        something into the mix here, and I'll just leave  
5        it at that because I know you're quite aware of  
6        that data. So I just suggest we integrate.

7                    MS. DUEVEL ANDERSON: We will  
8        continue to evaluate information about the  
9        population and the needs and conditions as it  
10       comes available and try to use that to inform  
11       the evaluation of the core set going forward and  
12       updates to it. So hopefully this and other  
13       things like the Faces of Medicaid are helpful  
14       for that, for achieving the CMS goals and the  
15       core set.

16                   Alvia, did you have another  
17       question?

18                   MEMBER SIDDIQI: I was just going  
19       to say that under earlier threatened labor,  
20       perhaps the antenatal steroid measure would  
21       probably be added to that section.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. DUEVEL ANDERSON: Sounds  
2 great. Thanks.

3 That concludes our gaps discussion.  
4 So we wanted to ask you for your round-robin of  
5 the most important things that you would like  
6 to emphasize in the report and to the  
7 Coordinating Committee.

8 Just to remind you of the timeline.  
9 The timeline is eventually coming up. The  
10 public comment draft will be available for  
11 comments overall and we will have a  
12 Coordinating Committee review of the draft  
13 report and any comments that are submitted up  
14 to a certain point on July 18th during a  
15 teleconference. You will be informed of that  
16 teleconference; it's from noon to 2:00, and you  
17 will be invited to participate.

18 The final report will be submitted  
19 to CMS on August 30th, or potentially a day  
20 earlier, but it's due at the end of August. And  
21 so we hope that you can provide any comments or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 any additional thoughts at this time to help us  
2 emphasize different points in the report.

3 The primary sections of the report  
4 will be kind of introducing and understanding  
5 the population and the goals of CMS. Then  
6 we'll talk about the themes from the state  
7 experience in collecting and reporting the  
8 measures. You've given us a lot of really  
9 great feedback and we really want to thank our  
10 friends from the states for their participation  
11 and the travel and giving up their very valuable  
12 time.

13 Then we'd like to go into the  
14 measure-specific recommendations. We have a  
15 lot of very specific recommendations and  
16 additional notes. Then we'll address measure  
17 gaps and summarize strategic issues and  
18 direction for those.

19 So for the strategic discussion  
20 what aspects are most important for your  
21 recommendations to HHS? So are there specific

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 program features that you see as incredibly  
2 important to have a final word on? And also,  
3 I think we've already had a lot of discussion  
4 about the measures and the gap filling. There  
5 was a rich discussion on implementation, so if  
6 you have any final words to stress  
7 implementation concerns or how specific  
8 information was informative to your decision  
9 making as a result of the implementation  
10 feedback. And then we'd like to hear about  
11 your thoughts in helping to drive the states'  
12 quality improvement. So the core set is  
13 really -- the third goal is to help drive  
14 quality improvement in the states and how can  
15 we really help this core set achieve that goal?

16 That's a lot to ask. So we have  
17 people with plenty of flights and we have about  
18 45 minutes to really get through this. And  
19 it's your time, so I really want to hear from  
20 you all.

21 I don't know, Harold, if you have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any additional comments.

2 CHAIR PINCUS: No, I think that  
3 again, this provides some of the broader, sort  
4 of contextual, programmatic recommendations  
5 that we have in terms of thinking about the  
6 ongoing strategy for how this program can be  
7 most useful, both at the CMS but also the  
8 states. And so we want to sort of make sure  
9 that that gets clear.

10 I know there are a few of you that  
11 are leaving at -- you have to leave a bit early.  
12 I know, Jennifer, you've got an early flight,  
13 so I don't know if it would make sense to start  
14 with you in terms of thinking about that.

15 And we have listed there some of the  
16 strategic issues that -- on the -- under the  
17 clock. Yes, maybe it might be useful to -- just  
18 to quickly go through those. And we're not  
19 limited to those, but to think about the ones  
20 that we want to -- that people want to emphasize  
21 or that they want to elaborate on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MS. DUEVEL ANDERSON: Okay. So  
2 the big strategic issues we've identified are  
3 Building state capacities and data linkage;  
4 assessing value of measures, including  
5 importance of return on investment of using  
6 measures; incorporating the beneficiary  
7 perspective, both in what's important for  
8 quality and quality measures, but also in the  
9 measures; a measure of Medicaid  
10 administration, and so the value and the  
11 importance of understanding the quality of the  
12 administration of the program; coordination  
13 with the child core set -- we've looked at that  
14 today, but I think there is -- we heard a lot  
15 more that could be done and was asked for.

16 Strategic issues regarding the  
17 process for clarifying measures; technical  
18 assistance and updates that are continued to be  
19 provided to the states implementing the  
20 measures; and encouraging  
21 collaboration -- heard that specifically from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the states. There is implication of bundle  
2 payment and how that affects the data that's  
3 available and the role of registries. So this  
4 is another important component for data and how  
5 the measures can continue to adapt and be  
6 responsive to that.

7 Managed care and fee-for-service;  
8 auditing and contracting multi-year  
9 requirements and deliverables, and there's a  
10 big diversity across the states in both managed  
11 care and fee-for-service; and then future  
12 incentives for stating reporting and how do we  
13 really achieve those goals for CMS to increase  
14 the number of states that report, increase the  
15 number of measures that are reported and drive  
16 quality improvement?

17 There were some measure-specific  
18 issues that kind of rose to a little higher  
19 level. The use of measures in the core set of  
20 comparisons versus improvement over time.  
21 Standardization versus specification, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 standardizing measures from the -- at the  
2 national level that all states report on versus  
3 states having specific measures or making  
4 modifying measures and kind of tailoring them  
5 to their environments.

6 The use of hybrid measures and the  
7 burden of medical record review. The balance  
8 of measure types. Having structures in the  
9 process and outcome measures. Alignment  
10 across all federal programs and crosswalks with  
11 federal programs and potentially also for  
12 states. This is important for their own state  
13 programs. And then identifying and going  
14 after high-value targets. And the last one  
15 would be the populations that are included on  
16 Medicaid and that are included -- reported in  
17 these core set measures really vary across the  
18 states.

19 CHAIR PINCUS: It's one of the  
20 burdens of having to leave first.

21 (Laughter.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER SAYLES: It is. So, that  
2 was a long list of very important things.

3                   (Laughter.)

4                   MEMBER SAYLES: I guess maybe  
5 should it -- would it be useful to say --

6                   CHAIR PINCUS: You're not limited  
7 to those. It's a --

8                   MEMBER SAYLES: Okay. I mean, so  
9 like --

10                  (Laughter.)

11                  MEMBER SAYLES: Is this sort of  
12 what bubbles to the top in terms of personally  
13 what I --

14                  CHAIR PINCUS: Yes. Yes, I mean,  
15 having sat here for a day-and-a-half --

16                  MEMBER SAYLES: Yes.

17                  CHAIR PINCUS: -- yes, what do you  
18 think sort of hits you and also in the roles that  
19 you had back home? What sort of -- which are  
20 the things that you think that would be the most  
21 important advice you can give going forward

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 with this program?

2 MEMBER SAYLES: So, I think I can  
3 think of just a couple things: I mean, I think  
4 this went incredibly well and was a very  
5 thoughtful and well-structured  
6 day-and-a-half. So thank you to NQF for  
7 putting this all together and for the  
8 opportunity to serve on this Committee.

9 So one thing that I think we did but  
10 maybe not -- maybe as structured, but that I  
11 think is sort of a guiding principle that at  
12 least I, and I think Marshall and some others,  
13 have kind of seemed to adopt a little later in  
14 the review was kind of thinking at a population  
15 level about sort of what is most prevalent,  
16 impactful or really where disparities between  
17 other populations exist and kind of using that  
18 as an anchor point for kind of making sure we're  
19 covering those areas.

20 And so obviously in this population  
21 we've got -- it's a very diverse actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heterogeneous population in some states,  
2 depending on how many sort of adults are  
3 included. But I think that sort of using that  
4 sort of framework or lens to kind of come up with  
5 and prioritize within the measure set was an  
6 important activity and something that should  
7 continue, because I think kind of the corollary  
8 to that is I think we identified quite a few  
9 areas that we feel like are really gaps.

10 And I mean I would just put out that  
11 behavioral health, both substance abuse and  
12 mental health, as well as access and some of the  
13 social determinants are sort of four key areas  
14 that are really at the core of a lot of these  
15 populations and that I think in the big picture  
16 we're just starting to wrap our hands around  
17 some measures for those. So I think in terms  
18 of kind of strategically over time those are  
19 things that hopefully there will be  
20 opportunities to kind of refine over time. So  
21 I think that at sort of a high level would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 feedback I have.

2 I think that the other thing that I  
3 think I don't know that I've come up with a full  
4 kind of synthesis of but what I heard a lot of  
5 was, I mean, really the payment structures are  
6 so intimately tied to data and services  
7 delivered. And I think that this is  
8 particularly pronounced in Medicaid actually  
9 just at this transition time the United States.

10 And so I think that I guess being  
11 mindful of that and kind of the fact that there  
12 may be -- that's very heterogeneous across  
13 states, but there may be certain measures and  
14 I think why care coordination is coming up, and  
15 why access is coming up, and why addressing some  
16 of these other comorbidities is coming up is  
17 because a lot of the payment models don't  
18 necessarily support that type of care.

19 And so I think that again  
20 that's -- I'm not sure I fully have a silver  
21 bullet of how I would address it, but I do think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       it's an important backdrop or context that came  
2       up quite a bit in this meeting that is probably  
3       something just important to kind of continue to  
4       think about.

5                   I can't really think of that much  
6       else, but maybe I can chime in later if  
7       something comes up.

8                   CHAIR PINCUS:   No, that's perfect.

9                   Okay.   So just move on.   Maybe sort  
10       of just go around.   Marshall?

11                  MEMBER   CHIN:       Thanks    for  
12       assembling us all.   I think that it's great  
13       that you guys are doing this effort.

14                  I sort of had sort of mixed feelings  
15       I think over the past couple days that on one  
16       hand that I think it's great to make this much  
17       progress in a relatively short period of time.  
18       This started I guess what in the past year or  
19       so.   And so in some ways I can see the point  
20       about what seems to be incremental improvement  
21       in terms of building upon what's already here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   On the other hand, I had a sense the  
2                   pace may actually move more quickly than we've  
3                   talked about here. So in other words, when I  
4                   asked like Karen the first day about what's the  
5                   use of these measures? What's the -- who's the  
6                   users that use -- and Karen basically said both  
7                   the gamut of responses. So quality  
8                   improvement, which is I guess where you start.

9                   But then she also said that, well,  
10                  different states will be using this for  
11                  accountability at some point. And I think it  
12                  may be sooner rather than later. But I think  
13                  about like this with the Medicare program and  
14                  my sense is that they're further along and there  
15                  is no reason why the Medicaid program would  
16                  expect that it would be lagging that much  
17                  further. So even though if we're thinking it  
18                  may be slow change, my guess is when it comes  
19                  down to it, when the capital measures and the  
20                  reimbursement occurs, it's going to be faster  
21                  than we think.                   So in some ways I do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think we should probably push the envelope a  
2 little bit more. So some of these  
3 measures -- in other words, if you're  
4 eventually going to be using these for  
5 accountability purposes, you should pick  
6 measures that matter, that matter to patients,  
7 matter to providers, ones that people are going  
8 to be -- want to be judged upon. And so that  
9 we should teach to the test.

10 Why have a measure like measurement  
11 of Alc, which isn't all that meaningful in the  
12 grand scheme of things. The point that people  
13 have been talking about regarding sort of  
14 increasing outcome measures or Jennifer's  
15 point about the head of -- well, if we're seeing  
16 more capitated contracts at the state level and  
17 what are the measures that are going to be  
18 important there?

19 So I'll just say that, again, I  
20 understand the part about being incremental.  
21 And if I were a Medicaid state director, I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       probably frankly say many of the same things  
2       that people said here, because if it's unfunded  
3       mandate, I understand where people are coming  
4       from.       But I think from a patient  
5       perspective -- and we're changing. Probably  
6       it's going to be faster than we think. We  
7       should be preparing -- or maybe the next  
8       iteration of this, that we do come up with in  
9       some ways a more optimal list of the different  
10      measures, and as Jennifer's saying.

11               And maybe I think you guys did it  
12      initially, but it's maybe worth a re-look in  
13      terms of the comprehensive strategic look of  
14      are different areas covers, as well as because  
15      the marketplace has changed. And so this whole  
16      thing about care coordination and goal payments  
17      and all, my gist is that the measure set that  
18      we'd be looking for is going to be different  
19      than we have right now.

20               I think another thing, too, is that  
21      we have the advantage of states of being single

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       laboratory,     you     know,     50     different  
2       laboratories, so some states maybe be laggards  
3       in terms of them not being ready to do  
4       innovative things, but there are going to be  
5       some that are ready to go full force now in terms  
6       of accountability and looking for the  
7       incentives to improve care and all. Why not  
8       have -- especially if this is a voluntary set  
9       and people can pick and choose which ones they  
10      pick, why not have better measures that the  
11      leading states can use so that they can have the  
12      measures for their purposes?

13                   CHAIR PINCUS:    Marc?

14                   MEMBER LEIB:    Well, the message I'm  
15      going to be bringing back to Arizona; because  
16      you all heard my tale of woe yesterday and why  
17      we didn't have the grant and we don't report  
18      measures, but we will be, is that as we  
19      implement with our new contractor the  
20      measurement, to pick and choose those that  
21      don't just produce a number, because whether

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the number is good or bad doesn't really matter  
2 if we don't do something about it. So it's  
3 going to have to be measures that we can  
4 actually take an action to -- to take that and  
5 that when we measure it again the following year  
6 have it be better, wherever we're starting  
7 from. So whether we start with the core group  
8 or 10 of them, 15 of them, all of them, whatever  
9 it is that we are able to measure, I think that  
10 our aim will be to pick those that we can  
11 actually change something.

12 Some of these measures are things  
13 that I don't think we're going to have much  
14 control over initially, and so we may put those  
15 off. And the ones that we think we can drive  
16 both through our managed care plans and through  
17 incentives to providers will be the ones that  
18 we pick, we choose to measure, because then we  
19 can actually show something for it.

20 So what I'm going to say is a  
21 take-away for this is that as CMS moves forward

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       and as NQF moves forward to develop these  
2       measures for the core set, whatever we're going  
3       to do, the core set is going to get larger. And  
4       I think as it gets larger the expectation  
5       shouldn't be, okay, states, do all of them, but  
6       here's your menu. Pick some that you want to  
7       work on; and hopefully you'll pick a few more  
8       next year, but tell us not just what the numbers  
9       are, but how you used them to improve the  
10      quality of care you delivered, because  
11      ultimately that's what it's got to be about, not  
12      just where we are.

13                   CHAIR PINCUS: Cindy?

14                   MEMBER PELLEGRINI: Okay. So,  
15       I've been lucky. I've had a couple minutes to  
16       sit here and think about it.

17                   I'd like to bring us to an issue here  
18       that I think we've touched on a whole bunch of  
19       different times, but not really focused on in  
20       a concerted way, which is this idea of grounding  
21       ourselves really fundamentally around what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 beneficiaries consider important to them, that  
2 it's really easy for us to -- and I usually am  
3 here checking the box of consumer  
4 representative, so I do try and think about that  
5 consumer perspective at least -- Medicaid is a  
6 high-need, high-vulnerable population.

7 And I think people expect a certain  
8 level of competence from their physician.  
9 They certainly don't want somebody who's  
10 completely incompetent. But beyond that, the  
11 patient experience is really central when you  
12 read the surveys and the literature about what  
13 people want from their doctors. They want to  
14 feel respected. They want to be listened to.  
15 They want to feel like they're taken seriously  
16 when they say they have a complaint. They want  
17 to feel like their doctor has their best  
18 interests at heart.

19 And so focusing on, number one, the  
20 patient experience, but also on -- when we're  
21 talking about the medical-type measures

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 themselves, what do people really care about?  
2 Do they care that they got a discharge paper  
3 report or do they care that they understood what  
4 they were supposed to do when they went home,  
5 which are not necessarily the same thing.

6 And there's going to be different  
7 expectations, I think, and different desires  
8 for people who are in different life  
9 situations. So a young parent may say I really  
10 want to be able to chase my toddler around all  
11 day without having to take a nap half way  
12 through with them. A middle-aged person may  
13 say I want to be able to carry the groceries up  
14 the stairs to my apartment. Those are I think  
15 the more concrete, everyday, reality-based  
16 measures that people have in their own minds and  
17 we have to figure out how to translate those  
18 into this.

19 So I'd like to see CMS think about  
20 kind of being out there, almost periodically  
21 like benchmarking what we're doing with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       whether it's focus groups or surveys of  
2       different kinds just to say, okay, we're still  
3       on the right track, we're still doing generally  
4       the kinds of things that people think are  
5       important.       Because really, I mean,  
6       that's -- this is what the program is all about,  
7       right? Medicaid is supposed to make people  
8       healthier and happier and feel better and be  
9       more competent in their -- and capable in their  
10      everyday lives and doing the things that they  
11      want to do.

12                   CHAIR PINCUS:   Nancy?

13                   MEMBER HANRAHAN:   Well, I say it's  
14      a sign of a well-run meeting that we have these  
15      crisp questions to end up with, so thank you for  
16      that.

17                   And I want to lift off making a  
18      remark just to really build on what Marshall's  
19      been speaking to, which is the idea that we are  
20      in the midst of a paradigm shift that has never  
21      been experienced before, and there is evidence

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       that there's an acceleration of change that is  
2       just mind-blowing.     So the idea that you  
3       present, Marshall, that in a short period of  
4       time we're going to see things really pick up  
5       and change, we're probably going to always feel  
6       like we're on the other side of while trying to  
7       breathlessly catch up.                     So building  
8       into the implementation side of this, the  
9       importance of metrics for an evolving system  
10      and monitoring that I think is really important  
11      so that we get this kind of sense that we are  
12      keeping in check.     And a lot of what we do now  
13      is what are the measures that we're doing?     But  
14      what Marshall did for me was lift me back out  
15      into the ether of the organization, or what I  
16      would call the learning system, and that this  
17      pace or the acceleration of that is pretty  
18      significant.

19                     So keeping that in mind, what I  
20      think that this report could do is recommend to  
21      HHS that they really think about what kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 data infrastructures, systems that states  
2 could have put in place that it will allow them  
3 to process the information, the data to answer  
4 the questions that they want to ask. And a lot  
5 of what you said is really -- hits home about  
6 that. Not to determine like Medicare/Medicaid  
7 to say you got to ask this question. And if you  
8 don't ask that question, we're not going to pay  
9 you.

10 I mean, that's just really kind of  
11 loggerhead versus, all right, we're going to  
12 help you set up a system such that you can really  
13 think through what are the questions and use all  
14 the intelligence in your state, state versus  
15 the feds kind of thing, to answer the questions  
16 that are most -- that are best answered in your  
17 state. And they'll be good questions and  
18 they'll be great questions because they're all  
19 being driven by how do we do this at the most  
20 cost-effective quality and being the most  
21 accountable in our health care system that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we've got? We've got that nailed, and Medicare  
2 actually began that and pulled that together,  
3 I think, a number of years ago. So  
4 I would say in this report let's emphasize that  
5 technology architecture that's needed in order  
6 for these states or whatever the entity we're  
7 working with to be able to use state-of-the-art  
8 analysis and technology to keep that data  
9 moving and alive and be involved in these kinds  
10 of forums where the questions get refined and  
11 tuned. So, thank you for letting me be here.

12 CHAIR PINCUS: Great. Alvia?

13 MEMBER SIDDIQI: So I definitely  
14 think this has been just an excellent meeting,  
15 very inspirational. I feel recharged going  
16 back to my state of Illinois with some new ideas  
17 and will certainly be referencing some of the  
18 slides sets, so thank you so much for the staff  
19 for such a well-organized meeting, but also to  
20 colleagues here. I mean, I've learned a lot  
21 from this meeting and I think it's important

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that we look at both the population health  
2       perspective, which is certainly a key buzzword  
3       today and it's certainly linked to improving  
4       the triple outcome of patient satisfaction and  
5       the quality of care delivered to patients, but  
6       also reducing overall costs because our  
7       Medicaid programs I think around the states  
8       are -- I mean, they're suffering. So the fact  
9       that many states wouldn't even consider  
10      participating, that's very problematic and  
11      very concerning.

12               I think we need to look at the  
13      feedback from the states in terms of  
14      understanding the applicability of these  
15      measure sets and the data that's being  
16      received. So I think what we've heard sort of  
17      as a trend from the states has been that we'd  
18      like to see that data. And so we think about  
19      what's driving quality in the provider's office  
20      today? It's the commercial pairs contracts as  
21      well as Medicare showing you report cards where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       you're having to now do performance essentially  
2       evaluations that show on profile measures how  
3       you're doing in terms of different measures.  
4       And I think the states want that information so  
5       that they can see how they're doing compared to  
6       other states as well.

7                       And I think what that will hopefully  
8       will drive is best practices so we can learn  
9       from the different states that are doing a great  
10      job. I mean, I've already just talked to our  
11      Medicaid director here for Louisiana, Rebekah,  
12      and the fact that they were able to do the data  
13      linkages between the vital records and into  
14      their own data using for their Medicaid claims  
15      data, I mean, that's revolutionary, that's  
16      excellent, it's something that has -- it seems  
17      like there had been a push to develop that just  
18      from the first core sets. So overall in a year  
19      that has already been sort of a huge new  
20      innovative progress that's already occurred.  
21      So that's fantastic. And I'm hopeful that as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 part of our measures core set in doing this on  
2 a yearly basis that that will continue to drive  
3 that sort of innovation.

4 I think a couple of specific  
5 comments I have are more about I guess No. 6 and  
6 7, which is the implementation part, so the  
7 technical assistance part. I think states  
8 need a lot of help, so the fact that the  
9 technical assistance grants or some of the  
10 grants for that are not going to be there is very  
11 concerning to me. I mean, it can be like an FAQ  
12 on a Web site to -- for those states to be able  
13 to refer to so that they know where to get that  
14 information or resources. That would be  
15 helpful at least from what has been learned in  
16 the last year so all that's not lost.

17 And I was thinking about how many  
18 states could keep -- it's not a key priority,  
19 but if you ask that these measures are being  
20 asked because they're aligned with the top 10  
21 readmission rates in all of Medicaid and you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 link it that way, the way we did on just two  
2 slides, these are the measures that correlate  
3 with these readmission conditions, I think it  
4 just hopefully helps drive states to say, well,  
5 you know what, this is important. Maybe it's  
6 not a key priority, but it's something that if  
7 I'm going to look at it's going to help  
8 hopefully reduce my readmission rates, which  
9 does eventually inevitably lead to  
10 cost-control as well.

11 And then I was going to say that  
12 whenever we're asking in the implementation  
13 process states to say that they have not  
14 participated on a specific measure, if you  
15 could also ask -- and I think I said this on the  
16 Webinar call over the past as well, but if you  
17 could ask what other measure exists that you're  
18 currently doing in your state that could be used  
19 in place of this one and/or is there another  
20 measure that exists that you think is a better  
21 one, just to get some more feedback from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 states, I think we would value that next year  
2 looking ahead.

3 And so overall again, and just in  
4 terms of No. 7, which I didn't really touch too  
5 much on, but the implementation of bundled  
6 payment, to Marshall's point, the move towards  
7 bundled payment, first of all from a provider's  
8 perspective, especially in the primary care  
9 world, that whole gap between primary care  
10 versus specialty reimbursement and payments  
11 mechanisms, it's something we want CMS to  
12 continue to move towards and be forward  
13 thinking about. However, right now in terms of  
14 our quality data we rely on everything on claims  
15 data. And so, there is a disconnect in terms  
16 of how the claims data is going to be able to  
17 be used for quality reporting in the future as  
18 we move toward more bundle payment models.

19 So I think that is a challenge. I  
20 don't have any answer for you, CMS, but  
21 something certainly to look into. And I just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 wanted to thank everyone again for this  
2 opportunity. This has been fantastic.

3 CHAIR PINCUS: Rebekah?

4 MS. GEE: So I wanted to agree with  
5 the comments of Alvia and my other colleagues  
6 at this meeting. I'm very honored to be here  
7 today and to be a part of this conversation. I  
8 also wanted to invite Eddy -- Eddy, if you have  
9 anything to say after I finish, please join in.

10 But mostly I wanted to agree with  
11 what's been said and then really issue a  
12 challenge to CMS. Since 1965 there has not  
13 been a moment in American history where  
14 Medicaid meant more to Americans. The  
15 Medicaider, if you just look at the staff -- I  
16 mean Steve Cha is not here today, but he has very  
17 few staff, not very many people helping him.  
18 He's the chief medical officer of Medicaid. We  
19 need more infrastructure. We cannot have  
20 rapid diffusion of innovation and improvement  
21 in Medicaid if we do not have resources as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 states to commit to understanding our data, to  
2 improving how we do things into learning from  
3 each other.

4 I can't say enough about how much  
5 this mattered to us. Not only were we  
6 reporting quality measures, but we're training  
7 our staff in Six Sigma. We've brought IHI in.  
8 They've taught our middle and senior staff  
9 about the science behind quality improvement,  
10 the triple aim and what PDSA means to them.  
11 We've created and hopefully will continue to be  
12 able to keep alive a culture shift in Medicaid  
13 where we've tried -- this money has allowed us  
14 to work with public health and align. And  
15 states are hamstrung by the budgetary  
16 constraints and the fires they're putting out  
17 every year and the political challenges that  
18 are very real that at CMS it's hard to  
19 understand, and we have back room conversations  
20 and discussions with you, but these types of  
21 opportunities are critical. And I don't think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 we can continue to innovate as quickly if we  
2 don't continue to have them. I don't know what  
3 that looks like. I know Marshall and I were  
4 talking earlier about states being paid to do  
5 certain reporting, but that's not a substitute  
6 for this.

7 So I would just say this was  
8 priceless. Let's find a way to continue it  
9 because the price is too high for Medicaid to  
10 fail in states like Louisiana. You have your  
11 innovator states like Oregon. They will  
12 always be the innovator states. And you'll  
13 have your states like Louisiana that maybe  
14 aren't, but the states that aren't are the ones  
15 with the fewest resources and in need the most  
16 of opportunities like this.

17 On a separate note just to say ask  
18 the states. The biggest thing I've seen and  
19 the biggest problem I see is when things are  
20 done without asking states how does this  
21 actually work to implement this? So these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 types of conversations. There are very few  
2 states represented here. I'd like to see more.  
3 But just how does it work for you? What are  
4 your challenges? Those conversations are so  
5 important. And that's why I think this process  
6 was tremendous to take this to states first and  
7 say, hey, why don't you try it out, see how it  
8 works?

9 And then finally, CMS challenged  
10 you to work with CDC and our public health  
11 colleagues to try to figure out how do we  
12 use -- because claims data kind of stinks. I  
13 don't like it. It's outdated. It's not that  
14 great. It doesn't tell us with a lot of  
15 granularity about that patient experience or  
16 the patient's health. And so we've got to move  
17 beyond it. We've got to move into electronic  
18 health records. We've got to look into our  
19 current public health surveillance data to see  
20 what it means to us. And so we've got to do a  
21 jump into new ways of thinking, and it's going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to have to require working a lot with our  
2 colleagues in public health and in other areas  
3 of data where -- whether it's Department of  
4 Education data looking at -- for kids or if it's  
5 adults, it's the justice system. There are  
6 lots of areas where there's already existing  
7 data that could be used.

8 And then finally predictive  
9 modeling. So we're looking -- we're reacting  
10 to things before they happen. And one of the  
11 things -- we had a meeting a few weeks ago to  
12 talk about, well, how do you really predict  
13 which woman is going to be the best at  
14 getting -- have the best reaction to  
15 progesterone or which patient really needs that  
16 diabetes drug the most? And so thinking about  
17 at the national level how do we really predict  
18 not just after the fact and say, oh, you had a  
19 bad blood pressure or a bad outcome or you were  
20 readmitted for asthma, but how do we get to the  
21 next paradigm where we're really thinking about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       how do we predict who's the most important to  
2       manage, to case manage and how do we predict  
3       those outcomes because we know more and more  
4       about that?

5               There was an article I read this  
6       morning actually about data looking at NICU  
7       babies and it's a system that actually predicts  
8       before a baby gets a fever just based on  
9       variables, very precise biologic variables  
10      that that baby's going to get a fever 24 hours  
11      before we would normally recognize it.

12             So being able to predict more with  
13      data, not that these are crude measures. It's  
14      not that they're bad. It's better than  
15      nothing. In Louisiana two years ago before we  
16      had managed care we were really not looking at  
17      our data much at all. And so this is a  
18      big -- but just thinking about how does CMS take  
19      a leadership role and trying to drive new ways  
20      of measurement, and that will take coordination  
21      at the national level.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And of course Medicaid is a  
2                   fragmented unstructured states rights program  
3                   in a way and it's nice that we have that, not  
4                   where you have your laboratories, as Grandise  
5                   would say, but it's -- we really need that  
6                   federal help and the feds being catalysts for  
7                   us to learn from each other. And that's it.

8                   CHAIR PINCUS: Thank you.

9                   MS. GEE: And, Eddy, I don't  
10                  know -- just from the measurement  
11                  standpoint -- I don't know if you wanted to say  
12                  anything.

13                  (No audible response.)

14                  CHAIR PINCUS: So, Foster, are you  
15                  still on?

16                  MEMBER GESTEN: Yes, I am.

17                  CHAIR PINCUS: Good, well, we'd be  
18                  delighted to --

19                  MEMBER GESTEN: My turn?

20                  CHAIR PINCUS: Yes.

21                  MEMBER GESTEN: That's great. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I want to echo everyone's comments about the  
2 meeting. I think it was a really great  
3 discussion.

4 I guess I want to give some sort of  
5 like very practical recommendations around  
6 uptake and trying to really get at what I think  
7 is some of the core goals of increasing the  
8 number of states that report, increasing the  
9 number of measures and increasing its use for  
10 quality improvement.

11 But before I do I just want to  
12 say -- I mean, there's a larger context I think  
13 in which the reason for this is really key. I  
14 think about the origins of this legislation  
15 that mandated some core measures for Medicaid,  
16 and the current reality is that we have lots of  
17 states who have questioned or are not expanding  
18 Medicaid who have lots of public statements  
19 about questioning the value of Medicaid, at  
20 least as it's currently structured. There are  
21 states that complain about, make comments about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 dysfunction of Medicaid. And at the same time  
2 we have lots of experimentation going on  
3 throughout the country.

4 So I think it's never been more  
5 important both for Congress or for CMS, HHS and  
6 for states and for politicians and the public  
7 to understand what's the value of Medicaid  
8 programs? And I think that having measures and  
9 being able to document what's being able to be  
10 achieved and improved is really critical.

11 The other hat, the other  
12 perspective is just my own parochialism. I'm  
13 involved in measurement and measures for the  
14 Medicaid program which is informed by core  
15 measures, HEDIS, and our own priorities  
16 involving commercial measure development and  
17 reporting in or SCHIP program, in our exchange,  
18 in our health home measures, in our waiver and  
19 disparate measures, our PCMH measures and our  
20 dual measures. And so, the need to be able to  
21 create some coherence around those measures is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not an abstraction for me. It's very real. We  
2 live it and breathe it each day.

3 So I really have four very practical  
4 things to think about in terms of strategy going  
5 forward that relate to implementation. One is  
6 as you might imagine from the last thing I said  
7 that I think it's critically important that we  
8 work to align measures within all those  
9 programs and also to the degree that it's  
10 appropriate with Medicare and with commercial  
11 payers as a way of actually increasing not only  
12 uptake of measures, but also being able to  
13 create some synergy and really focus on  
14 improvement.

15 The second is the specific thing  
16 that was mentioned today and has been mentioned  
17 other times is a strategy. I think the notion  
18 of doing stratification, population  
19 stratification with measures is a really  
20 promising one as a way of being able to increase  
21 the value of doing measures without necessarily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       having to increase in a significant way the  
2       burden of creating new measures or new  
3       measurement strategies.       So I strongly  
4       encourage CMS, NQF, measure developers and for  
5       this group to think about the role that  
6       stratification can play. And we talked about  
7       that with respect to the civilian population,  
8       but I think it's true for other populations as  
9       well.

10               The third is I would -- again as a  
11       practical matter I would encourage us to think  
12       about how we can build on survey, patient  
13       surveys as a way of getting at what somebody was  
14       talking about in terms of patient experience,  
15       but we have a number of measures that have been  
16       really hard to capture by doing chart review,  
17       whether it's electronic or paper. And those  
18       include -- we talked about depression, we  
19       talked about care coordination, we talked  
20       peripherally around functional status and so  
21       on. So I would encourage just a strategy given

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       that CAHPS is something that all the states are  
2       using.   How can we build on that as a way to try  
3       to get at -- from the patient experience of some  
4       things that I think are very important and very  
5       difficult to get at through chart review.

6                   And then last but not least, I think  
7       being able to work on how -- and help states not  
8       only collect data, but learn from the  
9       experience we've had to date about who's  
10      doing -- who seems to be doing well and why are  
11      they doing well and being able to have the  
12      resources so that states can better learn from  
13      one another about strategies not only for data  
14      collection, but for improvement.   I think  
15      that's really what gets most of us jazzed up  
16      about this work and doing measures and I think  
17      without resources and some attention to having  
18      that happen, it doesn't necessarily happen  
19      naturally.

20                   So    thanks    for    letting    me  
21      participate by phone.   I'm really sorry I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       couldn't be there in person.

2               CHAIR PINCUS:   Thank you, Foster.

3               I know that Ann has had to go back  
4       and forth because there's sort of been an  
5       emergency back in New York, so we will get her  
6       comments one way or the other.

7               But I had sort of this -- I have five  
8       comments, some of which are overlapping with  
9       what people had said earlier.

10              But first off, I just want to really  
11       say that we've had remarkable real engagement  
12       in the discussions and it's the enthusiasm that  
13       all the members have had in --

14              MS. POTTER:   Just a little louder.

15              CHAIR PINCUS:   -- all the members  
16       have had in participating in this.   So I think  
17       that's just been terrific.   But I have five  
18       comments, some of which overlap.   One is I  
19       think that this really has been a remarkable  
20       sort of collaborative process for initiating  
21       this program.   I think the way in which the CMS

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and the states have engaged in this to have sort  
2 of a back and forth kind of a brainstorming and  
3 sort of an open discussion back and forth and  
4 collaboration around improving and developing  
5 that I think has been really critical. And I  
6 think to continue that as much as possible would  
7 be really important. In particular now to get  
8 into the details of so how are states using  
9 these measures? Which measures create the  
10 greatest net benefit in terms of the effort to  
11 collect the data versus the amount of value and  
12 use that it has I think would be an important  
13 sort of next step in this process.

14 No. 2, I agree with Cindy and also  
15 Nancy in terms of thinking about so how do we  
16 get more sort of input about beneficiary  
17 perspectives both on the task force, but also  
18 I think more broadly in terms of sort of  
19 reaching out to try to get that kind of input,  
20 because I think that's going to be really  
21 important.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           No. 3, and I think I've mentioned  
2       this several times, is given the tremendous  
3       burden of chronic disease and I think across  
4       both general medical conditions and behavioral  
5       health conditions to really think about an  
6       overall strategy for getting measures around  
7       chronic diseases, whether it means registries,  
8       employing the sort of measure-based care,  
9       action-oriented kind of coordinated approach,  
10      but in some ways to really think sort of ahead  
11      of the game to how that can be done using the  
12      developing technologies that are going to  
13      become available I think is a key issue to look  
14      to the future.

15           The other thing that struck me from  
16      the very beginning of this is the incredible  
17      heterogeneity of the program across states and  
18      eligibility and populations and benefits and so  
19      forth, and that's both obviously a challenge,  
20      but it's also a potential strength to be able  
21      to sort of capture that information in some ways

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and looking at how that links to some of the  
2 measures, because I think it can inform policy.

3 And so then I think it's really  
4 important that as you're collecting the data  
5 from the measures to also provide sufficient  
6 context to how states are set up and the  
7 infrastructure in those states to be able to put  
8 that into context as the measures are being  
9 collected.

10 And then I think some of this sort  
11 of comes back to, you know, to some extent what  
12 Rebekah and what Foster was saying and some  
13 other people were saying in that we need to  
14 think about how one uses this for marketing in  
15 a sense, marketing both to external audiences,  
16 but also to the states to continue their  
17 engagement.

18 Many years ago I once sort of wrote  
19 an article about how sort of academic medicine  
20 needs to use a new marketing model that looked  
21 at the sort of basic principles of marketing,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       which are: (1) define your product; (2) segment  
2       your target audience; (3) assess consumer  
3       benefit; and (4) communicate an effective  
4       message. And to think about that in terms of  
5       how this product, so to speak, of Medicaid as  
6       a product -- how to define and specify that, to  
7       think about the target audiences that you have  
8       both within states, within the government,  
9       within sort of the policy and political worlds,  
10      and then to think about how one from -- assesses  
11      and uses these measures to assess consumer  
12      benefit really and the benefits across these  
13      different target audiences and then figure out  
14      the best way to communicate that. So that's  
15      would be my comments.

16               Any other comments from people  
17      around the table that are not part of the  
18      official Task Force, our colleagues from  
19      Medicaid and the CMS or others?

20               DR. BURSTIN:     That was a great  
21      list. That's a fabulous list of ideas, really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 great food for thought. I just had a few  
2 additional things I thought we could work on  
3 collaboratively together going forward. This  
4 is such a good set of ideas.

5 I think again this issue of  
6 beginning to understand the alignment both  
7 within the public sector and the public to the  
8 private sector and how the measures align to  
9 health plans as well as Medicaid is something  
10 I think is really important and something I  
11 think we could probably do some more work on  
12 to --

13 CHAIR PINCUS: Yes, that's a doable  
14 thing.

15 DR. BURSTIN: That's very doable  
16 again, and the variability is what's so  
17 striking about Medicaid, of course. We have  
18 some states where it's, well, it's all managed  
19 care, it's all MCO measures, and some states  
20 where it's more homegrown. So I think that is  
21 one potential area I don't want to lose sight

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of.

2 I think the other thing is  
3 this -- there are a set of really interesting  
4 methodological issues that we'd be really happy  
5 to help Medicaid with as well, and we've raised  
6 lots of them today, this idea of sort of  
7 parent/child measures -- not mother/baby,  
8 sorry, but --

9 (Laughter.)

10 DR. BURSTIN: -- sort of the idea of  
11 a measure that fits overall and really  
12 beginning to understand how we would look at  
13 stratification in key sub-populations as well  
14 as disparities I think would be another  
15 important thing to consider.

16 And also how you roll measures up  
17 and down. If you're at the state level of  
18 analysis and you're starting with a measure  
19 that's at the provider level, what does that  
20 mean? Is that optimal? Is that not optimal?  
21 Are there ways to think that through

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 differently?

2 And also just in terms of linkages  
3 to other data sources, I was really struck by  
4 Rebekah's comments and others about what is  
5 possible with linking to some of the  
6 state-based IT resources, and it seems like  
7 there's some natural opportunities there to  
8 think differently.

9 And lastly, I was also thinking  
10 about the idea that at times gaps have come  
11 forward and I think individual states have some  
12 innovative measures they've been working on and  
13 it just seems like there's an opportunity there  
14 for prospecting of some of those good ideas and  
15 not having everything begin with de novo  
16 measure development, but say what are you using  
17 that's worked for you, that moves the needle in  
18 your state? The example you gave of course  
19 about progesterone is a great example. Bring  
20 that in, think about ways you could get that  
21 ready to go more quickly to other states.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   But thank you. It was a phenomenal  
2                   discussion. I couldn't get -- my staff knows  
3                   I get a lot of work done at these meetings and  
4                   I couldn't get as much work done as I usually  
5                   do, much to the distress of my team, because  
6                   this was just way too engaging. So thanks to  
7                   everybody. And thanks to Medicaid, really.  
8                   This is just incredibly important work and  
9                   we're really delighted to partner.

10                  CHAIR PINCUS: Other comments  
11                  around the table?

12

13                  MS. LLANOS: Can I just make a quick  
14                  comment? I don't know if Marsha wants to jump  
15                  in or not, but I think -- and I was saying a  
16                  little bit to Dr. Lotz and Gee, I feel like this  
17                  year has been a long year of learning, but I feel  
18                  like we've learned -- or at least personally me  
19                  about this core set in one year probably than  
20                  what we've learned in three years in the  
21                  children's set only because I feel like the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions that we were getting asked by states  
2 were a lot more sophisticated. I don't  
3 think the issue of reporting unit of analysis  
4 or the data linkages came up as much, and it  
5 could be for a variety of reasons. And I think  
6 maybe we didn't have our ear to the ground as  
7 much. But I also think it represents four  
8 years of a lot of focus on quality in Medicaid  
9 and CHIP that hadn't been there before. So I  
10 think that states are getting more  
11 sophisticated. I feel like we're evolving in  
12 our learning and we continue to find ways to  
13 evolve both of our core sets in a way that is  
14 really nice to hear how do these two core sets  
15 fit together, because that was not something  
16 that we've discussed before because we didn't  
17 have the Medicaid adult core set.

18 So lots of really interesting  
19 issues I think brought up and certainly a few  
20 that really resonated with some of the  
21 challenges that we've had in supporting states.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think we'll continue to want to find ways to  
2 evolve the core set and evolve in ways that we  
3 support our state partners in collecting the  
4 measures. And I think the emphasis on quality  
5 improvement and quality training has come up so  
6 much over the past two years related to the  
7 grant, so I think we'll continue to do that. I  
8 think fantastic feedback.

9 I do want to point out that even  
10 though the grant program is over or is ending  
11 this year, our technical assistance for the  
12 program will continue on. So we will have an  
13 indirect way. And then as part of that we do  
14 quality improvement learning as well. So that  
15 support will be there just in a different way  
16 than it's been for the adult grantees.

17 And, Marsha, I don't know --

18 MS. LILLIE-BLANTON: Yes, I  
19 actually really truly want to thank all of you  
20 all for participating, because I feel like the  
21 thoughtful input both from our Medicaid medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 directors and your staff, and of course from  
2 those of you from the practice community, the  
3 advocacy community and of course academic  
4 community really have helped us to kind of  
5 understand some of the issues and challenges  
6 we're facing and help to begin to chart a path  
7 forward.

8           You know, I just want to give a  
9 little bit of context as well, because my sense  
10 is that Medicaid has functioned not only in a  
11 hyper-political environment, we've also  
12 functioned in a very isolated siloed  
13 environment. And we're moving beyond that,  
14 and I think this panel is one example of our  
15 doing that. I mean, I think we've always  
16 engaged with our state partners at some level,  
17 but I think our engagement with our state  
18 partners now is at a much more -- we're learning  
19 from you. It's not just that there's  
20 heterogeneity. I think that we are learning  
21 from you based on your experiences and you are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 helping to shape and guide federal policy in a  
2 way that has never happened before. And I  
3 think that enriches us.

4 I think that Medicare has been, as  
5 others of you have talked about, out front on  
6 the issue of trying to make sure that there's  
7 greater value for the dollars spent and then  
8 what has ever happened before in Medicaid, but  
9 while we have lagged behind we are catching up.  
10 And it's an iterative sometimes slower process  
11 than what we want, but we are catching up. And  
12 so I just want to thank you all for helping us.

13 I have one ask as we move forward,  
14 and I got some ideas from my own ask from this  
15 meeting today, but as I look at our spending in  
16 Medicaid, over half of our dollars are spent on  
17 long-term services and supports. And of  
18 course some of that has to do with populations  
19 with disabilities, whether cognitive or  
20 physical disabilities, and some of that of  
21 course has to do with the elderly, but I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 continue to try to think more about how we can  
2 better measure the care that's provided to that  
3 population in Medicaid. And of course those  
4 who are dually-eligible are being measured in  
5 some respects in Medicare, but we have not  
6 done -- been very successful in getting  
7 Medicare to look at that subset of Medicare,  
8 that 9 million who are dually-eligible, who are  
9 low-income.

10 And so to the extent that as you move  
11 forward you can help us think about how we  
12 measure, what do we need to better measure?  
13 And what I got from this was just about -- from  
14 today's session was that maybe it's just a  
15 matter of taking these measures and  
16 stratifying. Maybe it's just capturing some  
17 of the same measures. But I don't think it's  
18 that easy for this population. So I do want  
19 some more intellectual thought about what is  
20 it -- what should we be doing in our  
21 measurement?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And Karen has been leading or  
2           working with our Duals Office to kind of think  
3           about that issue. So I do -- it's not as if  
4           we're not already thinking about it, but I do  
5           think that if you can help us a little bit more  
6           think about what should we be doing going  
7           forward for the population that really is  
8           spending considerable amount of the resources.  
9           I mean, we look a lot at moms and children  
10          because they represent a large share of our  
11          population, but they're not driving the cost in  
12          our program. So if you could help us next  
13          year -- I'm not saying this year, if you could  
14          help us next year kind of think about what that  
15          should look like, we would greatly appreciate  
16          it. So just thank you. That's my main --

17                 CHAIR PINCUS: Thank you.

18                 MS. SMITH: I just want to say  
19                 something. In our division we look at quality  
20                 improvement, and we're looking more at data  
21                 from the enterprise perspective, knowing that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the patient receives care in a system and that  
2 care is delivered in multiple settings. And so  
3 getting to Marsha's point, we have to think  
4 about the measures and the development of the  
5 measures that would apply across settings and  
6 so the stratification would be able to be  
7 performed.

8 And I think with states, when I was  
9 looking through -- I do appreciate the  
10 materials that were prepared in advance. It  
11 was really, really helpful in thinking about  
12 the reasons that things weren't reported,  
13 because there were higher priorities. Does  
14 that mean that there were budget constraints  
15 and so something else was given the money that  
16 was not able to be applied to this, or that it  
17 wasn't actually a problem? You don't know.  
18 But if we use measures that are used across for  
19 all the different accountability or reporting  
20 programs, that takes away that burden. And  
21 then you could focus on the technical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 assistance for having the measure apply to your  
2 program. And that's the point that I wanted to  
3 make. And I really like the feedback here.

4 In some of my work that I'm also  
5 doing there would be some interaction with the  
6 states and I think like they're going to be  
7 moving toward -- I don't know, I think Marc said  
8 it's moving in the direction that it's going to  
9 matter. And I think the marketplace, some of  
10 the quality efforts that they're using, a  
11 quality reporting system and then the survey  
12 information from the marketplace would be  
13 useful. And I think that thinking about that  
14 for the future, not adding to the set, but maybe  
15 at this point thinking about how to encourage  
16 that participation by making it mean something  
17 and making -- if the measures are important and  
18 they're meaningful, I think the states will  
19 take those up. And that's what you're seeing  
20 in the states that are reporting the measures.  
21 And so it's I think taking away the burden,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 looking at the alignment would help this  
2 process and this program a lot.

3 CHAIR PINCUS: Thank you. So Marc  
4 has a comment and then we did want to hear from  
5 Ann in terms of sort of the round-robin final  
6 priorities comments and then to hear from the  
7 public.

8 MEMBER LEIB: I just have a  
9 question. I think I know the answer to this,  
10 but in the rule of law you never ask a question  
11 you don't know the answer to, but I'm assuming  
12 that the materials that were distributed can be  
13 shared within our organization, the quality  
14 department and everywhere else that will help  
15 us move forward, that there's no embargo on  
16 these documents. Is that correct or not  
17 correct?

18 MS. LASH: There's just one slide  
19 in your deck that we would like not be  
20 distributed, so we will put a refreshed deck on  
21 the SharePoint site --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER LEIB: Okay.

2 MS. LASH: -- along with some of the  
3 other articles about the HCUP data and things  
4 that have been cited. Or would you prefer that  
5 in an email? Doesn't matter? Okay.

6 MEMBER LEIB: Either way.

7 MS. LASH: Yes.

8 MEMBER LEIB: Okay. And then so I  
9 will not distribute this version of it  
10 because --

11 MS. LASH: That would be ideal.

12 MEMBER LEIB: -- there's something  
13 in there that's sensitive. I don't know which  
14 one, but something --

15 (Laughter.)

16 MS. LASH: And, yes, a few other  
17 pieces of information will be forthcoming too.

18 MEMBER LEIB: That would be great  
19 because that way I will -- I have a lot of people  
20 within the organization that will help us move  
21 this forward, but I don't want to share it until

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that's okay.

2                   MS. LASH:    Okay.    Thank you for  
3       asking.

4                   CHAIR PINCUS:   Ann?

5                   (Off the mic comments)

6                   CHAIR PINCUS:   Yes, just if there's  
7       something -- of all the things here just the one  
8       that you think you would pick out as the most  
9       important that you'd like to just kind of  
10      talk --

11                  MEMBER SULLIVAN:   I think that the  
12      real -- having a really strong set of measures  
13      for behavioral health disorders is really  
14      important, both substance abuse and mental  
15      health.

16                  I    also    think    going    forward  
17      how -- what effective measures would really do  
18      well when we start to integrate behavioral  
19      health with the medical side.   And I think that  
20      some of them obviously cross over things like  
21      follow-up after discharge, but I think others

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       might -- there might be other ways of looking  
2       at some things in terms of how their impact of  
3       behavioral health disorders on the medical  
4       disorders and just to continue that discussion,  
5       continue to looking at measures that can push  
6       that forward, because I think particularly in  
7       this population those are really obviously  
8       critical issues.

9               And the second is something I  
10       brought up before, and I really don't know how  
11       to tackle it, but I just to keep mentioning it,  
12       that I do think cultural phenomena are  
13       important here.     Many of the members of  
14       the -- in Medicaid come from a variety of  
15       cultural backgrounds and how that ultimately  
16       impacts on the kinds of measures that we're  
17       doing and if it does; I'm assuming it does, but  
18       and then how we deal with that.   So I'm just  
19       thinking that that's another thing to really  
20       just kind of begin to think about as we develop  
21       the measures.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIR PINCUS: Thank you, Ann. So  
2 now any public comment on the phone?

3 OPERATOR: At this time if you have  
4 a comment, please press star then the number one  
5 on your telephone keypad.

6 And there are no public comments at  
7 this time.

8 CHAIR PINCUS: Any public comment  
9 from people here in the room?

10 (No audible response.)

11 CHAIR PINCUS: So I just want to  
12 thank really all the members of the Task Force.  
13 I mean, just a tremendously wonderful deep  
14 thoughtful set of comments/discussions. I  
15 think we've heard that it's been very helpful  
16 to CMS and to NQF.

17 I want to thank actually our  
18 colleagues from CMS and NCQA and other people  
19 who've been here and from other parts of HHS.

20 it's really been terrific working  
21 with you, Karen. Thanks so much for all your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 help and guidance on this.

2 And I particularly want to thank the  
3 staff who are amazing at NQF in terms of really  
4 setting this up.

5 Now, we have to say farewell to  
6 Allison who's going on to other things,  
7 but -- we'll miss you, but especially to really  
8 thank Megan and Sarah and really all of the NQF  
9 staff who just really have been tremendous in  
10 this. So thank you.

11 (Applause.)

12 (Whereupon, the meeting was  
13 adjourned at 2:14 p.m.)

14

15

16

17

18

19

20

21

1

2

3